

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

FOOD ADVISORY COMMITTEE MEETING ON PROBIOTICS

Pages 1 thru 160

Arlington, Virginia
September 27, 2000

MILLER REPORTING COMPANY, INC.

735 8th Street, S.E.

Washington, D.C. 20003

(202) 546-6666

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

FOOD ADVISORY COMMITTEE MEETING ON PROBIOTICS

Wednesday, September 27, 2000

8:30 a.m.

Hilton Towers-Gallery I and II
Ballston Metro Stop
Arlington, Virginia

PARTICIPANTS

Stephen H. Benedict, Ph.D., Acting Chair

Cathy DeRoever, Executive Secretary

FOOD ADVISORY COMMITTEE MEMBERS

Joseph H. Hotchkiss, Ph.D.

Thomas Montville, Ph.D.

Robert M. Russell, M.D.

Madeleine J. Sigman-Grant, Ph.D.

Roberto Villarreal, DrPH

EXPERTS, TEMPORARY VOTING MEMBERS

Mitchell L. Cohen, M.D.

Fergus M. Clydesdale, Ph.D.

Naomi Fukagawa, M.D.

Donna R. Richardson, J.D., R.N.

GUEST SPEAKERS

Roger A. Clemens, DrPH

H. Rex Gaskins, Ph.D.

Daniel J. O'Sullivan, Ph.D.

Mary Ellen Sanders, Ph.D.

R. Doug Wagner, Ph.D.

FDA

Dr. Robert Buchanan

Linda Hayden

Dr. Elizabeth Yetley

C O N T E N T S

	<u>Pase No.</u>
Introductions and Overview	4
Presentation of Questions and Discussion	9

P R O C E E D I N G S**Introductions and Overview**

1
2
3 **DR. BENEDICT:** Good morning. Welcome to the Food
4 Advisory Committee meeting of the Center for Food Safety and
5 Applied Nutrition, Food and Drug Administration, second day.
6 The topic of our discussion for yesterday and today is
7 probiotics.

8 Before we begin, I think we should compliment and
9 thank FDA for its selection of some outstanding speakers.
10 We heard a wonderful program yesterday, very succinctly
11 presented. We are grateful for the information and those
12 speakers have joined us at the table today, so that during
13 what is essentially a roundtable discussion, they will be
14 available as resources for members of the committee as we
15 begin to provide advice to FDA on this topic.

16 Before we go too much farther, I think it is
17 probably appropriate if we all just introduce ourselves so
18 that the folks making the recording and the folks listening
19 at home will know where we are all sitting.

20 My name is Steve Benedict. I am from the
21 University of Kansas and I am an immunologist.

22 **MS. DeROEVER:** Cathy DeRoever, Center for Food
23 Safety and Applied Nutrition at FDA. I am the Exec Sec for
24 the Food Advisory Committee.

25 **MS. HAYDEN:** Linda Hayden, Office of Science with

1 CFSAN.

2 DR. HOTCHKISS: Joe Hotchkiss, Cornell University,
3 Department of Food Science.

4 MS. RICHARDSON: Donna Richardson, Howard
5 University.

6 DR. MONTVILLE: Tom Montville, Professor of Food
7 Science, Rutgers University.

8 DR. FUKAGAWA: Naomi Fukagawa, University of
9 Vermont, who started out as a pediatrician and now does
10 gerontology.

11 DR. O'SULLIVAN: Dan O'Sullivan, University of
12 Minnesota, Department of Food Science and Nutrition.

13 DR. WAGNER: Doug Wagner, microbiologist at the
14 FDA's National Center for Toxicological Research.

15 DR. BUCHANAN: Bob Buchanan, FDA, Center for Food
16 Safety and Applied Nutrition, Senior Science Adviser, and
17 Director of the Office of Science.

18 DR. SANDERS: Mary Ellen Sanders. I am a
19 consultant with Dairy and Food Culture Technologies.

20 DR. CLEMENS: Roger Clemens, Professor of Food
21 Science and Nutrition, Cal Poly Pomona and freelance
22 consultant.

23 DR. GASKINS: Rex Gaskins, University of Illinois.

24 DR. CLYDESDALE: Fergus Clydesdale, University of
25 Massachusetts at Amherst.

1 DR. VILLARREAL: Roberto Villarreal, University of
2 Texas, San Antonio.

3 DR. COHEN: Mitch Cohen, Division of Bacterial and
4 Mycotic Diseases at the Centers for Disease Control.

5 DR. RUSSELL: Rob Russell, gastroenterologist and
6 nutrition from Tufts and USDA.

7 DR. SIGMAN-GRANT: Madeleine Sigman-Grant,
8 University of Nevada, Reno, Cooperative Extension.

9 DR. BENEDICT: Thank you.

10 So, what we hope to do today is provide advice to
11 FDA. Before we do that, we might ask Ms. DeRoever if she
12 has any beginning advice for us. None taken.

13 So, just a brief recap before we actually get into
14 the business of the day. So, yesterday, we heard some fine
15 presentations about probiotics themselves, about what is
16 available in the marketplace, and some thoughts about what
17 might be coming on line.

18 We heard about the organisms themselves, we heard
19 about the fact that it is a huge ecosystem, methods of
20 analysis. We talked about the immune system and the immune
21 response. We talked about infant foods and formula.

22 One of the sort of dichotomies would seem--and
23 this is personal opinion gleaned from the fine talks that I
24 heard--is that we are dealing with a huge ecosystem of which
25 we can recognize about 400 organisms with an additional 400

1 to 500 that we can't recognize and don't know--we know they
2 exist, but we don't know what they are, and in some ways it
3 seems difficult to effect a lasting change in the system.
4 However, pathogens can certainly effect dramatic, sometimes
5 apocalyptic changes.

6 Yet, the time to recovery varies depending on what
7 happens to the system, and so as we consider these things, I
8 think it is important to think about the big picture and the
9 massive number of people who are affected by greater or
10 lesser insults to the system and do fine, and the
11 possibility of getting a lasting change when you notice that
12 14 days later you can't find the organism you put in.

13 I think all of these things we have to consider if
14 you don't mind.

15 So, today, what we are asked to do is focus on a
16 scientific framework for what FDA should do next in
17 addressing the question, the emerging question of
18 probiotics, and it would be good if we used the same format
19 as yesterday.

20 The important thing we must do is provide a cogent
21 transcript that can be poured over later by folks who will
22 actually deal with policy. So, again--and I am probably the
23 worst person at this--it would be nice to avoid a free-
24 wheeling discussion where we all just jump in with our many
25 opinions because people won't be able to keep track.

1 Sadly, I will ask that we do the same thing we did
2 yesterday, which is get the attention of Ms. DeRoever and we
3 will take everyone's comments in the order that she writes
4 down your name. This will allow us perhaps less
5 spontaneity, but I think it will be much more polite and
6 straightforward.

7 If I forget to mention your name when you begin to
8 speak, please don't hesitate to do that, so that the folks
9 can write down exactly who you are and, as Ed Brandt likes
10 to say, the Master's degree students who are studying your
11 comments in five years will be able to know that you
12 actually made them.

13 So, as I said, what we want to do is establish a
14 framework, a scientific framework by which FDA can then
15 launch its next group of activities with respect to
16 probiotics.

17 We are going to talk about safety assessments and
18 efficacy assessments today, and we want to keep in mind how
19 many of these things affect the general population and how
20 they affect specific subpopulations of individuals that
21 might be called upon to interact with probiotics.

22 As we go through the specific subsets that we are
23 asked to discuss, once we have discussed things
24 sufficiently, a subjective opinion, of course, we will then
25 be asked to put things into priorities, so that FDA can read

1 through this and say, well, the first thing we need to work
2 on is this, and this might be extremely minor, but we might
3 want to think about it in the year 2020.

4 Also, be aware that FDA will probably return to
5 the Food Advisory Committee for additional fine-tuning of
6 this. With that in mind, we don't really have to draw
7 conclusions today as much as we have to get as many ideas on
8 the board as possible with priorities, so FDA can come back
9 to us and say, "Did you really mean this?" No. So, FDA can
10 have plenty of things to work with.

11 **Presentation of Questions and Discussion**

12 DR. BENEDICT: So, if you have the piece of paper
13 that details the questions, the committee questions that we
14 are going to get to, the first one listed is safety
15 considerations, and there are several subsets of this.

16 So, this question involves the following things.
17 I will sort of read it to you.

18 What FDA has asked is: Given changes in
19 scientific nomenclature, what terms could be better
20 articulated, defined or clarified to advance mutual
21 understanding about these products?

22 So, that is what FDA has asked. What I would like
23 for us also to consider, if FDA is called upon to define a
24 number of things, including probiotics, what are the
25 essential components of any definition of any term that we

1 propose today that needs to be defined.

2 We don't have to write the definition, that is not
3 our job, but the things that we think should be included or
4 excluded should come out on the table.

5 Also, recall that whatever goes into a definition
6 will in part, I think, govern how some substances are
7 regulated, so we want to be sure we just say things, and
8 other folks will deal with that.

9 A final thing to consider in terms of nomenclature
10 is can we use specific terminology--and we may not be able
11 to--but can we use specific terminology to link groups or
12 organisms with the putative physiological effects that they
13 might have, or putative sites of delivery, as we learned a
14 little about yesterday.

15 So, the first thing that I think we should
16 consider is terms and definitions, and perhaps to focus our
17 thinking or maybe to save time, I spent some time last night
18 gleaning phrases from the various definitions and from
19 conversations and questions in some of the presentations,
20 and what I would like to do, and if you have an interest you
21 can take out your crayons and write down things that strike
22 you as positive or negative, and if you don't, that's fine,
23 too. I have a few things.

24 I will just read them out to refresh your memory
25 about what we heard yesterday, and then we can perhaps

1 address the specifics.

2 So, basic terms that we have heard, probiotics, of
3 course, prebiotics, symbiotics, and then a definition of
4 anything will include organism, or it might include viable
5 organism, or it might include carefully defined strains of
6 organisms.

7 I also might, as we heard, we might deal with
8 substances produced by an organism, and one assumes that
9 these would be in vivo after application, not just produced
10 in vitro, and that become a different subset.

11 We need to think about single versus mixed
12 culture, and then terms, active terms, modulate the growth
13 of other organisms was listed, also modulate the development
14 of the immune system was one of the activities that we
15 heard. In many of the definitions include have a beneficial
16 effect on. This could be the intestinal microbial balance.
17 It could be the immune response, either mucosal or systemic,
18 and just pause for a minute.

19 There is a term. The phrase is "dietary
20 adjuvant." To an immunologist, of course, adjuvant means
21 one thing, but the word adjuvant comes from the Latin
22 adjuvare meaning to help, and so a dietary adjuvant could be
23 something that helps the diet, and I wasn't clear about
24 which meaning this was. So, if we choose to use adjuvant,
25 we may wish to define it a little better.

1 Other terms are beyond basic nutrition for the
2 help that is given. Improving nutritional and microbial
3 balance, interacting with the host mucosa, a touchy one -
4 clinically validated health effect, ingested in adequate
5 amounts. Some folks were mentioning that.

6 Now, another thing to consider is, as we learned
7 yesterday, looking down the road all of these things may not
8 be ingested. We learned about vaginal effects, wound
9 healing effects, and so topical applications are something
10 that either may exclude ingested from a definition or may
11 need to be included.

12 One could envision suppositories to get to the
13 intestinal tract, because we need to target different--as we
14 heard, there are different places that need to be targeted.

15 The other question is: Is the mucosa always the
16 target? Well, we heard that wound healing might be in the
17 offing, and so there you have it.

18 So, those are the terms that I was able to come up
19 with that occupy the thoughts of people. If anyone has
20 additional terms that they would like to add to this list,
21 please do so at this time, and then we can start after that
22 a discussion of what we think should really be important.

23 May I ask one other thing. If you could all turn
24 your name tags somewhat obliquely, so that I can see your
25 name, even though I may know you well, I, in the heat of

1 battle, sometimes forget, and I wish to make no error.

2 Do people have additions to this list of terms?

3 Dr. Montville.

4 DR. MONTVILLE: Steve, I don't know quite where
5 this fits in, but the definition of the organism, the
6 characterization of the organism, and exactly what it is we
7 are talking about, and how we can prove that it is the same
8 one in five years as was approved today.

9 DR. BENEDICT: That's good. So, carefully define
10 strains over the long term.

11 Dr. Russell.

12 DR. RUSSELL: Another word that I think was used
13 differently by different people was colonization versus
14 prolonged residence time, and I think we need to be clear on
15 what we are talking about.

16 DR. BENEDICT: Yes.

17 Dr. Clemens.

18 DR. CLEMENS: You might want to consider just
19 lactic acid bacteria, for example, because many organisms
20 were discussed yesterday that may not be specific to lactic
21 acid bacteria, but lactic acid bacteria are currently used
22 in the binder as comparable with lactic acid bacteria.

23 DR. BENEDICT: Yes, Dr. Sigman-Grant.

24 DR. SIGMAN-GRANT: I don't know if this fits in
25 your list of terms, but there seemed to me a lack of clarity

1 between prevention or promotion of health, treatment of
2 disease, and in terms of what they are used for, and I just
3 think that needs to be defined somewhere, and if not in this
4 set, then somewhere down the road.

5 DR. BENEDICT: Thank you.

6 All right. So, now the hard part is figuring out
7 important it is to include different things. To me, a
8 description of the organism or organisms used, so a
9 definition might say mixed or purified or extremely
10 characterized or whatever, organisms that you do other stuff
11 with.

12 so, what do people feel about how the organism
13 should be addressed in a definition? The committee, do you
14 think it is important to define specifically the organism
15 that goes in? And all the organisms that go in, as in the
16 case of yogurt, for example, how specific do we want to be
17 or do we want the FDA to be?

18 Let me just say before I go further, anyone who
19 actually know what I should be talking about, mostly Dr.
20 Buchanan, if I start getting us off track and wandering
21 around, just kind of let me know that you don't care about
22 this, you guys.

23 DR. BUCHANAN: Just a brief comment. We can
24 provide you with the best estimate of the definitions
25 between drugs, dietary supplements, and foods that we can

1 based on our legal requirements.

2 I would, in terms of priorities, suggest that the
3 committee focus on dietary supplement uses and food uses,
4 that therapeutic uses for the treatment of disease would be
5 classified as a drug, and that would be the purview of a
6 different advisory committee.

7 So, as you are thinking about future applications,
8 please focus on dietary supplements and foods. Now, if we
9 need to get a better legal definition about what a drug is,
10 and it's a hazy definition at best, but think about the
11 treatment of symptoms. If you are giving something to treat
12 a disease state, assume that that is a drug, and that is not
13 the purview that we are dealing with here when we are asking
14 for advice.

15 Also, please, while the applications may be
16 useful, let's try and focus on the actual ingestion of the
17 viable organisms, not topical treatments, not vaginal
18 treatments. Those would be more directed probably towards a
19 drug application.

20 DR. BENEDICT: Thank you.

21 Dr. O'Sullivan.

22 DR. O'SULLIVAN: Based on where probiotics and the
23 organisms are at present, it appears that the term
24 probiotics might be beginning to get too broad, and possibly
25 needs to be subdefined like, for example, we hear about some

1 beneficial effects that occur which is not specific to any
2 one organism, just any lactic acid bacteria which can
3 essentially get into the target sites and be tested at high
4 numbers, and then there strain-specific effects.

5 So, possibly there is a general and a specific
6 definition rather than trying to bundle everything into the
7 one word, it might get very cumbersome.

8 DR. BENEDICT: Dr. Sanders.

9 DR. SANDERS: Just for clarification for me, I can
10 understand the importance of this group's focus on foods and
11 dietary supplements, as Dr. Buchanan pointed out, but in
12 terms of definition of the term probiotic, if it is going to
13 be used in a drug application ultimately, I am wondering if
14 it makes sense for us to consider the term probiotic, so
15 that if, in fact., there becomes a legal definition of this,
16 it doesn't have to be defined two different things for two
17 different applications, which then could ultimately be
18 confusing or whatever, that perhaps the definition portion
19 of the efforts of this committee need to consider ultimate.
20 drug applications. I don't know.

21 DR. BENEDICT: Thank you.

22 MS. DeROEVER: This committee would not be asked
23 to consider any drug or medical device unless we actually
24 had a joint meeting with one of our sister centers. I
25 really think we are best focused on the food uses for the

1 purview of what we are doing.

2 It is also in the interest of time, and I think it
3 has been mentioned perhaps once or twice before this may
4 just be an initial meeting. If we have comments, certainly
5 they will be in the record, and we can take them to a sister
6 center and internally decide what approach to take based on
7 those comments.

8 DR. BENEDICT: Dr. Hotchkiss.

9 DR. HOTCHKISS: As was pointed out I thought very
10 clearly yesterday, there are a variety of definitions of
11 probiotics both from a scientific perspective, but even
12 broader definitions in the regulated industry, and I think
13 FDA's first priority should be to clarify its position on
14 that definition. That would help FDA, as well as the
15 regulated industry because that would help them decide which
16 directions they wanted to go with their products.

17 The second most important definition is, in my
18 view, organism, strain, and so forth, and as we saw, the
19 taxonomy, because of introduction of modern methods for doing
20 taxonomy is changing and likely to continue to change,
21 therefore, you have to worry about making the normal kind of
22 definitions that you do, so it seems to me FDA has to
23 consider including perhaps things like genetic or
24 biochemical requirements within that definition. If the
25 taxonomy does change--which it is very possible it will--

1 that the organism does not in a particular application,
2 particularly a food or supplement application, so I think
3 those two definitions are really first based to get on with
4 the process, and in my belief in having interacted with some
5 of the industry on this issue, making those definitions
6 would help move the industry forward because they would know
7 what the rules of the game are going to be.

8 [Pause.]

9 DR. BENEDICT: This is not lively enough.

10 Dr. Cohen.

11 DR. COHEN: Would there be some advantage in
12 trying to look at the issues of food and dietary supplements
13 separately?

14 DR. BENEDICT: Dr. Hotchkiss.

15 DR. HOTCHKISS: Perhaps, but my understanding of
16 the way food and dietary supplement and structure function
17 claims, and so forth, are going to work out, that that
18 differentiation between those two terms is going to be less
19 based on the product than it is based on the claims on the
20 product, and so forth, and the claims are brought forth, not
21 by FDA, but by someone wanting to make that product or use
22 that product, and that seems to be very hard for us, you
23 know, given the yogurt or whatever to understand which
24 category that falls into.

25 DR. BENEDICT: Dr. Montville.

1 DR. MONTVILLE: I think you are both right in that
2 if we look at foods, it would seem that the only requirement
3 is safety, so we could start with safety. Then, when you go
4 to nutritional supplements, we are talking about safety and
5 efficacy, that they do something.

6 DR. BENEDICT: Dr. Buchanan.

7 DR. BUCHANAN: Just to again provide a little
8 guidance to keep this on focus. While there are differences
9 in the legal system, in the law associated with dietary
10 supplements and foods, the issues that you should be facing
11 are, are there scientifically based differences between what
12 would have to be considered with a dietary supplement versus
13 what would have to be considered by a food.

14 So, for example, in your definition, your
15 consideration, would be the fact that you have concentrated
16 a culture into a capsule have some special attribute
17 scientifically versus if you just took the product and fed
18 it as part of a good.

19 So, I think those are the kinds of things that you
20 should be considering, but I would not worry too much about
21 segregating what is the definition of a dietary supplement
22 versus a food in the legal definitions. Leave that to our
23 legal staff. They get paid a lot of money to do that.

24 DR. BENEDICT: Dr. Clydesdale.

25 DR. CLYDESDALE: Just to continue on Dr.

1 Buchanan's comments, I think it would be very important in
2 the definition because we think of probiotics and food, we
3 are really thinking of a substrate which, by and large,
4 controls the type of probiotic that's in there very much so,
5 the type of organism that is going to grow is going to
6 depend upon the conditions that the food imposes on those
7 organisms, whereas, when we get to dietary supplements, we
8 are going to have to be sure that we know exactly what's
9 there and the amount that's there, and we can tell the
10 consumers what they are getting.

11 so, not only do we have to worry about
12 concentration effects, but we have to worry about what is
13 there, because there is no exterior control once you
14 separate these things on what will grow or what won't grow
15 or what might be there, whereas, the food does confer some
16 exterior or external control on what grows.

17 DR. BENEDICT: Dr. Cohen. Pass.

18 Dr. Fukagawa.

19 DR. FUKAGAWA: Yes. Could you please clarify for
20 me again. Our charge is really to define and assess or come
21 to some kind of opinion with respect to the use of these
22 organisms in food components when a health claim is
23 associated with it, correct? Or is it, for example, it is
24 labeled on yogurt that it contains--that is not something
25 that we now need to address because yogurt has been on the

1 market for years. If you could just clarify that for me.

2 DR. BENEDICT: I will defer to Dr. Buchanan, who I
3 am sure can do a much better job.

4 DR. BUCHANAN: What would be very helpful--and
5 Let's just pick a single example here. We have the term
6 probiotic, what we are going to hear about. In the
7 definitions that we heard presented yesterday, and discussed
8 around the table, there were a variety of factors that were
9 or were not included in different definitions.

10 Some of the definitions said that you had to have
11 viable organisms. Other definitions said that you did not
12 have to have viable organisms. Some said you had to have
13 intact organisms. Some said it could be products of those
14 organisms. Some said that it had to be lactic acid
15 bacteria, others used a much broader range in the
16 definition.

17 Do they have to be viable, nonviable? Do they
18 have to have a beneficial effect or not a beneficial effect?
19 Then, of course, you get into what is a beneficial effect,
20 which is another definition.

21 But if you think back to the different definitions
22 that were put up on the board, and there were different
23 terms used to describe the criterias within those, which of
24 those criterias are important for us to include in a
25 definition that we will have to develop for a probiotic, and

1 what kind of priority should we be considering those.

2 DR. BENEDICT: Dr. Hotchkiss.

3 DR. HOTCHKISS: Let me throw something out on the
4 table to try to get us moving on this. I would suggest that
5 the term probiotic, as most commonly used, would have to be
6 something containing viable organisms.

7 There was some discussion of that yesterday, but I
8 think as most commonly used, it is a viable, that is,
9 capable of reproduction, that it must be ingested--at least
10 in the context of this committee--that it must be ingested,
11 that there must be a demonstratable positive health benefit
12 to consumption or ingestion of this organism.

13 I think some of those kinds of components of a
14 definition cover both the supplement and the food category,
15 but I think that we should really try to narrow this down as
16 commonly used. As I said, viability is a key, known
17 organism, I think is a key to a definition, and maybe some
18 others will come to mind.

19 DR. BENEDICT: Okay. Let's deal with that. How
20 many of the folks on the committee think the organism should
21 be viable? Let's just go with do we agree that the organism
22 in a probiotic should be a viable organism.

23 Let's do a show of hands and then we can count,
24 and that will happen.

25 Those who think the organism should be viable, who

1 are on the committee, raise your hands. This will be the
2 committee.

3 [Show of hands.]

4 DR. BENEDICT: The score is 8.

5 Those who do not think viability is a factor,
6 please signify, and abstention.

7 Eight in favor and one abstention.

8 Dr. Fukagawa, please.

9 DR. FUKAGAWA: I just wanted to clarify that I
10 think my vote is based on the fact that I don't think we
11 have good scientific data to be able to assess the issue of
12 components of a nonviable organism, or at least I haven't
13 felt that the discussion was compelling, providing support
14 at the other side, so I would go with Dr. Hotchkiss'
15 recommendation that we consider the viability.

16 DR. BENEDICT: Dr. Hotchkiss.

17 DR. HOTCHKISS: One of the reasons for viability
18 is if you include products of organisms that are no longer
19 viable, you really have included a whole host of things that
20 are including things like vitamins, and so forth, that are
21 produced by fermentation. You have really opened up in a
22 different direction that would not be considered under the
23 rubric of probiotic in my view.

24 DR. BENEDICT Dr. Clemens.

25 DR. CLEMENS: Thank you, Joe, for your comments.

1 I assume on viability you include that the organisms can be
2 resuscitated.

3 DR. HOTCHKISS: Certainly.

4 DR. BENEDICT: So, the next word in Dr. Hotchkiss'
5 panoply of terms is ingested, and since this is the Food
6 Advisory Committee, for our terms, ingested is crucial, as
7 was pointed out by Dr. Buchanan.

8 We will leave to the FDA the decision of whether
9 they want to make, as has been suggested, a generalized
10 definition that affects anything that is probiotic.

11 Dr. Buchanan.

12 DR. BUCHANAN: Certainly we heard--I will take it
13 as a recommendation--that in whatever definition we come up
14 with for probiotics, that we try to harmonize that with our
15 sister centers associated with drugs.

16 DR. BENEDICT: Thank you.

17 So, what about a demonstrable benefit? Are there
18 comments on whether a probiotic should show a demonstrable
19 benefit? In the word "demonstrable," of course, we will
20 need a definition.

21 Dr. Russell.

22 DR. RUSSELL: Once again, I am going to have
23 problems when we talk about benefits of bacterial balance.
24 Those terms are so vague and meaningless, and we don't know
25 that they really have any health benefit or not. The fact

1 that you are putting more bifidobacteria into colon, so
2 young children may or may not have a health benefit, and we
3 don't know that more or less clostridia in the adult colon,
4 or more or less bacteroides makes them any less healthy.

5 So, to change that by increasing a certain
6 organism, I am not sure, unless we can show that that
7 organism prevents diarrhea or some disease, it is going to
8 be hard to define what health benefit means. I just bring
9 that up.

10 I know you can't just say has an effect probably
11 because you don't want it to imply that probiotic would have
12 a negative effect, but I just worry about health benefit.

13 DR. BENEDICT: Dr. Hotchkiss.

14 DR. HOTCHKISS: I certainly agree with Dr.
15 Russell. In my view, simply changing the microbial ecology
16 of the lower GI tract does not in itself constitute a health
17 benefit. On the other hand, as we heard some evidence, some
18 very good evidence I thought yesterday, there are more
19 direct or potentially more direct health benefits from
20 probiotics for which FDA has a responsibility to bring forth
21 if they can be demonstrated. So, FDA, as part of the Public
22 Health Service, has a responsibility, not only to prevent
23 unsafe things, but also to bring forth or allow things that
24 produce positive health benefits, not changing the microbial
25 ecology of the gut or whatever per se, but a demonstratable

1 in an accepted medical kind of test or trial, in my view.

2 DR. BENEDICT: So, let me just interject. Then,
3 that would mean that just synthesizing the two, on the one
4 case a probiotic would include a globe number of things, and
5 in another case we would ask if it is going to be called a
6 probiotic, that it have a benefit.

7 That is probably enough for FDA to deal with.

8 Dr. Montville.

9 DR. MONTVILLE: I was wondering if we couldn't
10 kind of parse this out into a general health benefit in the
11 definition and then deal with what exactly is a health
12 benefit in the claim because we heard that there are
13 clinically proven, there are generally thought to be, there
14 are anecdotal, and there could be a whole spectrum of claims
15 ranging from, you know, is thought to, to improves or to--is
16 clinically proven to, and then parse that all out and what
17 claims would be allowed, and what you would have to do to
18 prove the claim.

19 DR. BENEDICT: Dr. Sanders.

20 DR. SANDERS: I feel very strongly that the
21 concept of consumption for health benefit has to be part of
22 this definition, because that is just at the heart of the
23 concept of what a probiotic is, and I don't know if it is
24 possible within the definition to differentiate defined
25 health benefit with intent.

1 In other words, a definition that says a probiotic
2 is added or consumed for a health benefit, you know, states
3 that the intent is that that is there, and then it is up
4 then to further definition or how the product ultimately is
5 regulated to define exactly what degree of evidence is
6 required to substantiate that type of a statement.

7 DR. BENEDICT: Dr. Clydesdale.

8 DR. CLYDESDALE: I' would-agree with Dr. Russell.
9 I have a great deal of difficulty understanding how we would
10 show a health benefit when surrogate markers aren't
11 available to measure such a benefit.

12 Perhaps we might consider something like changing
13 or maintaining, or changing physiological function, because
14 if we are talking about structure function claims, that is
15 all you have to talk about.

16 I think if you get into health benefits, you get
17 into incredible difficulty in trying to show that a health
18 benefit has actually occurred. I mean all one has to do is
19 read the health claims literature and the evidence that has
20 been submitted there, and it's extraordinarily difficult.

21 I wasn't here yesterday, but after reading the
22 literature, I found that there is not compelling evidence to
23 show that there is a health benefit. There may be some
24 evidence to show that there is some physiological changes
25 perhaps or change in physiological function. We might

1 consider something like that.

2 DR. BENEDICT: Dr. Sigman-Grant.

3 DR. SIGMAN-GRANT: I think one of the things that
4 I heard yesterday--and please correct me if I am wrong--was
5 that there might be different benefits for different
6 populations, the benefits maybe that have been shown with
7 the preterm infants or some of the young children might be
8 very different than you might see from an older population.

9 I seem to agree, why use these if these wasn't
10 some sort of benefit to the user. How we define what that
11 is may be reflective of what audience or what subpopulation
12 they are being used for.

13 DR. BENEDICT: Dr. Hotchkiss.

14 DR. HOTCHKISS: At least as I interpreted what I
15 learned before, and was reinforced yesterday, take diarrheal
16 disease, it seems as though there is good evidence that
17 certain organisms consumed have a positive health benefit on
18 that particular symptom or disease, and how you would
19 structure a claim or what claims you could make or what
20 products you could introduce based on that, I am not sure,
21 but it seems to me that the evidence is fairly strong in
22 that particular case.

23 In other cases that we heard, most others, the
24 evidence seemed to be much less compelling. So, it is a
25 case-by-case thing.

1 DR. BENEDICT: Dr. Russell.

2 DR. RUSSELL: Wouldn't that be treatment of a
3 disease, though, and if we are not supposed to be
4 considering that, I mean I suppose that you could take it a
5 step back and say that that is due to immunostimulation or
6 modulation, which would be a functional effect, improvement
7 in a functional immune function.

8 I mean I am just trying to get your thought on
9 that.

10 DR. HOTCHKISS: As it was presented, certainly it
11 was treatment of the disease, but if you look, the
12 difference seems to be in terms of what kind of claim you
13 make on it. If you claim, if you want to say it treats a
14 disease, something that is a disease state, then, it
15 obviously falls in the drug, but if you look at most, either
16 structure function claims or supplement kinds of claims,
17 they do not directly mention disease, but underlying that is
18 most always a disease, so it depends.

19 In my view, if you are going to say it, if you are
20 going to say it helps diarrhea in some way, then, you have
21 made a drug claim. On the other hand, if you said that it
22 helps maintain normal bowel function or something like that,
23 then, you are in a different ballgame, but the underlying
24 assumptions seem to me to be quite similar.

25 DR. BENEDICT: Ms. Richardson.

1 MS. RICHARDSON: As one of the consumer
2 representatives, my concern is that we are talking about a
3 mutual understanding of these terms and these products, and
4 we have got this group of experts around this table who
5 can't seem to come to a consensus about it, that keeping in
6 mind what Dr. Grant said and what Dr. Clydesdale said, that
7 if you are going to define it, and we can't **say** that it
8 treats a disease, and we can't say that there is a benefit,
9 it raises the question about what are we doing here, but
10 also could we then look at using some terms that would
11 lessen what we are saying, and perhaps say that it assists
12 with certain physiological functions because then it is not
13 a guarantee the consumer is not hopefully going to think
14 that if I ingest this, you know, I am not going to get
15 diarrhea.

16 DR. BENEDICT: Dr. Fukagawa.

17 DR. FUKAGAWA: I am trying to synthesize all these
18 thoughts, also looking at it from the consumer's point of
19 view and from a physician's point of view, either a
20 pediatrician or someone then focused on the older person, a
21 lot of consumers will want to do what they believe is in the
22 best interests of their child or themselves, who may be
23 older.

24 I think it can be a bit misleading to claim that
25 these products have a definite health benefit. In certain

1 situations, it does help the physiologic function, but if
2 anyone has been a parent recently, one knows that your
3 definition of loose stools or diarrhea in a child is really
4 quite variable based on your cultural background, your home,
5 whether or not you are willing to change 10 diapers versus
6 20, and I think in some situations, the physiologic
7 response, namely, loose bowel movements in an infant, is a
8 normal expected physiologic symptom in response to an
9 illness that does not necessarily need enhanced
10 therapeutics, I mean, you know, won't get hurt, but then, on
11 the other hand, may not necessarily improve things, in which
12 case then the claim would be more along the lines of
13 treatment, which is not what we are addressing.

14 So, I think staying along the lines of having
15 potential impact on physiologic function seems the most
16 reasonable and honest rather than claim that it treats a
17 symptom.

18 DR. BENEDICT: Dr. Buchanan.

19 DR. BUCHANAN: Just a little caution here and then
20 to sort of restate what I stated earlier. There is a
21 differentiation legally in the definition of a drug versus a
22 dietary supplement, however, Dr. Hotchkiss is correct, this
23 could be in the eyes of the beholder and the claim put
24 forward.

25 So, I would not try to spend a lot of time here

1 working up the distinction between a therapeutic agent and a
2 dietary supplement. You will spend the rest of this morning
3 arguing that out and really coming to no resolution. Just
4 assume that there is a health benefit if that is what you
5 are leaning towards, and then let us sort out whether it's a
6 drug or dietary supplement.

7 DR. BENEDICT: Excellent.

8 Dr. Gaskins.

9 DR. GASKINS: A therapeutic benefit in diarrheal
10 disease is consistent with an improvement in barrier
11 function. Barrier function is one of the major
12 physiological functions of the intestine, so in that sense,
13 you could claim that improved barrier function is the
14 outcome without specifying treatment of the diarrheal
15 disease. I think barrier function is much easier to measure
16 than microbial balance, and so forth. I mean you can fairly
17 well describe barrier function and measure the effect of
18 specific organisms on barrier function.

19 DR. BENEDICT: Dr. Hotchkiss.

20 DR. HOTCHKISS: I think, to change a little bit,
21 the definition of the organism is extremely important in
22 this. I would like to hear from the molecular biologists,
23 microbiologists if the state of the art is sufficient to
24 define organism as something beyond genus and strain of the
25 normal taxonomy, can you write a definition that would

1 include something specific enough that there would be no
2 question if the taxonomy changed, that you still had the
3 same organism.

4 DR. O'SULLIVAN: If I understand you correctly,
5 you want to see is it possible to write a definition that
6 you can ensure a strain doesn't change over time?

7 DR. HOTCHKISS: No. That is part of it, but that
8 is not really what I am saying.

9 DR. O'SULLIVAN: Because that would be impossible
10 unless you sequence the whole genome.

11 DR. HOTCHKISS: No, that is not what I am saying.
12 The taxonomic name given to organisms is in a state of flux
13 these days as I understand it, very much so.

14 DR. O'SULLIVAN: Yes.

15 DR. HOTCHKISS: So, any kind of regulatory
16 definition of a specific organism is subject to change if
17 that definition is only genus and species it seems to me
18 these days.

19 DR. O'SULLIVAN: That is correct.

20 DR. HOTCHKISS: What I want to know is could FDA
21 write into their definition for a specific organism
22 something that would not change if the taxonomy did change.

23 DR. O'SULLIVAN: That is a very good point, okay,
24 because that is correct. When you talk about molecular
25 speciation, it comes to a point how much different must the

1 equence be before you cross the barrier between species or
2 subspecies, et cetera, and that changes, and there is no
numerical value that is across the board.

4 In some cases, people say 95 percent, in other
5 cases it is over 99 percent, because it all depends on the
6 actual, how related this particular phylogenetic molecule
7 is.

8 So, it all comes down to classifying, calling a
9 species or subspecies as it is characterized on certain days
10 containing these particular phenotypic characteristics which
11 are important. If relying totally just on molecular
12 taxonomy is probably very naive because what is really
13 important is the phenotypic characteristics, so a definition
14 would have to include not just genus and species, but it
15 would have to include an organism with this particular
16 biogeography essentially. You will have to define a proper
17 biogeography for a particular organism because the actual, even
18 the genus names can actually change.

19 DR. BENEDICT: So, if I understand what you are
20 saying, the answer is probably not.

21 DR. O'SULLIVAN: If you just say a genus and a
22 species, that could be totally different in five years'
23 time.

24 DR. BENEDICT: Absolutely.

25 We have other people on the list, but do you have,

1 Dr. Gaskins, a comment on this topic?

2 DR. GASKINS: However, there is an international
3 set of guidelines. There is an international committee to
4 name strains and species. Those guidelines are used by the
5 International Journal of Systematic Microbiology was the
6 former name, it now has a new name that incorporates
7 evolution in the title, and I can't come up with the name,
8 but there is a very specific set of guidelines that they
9 follow, that is internationally accepted guidelines, and I
10 think that would be a good place to start. It is not an ASM
11 journal, it used to be.

12 DR. BENEDICT: Thank you. So, we are going to
13 move to the people who are on the list. We have discussed
14 viability, we have discussed ingestion. We have spent a lot
15 of time on benefit, and I suspect we have gleaned about
16 everything we can on the topic of benefit or not, and I
17 think we have pretty well dealt with known or not known.

18 So, let's move down the list. Dr. Clydesdale.

19 DR. CLYDESDALE: I just wanted to go back to the
20 comment that Dr. Buchanan made benefit. I just would like
21 to reiterate that whether we talk about health or whether we
22 talk about physiological function will not depend on the law
23 in my mind, but will depend on what data is available and
24 what we are comfortable saying based on the data.

25 DR. BENEDICT: Dr. Montville.

1 DR. MONTVILLE: I had more of a question in terms
2 of the use of the definition, whether it is going to be for
3 regulatory and technical purposes, in which case
4 physiological function and barrier properties, and things
5 would be very appropriate, or if it is supposed to be useful
6 to the consumer, in which case physiological properties and
7 barrier functions has no meaning, and intestinal well-being,
8 although we kind of laugh at that, they think they know what
9 it means.

10 DR. BUCHANAN: But that was the question, Bob.

11 DR. BENEDICT: Interestingly enough, Dr. Buchanan
12 is next on the list to speak.

13 DR. BUCHANAN: We are seeking your advice, and the
14 advice you just gave us is something that we will be
15 cognizant of. Communicating to different subpopulations
16 within our range of stakeholders is always important, and
17 that is why we are not asking you to write a definition. It
18 does have to be able to communicate to all of our people
19 that are interested.

20 That is responding to that question. I did want
21 to go back to Dr. Hotchkiss' question or comment, and I
22 guess I would turn it around and ask the committee, based on
23 the conversation and discussions that you heard yesterday,
24 is it important to us to be able to define a probiotic, not
25 probiotics, but a probiotic in terms of something more

1 specific than genus and species, do we need to be able to
2 specify a single strain and be able to follow the identity
3 of that strain, is that an important issue.

4 DR. BENEDICT: Dr. Sanders.

5 DR. SANDERS: With regard to the issue of strain
6 and definition of strain for probiotics, I think although
7 microbiologists are constantly frustrated by changes in
8 taxonomy, and certainly they happen all the time and, you
9 know, it is as difficult for us as it was for anybody, I
10 think that the important issue in my opinion is that in the
11 laboratory, regardless of what genus and species you call an
12 organism, we have pure culture techniques that allow you to
13 define a strain, and I think a good lesson can be learned
14 from Lactobacillus GG.

15 That organism was patented as Lactobacillus
16 acidophilus. It was later defined as a Lactobacillus casei,
17 and now it is known as a Lactobacillus rhamnosis, but you
18 can track the history of published literature on that strain
19 because it was constantly identified as Lactobacillus
20 whatever GG.

21 So, even though we are in a position to have the
22 species and even genus of organisms that are used as
23 probiotics changed due to taxonomic developments, I think as
24 long as reasonable pure culture microbiological techniques
25 are used, it is very easy to define a strain, and that that

1 strain definition then can sort of supersede all the changes
2 in whatever species we have.

3 DR. BENEDICT: Thank you.

4 Dr. Sigman-Grant.

5 DR. SIGMAN-GRANT: I just wanted to say something
6 about the benefits. We seem to focus specifically on GI
7 benefits, but would that be limiting potential future
8 benefits that probiotics might have, which haven't
9 suggested, maybe have as much definition, so when you say
10 physiological function, one might want to be careful whether
11 we label that as GI function specifically.

12 DR. BENEDICT: Dr. O'Sullivan.

13 DR. O'SULLIVAN: I am a total advocate of using a
14 particular strain, because there is no justification in
15 saying a whole species can do a specific thing. However, if
16 you look at the peer-reviewed literature, there does seem to
17 be general effects that is not strain-dependent, just like,
18 for example, if a person's intestine is compromised meaning
19 the flora has been significantly depreciated, the evidence.
20 suggests that if you get essentially what looks like any
21 nonpathogenic organism there in high numbers, it can somehow
22 promote the normal flora coming back with a lower incidence
23 of diarrhea.

24 Now, if that is the general effect, it either is
25 classified a probiotic effect or it is not classified. So,

1 if you just limit it solely to it has to be one particular
2 strain, you are eliminating that potential general effect.

3 DR. BENEDICT: Okay. Let's try to focus this down
4 and end it sort of, and with respect to the strain itself, I
5 am sensitive to the general effects and the specific
6 affects, and I think we have heard about tracking
7 historically, whatever you call it, it does certain things.

8 So, perhaps we could offer an opinion, first of
9 all, on how--the word "specific" comes to mind, but is
10 inappropriate--how defined the organism or organisms should
11 be when we are defining the stuff, and I don't know exactly
12 how to phrase a question.

13 Perhaps we could just have an opinion on how many
14 of the committee think we need to go down to the strain
15 level, and if I may interject editorially, if we have the
16 strain level, then, the general effects might be taken care
17 of. I don't know enough to know to interpret what you have
18 said. It's my fault.

19 Dr. Cohen would like to say something.

20 DR. COHEN: I have been stuck for about the last
21 30 minutes. It is hard for me to separate this from
22 application, I mean to know what strain you are having in
23 yogurt is very different from what strain you are having for
24 a specific claim, that have a very physiological or
25 functional or therapeutic depending on what area you are

1 talking about kind of effect.

2 So, it is hard for me to sort of look at this in a
3 Lumped fashion.

4 DR. BENEDICT: Thank you.

5 Dr. Clemens.

6 DR. CLEMENS: Dr. Cohen, I appreciate that comment
7 because if you look at a pediatric application, we know that
8 infants cannot tolerate d-lactic acid, but they tolerate l-
9 lactic acid. Again, we have to be very specific on some
10 metabolites, as well, as we characterize the strains.

11 DR. BENEDICT: But the other thing is if people
12 want to make health claims, specific disease health claims,
13 and that is Column A, and if they want to say well-being,
14 that's Column B, and in either case, perhaps we just need an
15 opinion about what we need to know about the organism in
16 there. I don't wish to be obtuse, but perhaps we could just
17 do another show of hands about how many folks on the
18 committee would like to see it to the strain level and how
19 many folks are strained by that.

20 Dr. Montville, would you like to make a comment
21 before we do this?

22 DR. MONTVILLE: Yes. Steve, that is still very
23 difficult, and I would suggest we split it out into maybe
24 for specific health claims do you need a strain.

25 DR. BENEDICT: Excellent.

1 DR. MONTVILLE: Versus for a general effect, would
2 genus and species be enough.

3 DR. BENEDICT: Okay. Let's do that. So, let's do
4 the specific health claim first. How many folks on the
5 committee would like to raise their hands in favor of to the
6 strain level for a specific health claim? Dr. Clydesdale,
7 please help me out here. I am not getting anywhere.

8 DR. CLYDESDALE: I don't know whether I am or not,
9 but until we understand the mechanism of the effect, it is
10 very difficult to make such a vote, because maybe the
11 effect, I mean if you say if it's a health claim, it may be
12 due to a general effect, you know, to a wide variety of
13 microorganisms that are in food, and the balance of these in
14 a particular strain may not matter so much.

15 I mean so without understanding the mechanism,
16 it's a little difficult to say whether we need it or not.

17 DR. BENEDICT: Dr. Montville would like to
18 respond.

19 DR. MONTVILLE: I will just counter. We were
20 saying we know that this one does it, it has been proven
21 with this one. You know, whether others do it or not, we
22 don't know, and how it does it, we don't know, but we can
23 guarantee if you use this one, you get the effect we have
24 looked at.

25 DR. CLYDESDALE: In individual cases I would

1 completely agree, but to generalize across the board, I
2 can't. In individual cases, clearly, but it is sort of
3 going around in a circular fashion. If a particular strain
4 causes that effect, then, we should define that strain.

5 DR. BENEDICT: Dr. Hotchkiss.

6 DR. HOTCHKISS: It seems to me that if there is a
7 general effect that is broader than specific strains, it is
8 very simple then to say we have demonstrated this effect
9 with Strains A, B, C, D, E, F, or generalized things, and
10 those kinds of things.

11 So, if you ask for strains, you cover that base,
12 but if you go in the opposite direction, you don't cover
13 that base, because if the effect is very strain-specific and
14 you are not asking for strains, then, you have lost some
15 information. So, you can go one way, but not the other.

16 DR. BENEDICT: Okay. Why don't we just not vote.

17 [Laughter.]

18 DR. BENEDICT: Let's just summarize and say that
19 some folks are very comfortable with the idea of specific
20 strains for specific effects. Some folks would like to
21 suggest that in the general sense, general physiological
22 effects, global effects caused by a number of things. They
23 are more comfortable with a less strain-specific term.

24 Just nod your head if you agree with that.

25 Okay. Most people nodded their head. If anyone

1 would like to object, do so quickly because we are moving
2 forward.

3 All right. Next on our agenda is, under A),
4 Safety Considerations, No. 2)--this should get easier as we
5 go along, folks, the hard part is over, okay, the hard part
6 is over, you can all wake up now--the question: What
7 scientific elements would be common to all safety
8 assessments? All safety assessment in probiotics. Let's
9 try to think of this from the host's perspective, consider
10 the huge number of unknowns both now and in the future, and
11 think about how FDA might safeguard against unintended
12 effects of what they are doing.

13 so, common to all safety assessments, and as
14 usual, I have constructed a list for you. We have talked
15 about things, and I don't mean to say they are obvious
16 because they are not, but we were told about them yesterday,
17 so we all still have them in short-term memory at least.

18 Purity, identity, what are the excipients,
19 capacity for gene transfer and genetic changes, potential
20 for deleterious side effects on the immune or the
21 inflammatory systems, effects within particularly sensitive
22 subpopulations of individuals. People have mentioned the
23 immunosuppressed.

24 We have discussed a lack of apparent data dealing
25 with people who have allergies, that there is data, but it

1 doesn't suggest anything, and the question of toxicity in
2 the general sense.

3 So, we are talking about the science that the FDA
4 needs to think about with respect to safety assessments.
5 So, in addition to or the things that I mentioned, perhaps
6 we could open the floor now and discuss safety assessments
7 of probiotics.

8 Dr. Montville.

9 DR. MONTVILLE: I would just like to ask how the
10 GRAS status of lactic acid bacteria impacts on this, because
11 I think most of us and people in industry have been working
12 on the assumption that if it is a lactic, it's a GRAS, the
13 safety is established. From everything we heard yesterday,
14 and all the studies and anecdotal evidence, there has never
15 been any adverse effects reported.

16 Is it really necessary to consider the safety of
17 the organism kind of per se without the little specialized
18 things of gene transfer, and blah, blah, blah, blah, blah,
19 blah? That was technical blah, blah, blah, blah, blah,
20 blah.

21 DR. BENEDICT: And there were six of them. Dr.
22 Yetley, please.

23 DR. YETLEY: I will try to clarify. GRAS status
24 is always for an intended use, and historically, the
25 intended use for these has been for a food effect and what

1 you are now seeing, of course, is that their intended use is
2 for a physiological effect on consumer rather than food.

3 So, to the extent that that would change the
4 parameters that were considered, the uses, the exposures,
5 that type of thing, I think then you have to start bringing
6 those into consideration.

7 The other issue is that dietary supplements, of
8 course, are exempted from the food additive rules, which
9 includes GRAS, and you also have, as you heard yesterday,
10 increasing interest in using these in infant formulas, and
11 so that is likely to be an intended use that had not been
12 considered originally, so the answer is sort of yes and no.

13 DR. BENEDICT: In addition to that, let's also
14 consider the 4- or 500 or so species we heard about that we
15 don't know anything about yet, many of which are anaerobic,
16 of course, and can't be cultured yet, and so we want to be
17 inclusive, I think, to think of as many things for FDA as we
18 possibly can.

19 --
20 Dr. Hotchkiss.

21 DR. HOTCHKISS: I just didn't hear you say
22 infectivity on your list that ought to be a consideration.

23 DR. BENEDICT: Thank you. Infectivity. Crank it
24 up. What safety considerations do you want to think about?
25 What about excipients? We hear that there are organisms
there for a purpose. We hear that there are organisms that

1 are just there. We heard yesterday that folks will load up
2 on something to give you a high dose of viable organisms,
3 and it may not be the functional organism that you are
4 interested in, just to say you have a high dose.

5 We heard that there are excipients in terms of
6 things to keep the pH in appropriate place, things to keep
7 the organisms either dispersed or together. Do we want to
8 know? I mean maybe it's obvious. How detailed do we want
9 to know in terms of safety what these things are?

10 Dr. Hotchkiss, thank you so much.

11 DR. HOTCHKISS: I will break the ice. That is an
12 issue because I heard yesterday in some cases the organisms
13 either by filtration or centrifugation or other process are
14 isolated as what I presume are relatively pure organisms.
15 In other cases, the organism and the media in which it is
16 produced are concentrated by freeze drying or whatever, so
17 that the ingested material contains the organism, but also
18 the medium in which it was grown.

19 So, those kinds of issues have to be considered in
20 any safety assessment. Are we talking about organisms,
21 organisms in food? Are we talking about the milieu that
22 they are grown in, and the purity of that culture, and a lot
23 of other issues?

24 DR. BENEDICT: Dr. Clydesdale.

25 DR. CLYDESDALE: I would just second that. There

1 aren't too many food grade media, so I think anything that
2 was grown in a food, and was then dried or desiccated or
3 freeze-dried would be fine, but if it is grown in microbial
4 media--which I haven't eaten for some time--I think that we
5 would have questions about that if that was part of the
6 excipients.

7 DR. BENEDICT: Absolutely, and one of the things
8 we heard yesterday was that sometimes things are grown in
9 milk products, and certainly freeze drying milk products can
10 cause difficulties down the line for those who have
11 difficulties with milk products.

12 Well, that was quick. Safety issues that have to
13 be considered by FDA.

14 Yes. Dr. Cohen.

15 DR. COHEN: Where would the issue of history of
16 usefulness--is that a factor that is considered under
17 safety, since some of these products obviously have very
18 long histories of use?

19 DR. BENEDICT: A fine question. It will be in the
20 transcript.

21 Dr. Fukagawa.

22 DR. FUKAGAWA: Would these products be required to
23 present information with respect to dose-response effects in
24 a group of healthy individuals?

25 DR. BENEDICT: Is this in the topic of safety?

1 DR. FUKAGAWA: In the topic of safety.

2 DR. BENEDICT: Or in the topic of efficacy?

3 DR. FUKAGAWA: I am sorry?

4 DR. BENEDICT: Is this efficacy or safety?

5 DR. FUKAGAWA: Safety also. For example, if you
6 consume, you know, five instead of the recommended three.

7 DR. BENEDICT: So, that would be should they be
a required to look at potential--poorly chosen word--toxic
9 effects of overdose.

10 DR. FUKAGAWA: Right. That was just a question.

11 DR. BENEDICT: No, it's a fine question, though.
12 It is something FDA will deal with.

13 What about do you have comments on whether this
14 kind of subset, toxicity, as extended to specific
15 populations of folks, immunosuppressed, infants, allergic
16 individuals, other hypersensitivities, do we think FDA needs
17 to deal with this on this basis, if something is intended
18 for the general populace, do they want to make
19 recommendations about if you are immunosuppressed, don't do
20 this? Dr. Clydesdale.

21 DR. CLYDESDALE: Well, I think allergenic
22 reactions or lactose intolerance are the kind of thing that
23 we would see on any food ingredient, I mean requires
24 labeling as it does on any food. Other than that, I don't
25 think we should require more than what a normal food

1 requires in terms of those kind of reactions.

2 If you have something that is much more serious
3 than that, then, it has to be dealt with, shouldn't be
4 eaten.

5 DR. BENEDICT: Dr. Sigman-Grant.

6 DR. SIGMAN-GRANT: Again, because one of the
7 subpopulations that has been mentioned is infants, where
8 infant formula for the first six months is the sole source
9 of nutrition, and if it is intended for that use, that
10 safety needs to be well established because this isn't a
11 normal--even though it is in breast milk, et cetera, et
12 cetera, it isn't normal for that product to have contained
13 it.

14 DR. BENEDICT: So, let's try to elicit a few more
15 creative thoughts about safety if we go to 2(a) and 2(b), so
16 the question we are asked is: What approaches and methods
17 are available to evaluate the various safety concerns that
18 have been raised?

19 --
20 Some of the straightforward things are tox in
21 animals. We heard that some organisms don't do the same
22 thing in some animals as they do in humans, cell culture
23 models or things like cell binding, cell culture models for
24 cytotoxicity, directly induced cytotoxicity.

25 Then, we heard a lot of very nice discussion about
the molecular approaches to make sure that the organism that

1 you are putting in is the organism you think you are putting
2 in, and I will list them: RFLP with pulse field gels, PCR,
3 the gradient gels with PCR products, FISH, and in situ PCR,
4 although we didn't discuss it, I would assume is a
5 reasonable approach to certain subsets of things.

6 So, those are the methods that we were given, and
7 are there additional methods that we could use, or can you
8 think of methods that we don't have that FDA might want to
9 support the development of? So, let's try to think of
10 everything we can think of to help with safety in terms of
11 methodology.

12 Dr. Clydesdale.

13 DR. CLYDESDALE: I am not going to help with that
14 question, but I did have another one. The comments you just
15 raised and the list you just gave is not going to be
16 applicable to common food substances.

17 DR. BENEDICT: In what context?

18 DR. CLYDESDALE: All the safety issues that you
19 just raised would not be, for instance, needed to be done
20 with yogurt.

21 DR. BENEDICT: Well, that is the question, isn't
22 it? Not with yogurt because we have used yogurt for a long
23 time.

24 DR. CLYDESDALE: Exactly.

25 DR. BENEDICT: But if someone changes the organism

1 in yogurt or makes a supplement of another organism in
2 yogurt, would you have to do it then? Suppose you use
3 yogurt as a vehicle to deliver an organism to help with
4 something else.

5 DR. CLYDESDALE: But if you are using yogurt as
6 yogurt has been made for thousands of years, then, I don't
7 think we have to go through the list that you have just
8 described.

9 DR. BENEDICT: I do concur.

10 DR. CLYDESDALE: So, any food product that has a
11 long history of use and is being made in the same way it has
12 always been made, we don't have to be concerned with safety.
13 There is a presumption of safety with those products.

14 DR. BENEDICT: Yes.

15 DR. CLYDESDALE: So, we are talking now about kind
16 of extracting and concentrating and changing strains, and
17 all of that kind of stuff, more in the supplement arena than
18 in the food arena unless you make changes within the food.

19 DR. BENEDICT: Yes.

20 DR. CLYDESDALE: Unless you make changes within
21 the food.

22 DR. BENEDICT: As long as the historical product
23 has been maintained, I think that it is not something we
24 have to deal with, but as we all know from the way people
25 approach things, stuff will happen.

1 DR. SIGMAN-GRANT: Well, coming back to the
2 measurement issues, and I will keep hitting the infants and
3 the children because that is the population I am most
4 concerned about, I think if you are going to assure safety,
5 you are going to have to either look at some things that
6 have been done in other countries as far as growth and
7 development of infants to which these probiotics have been
8 added to traditional products.

9 I mean that is a different kind of measurement,
10 but it certainly would be indicative of the safety of use.

11 DR. BENEDICT: This is the Center for Food Safety
12 and Applied Nutrition, so we are supposed to think safety
13 here. So, thank you for that.

14 Aren't you worried about anything? Do you want
15 your grandchildren to ingest something, and you didn't think
16 of a way to help them? Dr. Cohen.

17 DR. COHEN: Well, I think one of the areas is the
18 issue of surveillance for adverse effects, and I think there
19 exists and there is continuing efforts to improve the
20 efforts to detect things that are unanticipated either
21 because of changes in production, populations, a lot of
22 these things that we can't predict.

23 So, I always like to perceive the concept of
24 surveillance in a concept of safety, whether you are talking
25 about something that is a formal postmarket surveillance

1 that a company conducts or general public health
2 surveillance to detect an incidence of something that is
3 greater than would be expected.

4 DR. BENEDICT: Thank you.

5 Dr. Clydesdale.

6 DR. CLYDESDALE: I would assume that when there
7 were safety questions, that these would undergo, not only
8 that long and very good list which really reassures me about
9 my grandchildren, that you gave, but they would also undergo
10 the typical test of safety with no effect levels that food
11 additives go through, which we currently have.

12 So, they would go through that list, and then if
13 there were specific concerns, such as Madeleine has raised,
14 or that you have raised, and there are a bevy of other
15 modern tools of biology that we can use to check those
16 things out.

17 DR. BENEDICT: I agree and what I guess FDA is
18 asking us is are there things that haven't been mentioned
19 that we haven't thought about, that we could add to the list
20 that FDA could consider or consider developing in the
21 future. It is trying to encourage folks to think about
22 maybe things that don't exist.

23 DR. CLYDESDALE: I think some of those things
24 would only become apparent as we became aware of what
25 organism or what strain was being added, and how strange it

1 was and how new it was and what kind of potential it had,
2 and I think that some of the tests might become more
3 apparent as we saw what was added, and a declaration should
4 always be made prior to someone--I mean if they are adding
5 it, they should let people know, and they should check it
6 out if it's new.

7 DR. BENEDICT: Dr. Sanders.

8 DR. SANDERS: What I was going to say is that
9 regarding safety, I think the current approach with
10 companies that are producing defined strains of probiotics
11 for applications in foods and supplements, as well as
12 biotherapeutics, has been a focus on evaluation of the
13 safety of the strain, and some of those toxicology tests,
14 the presence of antibiotic resistance, and if antibiotic
15 resistance is present, they evaluate whether or not there is
16 transmissible or transferrable genes that are associated
17 with those antibiotic resistances, and those types of tests
18 are done on the strain, but they are not really done on the
19 final product that those strains ultimately end up in, and,
20 the idea being that if you can document the safety of the
21 strain at very high levels in these sensitive models, then,
22 if you use that strain at lower levels or at lower doses
23 than was in the public, you have a reasonable margin of
24 safety.

25 DR. BENEDICT: Thank you.

1 Dr. Montville.

2 DR. MONTVILLE: Essentially, we are supposed to be
3 thinking out to the future. What about the safety of
4 genetically modified organisms? If we get to know the
5 mechanisms and we know that they are associated with a
6 specific gene in some weird organism that is hard to grow in
7 food, and it is on a plasmid, shoot it into Strep
8 thermophilus. It's food grade, food grade ta-da-ta-da.

9 Do we have to worry about that?

10 DR. BENEDICT: A fine point.

11 The last thing that I didn't mention was a comment
12 I guess that I made yesterday about expression of genes
13 using the ray technology to determine that actually the
14 organism does what you think it ought to do by finding out
15 that it, in fact, expresses the relevant RNA. Just to have
16 it on the list.

17 Dr. Buchanan.

18 DR. BUCHANAN: I would like to sort of backtrack a
19 minute. Tom asked a series of questions, and I didn't hear
20 an answer, and I am very much interested in hearing the
21 answer or opinions or comments or further exploring where
22 you were going with this, and what the commentary of the
23 rest of the committee members are.

24 DR. BENEDICT: Thank you. I didn't see even
25" raised eyebrows around the table.

1 Dr. O'Sullivan, did you have a response to Dr.
2 Montville?

3 DR. O'SULLIVAN: Yes. I don't think that should be
4 an issue because if you genetically modify something, you
5 change it. It is now a new strain, it has got a new
6 biography, and essentially, the approval of strains should
7 be on a case-by-case basis.

8 I mean whatever you do to it, you change it, so if
9 you put in a new characteristic to it, then, essentially,
10 it's a new strain, so then that particular strain would have
11 to be approved separately based on the new characteristics
12 that it has got. So, you can't put it in a general blanket,
13 I don't think.

14 DR. BENEDICT: Dr. Hotchkiss.

15 DR. HOTCHKISS: I probably won't be able to go out
16 late at night after making this statement, but it seems to
17 me if this scenario of Dr. Montville went through FDA's
18 current procedures and assessment process for that organism,
19 are adequate to ensure safety of that, at least as we know
20 it right now, it would become a genetically modified thing.
21 It seems to me it would fall under a rubric that FDA
22 published several years ago, and it seems to be quite
23 adequate to me.

24 DR. BENEDICT: I see nodding of heads.

25 Yes, Dr. Clemens.

1 DR. CLEMENS: Thanks very much for the comment,
2 Dr. Montville. There was an action article in Science
3 September 8th regarding GMO organism production of
4 medicinals and vaccines, for example, and it seems to me
5 that that really is a drug characteristic, and not purview
6 to actually a charge of this particular committee, but
7 certainly should be considered by the agency.

8 DR. BENEDICT: So, just to summarize, it would
9 seem that people are nodding their heads to the thought that
10 if it's genetically engineered, its species and perhaps its
11 strain characteristics change, it has to be reevaluated, and
12 that the mechanisms in place for evaluation of any strain
13 might take care of the problem.

14 Please feel free to object to anything I say.

15 On your list, we are now at are there any
16 additional scientific factors that are relevant for safety
17 in: special populations--we have sort of talked about that
18 a little bit; novel organisms, this, we have addressed
19 somewhat; novel uses, and food matrices.

20 Why don't we discuss them just at random. I hope
21 there will be discussion. Novel uses, we haven't really
22 thought about yet much, and food matrices.

23 So, what are our comments here for helping FDA
24 deal scientifically with these questions?

25 Dr. Clydesdale.

1 DR. CLYDESDALE: I think in the food matrices,
2 there is going to have to be some care taken as to whether a
3 food matrices is designed to be a carrier only of the
4 microorganism or whether it is designed as yogurt is, for
5 instance, or for another product to allow that product to
6 grow in a specific manner, and if it simply just a matrix to
7 hold the microorganism, I think then we will have to look at
8 it a little differently than we do the others, and that can
9 be decided at a later time, but certainly there should be a
10 differentiation between those two.

11 DR. BENEDICT: Thank you.

12 Dr. Russell.

13 DR. RUSSELL: A question would be does the food
14 matrix change the ability to get the viable organism to the
15 site of interest, either to benefit or possibly harm it. I
16 mean it seems to me that again if we are talking about
17 viable organisms, to begin with, the idea is the viable
18 organisms are going to get to the point of interest, and if
19 that matrix can affect that either positively or negatively,
20 that should be ascertained.

21 DR. BENEDICT: Dr. Sigman-Grant.

22 DR. SIGMAN-GRANT: Pass.

23 DR. BENEDICT: What about novel uses, is there
24 anything with respect to safety other than the obvious if
25 someone comes to you with a new use completely unforeseen?

1 I can't foresee them at the moment, but I am sure there will
2 be some. Is there anything we haven't thought about? To
3 me, it is fairly straightforward, but then I am the least
4 knowledgeable about this kind of thing.

5 Dr. Fukagawa.

6 DR. FUKAGAWA: I would think a potential novel use
7 would be that organisms could be selected that might enhance
8 your ability to metabolize and utilize specific nutrients,
9 which then have a whole body effect, such as in the elderly,
10 one of the big things that they all look for are things to
11 maintain lean body mass or to decrease fat mass, and whether
12 or not that would be something that one might consider in
13 the future in terms of altering MOU, such that you can
14 selectively absorb specific nutrients, which may then
15 enhance performance or growth or whatever.

16 DR. BENEDICT: So if I might just continue to
17 interpret that, you would suggest that in doing so, you
18 might then enhance uptake of things you don't want.

19 DR. FUKAGAWA: Perhaps, correct.

20 DR. BENEDICT: And cause a negative effect
21 physiologically.

22 DR. FUKAGAWA: Right. You know, if you say you
23 should enhance the absorption of a specific amino acid that
24 may end up being a precursor for a neurotransmitter that
25 might have an interaction with the drugs that you are on for

1 your hypertension or something, you know, along those lines,
2 which gets very complicated and is probably beyond what I
3 would anticipate.

4 DR. BENEDICT: I think that is going to be a very
5 reasonable question.

6 Dr. Clydesdale.

7 DR. CLYDESDALE: Just continuing, I think in the
8 future, you know, as we understand more about the genome of
9 the bug, we will be able to sort of ask people how they want
10 to feel and give them the microorganism, and I think that
11 whatever is produced in the gut will have to be carefully
12 looked at as to exactly what it does.

13 Almost any protein you want, you will be able to
14 produce, so in the future, that is going to have to be
15 looked at very, very carefully. Also, the mode of intake.
16 I guess the ingestion is what we are sticking to, but there
17 are some interesting people out there. I can remember
18 people sniffing B-12 not too many years ago.

19 DR. BENEDICT: Oh, my.

20 Dr. Russell.

21 DR. RUSSELL: There are several links between
22 bowel disease and arthritis, and I could possibly see,
23 although I don't see it now if this turns out to be
24 something for inflammatory bowel disease, it may also turn
25 out to be beneficial in some indirect way to rheumatoid

1 iseases, a totally novel use possibly down the way.

2 DR. BENEDICT: Dr. O'Sullivan.

3 DR. O'SULLIVAN: Obviously, I think it is very
4 ertinent to try and foresee new uses and potential problems
5 hat can occur because I mean probiotics has a very positive
6 onnotation to it, and if someone dies, well, then
7 ssentially, the whole thing falls apart.

8 One thing that struck me was the use of probiotics
9 for wound applications, and that probably needs to be more
10 arefully defined because there is lots of different classes
11 f wounds. I am not a medical doctor, but I do know there
12 s lots of different classes of wounds, and there is a very
13 igh chance of an organism getting into your bloodstream and
14 ausing a serious infection via that particular application,
15 o that really has to be much more carefully defined than
16 simply ingesting, because you don't want people to die.

17 DR. BENEDICT: Dr. Clydesdale.

18 DR. CLYDESDALE: Rather than physiological new
19 uses, too, there may be new delivery uses, and that sort of
20 interacts with the food matrices, and I think that we would
21 nave to be a little careful on that if we started to use,
22 for instance, candies to deliver viable microorganisms.
23 There might be a chance for dosage levels that are very high
24 in young children or, you know, there could be delivery
25 mechanisms that we are not thinking of now, and that we will

1 have to think about if they occur, in terms of how much they
2 are delivering and chance of abuse, and that sort of thing.

3 DR. BENEDICT: Dr. Hotchkiss.

4 DR. HOTCHKISS: It seems to me obvious this is an
5 issue that FDA will have to consider on a case-by-case
6 basis. So, the uses or application of any particular
7 organism will have to be defined by anyone seeking FDA
8 approval or bringing this to FDA, and FDA will have to take
9 it on a case-by-case basis.

10 In some cases, new uses may prove to be
11 beneficial, and therefore FDA will have to be prepared to
12 expand its approval of uses. In some cases, some of the
13 novel uses may be less desirable, and FDA will have to be in
14 the position of denying those kinds of uses.

15 DR. BENEDICT: Dr. Fukagawa.

16 DR. FUKAGAWA: Just thinking about the distinction
17 between its use for wound, sort of promoting wound healing,
18 in some ways our consideration for a denuded gut or an
19 inflamed gut is also a wound. So, in some ways perhaps the
20 loss of barrier is a wound in and of itself, so the
21 recommendation of the use of these probiotics is not really
22 as far-fetched as an infected wound, because I had a
23 surgical procedure.

24 DR. BENEDICT: I guess we could expand that
25 comment to bowel resections and other things that would be

1ounds. Is that true? I am asking.

2 DR. FUKAGAWA: Well, I suppose at the site of--

3 DR. BENEDICT: It is not a disease anymore.

4 DR. FUKAGAWA: It is not a disease.

5 DR. BENEDICT: It's just an injury. Is that true?

6 DR. RUSSELL: You mean for healing?

7 DR. BENEDICT: We have sort of excluded wound

8 ealing as--

9 DR. RUSSELL: I think they were talking about open
10 rounds yesterday. I mean that is what the pictures were of,
11 and burns, and denuded GI tract would be more -- I mean I
12 suppose it could be looked at.

13 DR. FUKAGAWA: I was just thinking of a broad
14 definition of wound in the sense of denuded GI tract,
15 similar to burns.

16 DR. RUSSELL: Sure, weeping of protein and loss of
17 wtrients.

18 DR. FUKAGAWA: Right, and loss of barrier.

19 DR. BENEDICT: Dr. Buchanan.

20 DR. BUCHANAN: Again, just to help focus the
21 discussion, one of the things that we do is that we provide
22 guidance to industry, who are developing these kinds of
23 products, about the types of data that they should be
24 collecting and the types of things that they should be
25 considering in terms of safety assessments before the

1 product ever comes to us.

2 So, one of the areas that we are particularly
3 looking for here is what kind of guidance should we be
4 providing them in terms of areas that should be considered
5 for new types of products.

6 So, for example, are there any potential or any
7 concerns about probiotic drug interactions or probiotic
8 nutrition interactions, is there any potential for the
9 consumption of these products to lead to the masking of the
10 diagnosis of a disease state, any of these types of things
11 that we may want to, possibly on a case-by-case basis,
12 possibly just as general guidance, say if you have the
13 following potential, these are the kinds of data that you
14 should be gathering before you come and put it out on the
15 marketplace or request that it go out.

16 So, again, some of them are maybe potentially just
17 far-fetched of the items that I just gave, but any kind of
18 scientifically based opinions on what is important for us to
19 be communicating to the people that are putting these
20 products out would be very helpful.

21 DR. BENEDICT: And maybe we should just add to
22 that list, Dr. Fukagawa's suggestion of effects on drugs
23 that are already being taken already on board, if we change
24 the physiology, how does that change drug metabolism and
25 dosage and other interactions.

1 So, with that very effective spur to our
2 intellect, what sorts of scientific things do we want the
3 FDA to think about?

4 Dr. Sigman-Grant.

5 DR. SIGMAN-GRANT: I guess this is another place
6 to put in if we are talking about infant and infant foods,
7 there is obviously growth and development as an issue.

8 DR. BENEDICT: Are you comfortable that Dr.
9 Buchanan has said FDA should look at influences ,of one
10 probiotic on another? He didn't say that, he asked you your
11 opinion. That seems like it is logical. I am trying to get
12 somebody to say something here. Dr. Fukagawa will always
13 say something.

14 DR. FUKAGAWA: Well, I think we can always ask
15 individuals if it is known, what products, metabolic
16 products or gene products might be released into the gut,
17 and then absorbed, that would then have potential
18 interactions and effects.

19 DR. BENEDICT: How would we measure physiological
20 effects, sort of unforeseen physiological effects?

21 DR. FUKAGAWA: Well, .I would presume, for example,,
22 the tripeptides that were reported to have blood pressure
23 lowering effect and antihypertensive effect. One could look
24 at changes in blood pressure obviously or an interaction
25 with their already prescribed medications and whether or not

1 there is synergism or antagonism under certain conditions.

2 DR. BENEDICT: Dr. Clydesdale.

3 DR. CLYDESDALE: However, I don't think we need
4 warning labels that say people shouldn't eat yogurt cheese
5 and other foods at the same time. So, I think some care
6 must be taken that these recommendations pass the giggle
7 factor. I don't think we need that, but certainly if
8 specific strains are isolated and something new is
9 introduced, then, clearly, we have to look at that. But
10 again I think there is a separation, there are certainly
11 different levels of what we are talking about.

12 DR. BENEDICT: Dr. Clemens.

13 DR. CLEMENS: Dr. Clydesdale, I appreciate your
14 comment on the giggle factor because obviously those run in
15 medications, we have immunoinhibitors, so we know there
16 could be a hypertensive crisis, watch for tyramine
17 production by some of these organisms is a possibility.

18 I would raise the question that Dr. Fukagawa
19 raised, that it is possible that some of these organs could
20 be used to develop hydrolysates for the future, and we are
21 now talking about hydrolyzing into peptides of protein
22 hydrolysates, for example, and are those peptides going to
23 have some type of biological, physiological response, so it
24 is more than just the organism. We look at actually the end
25 product and delivery of that end product.

1 DR. BENEDICT: Dr. Sigman-Grant.

2 DR. SIGMAN-GRANT: I think in one of the papers
3 there was some mention of production of short-chain fatty
4 acids in the gut.

5 DR. BENEDICT: Yes.

6 DR. SIGMAN-GRANT: So; that might be something
7 else, the effect that might have.

8 DR. BENEDICT: So, all of these are excellent
9 questions. The actual measurement and the actual prediction
10 of drug interactions and other things is something that
11 happens I guess like everything else does. You
12 unfortunately wait until there is an adverse effect, I
13 guess, for drug interactions and for things that are
14 unpredictable with subsets of the population unless somebody
15 wants to correct that statement.

16 Dr. Sanders, do you have a comment?

17 DR. SANDERS: I was just going to ask a question
18 about how--I guess I don't see these particular questions
19 unique to the area of probiotics. It seems that any dietary
20 supplement, we would have to ask the same questions on, and
21 is there something to be learned in terms of the FDA
22 approach to the other supplements that could answer these
23 questions, as well, or is there the same--I mean is there an
24 established guideline right now for how we could talk about
25 how Echinacea might affect physiology for drug interactions

1 or any of the other types of herbal supplements?

2 DR. BENEDICT: Let me return that question to you.
3 It is I would think an easier concept if you are putting a
4 defined substance into an individual and if you are putting
5 an organism into an individual, and the metabolism of that
6 organism might change once it gets there, and prediction of
7 what things that organism does once it is in the gut can or
8 cannot be made based on how defined the organism is.

9 so what we are talking about, I think, might be
10 unexpected metabolic products or unexpected growth
11 characteristics or competitive characteristics that might
12 have a deleterious effect that, in fact, we can't even see.

13 Does that kind of help modulate your thoughts?

14 DR. SANDERS: What you are saying is there might
15 be something specific to a microorganism being able to grow
16 in situ that would have a special effect, but I guess I
17 don't see. The question is really exceptionally different,
18 the mode of action might be different, but in terms of
19 understanding physiological effects, I mean it's a double-
20 edged sword in some respects because the people who are
21 excited about the development of probiotics for their
22 positive effect on physiology have to acknowledge that, in
23 fact, the exciting part of that is that there is a
24 physiological effect, and then the reverse side of that is,
25 okay, what now, if there is a definite physiological effect,

1 is there a chance then that there could be these
2 interactions or other types of implications based on the
3 fact there is an effect.

4 That is a tough question. I think within the
5 context of use of these organisms, though, I think we have
6 to realize that, especially for the use of the lactics, for
7 the most part, you know, there is just such a huge
8 documented history of safe use that that has to carry quite
9 a bit of weight, it would seem, in terms of our concern
10 about some of these issues.

11 DR. BENEDICT: Oh, certainly.

12 DR. SANDERS: And that it is not until maybe
13 something very specific, like the cloning of some gene from
14 an exogenous source or something like that comes into play
15 that maybe we really have to look very carefully at some of
16 those issues.

17 DR. BENEDICT: But then FDA will have to deal with
18 that, of course, which is sort of why we want to push this
19 as far as we can. If this is our only meeting, but our only
20 chance to give FDA guidance, I think we have to try to think
21 of as many unpredictable things as we can.

22 We all accept Dr. Clydesdale's giggle factor and
23 the fact that lactics in yogurt and all of the other
24 substances have been around for several thousand years,' in
-25 fact.

1 Dr. Russell.

2 DR. RUSSELL: Just a comment. I think I would
3 agree with you, Dr. Sanders, about the analogy between some
4 of the concerns for supplements particularly the undefined
5 supplements of botanicals and herbals that I think FDA is
6 struggling with in a very big way, and perhaps some of the
7 same issues that are unpredictable because the chemicals are
8 very undefined, don't even know what the active substances
9 are, let alone mechanisms.

10 So, I think some of the problems that we are
11 talking about here or trying to predict are actually quite
12 analogous to some of the supplement issues. Maybe we can
13 learn something from each other.

14 DR. BENEDICT: Dr. Sigman-Grant.

15 DR. SIGMAN-GRANT: Just a clarification. This
16 actually deals with a definition. When we said "viable," do
17 we mean viable all the way down the GI tract? I thought
18 there was something mentioned yesterday whether it was could
19 pass the acid and then go through the bile.

20 Is that implied in the definition, and if it
21 isn't, does that create another safety issue if it indeed
22 doesn't get down to, say, the lower bowel of the gut where
23 it is supposed to be effective, but in some way due to some
24 injury or something gets into the systemic? I am not saying
25 this very clearly, but is that an implied thing in the

1 definition or does this then become a safety issue or
2 efficacy, I am not sure. I am asking for clarification.

3 DR. BENEDICT: And I am the least likely person to
4 clarify it, but it would seem to me that if we have said
5 that it has to go in as a viable organism, that is what we
6 have suggested, then, what happens after that, as you have
7 said, will either be a safety issue, an efficacy issue, a
8 localization issue, but we have just suggested that it has
9 to be living and breathing at the time it hits the buccal
10 cavity.

11 Dr. Hotchkiss.

12 DR. HOTCHKISS: That is what I meant when I raised
13 the issue of viability this morning.

14 DR. BENEDICT: Dr. Montville.

15 DR. MONTVILLE: I just question whether it has to
16 be living and breathing because if you have a freeze-dried
17 culture, it is certainly resuscitatable and could be viable
18 once it hits the GI tract, but then again it could just be a
19 load of enzymes doing its stuff.

20 DR. BENEDICT: Dr. Hotchkiss.

21 DR. HOTCHKISS: The issue of resuscitation was
22 mentioned this morning, and I would consider that viable.

23 DR. BENEDICT: And so it is possible the Chair
24 misspoke with the phrase "living and breathing."

25 Dr. Buchanan.

1 DR. BUCHANAN: In the discussion of resuscitation
2 this morning, there was no mention about resuscitation
3 within the intestinal tract. It was only resuscitation.
4 So, that point was not made clear, and resuscitation within
5 a test tube can be substantially different than
6 resuscitation in the small intestine.

7 DR. BENEDICT: Very fine point.

8 Dr. Hotchkiss.

9 DR. HOTCHKISS: I would consider that an issue of
10 efficacy if it is resuscitated in the gut and then there is
11 an issue of efficacy, and I think that is a key issue, but a
12 little bit different.

13 DR. BENEDICT: Let me ask, if it's a non-
14 resuscitatable organism in the gut, does that put in a
15 different category, one of dietary supplement, food
16 additive, and no longer a probiotic, do we need to
17 distinguish probiotic from dietary supplement, is it
18 something that I have forgotten which of our speakers used
19 this term, a frug between food and drug? Does this cause us
20 more trouble that it may even be worth?

21 That's not true, but if we are going to revisit
22 this before the break, then, let's think about what it is
23 going to be and where it could be resuscitated.

24 Dr. Clydesdale.

25 DR. CLYDESDALE: I think to call it a probiotic,

1 it would have to be resuscitatable in the gut. If it
2 wasn't, it doesn't fall within a probiotic, I mean--

3 DR. BENEDICT: It's a plant then.

4 Dr. O'Sullivan.

5 DR. O'SULLIVAN: The word "viable" means more than
6 just something living. It can be dormant. It means the
7 potential to reproduce. If it has lost all potential to
8 reproduce, well, then it's nonviable. That would be the
9 microbial definition.

10 The classic example would be an endospore. For
11 example, the bacillus spores that are used as commercial
12 probiotic products in Europe and Asia. You ingest a spore,
13 and that is completely dormant, but it has the potential.
14 There is no guarantee that it is going to germinate. So,
15 you cannot put that stipulation that it has to germinate,
16 but it has to have the potential, and then it's viable.

17 DR. BENEDICT:, Dr. Sanders.

18 DR. SANDERS: I agree with Dr. O'Sullivan. I
19 think that the important issue relative to the definition of
20 probiotic is that you are consuming a live microorganism,
21 and that is defined relative to laboratory techniques that
22 can prove viability or the ability to reproduce.

23 Once it hits your mouth, goes into your stomach
24 and then in through the intestine, a variety of things can
25 happen depending on the microbe, and the yogurt culture is

1 arguably the oldest "probiotic" organisms. When they hit
2 the stomach and the small intestine, for the most part die,
3 and they are not viable there, but they still can deliver
4 quite strong probiotic properties, the most documented of
5 which is the delivery of lactase to the small intestine for
6 people who can't digest lactose.

7 So, I would not want to see a definition include
8 viability based on the documentation that these organisms
9 aren't viable once they hit the intestine.

10 DR. BENEDICT: But the word "biotic"--

11 DR. SANDERS: Well, my opinion on that is that it
12 needs to be viable going in.

13 DR. BENEDICT: But we are discussing
14 resuscitatability.

15 DR. SANDERS: Again, my opinion is that that would
16 resuscitatability in vitro in the laboratory, but you need
17 to be able to document that the organism is viable as a part
18 of a food or as a part of the dietary supplement, and not
19 once it hits the gut. You would eliminate *Lactobacillus*
20 *ulgaricus* and *Streptococcus thermophilus* from the
21 definition if that is what you did.

22 DR. BENEDICT: Are they not viable when they hit
23 the mouth?

24 DR. SANDERS: They are bile-sensitive organisms,
25 and they did when they are exposed to bile. They don't have

1 the membrane structure to be resistant to that.

2 DR. BENEDICT: But when they hit the mouth?

3 DR. SANDERS: No, I am sorry, when they hit the
4 small intestine. What we were talking about before was in
5 resuscitatability in the intestine, and I am saying in my
6 opinion there is a problem with that distinction.

7 DR. CLYDESDALE: It does set up a bit of a
8 conundrum, though, depending on what the efficacy is based
9 on. If the efficacy is based on growth within the gut, and
10 you tell a consumer to take this and it is good for you if
11 it grows, but it may not, I think that maybe that's a
12 message that we don't want to deliver.

13 So, if the efficacy is due to something else
14 besides growing in the gut, then, that's a different story,
15 so the conundrum is whether it is viable or not and where it
16 is viable depends upon the claim that is being made and
17 whether it's efficacious. So, there may have to be more
18 than one definition.

19 DR. BENEDICT: Dr. Cohen.

20 DR. COHEN: One of the impressions from some of
21 the presentations was that antigenic stimulation may have an
22 adjuvant effect, so it seems to me that it could be a quite
23 reasonable claim that you would have some sort of immune
24 stimulation by consuming something that was not viable.

25 Whether it is not viable at the time it enters

1 your mouth, past the tonsil or the small intestine may not
2 be necessarily to what the particular claim is.

3 DR. BENEDICT: Dr. Hotchkiss.

4 DR. HOTCHKISS: It would seem to me that there are
5 two issues that are related that can be separated. One is
6 the issue of viability in terms of ability to be
7 resuscitated before consumption in a laboratory setting
a versus efficacy. If you define efficacy, which we haven't
9 really addressed, but if you come up with a definition of
10 efficacy, then, that is going to take care of, in my view,
11 whether it is viable in the gut or not viable in the gut,
12 which is really kind of a side issue, does it do something
13 positive, and it seems to be the issue.

14 The definition of probiotic is another issue of
15 what can you say this is a probiotic, and as I said this
16 morning, it seems to me to be viability or potential for
17 viability is important.

18 DR. BENEDICT: Dr. Clydesdale.

19 DR. CLYDESDALE: I have no argument with Joe's
20 comment, only then I would say if we are going to say it
21 doesn't matter whether it grows or not in the gut, then, why
22 does it have to be viable outside the body.'

23 DR. HOTCHKISS: Simply to separate it, to be
24 honest with you, separate it from thousands of other things
25 out there that are produced by fermentation, and so forth,

1 to give probiotic a definition that has some meaning. It
2 seems to me if you don't give it that viability meaning,
3 then, it's no different than thousands of other things that
4 are made by fermentation that ends up really being defined
5 compounds, and so forth.

6 DR. CLYDESDALE: But if you are talking about
7 delivering a health benefit, if there is a health benefit,
a and you can put it in a shelf-stable product where the
9 organism isn't viable, you may be able to reach more people
10 than if you had it in simply a refrigerated product in order
11 to keep it viable.

12 So, if the viability really doesn't matter inside
13 the gut, then, I don't understand why it is necessary to be
14 viable outside the gut. So, again, I am not sure that in my
15 mind I can separate the definition from its mode of action.

16 DR. BENEDICT: Are you recanting your earlier
17 statement that it should be resuscitatable?

18 DR. CLYDESDALE: Not at all. I am just saying I
19 think it is tied to mode of action because if we don't tie
20 it to mode of action, then, we can just say, you know, you
21 feed the RIP bug to anyone and let it do its work, if it
22 does that work.

23 DR. BENEDICT: Dr. Buchanan.

24 DR. BUCHANAN: I basically was going to ask the
25 same question as Dr. Clydesdale. It did not follow on a

1 logical sequence that if viability within the gut was not
2 important, why viability in the original product was
3 important, and since we have already worked something
4 through, I think we may have to go back and revisit the
5 original statement about the requirement for viability.

6 I also have to say we get back to a communications
7 issue here, like Dr. Montville talked about earlier. I
8 think if you went out to focus groups, and when you said
9 someone was feeding you a live culture, the expectation was
10 that somewhere it was going to continue to be live once it
11 had been ingested, but certainly anyone that could provide
12 more insight on that, it would be very helpful.

13 DR. BENEDICT: Dr. Russell.

14 DR. RUSSELL: As I understand it, none of these
15 organisms colonize and have prolonged viability in the GI
16 tract, so we are talking about matters of degree of
17 viability in the GI tract, and even yogurt organisms to some
18 degree, to a small degree, some of them are going to survive
19 for a short time.

20 So, I think we are talking about matters of degree
21 here, and I don't think we have to go back and re-form that
22 definition. The assumption is that at least some of them
23 are going to be viable in the GI tract. Maybe the yogurt
24 organisms have less viability, but some of them do survive
-25 for a while.

1 They have a short residence time as compared to
2 other organisms that will have a longer residence time, and
3 that it is important, if you are talking about changing more
4 bifidobacteria, and so forth, it is important that those
5 bacteria do, in fact, survive.

6 So, I think it is just a matter of sticking with
7 the definition, but realizing that none of these organisms
a are going to set up permanent residence.

9 DR. BENEDICT: Dr. Sanders.

10 DR. SANDERS: I would concur with that. I think
11 that is an excellent point, Dr. Russell, and I think that
12 even though with the example of the yogurt cultures they
13 might die when they hit the small intestine and exposure to
14 bile, and, of course, you are right, that is a matter of
15 degree. We all know that bacteria die and, you know,
16 logarithmic reductions, it is not all or nothing.

17 Again, I worry about the issue of trying to tie
18 intestinal tract resuscitation to this definition because
19 there seems to be some evidence, for example, that certain
20 yogurt bacteria may have an effect on decreasing
21 Helicobacter pylori in the stomach, and so viability may or
22 may not be required for that, we don't know, but again, if
23 they hit the stomach viable and they have an effect there,
24 but they can't be resuscitated in the intestine, I think
25 that that is their mode of action.

1 I think you run into difficulty with trying to
2 require this intestinal tract resuscitation because then
3 again you eliminate those types of effects, as well.

4 DR. BENEDICT: Dr. O'Sullivan.

5 DR. O'SULLIVAN: On the issue of it having to be
6 viable in the intestine or not, the probiotic concept, to
7 get away from rigid definitions, has evolved over the last
8 100 years, and it essentially has evolved to include more
9 things.

10 The concept includes competitive exclusion. For
11 competitive exclusion, you need viability. Just because the
12 available commercial isolates, which we have to a large
13 part, have lost that ability, does not mean that there is no
14 such thing as a probiotic organism without that ability.

15 If you look at any microbial habitat, you will get
16 good colonizers, and obviously colonizers which have lost,
17 or ex-colonizers, as it were, to have lost that ability.
18 So, if essentially you tried to limit it saying viability is
19 not important, well, you are excluding essentially what the
20 original concept of probiotics is, and probably is one of
21 the more relevant concepts of the actual definition.

22 In the case of the yogurt bacteria, the Strep
23 thermophilus and the Lactobacillus bulgaricus, they
24 essentially reach the small intestine and then essentially
25 they either just release their enzymes because of the

1 permeability of the bile or else they maintain some
2 viability for a period of time and produce more enzymes.
3 That is not clarified.

4 So, you cannot say that if get a yogurt and heat
5 treat it and kill it, you are going to have the exact same
6 effect. In fact, there are studies to say that that is not
7 the case. So, essentially, the issue of viability is an
8 important part of probiotic organisms.

9 I agree there are some specific cases where
10 basically just a **dead** cell acting as an antigen may not
11 require viability, but they are specific cases, but the
12 general concept of a probiotic I think has to include
13 viability.

14 DR. BENEDICT: Dr. Clemens.

15 DR. CLEMENS: I would appreciate comments by Ms.
16 Richardson and Dr. Buchanan relative to consumer
17 expectations. There is some really fine work conducted by
18 Dr. Chris Brun at UC Davis, indicated assessed expectation
19 by the local consumers, and, number one, the consumers
20 expected viability and expected that those organism would be
21 alive as they hit the GI tract; and, two, in their
22 expectations of potential benefits, there was some benefit
23 of digestion, particularly lactose digestion, and they
24 expected some benefit in terms of immune function, and they
25' liked the issue in terms of colds, but did not go beyond

1 that, so that is the expectation in terms of in the Davis
2 area of California.

3 DR. BENEDICT: What I would like to do is take 10
4 minutes, not the prescribed 15. We didn't resolve--we
5 resolved sort of in some people's minds viability when it
6 hits the mouth. We questioned resuscitatable when it hits
7 the system, viability before it hits the mouth, but less
8 important afterwards.

9 These are the questions that I don't really think
10 we have reached a consensus on. I am not sure we can, but
11 take the 10 minutes, if you don't mind, to think about that.
12 When we come back, we will have maybe five minutes to deal
1 3 with that, and then we will move on to the health benefits,
14 and perhaps some of this will begin to resolve itself as we
15 are discussing those things.

16 So, it is 10:31. At 10:41 we will start.

17 [Break.]

18 DR. BENEDICT: Dr. Yetley.

19 DR. YETLEY: Just to perhaps give some
20 clarification to some of the confusion that you had earlier,
21 I think particularly maybe in response to Mary Ellen asking
22 the question, don't we need to look at safety and efficacy
23 for all types of ingredients used in supplements or infant
24 formulas or foods, and the answer is yes, but what is
25 different about this is what we wanted to say, okay, given

1 the fact that FDA needs to assess safety and efficacy, what
2 is there specific about probiotics that we should be looking
3 at.

4 If somebody comes in to us and wants to add a
5 probiotic to a supplement or an infant formula or
6 conventional food, the one that has a long history of use or
7 one that is really novel, what is that checklist relative to
8 safety that we should use relative to a probiotic, does that
9 checklist need to be modified if it's a different organism,
10 if it's for a different population, if it has something else
11 that is unique, but what are the factors that we should be
12 asking questions about and what kinds of documentation or
13 consideration would we like to see relative to those
14 factors, and that is true for safety, that is true for
15 health benefit issues.

16 So, given a probiotic, what is our checklist when
17 someone comes in to us? It also has relevance not only to
18 the organism, to the food that is being added to, to the use
19 it is being put to, but also has relevance relative to
20 manufacturing process and controls to ensure safety, as well
21 as retention of any health effect.

22 So, I don't know whether that helps or not, but we
23 are really sort of looking for these checklists that would
24 be specific for probiotic use.

25 DR. BENEDICT: It does. It helps a great deal. I

1 am sort of processing whether we should spend three or four
2 minutes on this viability question, and then in the next
3 section, which is health effects, incorporate these
4 checklists that Dr. Yetley is asking for into a discussion
5 of health effects, and try to really do focus on the kinds
6 of things that FDA would want to ask.

7 So, why don't we just do what I just said. Before
8 we do that, let's just once more get a final set of opinions
9 delivered succinctly and rapidly on the topic of viability,
10 and let me just recap a couple of things.

11 We have talked about whether the organism should
12 be viable the minute it crosses the threshold of the body,
13 and viability is either the ability to grow, metabolize, and
14 divide at the point that it hits the mouth, or is the
15 ability to be resuscitatable somewhere in the gut, and we
16 ask whether that is necessary.

17 This is tied, of course, into the definition of
18 the word probiotic, and if we think FDA needs a category for
19 probiotics that is different from dietary supplements, then,
20 viability may be the thing that is important. I am
21 sensitive to the fact that industry would probably prefer it
22 go into the dietary supplement category. I am putting words
23 in people's mouths, and I don't mean to. Regulations are
24 different.

25 If a probiotic is the same thing as vitamin C, if

1 t is the same thing as a product of chemistry or
2 biotechnology that is put into the body, then, viability may
3 be totally nonrelevant. If, on the other hand, the
4 probiotic requires growth, requires to be viable at a
5 particular place in the gut, then, viability is important,
6 but it goes beyond what we think is crucial. It goes to the
7 point of where it ends up being regulated or how questions
8 end up being asked.

9 So, I will put one more thing to you. Suppose
10 someone engineers vaccinia virus, which is by most
11 virologists' terms not a viable organism until it hits the
12 gut and multiplies in an epithelial cell somewhere, is that
13 a probiotic because it is or isn't viable, but is
14 resuscitatable, whatever the word is.

15 I think that if we could just focus on whether it
16 is going to be in a category by itself, probiotic, or
17 whether it is going to be just another piece of a leaf or a
18 vitamin, then, that is a different thing, and FDA will
19 probably disagree with everything that I have said, but if
20 you think it needs to be a different category, then, you
21 almost have to say viability is an issue, and
22 resuscitatability is an issue, and if you think it is going
23 to be the standard dietary supplement, then, I don't think
24 viability is a factor.

25 So, I don't know how you want to deal with those

1 tatements, just focus your thoughts, but if we could
2 uickly just hear what people think from the committee,
3 et's say, then, we can move on to the question of benefits
4 nd health effects.

5 Dr. Hotchkiss.

6 DR. HOTCHKISS: I think two points. First, the
7 omments of Dr. O'Sullivan and Dr. Clemens bear repeating.
8 eople think they are viable. That is the world's
9 lefinition out there, and that is the way the thing is
10 oing, and I think that is important to keep in mind.

11 The second point is that if you don't have some
12 easure of viability or resuscitation, then, you put almost
13 eeverything in this category. For example, if I have a
14 fermentation process that produces a vitamin, and I then
15 kill off the fermentation, that the fermentation products
16 ave that vitamin, and I either isolate it or don't isolate
17 it. If you don't have the viability issue in there, then,
18 it seems to me that becomes a probiotic, and I don't think
19 that is anything that we want under that definition.

20 So, in order to differentiate this category of
21 potential health benefit from other things, viability is an
22 important factor in ability or resuscitation or potential in
23 that, but it seems to me a vitamin made by fermentation,
24 without the viability characteristic, becomes probiotic.

2 5

DR. BENEDICT: As does bourbon.

1 Dr. Clydesdale.

2 DR. CLYDESDALE: Joe, did you want to then say
3 that it has to be viable within the subject, within the
4 human?

5 DR. HOTCHKISS: No, for me that is not an issue,
6 because that issue falls under efficacy, and I think most,
7 maybe even all, probiotics, or a significant portion will be
8 viable within the target organism, the human, but that is
9 covered under a broader domain of it must be effective.

10 Granted, we should know the mechanism, and so
11 forth, but we have to have some effect. If that effect
12 comes by viability within the organism, fine. If it
13 doesn't, that's fine, too. In that case, in my view, it is
14 the outcome, the positive demonstratable benefit that is
15 important.

16 But in order to categorize these products as
17 something, viability seems to me to be important.

18 DR. CLYDESDALE: But what if I fed the vitamin A
19 and the dead organism, and a viable one ex vivo didn't come
20 alive within the human, what is the difference?

21 DR. HOTCHKISS: Well, that's my point. I don't
22 think we want to call a thing like that a probiotic.

23 DR. CLYDESDALE: No, but if it's ex vivo, it is
24 viable in a test tube, but once you eat it, it isn't viable
25 anymore, because it doesn't grow or duplicate. I don't

1 understand the difference between that and the vitamin A
2 example you gave, because neither one are going to reproduce
3 and grow--if, I am saying--if neither one reproduce and grow
4 within the human, I guess I don't understand the difference.

5 DR. HOTCHKISS: But you saying that the one with
6 vitamin A will grow outside of the human, but not in.

7 DR. CLYDESDALE: I don't understand the benefit of
8 something growing outside the human if it is not able to
9 grow inside the human.

10 DR. HOTCHKISS: I could conceive of examples where
11 that would be a benefit. For example, production of
12 lactase.

13 DR. CLYDESDALE: But don't consumers really
14 believe that these things will have some effect on their
15 intestinal flora or at least be alive for some period of
16 time, and some of their effects are due to being alive for
17 some period of time?

18 DR. HOTCHKISS: I assume they do, I don't really
19 know. I think they expect a positive health benefit. I
20 don't know if they expect them to grow inside them or not.

21 DR. BENEDICT: Dr. Cohen.

22 DR. COHEN: It sounds like we are talking about a
23 subset where essentially we are restricting the mechanism or
24 claim to an impact of a living organism. For example; the
25 comments made about competitive exclusion, you are really

1 talking about the impact of a living organism. When you
2 talk about a fermentation, you are talking about a dead.

3 so, it seems that in the definition of probiotic,
4 it is not just that it is a living organism, but that the
5 effect is mediated or the claim is mediated by the fact that
6 it's an interaction with a living organism.

7 DR. BENEDICT: Dr. Montville.

a DR. MONTVILLE: I have to disagree with you, Dr.
9 Cohen, because in the case of lactose intolerance, it's the
10 beta-galactosidase, and one could give the living organism
11 in yogurt, and they die, and release the beta-galactosidase
12 and has the biological effect.

13 You could have freeze-dried lactobacilli, they die
14 and have the effect, or you could take a little capsule of
15 beta-galactosidase and it gets down to the intestine and has
16 the same effect, but at some point I think one has to draw a
17 line, and to draw the line of viable, you know at the mouth,
1a this is as reasonable a place as any.

19 DR. COHEN: But, see, then I guess I have the
20 difficulty in then how do you separate the alcohol as a
21 fermentation product in the other example before, so that
22 you get back to lumpers and splitters in looking at things,
23 and is the issue to try to focus what we really mean by
24 probiotic very narrowly or more broadly.

25 DR. BENEDICT: Dr. Clemens.

1 DR. CLEMENS: I appreciate the questions on
2 probiotics in terms of viability. If we look
3 futuristically, and you had indicated that, Dr. Benedict,
4 that there are several studies out there, both in in vivo
5 and animal models and in human studies that are very
6 suggestive, perhaps not convincing, but very suggestive that
7 the viability is not necessarily required and that, in fact,
8 as some of the studies have shown, that heat killed through
9 traditional thermal processing, actually may well deactivate
10 the organism, but does not deactivate the effect.

11 DR. BENEDICT: So, we arrive at the same place we
12 started. So, here is what I think we should do. There is a
13 lot of very viable opinion in the record now on this topic.
14 I think since it has been suggested that the Food Advisory
15 Committee will be asked to comment on these things again,
16 perhaps we should leave it to the FDA to focus the question
17 on the basis of viability to something, after having thought
18 about everyone's suggestions and everyone's thoughts, focus
19 the thinking in a direction that we can address perhaps a
20 different perspective of viability in the definition of
21 probiotics.

22 I am not escaping-- I am escaping--but I am not
23 trying to escape on the basis of anything other than I think
24 we have said everything that can be said. and I think that
25 we are not achieving, what I don't see anyway is a

1 consensus, so perhaps if the Advisory Committee needs to
2 consider it a little longer at leisure at home, and FDA can
3 focus our thoughts at a later time, and this will enable us
4 to move forward in the agenda unless someone would like,
5 especially from the FAC, would like to raise an objection to
6 that hypothesis, or from FDA, if you want us to keep going
7 we will keep going.

a Dr. Sanders, do you have one last--

9 DR. SANDERS: I am stuck with the occurrence, an
10 hour ago, where you took a vote and it was a unanimous vote
11 that viability was an appropriate component of the
12 definition of probiotic, and even though I think the points
13 being raised are very legitimate, and it is very clear that
14 there are many physiologically active components out there
15 in the food supply, we have to decide, when we talk about
16 probiotics, what are we talking about.

17 If you want to administer fermentation end
18 products, great, it is just not, in my opinion, not a
19 probiotic, so maybe before you just shelve the issue, maybe
20 you could just take the vote again and see whether or not
21 there is, you know, even considering some of the very
22 important points that have been brought up, has the opinion
23 of the committee changed.

24 DR. BENEDICT: We can do that. The reason it was
25 resuscitated was the question by Dr. Buchanan about is it a

1 resuscitatable organism.

2 DR. SANDERS: Well, my understanding is the point
3 was is the resuscitation in the intestinal tract an
4 important component of viability.

5 DR. BENEDICT: Well, is resuscitation anywhere
6 inside the body an important component of using viability as
7 a definition.

a DR. SANDERS: I thought I heard that they were
9 specifically commenting on the intestinal tract, but
10 regardless, you could use that then, but maybe that doesn't
11 need to be even part of the concept of viability. Maybe you
12 don't have to define that. Maybe that is what can be left
13 for later is maybe a better understanding, but I think it's
14 an important point.

15 DR. BENEDICT: That was sort of my take, yes.

16 DR. SANDERS: Well, I think it is an important
17 point that in terms of moving forward, if we know what we
18 are talking about, are we talking about the delivery of
19 viable organisms or not.

20 DR. BENEDICT: But I think that was the--Dr.
21 Sigman-Grant?

22 DR. SIGMAN-GRANT: I think we were talking about
23 the resuscitability of freeze-dried organisms, so I think,
24 in essence, it was alive, like in yogurt, and/or freeze-
25 dried or something that could be activated in some way or

1 its components activated in some way in the GI tract.

2 DR. BENEDICT: So, revisiting with another vote,
3 if the committee would like to vote again, it's the
4 committee's decision. I honestly don't know that we are
5 achieving anything by continuing to machinate when what we
6 need is maybe a little more guidance or what FDA needs, they
7 have gotten, and it's their ultimate decision in the final
a analysis.

9 Dr. Yetley.

10 DR. YETLEY: It is your choice as to whether you
11 leave it and want us to think about it, or you continue to
12 discuss it. I think from our perspective, it's what is sort
13 of the common core for considering safety and efficacy
14 questions, is it that you have an organism alive or dead,
15 you the DNA or the cell wall or whatever it is, or is it
16 necessary that you start with a viable organism at least at
17 the point of ingestion.

18 so, what is it that sort of drives a common core
19 of safety-efficacy questions, what is that sort of minimum
20 unit?

21 DR. BENEDICT: A fine question.

22 Dr. O'Sullivan.

23 DR. O'SULLIVAN: Just a consideration. If the
24 word "nonviable" is included for specific purposes like, for
25 example, delivering lactase or other enzymes or proteins, is

1 to consider what happens when a bacteria dies. What
2 actually happens is, and you put it on the conditions under
3 which enzymatic activities can occur, it breaks down, so the
4 length of time it is nonviable becomes a real issue then.

5 So, like if you just heat-kill yogurt, and then
6 ingest it, you are not giving time for degradation events to
7 occur, like you will find the degradation enzymes are
8 generally the more resilient enzymes within an organism, and
9 they essentially chew up everything eventually.

10 So, that's a very important consideration. If you
11 include the word "nonviable" or "dead," you are opening up a
12 whole new issue, I think.

13 DR. BENEDICT: Thank you. I don't even have a
14 summary to offer you, but I think everyone is grateful for
15 all the comments. I don't want to put words in people's
16 mouths. I still hear the word viability as being important.

17 Yes, Dr. Clydesdale.

18 DR. CLYDESDALE: I am sorry, I would like to ask a
19 question of either Dr. O'Sullivan or Dr. Montville. If you
20 take an organism that is freeze-dried viable outside the
21 body, is that a better delivery vehicle from what you just
22 said of the enzymes and metabolic endproducts than taking an
23 enzyme that is not viable, that is dead, assuming neither
24 one of them resuscitates within the body?

25 'DR. MONTVILLE: Couldn't say.

1 DR. CLYDESDALE: You can't say.

2 DR. O'SULLIVAN: If you are using, if you are just
3 using a specific example of a freeze-dried enzyme--

4 DR. CLYDESDALE: Versus a heat-killed, a freeze-
5 dried microorganism versus a heat-killed microorganism.

6 DR. O'SULLIVAN: Well, then, it's not in a food.

7 DR. CLYDESDALE: No, that's right, not in a food.

a DR. O'SULLIVAN: Well, then, it's a capsule.

9 DR. CLYDESDALE: Right.

10 DR. O'SULLIVAN: Well, I am talking about in a
11 food, because in a food you have conditions in which enzymes
12 can work.

13 DR. BENEDICT: But we still come back to if it's
14 freeze-dried and viable or resuscitatable, that's one thing;
15 if it's heat-killed, and it was alive, that's another thing.
16 How is it heat-killed and not alive anymore any different
17 from coleslaw? I don't mean that in the facetious sense.
18 Are we going to define this as something different or are we
19 going to include it in something that we already have a
20 definition for?

21 Dr. Gaskins:

22 DR. GASKINS: I can just summarize what I have
23 heard, and it seems that viability going in defines
24 probiotic. Viability coming out would relate to claims made
25 efficacy, and that can be easily measured.

1 DR. BENEDICT: Dr. Russell.

2 DR. RUSSELL: I was just going to say I think Joe
3 mentioned that concept before, and I am coming around to
4 that the more I think about this myself, that it is really
5 an efficacy question.

6 DR. BENEDICT: On that note, the next thing on our
7 list are potential health effects, and we are asked to
8 consider, and I think viability will raise its attractive
9 head again.

10 What scientific elements--this is important--what
11 scientific elements would be common in considering potential
12 health effects?

13 We want to propose or to suggest the scientific
14 structure. We want to suggest almost a checklist, as Dr.
15 Yetley has suggested. We want to suggest these things and
16 put them into priorities. What are the questions FDA should
17 ask about health effects? I probably have the inevitable
18 list to help focus.

19 Some things I think are fairly obvious from the
20 very nice presentations we heard - identify, the word
21 "efficacy" is the point. We need to think about effective
22 dose, the proposed dose, the delivered dose, the dwell time
23 in the system of either living organisms that have become
24 colonization competitors or the products that we are talking
25 about that are giving us the benefit.

1 I think we asked questions about synergy among
2 various strains, not only the ones that we put in, but the
3 ones that are resident, the effects of culturing and scale-
4 up on the later characteristics, the effects of the delivery
5 vehicle and its excipients, do these enhance the health
6 effect or not, and if they do, you have to consider that.

7 So, with respect to strains, that is a list of
8 thoughts that I gleaned from the various speakers, and then
9 there are several other categories. We must talk about
10 colonization, localization, and some interesting points have
11 come up.

12 Colonization of various areas in the intestine
13 appears to be different. Colonization of various target
14 sites other than the intestine we probably decided not to
15 discuss, strength of competition, and an important one, the
16 ability to penetrate the mucus layer that we heard about
17 yesterday. I mean is it really colonization or are you just
18 achieving something in the mucus.

19 Are there contact molecules' receptors? We know
20 that some bacteria make their own receptors for going into
21 certain cell types. That's in vitro studies. Are there
22 molecules like carbohydrates, proteins? Do the organisms
23 Inter M cells, do they enter epithelial cells?

24 These are things that are probably much more
25 detailed than the FDA wants to hear, but I am trying to give

1 you a recount of some of the things that were discussed.
2 Effects on the gut lumen, impact on the resident organisms,
3 the 4- or 500 we know about, and the 4- or 500 we don't know
4 about.

5 Changes in pH, nutrients, competition for
6 nutrients, vitamins, iron, carbohydrates, bile salts,
7 absorption of minerals. Effect on the various syndromes.
8 This is the real health effect - diarrhea, hypertension,
9 cholesterol, all of the things we heard, Helicobacter in the
10 stomach, carcinogenesis, effect on food sensitivities which
11 are not allergies, and then the last ones are general
12 effects on the host response - does the organism have an
13 effect on the inflammatory system, which is not the same as
14 the immune system, do they increase the inflammatory
15 response to promote disease resistance or do they decrease
16 the inflammatory response to help you with something like
17 inflammatory bowel disease, and these are not necessarily
18 mutually exclusive or inclusive.

19 The immune response is different. Antibody
20 production, we heard a lot about secreted IGA, and there are
21 other antibodies reasonably to be talked about. T-cell
22 function, there are two kinds of T-cells, alpha-beta T-cells
23 and gamma-delta T-cells, and the gamma-deltas are vastly
24 different from the alpha-betas. 'Does the FDA need to worry
25 about the effects on these things?

1 Memory responses, of course, are important. T-
2 helper 1, T-helper 2 cells, are they going to help with
3 cytotoxicity, are they going to affect--this is really at
4 the detailed level, but where do we want to draw the line
5 about what is the call-down list.

6 In addition to the immune system, are there
7 effects on the epithelial cells? We heard that epithelial
8 cells, when contacted, will secrete cytokines and chemokines
9 and growth factors. We know that that affects the
10 appearance or disappearance of the M-cell.

11 We know that that affects other things, the
12 appearance of inflammatory responses locally. And in the
13 effects of the delivery vehicles here, and the excipients on
14 all of the effects of the immune system.

15 That will do.

16 The questions that we have are, first of all, that
17 is clearly not everything that you guys can think of or that
18 you heard in the presentations. The question is what is
19 important to the FDA.

20 The first thing. What is the highest priority and
21 what are the auxiliary things FDA must do, what is their
22 checklist, what is their call-down? Do you want to divide
23 them up into subsets and talk about what is important about
24 the strains first and then what is important about other
25' things? Do you just want to free-associate?