

1 very recently we have tried to push these animals,
2 giving them extremely high doses in the range of
3 10^{14} to 10^{15} per kilo, and we do not increase the
4 proportion of integrated genomes.

5 The proportion of transduced cells with
6 integrated genomes is small and most integrates
7 that when we have actually molelecularly analyzed
8 them are 1 or 2 copy genomes.

9 [Slide.

10 The clinical trial objective is to test
11 the hypothesis that AAV mediated liver-directed
12 gene transfer is safe; characterize the human
13 immune response to the transgene product and to the
14 vector; determine whether germline transmission of
15 vector occurs following hepatic administration; and
16 determine dose capable of producing clinically
17 relevant factor IX levels in the blood.

18 [Slide.

19 It's a Phase I open-label, dose escalation
20 safety trial of AAV Human Factor IX administration
21 by infusion into the hepatic artery.

22 [Slide.

23 The vector is infused into the liver via a
24 balloon occlusion catheter placed in the hepatic
25 artery, and Factor IX protein is administered

1 before and follow the procedure to cover the
2 patients from any type of bleeding.

3 Subjects are observed for at least 24
4 hours

5 [Slide.

6 This is the dose escalation plan of the
7 trial as it is written. The dose in vector genomes
8 is 2×10^{11} per kilogram. The observed levels in
9 mice is somewhere between undetectable and 1
10 percent.

11 Importantly, is that when you get into the
12 second cohort, we were at a dose of 1×10^{12} per
13 kilo, and in dogs that were given a similar, not
14 identical vector, levels in the range of 4 to 12
15 percent are achieved.

16 These levels of Factor IX would result in
17 a substantial improvement in the clinical course
18 with the individuals going from a severe phenotype
19 to that of a much milder phenotype. So this would
20 be somewhere in an efficacious range, so the point
21 is that at doses within this trial, we are at
22 efficacious doses in a dog model of hemophilia.

23 [Pause.]

24 DR. KAY: I am really sorry. There was a
25 mix-up about transferring the slides, so I

1 apologize.

2 This was just an introductory slide about
3 hemophilia, basically that it is a very well
4 understood disease and with sustained levels of 1
5 percent, you can get a therapeutic response, and we
6 do have very good animal models which are the dogs.

7 Now, this is basically what I said, that
8 we have actually been able, we and others and more
9 recently Kathy High's group, has gotten reasonably
10 high and therapeutic levels of canine factor IX in
11 dogs reaching 4 to 12 percent. I won't go through
12 this again

13 [Slide.

14 This is just a photograph of a patient who
15 is being treated here. As I said, it is through
16 the hepatic artery and they go into the invasive
17 radiology suite. A catheter is inserted into the
18 femoral artery and it is cannulated into the
19 hepatic artery, which can be followed by
20 fluoroscopy here, and then the vector is placed on
21 an infusion pump, as shown here, and then
22 administered at a specific rate into the patient

23 [Slide.

24 Now, the first subject that was treated is
25 a 63-year-old male with severe factor IX

1 deficiency. Status/post bilateral knee
2 replacements 5 years prior to the procedure. He is
3 HIV-negative. He was HCV-positive, but his HCV
4 viral load by PCR was negative on multiple
5 occasions several years apart. Per our protocol,
6 these patients are considered to have spontaneously
7 cleared HCV, and do not require liver evaluation
8 before being enrolled into the trial.

9 He is the father of 3 and he has a
10 grandson with hemophilia.

11 [Slide.

12 The first procedure was done in August of
13 last year. He received 2 x 10¹¹ vector genomes per
14 kilogram. No complications. He was discharged
15 home to his referring hemophilia treatment center
16 after five days

17 [Slide.

18 This is a summary of his clinical data
19 baseline before the procedure and afterwards out to
20 week 24. The important point here is that his CBCs
21 have all been within normal limits including
22 platelet counts, which have been an issue with some
23 of the adenovirus trials

24 [Slide.

25 His liver function studies and prothrombin

1 times have also remained normal, as shown here.
2 His ALT and AST are normal, and they remained
3 normal throughout the 24-week period for which he
4 has been monitored.

5 So the hepatic administration of this
6 vector in this patient did not appear to have any
7 liver injury

8 [Slide.]

9 The coagulation data for this first
10 patient is shown here. His factor IX levels have
11 basically remained at a subtherapeutic or
12 nontherapeutic level. This basically is
13 background. Remember that these patients do treat
14 themselves.

15 The important issue here, too, is that
16 this patient did not have detectable factor IX
17 inhibitor by Bethesda assay.

18 [Slide.]

19 One of the aspects of the protocol is to
20 monitor the different body fluids for vector
21 shedding and, of course, the reason why we are here
22 today. This just is a very simplified diagram of
23 the PCR assay that is done by Deb Leonards' group
24 at the University of Pennsylvania

25 [Slide.]

1 This shows the actual sequence of the
2 vector and the PCR primers are depicted here as a
3 control for the PCR reaction itself. Some of the
4 samples are spiked with very small plasmid numbers
5 of a second vector that has the same sequences for
6 the primers, but there has been a deletion of 97
7 base pairs, so one can distinguish between the
8 spiked copy, if you will, and the vector copy

9 [Slide.

10 This just shows an example of one of the
11 gels of this analysis here. This is the baseline
12 sample here. This is the spiked sample below, and
13 this is day seven of a body fluid where you can see
14 both the spiked and the actual vector band shown
15 here. So this gives you an idea of the PCR
16 studies. Some of these will be discussed again in
17 more detail with some of the preclinical studies.

18 If we look at the vector sequences by PCR,
19 in the different body fluids here, in the first
20 patient, again, we see transient vector DNA up
21 until week 2 in the serum, transiently for a couple
22 of days in saliva, there was none in urine and
23 stool, and white blood cell pellet was done at week
24 12, but that was negative

25 [Slide.

1 This is what was somewhat of a surprise to
2 us based on dog studies we had done. In fact, when
3 we did look at his vector DNA in semen, we did find
4 that there was DNA present in his semen, but it was
5 transient and it slowly fell off over a period, and
6 after week 12, has remained persistently negative.

7 Now, these samples are performed in
8 triplicate in 1-microgram DNA samples. When we did
9 get positivity in these first couple of samples, we
10 went to a fractionation procedure to try to
11 fractionate out the motile sperm fraction from the
12 seminal fluid sample and the pellet.

13 Now, in this motile sperm fraction, we
14 were only able to get 220 nanograms of DNA, so it
15 wasn't the 1 microgram, but this amount of DNA was
16 PCR-negative in this individual.

17 I also want to point out the sensitivity
18 of the assay is less than 1 copy per 30,000 haploid
19 genomes or, in other words, 1 copy per 30,000
20 sperm.

21 Now, as a result of this result, we did
22 make some changes in the consent form related to
23 the issue of informing the patients about this
24 result, and basically, what it says the study
25 subjects shall be adult males who are 18 years of

1 age or older.

2 The first patient treated under this
3 protocol was very shown by very sensitive
4 techniques to have vector in his semen for as long
5 as 10 weeks after treatment. Although the vector
6 was not found in the sperm fraction, the
7 significance of this finding is unclear, and all
8 patients are strongly urged to use barrier birth
9 control devices, condoms, until the patient is
10 informed that semen has been clear of vector for at
11 least three months.

12 The investigators will notify you when it
13 is safe to stop barrier methods of birth control.
14 The consequences of gene transfer, the germline
15 cells are unknown, but could potentially result in
16 serious birth defects or fetal death or other
17 unanticipated health consequences, such as cancer,
18 in the offspring due to the disruption of normal
19 genes by the transferred DNA. If you are
20 considering having children in the future, it is
21 recommended that you bank sperm before beginning
22 the procedure to ensure a source of sperm that is
23 free of contamination with the vector.

24 The reason for storing semen is that it is
25 possible that if the sperm cells do take up the

1 vector during the procedure, it may or may not
2 result in life-long changes to the sperm. The
3 investigators will provide you with information on
4 sperm banking and this one is for Stanford at
5 Stanford University or at your home institution.
6 This opportunity will be provided to you at no
7 additional expense.

8 So the point here is that we urge the
9 individuals to undergo a barrier contraception, we
10 talk about the risk in this first patient, and the
11 fact that we will sperm bank in case they are
12 considering or uncertain about future childbearing.

13 Now, because of this issue of finding, at
14 least in the first patient, transient AAV vector
15 sequences in the semen, we amended the plan to
16 address this issue of inadvertent germline
17 transmission, and the protocol was changed, so that
18 semen collection was done as a baseline, and then
19 at weeks 1, 8, 12, 16, or possible more.

20 Now, the idea was, and the plan is, that
21 beginning at 8 weeks, the sample is then
22 fractionated and total semen and motile fractions
23 are analyzed for vector genomes by PCR. If the
24 8-week motile sperm fraction is negative, we would
25 be allowed to proceed to the next dose cohort. All

1 subjects to practice barrier contraception until
2 three consecutive monthly semen samples are
3 negative.

4 So, although we will test and fractionate
5 through week 16, the question is we continue if
6 there haven't been three successive negative semen
7 samples

8 [Slide.

9 Subject 2 was a 48-year-old male with
10 severe hemophilia B. He had a bilateral knee
11 replacement in 1999 and elbow replacement in 2001.

12 He is HIV-positive and HCV-positive. He
13 underwent a liver biopsy and was shown to have
14 minimal fibrosis and based on criteria in the
15 protocol, was allowed to be included in the study.

16 He had a non-Hodgkin's large cell lymphoma
17 in 1986, was treated, had a relapse in 1996, and
18 was treated and he is on medications for his HIV

19 [Slide.

20 The procedure was performed in January,
21 the end of January of this year, received the same
22 dose as the first patient. No complications. Went
23 back after 7 days

24 [Slide.

25 Patient 2, like Patient 1, had totally

1 normal LFTs, no elevations related to the vector

2 [Slide.

3 Renal function, not shown with the first
4 patient, but were also normal in the second patient

5 [Slide.

6 Again, the CBC including the platelet
7 counts were normal. There was no elevation with
8 vector administration

9 [Slide.

10 Now, with the second patient, again, we
11 see no evidence of inhibitors, and we have also
12 noticed that there is a question of whether there
13 is any detectable factor IX in this patient. The
14 week 8 and week 12 samples were obtained at least
15 14 days prior to factor IX administration, and
16 there are some low levels of factor IX here
17 detectable, but again it is unclear whether this is
18 really and truly from gene transfer. I just wanted
19 to point out that this is the data to date. So it
20 is still questionable

21 [Slide.

22 Now, when we looked at his body fluids,
23 the saliva was positive for a slightly longer
24 period of time, up to one week. His serum was also
25 positive up to four weeks, which again was two

1 weeks longer than the first patient.

2 Unlike the first patient, we did see
3 transient positivity in the urine, but only out
4 until day 2, and he also has had some positive
5 stool samples, as well

6 [Slide.

7 Now, this is where we are with the semen
8 analysis for the vector DNA. He has remained
9 positive up through week 14, but let me talk about
10 the total semen first.

11 The total semen, the signal of the PCR has
12 started to diminish, similarly to what we have seen
13 in Patient 1. If you remember Patient 1, he was
14 persistently negative after week 12, and the week
15 14 sample, which we just obtained this week,
16 although it was positive, the signal appears to be
17 weak, so it appears to be going down in
18 concentration, although this is not an absolutely
19 quantitative assay.

20 Now according to the protocol, we were
21 supposed to fractionate his week 8 sample into the
22 fractions that I discussed earlier, to look at the
23 motile sperm fraction, but it turns out that this
24 individual has ejaculate volumes that are well
25 below half a ml. When the sample went to the lab,

1 it has got to be fractionated within about 30
2 minutes or so, and when they got the sample, the
3 lab said, you know, based on our SOP that we have,
4 and the one that is provided in the protocol, this
5 volume is not adequate to fractionate, so it wasn't
6 fractionated.

7 Well, we went back, and after discussions
8 with FDA and our colleagues, we realized that there
9 are standard operating procedures in these clinical
10 laboratories to fractionate low-volume ejaculates,
11 and this then was attempted on the week 14 sample.

12 But unfortunately, the DNA recovery from
13 this week 14 sample was such that it would only be
14 possible to run triplicate samples of 300 nanograms
15 per ml, and based on our changes in the protocol,
16 which we have just sent to the FDA, this would be a
17 fractionated sample that we would not analyze. So
18 the fractionated sample with 300 nanograms in it
19 was not analyzed by PCR.

20 It has turned out that although it is
21 simple in theory, it has been difficult, a little
22 more difficult than we had anticipated doing these
23 fractionation procedures and getting the kinds of
24 DNA recoveries that one would want.

25 This individual has supernormal sperm

1 counts so although his volume is low, it appears
2 that spermatogenesis in this individual appears to
3 be normal because his counts are well above normal.

4 It also turns out that there are lots of
5 rules and regulations in the labs that do the
6 fractionation. In fact, we are learning that many
7 of these labs are not allowed to fractionate
8 HIV-positive samples, which has also led to some of
9 the difficulty in getting these specimens
10 fractionated at will.

11 So based on this, we have added new
12 exclusion criteria. We realize that this
13 individual has an issue with ejaculate volume, but
14 with normal sperm counts, that is very, very rare
15 and unusual, but because of this in this patient,
16 we have added an additional exclusion criteria to a
17 revised protocol.

18 First of all, we state in there that an
19 exclusion issue are related to patients who are
20 unwilling to provide required semen samples, and
21 patients that are unable to provide semen samples
22 of adequate semen volume, which we define at 1 1/4
23 ml sperm count, and we define the cutoff at 20 x
24 10⁶ sperm per ml, and with motility of greater than
25 50 percent. Again, this was based on the data we

1 have obtained from this Patient No. 2.

2 [Slide.

3 So, in conclusion, I can say that Subjects
4 1 and 2 have tolerated the procedure well, vector
5 DNA is present transiently and total semen from
6 Subject 1, not present in the motile sperm fraction
7 at week 3, albeit the sample that was analyzed was
8 220 nanograms, not the desired 1 microgram.

9 We have much limited data in Subject 2
10 although the signal is going down, we still haven't
11 detected a sample that has been negative, and
12 currently, based on what has been approved, that
13 the enrollment of the subjects at the mid-dose
14 proceeds only if Subject 2 shows absence of signal
15 in the motile sperm fraction.

16 So, in summary, what I would like to say
17 is that clinical studies demonstrate safety and
18 long-term efficacy of AAV factor IX in the liver in
19 the large animal model of hemophilia. We think
20 that this is really the impetus to move forward.

21 The initial clinical studies indicate that
22 this gene transfer strategy can be safety
23 translated into human subjects, and we strongly
24 believe that the completion of the Phase I study is
25 required for valid risk-benefit analysis of the

1 mice, rats, dogs, rabbits, and monkeys to assess
2 the toxicology and biodistribution, but today, I am
3 going to limit my talk just to the biodistribution
4 studies that are relevant to inadvertent germline
5 transmission

6 [Slide.

7 I am going to summarize the studies in
8 rats, dogs, and monkeys, and then Valder Arruda is
9 going to present some more recent data in rabbits,
10 which appear to be probably the best model for
11 studying inadvertent germline transmission.

12 However, before discussing the
13 biodistribution data, I just want to point out that
14 in all of these five species, we haven't seen any
15 toxicology at doses up to 1×10^{13} vector genomes
16 per kilogram, which is 50-fold higher than our
17 starting clinical dose.

18 This is the biodistribution study that was
19 performed in rats. In this study there were five
20 groups of animals. One group was treated with the
21 excipient. One group was treated with an AAV null
22 vector, which does not contain a transgene. Then,
23 there were three groups of animals that were
24 injected with increasing doses of an AAV factor IX
25 vector from 1×10^{11} per kilogram to 1×10^{13} per

1 kilogram.

2 So what you can see is that at 50 days
3 post-injection, we saw a good gene transfer to the
4 liver, so at the low dose we were seeing about 1
5 copy per 60 cells in the liver, and at the high
6 dose we were seeing about 1 copy per 1 to 2 cells.

7 At this time point, we also did see vector
8 dissemination to the gonads at least in some of the
9 animals. At the low dose we didn't see any
10 dissemination, but at the high dose we saw about 1
11 copy per 1,700 cells, so this was about 1,000-fold
12 lower than the gene transfer we were seeing in the
13 liver.

14 At this time point, we were also seeing
15 vector in the blood, however, by day 92
16 post-injection, we no longer detected any sequences
17 in the blood, and the level of gene transfer to the
18 liver and the gonads had decreased.

19 So, at the 92-day time point, we were
20 seeing about 1 vector copy per 4 cells in the
21 liver, and only about 1 copy per 4,000 cells in the
22 gonads, but only in the highest dosed animals.

23 [Slide.

24 We also did a gonadal distribution study
25 in dogs. In this study, three normal dogs were

1 injected with AAV null vector at doses ranging from
2 3.7 to 7×10^{12} vectors genomes per kilogram, and
3 in this study, the vector was delivered using the
4 method that we are using in the clinic. So, a
5 catheter was inserted into the femoral artery and
6 then using fluoroscopic guidance was advanced to
7 the hepatic artery where the vector was infused.
8 Then, semen samples were collected at various times
9 post-injection.

10 In addition to the semen samples, we also
11 looked at toxicology parameters and also looked at
12 gonadal tissue at the time of sacrifice.

13 In this experiment, we used the AAV null
14 vector, which contains a promoter list transgene.
15 The reason for using this was just to prevent any
16 CTL response, eliminating the transduced cells.

17 [Slide.

18 So, these are the results of PCR analysis
19 of the dog semen. The lower panel here represents
20 an ethidium bromide stain gel of the PCR products,
21 and over here on the right you can see that the
22 level of sensitivity is about 100 copies per
23 microgram. At this level of sensitivity, there is
24 no evidence of vector sequences in any of the dogs
25 at any of the time points out to day 90.

1 We also did a southern blot of this gel,
2 and increased the sensitivity down to 10 copies per
3 microgram, which is 1 copy per 30,000 haploid
4 genomes, and again we are not seeing any detection
5 of sequences in the semen of these dogs.

6 We also performed PCR on gonadal tissue
7 and again we didn't see any evidence of
8 dissemination to the gonads in these animals.

9 [Slide.

10 More recently we have looked at toxicology
11 and biodistribution in the non-human primates, and
12 in this study we have treated 6 cynomolgus monkeys,
13 2 animals were treated with the excipient, 2
14 animals got a factor IX vector at a dose of 7 x
15 10¹² into the hepatic artery, and another 2 animals
16 received the same dose of vector via the portal
17 vein.

18 This study was designed as a toxicology
19 study, but we tried to get some limited
20 biodistribution study by harvesting the liver and
21 the gonads and doing PCR analysis when the animals
22 were sacrificed at day 135

23 [Slide.

24 This is the results of that study. What
25 you can see is that in 2 of the 4 injected animals, we

1 saw gene transfer to the liver. It is not really
2 clear why only 2 of the 4 animals worked, but what
3 we can say is that in those 2 animals, gene
4 transfer was relatively efficient, so 1 of the
5 animals that got the vector via hepatic artery, we
6 saw vector genomes at about 1 vector sequence per 3
7 cells, and in the other animal we saw 1 to 2 vector
8 sequences per cell.

9 What we also saw was, you know, despite
10 this high level of gene transfer to the liver, we
11 did not detect any sequences in the gonads, and the
12 level of sensitivity in this particular PCR assay
13 was 1 copy per 40,000 diploid cells.

14 [Slide.

15 We also took advantage of this non-human
16 primate testes to ask the question whether any of
17 the cells in the testes had the receptor for AAV,
18 which Jude Samulski's group had previously reported
19 to be heparan sulfate proteoglycan.

20 So what we are looking at here is a
21 stained section of the non-human primate testes,
22 and the heparan sulfate proteoglycan is stained and
23 nuclei are stained blue with DAPI. What you can
24 clearly see is that the receptor, heparan sulfate
25 proteoglycan is present in the basement membranes

1 surrounding the seminiferous tubules, but none of
2 the spermatogenic cells are staining positive to
3 HSPG, suggesting that these cells would be
4 non-permissive for AAV infection.

5 What I have just shown you has
6 demonstrated that at least in some animal species,
7 we do see dissemination of AAV vector to gonads,
8 and although we didn't see dissemination of vector
9 to the semen in dogs, Valder Arruda will show some
10 data demonstrating that we do get vector
11 dissemination to semen of rabbits, and Mark Kay
12 also just presented our data from the clinical
13 trial demonstrating that we are seeing vector
14 dissemination in human patients.

15 So there certainly is the risk for both
16 horizontal and vertical germline transmission.
17 What I would like to present now are some studies
18 that we have been working on and also some
19 published work that addresses the risk of AAV
20 dissemination in both horizontal and vertical
21 transmission.

22 The first study is a paper from Philip
23 Moray's [ph] lab looking at vector shedding in a
24 number of biological fluids, and then I will
25 present the development of a cell-based infectivity

1 assay, so that we can now begin to look at
2 biological activity of AAV in semen samples.

3 Then, I will also address the issue of
4 vertical transmission by describing an experiment
5 that we have initiated in collaboration with Dr.
6 Jon Gordon to see whether AAV can infect murine
7 sperm cells

8 [Slide.

9 So, the study that was published in the
10 Journal of Molecular Therapy last December from
11 Philip Moray's group is shown on this slide. They
12 injected 8 monkeys with an AAV-Epo vector at doses
13 ranging from 5×10^8 to 1×10^{10} infectious units
14 per kilogram, and their vector had a particle
15 infectivity ratio of about 100.

16 This vector was inject intramuscularly and
17 then at various time points post-injection, a
18 number of body fluids, such as serum, feces, urine,
19 saliva, lacrimal and nasal, but not semen, were
20 evaluated both by PCR for vector sequences and
21 using a replication center assay to look for
22 biologically active AAV.

23 In addition, they looked in the peripheral
24 blood mononuclear cells for vector sequences

25 [Slide.

1 This is a figure from their paper, which
2 shows the results of the replication center assay.
3 In this assay, cells are coinfecting with AAV and
4 the helper virus for AAV, adenovirus. Following
5 incubation for several days, the cells are
6 harvested and filtered onto a nylon membrane and
7 then hybridized to a radioactive probe.

8 So what we are looking here is the ability
9 of AAV in the presence of its helper virus, to both
10 infect and replicate in this cell.

11 The panel on the lefthand slide shows the
12 controls. This is AAV that has been spiked just
13 into media, and you can detect 1,000 down to 1
14 infectious unit. However, when the AAV is spiked
15 into either serum, feces, urine, the level of
16 sensitivity in the assay decreased about 10- to
17 100-fold.

18 On the righthand portion of the slide is
19 the results of testing the serum from two of the
20 monkeys, and you can see that 30 minutes
21 post-injection there is evidence of biologically
22 active AAV in the serum, and you can also detect
23 some activity one day and two days post-injection,
24 but by five days post-injection, there is no longer
25 any biological activity in the serum

1 [Slide.

2 This slide just summarizes the results
3 from all 8 monkeys. The red bars indicate a
4 30-minute time point. The yellow bars represent a
5 one-day time point, and the blue bars represent the
6 two-day time point.

7 Basically, you can see that in all of the
8 animals, by three to four days post-injection,
9 there is no longer any biologically active AAV in
10 the serum. They also tested other body fluids, but
11 they only found activity in the serum.

12 [Slide.

13 Finally, they also looked for AAV
14 sequences in peripheral blood mononuclear cells,
15 and surprisingly, they were able to detect this
16 signal out to 10 to 15 months post-injection. So
17 vector sequences can be persistently detected in
18 the peripheral blood mononuclear cells

19 [Slide.

20 So, just to summarize their data, AAV
21 vector sequences are detected in all body fluids by
22 PCR for approximately 6 days. I didn't show you
23 this, but they did also demonstrate that the PCR
24 signal is due to packaged AAV sequences rather than
25 free DNA.

1 They also demonstrated that biologically
2 active AAV was detected in serum for 48 to 72
3 hours, suggesting that the risk of horizontal
4 transmission is limited to a short period of time
5 post-injection.

6 Finally, they also concluded that vector
7 sequences can be detected in the PBMCs for as long
8 as 10 to 15 months following an intramuscular
9 administration

10 [Slide.]

11 After discussions with the FDA and also
12 following the December RAC meeting, it became clear
13 that it was important to develop an assay, so that
14 we could detect or try to detect biologically
15 active AAV in semen samples, so this just
16 schematically illustrates the assay that we have
17 been developing.

18 Basically, it is similar to the
19 replication center assay that I just described,
20 however, the readout of replication in this case
21 relies on a quantitative PCR assay rather than a
22 hybridization.

23 So basically HeLa cells which express the
24 AAR Rep and Cap genes are incubated in the presence
25 of 100 microliters of semen with increasing doses

1 of an AAV factor IX vector and with adenovirus.

2 Then, 72 post-injection the cells are
3 harvested, DNA extracted, and subjected to
4 quantitative PCR

5 [Slide.

6 This just depicts a typical result from
7 this assay where the intensity of the red color is
8 meant to represent the amount of PCR amplification
9 detected in the well. So in the case of just
10 spiking vector into media, you can see that we can
11 detect as few as 10 to 50 vector genomes per well,
12 however, when the AAV is spiked into semen, the
13 level of sensitivity of the assay decreases about
14 10-fold, so that now the lowest dose that results
15 in an amplification signal is 500 vector genomes
16 per well per 100 microliters or 5,000 vector
17 genomes per ml of semen.

18 So what we intend to do with patient and
19 animal semen is two different assays. First of
20 all, we will simply extract DNA from the sample and
21 do quantitative PCR to determine the number of
22 vector genome per ml, and in addition, we will take
23 a portion of the sample, run the infectivity assay
24 to determine the infectious units per ml of semen,
25 and then we will be able to monitor and compare the

1 kinetics of clearance of both the physical and the
2 infectious particles.

3 [Slide.

4 To address the issue of vertical
5 transmission, as I mentioned, we have initiated a
6 collaboration with Dr. Gordon. In this case, what
7 we propose to do is expose murine sperm cells to
8 very high doses of AAV.

9 We feel this is a very rigorous test of
10 whether AAV can actually transduce sperm although a
11 very non-natural situation, but this slide just
12 illustrates the steps that are being taken.

13 First of all, murine sperm are isolated
14 and then exposed to an AAV factor IX vector at an
15 MOI of about 1,000. The sperm are used in an in
16 vitro fertilization, and then the fertilized
17 oocytes are implanted into pseudopregnant females.

18 The fetuses will be harvested 10 to 12
19 days post-gestation, DNA will be extracted and
20 subjected to southern blot analysis, and what we
21 will be looking for is single copy AAV factor IX
22 sequences in genomic DNA, and if we are able to
23 detect one copy per diploid genome, that will be
24 used as evidence of vertical germline transmission

25 [Slide.

1 Just to summarize what I have just been
2 discussing, first of all, the extent of vector
3 dissemination to animal tissues correlates with
4 dose and decreases with time.

5 Following intrahepatic delivery of AAV,
6 vector is either absent from gonadal tissue, which
7 was the case in the dogs and the non-human
8 primates, or present at levels 1,000-fold lower
9 than liver, as in the case with the rats, and in
10 this case it clears with time.

11 The studies in the non-human primate
12 suggest that AAV in serum is not infectious after
13 72 hours, but vector signal can be detected in
14 PMBCs for up to 10 months after an intramuscular
15 administration.

16 The AAV receptor, HSPG, is not expressed
17 on non-human primate spermatogonial cells,
18 suggesting that these cells may not be infected by
19 AAV.

20 Finally, we believe that the data is
21 consistent with hematogenous dissemination of
22 vector to gonads with clearance over time.

23 [Slide.

24 So the issues that we are continuing to
25 address are, first of all, is there infectious

1 virus in semen, and as I mentioned, we have
2 developed an infectivity assay which we intend to
3 use both on humans and animal semen samples.

4 Another question is are the vector
5 sequences in semen associated with the motile
6 sperm, other cells, or the seminal fluid, and as
7 Mark Kay mentioned, we have begun fractionating the
8 human semen samples, and we have also begun doing
9 this with rabbit samples, as you will hear in the
10 next presentation.

11 Another question that we are trying to
12 answer is can AAV infect mature sperm cells, and we
13 have initiated a study using IVF to demonstrate or
14 not demonstrate this in animal models.

15 I will stop there.

16 DR. SALOMON: Thank you very much, Linda.

17 I was thinking of doing the next talk and
18 then discussing all three of the talks as a group.
19 Would that be okay with everybody? I got the
20 feeling Avigen was kind of packaging this as a
21 group.

22 Assessing the Risk of Germline Transmission of

23 AAV in a Rabbit Model

24 Valder Arruda, M.D.

25 DR. ARRUDA: I would like to talk now

1 about preclinical studies, address the issue of
2 biodistribution following injection of an AAV
3 vector into rabbits as a model to analyze the
4 inadvertent germline transmission

5 [Slide.

6 Animals in these studies were injected
7 with the same vector to be used in clinical trial.
8 The doses ranged from 1×10^{11} to 1×10^{13} vg/kg.

9 Semen is collected at serial time points
10 after injections, we intend to fractionate the
11 semen, analyze the total semen and fractions by PCR
12 developed by the human specimens, as Dr. Kay said
13 before.

14 [Slide.

15 Although when we talking collection of
16 semen for rabbits, I like just to mention that the
17 method we are using is the natural method, using an
18 artificial vagina that has an advantage, it
19 provides an uncontaminated sample for each animal.

20 However, this method has a disadvantage.
21 The animal requires to be trained to do this
22 procedure, and this has some implication, as you
23 will hear later on during my talk.

24 [Slide.

25 When we talk about semen, we talk actually

1 a marker, what happens in both genital and urinary
2 tract. Actually, 70 percent of what we call
3 ejaculate comes from the seminal vesicle, 20
4 percent from the prostate gland, and only 5 percent
5 from tests and ducts, and a small portion from
6 accessory glands.

7 Also, although spermatozoa is the main
8 cellular component of semen, there are other cells
9 that is special for our case is really important to
10 know, and these cells are present normal in fertile
11 donors like leucocytes, epithelial cells, immature
12 germline cells, and enucleated cytoplasm, and this
13 can be around the cells.

14 Also, for rabbits, are commonly found
15 debris in gel. Gel especially comes from ejaculate
16 of young animals. Together, this explains the
17 reasons why we would like to fractionate the total
18 semen before we save it in aliquot to analyze the
19 total semen, we go for fractionation to obtain the
20 motile sperm and seminal fluid and the normal type

21 [Slide.

22 What we have up to now is actually 3
23 cohort of animals that has been injected in total
24 27 rabbits.

25 The first cohort consists of 12 animals.

1 They were 5 months old at the time of injection.
2 Although sexually mature, these animals were not
3 experienced in semen collection, so we are
4 restricted to analyze only later time points.

5 It was necessary to go back and look for
6 experienced animals. At this point, we could get 3
7 animals. They were 18 months old and semen was
8 collected weekly.

9 More recently, we have a group of 12
10 rabbits, median age are around 20 months, and these
11 we obtained from retired breeders.

12 What I am going to start to show to you is
13 the result of the 10 in the second cohort followed
14 by the third cohort, and only the later time point
15 for the very first group we inject.

16 [Slide.

17 For all these animals, serum sample was
18 collected 24 hours injections for the 8 and up to 7
19 days. For all of them, we have augmented vector
20 sequences by the PCR.

21 Typically, each sample that has been
22 analyzed for each animal are represented here. We
23 run assay in triplicate with just semen and one
24 spiked experiment to exclude PCR inhibition.

25 As you can see here, this is the first

1 experienced rabbits that we inject. At the low
2 dose, no signal was detected, in the triplicate
3 experiment, one single band out of triplicate in
4 the mid-dose cohort, and the higher dosed animal,
5 three out of three. This higher dose, although it
6 is not a qualitative assay, is close to 10 cups of
7 vector plasmid.

8 [Slide.

9 So this table shows the serial time points
10 from the three experiments, rabbits ranged for 7
11 days following injection up to 115 days. Each
12 assay, as you can see here, was run in triplicate
13 in the yellow line, the semen that was detected as
14 a positive signal. For the lower dose animal, we
15 never detected any signal during this period. For
16 the mid-dose animal, signal has been detected up to
17 day 22, for the higher dose, up to day 44

18 [Slide.

19 We attempt to fractionate the rabbit semen
20 and the optimal fractionation actually depend on
21 the size and shape of the sperm, as well as the pH
22 of the semen. At the very first time point, we use
23 parameters worked out for human semen, and actually
24 reagents for human semen, and when you look under
25 the microscope, we saw a lot of agglutinations,

1 cell debris. You can see that even after fall in
2 fractionation, you concentrate fraction of motile
3 sperm, but it still has a lot of debris.

4 [Slide.

5 When one compares germ cells for human and
6 rabbits, they are different, so the volume of
7 ejaculate is smaller in rabbits, and we anticipate
8 that this would be a problem for fractionation as
9 for humans, although the density of the sperm in
10 rabbits is higher, the characteristics of this
11 sperm is different. They are pretty much the same
12 total length, but the distribution is different.

13 [Slide.

14 So, we talked with people at this company,
15 Nidacon, and they actually in-house some reagents
16 to use for rabbits. We didn't use if that was
17 really helpful or not, so we just took a chance and
18 we used the reagents that have been developed for
19 rabbits. Not only the grade had changed, but also
20 the centrifugation conditions changed.

21 After that, we improved the fractionation,
22 but occasionally, we still detect 1 or 2 percent of
23 cells other than motile sperm.

24 [Slide.

25 So these results are from the first three

1 experienced animals. The top animal is what I
2 showed before. So these are the points that we are
3 able to fractionate the semen in these animals.

4 As you can see, the motile sperm analysis
5 shows a positive signal in the mid-dose animal.
6 The high-dose animal, at this point, the volume was
7 not enough to allow fractionation. It was just 200
8 microliters. So, we saved it only for the total
9 semen analysis.

10 After day 7, the second time point was day
11 22, and all the animals turns out to be negative,
12 and up to here, we use the human protocol, and
13 after this, the rabbit protocol, but after that, as
14 you can see, no signal has been identified by the
15 same PCR reaction.

16 For the normal type sperm, seminal fluids,
17 again, we have seen signal positive for the
18 mid-dose group and from the higher dose group, and
19 again, for low-dose animal, we have never been able
20 to detect

21 [Slide.

22 Now, I will show the third cohort. these
23 are 12 rabbits, experience rabbits, and we have
24 only two time points. It is important here that we
25 have time point 7 - 15 days, 15 days we didn't have

1 for the very first group, we just skipped to day
2 22nd.

3 This is the total semen. You can see that
4 three animals on the low dose cohort was positive
5 at 7 days, but became negative at 15 days. For the
6 mid-dose and the high-dose, these animals are still
7 positive although decrease in numbers at the normal
8 type sperm fraction, also we can see that the
9 higher the dose, the higher the number of animals
10 positive up to this early time point.

11 The motile sperm analysis, we have not
12 observed any positive signal for the lower dose
13 animal, a positive signal for the mid-dose and
14 high-dose, and again I would say that at this
15 point, the positive didn't change much from 7 to 15
16 days. We still collect today, actually, the day
17 21.

18 The last group, these are the first cohort
19 that we inject that we inexperienced at that time,
20 so it took us like a couple weeks to train these
21 animals and now they are able to provide the
22 specimen.

23 So we have here, we collect semen for
24 groups that were injected a week apart. That is
25 why we have this range of days, from the low, mid,

1 and high dose, they are persistent negatively until
2 day 132.

3 It is interesting as Dr. Couto showed
4 before, in non-human primates, one can detect
5 peripheral blood mononuclear blood cells positive
6 at late time points following AAV injection. Here,
7 we also have been able to detect that these
8 animals, they present positive signal in their
9 peripheral white blood cells, and the top panel
10 shows, at the same time, which corresponds to three
11 months following injection, the total semen are
12 negative.

13 I am not going to go into detail into the
14 rabbit experiment, this is just to represent a
15 schematic, a very simplistic overview to say that
16 these are numbers of days that get usually a
17 spermatogen cycle in rabbits takes up to 42 days.

18 Initially, the stem cell, it is outside
19 the protected area, so outside the blood-testis
20 barrier. After day 16, cross the blood barrier,
21 came to spermatocytes, and takes up to 10 days from
22 the mature cells, spermatozoa, to get to the semen.

23 So, you assume that the stem cell has been
24 exposed to a vector at day zero. The first time
25 point that one animal should show up a positive

1 signal in the semen started at day 52, maybe with a
2 peak at day 58, and after that, you have the
3 steady-state signal.

4 If you put back the three cohorts of
5 animals we inject so far, we can tell the
6 following. We detect PCR-positive signal in total
7 semen or some fractions, 7, 15, 20, and 44 days.
8 The PCR becomes negative, the old sample tests
9 after day 50. This is for the first three cohorts
10 of animals, and this is for the very first 12
11 animals that we start collecting at day 86 up to
12 day 1 to 132.

13 If we consider that the rabbits
14 spermatogenesis single sites 44, 42, 46 days, at
15 this time point that we analyze, we will be able to
16 analyze at least two to three sites of the total
17 rabbit spermatogenesis.

18 Although it doesn't look like we are
19 transducing any immature or stem cell at this point
20 following only two or three sites of
21 spermatogenesis, there has been talk before here
22 was that possibility that actually the vector cross
23 the basal compartment, cross the blood-testis
24 barrier, and gets into more mature cells at this
25 point.

1 I even should skip this, but I will try to
2 do what has been said before that may not work, and
3 didn't work, that is was put multi-sperm of these
4 rabbits in culture. This is just to show -- I hope
5 you can appreciate these are spermatozoas, and
6 these we still found some cells into the motile
7 sperm fraction, and unfortunately, by this computer
8 thing, we cannot make the picture come out.

9 What we did, we exposed these cells to
10 AAV2 under a CMV control expressing a GFP. The MOI
11 used a range from 1 to 5,000, and the committee has
12 a cut that we provide, shows that only that cell
13 that I identify here, it looks like a bean or
14 something like that, actually turns out to be
15 positive for GFP. Any other, the motile cells were
16 positive.

17 So, initially, for the muscle trial, we
18 performed pretty much a similar series of
19 experiments, used the same model, the rabbit, and I
20 would just like to summarize this. This actually
21 has been published in 2001, and what we are able to
22 identify following intramuscular injection of AAV2
23 into rabbits was the following.

24 We performed a series of IF staining and
25 FISH analysis shows that we can detect signal from

1 the vector, and this is localized in the vessel
2 wall and the testicular basement membrane, which
3 are rich structures for heparan sulfate
4 proteoglycan, which there is no receptor for AAV
5 serotype 2.

6 The detectable signal especially in the
7 gonads disappears with time. It is important to
8 remember that in this cohort of rabbits, no semen
9 signal was ever identified, and also into the
10 gonads, we neither detected any intracellular
11 signal when you analyze animals following 7, 36,
12 and 90 days.

13 This is just to represent what we believe
14 that the signal, this is IF staining, what you call
15 localization for the AAV capsid and for heparan
16 sulfate proteoglycan on the vessel wall in the
17 testis basement membrane.

18 So, in the last experiment that I would
19 like to show is attempt to transduce not mature
20 spermatozoa, but immature spermatozoa. In this we
21 have murine cells in culture in which murine
22 spermatogonia and Sertoli cells were co-cultured.

23 We transduced again with AAV2 under
24 control of the CMV promotor expressing lacZ at the
25 MOI 5000, and we stain for x-gal. Here, it showed

1 the signal. Just before, I should say, that we
2 identified the spermatogonia by immunostaining with
3 a monoclonal antibodies to germ cell nuclei
4 antigen.

5 This is the result. At the bottom is the
6 mouse spermatogonia and Sertoli cells that give
7 this kind of reddish signal. In contrast, if you
8 take the same transduced model, the fibroblasts or
9 human [inaudible] it turns blue.

10 I would like to conclude that intravenous
11 administration of the dose of AAV up to 1×10^{13} in
12 rabbits results in transient detectable signal in
13 semen in a dose-dependent manner.

14 PRC positivity of the semen persists up to
15 day 44 in that cohort, that we have follow-up for
16 almost 100 days revealed no positive signal, which
17 is a duration of 2 or 3 times of the rabbit
18 spermatogenesis.

19 Vector signal can be detected in
20 peripheral mononuclear blood cells for at least
21 three months in rabbits in contrast to non-human
22 primates, we know that this can go up to 10 months,
23 but the vector is not biologically active after day
24 7.

25 In ongoing experiments, you can predict

1 that we will continue follow up with kinetic
2 clearance, determination of anatomic localization
3 of signal as a function of the vector dose
4 following intravascular injection.

5 To determine whether AAV infectivity is
6 detected in rabbit semen, we are followed by the
7 experiments that Dr. Couto has before. As well, we
8 would like to determine whether receptor for AAV2
9 is present in mice, rabbit, and human spermatozoa.
10 That could give us some idea.

11 I will stop here.

12 DR. SALOMON: I want to make sure we all
13 understand where we are going. What we are going
14 to do now is have a discussion of the three talks
15 that came, followed by people who have been invited
16 to speak in the public hearing.

17 We had talked about moving that out of
18 order, but I am told that is not proper, but to
19 reassure everybody that we will discuss the
20 questions to the committee after that, so that
21 everything will be on the table before we get to
22 the questions.

23 I think there are a number of interesting
24 issues raised by the these three presentations, and
25 I would like to put those open for some discussion.

1 Dr. Dym.

2 Q&A

3 DR. DYM: I had a question for Dr. Couto,
4 please, just a clarification, and maybe I didn't
5 understand some things, but when you showed very
6 elegantly that the receptor for AAV is around the
7 seminiferous tubule and in the interstitial spaces,
8 not inside the tubule, but then you didn't show AAV
9 in the gonads.

10 Isn't there a discrepancy there?
11 Shouldn't it show up in gonad if the receptor is
12 there, or did I miss something?

13 DR. COUTO: That particular section was an
14 animal that was not even injected with an AAV
15 vector, so we were just strictly looking at to tell
16 whether the receptor for AAV is even present in a
17 non-human primate testis.

18 DR. DYM: But in your other monkey tissue,
19 didn't you say it is not detectable in testis?

20 DR. COUTO: The AAV sequences are not
21 detectable in the gonadal tissue, correct, by PCR.

22 DR. DYM: So, wouldn't they be there,
23 because the receptor is there? I am missing
24 something.

25 DR. HIGH: Can I clarify that question?

1 So, the answer to your very perceptive question, if
2 you look in the Molecular Therapy paper, there is
3 actually a FISH analysis of a rabbit testis from an
4 animal sacrificed at day 7, after injection with
5 AAV, and in that, you can see tracking in the same
6 location that Dr. Couto showed in the non-human
7 primate testis.

8 You can see AAV vector detected by FISH
9 analysis in the same location along the testicular
10 basement membrane and actually around the vessel
11 wall, as well. You can detect that at day 7, but
12 by longer time points, which were presented in
13 several of the studies that Dr. Couto did, both in
14 rabbits and in other species, as well, if you look
15 at later time points like 50 days after or 100 days
16 or 135 days, you don't see AAV vector any longer in
17 the gonadal tissue.

18 So, your point is correct, and if you look
19 early on, you can see that, and that has been
20 published in that Molecular Therapy study or day 7,
21 but at later time points you don't see it.

22 DR. SALOMON: I have a couple questions.
23 Going back to the very beginning, I posed a
24 question about if sperm were not transcriptionally
25 active, then, how do you interpret an experiment

1 where you put in a CMV-GFP vector?

2 DR. ARRUDA: I don't think that is the
3 ideal experiment. We just want to see if one could
4 transduce motile sperm in culture. There are some
5 people that say they can, in fact, transduce some
6 mature spermatozoa using more aggressive ways. We
7 do not expect anything else. It wasn't a surprise
8 that the results were negative, but I think the
9 best way to answer your question is to perform an
10 experiment that Dr. Couto is doing with transducer
11 cells in culture, and then you do in vitro
12 fertilization and see the outcome.

13 DR. SALOMON: I certainly don't think that
14 the evidence that you didn't get GFP expression
15 really addressed anything.

16 DR. ARRUDA: I agree.

17 DR. SALOMON: If it had been positive, I
18 agree it would have been important, but I don't
19 know what is the point in showing it as negative.

20 DR. ARRUDA: I agree with that, and also
21 it has been published that one can detect lacZ in
22 this spermatozoa.

23 DR. SALOMON: What I would like to hear
24 some discussion of is whether the experiment that
25 Dr. Couto presented, and I guess that is going to

1 be done with you, Jon, is the best study.

2 I have some concerns about that. It is a
3 very good study. It seems to me that it is really
4 almost going over the top, which you said yourself,
5 Jon, was what you should do. So I would like to
6 hear your comment on that as a point of discussion.

7 It seems to me you have a reagent,
8 however, that would also be extremely useful, and
9 that would be to take your AAV-CMV promoter GFP and
10 put it into the rabbit, and then actually trace GFP
11 expression in different compartments particularly
12 in this case, of course, in the spermatogonia, I
13 mean so you could do it at different -- I don't
14 need to tell you all the different variations of
15 that, but that seems to me to be the most
16 physiologic experiment.

17 DR. GORDON: I want to make a brief
18 comment on that. It is no surprise that sperm will
19 not express genes put into them, but that doesn't
20 mean that genes aren't in there, and couldn't be
21 expressed late, just to reemphasize the strategy of
22 doing this IVF.

23 The other comment I would make is if there
24 were AAV-CMV lacZ, I haven't looked for GFP in
25 embryos, although I am sure it can be done, but if

1 there were lacZ vectors, where I know I can look,
2 you could look at thousands of cells in a very
3 short time after exposing sperm to such a vector,
4 and just simply stainings on an intact embryo. We
5 did that for adenovirus, it worked really well, and
6 that would be a very nice protection against
7 contamination when one harvests fetuses and the
8 strategy that we are taking, which is of major
9 concern to us, and which has been discussed amongst
10 us over the last few weeks.

11 DR. COUTO: There is another experiment
12 that we have thought of doing, and it is based on
13 an experiment that Bob Braun's lab has done with
14 adenovirus, where they had an adenovirus that had
15 an expression cassette that has a protamine
16 promoter hooked up to a lacZ vector.

17 In that case, they are doing a natural
18 route of administration, and then looking to see
19 whether all of the progenitor spermatogonia,
20 spermatocytes, et cetera, in an entire seminiferous
21 tubule turn blue over the course of time. That is
22 another experiment that would not only look at the
23 mature sperm, but also the immature sperm.

24 DR. SALOMON: The idea there is to use the
25 protamine promoter as kind of a tissue-specific

1 promoter, so that is even cooler, as would say in
2 California.

3 Jon, the question that I had for you was
4 the experiment that they are talking about where
5 you essentially culture the sperm with 1,000 MOI
6 and then you go and do IBF. Isn't that just going
7 to have a bunch of DNA coating the sperm? Don't we
8 already know the results of this experiment before
9 they do it?

10 DR. GORDON: Meaning you think it would be
11 positive. Well, I can just say that we did that
12 with adenovirus. The rationale of this -- and it
13 was not positive -- the rationale for this is that
14 if AAV arrives to semen, then, it can expose motile
15 sperm, and in the rabbit, motile sperm seemed to be
16 associated with AAV.

17 So the question then is can these carry
18 the genomes into the embryo via the natural
19 fertilization process. As I pointed out in my
20 talk, that is not easy to do, and we certainly did
21 not find that to be the case with adenovirus, even
22 at 100 viruses per cell, so we would not predict it
23 to be positive, mostly because of the investments
24 of the sperm are mostly lost en route through the
25 zona, and so on.

1 So we don't expect it to be positive. If
2 it's positive, we have to look at why that is true,
3 whether it is really transduction or whether it is
4 so much AAV in our IBF prep that we couldn't get
5 rid of it all, something like that.

6 DR. SALOMON: So, Jon, I have to ask the
7 stupid question. So why did your DNA experiments
8 work when you coated the sperm, so why does that
9 work?

10 DR. GORDON: That works only if you load
11 the sperm into a microneedle, push the microneedle
12 through the zona, then through the plasma membrane
13 of the egg, and then insert the sperm with the DNA
14 around it directly into the cytoplasm. That works.
15 I say to you that I don't believe the Cell paper
16 which said that just mixing it with DNA and doing
17 IBF works, since no one seems to be able to repeat
18 it including me.

19 DR. SALOMON: Thank you for that
20 clarification.

21 DR. KAY: I just want to make a comment
22 that even under the very worse scenario, where you
23 do get carrying in of the single-stranded genome
24 into the embryo, at very low copy number, even one
25 copy, the chances that that single-stranded genome

1 is going to become double-stranded is very low.

2 DR. GORDON: Another final comment about
3 the thing I said about exposing them and then
4 looking for expression in embryos, that is a
5 problem with the kinetics of AAV genome activation.
6 It takes a long time, as I understand it, to
7 actually turn the genes on, and so the experiment
8 is a little bit less easy to do with that, as are
9 experiments with protamine promoters, and so on,
10 with AAV, just because it takes a long time to turn
11 the genome on.

12 DR. SALOMON: Dr. Rao.

13 DR. RAO: This is for Dr. Arruda. There
14 are two things which weren't absolutely clear to me
15 in your presentation. When you showed the
16 spermatogonia in culture and you looked at AAV
17 infection with the high MOI of infection, there
18 were some infection. You showed 293's at the same
19 time?

20 DR. ARRUDA: Yes. We have two cell lines
21 as control. Those are 293 cells with human cell
22 line and the murine cell line, the fibroblasts,
23 that was positive.

24 DR. RAO: But the spermatogonia were not?

25 DR. ARRUDA: The murine spermatogonia was

1 not. That experiment was murine spermatogonia.

2 DR. RAO: And when you see positivity in
3 the rabbit motile sperm, fractionated sperm, where
4 do you think the virus is there? I mean you
5 fractionate the rabbit sperm.

6 DR. ARRUDA: Yes.

7 DR. RAO: And you take the motile
8 fraction, which you now have purified.

9 DR. ARRUDA: Yes.

10 DR. RAO: You think there are no
11 contaminating cells, right? And you see by PCR
12 that there is some positivity, right?

13 DR. ARRUDA: That's correct.

14 DR. RAO: Where do you think that is
15 coming from?

16 DR. ARRUDA: If you remember, even when we
17 use what we call the optimal protocol, it is a list
18 developed for rabbits, reagents for rabbits, we
19 still see some debris, which you don't know which
20 kind of cells are those, and also no motile sperm
21 cells, other than, any other, so it is a more
22 concentrated fraction of motile sperm up to 87 or
23 95 percent, but we still see some of those.

24 Just from technical reasons like if the
25 animal has very little, urinated during the

1 procedure, you are contaminated, you don't see that
2 unless the thing turns out to be yellowish, things
3 like this.

4 DR. SALOMON: Dr. High.

5 DR. HIGH: I just want to underscore the
6 point that you raised in that question, because it
7 is really critical to the kind of analysis that we
8 are trying to do, and I am not sure we are really
9 on the right track.

10 That is, that what semen fractionation
11 does is enrich for motile sperm, but it doesn't
12 really exclude all other cell types, so when you
13 take semen fractionation and couple it with a
14 procedure like PCR, which will certainly detect
15 small amounts of contaminating material that may
16 not be from motile sperm, then, have we really come
17 up with the best test. So your point is well made.

18 DR. DYM: This is for Dr. Kay. It is sort
19 of a comment, maybe a question related to maybe why
20 it seems difficult to get the vectors into the
21 germline or into the gonads.

22 When you put it into the liver, it, of
23 course, goes straight into the liver, maybe a
24 little bit goes via the artery, gastroduodenal
25 artery to the upper part of the GI tract, and then

1 it goes into the capillary spaces, and so on, then
2 back to the heart, back to the aorta.

3 Now, those testicular arteries, I know
4 them very well in the human also. They are tiny,
5 little things, and it may not just get down there
6 again.

7 DR. KAY: I actually would say that it
8 probably does, and let me explain how the liver
9 flow works. First of all, the catheter is
10 ballooned at a point that is past the
11 gastroduodenal, so there shouldn't be backflow into
12 that artery directly.

13 However, even by clamping off the hepatic
14 artery, most of the blood flow through the liver
15 still occurs because 60 percent of blood flow
16 through the liver is through the portal
17 vasculature.

18 So, what we suspect happens is that you
19 get actually infusion into the liver, and that you
20 actually get washing into the venous side through
21 the portal circulation into the vena cava, and then
22 you get disseminated flow.

23 If you look at animals in biodistribution
24 studies that have got an hepatic artery or portal
25 vein, or what have you, you do find the vector in

1 other tissues, but at very low concentrations. To
2 the best that we can tell, at a reasonable rate,
3 and, you know, we can define that statistically or
4 not, the only other tissue that we have really seen
5 anything that would be even suggestive of
6 transduced cells is a rare positive cell in the
7 spleen.

8 DR. DYM: Do you know then the clearance
9 of this?

10 DR. KAY: No, we don't know that. That is
11 something that we are actually working on in animal
12 models. It is almost impossible to do in mice, and
13 we have developed some surgical techniques in the
14 rat where we have actually clamped off the vena
15 cava in the portal vein and then just infusing the
16 liver, let it sit at different dwell times and then
17 release the clamp, and then are looking at how much
18 gets into the rest of the circulation.

19 I think the question is how much of it
20 gets into the liver on the first pass, and things
21 like that, and we don't have a definitive answer
22 for that, but we are doing studies as best we can
23 to try to address it.

24 DR. SALOMON: Dr. Gordon.

25 DR. GORDON: I just wanted to reemphasize

1 again this discussion with Dr. High that just
2 preceded that, if the motile sperm fractionation
3 results in the detection of this material, that
4 doesn't mean that it is on the sperm, but it
5 certainly means that the sperm could come in
6 contact with it. That is really the issue in these
7 IBF strategies.

8 If the sperm can come in contact with it,
9 does that mean that they can carry it in and cause
10 vertical germline transmission, and that is why I
11 feel it is necessary to make sure that you do that
12 experiment.

13 I can tell you that with adenovirus they
14 don't. I think it is hard to do that, and I don't
15 think it will happen with AAV either, but a
16 discussion of exactly where it is sitting in the
17 motile sperm fraction isn't really that relevant.
18 You know that that means it could come in contact
19 with motile sperm.

20 DR. SALOMON: Do we know that there is any
21 heparan sulfate proteoglycans on the sperm itself?
22 I know that they showed the picture that the
23 spermatogonia and the seminiferous tubules seemed
24 to be negative.

25 DR. GORDON: I don't know the answer to

1 that.

2 DR. SALOMON: I guess that follows up to
3 me a question. That is, you showed the HSG
4 expression in the seminiferous tubule as a point
5 like reassuring us that the target wasn't there. I
6 am not an expert on AAV, so I defer to my
7 colleagues on this.

8 I mean is that it? I mean there is not
9 other cell attachment molecule? I mean if so, that
10 would seem to be rather unique since every time I
11 think that is it, there is always something else.

12 Jude? Obviously, I am looking at you.

13 DR. SAMULSKI: It has been shown that FGF
14 will also bind to virus, and we know that alpha-V,
15 beta 5 is like a co-receptor, so it is not an all
16 or none scenario, but it is a good indicator if
17 those cells are likely to take virus up. You
18 typically heparan. It is not just heparan itself
19 either, it's high sulfonated heparan, so there is
20 different kinds of heparan.

21 DR. MULLIGAN: The infection point in the
22 rabbit, how does the rabbit compare for AAV2
23 relative to other kinds of cells? That is
24 obviously, the model is only as good as how
25 sensitive it is. Is there a way you have tried to

1 look at a range of different rabbit kinds of cells
2 versus other kinds of cells, human cells to see if
3 rabbit cells are equally, in a general way,
4 susceptible to infection? There is definitely in
5 the AAV serotype business, great differences, not
6 only species differences, but also obviously,
7 tissue differences?

8 DR. ARRUDA: We have some idea. As I
9 said, we inject the same vector that has been used
10 in the clinical trials, so it is expressing human
11 factor IX, and the cohort of animals, that is of
12 the highest dose, we are able to detect human
13 factor IX in the rabbit plasma.

14 So what this tells us is that I can say
15 how it transduce efficiently in liver cells.

16 DR. MULLIGAN: Comparable to the amount
17 you have?

18 DR. ARRUDA: No, it's lower than because
19 the major difference is that we did I.V. infusion,
20 not deliver into the hepatic artery, and if you do
21 these in the same animal, you see 5 or even less
22 expression follow I.V. That is why we have to go
23 into the hepatic artery.

24 DR. MULLIGAN: If you just compare I.V.
25 and the amounts versus the rabbit, is it

1 comparable?

2 DR. ARRUDA: I would say not, because the
3 mouse, we inject -- you can correct me -- some of
4 this, like C57, they respond very well to I.V.
5 infusion compared with even other strains.

6 DR. KAY: I think there is a complicating
7 factor and that using human factor IX in a rabbit,
8 and do the rabbits develop inhibitors? I mean
9 because you get a slow rise of expression over
10 time, you may never hit the peak level, and in
11 mice, you know, it is very dependent on different
12 strains.

13 DR. MULLIGAN: I know it's complicated,
14 but I mean that ultimately the question is whether
15 or not there is a way to have a sense of whether or
16 not the rabbit is as susceptible. I mean the
17 argument in a way goes to your favor in that the
18 animal models for AAV may not be that good because
19 of the differences, like with the VSVGs, a
20 pseudotype, you can infect all kinds of different,
21 1,000 tissues.

22 AAV seems somewhat different as a vector
23 system because there are such big differences from
24 species to species and tissue and tissue. So it is
25 not clear whether the rabbit would be a better or

1 worse system, but it would be nice to have a sense
2 of typical tissues that people attempt to do
3 transduction with, is it comparable.

4 DR. SALOMON: So you want to see data in a
5 rabbit, for example, showing that intrahepatic
6 artery injection has a somewhat similar
7 transduction efficiency.

8 DR. MULLIGAN: LacZ and muscle, in a
9 rabbit muscle, looking at the number of positive
10 muscles -- Jude, you must have done this sort of
11 thing. No?

12 DR. SAMULSKI: Officially, we have not
13 done this experiment.

14 DR. MULLIGAN: How about unofficially?

15 DR. SAMULSKI: Unofficially, we haven't
16 done it either.

17 [Laughter.]

18 DR. KAY: Can I raise the issue of
19 hematogenous spread again? I mean this vector,
20 unlike the retroviruses and other vectors that are
21 being used, that have a potential to integrate, are
22 not pseudotyped, and they basically represent the
23 capsid of the wild-type virus.

24 During wild-type infection, there is going
25 to be some hematogenous spread although I am not

1 sure that anyone knows what the concentration is,
2 and yet we haven't had any evidence of the AAV
3 sequences into the human germline, unlike what has
4 happened with most mammals with retroviruses.

5 So, I think, in nature, that there is some
6 hematogenous spread of the wild-type virus, yet it
7 hasn't been detected in our germline.

8 Any comment on that?

9 DR. MULLIGAN: I want to switch back to
10 this infection question. In the case of doing in
11 vitro infections, there are different kinds of
12 cells, my impression was that people often with AAV
13 use very, very high multiplicities of infection
14 like 10,000 to 1, or 50,000 to 1.

15 Do you feel comfortable that you have
16 really, in the in vitro rabbit infections, really
17 dosed, put on a virus to potentially detect
18 something?

19 The question was, when you do in vitro
20 infections, different kinds of cells, what is the
21 maximum multiplicity of infection that you use to
22 see if something could be infected? Is it 5,000 or
23 is it 50,000?

24 DR. SAMULSKI: In our hands, we have seen
25 things like certain fibroblasts or real refractory,

1 and you need about 100,000 particles to it
2 transduced.

3 DR. MULLIGAN: That was my impression. So
4 the question is, have you really, with all the
5 vagaries of the system, have you really given it
6 the greater shot, unless I got that wrong, you did
7 5,000 was your multiplicity of infection? Was
8 there any reason you didn't test 10 times that?
9 No.

10 DR. SALOMON: Trying to get some sort of
11 themes going here, it seems to me at least three
12 different things could be discussed. The first
13 would be the idea that the adenovirus associates
14 with the sperm or with -- well, actually with the
15 sperm itself, and therefore, would be carried into
16 the female and might then enter the egg at the time
17 of the sperm's fusion, and inadvertently deliver
18 the genetic material from the virus. That's one
19 possibility.

20 I think that that possibility, Dr.
21 Gordon's and your experiment would address, so that
22 is a good thing, we don't have any data yet, but it
23 sounds like you have got that on track.

24 The second possibility would be that the
25 sperm are carrying the virus, and I am not

1 convinced that any of the tests we have seen so far
2 adequately address that. I am not trying to be
3 overcritical either, because I can see how
4 difficult some of these studies are to do, and
5 commend you for doing things like figuring out how
6 to fractionate rabbit sperm, and it shows how
7 careful you are trying to be.

8 But it still seems to me that when you are
9 dealing with literally millions of sperm in a
10 typical ejaculate, and you are doing PCR studies
11 that were sensitive down to 1 in 30,000, that this
12 is not going to work, I mean that that is not very
13 convincing, and specific studies of looking at in
14 vivo expression, or whether you use the GMP, the
15 CMD promoter or the protamine promoter, something
16 along those lines haven't been done yet.

17 I don't think that we really know the
18 answer to that part. I guess a third thing that
19 occurs to me is that regardless of the germline
20 transfer question, if semen of male patients had
21 got the vector for weeks and weeks, your patient at
22 the lowest dose is 14 weeks positive in semen, is
23 that going to get transferred to vaginal cells and
24 other cells in mothers?

25 We all know through bitter experience with

1 HIV that that is a portal to the blood, as well.
2 You know, how likely is it even if we just focus on
3 the semen positivity, to which there is no
4 conflict, right, I mean we all agree there is semen
5 positivity, are we going to see a lot of the
6 partners infected and how does that impact on
7 issues in terms of doing these studies?

8 DR. COUTO: One thing that we are trying
9 to do to address that is with the development of
10 this infectivity assay, at least try to demonstrate
11 that there possibly is, even if it's there, it's
12 not infectious.

13 There may be vector sequences there in the
14 semen, but after a couple of days, maybe it's no
15 longer infectious, so that is one thing that would
16 address that.

17 DR. SALOMON: So your assay would --
18 infectious, though -- you are saying won't
19 replicate if you add helper virus and wild-type
20 adeno for the Rep and Cap genes, but it doesn't
21 really address whether it just delivers the payload
22 gene, right? How likely will it be? Tell me if I
23 am being dumb.

24 But I mean how likely would it be that a
25 positive semen that actually has adenoviral capsid,

1 that you inject it earlier in the hepatic artery,
2 will, when injected during sexual intercourse into
3 the female, just transfer it to vagina mucosa?

4 DR. COUTO: If it is able to infect the
5 cells and you provide adenoviral help, it should be
6 able to replicate, and that is really what we are
7 asking in that assay, so I think we can rule that
8 out.

9 DR. KAY: The Mollier [ph] data suggested
10 that at least in the high end injected in the
11 blood, although the vector DNA was detected for a
12 long period of time, the biological activity of
13 those particles diminished to undetectable levels
14 after a very short time.

15 So what I think Linda is trying to say,
16 just to reiterate, is that there is a reasonable
17 chance that the DNA or the particle could stick
18 around, but it may not be actually infectious or
19 able to transduce a cell.

20 If it went into like, say, a vaginal
21 epithelial cell one time, you would never see that.
22 I mean it would be a single transduction event, it
23 wouldn't replicate. I guess the question is if it
24 was carried in on the sperm into an oocyte, what is
25 the chance of naked DNA or DNA that is partially

1 exposed could get through the zona pellucida during
2 a fertilization event.

3 DR. GORDON: All I can say to that is I
4 don't think it has ever been seen in the literature
5 despite claims to the contrary.

6 DR. SALOMON: I wasn't trying to make
7 things too complex. I guess I was just saying that
8 we are really not discussing just the Avigen factor
9 IX study even though that is on the table here, my
10 feeling here is we are discussing just in general
11 issues here. I greatly respect Avigen being here
12 and presenting it, because it is always great to
13 have a specific study to focus on, but we also
14 don't want to lose sight of the fact that there are
15 bigger issues here.

16 So I am saying that a lot of different
17 clinical trials could come along following
18 potential success in the Avigen trial, and I
19 certainly do wish you the best with this one.
20 Those could deliver gene payloads that could be a
21 lot more serious than delivering some extra factor
22 IX to a woman inadvertently, so that is really all
23 I am trying to say is if you start delivering --
24 oh, who knows, I don't want to make stuff up --
25 but, you know, just a gene payload that might be

1 toxic, whether that would be delivered to the
2 vagina of the woman and produce some problem there.

3 Again, I am not trying to make that a big
4 killer issue, but it seems like from everything I
5 have heard that it is still theoretically possible.

6 DR. MULLIGAN: One thing that Jon's --
7 what do you call them, provocative experiment --
8 would test is, in principle, whether or not any
9 AAV, since that is the worst case, we are soaking
10 things with the AAV, and then you are doing the
11 most efficient means of sex, maybe not the most
12 efficient, but you are doing it so you are opening
13 up as much AAV as possible, so you could look for
14 things in addition to the integrated sequences.

15 I think I saw that you were going to test
16 only for integrated sequences. After the in vitro
17 fertilization experiment, it might be useful to,
18 since you have the material, to look for whether or
19 not there is AAV. Presumably, if it wasn't
20 integrated, it would be dramatically diluted, but
21 it would be interesting to see if you could detect
22 it, because that would address in a sense the worst
23 case of whether or not, during sexual intercourse,
24 you can transfer AAV, and it can persist maybe as
25 an unintegrated form, but these is some infection.

1 DR. GORDON: We are doing that.

2 DR. SAMULSKI: I have a question, and it
3 is more a curiosity. It seems that the so-called
4 debris and other contaminants are a major
5 contributor to the positive results, and I am
6 wondering if people here have felt that the efforts
7 to purify these different fractions have been
8 exhaustively done, because it seems that when you
9 move to other reagents, whether they are oligos or
10 plasmids, this is going to come up over and over
11 again.

12 If there is more energy put into the first
13 step of the assay, of collecting and fractionating,
14 will we move away from these long risk things and
15 get into a better assay that is going to tell us
16 there is something worth paying attention to.
17 Again, I turn it back this way, because when you
18 hear someone say this was optimized for rabbits,
19 and this was optimized for humans, does that mean
20 it has been done for 20 years and optimized, or is
21 just gives them the result they need to get
22 something away from something.

23 DR. GORDON: I just want to very briefly
24 comment on that. Even a fraction of motile sperm
25 is a very heterogeneous population of cells. I

1 mean some of them have two heads. Some of them
2 have a huge cytoplasmic droplet, which can be close
3 to the volume of a sperm.

4 So when you actually try to do an
5 absolutely totally pure separation of motile sperm
6 from everything else with a similar density,
7 similar parameters of measurement, similar
8 configuration, it is very, very difficult, and I
9 think that if you try to solve this problem that
10 way, by getting a golden fractionation procedure,
11 you are going to be chasing your tail for a long
12 time, not that I want to introduce other tails into
13 the discussion.

14 DR. JUENGST: So, thinking generically,
15 kind of at the policy level, I think I learned two
16 things this morning that increased my sense that
17 there are risks here. The first one was the idea
18 that it is not just the integration of a factor IX
19 gene in a harmless place on chromosome 19, but the
20 random integration of genes from the episome
21 presence of the vector.

22 The second was the increased risk even if
23 through natural fertilization, it looks low with
24 artificial means of fertilization, ICSI and
25 infertility techniques, so it looks like the

1 patients who are at greatest risk, hypothetically
2 speaking, worst case scenario, would be gene
3 therapy patients who then had fertility problems
4 and needed to go to a fertility clinic.

5 DR. SALOMON: To Jude's question, the
6 other way around here would be there is still no
7 evidence that these vectors are getting into the
8 spermatogonia, so if you could do enough really
9 well designed, basic, preclinical work, you might
10 be able to make a good case that you just monitor
11 the semen, and not be obsessing about all this
12 purification, et cetera, you know, if you could
13 convince yourself that it wasn't specifically being
14 carried in the germline package of the sperm.

15 DR. GORDON: Let me just say that I think
16 that is a very important point because if you
17 cannot transduce the spermatogonia, then, when the
18 semen are clear, you can feel that they will be
19 clear, and that not another wave of spermatogenesis
20 will provide more positive sperm to the ejaculate.

21 DR. SALOMON: Certainly, the data
22 presented today still do not give us any cause --
23 you know, there is no smoking gun yet that these
24 are being delivered to germline cells.

25 DR. MULLIGAN: Jon's work wouldn't address

1 the worst case for trying to get an earlier
2 precursor infected, right? I mean that is, you
3 could think of the same Jon kind of approach where
4 you would put in as much AAV into exactly right
5 time and location, to do the same sort of worst
6 case, and that probably would be the ultimate worst
7 case.

8 DR. SALOMON: Yes. In fact, that was the
9 point I was making, too, earlier. There was the
10 issue of whether it got in or didn't, but his
11 experiment addressed the latter, right, where it
12 was just attached to the outside.

13 But the experiments haven't been done yet
14 or designed yet or proposed yet to do the ones that
15 we both suggested, and that is, prove yes or no,
16 whether it gets into the spermatogonia, and if you
17 could get out of that, then, you could make the FDA
18 and the sponsor's life a lot easier.

19 DR. GORDON: Well, I just want to say
20 again that we have an abstract today at ASGT, in
21 which we are developing this technique of perfusing
22 intact seminiferous tubules with very high
23 concentrations of vector. I showed some of the
24 stuff from adeno expressing lacZ, and again that
25 would be a very highly provocative test.

1 It doesn't seem to disturb the
2 spermatogenesis much, if at all, and that, with
3 nucleic acid hybridizations, you wouldn't have to
4 rely on promoters and vectors with delayed
5 expressions, which is AAV, would I think be a good
6 standard to arrive to.

7 DR. NOGUCHI: Just to follow up a little
8 bit on how provocative you be, Jon, wouldn't the
9 most and even more provocative state be to expose
10 sperm to AAV, and then immediately do ICSI, and
11 then look at the outcomes of that?

12 DR. GORDON: I think that would work
13 because then all the natural barriers to getting it
14 in would be circumvented, but I do emphasize those
15 are natural barriers and that is an artifactual
16 situation, however, as I was saying before in my
17 talk, my official talk, I mean there is a lot of
18 clinical activity where these barriers are
19 bypassed, and I think that we should begin to be
20 interested in that subject, and I don't think that
21 is the subject for this meeting, but I think it is
22 a subject that the FDA needs to begin to get
23 interested in.

24 DR. MULLIGAN: I like Phil's approach
25 because then it is really more directed an

1 integration question. It is like doing a
2 transgenic system where you dump in more and more
3 AAV in exactly the right -- for something that
4 happened, and you can see whether it does or
5 doesn't happen.

6 DR. NOGUCHI: It actually pertains in a
7 way, based on the discussion here, to this
8 experiment, as well, if, in fact, you have the
9 presence of vector even if it's not integrated, but
10 it is around, it could coat the sperm or it could
11 be attached to the sperm. That is the equivalent
12 of what we are talking about. You have a vector, a
13 sperm, a union with an egg, and things.

14 So I think they are two different things.
15 One is, is there integration into the actual person
16 being treated, and then the other part, can there
17 be a transmission by other than biological means,
18 but just by pure mechanical. That is an issue that
19 pertains, and it is related also to the question of
20 how much sensitivity is enough if we are going to
21 be talking about barrier contraception as a means
22 to mitigate this period of washout, you know, how
23 much washout is enough.

24 DR. SALOMON: I guess as long as we are at
25 the most provocative experiment discussion, I mean

1 you could simply inject the AAV into the egg, and
2 then with the lacZ, and ask then in like a mouse
3 embryo or in a chicken embryo or in a rabbit
4 embryo, where it was distributed, and ask the
5 question whether there is some unusual integration
6 or whether it just quickly segregated.

7 DR. GORDON: Just a brief comment on that.
8 We have another abstract at ASGT, about a
9 adenovirus injection directly into embryo, and what
10 we were doing was asking the question, does this
11 intricate cycle of virus on coating and
12 translocation of the genome to the nucleus, are
13 these obligate steps for expression, which has
14 always been assumed, but never been proven.

15 Now, the one-celled embryo appears not to
16 have a receptor for adenovirus from our
17 experiments, and so what we did was injected the
18 virus directly into the cytoplasm and said, well,
19 we will bypass the endosome and see what we can
20 get.

21 We never lacZ expression under those
22 circumstances. We then say, well, let's help the
23 virus even more, we will put it right into the
24 pronucleus, and we have done that. In that case,
25 we see low rates of embryos that express which

1 appear consistent with perhaps viral genomes that
2 have been partially shredded by freezing and
3 thawing.

4 To confirm that, we took the viral genome
5 and just injected the pure DNA and got a very
6 similar result. So in adeno, it doesn't appear
7 that the virus can actually do its thing if it's
8 not allowed to go through the regular cycle of
9 infection, but AAV, which is why I asked about
10 uncoating, when it uncoats, if you put that
11 directly in the pronucleus, it is a single-stranded
12 genome, but there is very active repair mechanisms
13 in the pronucleus, and all of that, I should think
14 it would work, but I don't think it has ever been
15 tried. I would be happy to try it if someone wants
16 me to try it.

17 DR. SAMULSKI: So, we actually did those
18 experiments, and if you remove the zona pellucida
19 and inject the virus, you can get blastocysts to
20 turn blue, so it will transduce those cells. It
21 doesn't work with adenovirus, just like you said,
22 for the same reason. When it comes out of
23 endosomes, there is a proteolytic cleavage that is
24 responsible for the virus on coat, and if you don't
25 go through that pathway, it won't go through.

1 DR. KAY: Is it integrated?

2 DR. SAMULSKI: We only did in vitro and
3 carried them out and were able to show that it
4 would transduce those cells, and when we started to
5 collaborate with our colleagues to implant them
6 back in for embryos, the postdoc left, and all of
7 this stuff stopped, so we didn't do any more on it.

8 DR. GORDON: What was the helper for that?

9 DR. SAMULSKI: There was no helper. We
10 were simply trying to find a better way of making
11 transgenic animals using AAV as a way of delivering
12 genes, and showed that once could physically put it
13 in, it would transduce those cells, so I think
14 Phil's question is partially answered, you will get
15 it in, and it will work by some mechanical mean.

16 And far as the stability, integration, all
17 of that stuff, there are no answers at all. It was
18 just lacZ.

19 DR. MULLIGAN: What was the number of
20 virus particles?

21 DR. SAMULSKI: It was extremely high. We
22 were putting in about 10¹⁰. For a number of
23 reasons, it partially was -- well, we could talk
24 about it later.

25 DR. SALOMON: Any other discussions here?

1 What the committee should feel at this
2 point is that just in terms of science presented,
3 that we are comfortable with the main issues, and
4 then we will do the public comment and go on to
5 answering the specific questions from the FDA.

6 Open Public Hearing

7 DR. SALOMON: What I would like to do is
8 introduce the public comment. Five minutes have
9 been allotted to each of three speakers.

10 The first speaker that I would like to
11 invite up is Mr. Steven Humes of the National
12 Hemophilia Foundation.

13 MR. HUMES: Good afternoon. My name is
14 Steven Humes and I am the Director of Research at
15 the National Hemophilia Foundation, hereinafter
16 referred to as NHF.

17 NHF is a not-for-profit organization
18 dedicated to improving the quality of life for all
19 individuals with hemophilia and other bleeding
20 disorders. Today, we thank the members of the
21 Biological Response Modifiers Advisory Committee
22 for allowing us the opportunity to provide
23 testimony on recent reports of the presence of
24 adeno-associated virus, or AAV, in the seminal
25 fluid of individuals participating in a hemophilia

1 factor IX liver-directed gene transfer trial.

2 There are at least 10 proteins in our
3 blood, which must work in a precise sequence to
4 make the blood clot. A deficiency in any one of
5 these proteins can lead to abnormal bleeding.
6 Hemophilia A is caused by a deficiency of or defect
7 in a clotting protein known as factor VIII. A
8 deficiency of or defect in clotting factor IX
9 causes hemophilia B. Both forms of hemophilia and
10 other bleeding disorders are X-chromosome linked
11 recessive genetic disorders. In the United States,
12 there are approximately 17,000 individuals living
13 with a diagnosis of hemophilia.

14 Hemophilia manifests itself by easy
15 bruisability and recurrent bleeding into joints and
16 muscles as well as bleeding intra-abdominally and
17 into the central nervous system. The severity of
18 an individual's hemophilia is determined by the
19 amount of circulating clotting factor. The
20 majority of individuals affected with hemophilia
21 have severe disease.

22 Individuals with severe hemophilia
23 typically have eight to 10 bleeding episodes each
24 month. This chronically recurrent hemorrhaging
25 causes disability, persistent pain, and sometimes

1 death.

2 In the past three decades, significant
3 advances have occurred in the treatment of
4 hemophilia with the development of plasma-derived
5 and then recombinant clotting factor products.
6 While the development of these new products has
7 proved enormously beneficial, persons with
8 hemophilia continue to face many difficulties that
9 affect their quality of life.

10 Prior to the development of viral
11 inactivation technologies, many individuals with
12 hemophilia were infected with HIV and hepatitis
13 through their unwitting use of contaminated
14 clotting factor products. The HIV epidemic has
15 cost this community dearly, causing the deaths of
16 over 6,000 hemophilia patients, their spouses,
17 partners, and children.

18 Today, an additional 2,200 continue to
19 live with HIV and its complications. It is
20 estimated that more than 70 percent of all persons
21 with hemophilia have been exposed to hepatitis C.
22 While the development of recombinant factor
23 significantly improved safety, it is sometimes in
24 shortage and also an extraordinarily expensive
25 medicine, especially for individuals with severe

1 hemophilia who must treat frequent bleeding
2 episodes or who self-infuse prophylactically as
3 often as three times per week.

4 Because of the many challenges facing this
5 community and the limitations of current treatment
6 modalities, we look to gene therapy as the most
7 promising approach to cure hemophilia. To this
8 end, NHF has funded numerous gene therapy projects
9 and five scientific workshops on gene therapy, and
10 two-day gene therapy symposium is planned for our
11 annual meeting in October 2002.

12 We believe that research into a monogenic
13 disorder such as hemophilia may also lead to
14 progress in the treatment of more complex
15 disorders, such as multi-gene inherited disorders,
16 as well as cancer.

17 In 1996, an NIH report on gene therapy
18 recognized hemophilia as one of the most likely
19 disorders for which gene therapy will succeed. We
20 believe that this statement is as true today as it
21 was six years ago.

22 In the fall of 2001, vector
23 biodistribution studies from a factor IX deficiency
24 gene therapy trial noted the presence of AAV vector
25 in the semen of a trial participant. That study

1 also noted that while vector was present in the
2 seminal fluid, there was no evidence of
3 transduction of sperm.

4 On November 17, 2001, NFH's Medical and
5 Scientific Advisory Council, or MASAC, reviewed
6 this issue and drafted its Recommendation No. 127,
7 which was approved by the NHF Board of Directors
8 the following day, and is attached to this document
9 that you have before you.

10 The recommendation requests, in reviewing
11 such unexpected findings, that the Recombinant DNA
12 Advisory Committee, or RAC, and the Food and Drug
13 Administration consider the risks to the trial
14 participant and, following appropriate analysis,
15 allow trials to proceed if such risks can be
16 mitigated.

17 NHF believes that a case-by-case
18 evaluation of unexpected findings will permit
19 improvements in safety and efficacy while enabling
20 continued pursuit of improved treatments for
21 hemophilia.

22 NHF believes that the AAV factor IX
23 liver-directed gene transfer trial currently being
24 conducted should continue. As Steven Faust, a
25 person with severe hemophilia and co-chairman of

1 NHF's Advocacy Committee, stated this January
2 before the RAC, we see no inherent risks in these
3 findings that might cause additional risk to the
4 trial subjects.

5 NHF does support, however, increased
6 patient education and efforts directed at improving
7 the informed consent process, mitigating the risk
8 of potential germline transmission through the use
9 of sperm banking and requiring the use of barrier
10 contraceptive methods, and long-term surveillance
11 of trial subjects via PCR vector dissemination
12 studies.

13 Indeed, we believe that through this
14 surveillance, we might learn valuable information
15 about the natural history of AAV shedding that
16 could prove useful in future gene therapy trials.
17 NHF's MASAC has laid out detailed guidelines for
18 the conduct of gene therapy trials in its
19 Recommendation No. 120, dated August 16, 2001, a
20 copy of which is also furnished to you.

21 NHF respectfully suggests that the
22 Advisory Committee consider these guidelines when
23 considering future gene therapy trials.

24 NHF is heartened by the preliminary
25 results of gene transfer in humans. We are further

1 encouraged by the prompt review of the RAC and
2 FDA's Biological Response Modifiers Advisory
3 Committee to the vector biodistribution studies, as
4 we believe that this phenomenon may occur in other
5 trials.

6 We share your commitment to ensuring
7 patient safety, and appreciate your vigilance on
8 behalf of all persons enrolled in gene therapy
9 clinical trials. On behalf of the bleeding
10 disorders community, we urge your continued support
11 for these trials. If enrollment is further
12 delayed, how will we determine if this gene
13 transfer method offers the promise of a cure?

14 Once again, we thank you for this
15 opportunity to address you on this important
16 matter.

17 DR. SALOMON: Thank you very much, very
18 nicely articulated.

19 I think it is always reasonable to point
20 out when you hear something like that, that it is
21 very important for the committee to consider
22 whatever decisions we make affect a group of
23 stakeholders, in this case the hemophilia
24 community, as well as the public, and that is
25 always important to hear that in these sort of

1 public comments and think about it.

2 The next speaker is Dr. James Johnson, who
3 is identified as a hemophilia patient. That must
4 have been one of the first two patients to receive
5 the Avigen vector.

6 Welcome, Dr. Johnson.

7 DR. JOHNSON: Dr. Salomon, Committee, good
8 afternoon. I am Dr. James Johnson. I am from
9 Edmund, Oklahoma. I appreciate the opportunity to
10 speak to you wearing several hats. I am an
11 emergency physician practicing in Cushing,
12 Oklahoma, a husband and a father. I have lived for
13 45 years with hemophilia B, factor IX deficiency.
14 In addition, I was blessed to participate in the
15 Phase I safety trial of the gene therapy program at
16 Children's, not this one, but the prior one with
17 the IM injections two years ago.

18 My participation began in May of 2000. As
19 a side note, I dubbed myself Lad Back No. 6, since
20 I was the sixth person in that study.

21 As a 45-year-old living with hemophilia
22 and as a participant in a gene therapy study, I
23 want to express my deepest hope that you will allow
24 the current study to continue. This research is of
25 vital importance to the hemophilia community and to

1 me personally.

2 When I was born in 1957, it took two years
3 for my parents to receive a diagnosis for the
4 bleeding I had. Because my head was swollen at
5 birth, my parents were told I had hydrocephalus and
6 would be mentally disabled.

7 Once the diagnosis of Christmas disease
8 was made, my parents were told that I wouldn't live
9 past 20 years of age. I kind of overdid that.

10 My early treatments consisted of ice
11 packs, splints, slings, and rest. Later, I
12 received infusions of whole blood and fresh-frozen
13 plasma. Finally, when I was 12, I received the
14 first dose of factor concentrate. That was 1970.
15 I promptly came down with hepatitis B, and although
16 I did not know it at the time, hepatitis C.

17 Through the 1970s, I would go to the
18 doctor and often have to be hospitalized when I
19 needed treatment. Finally, in 1979, I started
20 self-infusion, which is now the standard of care.
21 I was one of the blessed minority that was not
22 infected with HIV, but as I said earlier, I do have
23 hepatitis C.

24 Also, I have suffered the ravages of
25 arthritic complications of hemophilia as you might

1 have noticed when I walked up. When we first went
2 to the hemophilia meetings, my wife said, Jim, they
3 all walk just like you do.

4 As you can imagine, hemophilia has
5 affected every area of my life. Like all
6 hemophiliacs, I have had to deal with educational
7 issues, work and employment issues, and struggles
8 with insurance. From a young age, I knew that I
9 would not be able to hold down jobs that required
10 great physical strength or endurance. Fortunately,
11 I am able to hold down my ER job and even do some
12 extra weekend work.

13 About nine years ago, I found that I had a
14 hemophilic pseudotumor in my abdomen. This was the
15 result of repeated bleeds in the psoas muscle.
16 There have occasionally been rebleeds which are
17 very painful and often require hospitalization.
18 This happened just this past week and for a while
19 it looked like I wouldn't be able to make it today.

20 I tell you this not for your sympathy or
21 to act macho, but to let you know that even with
22 today's best treatments, problems still arise.

23 It has been said of the hemophilia
24 community that we desire to be cured, we don't need
25 to be cured. Everyone is entitled to their own

1 opinion, but I believe we need a cure. Sure, there
2 are treatments available, but who likes to have to
3 give himself I.V. injections every time he feels
4 pain come on, or injections for days to try to get
5 over a bleed like the one I had this week?

6 We have treatments for other diseases, but
7 still work very hard for a cure. Does diabetes not
8 need a cure? Does hypertension not need a cure?
9 Does asthma not need a cure?

10 As for the current gene therapy study, the
11 one I was in, I enrolled about the time one of the
12 other programs lost a patient. Once the program
13 started back up, I, along with my 11-year-old
14 daughter and I, all had the procedure explained to
15 us in great detail. We were told of all the known
16 risks, as well as the theoretical risks that they
17 could imagine.

18 We were given ample opportunity for
19 questions. In short, I can say from the
20 perspective of both the study participant and as
21 one who has been involved in doing clinical
22 research myself, their informed consent procedure
23 was impeccable.

24 Every step along the way, I have been
25 informed of any new developments. We are still

1 given every opportunity to ask questions or check
2 in to see how things are progressing. I have
3 always been and always know I will be able to speak
4 to anyone involved with the program with any
5 concerns or ideas.

6 I have met with all of the people involved
7 with the program at Chop, from Dr. High, the
8 director, to Dr. Mallow, to Amy Chu, the clinical
9 coordinator, and to even lab personnel. They are
10 committed and responsible people.

11 I understand that there is concern about
12 the possibility of the AAV vector being present in
13 the semen of some of the participants. This was
14 one of the risks that was reviewed with us before I
15 participated in the earlier trial.

16 It was always stressed that participation
17 in the study was voluntary, there was obligation to
18 participate or continue even after starting the
19 study. We were informed that I should never expect
20 to father a child after the study because of that
21 risk of AAV infection.

22 This was fine with us as my wife had
23 already had a tubal. Those that might consider
24 future children were given the opportunity for
25 sperm storage. All of my body fluids were tested

1 for weeks afterwards. There should be plenty of
2 other subjects like myself who do not plan any
3 further family. They would be able to be in the
4 studies at no risk to anyone else until more is
5 known about germline transmission.

6 This is an extremely important area of
7 study. Germline transmission is likely to be an
8 equal problem for all gene therapy if it turns out
9 to be a continuing problem here. The hemophilia
10 community, after having gone through hepatitis B,
11 HIV-AIDS, and now hepatitis C, is a very tough,
12 resilient, and responsible community.

13 We have been on the forefront of the use
14 of barrier contraception to prevent HIV infection,
15 so the idea of contraception and when appropriate,
16 sperm banking, is not foreign to us. Those that do
17 not like that option will not opt for the clinical
18 trials, as did my own brother.

19 In closing, I want to thank you for the
20 opportunity to speak to you. When I did my senior
21 paper in college, over 20 years ago, I wrote of the
22 potential that gene therapy would one day hold for
23 curing hemophilia. It is here. It is a reality.

24 As a member of the hemophilia community, I
25 ask you to work with the gene therapy program and

1 the community to make gene therapy research program
2 safe and successful in the least time possible.

3 When my daughter asks me about her
4 children and hemophilia, I want to be able to tell
5 her that we have the answer. Please don't make me
6 tell her that we got close, but some minor
7 glitches, whether AAV or AV, stop the program.
8 Therefore, her sons will be at risk for the same
9 difficulties I have gone through.

10 Thank you.

11 DR. SALOMON: Thank you very much, Dr.
12 Johnson.

13 The last speaker in the public comment
14 period is Dr. Kenneth Chahine, Avigen Vice
15 President for Business Development and Intellectual
16 Property.

17 DR. CHAHINE: Good afternoon. My initial
18 goal was to try to bring a literative perspective
19 to the committee, but after the last few speakers,
20 I think that is not something I am going to try to
21 do.

22 My goal here today is to first present
23 what we can reasonably glean from the data
24 presented by my colleagues and also to present the
25 assumptions that form the basis of our proposal to

1 this committee. In our proposal, also, we keep in
2 mind the questions that the FDA posed to the
3 committee.

4 The second goal is to communicate our
5 proposal and the rationale for that proposal. So,
6 what do we know and what can we reasonably assume?

7 The first point is that the procedure is
8 well tolerated, as Dr. Kay indicated. There have
9 been no risk to the patient apart from this
10 inadvertent germline transmission risk that we are
11 talking about today.

12 The second point, which has been clearly
13 talked about amongst the committee members, is the
14 predictive value of the animal models with respect
15 to inadvertent germline transmission. Clearly,
16 some of the animal models don't mimic the human
17 biology, while others may, although even the rabbit
18 dose and clearance times seem to be different from
19 what we are seeing in the first two patients.

20 The one consistent trend, however, is that
21 in all of the animal models, the vector is either
22 not there or it has cleared over time.

23 The third point is that the motile sperm
24 fraction may be positive as the dose increase, and
25 will almost certainly take longer to clear, so we

1 want to make that assumption. I think it is
2 important for the committee to just look at that,
3 and I think our discussion today has brought that
4 out, that the fractionation procedure may not be
5 adequate to address it.

6 The next point is somewhat of a practical
7 point, is that the current rate and current
8 clinical hold triggers, the Phase I trial is going
9 to take very long to complete, and while in no way
10 does this point alone justify recommendation to
11 continue, it does have practical consequences for
12 Avigen, the scientific community, and the
13 hemophilia population.

14 The next point addresses one of the
15 questions that the FDA posed to the committee, and
16 that is, should the enrollment be limited to
17 patients or subjects which are unable to reproduce.

18 Certainly, that will cause delays given
19 the size of the hemophilia population, but will
20 also, in the male population, talking about males
21 that have undergone a vasectomy, limiting
22 enrollment to this patient population will deprive
23 us, the FDA, the scientific and medical community,
24 of the data that we so desperately need to answer
25 the very question we are here today convened to

1 address.

2 Finally, higher doses may yield therapeutic
3 levels of factor IX based on the preclinical data.
4 It is important to keep in mind that the primary
5 purpose of this trial is certainly safety, but as
6 Mark Kay pointed out, at the higher doses we do
7 expect, based on the preclinical animal data, to
8 get a dose that is potentially therapeutic.

9 We hope that this discussion in the future
10 will actually focus more on a risk-benefit as
11 opposed to simply the risk, which is what we are
12 discussing today of inadvertent germline
13 transmission.

14 So, keeping these points in mind, why is
15 informed consent a reasonable and prudent safeguard
16 against inadvertent germline transmission?

17 The subjects are already counseled and
18 educated on the potential of not only transient but
19 permanent germline transmission. The patients are
20 already advised to use barrier contraceptives, and
21 as we have discussed here and at the Recombinant
22 Advisory Committee meeting, the risk of inadvertent
23 germline transmission is low.

24 The subjects are constantly monitored for
25 positive semen and positive motile sperm, and

1 germline transmission, very importantly, can be
2 completely avoided by banking sperm.

3 So, in our opinion, when we take the low
4 risk of inadvertent germline transmission, the
5 small number of subjects in the trial, the active
6 use of barrier contraceptives and the sperm
7 banking, these factors together reduce the risk of
8 inadvertent germline transmission to acceptable
9 levels especially when we weigh the risk against
10 the enormously valuable data we will be able to
11 collect, at no risk to the subject, and the
12 potential benefit to the hemophilia community.

13 So what we propose is the following.

14 That Avigen should continue its assay
15 development and preclinical studies in various
16 animal models. While there is some question about
17 the validity of some of the animal models that we
18 are studying, I hope it is clear that Avigen is not
19 trying to skirt or avoid this issue, but we are
20 aggressively going after an answer.

21 The informed consent should be reviewed
22 and updated as needed to reflect the current data,
23 as we have between the first two patients.

24 The Phase I trial should be allowed to
25 continue regardless of whether the motile sperm

1 fraction is positive, and that just goes back to
2 the difficulties that we have had in the motile
3 sperm, and once we get to, let's say, the next
4 dose, we are assuming that we are going to actually
5 get some contaminations that are going to give us a
6 positive signal.

7 The subjects should be monitored until
8 three monthly semen samples are negative. That is
9 currently in the protocol already. We should, even
10 though the value of the fractionation procedure is
11 a question, we want to continue to find out and
12 maybe improve, as has been suggested here today.

13 We also want to ask a question that is
14 very important and the committee has raised today,
15 which is whether the vector sequences in the semen
16 actually represent biologically active vector.
17 That is just not very clear.

18 If we can do this and continue the trial,
19 we can use the clinical data to identify predictive
20 inadvertent germline transmission preclinical
21 model, which will help if this trial hopefully goes
22 through later phases, having a predictive model
23 would be very good and clearly for other AAV trials
24 coming in the future.

25 We want to continue to encourage subjects

1 to bank sperm prior to the treatment, and the
2 subjects will continue to be informed of their
3 semen results and counseled about whether they
4 should continue to use contraceptives.

5 The final point is important because it is
6 one of the questions that was raised by the FDA to
7 this committee, which is what happens if you have
8 persistent germline transmission.

9 We think, and I believe that the committee
10 believes, that the possibility of that is low, we
11 feel like we need to have a contingency, and we
12 believe that if the subjects and the partners are
13 encouraged to undergo counseling by the study
14 physician on a regular basis if the vector is
15 persistent in the motile sperm, that that will
16 mitigate against the risk of transmission.

17 We selected greater than one year. That
18 was somewhat arbitrary. We are certainly open to
19 discussing with the FDA what would be a reasonable
20 time frame, but that is just the one we selected
21 that we thought was reasonable.

22 In closing, we are convinced that there is
23 a solution to the time of the completion of this
24 Phase I trial, and are committed to working with
25 the FDA to find and implement such a solution.

1 Thank you.

2 DR. SALOMON: Thank you very much.

3 The schedule shows lunch. I think you may
4 have intuited that I wasn't planning on lunch, and
5 just go into answering the questions. I just
6 wanted to make sure that that was okay with
7 everybody.

8 Committee Discussion of Questions

9 We have a series of questions. I am very
10 comfortable that we have set most of the
11 intellectual background here to do this. The first
12 question:

13 1. If vector sequences are detected in
14 the motile sperm fraction of clinical trial
15 subjects, the current approach of the FDA is to
16 suspend accrual to the study -- in other words, put
17 it on a clinical hold, and that is what happened in
18 this case, just to make sure that everybody is
19 clear about that, these guys can't go forward right
20 now -- regarding the persistence of the vector then
21 becomes the criteria upon which the clinical hold
22 is raised or not raised.

23 If they are getting out of 14 weeks and
24 they are still positive in their second patient at
25 the lowest dose, that is how they are calculating

1 that it would take five years to do the study, and
2 it might even be longer.

3 So I think most of us who have done
4 clinical trials are sympathetic with that being
5 very difficult.

6 Enrollment has been allowed to proceed
7 when there are data to show that it is negative.
8 In other words, three consecutive samples.

9 A. Does the committee agree that a
10 clinical hold is warranted when motile sperm tests
11 positive for vector sequence or should enrollment
12 be allowed to continue with appropriate
13 modification made to consent documents?

14 Discussion?

15 Let me just point out something here.
16 There is a little bit of a load in here because we
17 are talking about, at least for me, this is motile
18 sperm tests. I am underwhelmed with this motile
19 sperm test thing. In other words, I think it is
20 great science, but I am not sure, and welcome
21 discussion on that point, that you really have to
22 do motile sperm tests here, and I think it would be
23 just easier to do semen.

24 Go ahead. You wanted to make a
25 qualification?

1 DR. KAY: [Off mike.]

2 DR. SALOMON: The point is that you are
3 still on hold. I think that is the main
4 clarification.

5 Yes, Tom.

6 DR. MURRAY: First, just to clarify a
7 factual question. The reason you are underwhelmed
8 by the motile sperm test, I am sorry it's unfair to
9 ask Dr. Salomon a question. I begin with a
10 clarification from you.

11 The reason you are underwhelmed with the
12 motile sperm test, maybe I misunderstand the nature
13 of the test, but if I understood it correctly --
14 the first thing we are after is if we are concerned
15 about germline transmission, we don't want to see
16 altered genes to a child, and there are a variety
17 of ways to sort of protect against that.

18 The motile sperm test, as I understood it,
19 was imperfect for a variety of reasons, but most of
20 them had to do with the fact that you might still
21 get AAV DNA even if it wasn't going to be, so it's
22 a sort of both and test.

23 If it came up negative, would you be
24 pretty reassured that you weren't going to get?
25 Okay. But if it came up positive, you still

1 weren't sure whether it meant that it was
2 infectious or not. Okay. So, I have got that
3 clear. I wanted to be sure I got that clear.

4 Let me start then from the back end. If
5 the concern is to prevent the transmission of
6 altered DNA to offspring, there are a number of
7 ways to try to achieve that. One is to test motile
8 sperm. If it comes up negative three times in a
9 row, we feel pretty comfortable that it is not
10 going to happen.

11 I noticed, by the way, that one of the
12 spokespersons for the hemophilia community actually
13 said about requiring barrier contraception. I am
14 assuming the FDA is not in a position to require it
15 or enforce a requirement for barrier contraception,
16 but I think we need to take that as an expression
17 of the genuine concern on the part of that
18 community.

19 So, a second order would be to again
20 strongly encourage barrier contraception, provide
21 very clear informed consent. Give the kinds of
22 warnings that Dr. Gordon was, I think, alerting us
23 to, say, look, a way around this is not to do ICSI
24 with your current sperm after intervention, and be
25 very clear about the variety of things that they