

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS ADVISORY COMMITTEE

+ + + + +

MEETING ON INFLUENZA VIRUS VACCINE FORMULATION FOR 2002-2003 AND CBER LABORATORY SITE VISIT FOR LHV AND LVBVD

+ + + + +

WEDNESDAY
MARCH 6, 2002

The Advisory Committee met in Building 29, Room 121, NIH, Bethesda, Maryland, at 12:30 p.m., Dr. Robert S. Daum, Chair, presiding.

PRESENT:

- DR. ROBERT S. DAUM,
- DR. ESTUARDO AGUILAR-CARDOVA
- DR. PAMELA S. DIAZ
- MS. BARBARA FISHER
- DR. BILL FREAS
- DR. BILL EGAN
- DR. DAVID MARKOVITZ
- DR. NEIL GOLDMAN
- DR. JODY SACHS
- DR. JULIE PARSONNET
- DR. KAREN MIDTHUN
- DR. KWANG SIK KIM
- DR. LEWIS MARKOFF
- DR. PETER PALESE
- DR. ROLAND LEVANDOWSKI
- DR. STEPHEN FEINSTONE
- DR. STANLEY LEMON
- DR. SAMUEL L. KATZ
- DR. JERRY WEIR

OPEN

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Call to Order, Dr. Robert S. Daum, Chair	3
Announcements, Dr. Jody Sachs, FDA	3

Session 1 - Open Session**Strain Selection for the influenza Virus Vaccine for 2002-2003**

Introduction and Review, Dr. Levandowski, FDA	12
Additional Information, A H3N2 and B Viruses,	
Dr. Nancy Cox, CDC	23
Dr. Levandowski, FDA	47
Dr. Nancy Cox, CDC	56
Committee Discussion	61
Open Public Hearing for Session 1	70
Recommendations for influenza Virus Vaccine for 2002-2003	71

Session 2 - Open Session**Brief Overview of the CBER Laboratories that Underwent Site Visit:**

Overview of the Division of Viral Products,	
Dr. Jerry Weir	82
Synopsis of Laboratory of hepatitis Virus (LHV),	
Dr. Stephen Feinstone	87
Synopsis of Laboratory of Vector-borne Viral Diseases (LWD), Dr. Lewis Markoff	90
Open Public Hearing for Session 2	97

Session 3 - Closed Session**Site Visit Report Discussion and Committee Recommendations**

Dr. Stanley Lemon	99
-----------------------------	----

Adjournment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

12:33 p.m.

1
2
3 DR. DAUM: We ask that all committee
4 members identify themselves each and every time we
5 talk because the transcriber will not recognize
6 anyone's voice probably by sound.

7 We also ask the usual extension from
8 cellular phones. Should noise occur in your office,
9 or if you need to make noise in your office, we would
10 appreciate if you would use the mute button on your
11 phone. Do not place the meeting on hold because we
12 may hear a lot of background music if you do that.

13 At this point I would like to welcome Jody
14 Sachs to our committee's deliberations. I think this
15 is her first solo meeting and she's stepping into tall
16 shoes here replacing Jerry.

17 Welcome, Jody. Would you begin with your
18 announcements?

19 DR. SACHS: Sure. Thank you, Dr. Daum.

20 Good afternoon. My name is Jody Sachs,
21 the Executive Secretary for today's meeting of the
22 Vaccine and Related Biological Products Advisory
23 Committee. I would like to welcome all of you to the
24 90th meeting of the Advisory Committee.

25 There is a speaker phone for public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 participation located here in Conference Room 121, in
2 Building 29, at the NIH campus.

3 This afternoon's session will consist of
4 a presentation and committee discussion that will be
5 open to the public. We will then go to a closed
6 session until the meeting is adjourned as described in
7 the Federal Register notice of February 22, 2002.

8 Should a committee member get dropped from
9 the teleconference line, simply call back at the 800
10 number which is 1-888-316-9409 and ask to be connected
11 to the ID number 17271. The operator is under strict
12 instructions only to connect committee members to this
13 line. That number again is 1-888-316-9409 and the ID
14 number again is 17271. If you have a problem while on
15 the call, you can reach the operator by pressing *0 at
16 anytime and she will help.

17 We ask that you do not place -- use your
18 hold button because many clinical centers have
19 background music that can be distracting to those on
20 the call. I strongly urge everybody again to use the
21 mute since there are many lines going and it will
22 decrease the background sound. It will help
23 everybody.

24 I would like to introduce the members of
25 the FDA staff that are around the table this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 afternoon. Let me start with Dr. Karen Midthun,
2 Director of Office of Vaccine Research and Review, Dr.
3 William Egan, Deputy Director, Office of Vaccine
4 Research and Review, Dr. Norman Bailer, Associate
5 Director for -- I'm sorry. Dr. Bailer is not present
6 at this time. Dr. Roland Levandowski, Office of
7 Division of Viral Products, Dr. Neil Goldman,
8 Associate Director for Research, Office of the Center
9 Director at CBER, Dr. Jerry Weir, Director of Division
10 of Viral Products.

11 Later for Session 2 Dr. Stephen Feinstone,
12 Chief of the Laboratory of hepatitis Viruses, and Dr.
13 Lewis Markoff, Chief of the Laboratory of Vector-Borne
14 Viruses, will be joining us as well as Dr. Stanley
15 Lemon, the Site-Visit Team Chair and Professor and
16 Chairman, Department of Microbiology and Immunology
17 and Dean of the University of Texas Medical School.

18 I would also like to introduce you to
19 Denise Royster. Denise is the Committee Management
20 Specialist at OD SACS. I would like to personally
21 thank Denise for competent handling of all the details
22 to pull this meeting together. I am indebted to her.

23 I do ask that all their committee members
24 identify themselves each and every time they talk. As
25 Dr. Daum mentioned, we have a transcriber present who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will need your assistance in order to attribute all
2 the comments to the appropriate members.

3 At this time I would like to read a
4 conflict of interest statement. The following
5 announcement addresses conflict of interest issues
6 associated with this meeting of Vaccine and Related
7 Biological Products Advisory Committee on March 6,
8 2002.

9 DR. SNIDER: Jody, this is Dixie Snider.
10 You're fading in and out on us.

11 DR. KATZ: Sam Katz. The same thing is
12 happening here.

13 DR. WHITLEY: Same in Birmingham.

14 DR. SACHS: I just removed the speaker
15 phone for the moment. I'm just going to still
16 address. You tell me while I continue if there is
17 additional problems. Unfortunately, not everybody in
18 this room can hear but we'll continue reading the
19 statement and then I'll put you back on.

20 Based on the agenda made available, it has
21 been determined that the committee discussions present
22 no potential for conflict of interest. Participating
23 in this teleconference are vaccine manufacturers. The
24 reason for their participation is to present the
25 industry's point of view.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

The Director of the Center of Biologics, Evaluation, and Research has appointed Dr. Robert Couch, Dr. Walter Dowdle, Dr. Theodore Eickhoff, Dr. Kwang Sik Kim, Dr. Steven Kohl, Dr. Stanley Lemon, and Dr. Greg Poland, as well as Dr. Dixie Snider as temporary voting members for the committee discussions.

In the event that the discussions involve specific products or firms not on the agenda for which the FDA's participation have a financial interest, their participants are aware of a need to exclude themselves from such involvement and their exclusion will be noted for the public.

With respect to all other meeting participants, we ask in the interest of fairness that they address any current or previous financial involvement with any firm whose products they wish to comment upon.

I'm going to place you back on speaker. I'm getting a lot of background noise and all I ask is that if you're not speaking presently, use your mute button and see if we can continue.

I now at this time wish to turn over the meeting to Dr. Daum.

DR. DAUM: Thank you very much. We're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doing absolutely perfectly in terms of clarity. I
2 guess people are just going to have to pipe up if they
3 can't hear well.

4 At this point we would like to call the
5 roll and see who's here. I'll just go around the
6 table, so to speak.

7 DR. SACHS: Dr. Daum, the sound is not
8 coming across very loud at all.

9 DR. DAUM: Can you hear me reasonably
10 well?

11 DR. SACHS: No. There's too much
12 background.

13 DR. DECKER: Could you try using your
14 handset instead of a speak phone, Dr. Daum?

15 DR. DAUM: I'm going to try that right
16 now.

17 DR. SACHS: Thank you.

18 DR. DAUM: Hello. Is that better?

19 DR. DECKER: That makes you much better,
20 Bob. This is Michael Decker and I believe I'm
21 detecting the sound of the cell phone if anyone is on
22 a cell phone. I believe part of the interference came
23 on when somebody joined who isn't muted.

24 DR. DAUM: That could well be. I'm just
25 not knowledgeable enough.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SACHS: Okay. I would like to --

2 DR. DAUM: There is some buzzing that
3 fades in and out. There it is.

4 DR. SACHS: If anybody is on a cell phone,
5 could you hang up, please, and call back on another
6 line. All those who are not speaking, just use mute
7 and we'll see if we can proceed. Thank you.

8 DR. DAUM: That worked. Whoever hung up,
9 thank you.

10 DR. SACHS: Thank you.

11 DR. DAUM: Let's go around and see who's
12 here. Dr. Aguilar-Cordova.

13 DR. AGUILAR-CORDOVA: Yes, I'm here.

14 DR. DAUM: Welcome. Michael Decker I know
15 is here. Pam Diaz.

16 DR. DIAZ: I'm here.

17 DR. DAUM: Welcome. Dr. Walter Faggett?
18 Dr. Faggett, are you here?

19 DR. SACHS: He is here. I'm sure he's on
20 mute.

21 DR. DAUM: Okay.

22 DR. MIDTHUN: He was the cell phone.

23 DR. DAUM: Dr. Griffin? Dr. Griffin?
24 What about Dr. Goldberg?

25 DR. GOLDBERG: I'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Dr. Katz.
2 DR. KATZ: Here.
3 DR. DAUM: Dr. Markovitz.
4 DR. MARKOVITZ: Here.
5 DR. DAUM: Welcome.
6 DR. MARKOVITZ: Thank you.
7 DR. DAUM: Dr. Overturf.
8 DR. OVERTURF: Here.
9 DR. DAUM: Dr. Palese.
10 DR. PALESE: Here.
11 DR. DAUM: Dr. Parsonnet. Dr. Parsonnet.
12 Dr. Stephens.
13 DR. STEPHENS: Here.
14 DR. DAUM: Dr. Whitley.
15 DR. WHITLEY: Here.
16 DR. DAUM: We have three new committee
17 members, some of you may have noticed, for this
18 meeting. We would like to especially welcome Dr.
19 Aguilar-Cordova, Dr. Markovitz, and Dr. Overturf.
20 Welcome to our committee.
21 To go on with invited guests, consultants,
22 and speakers. Dr. Couch, are you here?
23 DR. COUCH: Here.
24 DR. DAUM: Dr. Cox.
25 DR. COX: I'm here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Dr. Diniega.
2 DR. DINIEGA: I'm here.
3 DR. DAUM: Welcome. Dr. Dowdle.
4 DR. DOWDLE: Here.
5 DR. DAUM: Dr. Eickhoff.
6 DR. EICKHOFF: Here.
7 DR. DAUM: Dr. Kim.
8 DR. KIM: Here.
9 DR. DAUM: Steve Kohl.
10 DR. KOHL: Good morning.
11 DR. DAUM: Hello. Morning in some places.
12 DR. KOHL: Exactly.
13 DR. DAUM: Dr. McInnes.
14 DR. SACHS: She's not here. Not present
15 today.
16 DR. DAUM: I think we won't go through the
17 FDA folks again because Jody went through that before
18 we started.
19 DR. SACHS: What about Dr. Snider and Dr.
20 Poland?
21 DR. DAUM: I'm obviously missing them.
22 They are not on the sheet that I have here.
23 DR. POLAND: This is Dr. Poland. I'm
24 here.
25 DR. DAUM: Good. And Dr. Snider?

1 DR. SNIDER: I'm here, Bob.

2 DR. DAUM: Welcome both of you. Your
3 names are not on the list that I have and I apologize
4 for that.

5 Okay. With that attendance in order, I
6 think we're ready to move into the business of the
7 meeting. Session 1 is an open session where we are
8 going to continue the discussion with strain selection
9 for influenza virus vaccine for next season. We will
10 begin with our Dr. Levandowski who will introduce the
11 topic in review where we left off.

12 DR. LEVANDOWSKI: Thanks, Dr. Daum. I
13 would just like to make a comment, just a
14 clarification for the rest of the remainder of the
15 information to be presented today on the conference
16 call.

17 I'm going to do a review in introduction
18 of where we are at this point. When I'm done, then
19 Dr. Cox and her colleagues at CDC will be able to
20 provide some additional surveillance data.

21 I have some additional vaccine study
22 information and some information about correct status
23 of influenza virus strains and re-agents. Then Dr.
24 Cox and her group again would be doing the options as
25 we see them for making recommendations at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If that's okay, I'll just go ahead then and start with
2 the introduction and review. First I'll ask can
3 everybody hear me okay?

4 DR. DAUM: Yes.

5 DR. LEVANDOWSKI: I think probably you
6 can?

7 DR. SACHS: Yes.

8 ALL: Yes.

9 DR. LEVANDOWSKI: Great. So I'll just go
10 ahead. The reason we're here today, as Dr. Daum has
11 already mentioned, is to complete the recommendations
12 for the composition of influenza virus vaccines that
13 will be used in the United States during the 2002/2003
14 influenza season, the one that's coming up.

15 You'll probably all recall that on January
16 30th the committee met to begin making those
17 recommendations. There was a lot of information that
18 was available to us at that time and there was
19 information presented on surveillance and epidemiology
20 of influenza viruses in the United States and around
21 the world.

22 Also serologic responses to current
23 influenza vaccines and on the suitability of recent
24 influenza viruses for use in manufacturing. After the
25 committee heard that information in January, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommended that the influenza A(H1N1) component of
2 the vaccine should remain the same as it had been
3 which is A/New Caledonia/20/99(H1N1) strain.

4 Also recommended that the influenza A/H3N2
5 component of the vaccine should remain the same as it
6 had been as an A/Panama/2007/99(H3N2) unless there was
7 any new compelling information that would be
8 accumulated between the time of that meeting and the
9 current time that would suggest that some other
10 strategy might be a better one.

11 The committee also recommended for the
12 influenza B component of the vaccine that decision
13 would best be deferred to accumulate some additional
14 information. However, there was a lot of discussion
15 about the need to change the influenza B component
16 based on the information that we had at that time.

17 What stimulated the discussion on
18 influenza B was partly the recent recognition that
19 there have been widespread co-circulation of influenza
20 B viruses of the two known hemagglutinin lineages that
21 are represented by the reference strains
22 B/Victoria/287 and B/Yamagata/1688.

23 Some of the points that were considered in
24 the discussion included the fact that there was
25 evidence of antigenic drift continuing in influenza B

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viruses of both of those lineages, both of those HA
2 lineages.

3 The fact that B/Victoria/287/HA lineage
4 strains have been found outside Asia for the first
5 time since the early 1990s. You'll recall that CDC
6 had isolates from both Hawaii and Canada during the
7 last six months.

8 We mentioned that there was a large cohort
9 of children who have not been exposed to
10 B/Victoria/02/87 HA lineage strains. Basically that
11 would everyone in the United States under the age of
12 12 years.

13 Currently the vaccines contain an
14 influenza B virus that's from the other hemagglutinin
15 lineage, the B/Yamagata/16/88 HA lineage. Just to
16 recall again, the actual strains that are in the
17 vaccines being used in the United States in that
18 B/Yamagata lineage include B/Victoria/504/2000 and
19 B/Huangdong/120/2000.

20 There were studies that were done in
21 adults and elderly. All of these were people who had
22 been old enough to have been very highly likely to
23 have been exposed to the B/Victoria/287 HA lineage
24 strains either through being vaccinated or being
25 infected.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Those studies with current vaccines that
2 included the strains that I just mentioned indicate
3 that those vaccines that induce antibodies that are
4 poorly inhibitory for these B/Victoria/287 HA lineage
5 strains when those have been tested in hemagglutinin
6 inhibition tests. That's not news. That's something
7 that we had been noting for the past 10 years.

8 I guess I should quickly say that it seems
9 to have become more pronounced in adults and elderly
10 during that period of time. There's just been
11 continuing antigenic drift.

12 There were some studies with investigation
13 vaccines given to immunologically prime adults that
14 suggested that vaccines with B/Victoria/287 HA lineage
15 components could produce antibodies that would cross-
16 react with the B/Yamagata/16/88 HA lineage strains.
17 To reemphasize, it's in immunologically prime people
18 and not in the immunologically naive.

19 We don't think that we know how to predict
20 whether the two HA lineages will continue to co-
21 circulate widely. However, in the past what we've
22 seen is that when a newer strain for which there's not
23 very much population immunity starts to spread, that
24 it continues to spread and it certainly can displace
25 the older strains, although there may be some co-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 circulation for a while.

2 Going on, subsequent to our committee
3 meeting, the World Health Organization held it's
4 meeting in February of this year to recommend vaccine
5 composition for the northern hemisphere.

6 During the WHO meeting there was quite a
7 bit of additional information on surveillance and
8 vaccine studies that became available to us even
9 though that was only a week after our own meeting.

10 A summary of the information and
11 recommendations, I thin, has been distributed for
12 committee review prior to this conference call.
13 Actually, this information is in the form of a
14 publication and now in the weekly Epidemiologic Record
15 that WHO publishes.

16 We'll view some of that information on the
17 conference call and there's additional information
18 that has become available since WHO which you'll be
19 hearing shortly. Based on the information that WHO
20 had, its recommendations were for a trivalent vaccine
21 that would contain the antigens of an A/New
22 Caledonia/20/99-like (H1N1) virus, an
23 A/Moscow/1099(3N2) and that would most commonly
24 thought to be the A/Panama/2007/99 stain which is
25 Moscow/1099-like.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 WHO recommended a change in the B strain
2 as a B/Hong Kong/330/2001-like strain. Just to remind
3 you, B/Hong Kong/330/2001 is a B/Victoria/287 HA
4 lineage strain. It's not the one that is currently in
5 vaccines. It's the other HA lineage.

6 There was a question that was raised in
7 the public meeting held by WHO just after its
8 recommendations were drafted. It was stated at that
9 meeting that the B/Shangdong/797 virus can be
10 considered to be a B/Hong Kong/330/2001-like strain.

11 Just a little bit more information. I'll
12 just also remind you that at our advisory committee,
13 at the Vaccines and Related Biological Products
14 Advisory Committee meeting in January there was quite
15 a bit of discussion about quadravalent influenza
16 vaccine for use in the coming season. I would like to
17 point out some issues that will need to be remembered
18 with regard to how that might happen with influenza
19 virus vaccines.

20 First of all, although there is some
21 information, there is really not very much relevant
22 information that exist on the safety, immunogenicity
23 and efficacy of quadravalent influence of vaccines
24 produced by current methods.

25 The current license to activate influenza

1 vaccines contain 15 micrograms per dose of each
2 hemagglutinin incorporated. The expectation is, as
3 has already been mentioned, that this dose would
4 continue to be true in the absence of data to suggest
5 otherwise.

6 Any change to the antigen content, that
7 is, either a reduction in one of the antigens or an
8 increase in the overall amount. Any change in the
9 antigen content for the formulation would require some
10 clinical studies to evaluate safety and efficacy.

11 Depending on the type of clinical
12 measurement can be made, however, the size of the
13 study could be quite large to reach adequate
14 statistical power. As an example, a well-designed
15 study to assess the impact on adverse reactions that
16 could occur at a rate of about 1 percent as you might
17 see for febrile responses, something that simple would
18 require several thousand participants for each age
19 group examined.

20 It's not feasible to design and complete
21 clinical studies to support a change to the trivalent
22 vaccine this year. In that respect, even if smaller
23 studies were considered adequate to support the
24 change.

25 Furthermore, the quadravalent vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would require manufacturers to implement some process
2 and control changes and then they need to validate
3 those in order to satisfy what are considered good
4 manufacturing practices currently.

5 And then a quadravalent vaccine if it
6 contains 15 micrograms of each strain would just by
7 itself reduce the number of influenza vaccine doses
8 that could be available for the upcoming season.
9 Actually, it would be approximately 25 percent.

10 The concern is that kind of reduction in
11 vaccine availability could trigger a true shortage of
12 vaccine or result in delays that are similar to or
13 maybe even worse than what we've seen in the past.

14 There was mention about a quadravalent
15 vaccine containing 7.5 micrograms of each influenza B
16 strain. Although that might not impact the total
17 number of doses, it might, nevertheless, cause a delay
18 in the availability of vaccine both because of the
19 need for clinical information and because, gain, of
20 changes in manufacturing process and control.

21 Given the fact that the time really is the
22 limiting factor in producing influenza virus vaccines
23 for new formulations, these are really very
24 significant practical barriers that I would say make
25 it impossible to implement a recommendation for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quadravalent vaccine during this year.

2 I think I'll stop there and if you have
3 comments or questions, I'll try to answer them.

4 DR. DAUM: Okay. Thank you very much,
5 Roland. That was right to the point and very helpful
6 as always.

7 Why don't we open the floor at this point.

8 DR. POLAND: Roland, this is Greg Poland.
9 Not to side track from the B issue but is this new
10 strain that's A/Wisconsin strain that's been
11 identified, was there adequate cross coverage with
12 currently what we're recommending for next year with
13 the A strains?

14 DR. LEVANDOWSKI: Well, you're sort of
15 jumping ahead of us. We were going to get to that.

16 DR. POLAND: It's an important issue and
17 my suggestion --

18 DR. COUCH: Why don't we do the influence
19 of B.

20 DR. POLAND: That's fine. Okay. I'm
21 sorry.

22 DR. DAUM: We will hear about that, Dr.
23 Poland.

24 DR. POLAND: Okay.

25 DR. DAUM: Questions about Dr.

1 Levandowski's presentation, issues that need
2 clarification.

3 DR. COUCH: This is Couch. Can I make
4 just one comment? That is that Roland has argued very
5 strongly for not taking one of the main lines that I
6 thought should be considered and that was the 7.5.
7 The 7.5 as the component to the quadravalent I would
8 not propose for the many reasons he said, that it be
9 15 of each of the B components.

10 He's made the clear statement that that
11 cannot be done without new clinical information and I
12 think that kills the suggestion right there. I'm not
13 sure that we really have much of a discussion on
14 influenza B.

15 DR. DAUM: Well, I guess, Dr. Couch, I
16 could encourage you if you wanted to be encouraged to
17 say that this might be a desirable goal for the future
18 and that people might begin to do some of the
19 preliminary studies that might make this a possibility
20 for other seasons. I think we've heard pretty clearly
21 that it's not a possibility for this season.

22 DR. COUCH: Well, I don't think we could
23 resolve that right now as to whether it should be
24 pursued but the discussion is worth considering by
25 people that pursue studies like the NIAID as to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether this would be an appropriate use of time.

2 As Roland has pointed out, if you want to
3 find differences between 15 and 7.5 for almost any of
4 the variables that you would like to have, you're
5 talking about -- except perhaps for safety, you're
6 talking about big time numbers.

7 DR. DAUM: Thank you, Dr. Couch.

8 DR. COUCH: I don't think we should just
9 shelve the consideration forevermore but I would
10 discourage that subject on this committee.

11 DR. DAUM: I agree with you.

12 Other comments about Dr. Levandowski's
13 presentation? Well, then why don't we move on in the
14 absence of them and hear from Dr. Cox if he's ready to
15 go.

16 DR. COX: Yes, I am.

17 DR. DAUM: Okay.

18 DR. COX: I'll try not to shuffle too many
19 papers but I will be moving back and forth from
20 package to package and will try not to make too much
21 noise. Let me know if you hear some interference.

22 DR. DAUM: Were you just shuffling just
23 for curiosity?

24 DR. COX: No.

25 DR. DAUM: There was a lot of shuffling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 noise a moment ago. Go ahead.

2 DR. COX: We'll be careful. I actually
3 have Dr. Fukuda and Primhoff in my office with me --

4 DR. DAUM: Good morning.

5 DR. COX: -- to add any additional points.

6 Okay. We have actually quite a bit of
7 additional surveillance information. I'm actually
8 going to go over some of the H1 and H3 information
9 just to tie up any lose ends or any question marks
10 that might be remaining in people's minds from our
11 previous meeting.

12 We have summarized the U.S. surveillance
13 information on pages 4 through 7 of the large CDC
14 package that was sent out earlier. We have had one
15 additional report for the week ending February 23.
16 It's fairly clear that influenza activity in the U.S.
17 has continued to be moderate but at last report is
18 still --

19 DR. DAUM: It cut off, I think. Is still
20 what, Nancy?

21 DR. COX: Is still increasing. Still
22 moving upward. Also, respiratory specimens that were
23 tested by the reporting labs for the latest week,
24 about 26 percent were positive for influenza. That
25 indicates that there are moderately high levels of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 influenza activity continuing in the U.S.

2 Nearly 7,500 isolates have been identified
3 in the U.S. About 99 percent are Flu A and 1 percent
4 are Flu B. Of the influenza A viruses that have been
5 subtyped, 99 percent are H3 and 1 percent are H1.

6 Morbidity surveillance indicates that flu
7 activity may still be on the increase and we have two
8 morbidity indices indicating that. The mortality
9 surveillance in 122 city systems indicates that excess
10 influenza and pneumonia mortality has not occurred yet
11 this year.

12 If we could now turn to page 9 of the
13 large CDC handout. As we stress to the committee,
14 this is nothing new for H1 viruses. I'll be directing
15 H1 and H2 strains that were identified the subject of
16 which was brought up by Dr. Cohen very shortly.

17 In the HI table on page 9 of the large CDC
18 handout you can see that we have viruses in the
19 reference battery representing vaccine strain A/New
20 Caledonia/20/99 and the previous strain
21 A/Johannesburg/82/96. All of the strains that we've
22 analyzed recently are in the A/New Caledonia group.
23 The vast majority remain well inhibited by antiserum
24 to the New Caledonia virus.

25 In this particular test we had some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viruses from North America, South America, the Middle
2 East, Asia, and, in particular, we have some strains
3 that we received from China down at the bottom. The
4 story has been very consistent that the H1 viruses
5 that we've received have been well inhibited by
6 antiserum to New Caledonia.

7 That information is summarized on page 10
8 in the frequency table. We're looking at the summary
9 of the H1 data generated at CDC. For the last period
10 on October 2000 to current times we've analyzed a
11 total of 50 viruses all of which are related to New
12 Caledonia. A small proportion have actually reduced
13 New Caledonia.

14 Now I would like to switch subjects
15 slightly and talk about influenza A(H1N2) viruses that
16 have been identified and have receive come press
17 coverage. Between September 2001 and the current time
18 reassortant influenza A(H1N2) viruses have been
19 isolated from outbreaks or sporadic cases in Canada,
20 Egypt, France, India, Israel, Latvia, Malaysia, Oman,
21 Singapore, the U.K. and the U.S.

22 Studies on representative H1N2 viruses
23 have shown that the hemagglutinin ends of these
24 viruses are both antigenically and genetically similar
25 to that of the New Caledonia vaccine strain. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neuraminidases of these viruses are antigenically and
2 genetically similar to that of the Panama vaccine
3 strain. Therefore, the current influenza vaccine is
4 expected to provide good protection to reassortant
5 H1N2 viruses.

6 Now, I would just like to mention that the
7 other six gene segments of characterized H1N2 strains
8 are similar to those of recent A(H3N2) viruses
9 including the Panama and Moscow reference in vaccine
10 strains.

11 I would also like to emphasize that
12 existing already distributed serological and molecular
13 re-agents can be used for identification and
14 characterization of these influenza A(H1N2) strains.

15 I don't know if you would like to have
16 anymore information. We've actually in the smaller
17 package on the page that's marked No. 3, the more
18 recently distributed CDC package, we do have a map
19 showing the countries. Actually, Sasha said it's page
20 1, showing the countries that have H1N2 viruses
21 identified.

22 I would like to entertain any questions
23 that people might have on H1N1 or H1N2 viruses.

24 DR. KATZ: Nancy, this is Sam Katz. When
25 was the last time there was any such reassortant where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 H1N1 picked up a neuraminidase that was N2?

2 DR. COX: The last time the reassortant
3 was documented was in 1989 and there were a small
4 number of -- a relatively small number of viruses
5 isolated in China and one identified in Japan that
6 were H1N2. We did some surveillance around that time.
7 The viruses did not appear to spread and were not
8 detected.

9 You might remember that in the late '70s
10 and early '80s there were H1N1 viruses circulating
11 that had internal genes from H3N2 circulating
12 strength. We assume from everything that we know that
13 the H1 hemagglutinin can quite easily pick up genes
14 from H3N2 strains when there's a mixed infection of an
15 individual.

16 DR. PALESE: Nancy, Peter Palese. More
17 than 20 years ago we demonstrated that when first H1N1
18 viruses and H3N2 viruses came along, the internal
19 genes were reassorted. I think it is probably a dead
20 end when these things occur. They have occurred over
21 the last 20 years, these reassortments, between these
22 major H1N1 and H3N2 viruses.

23 I don't think one should be too much
24 concerned about it. Clearly hemagglutinin is a major
25 antigenic determinant and, therefore, the vaccine will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 protect against H1N2 viruses as well as H1N2. Thank
2 you very much.

3 DR. DAUM: Other comments for Dr. Cox at
4 this point?

5 DR. COUCH: This is Couch. Peter, you
6 would suggest, would you not, that H1N2 viruses that
7 have emerged could be the clones that remain in
8 circulation or did you suggest that the clone would
9 die?

10 DR. PALESE: No one can look into the
11 future but in the past these reassortants have not
12 been a major -- they have died out and there is very
13 good reason to believe that those will die out again.

14 DR. COUCH: Okay. Thank you.

15 DR. PALESE: But it wouldn't matter
16 really.

17 DR. COUCH: I agree it doesn't matter.

18 DR. PALESE: My prediction is, and I don't
19 want to --

20 DR. COUCH: It might hang around would
21 have been my hunch.

22 DR. PALESE: I mean, 1978, which is almost
23 20 whatever years back, we have demonstrated
24 reassortants going on and they have not been the major
25 line since that time. You can never predict for sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but I think but I think there is very good past
2 evidence that these reassortants are not making it.

3 DR. DIAZ: Hi. This is Pam Diaz in
4 Chicago. Are you able to hear me?

5 DR. SACHS: Yes.

6 DR. DIAZ: I have a question based on
7 those comments and the past history with finding these
8 reassortants in China and Japan only. Looking at the
9 map that we're currently faced with in terms of where
10 reassortants have been identified, can anybody comment
11 on the multiplicity of countries that are involved in
12 those particular countries?

13 Is it our surveillance system is so good
14 in those areas? Is the spread based on travel
15 patterns? Are these de novo reassortants in these
16 different countries? Does anybody have any thought or
17 information on that?

18 DR. COX: I do have some additional
19 information. It looks like from the -- we are just
20 developing a lot of information. We are working with
21 our colleagues in the other WHO collaborating centers
22 and national influenza centers to develop a full
23 picture of things.

24 We will actually go on to page 11 of the
25 big handout because there seems to be quite a bit of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interest in these strains. You will see there is the
2 dendogram for the influenza H1HA gene. All of the
3 viruses of the H1N2 strains that we have looked at so
4 far have HA that cluster together in that top clade.
5 You will see strains from India, Egypt, Texas, and so
6 on in that top clade.

7 They have their signature immunoacid
8 changes among them. There are some differences
9 depending on the time frame during which they were
10 detected. What we -- and we have some additional
11 information that would allow us to say that there have
12 been at least two separate events.

13 We don't have complete enough information
14 to know based on travel patterns exactly what's
15 happening. My suspicion is that these viruses have
16 been circulating for a while.

17 DR. DIAZ: Right.

18 DR. COX: The earliest strain that's been
19 detected by any of the four WHO collaborating labs
20 goes back almost two years to about April of 2000.
21 These strains have been circulating. We didn't pick
22 them up because we don't do tremendous amount of
23 surveillance for neuraminidase genes. As was pointed
24 out, they are not relevant with regard to changing
25 vaccine strains.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The neuraminidase gene sequence data is
2 summarized in the dendogram on page 13. You can see
3 there that there's a tendency for the neuraminidases
4 of these H1N2 strains to cluster together and they are
5 sort of a third of the way down on the dendogram. We
6 have Nevada/2001, India/2001, and Texas/2001.

7 I should probably have mentioned that
8 Wisconsin, Texas, and Nevada are the three states in
9 the U.S. from which we have identified H1N2 strains.
10 I think that it is really impossible to predict what
11 will happen. We have seen in the past some lineages
12 of viruses which do not go anywhere. They just die
13 out.

14 Others that do, and I think at this point
15 in time it's really impossible to predict but as far
16 as vaccine strain selection is concerned have these
17 strains covered so there's no particular worry for our
18 committee.

19 DR. DAUM: Nancy, do you want to go on and
20 talk about H1N2 and then B or are there more questions
21 about --

22 DR. KATZ: I've got one more question.
23 Sam Katz for Peter Palese. You're looking at two
24 genes, the hemagglutinin and the neuraminidase. Is
25 there any indication that other of the genes have also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reassorted or is there any suggestion ever that these
2 are hardier strains or more virulent?

3 DR. PALESE: In the past we have seen some
4 reassortment among the internal genes coming from the
5 H1N1 and the H3N2 line. That has occurred in the
6 past. Is that your question, Sam?

7 DR. KATZ: Yes. And the question was does
8 that confer any difference in the virulence of the
9 virus?

10 DR. PALESE: I don't think measurable, if
11 I'm correct. Certainly in mice we have put one or two
12 into mice and haven't seen anything. I mean, I think
13 the answer is I think we don't know and because they
14 have died out, I don't think they are really a major
15 hit. Not very robust.

16 DR. DAUM: Thank you very much.

17 DR. COX: I think that some of the early
18 H1N2 reassortants were actually put into people back
19 in the late '70s by Paul Bear, The Common Colds
20 research lab. There were not differences, detectable
21 differences in virulents.

22 In addition, when they were circulating
23 widely during the late '70s and '80s there was no
24 indication they were causing more serious disease than
25 other H1s. We've had no indication so far that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they're causing more serious disease than H1, although
2 we do have limited data.

3 Current strains, as I mentioned before, I
4 just want to clarify, do have only the H1 gene from
5 currently circulating H1N1 viruses. All other genes
6 are H3N2.

7 DR. DAUM: Thank you very much. Other
8 questions regarding H1N2 or H1N1? Let's go on then.
9 Nancy, would you like to continue?

10 DR. COX: Sure. On page 15 of the large
11 CDC handout, you'll see an H3 test. It was performed
12 on the day after our meeting, our last meeting. On
13 that test we have a number of strains from the U.S. as
14 test antigens followed by a number of stains from
15 China and the last three strains from Singapore.

16 Among the H3 viruses tested recently, only
17 a handful show a reduction of four fold or greater
18 compared to the homologous side of the event in
19 strain. You'll see that the antigen No. 24, which is
20 called CNIC/114 is a virus that was isolated from a
21 patient in Beijing during the January outbreak there.
22 You'll see that there's a titre of 160 against the
23 Panama antigen as compared to a titre of 640.

24 We had sequence information that indicated
25 that the Chinese viruses might be somewhat different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from viruses circulating in other parts of the world
2 so we took that CNIC strain, put it into ferret, and
3 you'll see the result on the table on page 16.

4 On page 16 we have a lot of very recent
5 strains isolated in the U.S. tested in a cross test
6 with the CNIC/114/Beijing strain. You will see that
7 the strains depicted on this table are very well
8 inhibited by antiserum to the Panama vaccine strain.

9 Furthermore, we can't really see any
10 advantage if we look at column F where we have
11 antiserum to the CNIC strain we can't see any
12 significant advantage in this antiserum. We don't
13 really have a new variance among the Chinese strain.
14 I think that is just by way of reassuring the
15 committee that we look very thoroughly at a lot of
16 additional information.

17 If you would please turn then to page 17.
18 We have a summary of the HI information that's been
19 generated at CDC. If you would just look at the
20 October to February -- October to the present time
21 period you can see that we've tested a total of 255
22 strains here at the CDC as compared with 125 at the
23 time of our January VRBPAC meeting so we have really
24 generated a lot of additional information.

25 We have only a very small proportion of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stains which are reduced and titred to the Panama
2 strain. As you can see here, we only have seven out
3 of the 255, that is 3 percent.

4 Okay. Now, the most up-to-date HA
5 sequence data is on page 18 of the handout. I won't
6 really go over this in detail. I just wanted to point
7 out about two-thirds of the way down you can see a
8 small grouping of viruses from China including the
9 CNIC/114 strain which was shown in the HI table. You
10 can see that all of those strains starting with the
11 Hong Kong/1269 strain up to the CNIC/114/2001 strain
12 have three signature amino acid changes.

13 In summary, I would just like to reassure
14 you that we haven't found any new variants, even
15 though we've looked at a lot more viruses and we feel
16 we've looked very carefully at the viruses that were
17 causing outbreaks in Northern China during December
18 and January during this season.

19 Are there any questions about the H3N2
20 strains? If not, I'll move onto the B strains which
21 are really the challenge for today. If you would turn
22 to page 20 of the large handout. I'm sorry for
23 shuffling paper here but if you would also look at
24 page 2 from the shorter handout that was sent.

25 In these two A type tables we have viruses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from a number of different locations and quite a
2 number of strains from the U.S. in particular. We
3 have at the top as reference antigens two blocks of
4 antigens. The first starting with Sichuan/379 we have
5 strains that represent the current vaccine strain all
6 of which are related to each other. Then on the right
7 we have the Beijing/243 Hong Kong/22 and Hong Kong/330
8 strains which are all on the Victoria lineage.

9 It's very easy to distinguish these two
10 groups of viruses using culture section ferret serum,
11 as you can see on both tables. There's relatively
12 little cross reactivity between viruses that are on
13 these two separate lineages.

14 You can see also -- I think this is an
15 important point -- that there are a number of strains
16 that have been isolated relatively recently that are
17 in the Sichuan/379 grouping of viruses that have
18 reduced titres to the Sichuan/379 antiserums as well
19 as to the B/Vic/504 antiserum. We have seen this at
20 our lat meeting and we've seen increasing numbers with
21 reduced titres and I just wanted to point that out.

22 Now, among the B/Vic lineage viruses, that
23 is, those that are on the right side of the tables on
24 pages 20 and 2, we see that the strains are most
25 closely related to the B/Hong Kong/330 strain which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an egg isolate which has been sent out to vaccine
2 manufacturers for evaluation.

3 I think I will move now to page 3 of the
4 short handout. I would like to summarize briefly the
5 circulation of B/Victoria lineage viruses. You can
6 see that there are a number of additional countries
7 that have been included since our last meeting. I
8 don't know if you need me to list the countries but
9 there are additional countries in Europe, in the
10 Middle East. Of course, we have added the United
11 States to the map since we last met.

12 The countries in Europe are the
13 Netherlands, Italy, Switzerland, and there's Israel
14 and Oman in the Middle East. I think that fairly well
15 covers it. The viruses have freely taken off and are
16 spreading.

17 Now, I would like to go to page 4 but I
18 have to apologize for some typos that exist. You will
19 just have to listen to what I say rather than what's
20 in front of me. We had a mistake where we had the 11
21 viruses that were listed as being B/Hong Kong-like
22 were actually not from Central and South America.

23 We have of the 90 influenza B viruses that
24 we have done HI testing for that were isolated between
25 October 2001 and the current time, 43 percent of them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are B/Hong Kong/330 or B/Hong Kong/22-like.

2 About 32 percent are Sichuan-like vaccine-
3 like and about 25 percent have reduced titres. They
4 are Sichuan group viruses but they have a reduced
5 titre against the Sichuan antiserum.

6 We've actually been able to use molecular
7 analysis to look at additional strains that we have
8 shown on page 5 of the short handout. If you look at
9 the bottom time period you'll see that of 134 strains
10 that we've analyzed using molecular technique 41
11 percent are Vic-like and the remaining 59 percent are
12 related genetically to the current vaccine strain.

13 On page 6 of the short handout you will
14 see some additional information that we've obtained
15 either from national influenza centers directly or
16 from our sister collaborating center in London. Also
17 our collaborating center in Tokyo, Japan.

18 We were trying to get more complete
19 information than what we have for the viruses that are
20 actually sent in to us for analysis. If we look for
21 the U.S. of the 22 strains that we've analyzed for our
22 B/Hong Kong/330-like and 18 are related genetically to
23 Sichuan.

24 Canada we have an update today. They have
25 a total of 68 influenza B strains and 64 are B/Hong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Kong-like B/Victoria lineage viruses. In the
2 Netherlands three out of 23 are Victoria lineage.
3 Italy 13 out of 74. In Hong Kong the vast majority of
4 strains 113 or 93 percent of recent B strains are Vic
5 lineage strains. In Japan also there is a majority.
6 About 82 percent of the B strain is B/Vic lineage
7 viruses.

8 I think that fairly well summarizes the B
9 information so I'll entertain any questions that you
10 might have at this time.

11 DR. DAUM: The floor is open. Thank you
12 very much, Nancy.

13 Questions for Dr. Cox?

14 DR. PALESE: Peter Palese. Nancy, have
15 you tried to do a neutralization test? In other
16 words, the ferret sera are notoriously very
17 discriminating and may give us a fuller picture in
18 terms of hemagglutination in a patient.

19 Most importantly rather there is a
20 neutralizing activity. Do you have, for example,
21 mouse antisera of the Sichuan-type and how good would
22 it neutralize the Hong Kong vintage and vice versa.
23 I am really concerned that the hemagglutinin and
24 inhibition may accentuate differences which may not be
25 as important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: Yes, Peter. We haven't done any
2 studies -- any neutralization studies recently but we
3 have done them in the past. The Victoria strain
4 viruses are really quite distinct both by HI and by
5 neutralization using a variety of different antisera.

6 DR. PALESE: From different species.

7 DR. COX: Yes. We've used sheep serum and
8 human serum and ferret serum.

9 DR. DOWDLE: Nancy, this is Walter Dowdle.
10 I wonder if you could give us some idea of the
11 information that has accumulated since the WHO meeting
12 in February? In other words, what have been the
13 trends since that meeting in view of the data that
14 you've now summarized here?

15 DR. COX: There have been increasing
16 isolation of B/Vic-like strains, increasing
17 identification and isolation of B/Vic-like strains.
18 We had no U.S. strains at the time. We had no strains
19 reported from Switzerland or Israel. We did have
20 Italian strains and one strain from the Netherlands
21 reported at that time. In addition, in Japan there
22 were at that time about 50 percent of B strains for
23 B/Vic-like. Now over 80 percent are B/Vic-like.

24 DR. DOWDLE: Thank you.

25 DR. COX: There have been quite a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional strains identified in Hong Kong.

2 DR. DAUM: This is Bob Daum speaking.
3 Just crudely grouping the countries on page 6 by sort
4 of continent, Japan and Hong Kong seem to be of
5 similar trends and Italy and the Netherlands seem to
6 have similar trends. The U.S. and Canada seem to be
7 almost diametrically opposed to each other. I wonder
8 if you could comment whether that's a centennial site
9 for the sample thing or why are they so different, if
10 you have any idea?

11 DR. COX: We don't really know. In fact,
12 we were discussing that very issue this morning. It
13 could reflect a later introduction of these strains
14 into the U.S. and Canada. We know that there was, for
15 example, a well documented travel-related case. About
16 a year ago someone returning from China to Canada who
17 had been visiting there actually was diagnosed with
18 B/Vic-like strain.

19 And we're actually -- Sasha is just
20 telling me that today we have four more B/Vic-like
21 strains that we identified from the U.S. That makes
22 it eight out of 26.

23 DR. DAUM: Other comments or questions?

24 DR. KOHL: Yes. This is Dr. Kohl. Nancy,
25 obviously the question is where are we going. Can you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give us some kind of a temporal sense whether we're
2 seeing a real fall-off in the Sichuan-like viruses and
3 a major upswing or whether they are both going on at
4 the same time?

5 DR. COX: The situation is pretty complex,
6 Steven. It's pretty hard to say where we're going.
7 We may be going different places in different
8 countries which makes it even more difficult. Clearly
9 there is an upswing in B/Vic-like lineage
10 identification. That's very, very clear. Whether
11 there's a decrease in Sichuan it's hard to say.

12 When we had the opposite situation occur
13 in 1989, '90 when the Yamagata lineage strain spread
14 from Asia to the rest of the world, we had co-
15 situations in some countries for a year or two. But
16 in other countries the Yamagata lineage viruses just
17 really took off and supplanted the Vic lineage viruses
18 within a very short period of time. I think it is
19 very difficult to predict.

20 DR. DIAZ: Nancy, this is Pam Diaz again.
21 Just a quick clarification. Looking at that chart
22 that you had sent on the characterization of Flu Bs,
23 the one that you just went over, the U.S., Canada,
24 etc.

25 DR. DAUM: Page 6 or page 20, Pam?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DIAZ: What time frame is that over?

2 DR. COX: October to the current time.

3 DR. DIAZ: That's what I thought. Just in
4 commenting many between the U.S. and Canada in that
5 dichotomy, if you stratify all those strains from both
6 of those countries over time, does that give you any
7 better clue as to what's going on more recently.
8 Also, do you think the small numbers in the U.S.
9 compared to Canada may have something to do with
10 dichotomy also?

11 DR. COX: Yes, I do. We are really
12 beating the bushes to get as many strains, as many
13 viruses sent to us from U.S. labs as possible. We
14 have been for some time. It takes quite a few weeks
15 to actually get the viruses here.

16 We have another 60 or so viruses that have
17 been isolated in the United States that we haven't had
18 our hands on yet. I suspect that once we actually get
19 the collection of those viruses and if we look at the
20 viruses.

21 If we look at the viruses at the northern
22 tier of states, we see that we have more and more
23 B/Vic-like strains -- a greater proportion of B/Vic-
24 like strains, particularly in those states. But we
25 don't have our hands on the viruses yet. Now, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 terms of statamine by time, we just have too few to
2 really; I think, pick up trends.

3 DR. DIAZ: Okay.

4 DR. COX: But in Canada, clearly B/Vic-
5 like strains are predominating and they did not last
6 year.

7 DR. LEVANDOWSKI: This is Roland
8 Levandowski. Can I make a comment?

9 DR. DAUM: Of course.

10 DR. LEVANDOWSKI: If you consider North
11 America as one place and looked at the numbers there,
12 I guess it's around 75 percent of the strains that
13 would be B/Victoria/287-like.

14 One other thing that's happened in terms
15 of epidemiology even in the United States is that
16 sometimes at one end of the country we see a
17 predominance of one type of virus and at the other end
18 another type.

19 I can think of a recent example about five
20 years ago where the H3N2 viruses, I think, were
21 predominant on the West Coast at the same time H1N1
22 viruses were predominant on the East Coast so there
23 can be some of that kind of dichotomy.

24 I guess I'm sort of maybe viewing North
25 America in a similar fashion with the northern part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 North America and then the southern part of North
2 America. There may be a little bit dichotomy there on
3 which of these lineages seems to be the predominant
4 one.

5 DR. DAUM: Okay. Other comments or
6 questions of Dr. Cox?

7 DR. EICKHOFF: Question from Ted Eickhoff
8 for either Nancy or Roland. How many years now have
9 viruses from the Yamagata lineage been in the vaccine?
10 It's been at least, hasn't it?

11 DR. LEVANDOWSKI: This is Roland. It's
12 been since 1988 that we've had B/Yamagata/1688 lineage
13 strain in the vaccine in the United States.

14 DR. EICKHOFF: So my guess was a little
15 bit off.

16 DR. LEVANDOWSKI: Before that for about --
17 I take that back. For about two or three years I
18 think there was a B/Victoria virus in the vaccine.

19 DR. EICKHOFF: Okay. Thank you.

20 DR. DAUM: Other questions or comments for
21 Dr. Cox?

22 DR. STEPHENS: Nancy, this is David
23 Stephens. The recent four isolates that you just
24 updated us on, what can you tell us about their
25 location?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: New York. Additional ones are
2 from New York.

3 DR. STEPHENS: Okay.

4 DR. DAUM: Other input?

5 DR. PALESE: Peter Palese once more.
6 Nancy, can I get a quantitative feeling for how much
7 the neutralization is different if you're using
8 Yamagata against Victoria and the Victoria antiserum
9 against Yamagata?

10 DR. COX: I think that the most helpful,
11 the most useful information is actually from human
12 serology rather than from the animal. I think that
13 Ron will be commenting about those in his next
14 presentation.

15 DR. PALESE: Okay.

16 DR. DAUM: Are there additional comments
17 for Dr. Cox? If not, I would like to move on to Dr.
18 Levandowski for his second presentation.

19 DR. LEVANDOWSKI: Okay. I'll just jump in
20 and go right ahead. Maybe I'll try to answer that
21 last question a little bit before mentioning anything
22 else.

23 What we have been seeing routinely over
24 the last 10 years when we've looked at human serology
25 with vaccines containing B/Yamagata lineage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hemagglutinin that the cross-reactive antibody
2 responses for the B/Victoria lineage strains.
3 Although it was reasonably good in the early years
4 after the Yamagata strains were added to the vaccine,
5 at least in immunologically primed adults, it's never
6 been good in immunologically naive people like
7 children.

8 Even more impressive, I think, is over the
9 last four or five years we've seen the antibody
10 responses of immunologically primed adults going down
11 as well so that often for some of the serologies we
12 look at there may only be in terms of the geometric
13 mean titres there may be somewhere between a 70 and 90
14 percent reduction comparing the geometric mean titres
15 against the non-vaccine B/Victoria lineage to the
16 vaccine B/Yamagata lineage in hemagglutination
17 inhibition.

18 DR. PALESE: Migration is neutralization
19 which is a much more relevant parameter than
20 hemagglutination inhibition.

21 DR. LEVANDOWSKI: Um, I don't think I can
22 answer you on neutralization. There were some studies
23 that were done using single radio hemolysis.

24 DR. PALESE: My point is maybe it's not
25 that bad as we have the data here that there is some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cross-neutralization which is very important.

2 DR. COX: Peter, with animal sera there
3 was limited cross-neutralization. The neutralization
4 data reflected the HI data quite well. I would have
5 to go back. I can't give you a quantitative estimate
6 but I do think the HI results with human post-
7 infection human sera are really what we rely on for
8 vaccine strain selection whether it's B or H1 or H3.
9 I think those data are very relevant.

10 DR. LEVANDOWSKI: Maybe I could add a
11 little bit to that. There was one study that we did
12 in young children which included a comparison of
13 hemagglutination and neutralization titres. In that
14 instance children who had been recently -- there were
15 some children who had been exposed to B/Victoria-like
16 strains and when they were exposed to B/Victoria-like
17 strains and were immunized with the Yamagata vaccine,
18 they developed neutralizing antibodies to both.

19 But if they were immunologically naive,
20 again they did not develop hemagglutination inhibition
21 or neutralizing antibody titres. That doesn't answer
22 your question entirely but there was a pretty good
23 correlation between the hemagglutination inhibition
24 titres and the neutralization titres, at least in that
25 study with children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Perhaps I should go on in the interest of
2 time. First I'll tell you about vaccine studies and
3 then some information on the strains and re-agents.
4 There was additional information that was made
5 available to the WHO in February in it's
6 deliberations.

7 What I can say is that generally that
8 information was raised similarly to what was already
9 presented in our meeting in January. I say that the
10 information supported both the WHO recommendations and
11 the recommendations that had previously been made by
12 our committee.

13 With respect to the serological
14 information I only have a very few comments that I
15 think are relevant to the discussions. One, there
16 were studies -- studies have been done that have
17 included -- serologic studies that have included those
18 H1N2 strains and at least two of the strains that were
19 included in the serologies that were H1N2 were the
20 A/Wisconsin/12/2001 and the A/Egypt/96/2002 strains.

21 Really overall there weren't any
22 differences in the serologic responses for the H1N2
23 strains as compared to A/New Caledonia/20/99 or any of
24 the other H1N1 strains. Again, that's
25 hemagglutination inhibition assays.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We heard in January from CDC about a
2 clinical study that used B/Beijing/243/97 virus and
3 that, again, is in the B/Victoria 287 HA lineage.
4 That strain was contemporary with the B/Shangdong/797
5 strain that you might remember was used for commercial
6 production for vaccines in Asia during 1999 and 2000.

7 The results that CDC presented in January
8 indicated that B/Beijing/243/97 containing vaccine
9 produced antibodies in adults that cross-reacted very
10 well with the B/Harbin/794 strain which is a
11 B/Yamagata lineage strain.

12 In addition, there were some clinical
13 studies that were done using an investigational
14 vaccine that was made available to NIBSC in the United
15 Kingdom. They've made some of those results available
16 to us for the discussion today. I've been given
17 permission to provide a summary of the data for the
18 committee.

19 The vaccine that NIBSC used for the study
20 was a trivalent vaccine that had 15 micrograms per
21 dose of each of the following antigens. It had an
22 A/Sydney/597(H3N2) component, an A/Beijing/26/
23 296(H1N1) component, and a B/Shangdong/797 component.

24 That vaccine was given to 30 adults and 30
25 elderly and it has to be presumed that all of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people were immunologically primed and probably had
2 been exposed in their lifetimes to both B/Victoria/287
3 lineage strain and also B/Yamagata/16/88 lineage
4 viruses.

5 The sera that were tested were pre-
6 immunization and three weeks post. In addition to the
7 B/Shangdong/797 vaccine antigen, several additional
8 viruses including a number of B/Victoria/287 HA
9 lineage strains were tested.

10 In looking at the cross-reaction between
11 the B/Shangdong/797 virus and the B/Yamagata/16/88 HA
12 lineage viruses, there were reductions seen there.
13 They were not severe but some of them were as much as
14 50 percent. Overall I would say that it was
15 encouraging that there was a reasonably good antibody
16 response to those non-same HA lineage strains.

17 The B/Victoria/287 HA lineage strains that
18 they've tested also included a number of the recent
19 strains that we've been talking about today including
20 the B/Hong Kong/330/2001 and the B/Hawaii/10/2001.
21 They had some other strains from Italy and also from
22 Hong Kong.

23 In terms of the post-immunization
24 geometric mean titres, all of these more recent
25 B/Victoria lineage strains that were tested had titres

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that were equivalent or higher than what they found
2 for the B/Shangdong/797 strain with one exception.

3 There was one of the Italian viruses,
4 B/Genoa/124/2001, that gave geometric mean titres that
5 were about 35 percent lower in adult population and
6 about 51 percent lower in the elderly. Really to
7 repeat, all of the other B/Victoria/287 HA lineage
8 strains were inhibited at similar titres to the
9 vaccine strain which is an older one.

10 I guess what I should say is that overall,
11 I think, what the results indicate is that the
12 B/Beijing/243/97 and the B/Shangdong/797 vaccine
13 strains induced what were really pretty good cross-
14 reacting antibodies for both of the HA lineages in the
15 immunologically primed adults. Maybe I should just
16 stop and see if there are any questions on that part.

17 DR. DAUM: Any questions for Dr.
18 Levandowski?

19 DR. COUCH: No children sera in Europe
20 either?

21 DR. LEVANDOWSKI: We do not have access to
22 pediatric population at this point. That's right.

23 DR. DAUM: Other questions? Roland, why
24 don't you go on.

25 DR. LEVANDOWSKI: Okay. So we also have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some information about how things would perform in
2 manufacturing and also on the availability of re-
3 agents. I had previously -- we had previously
4 mentioned here from the Center for Biologics that for
5 the H1N1 and the H3N2 strains manufacturers already
6 have seed viruses that are good for production and
7 there are re-agents for potency testing available.

8 I should point out that there's been a
9 really -- for those of you that are on the line, you
10 might want to know there's been a really unusually
11 large demand for re-agents both for manufacturers and
12 from other national authorities. I think it relates
13 to the success of use of influence of vaccines.

14 As a result, I think we are going to need
15 to produce and calibrate new batches of standard
16 antigen for both the H1N1 and H3N2 strains for this
17 year. We expect that the timing for availability of
18 those re-agents will have no impact on production
19 schedules, but it does mean that we're be continuously
20 assessing our inventory and we may need to adjust the
21 amount shipped to people who request it just to be
22 sure that we can supply everyone in a timely fashion.

23 As far as the B strains go, we already had
24 the re-agents, as mentioned, for the
25 B/Victoria/504/2000 and B/Guangdong/120/2000 vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 strains. We also have a supply of potency re-agents
2 available for the B/Shangdong/797 strain since that
3 one had already been used for manufacturing earlier,
4 as I mentioned.

5 If there are any other strains that are
6 chosen, of course, we'll have to make potency reagents
7 for that. We would anticipate that as usual we would
8 at the earliest have those new re-agents available in
9 May.

10 There are several influenza B strains that
11 are being assessed as candidates for suitability for
12 manufacturing and the ones that the manufacturers have
13 spent the most time looking at at this point are
14 B/Hong Kong/330/2001, B/Hawaii/10/2001, and
15 B/Shangdong/797.

16 What we've heard so far is that probably
17 further work is necessary with all three of these
18 strains, but it seems that as time as gone on since
19 our meeting in January that there's been some
20 improvement in yield. What I've been hearing is that
21 what the yield seems to be somewhere in the range of
22 75 percent to the same as the current influenza B
23 vaccines, which is actually relatively good news.
24 Again, the work isn't really complete and it may even
25 be possible to identify some additional strains that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could be assessed to see if there's any advantage.

2 Again, I think the good news is that some
3 of these strains that are being evaluated seem to hold
4 some promise including the B/Hong Kong/330/2001 strain
5 itself that was selected or recommended by WHO.
6 Again, I think that is really all I need to say here
7 unless there are some questions or comments.

8 DR. COUCH: This is Couch, Roland. Was
9 there any discussion of a quadravalent vaccine at the
10 WHO meeting in Geneva?

11 DR. LEVANDOWSKI: Yes, there was. The
12 concerns expressed at WHO were similar to what I had
13 mentioned at the outset. There's a concern that it
14 may be difficult for regulatory authorities around the
15 world to be able to assess the vaccines and how they
16 are being produced. That was discussed. It was a
17 conscience decision.

18 DR. DAUM: Other questions for Dr.
19 Levandowski? Then I guess we can proceed. Roland,
20 can we ask you at this point to do your options
21 analysis?

22 DR. LEVANDOWSKI: Yes. Nancy Cox was
23 going to do that.

24 DR. DAUM: Oh, sorry. Great.

25 Dr. Cox.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: Yes, I'll do that. I would
2 first like to mention that we've been very busy trying
3 to isolate some additional B/Vic-like strains in eggs
4 and we were quite successful actually and have four
5 more B/Vic lineage strains to send out to the
6 manufacturers this afternoon.

7 I just wanted to let people know that
8 those re available. We had been proceeding without
9 knowing that the B/Hong Kong/330 and Hawaii viruses
10 are growing better now for the manufacturers.

11 Okay. So now I'll go on to recap the
12 information for the influenza B viruses and then
13 summarize the option.

14 First of all, there are two antigenically
15 and genetically distinct lineages of B viruses
16 represented by the Sichuan/379 strain, the Hong
17 Kong/330 strain. Antigenic drift has been detected in
18 both lineages. There is evidence that some influenza
19 B viruses in the vaccine HA lineage are less well
20 inhibited by antisera from people immunized with
21 current vaccines.

22 Strains in the B/Vic HA lineage have been
23 isolated in a number of countries and continents where
24 they have not previously been found. Strains in the
25 Vic HA lineage are poorly inhibited by antisera from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people immunized with the current vaccines containing
2 B/Sichuan/379-like viruses.

3 Vaccine studies indicate that one HA
4 lineage may produce antibody responses to the other
5 lineage in immunologically prime persons. But
6 previous studies that have been done indicated that
7 was not true in unprimed individuals.

8 The Sichuan-like strains that have been
9 mentioned, Johannesburg/5, Victoria/504, and
10 Guangdong/120 are being used for manufacturing current
11 vaccines. The Beijing/243/97 and Guangdong/797 strains
12 have been used in production of experimental vaccines
13 used for commercial vaccine that had been marketed in
14 some parts of Asia.

15 As we know from our meeting in January,
16 influenza B viruses from both HA lineages have been
17 sent to the manufacturers for evaluation for a vaccine
18 production. The options really are, (1) to retain the
19 current B Sichuan-like vaccine strains. The pro would
20 be that manufacturing is well-defined and relatively
21 predictable.

22 Against that position is the fact that new
23 variant strains have been identified in the vaccine HA
24 lineage, and strains in the Vic HA lineage are
25 appearing in increasing numbers and in new regions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the world.

2 Some influenza B strains, particularly
3 those in the Vic HA lineage are not well inhibited by
4 post-infection or post-immunization antisera.

5 Option No. 2 is to update the vaccine
6 strains to a more recent B/Sichuan lineage virus.
7 Now, the pro to this approach would be that vaccines
8 might provide better coverage for current influenza B
9 viruses in that lineage.

10 Several Canada strains were identified and
11 they were being examined for suitability by
12 manufacturers although certainly less attention has
13 been given to these strains.

14 Against option 2 is the concern that a new
15 strain may not provide superior immunogenicity and
16 efficacy compared to the current vaccine, and the fact
17 that new influenza B strains may cause difficulty to
18 manufacturers which is true for any update that we
19 might do.

20 And that a new B/Sichuan group virus would
21 not be expected to provide better cross-protective
22 antibody against B/Vic lineage viruses than the
23 current vaccine.

24 Option 3 is to change the current vaccine
25 strain to a representative virus on the B/Vic lineage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 such as B/Hong Kong/330 and similar viruses. The pro
2 to this approach is that B/Victoria lineage strains
3 have spread beyond Asia to North America to Europe and
4 predominate in some countries.

5 B/Vic strains have been identified in the
6 continental U.S. for the first time in over 10 years
7 so there is a fairly large cohort of children; that
8 is, virtually everyone under 12 years of age that are
9 unprimed for this virus.

10 Most adults, though they are
11 immunologically primed, have fairly low antibody
12 levels to current B/Vic lineage viruses. We have seen
13 this to some extent in the serologies that have been
14 done here, but it was very apparent in data that was
15 presented at the WHO meeting from Japan where they've
16 done a sera survey in a cross-section of the
17 population.

18 Even though B/Vic-like strains have
19 circulated at low levels, there is very little
20 antibody to these strains compared to the levels of
21 antibody to the current vaccine strains.

22 We know that current vaccines containing
23 the B/Sichuan-like strains do not induce antibodies
24 against the current Vic lineage viruses or high levels
25 of antibody. Investigational vaccine containing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Shangdong antibodies again type B, the trial lineage
2 strains including Hawaii/10, B/Hong Kong/330, and
3 others that Roland mentioned.

4 And in immunologically prime persons
5 investigational vaccines containing Shangdong/797
6 virus induces antibody levels to the Yamagata lineage
7 strains, although in some cases at a bit reduced level
8 compared to the B/Vic lineage strains.

9 Against moving toward a B/Victoria strain
10 is that B/Yamagata or B/Sichuan/379 lineage viruses
11 may still circulate and may process and become
12 dominate again. We don't know for sure that they are
13 going to die out.

14 We know from previous studies in
15 immunologically unprimed children that production of
16 antibodies against the nonvaccine influence of B/HA
17 lineage would not be expected. There is some danger
18 in moving to a B/Vic lineage virus. In any case,
19 whenever we change vaccine strains, as I mentioned
20 before, we have the uncertainty about how those
21 particular strains are going to perform with regard to
22 production.

23 I'll close there. I think we're ready for
24 any questions or discussion.

25 DR. DAUM: Let's open the floor to just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. We've heard the options and perhaps there is
2 additional clarification you need or points you want
3 to raise.

4 DR. COUCH: Couch. If you were selecting
5 a variant of Sichuan, what would the selection be? Do
6 you have a candidate? I don't think that's where
7 we're going but I would be interested in that as an
8 option.

9 DR. COX: We had sent out some additional
10 strains and perhaps will have some information about
11 how well they grow later on. We had sent out a
12 Sichuan/379. There are some strains available.

13 DR. COUCH: The data in the cross-
14 reference charts, I didn't see any convincing drift of
15 viruses. That was the reason for the question.
16 That's all right.

17 DR. COX: It's the Shizuoka/15 and the
18 Sichuan/317 strains, and I think we sent one or two
19 more strains out to the manufacturer.

20 DR. DAUM: Other comments or questions?

21 DR. DECKER: Michael Decker. A question
22 and then probably a comment. The question is do we
23 have manufacturing representatives from any of the
24 manufacturers online to offer any update on
25 performance of the candidate B/Vic lineage strains?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hearing none, then I'll offer a comment.

2 It's my understanding that we are having
3 reasonable success with the B/Hong Kong strain that
4 WHO nominated as the preferred recommendation. It's
5 not reaching the levels yet of what we've accomplished
6 with Shangdong but it's reached the level where it's
7 a reasonable choice and from our point of view
8 concerns about the manufacturing capability of that
9 strain does not need to inhibit the choice.

10 It's my understanding, but I would love to
11 have it confirmed, that Whyafus is reasonable success
12 with the Hawaii strain. I haven't heard how
13 Powderject is doing.

14 DR. LEE: This is Stan Lee from Adventist
15 Pasteur. I just wanted to confirm what Dr. Decker has
16 said and also what Dr. Levandowski has said. Our
17 experience with the B/Victoria lineage is it has
18 reached about 75 percent of typical yields for B
19 strain production.

20 DR. DAUM: Okay.

21 DR. DECKER: The final comment on this is
22 that given the impression I have, the different
23 manufacturers are having differential success with
24 various strains in the B/Vic lineage. I would
25 recommend that if the committee votes to switch to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 B/Vic lineage strain, that it authorize -- that it
2 vote for that lineage but leave the selection of the
3 specific working strain to the manufacturers in
4 collaboration with the FDA and CDC.

5 DR. DAUM: Other comments or input? Okay.
6 Perhaps we will proceed then.

7 DR. KOHL: This is Steve Kohl.

8 DR. DAUM: Hi, Steve. Go ahead.

9 DR. KOHL: I'm feeling very uncomfortable
10 with the options that are outlined. It does not
11 appear to me that we have a very strong feeling as to
12 which B lineage is going to be circulating in the U.S.

13 As usual, if that is the case, then the
14 people who are going to be left the most uncovered
15 whatever we do if we are restricted to only one B
16 selection are the young children, the children who
17 have not been primed. I'm very uncomfortable with
18 that.

19 I wonder if there could be some discussion
20 in the committee. I wonder if Dr. Levandowski could
21 comment about the absolute impossibility of a
22 quadravalent vaccine is really where we are if it's
23 that impossible.

24 DR. DAUM: Dr. Levandowski, perhaps you
25 should initiate a response to that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: I think it would be
2 probably at this point in time impossible to have a
3 quadravalent vaccine and have it available in time for
4 use in the market and meet everybody's needs to have
5 a vaccine available.

6 I tried to point out that there are a
7 number of issues, not just the numeric ones but some
8 real issues in terms of how manufacturing gets done to
9 accommodate good manufacturing practices, and also
10 questions that I think would be ones that we want to
11 see answered for making what would be a relatively
12 aggressive change in what the vaccines have done.

13 I guess I would mention one other option.
14 Maybe not an option but an alternative strategy that
15 has been discussed in the past in our meetings. Not
16 recently but in the distant past. The same kind of
17 discussions came up actually when the change was made
18 from the B/Victoria/287 strain to the B/Yamagata/1688
19 strain in 1988.

20 That recommendation was made actually
21 without B/Yamagata/1688 strain ever appearing in the
22 United States and was based on the rapid spread of
23 those strains in Japan and maybe a few other countries
24 in Asia.

25 Although it is unpredictable what happened

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on that occasion was that once that strain started to
2 move, in the United States at least it just placed
3 entirely the B/Victoria type strains and in Europe it
4 was about 50/50. Subsequently it was all B/Yamagata-
5 like.

6 What was discussed at the time was the
7 concern that there might be unprimed children who if
8 the vaccine contained only B/Yamagata/1688 would be
9 susceptible to B/Victoria/287. There was discussion
10 about the possibility of a monovalent supplemental
11 vaccine that could be used.

12 Some of the issues that were discussed,
13 and I think Dr. Couch and maybe Dr. Eickhoff will
14 remember some of these discussions, but the issues got
15 down to very practical ones about how do identify who
16 should get the vaccine and then the logistics of
17 getting that vaccine to the point of use.

18 Nevertheless, there was an effort to hold
19 on to what was material that had been used for making
20 the B/Victoria/287 vaccine to keep that stored in the
21 event that it was something that would be thought to
22 be necessary. I guess at this point we are still
23 relatively early in the season.

24 Nancy Cox mentioned that the numbers are
25 still going up and sometimes as time goes on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 epidemiology really does indicate very clearly where
2 things are going to go. Sometimes there is what we
3 term a herald wave where a late outbreak or late
4 portion of the influenza season turns to a different
5 strain and it seems to be the strain that sometimes
6 becomes the predominant one the following season.

7 I don't know if we're seeing that with
8 influenza B. It doesn't seem to be because of the
9 number of isolates at this point but that is something
10 that may help to clarify. What I'm trying to say is
11 I think that there is another possible solution for
12 some specific population but not a very good solution
13 because of the logistics but still a potential
14 solution.

15 DR. EICKHOFF: This is Ted Eickhoff.
16 Again, I do remember those discussions, Roland, from
17 over a decade ago. I think Dr. Kohl is reflecting the
18 discomfort that anybody feels when they are trying to
19 anticipate what is going to happen next year.

20 Based on what we've learned in our January
21 meeting and today again about how the B/Vic lineage
22 strains are spreading, I would have a high degree of
23 -- I think there is a high degree of likelihood that
24 we'll see that predominate in the United States next
25 year if, indeed we have a B year next year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. LEVANDOWSKI: I agree. I agree.

2 DR. DAUM: Can we take some comfort,
3 Roland, or Nancy, that only 1 percent of the strains
4 that have been recovered this year have been type B in
5 the first place? Therefore, this uncertainty that
6 Steve is certainly expressing for all of us is a
7 relatively minor contribution to the overall
8 epidemiology or is that not necessarily the case?

9 DR. COX: I think that what we're trying
10 to do is anticipate what will happen next year. It
11 could actually have a fairly B year next year. Based
12 on our past experience with B viruses and with
13 influenza in general, I would tend to think that we
14 are likely to have a relatively -- we may have B next
15 year and we are likely to have B/Vic-like viruses.

16 We may have some Sichuan-like viruses
17 circulating as well. It's just impossible to predict.
18 The fact that we had low-levels of activity this year
19 doesn't help us say what's going to happen next year.
20 It actually makes it more likely that we'll have a lot
21 of B next year.

22 DR. POLAND: And aren't we, Nancy -- this
23 is Greg Poland -- led by the knowledge that there is
24 relatively low levels of, let me use the term, herd
25 immunity out there to the B/Victoria-like viruses?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: Yes. Correct.

2 MS. FISHER: Excuse me. Barbara Fisher.

3 DR. DAUM: Hello.

4 MS. FISHER: Hi. I have a question. Is
5 B/Victoria virus, is that B/Victoria flu a more
6 virulent flu than A?

7 DR. COX: No, it is not.

8 MS. FISHER: Thank you.

9 DR. DAUM: Other questions?

10 DR. KIM: Bob?

11 DR. DAUM: Yes.

12 DR. KIM: This is Kwang Sik Kim. Quick
13 question. As we heard, there was a trend toward the
14 increase in B/Victoria lineage but it is unclear how
15 it is going to pan out in the U.S. Certainly data is
16 still coming to the U.S.

17 The question is that when will perhaps
18 would be the latest time that we have to make a
19 decision on a list of B. With those numbers coming in
20 maybe lower but to give us a little bit more assurance
21 that initially we report four out of 22 and now it's
22 eight out of 26.

23 Let's say four or six more coming in
24 within the next week or two maybe tied to the Victoria
25 lineage so I think there is some sort of assurance of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 keeping involved. My question is when would the
2 latest time that we have to make a decision on this?

3 DR. DECKER: We're there. Michael Decker
4 here wearing my industry rep hat. I think all three
5 manufacturers I think would be very uncomfortable with
6 the committee failing to select one single B lineage
7 today.

8 DR. MIDTHUN: This is Karen Midthun. I
9 just want to make a point getting back to what Roland
10 was saying earlier. I just wanted to clarify.

11 DR. DAUM: Dr. Midthun.

12 DR. MIDTHUN: Yes. I'm sorry. This is
13 Karen Midthun. I just wanted to clarify that with
14 regard to what Roland was saying earlier that a
15 discussion at an earlier time point back in 1988 had
16 been the potential to use a monovalent B vaccine in
17 addition to the trivalent that was in use. As Roland
18 said, there were a number of considerations and
19 difficulties perhaps with implementing something like
20 that. Thank you.

21 DR. DAUM: Other comments or input before
22 we start pulling?

23 DR. SACHS: This is Jody Sachs at the FDA.
24 I wanted to at this time before we open up a vote, I
25 wanted to open it up for a public hearing. I'm going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to ask the people here. Nobody has come forward so
2 far but is there anyone in the room who would like to
3 address the committee at this time?

4 DR. DAUM: Committee comments first?

5 DR. SACHS: I don't see any -- there's no
6 one coming forward right now. Thank you.

7 DR. DAUM: Okay, Jody. Are there other
8 comments from committee members? Okay. Well, I think
9 it's time to solicit an opinion about what to do here.
10 The question for this session is what strain should be
11 recommended --

12 DR. SACHS: Excuse me one second. Is it
13 possible, Dr. Daum to pick up your handset and just
14 talk from that while you read this? It's still hard
15 to hear you. Thanks.

16 DR. DAUM: Is that better?

17 DR. SACHS: Yes.

18 DR. DAUM: The question is what strain
19 should be recommended for the influenza B component of
20 the 2002/2003 vaccine? The list I have in the absence
21 of a seating arrangement is an alphabetical one. I
22 think I'm going to take chairman's prerogative and ask
23 Dr. Couch to initiate the discussion. Then we will go
24 down the list in alphabetical order.

25 DR. COUCH: All right. I'll comment. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't actually think the discussion is a very
2 complicated one. I'm being redundant, I know, but I
3 was leaning toward what might be called the cautious
4 conservative view of splitting it between the two B
5 candidates but I would not have done 15 of each. I
6 would have done seven and a half of each.

7 Roland has made it very clear to us from
8 a regulatory point of view that's not really an option
9 and might even be a problem for manufacturers. I
10 think it's very clear that we have to select a single
11 antigen and that certainly could be considered a
12 standard decision anyway.

13 I think the data is very clear and I think
14 we said that back in the original meeting as well that
15 it has to be Victoria. The only discussions we've had
16 otherwise is to whether we might also need to consider
17 B/Sichuan derivative.

18 Since that is still at the discussion
19 stage only as a backup should it become necessary. I
20 think our recommendation is very straightforward that
21 we harmonize with the WHO and that we recommend that
22 the B/Hong Kong/330-like strain.

23 DR. DAUM: Dr. Couch, I might ask you and
24 subsequent committee members as well to weigh in on
25 the suggestion of Dr. Decker, that we allow some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 flexibility with the choice that you make.

2 DR. COUCH: I think we traditionally do
3 that when we say B/Hong Kong/330-like strain.

4 DR. DAUM: Right.

5 DR. COUCH: And then the actual strain is
6 always decided between the manufacturers and CBER.

7 DR. DAUM: So the answer is yes?

8 DR. COUCH: The answer would be yes,
9 correct.

10 DR. DAUM: Thank you very much.

11 Dr. Aguilar-Cordova.

12 DR. AGUILAR-CORDOVA: Yes. I would agree
13 with what Dr. Couch said.

14 DR. DAUM: Very good. Any additional
15 comments?

16 DR. AGUILAR-CORDOVA: No.

17 DR. DAUM: Okay.

18 Dr. Diaz. Dr. Diaz, are you there? Dr.
19 Diaz?

20 Dr. Dowdle.

21 DR. DOWDLE: Yes. Walter Dowdle. I agree
22 with Dr. Couch.

23 DR. DIAZ: Dr. Diaz. Can you hear me?

24 DR. DAUM: Oh, hi. There you are. Yeah,
25 I didn't hear you before but we can hear you fine now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DIAZ: Good. Thanks. I would just
2 weigh in with the same comments, that I would prefer
3 changing to B/Hong Kong/330-like strain.

4 DR. DAUM: Thank you very much.

5 Dr. Eickoff:

6 DR. EICKOFF: I concur.

7 DR. DAUM: Dr. Faggett, are you here? I
8 think he might not be. We'll come back.

9 Ms. Fisher.

10 MS. FISHER: I concur.

11 DR. DAUM: Dr. Goldberg.

12 DR. GOLDBERG: I concur.

13 DR. DAUM: Dr. Griffin was not here
14 before. Is she here now?

15 Dr. Katz?

16 DR. KATZ: This is Sam Katz. I'd like to
17 confer -- concur.

18 DR. DAUM: You can do both.

19 DR. KATZ: I would add one caveat. I
20 think most people are aware that there is an
21 increasing move among the pediatric community with
22 young children, perhaps between six months and two
23 years of age, receiving influenza virus vaccines. Not
24 recommended but "encouraged" at the last meeting of
25 ACIP.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This whole question of whether children
2 would be naive to a strain, if we got Victoria-like
3 strain in this year and there is indeed an enhanced
4 use of vaccine among the pediatric population,
5 particularly the young susceptibles, this might indeed
6 abort some of the concerns you have about their not
7 having previous experience not in 2002/03 but 2003/04
8 comes around and with the unpredictability of
9 influenza patterns, that may save us some grief.
10 Anyway, I vote yes.

11 DR. DAUM: Thank you. So you concurred
12 and conferred.

13 DR. KATZ: Thank you.

14 DR. DAUM: Dr. Kohl.

15 DR. KOHL: I concur with Dr. Couch but I'm
16 uncomfortable with the (inaudible).

17 DR. DAUM: You want to go on record
18 stating why?

19 DR. KOHL: I would, I guess, reinforce a
20 comment that Dr. Couch made in terms of really needing
21 some more research on children and on everybody with
22 quadravalent type thing if we get in the situation
23 again.

24 DR. DAUM: Thank you.

25 Dr. Markovitz. Maybe we can recover Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Markovitz later.

2 Dr. Overturf.

3 DR. OVERTURF: I hope I'm not on mute. Am
4 I on mute? Can you hear me?

5 DR. DAUM: I hear you fine.

6 DR. OVERTURF: I would concur also. I
7 agree that I'm uncomfortable with that mostly because
8 I think we need additional research in the
9 quadravalents actually. I think there are no options
10 this year and the one that's been outlined is fine.

11 DR. DAUM: Thank you.

12 Dr. Palese.

13 DR. PALESE: Yes, I concur with Dr. Couch.

14 DR. DAUM: Dr. Parsonnet was not here
15 before.

16 DR. PARSONNET: No, I'm here.

17 DR. DAUM: You are here now?

18 DR. PARSONNET: Yes.

19 DR. DAUM: Good. Welcome.

20 DR. PARSONNET: I've been here all along.
21 I let my crystal ball at home today but in the absence
22 of that, I guess I concur with the rest.

23 DR. DAUM: Thank you very kindly.

24 Dr. Poland.

25 DR. POLAND: I concur but would like to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the record as saying because we've been saying it
2 for so many years that, No. 1, we do need pediatric
3 sera and have pediatric subjects involved. No. 2, at
4 some point we really do have to give serious
5 consideration to the idea of quadravalent vaccines.

6 DR. DAUM: Thank you very much.

7 Dr. Snider. Dixie, are you there?

8 DR. SNIDER: I'm here, Bob. I concur with
9 what Bob Couch has said and would also add my voice to
10 those who have called for the solution to the issue of
11 trying to make the best public health decision and get
12 the coverage under conditions of uncertainty like this
13 in which we have two candidates, B lineages, that
14 ideally we would like to cover but for reasons of
15 feasibility, regulatory, and so forth.

16 We don't apparently have the ability to do
17 that, but I think we do need to find a way around
18 that. I also concur with what Steve Kohl said and Bob
19 Couch said and others about the importance of the
20 data for children.

21 DR. DAUM: Thank you, Dixie.

22 David Stephens.

23 DR. STEPHENS: Uh, yeah. I think there is
24 increasing evidence for B/Victoria. I think I concur
25 with No. 3 and Bob Couch's comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Thank you very much.

2 Dr. Whitley.

3 DR. WHITLEY: I support Bob's
4 recommendation.

5 DR. DAUM: Thank you very much.

6 Perhaps least, and certainly last, I also
7 think that B/Victoria is the way to go here, but I'm
8 also mindful of the comments and just want to go on
9 record reinforcing them. The pediatric issues that
10 have been raised, the research that needs to be done
11 to establish how to make an additional valent vaccine
12 should it be desired again in the future.

13 My annual plea for studying people who get
14 influenza despite being vaccinated to try to
15 understand why that's so. I think a very small
16 contribution for each dose that's sold might help
17 support some of that work which is badly needed.

18 DR. SACHS: Hi. This is Jody Sachs from
19 the FDA. I just wanted to ask three people if they
20 were present to go ahead and vote. Dr. Markoff --
21 Markovitz. I'm sorry. Is he present? Okay. Dr.
22 Kim.

23 DR. KIM: I support Dr. Couch's
24 recommendation.

25 DR. SACHS: Thank you. There's one more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person. Dr. Faggett.

2 DR. DAUM: Dr. Faggett is not on and Dr.
3 Markovitz is not on.

4 DR. SACHS: Okay. We're good. Thank you.

5 DR. PALESE: This is Peter Palese. Could
6 I just make one other comment on the record?

7 DR. DAUM: Go ahead.

8 DR. PALESE: I would like to see some
9 utilization of tests as well. I would like to know
10 how good these antisera are in terms of utilizing
11 virus not only in terms of hemagglutination inhibition
12 and that goes along with more research which some of
13 our members have supported.

14 DR. DAUM: Thank you very much.

15 I would like to bring this Session 1 now
16 to a close and thank Drs. Couch, Dowdle, Eickoff, and
17 Poland for their participation. I would also like to
18 propose that we have a five-minute no hang-up break
19 for those that need to run out to the potty and then
20 we'll continue at exactly 1:30 central, 2:30 eastern.

21 Steve, I don't know what that makes you.

22 DR. KOHL: Bob, I'm not going to be part
23 of the second session. I want to say goodbye to you
24 all.

25 DR. DAUM: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SACHS: Thank you.

2 DR. DAUM: Five-minute break.

3 DR. SACHS: Five minutes.

4 (Whereupon, at 2:25 p.m. off the record
5 until 2:34 p.m.)

6 DR. DAUM: Thank you Roland. Thank you,
7 Dr. Katz.

8 DR. MIDTHUN: Hi. This is Karen Midthun.
9 Can I just make one last comment on the influenza? I
10 just wanted to say that we do take the input of the
11 committee to heart with regard to the influenza and
12 that we will be working with CDC and with NIH to see
13 how we might be able to address some of those issues
14 that have been raised with regard to obtaining
15 additional studies and sera, etc. Thank you.

16 DR. DAUM: Good feedback, Karen, for the
17 committee to have. We ramble on sometimes with these
18 recommendations but we hope someone is listening and,
19 best of all, that there be action such as what you
20 described.

21 DR. MIDTHUN: We are.

22 DR. AGUILAR-CORDOVA: This Estuardo
23 Aguilar-Cordova.

24 DR. DAUM: Hello.

25 DR. AGUILAR-CORDOVA: Can you hear me?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Yes.

2 DR. AGUILAR-CORDOVA: I'm new to this and
3 I just wanted to get a little bit more information.
4 I've heard a lot of discussion about the quadravalent
5 and trivalent and the 7.5 micrograms versus 15
6 micrograms. What I wasn't quite clear is what
7 happened and what the data is or has been discussed as
8 far as the amount and the affect of the change from 15
9 to 7.5. Given all the discussions about the number of
10 doses that might be available, I have just a quick
11 comment on whether 15 micrograms has been settled as
12 the standard and does that have to be?

13 DR. DAUM: What the committee said was
14 that 15 micrograms remains our standard but we base
15 that upon comments that were offered by experts at the
16 beginning. Perhaps FDA folks or CDC folks want to
17 make one comment about this but then we really must
18 move on.

19 Roland or Nancy, are you still there?

20 DR. COX: Roland, I think it's more of an
21 FDA issue.

22 DR. LEVANDOWSKI: Right. Dr. Midthun may
23 be able to help me out here also. What we said at the
24 beginning was that current vaccines have been
25 standardized to 15 micrograms of each of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hemagglutinins that are contained. I think all the
2 information that we have from modern influenza
3 vaccines comes from vaccines that have contained 15
4 micrograms of each of the HAs.

5 To change that formulation, the
6 expectation is that there would need to be some type
7 of clinical studies to indicate both the safety and
8 the efficacy of the vaccine. That would be the same
9 as what we would be looking at for any other kind of
10 vaccine.

11 DR. MIDTHUN: Right. I guess to summarize
12 it, it would be very, very difficult, really
13 impossible, to get the type of clinical data assembled
14 in time for this year to actually get a quadravalent
15 preparation available. I think we did hear a lot of
16 input that it would be very desirable to try to
17 generate data so that in the future we would have data
18 that would be able to address this issue.

19 DR. DAUM: -- gone over but I agree with
20 Dr. Aguilar-Cordova that this is an area that we need
21 to think about some more and have more input on.

22 Let's now move to Session 2 proper. Jerry
23 Weir, are you there?

24 DR. SACHS: This is Jody from the FDA. I
25 would just like to state that Dr. Weir, Dr. Feinstone,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and Dr. Markoff are present and they are ready to go
2 for Session 2. Dr. Lemon is on the call ready to go
3 also. Thank you.

4 DR. DAUM: Thank you very kindly. With
5 that, I'll again call on Dr. Weir for the overview of
6 the Division of viral products.

7 DR. WEIR: Hi. This is Jerry Weir from
8 the Division of Viral Products. Can everyone hear?
9 Okay. For the remainder of this session we are going
10 to review the site visit report for the Laboratory of
11 hepatitis Viruses and vector-borne viral diseases.

12 This site visit took place on November 6,
13 2001. What I'm going to do is just give a very brief
14 overview of the Division of Viral Products. I think
15 most of you may have a handout to follow along.

16 The Division of Viral Products is one of
17 three division in the Office of Vaccines, Research,
18 and Review. At the present time I am the acting
19 director of the division. Delores McVitty is the
20 acting deputy director of the division.

21 There are seven laboratories in the
22 Division of Viral Products; the Laboratory of
23 hepatitis Viruses, the Laboratory of Vector-Borne
24 Viral Diseases, and the Laboratory of Retrovirus
25 Research, the Laboratory of DNA Viruses, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Laboratory of Pediatric and Respiratory Diseases, the
2 Laboratory of Immunoregulation, and the Laboratory of
3 Methods Development.

4 As I said earlier, the site visit focused
5 on the review activities of two of these laboratories,
6 that of the Laboratory of hepatitis Viruses, and the
7 Laboratory of Vector-Borne viral diseases.

8 In general, the mission and the functions
9 of the -- I'm sorry. Go ahead.

10 DR. DAUM: Dr. Weir, are you there?

11 DR. SACHS: Yes. Go ahead, please.

12 DR. WEIR: I'm still here.

13 DR. DAUM: Good. Go ahead. I think Ms.
14 Fisher just joined.

15 DR. WEIR: Okay. I'll continue. I was
16 about to talk about the overall mission and functions
17 of the Division of Viral Products. Briefly we have
18 research efforts and review responsibilities. The
19 laboratories and the research efforts in the different
20 laboratories focus on a variety of topics and they
21 include viral pathogenesis, vaccine development
22 evaluation, viral vector evaluation, as well as
23 vaccine safety and efficacy.

24 In general our review responsibilities
25 mirror those research efforts or the research efforts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support the review responsibilities. In all of our
2 laboratories we have responsibilities for the review
3 of investigation of new drug applications, biological
4 license applications, as well as we're responsible for
5 the release of viral vaccines and numerous post-
6 marketing activities.

7 The Division of Viral Products has a full-
8 time staff of approximately 67. A total staff,
9 however, is over 100, about 110 as of today. This is
10 mostly because of post-doctoral fellows that are
11 brought into the division on soft money.

12 Since the site visit committee met,
13 however, we now have a potential increase in FY 02 of
14 more than 10 full-time staff members as a result of
15 counter-bioterrorism efforts for this year. The
16 budget for FY 02 is approximately at this time about
17 1.1 million. When the site visit committee met in
18 November the figures for this year were not available.

19 This 1.1 million represents about the same
20 budget as last year and this is an increase. As I
21 pointed out to the site visit team at that time, this
22 is an increase over a low of \$750,000 to \$800,000 in
23 operating funds in FY 99 and FY 2000.

24 We also, as I pointed out at that time, we
25 have supplemental funding from outside sources which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now equals or is greater than our internal operating
2 funds. This is a reflection on the success of most of
3 our, or all of our, laboratory programs.

4 As I mentioned a minute ago, we have
5 potential increases in staff due to counter-
6 bioterrorism this year. We also have increased
7 funding this year that will become available because
8 of the bioterrorism initiative.

9 The two laboratories that review in
10 November were the Laboratory of hepatitis Virus
11 Research. Research in that laboratory focuses in
12 general on the immunobiology of hepatitis C and
13 strategies for vaccine development.

14 The major regulatory responsibilities of
15 that laboratory are in the regulation of hepatitis
16 virus vaccines. This, of course, includes other
17 hepatitis viruses other than just hepatitis C.

18 In the Division of Viral Products,
19 Laboratory of Vector-Borne Viral Diseases, the
20 research efforts mostly focus on mechanisms of
21 replication and pathogenicity of vector-borne viruses
22 and also strategies for vaccine development.

23 The regulatory responsibilities of this
24 laboratory include the regulation of vaccines for
25 vector-borne virus diseases, as well as hepatitis A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and rabies.

2 On November 6, 2001, the site visit
3 committee met to review the progress of the programs
4 of the research programs in these two laboratories and
5 they evaluated individuals in these two laboratory
6 programs for their progress and assessed the future
7 directions of where these two programs were going.

8 Briefly that is the overview and I'm going
9 to go back on speaker phone now as we turn to --
10 before we turn to Dr. Feinstone.

11 DR. DAUM: Thank you very much, Dr. Weir.
12 Are there committee questions or comments for Dr.
13 Weir?

14 DR. KATZ: This is Sam Katz. I have one
15 question. Why is hepatitis A virus in the vector-
16 borne lab instead of the hepatitis Lab?

17 DR. WEIR: That goes back several years.
18 Dr. Feinstone may tell you more but there was a
19 potential conflict of interest because Dr. Feinstone
20 was one of the co-discoverers of hepatitis A.

21 DR. DAUM: Thank you. Dr. Weir, I have a
22 question. The FY 02 budget of approximately \$1.1
23 million, that I presume does not include salaries of
24 those working there.

25 DR. WEIR: That's correct. I was only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 referring to operating funds.

2 DR. DAUM: How much of those are earmarked
3 for the research operation?

4 DR. WEIR: Well, that is essentially our
5 research operating budget. That also includes the
6 overhead for the division itself but essentially that
7 is our research budget.

8 DR. DAUM: Other committee questions or
9 comments? Good. Then let's go on to hear from Dr.
10 Stephen Feinstone regarding the synopsis of the
11 Laboratory of hepatitis Virus.

12 DR. FEINSTONE: Good afternoon. This is
13 Steve Feinstone. I'm going to present the program of
14 the hepatitis Lab. The hepatitis Lab was begun in
15 1989 and continued through this time.

16 Originally we had two groups within the
17 laboratory, a hepatitis A group and a hepatitis C
18 group. In recent years the hepatitis A group was
19 headed by Gerardo Kaplan who has now moved to the
20 Office of Blood where he has taken a position as a lab
21 chief.

22 Presently we have just the one group in
23 the hepatitis Laboratory that's focused primarily on
24 hepatitis C. We have seven members in the laboratory
25 now, myself and six other individuals. Marion Major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is a visiting scientist. Deb Taylor is a staff
2 fellow. Montserrat Puig is Oakridge fellow. Kathleen
3 Mihalik and Peter Thompson are biologists. Recently
4 Tonya Orin from Australia has joined the laboratory
5 and is a Fogerty fellow.

6 The laboratory has regulatory
7 responsibility for all the hepatitis viruses including
8 hepatitis A, B, C, and E. We have no -- we've had no
9 applications dealing with hepatitis D or Delta.

10 We are involved in the regulation of
11 hepatitis A. As Dr. Weir explained, I have a conflict
12 of interest so I personally do not deal with hepatitis
13 A but Marion Major in our laboratory does do review
14 work for hepatitis A.

15 In recent years Dr. Markoff has been very
16 active in review hepatitis A vaccine applications,
17 especially during the clinical reviews which is the
18 major part of the review work. However, those
19 applications were also handled in the hepatitis
20 Laboratory by Dr. Kaplan.

21 As I said, we have applications and
22 regulatory work in all the major hepatitis viruses
23 except for hepatitis D. We also function outside the
24 office. I frequently am called on for consultations
25 by the Office of Blood or the Office of Therapeutics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Debra Taylor, who is very knowledgeable in
2 interferon, has been working with individuals in the
3 Office of Therapeutics on interferon applications. I
4 think that is most of our outside the office work.

5 From the research point of view, as Dr.
6 Weir pointed out, our major focus has been in the area
7 of the immuno-biology of hepatitis C. We have a major
8 program to study the immune response in using
9 chimpanzees that are inoculated with a monoclonal form
10 of hepatitis C virus that was derived from the
11 infectious HCV CDNA clone developed with Charlie
12 Rice's laboratory now at the Rockefeller.

13 Using that material, we studies immune
14 responses in chimpanzees in what we hope is a very
15 controlled way. This is work that is largely
16 sponsored by an NCI grant which I'm co-principal
17 investigator with Charlie Rice. As I said, that is
18 the major project in the laboratory right now.

19 In addition to that, Dr. Taylor has
20 initiated a program to study mechanisms of interferon
21 resistance to hepatitis C virus. We are also working
22 actively now on trying to develop small animal models
23 for hepatitis C that will hopefully reduce our
24 dependency on chimpanzees.

25 The details of the program are presented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the briefing book. Beyond what we do in the
2 laboratory we also have major collaborations with
3 various groups both in the intramural community at NIH
4 and extramurally we work very closely with Charlie
5 Rice's laboratory, with Harry Greenberg in the
6 extramural program.

7 Intramurally we work with Jake Liang,
8 Barbara Rareman, Jay Rasophski, and Curt Harris. We
9 also have a lot of cooperations with Mei Ying Yu and
10 the Office of Blood.

11 I think that generally sums up the
12 program.

13 DR. DAUM: Are there questions or comments
14 from committee members for Dr. Feinstone? If not,
15 we'll say thank you very kindly and ask for Dr.
16 Markoff. Hopefully he's here.

17 DR. SACHS: Yes, he's here and ready to
18 go.

19 DR. DAUM: He's here and ready to go to
20 give us a synopsis of the Laboratory of vector-borne
21 viral diseases. Dr. Markoff.

22 DR. MARKOFF: Thank you very much. Thank
23 you members of the committee for being present.

24 I hope everybody received the three slides
25 that I sent out late last week. In the first of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slides you can see an overview of the organization of
2 the laboratory and I'm going to say a few words about
3 that.

4 You might note on the slide that the LDVD
5 includes the electron microscopy staff which consist
6 of Dr. Jackwell and Muller and biologist Marilyn
7 Linguist. The EMS, as I call it, was not reviewed
8 during the recent site visit because they have more or
9 less a regulatory function.

10 In addition to the EMS, then the
11 laboratory consist of five FTEs and that would include
12 myself, staff scientist Dr. Barry Falgout, Senior
13 Staff Fellow Dr. Robin Levis, and Microbiologist Janet
14 Burn and Stephanie Polo.

15 Currently in the Laboratory we also have
16 an Oakridge fellow, Dr. Li Yu, and a guess worker Dr.
17 Eileen Kelly who is on loan to us from Walter Reed
18 Army Institute of Research. This is a total of seven
19 investigators in the lab at the moment excluding the
20 EMS.

21 LBD reviews all the submissions related to
22 vaccines for the prevention of vector-borne virus
23 diseases. This includes the licensed vaccines YF-vax,
24 which is the yellow fever vaccine licensed in this
25 country to Adventist Pasteur and JE-vax. Those are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccines for yellow fever and JE respectively, of
2 course.

3 These are both flavi virus pathogens.
4 Other flavi virus vaccines under development include
5 novel JE and yellow fever vaccines, mono and
6 tetravalent Dengue vaccines, and vaccines to prevent
7 illnesses caused by tick-borne encephalitis and West
8 Nile viruses.

9 LBD also reviews vaccines related to the
10 new world alpha viruses such as Venezuelan, Western,
11 and Eastern Equine encephalitis viruses. Additional
12 vector-borne virus pathogens are targeted for vaccine
13 development and the list includes some of the arena
14 viruses such as Lhasa fever and Junin viruses and
15 philo viruses such as E-boli and Marburg. We don't
16 have any applications for the latter viruses at the
17 moment, however, but these would also fall under our
18 purview.

19 LBD has also reviewed responsibility for
20 rabies, all rabies, and hepatitis A vaccine
21 submissions as Steve and others have described. Dr.
22 Levis is primarily responsible for issues related to
23 rabies vaccines.

24 Robin has taken the lead on behalf of OVRP
25 in an international effort to claim the potency test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for lot release of rabies vaccines for one based on a
2 leaf of mouse challenge to one based on ELISA. She
3 also contributed to the CBER team biologics program as
4 a lecturer and is one of the few, if not the only,
5 staff fellow to have served as an expecter of
6 manufacturing facilities.

7 Dr. Falgout has distinguished himself as
8 a reviewer of manufacturing protocols in all areas and
9 he serves as a consultant to the Office of
10 Therapeutics in CBER on submissions related to
11 adenovirus vector gene therapy. Barry is the only
12 scientist in CBER with previous experience as an adeno
13 virologist. As was mentioned, the review of the
14 clinical submissions related to hepatitis A vaccines
15 has been my responsibility.

16 The scientific effort in our lab centers
17 around the creation of infectious DNA for positive
18 strand RNA viruses using various flavi viruses as
19 models. It is also currently possible to create
20 infectious DNAs for negative strand RNA viruses if
21 preliminary gene function is supplied in trends.

22 The use of infectious DNA and RNA virus
23 vaccine development is quite attractive because site
24 directed mutagenesis of the viral gene on this
25 facilitated and issues related to tissue culture

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 passage that predate DNA cloning are abrogated. In
2 other words, the vaccine is safer if it comes from an
3 infectious DNA.

4 The major portion of the support for our
5 research effort comes from the Walter Reed group under
6 an IAG in which we have agreed to create infectious
7 DNAs for each of their existing tetravalent set of
8 attenuated Dengue viruses that were initially created
9 by serio passage of human virulent Dengue viruses in
10 primary dog kidney cells. This effort is led by Dr.
11 Falgout in our lab.

12 This is one example of the use of
13 infectious DNAs to enhance vaccine safety. In a
14 similar vane, Dr. Falgout has also created an
15 infectious DNA copy of a live attenuated JE vaccine
16 strain SA/14/142. That vaccine is used widely and
17 successfully in China to immunize hundreds of millions
18 of children against JE but it would likely be
19 unacceptable for licensure outside China due to its
20 history of both attenuation and manufacture in primary
21 hamster kidney cells.

22 We also use the infectious DNA technology
23 in our basic science projects and here is a short list
24 of examples. The first one would be that in a recent
25 set of experiments Barry discovered that punitive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 full-length DNA copy of the JE vaccine virus genome
2 was infectious despite the fact that it lacked the
3 three prime terminal six nucleotides of the RNA.

4 RNA isolated from the resulting
5 replication competent virus was shown to contain the
6 missing six nucleotides indicating that by some
7 unknown mechanism they had been restored. This set
8 off a chain of experiments using our Dengue-2
9 infectious clone which was easier to work with than
10 under BL-2 conditions. We were able to demonstrate
11 that any number of deletions up to about seven
12 nucleotides can be deleted from the three prime end of
13 the DNA and these are restored.

14 This is evidence for an unknown function
15 probably of the viral RNA preliminaries and/or
16 cellular proteins to actually restore missing
17 nucleotides from the three prime end of the genome
18 which will alter the current concept of how this RNA
19 replication proceeds with the virus.

20 The second example would be that in 1998
21 we published a study of a conserved and
22 thermodynamically stable stem-leuc structure formed by
23 the three prime terminal 90 nucleotides of the flavi
24 virus genome by site directed mutagenesis of that
25 domain and the Dengue-2 genome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 One of those mutant viruses derived in
2 that study which we call mutant F, just because it
3 came after mutant E, had a host range phenotype in
4 that it replicated only to very low titres in mosquito
5 cells but proved very well in monkey cells.

6 We introduced that mutation into a human
7 virulent Dengue-1 virus genome and showed that this
8 mutant was highly attenuated in monkeys.
9 Interestingly, it also displayed the same host range
10 restricted phenotype so we are developing a
11 tetraivalent set of mutant F viruses as vaccine
12 candidates.

13 Finally, Dr. Levis demonstrated several
14 years ago actually that the function of one of the key
15 viral nonstructural proteins, and this one could be
16 complimented in trends, if a viral genome with a large
17 in-frame deletion of NS-1 gene sequences was
18 introduced into cells constitutively expressing it as
19 one.

20 Robin went on to demonstrate that NS-1
21 probably protects virus infected cells from apoptosis
22 and that yields of infectious virus from NS-1
23 expressing cells, and this is even wild-type viruses,
24 are, therefore, increased and we feel that this
25 finding could be useful in eventually manufacturing of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any Dengue vaccines.

2 Those are just three examples of how we
3 apply some basic science congruent with the mission of
4 CBER.

5 DR. DAUM: Interesting issues. Committee
6 comments or questions for Dr. Markoff?

7 DR. KATZ: Sam Katz with a question about
8 Dengue. Where are we as far as development of Dengue
9 virus vaccines are concerned?

10 DR. MARKOFF: There are a number of
11 vaccines under development by different companies. I
12 don't know what I can say about it. I really can't
13 say anything. This candidate vaccine that has come
14 from our research was just a fortuitous event. Of
15 course, we don't have the wherewithal, the facilities,
16 the funds, or even the desire to be vaccine
17 manufacturers. That particular mutant virus has been
18 patented. That's all I can say.

19 DR. KATZ: Thank you.

20 DR. DAUM: Other questions or comments for
21 Dr. Markoff? Okay. With that we will move into open
22 public hearing for Session 2.

23 MS. SACHS: I'd like to ask -- this is
24 Jody Sachs of the FDA -- if anyone present would like
25 to speak. There is no one in the room where I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 right now that is asking to speak. Thank you. I'm
2 going to at this time turn it back to you, Dr. Daum.

3 DR. DAUM: Would you like to have a break
4 before we move into closed session, Jody, or can we
5 just go right in?

6 MS. SACHS: What we need to do is just
7 give me one minute to clear the room and I'll start to
8 ask the people that need to get off get off if you
9 would like me to -- the few people that need to hang
10 up, I will be happy to do that.

11 DR. DAUM: So we'll meet at 3:05 eastern.
12 Will that be enough time, Jody?

13 MS. SACHS: Yes. That sounds very good.
14 Thank you.

15 DR. DAUM: We'll go into Session 3 at 3:05
16 eastern.

17 MS. SACHS: Sounds good. Thank you.

18 (Whereupon, at 3:02 p.m. off the record
19 until 3:06 p.m.)

20 MS. SACHS: Hi. This is Jody from the
21 FDA. I just wanted to ask if Dr. Couch, Dr. Dowdle,
22 Dr. Eickoff, Dr. Poland are still on the line, it is
23 now time to -- okay. We're fine, Dr. Daum. We just
24 did with the operator. We're fine. We can go ahead.

25 DR. DAUM: Good. Then let's hurdle along

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

3848T1

**PAGES 100
THRU 131**

**CLOSED
SESSION**

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
 Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: March 6, 2002

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


