

UNITED STATES OF AMERICA

FOOD AND DRUG ADMINISTRATION

+ + + + +

0761 '02 FEB -6 P1:35

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
ADVISORY COMMITTEE

and the

BLOOD PRODUCTS ADVISORY COMMITTEE

+ + + + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

JOINT MEETING

+ + + + +

THURSDAY,
JANUARY 17, 2002

+ + + + +

The Advisory Committees met at 8:00 a.m. in the Versailles I/II Room of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. David Bolton, Chair of the TSEAC, presiding.

PRESENT

- | | |
|------------------------|---------------------------------|
| DAVID C. BOLTON | Acting Chairman, TSEAC Chairman |
| KENRAD E. NELSON | BPAC Chairman |
| ERMIAS D. BELAY | TSEAC Member |
| JOHN M, BOYLE | Temporary Voting Member |
| MARY E. CHAMBERLAND | BPAC Member |
| DEAN O. CLIVER | TSEAC Member |
| STEPHEN J. DeARMOND | TSEAC Member |
| LISA A. FERGUSON | TSEAC Member |
| G. MICHAEL FITZPATRICK | BPAC Member |
| PIERLUIGI GAMBETTI | TSEAC |
| LIANA HARVATH | Temporary Voting Member |
| F. BLAINE HOLLINGER | Temporary Voting Member |
| RICHARD T. JOHNSON | Temporary Voting Member |

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PRESENT: (continued)

RICHARD J. KAGAN	Temporary Voting Member
JEANNE V. LINDEN	Temporary Voting Member
PETER G. LURIE	TSEAC Member
J. JEFFREY McCULLOUGH	TSEAC Member
DANIEL L. McGEE	BPAC Member
MARK A. MITCHELL	Temporary Voting Member
STEPHEN R. PETTEWAY	Non-Voting Industry Rep.
PEDRO PICCARDO	TSEAC Member
SUZETTE A. PRIOLA	TSEAC Member
TERRY V. RICE	BPAC Member
TOBY L. SIMON	Non-Voting Industry Rep.
DAVID F. STRONCEK	Temporary Voting Member
SHIRLEY J. WALKER	Consumer Representative
ELIZABETH S. WILLIAMS	TSEAC Member
WILLIAM FREAS	Acting Executive Secretary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Administrative Reports

Executive Secretary Freas 5

Presentation of Certificates

Dr. Goodman 12

Opening Remarks

Chairman Bolton 15

Committee Update:

Revised FDA Guidance on Preventive Measures to Reduce the Possible Risk of Transmission of CJD and variant CJD by Blood and Blood Products: Final Guidance

Dr. Scott 16

Opening Public Hearing

New York Blood Center, Dr. Robert Jones . . 26

American's Blood Centers, Dr. Celso Bianco 35

National Hemophilia Foundation,
Cheryl Hayden 42

Alpha-1 Foundation, Miriam O'Day 44

Carter Blood Care, Merlyn Sayers 45

Committee of Ten Thousand, Dave Cavanaugh . 49

Committee Update:

PPTA presentations on clearance of spiked TSE infectivity and protease-resistant prion proteins by plasma processing.

Mr. Healey 66

Dr. Lee 69

TOPIC: Effectiveness of measures taken to protect humans from food-borne exposure to BSE agent in countries with BSE: Implications for variant CJD and blood safety

Introduction, background, charge and questions

Dr. Asher 113

Variant CJD in the UK: Update and review of recent epidemiological studies

Dr. Ward 126

BSE and human food chain protective measures in the UK

Dr. Soul 159

Efforts and needs for global control of BSE and variant CJD: A view from the WHO

Dr. Ricketts 198

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

Open Public Meeting 232

Committee discussion 232

Questions to the Committees

Committee update

Harvard BSE Risk Assessment: Summary and
update George M. Gray, Ph.D and Joshua Cohen,
Ph.D (Center for Risk Analysis, Harvard School
of Public Health, Boston) 266

Questions from the Committees to presenters . . 310

Adjourn 323

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(8:04 a.m.)

EXECUTIVE SECRETARY FREAS: Mr. Chairman, members of the Committee and the audience, welcome this morning to this joint meeting of the Transmissible Spongiform Encephalopathies Advisory Committee and the Blood Products Advisory Committee.

I am Bill Freas. I'll be the Acting Executive Secretary for today.

At this time I would like to go around and introduce to the audience the members who are seated at the head table. They are, starting on the audience's right hand side of the room Dr. Jeffrey McCullough, Professor, Department of Laboratory Medicine and Pathology, University of Minnesota.

If the members would raise their hand as we go around so we could identify you.

Next is Dr. Mary Chamberland, Assistant Director for Blood Safety, Division of Viral & Rickettsial Disease, Center for Disease Control and Prevention.

Next is Dr. Peter Lurie, Medical Researcher for Public Citizen's Health Research Group, Washington, D.C.

Next is Colonel Michael Fitzpatrick,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Deputy Director Armed Services Blood Program Office.

2 Next is Dr. Stephen DeArmond, Professor,
3 Department of Pathology, University of California, San
4 Francisco.

5 Next is Dr. Daniel McGee, Medical
6 University of South Carolina, Professor, Biometry &
7 Epidemiology.

8 Next is Dr. Pedro Piccardo, Associate
9 Professor, Indiana University School of Medicine.

10 Next is Dr. Richard Kagan, Associate
11 Professor of Surgery, University of Cincinnati College
12 of Medicine.

13 Next is Dr. Ermias Belay, Medical
14 Epidemiologist, Centers for Disease Control and
15 Prevention.

16 Next is Dr. John Boyle, Senior Vice
17 President and Partner, SRB, Incorporated.

18 Next is Dr. Elizabeth Williams, Professor,
19 Department of Veterinary Service, University of
20 Wyoming.

21 Around the corner of the table is Dr.
22 Liana Harvath, Director, Blood Resources Program,
23 Division of Blood Disease and Resources, NIH.

24 Next is Dr. Pierluigi Gambetti, Professor
25 and Director, Division of Neuropathology, Case Western

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Reserve University.

2 Next is the Chairman of the Blood Product
3 Advisory Committee, Dr. Kenrad Nelson, Professor,
4 Department of Epidemiology, Johns Hopkins University
5 School of Hygiene & Public Health.

6 Next is the Chairman of the Transmissible
7 Spongiform Encephalopathies Committee who will be
8 acting as Chairman of the entire joint meeting for
9 today, and that is Dr. David Bolton, Head of the
10 Laboratory of Molecular Structure and Function, New
11 York State Institute for Basic Research.

12 Next is our consumer representative,
13 Shirley Walker, Vice President of Health and Human
14 Services, Dallas Urban League.

15 Next is Dr. Blaine Hollinger, Professor of
16 Medicine, Baylor College of Medicine.

17 Next is Dr. Richard Johnson, Professor of
18 Neurology, Johns Hopkins University School of
19 Medicine.

20 Around the corner of the table is Dr.
21 Suzette Priola, Investigator, Laboratory of Persistent
22 and Viral Diseases, Rocky Mountain Laboratories.

23 Next is our non-voting industry
24 representative, Dr. Toby Simon, Chief Medical Officer
25 and Chief Operating Officer of TriCore Reference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Laboratory.

2 Next is another non-voting industry
3 representative, Dr. Stephen Petteway, Director of
4 Pathogen Safety and Research, Bayer Corporation.

5 We will soon be joined in the empty seat
6 by Dr. Mark Mitchell, President, Mitchell Health
7 Consultants.

8 Next is Dr. Lisa Ferguson, Senior Staff
9 Veterinarian, U.S. Department of Agriculture.

10 Next is Dr. David Stroncek, Chief
11 Laboratory Service Section, Department of Transfusion
12 Medicine, NIH.

13 In the empty chair we will soon joined by
14 Dr. Carmelita Tuazon, Professor of Medicine,
15 Infectious Diseases, George Washington University
16 Hospital.

17 Next is Mr. Terry Rice, on the Board of
18 Directors Committee of Ten Thousand.

19 Next is Dr. Dean Cliver, Professor, School
20 of Veterinary Medicine, University of California,
21 Davis.

22 Next is Dr. Jeanne Linden, Director Blood
23 and Tissue Resources Program, New York State
24 Department of Health, New York.

25 I would like to welcome everybody here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this morning.

2 And now I would like to read in the
3 conflict of interest statement for this meeting, and
4 it's a lengthy one.

5 The following announcement is made part of
6 the public record to preclude even the appearance of
7 a conflict of interest at this meeting.

8 Pursuant to the authority granted under
9 the Committee charter the Director, Center for
10 Biologics Evaluation and Research, has appointed Dr.
11 John Boyle, Blaine Hollinger, Richard Johnson, Richard
12 Kagan, Jeanne Linden, Mark Mitchell, David Stroncek
13 and Carmelita Tuazon as temporary voting members for
14 this meeting.

15 Based on the agenda made available it has
16 been determined that the agenda addressing general
17 matters only. The general nature of the matters to be
18 discussed by the Committee will not have a unique and
19 distinct effect on any of the members' personal or
20 imputed financial interests. However, the following
21 interests are being disclosed so that the public can
22 evaluate any comments made by meeting participants.

23 Dr. John Boyle is an unpaid trustee of the
24 Immune Deficiency Foundation, IDF. His wife is Vice
25 President of IDF. IDF receives funds from various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plasma product firms.

2 Dr. Boyle is also an unpaid trustee for
3 PSI, a subsidiary of IDF which distributes plasma
4 products. IDF has contracts and grants from companies
5 which manufacture and distribute blood products. Dr.
6 Boyle has no involvement with these contracts and
7 grants.

8 Dean Cliver served as an expert witness
9 regarding toxoplasmosis.

10 Dr. Lisa Ferguson is employed by the U.S.
11 Department of Agriculture as a Senior Staff
12 Veterinarian.

13 Dr. Michael Fitzpatrick is employed by the
14 U.S. Army's Blood Program.

15 Dr. Suzette Priola has a patent with her
16 employer, NIH, for inhibitors of formation of
17 protease-resistant prion protein.

18 Dr. Stephen Petteway is serving as a non-
19 voting industry representative. He is employed by
20 Bayer and, thus has interests in his employer and
21 other similar firms. In addition, he is the
22 scientific advisor for Intersouth Partners and Biolex
23 and holds mutual funds.

24 Dr. Toby Simon is also serving as a non-
25 voting industry representative. He's employed by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 TriCore Reference Laboratory. Dr. Simon and his
2 spouse own stock in effected firms.

3 Dr. Peter Soul is a guest and is head
4 veterinarian for TSE Team, Department of
5 Environmental, Food and Rural Affairs in London
6 England.

7 Dr. Hester Ward, a guest, is employed by
8 the National CJD Surveillance Unit, Western General
9 Hospital in Edinburgh, Scotland.

10 In addition, listed on the agenda as part
11 of the Committee updates are speakers making industry
12 presentations. These speakers are employed by
13 industry and, thus have interest in their employer and
14 other regulated firms. The speakers who were invited
15 to make presentations on clearance of spiked TSE
16 infectivity and protease-resistant prion proteins by
17 plasma processing. These speakers were not traveled
18 by FDA nor were they screened for conflict of
19 interest.

20 The Committee will discuss general matters
21 only. In the event the discussions involve specific
22 products or specific firms for which FDA participants
23 have a financial interest, the participants are aware
24 of the need to exclude themselves from such
25 involvement and their exclusion will be noted for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 public record.

2 With respect to all other meeting
3 participants, we ask in the interest of fairness that
4 they address any current and previous financial
5 involvement with any firm whose product they may wish
6 to comment upon.

7 That's the end of the conflict of interest
8 statement.

9 Dr. Bolton, I turn the microphone over to
10 you.

11 EXECUTIVE SECRETARY FREAS: Dr. Goodman,
12 could you come to the microphone at this time?

13 DR. GOODMAN: Good morning. It's a very
14 large Committee here, and thank you all for coming.

15 And I'm up here today particularly to
16 thank retiring members of both advisory committees.
17 And as you all know, the FDA and the public as a whole
18 use these advisory committees to provide outside
19 expert advise from various perspectives that help us
20 make important public health decisions.

21 The TSE Advisory Committee I think we all
22 recognize is particularly important. Not only does
23 this effect the Center for Biologics, but all the
24 centers of FDA, the entire Department of Health and
25 Human Services and very broadly public health and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 U.S. economy. Plus, it's a difficult area
2 scientifically so we have frequently called on the TSE
3 Committee and when relevant, the Blood Products
4 Committee for help.

5 So these contributions have been very
6 important. From my observations at some of the
7 committee meetings I've been at, as well as reading
8 transcripts and talking with others in the agency
9 about your advice, I can say that advice has been
10 good. It's been important and it's been used in agency
11 and government decision making. So, I really do want
12 to thank all of you who are here today as well as
13 these retiring members. And I know that the
14 Commissioner Dr. Schwetz and Kathy Zoon the Center
15 Director feel the same way.

16 Today we have two retiring members, Dean
17 Cliver and Peter Lurie who are here, and I would like
18 to come up and join me. I'm very happy to present
19 them a plaque and letter from the Commissioner of the
20 FDA.

21 Peter Lurie. Peter, thank you very much.
22 Yes, it says Dean Cliver, and I apologize. The plaque
23 looks like Cliver. I'm sorry.

24 You want a picture. The famous handshake.
25 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LURIE: Thank you.

2 DR. GOODMAN: Again, I thank you all,
3 whatever your name is. But it does Cliver here, so
4 it's my fault that I misread that.

5 Well, again, I'd like to thank both Dr.
6 Cliver and Dr. Lurie for everything they've done. And
7 this has been about, I guess over three years that
8 they've been in the Committee since '98.

9 Okay. There's two people who aren't here
10 today who are also retiring, and Dr. Donald Burke and
11 Bruce Ewenstein. And maybe everybody could thank them
12 for their work, too.

13 Also at the last meeting there are a
14 couple of members who are also coming off the
15 Committee who weren't able to be there, and I believe
16 they're both here today. So I'd like to present them
17 a similar token of our appreciation. And that's Dr.
18 Marion Koerper and John Boyle.

19 So at this time I'd like them to come up
20 and, again, thank them for the Center, for the
21 Commissioner, and indeed for the public for their
22 service.

23 Thank you very much.

24 And also Richard Kagan.

25 So first, Dr. Boyle. Thanks, Dr. Boyle.

1 Thanks for your service.

2 Thank you very much, Dr. Kagan.

3 And that's it. Okay. Dr. Koerper
4 couldn't be here.

5 Thank you all very much. We can get on
6 with work. Thank you.

7 CHAIRMAN BOLTON: Well, as Chairman of the
8 TSE Advisory Committee, I also would like to add my
9 thanks to Dr. Cliver, Dr. Burke, Dr. Lurie, and Dr.
10 Ewenstein who are stepping off of our Committee. Their
11 work has been valuable both to the Committee, to the
12 FDA and to the general public. So, I thank them for
13 coming and sitting through many long meetings and
14 enduring endless stream of Power Point presentations
15 and other things to try to get to the heart of matters
16 that are of concern ultimately to the general public.

17 We have an agenda today that's not too
18 packed. I hope that we'll be able to stay, more or
19 less, on time, although probably not meet all of our
20 breaks. At least maybe ultimately adjourn by
21 somewhere in the neighborhood of 4:30 go 5:30.

22 And with that in mind, I'd like to open
23 the meeting and begin with the Committee Update, the
24 revised FDA Guidance on Preventive Measures to Reduce
25 the Possible Risk of Transmission of CJD and variant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CJD by Blood and Blood Products. This is an update of
2 the final guidance. And Dr. Dorothy Scott will
3 present to us this morning.

4 Dr. Scott.

5 Following Dr. Scott's presentation, we
6 will have an open public hearing. I have three
7 individuals who have requested time to speak at that,
8 but we will also any comments from the public in open
9 forum.

10 So, if you have things that you would like
11 to say, please formulate your thoughts and be ready to
12 come to the microphone.

13 Now, Dr. Scott.

14 DR. SCOTT: Good morning. I'm going to
15 reintroduce the guidance. This is now the final
16 guidance which as published early this month. It's the
17 "Revised Preventive Measures to Reduce the Possible
18 Risk of Transmission of CJD and variant CJD by Blood
19 and Blood Products," and you can now find this posted
20 on the Internet.

21 I just want to briefly recapitulate the
22 history of geographic donor deferrals, which is the
23 primary change in this new final guidance. However,
24 I also want to point out that the differences between
25 the draft guidance and the final guidance are mainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 technical. They're important but they are technical.
2 We have kept the same donor deferrals and the same
3 implementation times that were in the August draft
4 guidance.

5 Just briefly the history of geographic
6 donor deferrals. In August of 1999 we published a
7 guidance which was updated in November of 1999
8 containing a recommendation to defer donors for
9 variant CJD risk. And at that time this was defined
10 as residence in the United Kingdom for 6 months or
11 more between 1980 and 1996. You're going to hear a
12 lot today concerning this 1996 cut off date for the
13 United Kingdom.

14 In January 2001 this Committee met to
15 discuss expanding these donor deferrals in the context
16 of BSE spread to Europe as well as the increasing
17 number of cases of variant CJD in the United Kingdom

18 In June 2001 you evaluated the risk and
19 benefit of expanding geographic donor deferrals and
20 you made recommendations which were incorporated in
21 the final guidance.

22 In August we published a draft guidance
23 incorporating your advice. And on January 9th we
24 published a final guidance after a period of public
25 comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Just going to review the donor deferrals
2 in the draft and final guidance.

3 We had two phases of implementation to
4 help attenuate the impact on the blood supply. And
5 the first set of deferrals is here: Residents in the
6 United Kingdom for 3 months or more between 1980 and
7 1996. And this, of course, is because of the variant
8 CJD and large BSE epidemic in the UK.

9 Residents in France were 5 years or more
10 between 1980 and the present. This is not only
11 because of the French BSE epidemic which, to our
12 knowledge, is not as large as the epidemic in other
13 parts of Europe, but also because France is the only
14 other country which has had variant CJD cases. It's
15 also known that the French consumed a lot of British
16 beef between 1980 and 1996, and it's believed that
17 accounts for their variant CJD cases.

18 Residents on a U.S. military base for 6
19 months or more between 1980 and 1990 north of the
20 Alps, there are four countries there, or 1980 and 1996
21 south of the Alps. There are five countries, I
22 believe, there. And this is because U.S. military
23 bases had the British beef to Europe program and so
24 they consumed a moderate proportion of British beef,
25 estimated worse case to be as high as 35 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, of course, in my places it was less than that.

2 And finally, a deferral for recipients of
3 transfusion in the United Kingdom. Again, because of
4 the variant CJD epidemic there.

5 The second phase of donor deferrals is for
6 implementation in October 31, 2001 or by that time.
7 And this is for deferral of blood donors who have
8 lived in Europe for 5 years or more between 1980 and
9 the present.

10 I want to point, and we'll be talking more
11 about this today, that donors of source plasma for
12 plasma derivatives who have lived in Europe for 5
13 years or more during this time period remain eligible.
14 And I'm going to go through the rationale for that, and
15 we're going to hear some presentations that have
16 bearing on that after the open public hearing this
17 morning.

18 We know that model TSE agents are
19 partitioned and removed during plasma fractionation.
20 There's a moderate amount of published data in peer
21 review journals which show us this, and these are
22 model agents such as GSS in mice and hamster scrapie.
23 Today we're going to hear some about the variant CJD
24 agent partitioning during plasma fractionation, and
25 this is not published data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We also know that the European risk of
2 variant CJD is low because they have currently a small
3 BSE epidemic relative to that of the United Kingdom.
4 Therefore, European donors have much less exposure
5 potentially to the BSE agent.

6 In addition, the magnitude of the risk
7 reduction that you can achieve by fractionation at a
8 minimum is estimated to be approximately a couple logs
9 greater than that that's possibly achievable by donor
10 deferral.

11 And finally, we do have a concern that
12 there would be an effect on nationwide and worldwide
13 plasma supplies with the European donor deferral. Not
14 because there are so many plasma donors in the U.S.
15 that have been to Europe for five years or more, but
16 rather because of the perception of safety of European
17 plasma. That's also an issue that we plan to discuss
18 further at future meetings, or we hope to discuss
19 further.

20 I want to point out, because it's
21 important, that source and recovered plasma are
22 differentiated. That is people who donate blood from
23 which plasma's recovered will be deferred because of
24 the 5 year European deferral. And people who have
25 lived in Europe for 5 years can still donate source

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plasma. But this differentiation is only in order to
2 prevent potential errors in the use of nonplasma
3 components or cellular components from this whole
4 blood collections from which recovered plasma is made.
5 So, this is really a technical reason. We don't
6 believe currently that there's any difference in the
7 level of safety between recovered and source plasma in
8 terms of the 5 year European donor deferral.

9 I also want to let you know that we will
10 continually reevaluate this recommendation in the
11 light of additional epidemiologic evidence,
12 transmission studies for blood and for plasma and
13 advances in understanding of the removal of TSE agents
14 by manufacturing of plasma derivatives.

15 There were, certainly, some changes
16 between the draft guidance and the final guidance, and
17 I've listed most of them here.

18 The final guidance clarifies the reporting
19 or the recommendations for biological product
20 deviation reports.

21 There's donor question streamlining and we
22 think improvements. We have our many public comments
23 to thank for that.

24 We've clarified donor questioning methods
25 and frequency.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We've added a summary table that you'll
2 see at the end of the guidance which can help you
3 navigate through the many complexities of donor
4 deferrals and recipient notification, consignee
5 notification and so forth.

6 We've also added definitions, additional
7 references and we've articulated a general approach to
8 non-European TSE.

9 I want to mention that again. I mentioned
10 at the last TSE Advisory Committee. But as most of you
11 know, the first case of endogenous non-European TSE
12 was documented September 2001 in Japan. This was
13 confirmed. The diagnoses was confirmed in the United
14 Kingdom, and the USDA announced an import ban in that
15 same month.

16 We believe, or we know, anyway, we have
17 heard from news reports that meat and bone meal from
18 the United Kingdom was shipped to many non-European
19 countries. I think one of the Committee members on
20 the TSE Advisory Committee asked me last time well
21 what were these countries and how many were there. We
22 don't have official reports. We only have news
23 reports. But it look as if meat and bone meal may
24 have gone to over 50 other countries on all continents
25 except for Australia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There are a lot of complexities to this
2 and it makes it very difficult to figure out which
3 countries might be at more risk for BSE. Because
4 there's also trans-shipment. So even if the UK
5 shipped to a certain country, you don't know if the
6 meat bone meal ended up there.

7 And also we don't know how the meat and
8 bone meal was used. It could be used for feeding to
9 ruminants or cows, or it could have been used for
10 feeding to fish or chickens. So, there are a lot of
11 complications with figuring out whether and how to
12 defer donors from non-European countries.

13 But at any rate, we feel currently that we
14 need to assimilate the deferrals that we've
15 recommended, and we need to consider in the future
16 additional deferrals depending on the BSE epidemic and
17 perhaps depending on additional information that we
18 might be able to get.

19 I just want to mention that the spread of
20 BSE emphasizes the importance of food chain controls,
21 which will be discussed as part of topic one later on
22 in the day.

23 We recognize that there are a lot of
24 continued issues, and I don't think this final
25 guidance is the ultimate final guidance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We do need to evaluate the need for future
2 deferrals.

3 We need to think about assessing food
4 chain controls in the context of existing deferrals
5 and possibly future deferrals.

6 We need to monitor prospectively and
7 retrospectively the impact of deferrals on blood and
8 plasma derivatives.

9 And, of course, we are continuing to
10 monitor TSE blood and plasma transmission studies.
11 The partitioning and removal of TSE's by plasma
12 fractionation including variant CJD, diagnostic
13 testing for donors and the epidemiology of these
14 diseases.

15 I just want to introduce in advance the
16 talks that are coming up. These are sponsored by the
17 Plasma Protein Therapeutics Association, and there
18 will be presentations on the clearance of spiked TSE
19 infectivity and protease-resistant prion proteins by
20 plasma processing.

21 As I mentioned, we're expecting some
22 variant CJD data for the first time. Introducing the
23 topic will be Mr. Healey, then we will be hearing from
24 Dr. Lee, Dr. Vey and Kreil about the science and the
25 experiments have been done to demonstrate whether or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not plasma processing can remove TSEs in variant CJD
2 in some cases.

3 So thank you, and I'll take questions.

4 CHAIRMAN BOLTON: Thank you, Dr. Scott.

5 I will now open this up for questions from
6 the Committee if anyone has brief questions for Dr.
7 Scott about the update on the guidance.

8 While you're formulating your thoughts, I
9 just want to thank Dr. Scott for presenting this, but
10 there are people behind the scenes somewhere at FDA
11 that take all of our sort of nebulous recommendations
12 and our attempts to achieve something and they
13 formulate this down into a document that's, hopefully,
14 not always a half an inch thick.

15 But it becomes language that means
16 something to lawyers and possibly not so much to us.
17 But I want to thank them for doing that and trying to
18 translate what we're trying to achieve, and I know the
19 FDA is trying to achieve, into something that can be
20 meaningful at a different level.

21 Questions from the Committee.

22 DR. BELAY: Dr. Scott, I was wondering if
23 there's hope that the American Red Cross would
24 potentially embrace the FDA proposal? Have you
25 approached them recently or it might be a done deal?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SCOTT: To my knowledge, we haven't
2 spoken to them about this potential for harmonizing
3 our deferrals. Jay might know more.

4 MR. EPSTEIN: Well, I think that's a
5 question that has to be answered by the Red Cross.
6 However, there have been informal suggestions that
7 current leadership may wish to reconsider their
8 existing plan.

9 CHAIRMAN BOLTON: Let me warn the
10 Committee. It's a big Committee today. So if you have
11 trouble getting my attention, you may have to waive
12 your arms or jump or down, or something. Because I'm
13 going to have a hard time discovering the entirety of
14 the assembled group here.

15 Other questions? Especially those of you
16 in the corners of my peripheral vision. Other
17 questions?

18 Okay. Well, then we'll move on to the
19 open public hearing. And we have three speakers who
20 have requested time in advance.

21 The first of those is Dr. Robert Jones,
22 who is President and Chief Executive Officer of the
23 New York Blood Centers. Dr. Jones, you have the
24 floor.

25 DR. JONES: Good morning.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 With the release of final guidance on
2 blood donor deferrals as a precaution for
3 transfusion/transmission of variant Creutzfeldt-Jakob
4 Disease we again assessed the blood supply horizon
5 through the phases of implementation of this new
6 policy.

7 At the October meeting, if you recall, in
8 the immediate wake of the disasters in New York and
9 Washington, blood donations were at all time highs
10 nationally and the supply was far overrunning out
11 ability to distribute for medical need. At that time
12 it was hard to remember blood shortages or imagine
13 that we would have any difficulty managing large dents
14 in the donor base from the variant CJD deferrals.

15 Today, however, just four months after the
16 largest surge of blood donations in history, we look
17 at a depressing picture of blood donor apathy and
18 rapidly dwindling supply that could, and probably
19 will, soon impact the ability of our hospitals to
20 deliver medical care.

21 The current picture goes beyond the usual
22 pattern of soft donations and shortages that follow
23 the holiday season or that accompany severe winter
24 weather and seasonal illness. The compounding factors
25 today include: The poor economy resulting from 9-11

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and preexisting conditions leading to corporate
2 layoffs and low community moral; a poorly defined
3 general community malaise that is reflected in low
4 charitable contributions as well as low blood donation
5 rates; and, three, frustration with blood care
6 organizations due to recent negative publicity as well
7 as the attention on blood wastage after 9-11.

8 There are regional variations in severity
9 with the greatest intensity being in New York and the
10 Washington area. But informal surveys on my part
11 indicate a national phenomena: a disturbing
12 instability in the national rates of blood donation
13 and supply.

14 For us we now see blood donation rates
15 dropping well below levels experienced before 9-11 and
16 our December whole blood collections were below our
17 previous year. This figure here shows our whole blood
18 collections up until August of last year. You see a
19 very stable line, a very tight predictability factor
20 here. And that was, actually, an unprecedented rate
21 of donations, acceleration of donations over this
22 period as we added capacity to collect more blood.

23 This slide includes those figures plus
24 September and October. You see the huge surge in blood
25 donations experienced in New York in both September

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and October.

2 This slide shows to the present, including
3 November and December. You can see there's been a
4 huge drop off in blood donations in New York. We're
5 sort of the canary in the coal mine here. We follow
6 this data almost on an hourly basis. So, I'm sure
7 that other figures will start to be confirmed from
8 other blood carrier organizations as well.

9 This figure finally shows our projected.
10 Given our current percentage of people showing up at
11 blood drives and the blood drives we have booked,
12 which would be up on this line, this is the rate we
13 project over the next three months.

14 The black area you see here is May 31st of
15 2002, which is the date for the implementation of the
16 first phase. So we're always keeping our eye on that
17 date and trying to make sure that we're in the 40,000
18 per month range. And that's so we can, with the
19 agreements we have from other blood carrier
20 organizations, provide for the community.

21 Prior to 9-11 we were very confident that
22 with the agreements for U.S. supply from blood care
23 organizations such as ABC, BCA and the American Red
24 Cross, plus our own collections growth that we would
25 have no supply problems for the New York area

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 resulting from implementing the guidance released just
2 in draft last fall.

3 As we now project this new reality into
4 our planning, we are now much less confident. Our
5 latest understanding from our Euroblood partners is
6 that the Swiss and Germans will cease their shipments
7 at the end of March. This is due to liability concerns
8 on their part and their inability to implement the
9 questions around residents in France.

10 What is most disturbing is the current
11 instability and unpredictability of blood donations.
12 We simply don't know whether our donation rates will
13 return to previous levels and whether our existing
14 agreements with other U.S. providers will be fulfilled
15 to fill this void.

16 We are certainly doing everything we can
17 to revive blood donations in our area. We assume all
18 others are working similarly. However, we believe it
19 is important for these committees to understand that
20 there is some real danger that this situation could
21 extend into the period of phase 1 implementation and
22 that severe blood shortages could result both
23 nationally and in New York City.

24 Given this, we urge the Committee to
25 consider the following:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1: Advise FDA that current uncertainty around
2 blood donations nationally warrants delaying phase 1
3 implementation until at least the date for phase 2.
4 This would allow for a better understanding of how
5 blood donation rates will stabilize.

6 2: Perspectively access specific triggers for
7 modifying the guidance in order to adapt meaningfully
8 to evolving events such as:

9 1: Low blood supply related to the
10 deferrals;

11 2: Implementation of food controls
12 assuring that the infectious agent is not
13 entering the food chain; and

14 3: variant CJD attack and prevalence
15 rates that indicate that the precautions
16 blood donor deferrals that excluded so
17 many willing donors worldwide are no
18 longer necessary.

19 We continue to fully support and
20 participate in the agenda to make America's blood
21 supply as safe as possible. We also believe that
22 continuous assessment of the trade offs involved in
23 this agenda is necessary to avoid causing patient harm
24 in the name of blood safety.

25 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BOLTON: Yes. Dr. Nelson?

2 DR. NELSON: I have a question.

3 Shown on the graph are just collections in
4 New York City. It does not include Euroblood, is that
5 right?

6 DR. JONES: No, this is just our
7 collections.

8 DR. NELSON: Is that the bulk of our
9 supply?

10 DR. JONES: It does include Euroblood.

11 DR. NELSON: What proportion of the New
12 York supply is Euroblood? It was around --

13 DR. JONES: Well, it's beginning to be a
14 smaller proportion and it will become a very much a
15 smaller proportion. But of our total supply it has
16 been historically as much as 40 percent, now down to
17 20/25 percent range.

18 DR. NELSON: So at the same time there's
19 a declining proportion that is Euroblood?

20 DR. JONES: Yes, that's been true for 3
21 years or more. But it's still substantial supply.
22 That's why we've been concerned for, as you know, this
23 Committee for some time.

24 CHAIRMAN BOLTON: Briefly. We need to
25 move on to the rest of the public hearing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DeARMOND: Is there a brief
2 explanation of how this dip in the New York blood
3 supply predicts the changes throughout the country.
4 Because New York seems to be atypical to begin with.
5 They've required so much outside European blood.

6 DR. JONES: Well, the source of European
7 blood and the reason we've had that is historic going
8 back for 25 years or more. And in my estimation one
9 of the reasons we're trying to replace Euroblood is
10 because I believe it's always suppressed our own
11 willingness to collect. So I don't think it's not
12 related to that.

13 But related to the curve we see here, I
14 get anecdotal reports that other people are seeing the
15 same thing, maybe not to this extent, but we don't see
16 the data. I think we're, again, the canary in the
17 coal mine here and we're collecting this data much
18 more intensively than other people. So, we may be
19 having this data before others.

20 Do you have another question, please?

21 DR. LURIE: What the data show are a 20
22 percent increase in blood collection shortly after
23 September 11th, right? And, in addition, there were
24 all these people who were turned away whose names, I
25 presume, you've collected before you turned them away.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. JONES: Yes.

2 DR. LURIE: I guess my question to you is
3 given all of those resources, 20 percent plus of new
4 names potentially, of many new names, how come you
5 were so far at least unable to turn that into
6 increased collections?

7 DR. JONES: We have been working
8 diligently from day one. We had a list of 30/40,000
9 names. Our yield on that at the moment return is --
10 these are people who did not donate because we turned
11 them away, is about 8 to 9 percent of those who have
12 come back and made donations. This is after several
13 attempts at calling, letters, carrier pigeon, whatever
14 it was it took to contact these people.

15 We also have a discouraging rate of
16 approximately 50 percent of the people who came in
17 after 9-11 were first time donors. And that's,
18 obviously, a group you want to try to embrace and get
19 back. As we've done the same in contacting them, thus
20 far -- this is about a month ago or so -- only one
21 percent of those have come back to donate again.

22 We do have some time to see how that plays
23 out, and further appeals will likely bring in more.
24 But it's still a very low rate.

25 And this is not unusual. People in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 blood banking world who have seen disasters and have
2 made similar efforts to get people back after the
3 surge have also had similarly discouraging results.

4 CHAIRMAN BOLTON: Thank you, Dr. Jones.

5 As a personal comment, I am a regular
6 blood donor. And I have to admit that I was due to
7 donate in September and since there was a glut I
8 didn't, and I have not been back to donate. And so I
9 know how people get diverted and it's been difficult,
10 I think, since September 11th to get back on track.

11 Our next speaker is Dr. Celso Bianco. Dr.
12 Bianco, you have the floor.

13 I should emphasize the presentations are
14 limited to 5 minutes and the presenters are asked to
15 state any financial involvements that they have with
16 any firms or products they plan to discuss.

17 Dr. Bianco.

18 DR. BIANCO: Hi. I'm Celso Bianco. I'm
19 the Executive Vice President for American's Blood
20 Centers. And my livelihood is totally derived from my
21 salary from American Blood Centers.

22 America's Blood Centers is a national
23 network of locally-controlled, non-profit community
24 blood centers that collect about half o the US blood
25 supply from volunteer donors. Collectively, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operate in 45 states and serve more than half of the
2 nation's 6,000 hospitals. America's Blood Centers'
3 total blood collections exceed 6.7 million pints in
4 the year 2000.

5 ABC members thank FDA for incorporating
6 some of our comments into the newly published Final
7 Guidance. However, some important questions remain
8 unresolved.

9 One, we are concerned about the impact of
10 the donor questions and the way they're formulated in
11 the guidance. We hope that FDA will take into account
12 the studies and proposals being made by the Donor
13 History Task Force of the American Association of
14 Blood Banks. We also hope that the Task Force
15 recommendations will be considered in a speedy manner.

16 While there is opportunity for change by
17 asking FDA for permission for the use of alternative
18 procedures, these concessions, if granted, are not
19 granted quickly. A community that is driven by
20 regulatory compliance and appreciates the importance
21 of prompt implementation of new guidelines will find
22 it easier just to follow the new rules than to change
23 them.

24 The other open issue is the actual impact
25 that implementation will have on the blood supply, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Jones just mentioned. When this Committee last
2 October, we were still in the height of the public
3 response to the September 11 terrorist attacks.

4 Blood bank refrigerators were full, we had
5 two weeks of supply, and it was difficult for the
6 public and even for some blood bankers to envision
7 future shortages. However, the donors' enthusiasm
8 predictably waned, and we now are back into a very
9 severe post-holiday shortage situation.

10 We believe that these shortages are being
11 aggravated by the implementation of unjustifiably
12 stringent deferrals by the American Red Cross,
13 affecting about half of the blood collections in the
14 U.S.

15 I want to reemphasize the position of ABC
16 member center regarding variant CJD deferrals. They
17 strongly believe that FDA made an enlightened decision
18 in its approach to balance safety and availability.
19 All but one of ABC's 74 member centers based in the
20 United States plan to implement the FDA
21 recommendations as recommended in the Final Guidance.
22 Over 99 percent or almost 7 million collections made
23 by ABC member centers will be performed according to
24 the FDA recommended criteria.

25 ABC members want to reaffirm their support of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA as the agency responsible for setting the national
2 blood safety guidelines. We strongly disagree with
3 the more restrictive approach implemented by the
4 American Red Cross because it may reduce the donor
5 base by 8 to 9 percent without the benefit of
6 additional protection. Both the FDA algorithm and the
7 ARC algorithm achieve statistically identical
8 protection from theoretical risk. The difference --
9 and it an important difference -- is in the donor
10 loss.

11 ABC member centers have embarked on an
12 aggressive donor recruitment effort that we call the
13 Member Donation Initiative, or MDI for short. We have
14 engaged a marketing consulting firm, performed
15 extensive research on donor behavior, and developed an
16 advertising campaign that is now being launched by the
17 majority of the centers.

18 We have also been placing substantial
19 effort to the recruitment of individuals who donated
20 or who attempted to donate in the days following
21 September 11. Our success has been, at most, modest.
22 Our research, plus the experience of past events such
23 as the Gulf War, the Oklahoma bombing suggest that
24 most of these individuals promptly respond to national
25 emergencies, but rarely because regular blood donors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We are optimistic about MDI, and expect
2 that our substantial financial investment will go a
3 long way to help compensate for the expected donor
4 loss caused by the variant CJD deferrals.

5 We also believe that blood availability
6 must be monitored, in order to assess the health of
7 the US blood system. We applaud HHS in its efforts to
8 monitor the blood supply in hospitals and transfusion
9 services. In order to complement this effort, we have
10 implemented a system for monitoring the supply of ABC
11 member centers. We call it "The Stoplight."

12 Every morning, through an automated e-mail
13 system, members report the status of their inventory:
14 Green, for a three day supply or more; yellow, for a
15 two day supply; and red for one day supply or less.
16 The results are compiled automatically and aggregate
17 results, calculated for regions of the country that
18 match those of the HSS survey, are posted on our
19 website.

20 The system is being tested internally, and
21 the data will become public in the next few weeks.
22 Essentially, the public, the healthcare system and the
23 regulators will have a daily picture of the status of
24 the blood supply among ABC member centers by simply
25 logging into the ABC website. It is important we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monitor the impact of the variant CJD deferrals, and
2 take action to change them if the system cannot
3 tolerate the donor loss without affecting healthcare.

4 Finally, we commend FDA and these
5 Committees in their efforts to correlate variant CJD
6 deferrals with the implementation of national policies
7 on food chain controls. Let's not forget that the
8 recommended pan-European deferral is additive. Donors
9 who donate next November may take one more winter trip
10 to Europe that prevents from donating in the following
11 February and ever after. It is critical to increase
12 the specificity of the deferrals by limiting them to
13 the actual periods during which the theoretical risk
14 is highest.

15 We ask that this Committee continue to
16 evaluate the potential for transmissibility of variant
17 CJD by transfusion. And we are encouraged by the lack
18 of evidence of transmission as the observation period
19 is extended, and by the recently published studies
20 predicting that the number of cases of human variant
21 CJD will be small.

22 We are also encouraged by efforts being
23 applied in the development of tests for detections of
24 prions in humans. We hope that epidemiology and
25 screening tests will help us eliminate geographical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deferrals with limited specificity in the foreseeable
2 future.

3 Thank you.

4 CHAIRMAN BOLTON: Thank you, Dr. Bianco.

5 I apologize for mispronouncing your first
6 name.

7 DR. BIANCO: Oh, no, that's fine.

8 CHAIRMAN BOLTON: Brief questions? Yes.

9 DR. SIMON: Dr. Jones in his statement
10 called for the FDA to delay the implementation because
11 of the serious concerns about blood shortages, and I
12 would certainly echo that. From what we are seeing
13 dealing with hospital transfusion services. Do you
14 support that?

15 DR. BIANCO: I personally -- obviously,
16 New York Blood Center is one of our members. That is
17 the proposal that we made at the meeting of the TSE
18 Advisory Committee this past October 24th -- or 25th,
19 I believe. And that proposal was not accepted by FDA,
20 so we have accepted the determinations of FDA in this
21 latest published guidance. But, certainly, it would
22 be a relief if we could delay the implementation for
23 two reasons.

24 One, because it would give us room for
25 keeping up with the donation process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Two, because of the immense burden that is
2 the implementation of new procedure for so many
3 donors, that is we will have to prepare new SOPs,
4 retrain staff and do everything so that the deferrals
5 are applied appropriate twice in the year 2000. So
6 certainly it would facilitate things. But we have
7 accepted the FDA determination.

8 CHAIRMAN BOLTON: Other questions?

9 Okay. Dr. Bianco, thank you very much.

10 DR. BIANCO: Thank you.

11 CHAIRMAN BOLTON: Our next public speaker
12 is Cheryl Hayden representing the National Hemophilia
13 Foundation. Cheryl?

14 MS. HAYDEN: Good morning. My name is
15 Cheryl Hayden. I'm the Director of Government Affairs
16 and Blood Safety at the National Hemophilia
17 Foundation, or for about a month I've been in that
18 position.

19 The National Hemophilia Foundation would
20 like to take the opportunity to thank you for our
21 ability to provide comments on the guidance document.
22 A written copy of the comments has already been
23 provided to you, and I won't read it in its entirety,
24 but just summarize it.

25 The National Hemophilia Foundation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commends the Food and Drug Administration, the Centers
2 for Disease Control, the NIH and other areas in the
3 Public Health Service, as well as the Department of
4 Agriculture for the high profile that they have placed
5 on preventing an outbreak of BSE and variant CJD in
6 the United States.

7 We commend them for their aggressive
8 multi-pronged approach for preventing these diseases
9 in the United States, but however we remain concerned
10 that the current guidance document which will be
11 discussed today leaves patients with bleeding
12 disorders vulnerable to an avenue of transmission by
13 failing to require deferral of source plasma donation
14 from individuals who would meet the donor criteria if
15 they donated whole blood.

16 The reason for taking this position, which
17 differs of course a great deal from the previous two
18 speakers, is that of the experience of the population
19 of individuals with bleeding disorders. In 1983 many
20 of the same kinds of comments that people will use
21 today, such as "theoretical risk," and the like were
22 used to describe the possibility of individuals with
23 hemophilia and other bleeding disorders contracting
24 HIV and hepatitis through the use of clotting factor
25 products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we know that that was not the case;
2 that instead of it being a small theoretical risk
3 nearly 10,000 people have died, and many more people
4 continue to attend NHF annual meetings who are both
5 HIV as well as HCV position. And for that reason the
6 National Hemophilia Foundation urges the advisory
7 committee to take the greatest care in making
8 decisions that will protect this vulnerable population
9 from a possible infection with variant CJD.

10 Thank you.

11 CHAIRMAN BOLTON: Thank you, Cheryl.

12 Are there any questions for Ms. Hayden?
13 From the Committee? No?

14 Now I would like to open the microphone up
15 to members of the public. And if you would, come to a
16 microphone, give your name and affiliation. And,
17 again, state any financial involvements that you may
18 have with any firms or products that you plan to
19 discuss.

20 Yes.

21 MS. O'DAY: Good morning. I'm Miriam
22 O'Day. I'm with the Alpha-1 Foundation.

23 And individuals who suffer from the
24 genetic lung disease, Alpha-1 antitrypsin deficiency
25 are frequent and lifelong recipients of plasma drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 products. We support the final guidance with respect
2 to plasma.

3 And I'd like to ask that this statement
4 that we delivered to the TSE Advisory Committee in
5 June of 2001 be resubmitted for the record because it
6 still stands.

7 Thank you.

8 CHAIRMAN BOLTON: Thank you.

9 Next?

10 MR. SAYERS: My name is Merlyn Sayers, and
11 I'm CEO at Carter Blood Care, which is the community
12 blood program for the Dallas/Fort Worth region.

13 My blood program pays dues to American's
14 Blood Centers of which Dr. Bianco is the Executive
15 Vice President. I doubt that much of that money,
16 though, contributes to his luxurious lifestyle.

17 I'm tempted by something that Dr. Bianco
18 and Dr. Jones said to make the following comments.

19 Dr. Jones, in particular, made mention of
20 why it is that donor recruitment is becoming
21 increasingly difficult. And what I want to add to
22 those difficulties is that at every turn it appears
23 that as far as volunteer donors are concerned, we are
24 increasing their level of disbelief when it comes to
25 confronting them with reasons for their either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 temporary or permanent deferral.

2 Bare in mind that something like 40,000
3 volunteers a day in this country donate blood.
4 They're subjected to questions and testing which for
5 many of them is an experience in intensity which is
6 not even mimic in their annual physical.

7 Whether we like it or not, community blood
8 programs are becoming centers of public health. And
9 as such, it's important that the information that we
10 give to them, particularly with regards to their
11 temporary impairment deferrals, be information that
12 they can immediately understand, appreciate. And it's
13 important that it's information that they do not feel
14 flies in the face of their own sense of good health.

15 When I think of the question in particular
16 that Dr. Bianco referred to relating to deferrals for
17 individuals which go from 1980 through to the present,
18 it's inescapable that some donors, those visiting
19 Europe, are going to earn deferral during their
20 donation history. They'll be eligible for a donation
21 on one occasion, they'll go and spend a couple of
22 weeks in areas, well have topped out over their five
23 years, and at the time they return for their next
24 donation, we are going to permanently defer them.

25 The quality of the information that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then give those individuals is going to be
2 problematic. They're going to argue that we should
3 have announced up front that they will be deferred at
4 their next donation should they have spent time during
5 the intervening between donation periods overseas.
6 And they'll assume that the deferral that they have
7 subsequently earned will be as a result of a hamburger
8 that they had in Brussels during their most recent
9 trip.

10 This is not an appeal for discontinuing
11 any contribution to transfusion safety, but it is a
12 reminder that whatever the new interventions are that
13 are introduced, are going to be introduced or should
14 be introduced in a way that's going to be most
15 appreciated by donors recognized as contributing to
16 transfusion safety and not just issues which are
17 contributing to their increasing incredulity with the
18 process.

19 Lastly, I'd just like to thank the FDA and
20 the TSEAC for continuing to visit this really
21 problematic issue.

22 Thanks.

23 CHAIRMAN BOLTON: Thank you. A question?

24 DR. SIMON: I'd ask Dr. Sayers since he's
25 from the middle of the country and not from New York,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the disturbing news that Dr. Jones brought us that
2 serious shortages are occurring and the future
3 restrictions could cause serious harm to patients, do
4 you see this happening also in the middle of the
5 country in your area?

6 MR. SAYERS: Yes, we had an opportunity to
7 look at two really disastrous events. Three years ago
8 in Fort Worth there was what was referred to as the
9 Wedgewood Baptist Church shooting. A gunman went into
10 a church, shot and killed a number of congregants.
11 Needless to say, there was an outpouring of community
12 anxiety, dismay and blood donation in the wake of that
13 event.

14 So we compared an equivalent period of
15 time after that tragedy with the period of time after
16 9-11, and we saw that something like 20 percent of
17 regular donors who came out after the Wedgewood
18 Baptist Church disaster returned to donate, as did 20
19 percent of first time donors who merged after that
20 tragedy in the church.

21 It has not been the case with what
22 happened on 9-11. Certainly 20 percent of the regular
23 donors who donated around about that period of time
24 have come back. But it's only 7 percent of the first
25 time donors that have come back, and that's probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 average for first time donors in our blood program
2 anyway.

3 We've actually gone back to those
4 individuals who appeared at the time of that donation
5 who were first time donors who have not come back and
6 asked them why they haven't. And 40 percent of them
7 said "Well, we thought you were throwing the blood
8 away." And that was a disconcerting observation. But
9 I think it is true that behavior is different for
10 donors who respond to a local crises when you compare
11 that to donors who respond to an awful tragedy, but a
12 tragedy which happens remotely from their community.

13 CHAIRMAN BOLTON: Yes.

14 MR. CAVANAUGH: My name is Dave Cavanaugh.
15 I'm Government Relation Staff for the Committee of Ten
16 Thousand.

17 CHAIRMAN BOLTON: Please move closer to
18 the microphone.

19 MR. CAVANAUGH: Is that better? My name is
20 Dave Cavanaugh, I'm Government Relation Staff for the
21 Committee of Ten Thousand. And I'd just like to
22 reiterate a little bit some of the points that we made
23 in our comments on the guidance that were not
24 incorporated.

25 There's still issues, the FDA acknowledges

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 continuing issues and so do we.

2 One is the difference between the FDA's
3 guidance and the announced intentions of one of the
4 largest blood collectors in the country, the Red
5 Cross. We're concerned that confusion between those
6 criteria will defer people from even considering
7 whether or not to donate. I take into account what
8 Dr. Sayers just said about the types of criteria.

9 I understand if it is more stringent, it's
10 going to defer more people; that's the idea. We
11 definitely favor the more conservative position.

12 The second comment we have with regarding
13 the plasma exemption, and that's been mentioned a
14 little bit already by NHF. We are quite concerned
15 that the final guidance changes the draft language of
16 "transmission of BSE has been experimentally achieved
17 by transfusion" to "transmission of BSE appears to
18 have been experimentally achieved by transfusion."
19 We'd like to know what changed between last summer and
20 now to weaken a finding that was prior to that.

21 The model for fractionation reduction is
22 an incomplete model. It is based on spiking and does
23 not go all the way through the process, in the words
24 of the author of the published report on it himself.
25 And therefore, I have to also reiterate that we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 concerned about the exemption of source plasma. There
2 are a number of ways in which that could be a problem;
3 this is merely one of them.

4 The guidance alludes to another one, which
5 is confusion of whole blood collected that may be used
6 in plasma and recovered plasma. Down the line this
7 may happen. Down at the level of moving a supply. If
8 you'll recall the error rate in use of autologous
9 blood is well above 20 percent; that's why it should
10 be tested and is not. So errors do happen.

11 The guidance admits that in terms of
12 cleaning of equipment after processing of variant CJD
13 blood there is no known decontamination method.
14 There's steam, there's pressure; several are given in
15 the guidance. But this is the same guidance that says
16 it's okay to use source plasma, so we're concerned
17 about this.

18 And lastly, as with the example on
19 autologous blood, recalls do happen from the plasma
20 production process. We have two in the last two
21 weeks. They come with some frequency and they're
22 related to some problems with CGMP that don't seem to
23 go away overall. So, that's one more reason we would
24 like very much for the Committee to be conservative
25 and for the FDA to be more conservative in its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussions.

2 We, finally the third point we make,
3 requested that there not be a phased approach for some
4 for some of the same reason, however our reasons were
5 not based on the need to defer phase 1 until October
6 in order to develop recruitment programs. We're
7 saying if you want recruitment, get Dick Cheney on the
8 television, get popular figures. I know there was a
9 real problem in the fall between CDC's leadership,
10 Surgeon General's leadership and the like.

11 And actually in a conversation with FDA
12 about our comments, which were like 4 days before
13 December 11th, we said can we get one of those figures
14 on the television on the third month anniversary,
15 because after that people are going to stop kind of
16 identifying back with the disaster. And we even put
17 out a press release on the 10th, a few days later,
18 mentioning this problem regarding the lack of
19 coordination, federal as well as the major processing
20 organizations.

21 And to our knowledge, it seems to be a
22 problem on the federal side, we know the coordination
23 problems on the private side, that there's no agency
24 responsible for increasing blood supply in this
25 country; not CDC, not NIH, not FDA, not HRSA. And we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think that should be addressed.

2 Referring to October, you know, between
3 the draft and the final guidance we had Japan with its
4 first case. We also have learned in the final days
5 that we now have variant CJD in the U.S., although the
6 person was only visiting. Things will change, and the
7 longer we wait, the riskier we're being with what's in
8 the final product.

9 Thanks very much.

10 CHAIRMAN BOLTON: Thank you.

11 Are there any other speakers from the
12 audience? Members of the public?

13 Steve, you have a question?

14 DR. DeARMOND: I'm not sure it's a
15 question or a comment. It seems to me it's hard
16 enough for us to make decisions about theoretical
17 risks of varying CJD or BSE, or anything in the blood
18 supply. And what I got from some of the readings that
19 we were given and these comments from the last
20 speaker, is that there are additional problems of
21 misuse of 20 percent errors in the presentation of
22 autologous blood; that there's a possibility that in
23 the process of manufacturing or purifying plasma
24 products that someone will make a mistake and
25 contaminate the product at the end or in the process

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 of collecting blood that is going to be used for
2 plasma, that someone will make the mistake and
3 actually give that blood to somebody and therefore
4 create a potential for transmitting variant CJD.

5 So, it seems like we have two problems.
6 One is our charge to understand the theoretical risk
7 and to make recommendations. And now another one that
8 really sloppy methods out there can compound that.
9 But that seems to be a different issue, and is that
10 our charge also; to deal with deal with people making
11 mistakes when 20 percent autologous blood, people
12 making mistakes if blood is taken for plasma that they
13 give it to somebody?

14 CHAIRMAN BOLTON: No, I think that's
15 reasonable. You're looking at me for an answer. I
16 think our charge is to recognize that the real world
17 process of blood collection and processing is
18 imperfect.

19 And that when we consider what things
20 should be done to improve the safety, we have to
21 recognize that the system is imperfect and built into
22 that needs to be some compensation for the fact that
23 errors are going to occur. And the question is how
24 often will they occur and when they occur, how will
25 they impact the margin of safety that we're trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 build into the system.

2 So, I don't think we should assume ever
3 that any of the systems that we work with that involve
4 human beings and/or even those that involve computer
5 systems are going to be perfect and without error.
6 So, the protections that we build in have to account
7 for that as well.

8 Yes, Dr. Epstein?

9 DR. EPSTEIN: Well, first, I think that
10 Dr. Linden has data on error rates in blood collection
11 and in hospital unit release. I believe there are more
12 reliable figures that we could hear, if you have a
13 moment.

14 Let me just answer Dr. DeArmond by saying
15 that the FDA recognizes the importance of maintaining
16 adherence to standards through good manufacturing
17 practice, that we have periodically brought to
18 advisory committee discussions on where we stand.

19 For example, at the last meeting of the
20 Blood Products Advisory Committee we did a review of
21 the current status of consent decrees in the blood
22 industry.

23 We also are mindful of the problem of
24 medical error, and there's a very large public health
25 initiative toward reporting of medical errors,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessing of medical error and developing improved
2 strategies to reduce medical error. And at the FDA we
3 are involved with the sister agencies in the public
4 health in developing strategies related to transfusion
5 error.

6 So, I think that all of your points are
7 valid and from time-to-time there will be relevant
8 questions that we bring to advisory committees, such
9 as whether strategies that are put forward are, in
10 fact, valid and useful and appropriate. But I do
11 think that it would be important to hear a little bit
12 of more accurate data from Dr. Linden if she's
13 willing.

14 CHAIRMAN BOLTON: Yes.

15 DR. LINDEN: The error rate in the
16 transfusion setting, be it either the collection side
17 or in the administration side, is in the range of 1 in
18 10,000 or less in our experience. And we monitor this
19 closely in New York. Yes, there are problems with
20 autologous units. Perhaps at a slightly higher rate
21 than other units, but basically in the same ball park
22 range.

23 I believe that the previous speaker may
24 have incorrectly interpreted American Association of
25 Blood Bank Survey that found that 20 percent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 facilities had had at least one episode during a one
2 year period. But that's not 20 percent mistakes if
3 they transfused a 1,000 autologous, it meant one of
4 them had a problem in 20 percent of the facilities.
5 So I think that may have been a misinterpretation.

6 The rate is 1 in 10,000 or less, but it's
7 certainly something we're very concerned about because
8 it probably exceeds the risks of all the different
9 transmissible diseases put together. And as Jay says,
10 it's certainly something the agency does need to look
11 at.

12 CHAIRMAN BOLTON: Thank you for that
13 clarification. Certainly 1 in 10,000 is a little
14 better error rate than 20 percent.

15 It occurs to me that from several things
16 that were said by members of the public and our
17 speakers that there is a need to educate the public in
18 several aspects. And whether that initiative comes
19 from the FDA or comes from the industry is not clear.
20 And maybe a partnership between the two would be the
21 most productive. But clearly the public needs to be
22 educated continually on the need for donations for
23 blood and really on the blood supply itself and how it
24 comes to be available.

25 And I guess a companion issue in there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the safety and/or health risks associated with
2 receiving blood and blood transfusions and blood
3 products.

4 This is not a regulatory issue. It's
5 really an issue of education, and how that comes about
6 I'm not certain. But I think it would be important for
7 both the industry and the FDA to begin to formulate
8 thoughts about how to improve the public's knowledge
9 in these areas.

10 I think we've run close to our time for
11 the public hearing. If there are any other speakers,
12 would they come to the microphone. I see none.

13 Are there any questions from the Committee
14 or comments from the Committee? Yes, Dr. McCullough.

15 DR. McCULLOUGH: When the Committee made
16 the recommendations to the FDA there was a substantial
17 discussion about the impact on the blood supply.
18 We've learned a lot more since then about this, and
19 national events have certainly changed the outlook.

20 And I guess we've heard more specific data
21 now. So my question I guess is whether we know
22 anything now that would cause us to consider anything
23 different from what we have been thinking about our
24 knowledge of the impact on the blood supply? There
25 certainly is more information than we had at the time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BOLTON: Colonel Fitzpatrick?

2 COLONEL FITZPATRICK: I guess the
3 information is very difficult to interpret at this
4 point. The compounding effect of 9-11 makes it very
5 difficult to assess the impact of implementing CJD
6 deferrals.

7 The military experience is similar to the
8 civilian experience with large outpouring of blood
9 donations after 9-11. The Department of Defense
10 implemented the deferral at the same time about as the
11 Red Cross, in October. So we have already implemented
12 the FDA guidance.

13 We are seeing an increased requirement for
14 blood collection to maintain inventories that we are
15 shipping overseas, and we are maintaining those levels
16 and trying to assess the impact of implementing the
17 deferral policy. It's very difficult to because of
18 "self-deferrals."

19 We know that it's a larger effort to
20 recruit donors than it has been in the past, even in
21 our circumstances that we're able to maintain the
22 levels we need at the moment with a redoubling of
23 recruitment efforts. And we've asked our facilities
24 to assess the impact of the deferral. But getting
25 good hard data is extremely difficult.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The information campaign to educate the
2 donors about who can and cannot donate appears to be
3 working because we're not seeing an increased deferral
4 rate at the donor center of large proportion. There's
5 an increased deferral rate, but not a huge one. But
6 what we don't know is yet a long term impact, and it's
7 too early to tell that.

8 We receive numbers from both ABC and the
9 American Red Cross to look at the national inventory
10 of blood. And while there is concern about the
11 decrease in those numbers, as Dr. Jones has stated, if
12 we compare those levels to the same time last year
13 prior to 9-11, the blood supply is in better shape
14 than it was last year.

15 So, there a lot of compounding factors
16 that we really don't have a handle on yet in order to
17 assess the impact of the deferral, the aftermath of 9-
18 11 and what to me from a biased perspective from a
19 Department of Defense level is going to be a continued
20 increased need for blood in support of the operation.

21 So we are trying to monitor that closely,
22 work with the Red Cross and the ABC centers. And
23 while there may be spot geographic regions where there
24 is greater concern than others, if we look at the
25 national supply as a whole, while I would say there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concern, I wouldn't say there is panic at this point.

2 I don't know if that helps or not.

3 CHAIRMAN BOLTON: Thank you.

4 Any other comments or questions? Yes, Dr.
5 Nelson?

6 DR. NELSON: There's a special deferral
7 for military service in Europe recommended. I don't
8 know if that's implemented now. Has that had an
9 impact or will it have more in the military than --

10 COLONEL FITZPATRICK: When we implemented,
11 we choose not to phase the implementations. So we
12 implemented the entire guidance at the same time. And
13 we are deferring from within our own centers those
14 individuals who were stationed in Europe, such as
15 myself. And there is an impact, yes. There's been an
16 increased deferral rate. And we've looked at focusing
17 our collections and increasing recruitment at those
18 sites where we recruit and train as opposed to those
19 sites where we have stable populations that rotate
20 frequently back and forth between Europe and the
21 United States. So that's how we're addressing that
22 today.

23 CHAIRMAN BOLTON: Yes, Dr. Mitchell?

24 DR. MITCHELL: Dr. Fitzpatrick, I wasn't
25 able to follow. You said that you think that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 situation as far as the supply is concerned is better
2 now than it was before 9-11. Are your donor collection
3 rates, how do they compare now between now and before
4 9-11?

5 COLONEL FITZPATRICK: Well, we've had to
6 increase our collection rates about 25 percent to meet
7 the requirement to ship blood to Southwest Asia and
8 Europe in support of the operation. So we gear our
9 recruitment to meet a normal health care need, and
10 then a contingency need for shipments. So we've been
11 able to incorporate that increase without having to
12 impact the civilian blood sector by either purchasing
13 or asking for blood from the civilian sector, and been
14 able to meet that need within the Department of
15 Defense.

16 CHAIRMAN BOLTON: Okay. We're beginning
17 to run short on time, so brief questions if they're
18 right to this point on the guidance, changes in the
19 guidance. Okay.

20 Yes, Doctor?

21 DR. LINDEN: Well, relevant to the
22 questions of current supplies, I think it would be
23 useful to the Committee if we could get some data. If
24 there's anybody from Red Cross, they have implemented
25 the guidance already. In fact, even more stringently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 since October. I'm wondering how they're doing with
2 their collections. And also I see Dr. Nightengale is
3 here. Since they are doing monitoring of the supplies
4 of the nationwide, do they have data that show what
5 the status is presently? I think that would be
6 useful.

7 DR. STRONCEK: I guess I do want to make
8 a comment. I think that looking at Red Cross data is
9 a waste of time. I think after the events of the 9-11
10 the Red Cross over collected blood for several weeks.
11 They then had to outdate a lot of that. That's been
12 well publicized in a very negative way by several
13 major newspapers. And I think that compounds all of
14 this issue on whether or not we have a real shortage.
15 Because that message is out that blood was over
16 collected. And it's going to take several months
17 before that message goes away and this all washes out.

18 So to look at three months worth of data
19 where there's been so many mixed messages I think is
20 just a waste of our time.

21 CHAIRMAN BOLTON: Well, I will concur at
22 least in part, because it's not going to be resolved
23 at this meeting in the next 15 or 20 minutes. What I
24 would like to say, and then I will give Dr. Jones one
25 minute to comment, is that in response to Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 McCullough's question, something that we know now that
2 may be we probably knew or maybe it wasn't quite at
3 the level of our perception yet, is that with the
4 recent events in Japan we can see that looking down
5 the road things are probably going to get worse rather
6 than better. It's very likely that more countries
7 will experience cases of BSE, and then we will revisit
8 this issue again, and again as time goes on.

9 If we're very lucky, things will not get
10 worse and they will stay the same. But it's very
11 likely that the issue of donor deferrals, the issue of
12 the questions of exposure to BSE in the food supply of
13 other countries, therefore leading to potential risk
14 of variant CJD in the populations of those countries
15 or visitors to those countries will become more of a
16 concern as time goes on.

17 So we are at a point now where things look
18 bad, but they get worse. So it's important, I think
19 for those in the industry to recognize this and while
20 it might be nice to think about deferring or delaying
21 the implementation of the guidance, that is a process
22 that might help the collection part of the equation,
23 but does not help the safety part of the question.

24 So we've spent a lot of time in this
25 Committee hearing testimony, if you well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentations both scientific and on the economic side
2 and the result of all of that deliberation is the
3 guidance as presented. And I think the best thing that
4 we can do now is to go forward for a period of time
5 and see how things work. And I'm sure that we will
6 revisit this, if not at our next meeting, we'll
7 probably revisit it very shortly after that.

8 Dr. Jones.

9 DR. JONES: I just had one last comment on
10 the issue of supply versus donation rates. What I've
11 showed from our data was our donation rates and the
12 actual blood donations, it didn't really reflect our
13 supply. Although our inventories have dropped from a
14 40,000 level, you know, peri-9-11 down to around
15 13,000. It's a reflection of future supply, these
16 donation rates. And that future is somewhere in the
17 month to two months out as inventories get depleted
18 and those donation rates are still not back to
19 replenish the supply.

20 So, even in New York, we have cut our
21 shipments of O negative blood and other Rh negative
22 blood, but overall the supply remains okay. Even
23 better than it was before 9-11 -- well, it was about
24 a little bit less than it was before 9-11. But the
25 point is that these donation rates do not reflect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supply today. They effect supply a month to two
2 months from now.

3 CHAIRMAN BOLTON: Okay. This concludes
4 the open public hearing portion of the meeting.

5 And we're going to move on now to a
6 Committee update. This is a PPTA presentations on
7 clearance of spiked TSE infectivity and protease-
8 resistant prion proteins by plasma processing. Dr.
9 Christopher Healey will present the introduction.

10 MR. HEALEY: Good morning. My name is
11 Chris Healey, and I'm the Executive Director for PPTA
12 North America.

13 PPTA is the trade association and standard
14 setting organization for the producers of plasma
15 derived and recombinant analog protein therapies. As
16 you all are well aware, these therapies are used to
17 treat hemophiliacs, persons with primary immune
18 deficiency, individuals with genetic emphysema and the
19 producers of albumin also are used to treat shock and
20 trauma, and other related conditions such as that.

21 PPTA and members companies, PTTA is a
22 global organization and our member companies include
23 Alpha Therapeutic, Aventis Behring, Baxter Biscience,
24 Bayer Corporation, Grupo Griffolds, Octofarma and ZOB.

25 We stood before you last June and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presented information, and we're here again today. Our
2 mission once again, like in June, is to try and help
3 inform your decision process or your thought process
4 on these issues, not to advocate for any particular
5 outcome.

6 I can tell you that our member companies
7 take the issue of TSEs, BSEs and CJD very, very
8 seriously and we're doing a number of things to
9 address it.

10 First, we have long established a number
11 of expert working group, both on scientific issues and
12 in public policy issues designed to help foster
13 sharing of information among companies where
14 appropriate and to develop materials that will help
15 educate all stakeholders about the true nature of
16 TSEs.

17 Second, we have conducted and are in the
18 process of conducting a series of workshops around the
19 world where we bring together some of the leading
20 researchers on CJD and have an open and frank exchange
21 of research information and ideas about CJD. Our first
22 workshop was held in the spring last year in Brussels.
23 Most recently we held a workshop in Washington, D.C.
24 in October. And our next is slated for March in
25 Tokyo.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The third way we're addressing it is to
2 maintain an ongoing dialogue with regulators around
3 the world making sure that we provide all available
4 and appropriate information to regulators and public
5 health officials around the world, and to gain as much
6 information as possible about the nature of this still
7 theoretical risk.

8 Fourth, and most important, is of course
9 the research that's conducted at our member companies.
10 Our member companies are home to some of the world's
11 leading prion researchers, and that's why we're here
12 today is to hear from those individuals.

13 You'll hear from Dr. Doug Lee whose the
14 Director of TSE research at Bayer Corporation. You'll
15 also hear from Dr. Martin Vey, whose the head of the
16 prion research laboratory at Aventis Behring. And
17 finally, you'll hear from Dr. Thomas Kreil whose the
18 Director of Global Pathogen Safety at Baxter.

19 I think once they get through their
20 presentations you will see that despite varying
21 research methodologies and research materials, all of
22 the data points in one direction; and that is that the
23 process of fractionation leads to robust clearance of
24 prions.

25 So I'd like to turn the microphone over to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Lee first.

2 And if you'd indulge me, I'd like to ask
3 the panel to please hold your questions to the end so
4 that we can be sure you get the appropriate person
5 responding to the questions you may have. Thank you.

6 DR. LEE: Thank you.

7 I'd like to thank the Committee for taking
8 the time to listen to our research and what we've done
9 a. Bayer Corporation.

10 What we have currently on the screen are
11 the list of researchers responsible for the work that
12 you're going to see today that I will present.
13 Myself, of course, Dr. Stephen Petteway and Dr. Chris
14 Stenland and Dr. Jeannette Miller.

15 What I'd like to demonstrate or show to
16 you today are pieces of data from our laboratories
17 that demonstrate reproducible partitioning of rodent-
18 adapted prions or PrP. We'd like to show that
19 partitioning of PrP by either a process in a coupled
20 series versus those performed independently are the
21 same. Demonstrate, of course, the utilization of the
22 in vitro assay for measuring removal of PrP compared
23 to removal of TSE infectivity or actual infectivity
24 are one in the same, an additive. That partitioning
25 of rodent adapted PrP^{Sc} is predictive of partitioning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of different forms of human CJD as well.

2 This slide is to show you several
3 different species that most of you are aware of of
4 various TSEs. The ones, the humans, the rodents and
5 the sheep TSEs are all model system that we have used
6 or species model systems that we have used in our
7 laboratory.

8 This is summary slide of our in vitro
9 assay, the Western blot assay which we have published
10 and is out in the public domain. It's a Western blot
11 assay specific for hamster 263k. It uses the 3F4
12 antibody, which does cross react with human CJD
13 prions, and it's allowed us to do our variant and
14 sporadic CJD partitioning work with the human
15 material.

16 The titrations of PrP^{RES} are linear, which
17 are consistent with the bio assay, and this linearity
18 is reproducible. The graphic on your right hand side
19 just demonstrates the ability to measure one log
20 differentials of using the Western blot assay.

21 It's very important when performing
22 partitioning studies for CJD or any pathogen safety
23 assay or clearance study that the simulation of the
24 manufacturing process be accurately represented at the
25 laboratory bench. And this is just a few notes here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to show we first take the manufacturing process, scale
2 it down to an experimental step that can be performed
3 in the laboratory scale.

4 We then take it and whatever the process
5 is that we're looking at, spike the input solution and
6 immediately remove what we call a prove sample, which
7 is our reference point for all the other fractions
8 that then will come out.

9 We perform the separation, and then remove
10 samples from the resulting, in this case represented
11 here, in effluent and precipitate.

12 By convention what I will be presenting
13 today is clearance with respect to the effluent. In
14 other words, the numbers that you see regarding
15 clearance are the prove values minus the effluent
16 values.

17 Finally I think it's important to note
18 that we are very careful in our simulations. As I
19 said, you have to accurately represent that
20 manufacturing process. And what you see the bar chart
21 on the far side, it's just one way that we do that.
22 And that is we take several marker proteins, compare
23 those to the manufacturing process, either historical
24 data or we'll actually go and take samples directly
25 off the floor and compare that to our laboratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simulation.

2 This is one example of PrP^{Sc} partitioning
3 as performed with the Western blot. Our proved sample
4 in this particular case was spiked with PrP, and you
5 can see that in the top Western blot panel. Remember,
6 that represents one sample which has been diluted out,
7 and that's how we make our measurements.

8 The effluent demonstrates no reactivity.
9 And the effluent in this particular case is our target
10 protein is located in that, and then the precipitate
11 is at the bottom demonstrating recovery of our PrP.

12 The last slide demonstrated very effective
13 and significant clearance. This slide represents a
14 low level of clearance for the cryoseparation.
15 Remember this is clearance relative to the effluent.
16 And what you see here or the other demonstration here
17 is besides that we're seeing about a one log clearance
18 for the cryoseparation, we're also showing the ability
19 to perform in vitro assays is so more readily
20 available. We're able to get multiple replicates much
21 easier than can be done with a bioassay. Once we have
22 data with Western blot assay, we at Bayer typically
23 follow that up with a bioassay in order to confirm the
24 known.

25 Finally, this is a 3 percent polyethylene

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 glycol cut that we do for our factor 8 product. This
2 is what we consider a midlevel or intermediate level
3 removal of the prion protein. And in this particular
4 case there was more variability, but the average was
5 about 2½ logs clearance.

6 It's important or it was important during
7 the course of our studies, which have now gone on for
8 approximately 5 years, to look at how the measurements
9 made with the Western blot correlated with those with
10 infectivity. And what we basically did was spike with
11 the hamster 263k. Remember that is our rodent model
12 of choice. And directly into a process solution.
13 Remove samples immediately and simultaneously measure
14 the Western blot and then sent the other samples off
15 for analysis with the bioassay. These analysis were
16 done by both laboratories at BioReliance as well as
17 those by Dr. Richard Rubenstein at Staten Island
18 Laboratories.

19 This, again, is the 11.5 percent PEG step
20 I showed you earlier which demonstrated significant
21 clearance. The bar graph on your left represents the
22 bioassay or infectivity and the bar graph on the right
23 represents those data collected with the Western blot.

24 In both samples where the bioassay which
25 was done in replicate, in no case did we detect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infectivity in that effluent; likewise, we did not
2 detect PrP with the Western blot, and we did detect
3 both infectivity and the prion protein the resulting
4 case.

5 This is a summation of several slides.
6 And remember, this is clearance that we're detecting
7 with either the Western blot, which is shown in the
8 dark bars, or the bioassay which is the lighter bars.
9 And what we demonstrated or what we were hoping to
10 show you here is a lot of data collected over a lot of
11 years where we've seen that clearance as observed with
12 Western blot is similar to or even equivalent to that
13 as we observe with the bioassay or measuring clearance
14 of infectivity.

15 These are several steps in the cone
16 fractionation process, as well as other steps,
17 actually, that are part of our plasma derived product
18 scheme. And you see varying levels of clearance is
19 process dependent. And we actually have done a lot of
20 bioassays as well.

21 The next set of studies I'm going to show
22 you addresses the questions of we performed a lot of
23 these studies with the process steps done
24 independently or outside of the box of if the box is
25 defined as our processing or manufacturing processing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What I want to show you now is a series of
2 studies where we put all these processing steps
3 together, linked them together as they would normally
4 be performed, scaled them down quite a difficult task,
5 but it was done in our laboratories, and then spiked
6 in one place, that is the pooled plasma and monitored
7 both either infectivity or the prion protein
8 throughout the process. These are the process steps.
9 We spiked, as I said earlier, at the pooled plasma
10 step, performed the cryoseparation, fraction one step
11 and the fraction three step you see at the bottom
12 results in our immunoglobulin product and beyond
13 fraction two plus three are the alpha, the protease
14 inhibitor products, a couple of others as well as the
15 albumin.

16 Once we spike, we perform it and we
17 measure sequentially as these samples are obtained the
18 resulting fractions for either the bioassay which were
19 sent off to do or the Western blot use and measured
20 the PrP partitioning.

21 In this particular example I'm showing
22 you, you're seeing approximately 5.2 logs of PrP
23 detection in the prove. Approximately one log
24 clearance relative to the effluent. Now for your
25 reference point fraction one now becomes or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fraction one step the cryoeffluent becomes the spike
2 or the input material for the next step.

3 Again, as we've seen in independent steps,
4 we see approximately one log clearance.

5 These are all the numbers obtained for
6 this Western blot study, and you can see by the time
7 you get beyond effluent 2 plus 3 or fraction 3 you wind
8 up with essentially no detectable PrP.

9 The data I'm showing you now is work we
10 did with the Western blot and clearances detected with
11 that. We've done this study or this work also with
12 infectivity and measuring of the bioassay with similar
13 results.

14 So in summary, the PrP Western blot assay
15 we've demonstrated can measure clearance of PrP^{Sc} over
16 a 4 to 5 log dynamic range. There is a correlation
17 between clearance of the protein and the clearance of
18 infectivity in plasma and biotechnology processes.
19 The material or the assay is reproducible in
20 significant in terms of clearance for experimental
21 TSEs or as in the result of these types of processes,
22 and partitioning determined for independent steps is
23 consistent with what we've seen when you perform these
24 steps. They're normally done independently within the
25 series.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd like to now move beyond the hamster
2 263k and look at some of our model relevant studies
3 that we've done also in the laboratory.

4 Using human CJD both sporadic GSS -- it's
5 not CJD but GSS material as well as variant CJD, we
6 performed studies with using the Western blot to
7 measure clearance. We've utilized three different
8 steps, the cryoprecipitation, the three percent
9 polyethylene glycol cut, as well as the 11.5 percent
10 PEG step which demonstrate low, moderate and high
11 clearance respectively.

12 We've also performed these same studies
13 using sheet PrP^{Sc}.

14 The results are shown here. The process
15 steps are shown on your far left and then the various
16 models are shown across the table. In all cases,
17 whether you're talking about human variant CJD,
18 sporadic, human GSSs, sheep or hamster you get
19 approximately the same clearance for each of the
20 steps.

21 In addition to the studies I've just shown
22 there talking about the human material or the human
23 condition, we've also completed several studies
24 looking at spiking preparations. And we've taken
25 different spiking preps, specifically the crude

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 scrapie brain homogenate, the microsomal preparation
2 and the Bolton prep and performed these same studies;
3 the cyro, the 3 percent and the 11.5 percent PEG pay
4 step and the results basically demonstrate that the
5 more purified forms of PrP^{Sc} resulted in greater
6 clearance.

7 It's important to note we typically spiked
8 with brain homogenate, which as it's shown to be is
9 the worse case scenario.

10 So again, in conclusion, I'd like to say
11 that what we've seen in our laboratories and others
12 have shown is that partitioning of the rodent prion
13 protein is predicted of partitioning of infectivity,
14 and that partitioning of the pathogenic form of the
15 prion protein determined with animal models is
16 predictive of removal of human prions, whether they be
17 from a classical source such as sporadic or variant
18 sources.

19 Thank you.

20 MR. HEALEY: Next is Dr. Martin Vey from
21 Aventis Behring.

22 DR. VEY: Good morning. I also would like
23 to thank the Committee for listening to the data we
24 obtained for prion proteins in our manufacturing
25 processes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 As Dr. Lee already pointed out,
2 purification of plasma proteins involves a series of
3 purification steps all linked up in the Cohn
4 fractionation backbone and in further downstream
5 purification processes.

6 The Cohn fractionation background and the
7 cryoprecipitation provide enriched fractions;
8 cryoprecipitate, fraction 1, fraction 2 plus 3,
9 fraction 4, fraction 5 in which the plasma proteins
10 are already concentrated to a certain extent. From
11 here further purification steps provide then
12 concentrates, factor concentrates and immunoglobulin
13 concentrates which can then be used for therapeutic
14 applications.

15 In order to address prion removal, we also
16 scaled down production steps and determined
17 equivalency of this scaled down protocol with the
18 large scale production. We then add prion
19 preparations to an aliquot of the original production
20 loss and we perform single or several combined scaled
21 down production steps.

22 We determined the prion content of the
23 spiked prior starting material and the resulting
24 fractions such as precipitates and supernatants by an
25 assay called conformation-dependent immunoassay which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was developed by Uri Safer and Stan Prusiner's lab.
2 And for some steps we have started doing infectivity
3 bioassays.

4 We determined reduction factors for the
5 individual or combined production steps, which are
6 usually expressed in log dimensions. And, again,
7 similar to Dr. Lee, we compare or we relate our
8 reduction to the partitioning away from supernatant and
9 fractions.

10 So if one combines the reduction factors
11 for all production steps involved in the purification
12 of a single plasma protein, one can determine the
13 total prion removal capacity of the whole production
14 process for that particular protein.

15 So, there is a challenge for prion removal
16 evaluation for plasma proteins, and this is the
17 following.

18 Prions have never been transmitted from
19 human to human by blood or blood products and yet
20 normally for the prion protein, called PrP^{Sc}, which is
21 believed to be the infectious agent, has never been
22 detected in blood or plasma. So the biophysical
23 chemical nature of a theoretical prion contaminant is
24 not known. Also a relevant spiking agent mimicking
25 this theoretical prion contaminant in plasma is also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not known.

2 So we addressed this challenge in the
3 following way. We prepared different prion
4 preparations with different biophysical chemical
5 properties and evaluated their partitioning in our
6 processes. By this approach we tried to cover all
7 possible or all logical presentations of a prion
8 contaminant in plasma.

9 And if there is significant differences in
10 the partitioning of these different spikes, our
11 production steps that we'll analyze must be evaluated
12 with these different spikes because we don't know
13 which one is the more relevant or are their
14 contaminants which present as one of this and one of
15 this or both of these spiking agents.

16 And this will then lead to a greater
17 assurance about the safety margins of our products
18 with respect to the unknown theoretical prion
19 contaminant. So we're used to following spiking
20 agents. Crude brain homogenate, microsomal membranes,
21 which is a fraction of brain, caveolae-like domains
22 which are a submembrane compartment and yet we use
23 cyro for purified PrP^{Sc}. They were all prepared from
24 pre-infected hamster brain.

25 This is one example for the purity of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pure type PrP^{Sc}, and this is a tribute to David Bolton
2 who in the '80s developed this method to generate
3 membrane-free pure PrP^{Sc}. And this one we use as an
4 extreme example of a pure prion contaminant. And you
5 see it still works.

6 So the rationale for selecting these
7 spiking agent was although brain homogenate may not
8 resemble the theoretical prion contaminant, we choose
9 it because we felt it was important to compare our
10 data to already published data from others.

11 The microsomal membrane fraction we
12 thought would be more relevant because they might
13 mimic prion containing cell fragments which were not
14 already removed by the plasmapheresis.

15 CLDs, these caveolae-like domains might
16 stimulate membrane domains which could be shed into
17 plasma by cells. And what if the prion contaminant is
18 not associated with membrane fragments? There was
19 evidence for some of the prion infectivity in rodent
20 models. Infectivity in rodent models that some of the
21 infectivity of the low infectivity which was found
22 there might not be membrane associated. And for that
23 purpose we used purified PrP^{Sc} to simulate those kinds
24 of prions once they were in this kind of biophysical
25 chemical entity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So your initial experiments already
2 indicated that this made good sense. Because if you
3 compare side-by-side in the same process purified
4 PrP^{Sc} and microsomes there's a completely different
5 behavior for these two spiking agents. Microsomes
6 were comparable to the Foster study, lower than one
7 log removal. And they also differed a little bit with
8 respect to the Lee study, but there was a major
9 difference between purified and membrane-bound PrP^{Sc}.
10 We now systematically analyzed the Cohn fractionation
11 backbone with this rational.

12 So you can see here that for the
13 cryoprecipitation the prion reduction of any membrane
14 containing spiking agent is insignificant. It's less
15 than one log. Whereas, PrP^{Sc}, as I already indicated,
16 there we see a significant reduction, more than two
17 log into the precipitate.

18 For the 8 percent precipitation, 8 percent
19 ethanol precipitation, you can see that the reduction
20 factors now are increased also for the membrane
21 containing spikes, but still they do not match the
22 partitioning behavior of a purified prion spiking
23 agent.

24 We compared here, of course, the spiked
25 starting material with the supernatant after -- which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comes out of the process step. And here you can see
2 that. We not only can see the reduction away from the
3 supernatant, but it also appears, reappears in the
4 precipitate fractions. We have also a very good mass
5 balance, so we know where the prions ended up.

6 In the 25 percent precipitation now the
7 membrane containing prion spikes also show very
8 significant reduction away from the supernatant, as is
9 the case again for the PrP^{Sr}.

10 And for a step involving 38 percent
11 ethanol precipitation, we see complete reduction of
12 all these different spiking agents.

13 Taken together we can say that evaluation
14 of four major steps of fractionation backbone reveals
15 robust prion removal for certain production steps and
16 for all spikes, but that they are also clearly shows
17 that different spiking agents can partition
18 differently at a certain production step. So in
19 general we found that the three membrane associated
20 spikes partitioned similarly whereas PrP^{Sc} the non-
21 membrane associated molecular spike partitions
22 differently at different steps from the other spiking
23 agents. From now on our evaluations included one
24 membrane associated spike which shows morosomal
25 membranes and the purified molecular form PrP^{Sc}.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We come to the evaluation of the
2 downstream purification processes for our product
3 Humate P[®] which is a Factor VIII from von Willebrand.
4 You can see a list of steps, of purification steps and
5 modification steps which is used to make concentrated
6 factor therapeutic products.

7 In the beginning you have many impurities
8 and along this line you remove the impurities and you
9 end up with a concentrated product. But you can see
10 here that along with removing impurities you have also
11 the chance of removing pathogens such a prions. So
12 for a step called glycine precipitation we see from
13 mircosomes a significant reduction resulting in a
14 reduction factor of 1.7 logs and for PrP^{Sc} even better
15 reduction, meaning 3.3 log.

16 We then asked the question whether
17 combining steps in this evaluation gives the same
18 results as looking at the steps independently. And
19 here we can say that combining the centrifugation step
20 and the filtration steps leads to the reduction factor
21 as if one looked at those ones individually.

22 So at the moment we have analyzed several
23 steps for the purification process of -- and we come
24 to a total reduction factor at the moment of 4.8 for
25 microsomal spikes and 5.5 logs for PrP^{Sc} purified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 spike.

2 We then asked the questions whether
3 hamster prions partitioned singularly or identical to
4 human prions. We tested two production steps, the
5 glycine precipitation and the 25 percent ethanol
6 precipitation. We tested the removal of hamster
7 scrapie side-by-side of variant CJD prions and
8 sporadic CJD prions. And we again used two
9 independent spikes, one the microsomal preparations
10 and one the purified PrP^{Sc}.

11 And here's the result. We see completely
12 similar behavior with regard to partitioning of
13 variant CJD prions in comparison with sporadic CJD
14 prions in comparison with Sc hamster prions, SC237
15 hamster prions. Efficient removal for purified PrP^{Sc}
16 more than three logs in the glycine precipitation and
17 significant reduction of microsomes also in the
18 glycine precipitation.

19 The same holds true for the 25 percent
20 ethanol precipitation step. We have significant
21 reduction for both kinds of spiking agents, more than
22 3 logs in all these cases.

23 This leads us now to the conclusion that
24 the data that I showed before, which indicates
25 substantial removal of prions by plasma protein

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 purification obtained with these hamster prions should
2 be considered as relevant for the safety of plasma
3 direct products.

4 And in this, I would like to thank you for
5 listening to my presentation. I want to thank the
6 following persons for providing material; Dr. James
7 Ironside, Professor Stanely Prusiner and Dr. Martin
8 Groschup. And I would like to thank my colleagues,
9 Dr. Henry Baron, Dr. Bruner and many others for
10 setting up this research project.

11 Thank you very much.

12 MR. HEALEY: Next is Dr. Thomas Kreil from
13 Baxter Bioscience who will be providing an industry
14 overview of the research that's been done in this
15 area.

16 DR. KREIL: Good morning. I would like to
17 thank this Committee for giving me the opportunity to
18 discuss with you a consolidated view of what we
19 understand about prion partitioning during plasma
20 fractionation.

21 Specifically what I would intend to do in
22 the presentation is provide you summary of what we
23 understand about prion infectivity in plasma both from
24 natural prion diseases and then also compare that to
25 what we know from experimental models of prion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infection.

2 Also I would like to briefly discuss
3 precautionary measures that the plasma products
4 industry has already implemented and the safety
5 margins that these safety measures afford to the
6 products.

7 And then I would like to summarize the
8 prion partitioning capacity of manufacturing processes
9 for you. This is results generated from a number of
10 different studies performed by different laboratories
11 using different spike preparations, different assay
12 systems and also investigating different manufacturing
13 procedures.

14 What I think at the conclusion of that
15 presentation will show to you is that regardless of
16 how the study has been performed, there is a
17 substantial contribution by the manufacturing
18 processes to the safety margins of these products.

19 Let's start with what we know about the
20 levels or prion infectivity in plasma from natural
21 prion diseases.

22 Well, for natural prion diseases it needs
23 to be kept in mind that there is no substantiated
24 demonstration so far of blood infectivity. This is
25 now also supported for variant CJD by two more recent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 results from two research groups in the UK.

2 We have used a mouse bioassay for the
3 detection of infectivity or very sensitive Western
4 blot for the detection of PrP scrapie as a surrogate
5 marker and could demonstrate that both infectivity and
6 PrP scrapie can be found in the brain, in the spleen
7 and in the tonsils of variant CJD patients, but they
8 also showed that neither infectivity nor the surrogate
9 marker could be found in plasma of these patients. So
10 this is probably the type of information which is
11 reflected in the epidemiological evidence which will
12 also point against a transmissibility of that type of
13 diseases.

14 Now, in experimental prion infections
15 there is one general consideration that I'd like to
16 bring to the attention of this Committee, which is
17 that in all of these experimental models typically
18 animals are inoculated through the intercerebral
19 route, which we know is more effective in transmitting
20 prion infection but is also less relevant as to the
21 administration or therapeutic products which we are
22 talking about.

23 So now in experimental models using these
24 intercerebrally inoculated rodents the maximum levels
25 of infectivity that were found in plasma were around

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 20 infectious units per mL during the clinical phase
2 of disease. These levels were only two infectious
3 units per mL in the preclinical phase of disease
4 which, if anything, would be the one more relevant to
5 a potential plasma donor.

6 In relation to what we know about
7 infectivity in plasma, I'd like to bring to the
8 attention of the Committee that Baxter Bioscience has
9 a couple of years ago initiated the study
10 investigating the transmissibility of classical or
11 variant CJD in a primate model. The study is being
12 performed under the supervision of Dr. Paul Brown from
13 the NIH and Dr. Christian Abee of the University of
14 South Alabama in Mobile, which is also the location of
15 the study.

16 The animal model that we used there is the
17 squirrel monkey, and the study goals are to understand
18 where the blood of primates which are intercerebrally
19 infected with human classical or variant CJD brain
20 material would be infectious during the extended
21 incubation periods of these diseases in the primate
22 model.

23 To address that what we've done is we have
24 inoculated intracerebrally these primates with either
25 variant or CJD brain material and then from these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infected animals quarterly blood is drawn and
2 transfused into a body in what we call one-on-one
3 design. And a second goal of the study is to
4 understand what the relative levels of infectivity in
5 human classical and variant CJD would be, and that in
6 brain where we know it exists and should it really
7 exist also investigated as buffy coat plasma.

8 I would like to conclude what we know
9 about the levels of infectivity in plasma that
10 currently I think it's important to reiterate that
11 there is epidemiological evidence suggesting that
12 prion diseases are not transmitted through blood or
13 blood products.

14 And for the natural prion diseases, and
15 that now includes also a demonstration for variant
16 CJD, plasma infectivity has never been demonstrated.
17 Now in the experimental models where we have seen low
18 levels of prion infectivity in plasma, that needs to
19 be set into perspective with what we know about the
20 levels of infectivity in transfusion-relevant virus
21 infections.

22 It's important to understand that these
23 levels of infectivity that have been found in plasma
24 of experimentally infected rodents, these levels are
25 100,000 fold to 10 billion fold lower than the ones

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we know occur for transfusion relevant viruses.

2 Still we think that already theoretical
3 risk has been reduced. Plasma from the UK is
4 currently not being used for the manufacture of plasma
5 derivatives, which based on the exposure to BSE cases
6 has already excluded to the 99 percent of the
7 potential exposure and as it comes to variant CJD it
8 has excluded 96 percent of the potential exposure.

9 Another measure which has also put in
10 place is that all product which is derived from a
11 plasma pool which contains a contribution from an
12 individual where we subsequently find out that the
13 person went on to develop variant CJD would, in
14 accordance with regulatory guidance both from the FDA
15 and the European competent authority be recalled.

16 Now another donor deferral criteria which
17 you are very familiar with is the donor deferral
18 criteria based on geographic BSE and variant CJD risk.
19 The point that I would like to make here, and that is
20 really values taken from the summary of the TSE
21 Advisory Committee meeting that you had in June.

22 The summary here is that altogether these
23 measures provided risk reduction in the order of one
24 log step. And that one log step, I'd like you to keep
25 in mind when we go into the reduction factors that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obtained during our manufacturing process studies.

2 So now the safety margins through
3 manufacturing processes of plasma derivatives. It
4 needs to be kept in mind that a number of different
5 species has so far been used as both the source for
6 the spike material used for these studies as well as
7 indicator animals for infectivity used in these
8 studies.

9 Also a number of different spike
10 preparations have been used, as you just heard from
11 the two presenters before me, which are a brain
12 homogenate, then detergent solubilized brain
13 homogenate, microsomal preparations, caveolae-like
14 domain preparations, purified PrP^{Sc}; so really a
15 number of different aspects have been investigated
16 here.

17 And then also these markers have been
18 investigated using different assay systems, which are
19 bioassays which really detect infectivity in vivo and
20 then surrogate marker assays in vitro which have used
21 Western blot or the confirmation dependent
22 immunoassay.

23 To facilitate a summary of all the studies
24 that have performed so far, what I have done is I have
25 summarized the results available according to major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 product categories. The product categories that I
2 would like to present to you are Factor VIII,
3 immunoglobulins, then albumin and proteinase
4 inhibitors.

5 So this is now a pretty complicated slide
6 and there is a lot of information on there, which I
7 think is good for you to see that there is so much
8 information out there. What I have done here is I
9 have given all the different process steps during the
10 manufacture of different Factor VIII preparations
11 which have been validated. Also I've given you here
12 the log 10 reduction factors that have been obtained
13 for these specific steps together with a reference
14 here to the source of the data.

15 It needs to be kept in mind that not for
16 all the Factor VIII products that are manufactured,
17 all of these manufacturing steps are being used. So
18 underlying that, I have also given you a summary line
19 here in bold which gives you the reduction factor for
20 individual Factor VIII products throughout the entire
21 manufacturing process as far as these steps have been
22 validated.

23 So I think what the information is that is
24 in this slide is that where there is steps such as
25 cryoprecipitation or also aluminum-hydroxide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 absorption where we get rather limited contributions
2 to the safety margins of Factor VIII products, you can
3 see here the precipitation steps by either
4 polyethylene glycol or glycine provide rather nice
5 safety margins, which is also true for ion exchange or
6 size exclusion chromatography. And then also for
7 infinity purification of Factor VIII products.

8 Altogether if you summarize that over the
9 individual manufacturing processes the Factor VIII
10 preparations that have been validated so far enjoy
11 safety margins between 3.2 logs and up to 8 logs
12 through the manufacturing procedures.

13 It needs to be kept in mind that this
14 range can be explained because there is Factor VIII
15 preparations in there which contain -- and then there
16 is also immunifinity purified Factor VIII
17 preparations. So the preparations investigated here
18 have been very different. Still, they all enjoyed
19 really substantial safety margins through their
20 respective manufacturing processes.

21 This slide now summarizes what we know
22 about the manufacturing procedures for
23 immunoglobulins. Again, the same theme with
24 cryoprecipitation and precipitation of fraction I, we
25 have somewhat more limited contribution. But then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 precipitation of Fraction III specifically and depth
2 filtration steps where they are being used in the
3 manufacturing process provide very, very substantial
4 removal capacity for prion infectivity.

5 Again, demonstrated in a large number of
6 different studies by different laboratories using
7 different spike preparation, but resulting in safety
8 margins for immunoglobulin processes that are beyond
9 4.6 logs or greater than a 1 million fold reduction
10 through these manufacturing processes.

11 This now summarizes the information that
12 we have about albumin. I don't want to go into much
13 detail for cryoprecipitation and precipitation of
14 Fraction I again. But as you can see precipitation of
15 Fraction III and then also precipitation of Fraction
16 IV provide very, very substantial contributions to the
17 safety margin of these products, which is probably not
18 a surprise because the manufacturing processes of
19 albumin just contains more steps that it can add up to
20 the safety margins which finally are shown here. So
21 that albumin products enjoyed greater than 7.7 and up
22 to 16 log removal through their manufacturing process.

23 This slide now summarizes what we know
24 about proteinase inhibitors. Again, the theme is that
25 there is specifically here precipitation steps which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are the major contributions to the safety margin of
2 that product class. So that proteinase inhibitor
3 safety margins are somewhere between 3.1 logs and
4 greater than 12 logs ten reduction of any potential
5 prion contamination here.

6 What I would like to say in summary is
7 that the safety margins that plasma derivatives enjoy
8 through their manufacturing processes are, indeed,
9 very significant and they are well beyond those
10 provided by donor deferral. I've shown to you before
11 the data that show the donor deferral result in a one
12 log reduction of risk and that compared to the very
13 substantial reduction factors you've seen.

14 These safety margins do not vary widely
15 regardless of the prion spike material used, the prion
16 assay system used, the specifics of the manufacturing
17 steps investigated and who did the study. And also
18 these safety margins are very substantial as compared
19 to the still theoretical level of risk.

20 Thank you.

21 CHAIRMAN BOLTON: Dr. Healey, do you have
22 any closing comments before we move to questions? Do
23 you have a summary?

24 MR. HEALEY: Only to say that I was
25 flattered by the title of doctor, but indeed I'm not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So thank you for that.

2 CHAIRMAN BOLTON: Well, we'll give you an
3 honorary degree.

4 Okay. Well, I'll open this up to questions
5 from the Committee. Yes.

6 DR. SIMON: It seemed to me that there's
7 been very substantial sharing of data, so I think it's
8 an impressive showing by industry. And it certainly
9 reflects, I think, the FDA's differentiation between
10 the source plasma donors and the other donors and the
11 fact that there are these additional margins of safety
12 in the partitioning through the production.

13 So, I believe that we have the data that
14 would answer any concerns about the exemptions of
15 source plasma donors. In fact, I would raise questions
16 whether additional exemptions might be order. But it
17 would certainly, I think, be supportive of the
18 guidance document.

19 DR. BOYLE: I'd like to raise two
20 questions. First with industry. The comment was made
21 that there's very little variation in the clearance
22 based on the manufacturing steps investigated. The
23 question is we know manufacturing processes vary from
24 company-to-company within the same product. The
25 question is are there any reasons for us to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerned about variations in manufacturing processes
2 between companies that might impact upon the clearance
3 of the prions?

4 DR. KREIL: Well, I had hoped to convene
5 my presentation that really it doesn't depend on who
6 provides data on their manufacturing process. The
7 common theme in all the validation studies that have
8 been performed so far is that regardless of which
9 manufacturing process you take a look at, there are
10 steps in there which will serve to provide a reliable
11 contribution to the safety margin of the product that
12 comes out at the end.

13 Specifically I think Factor VIII is a very
14 intriguing product to have a look at because the
15 manufacturing processes there do absolutely vary
16 widely. But still, you get these very substantial
17 safety margins which come out at the end of all the
18 manufacturing processes. So I don't think that we
19 should be concerned about differences that different
20 manufacturers use in their processes.

21 DR. BOYLE: Okay. The second question I
22 would like to pose to the FDA, and that is over the
23 past five years have there been errors or omissions in
24 GMPs that relate to the very processes that we're
25 depending upon to have those types of clearance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 practice. I take that as a no?

2 CHAIRMAN BOLTON: I'm not sure that
3 anybody would be prepared to answer that question from
4 the FDA right now.

5 DR. SCOTT: Well, I think we don't have
6 all the information here, but that is the kind of
7 question that we would be able to answer as we go back
8 through our processes and compare them to the study
9 processes for each product.

10 DR. BOYLE: Thank you.

11 CHAIRMAN BOLTON: Dr. Cliver?

12 DR. CLIVER: I heard some incredulity
13 voiced during the public hearing about the relevance
14 of these studies. This is not a question, it's a
15 couple of comments.

16 First of all, as I approach the 42nd
17 anniversary of my Ph.D. I would like to say that a lot
18 of research on which more lives depend on this is done
19 exactly this way; scaling down processes, using
20 surrogates of necessity and testing individual unit
21 operations for effectiveness because then you can see
22 how effective they are rather than work with naturally
23 occurring contaminants which you may not be able to
24 measure quantitatively.

25 I'm very comfortable with the idea of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701