

1 efficacious.

2 But there are some very important
3 considerations to keep in mind. In
4 principle, if you want to produce a
5 recombinant hemagglutinin, you do not need
6 to grow or handle a live virus. You can use
7 a well-defined cell line versus an undefined
8 egg production. There's also an enormous
9 search capacity, because these products can
10 be produced in a mammalian or monoclonal
11 antibody production facilities.

12 And if you think about it, the
13 worldwide production capacity for mammalian
14 cell culture is about 2.5 million liters.
15 And if then imagine that you could produce 1
16 million doses of 135 micrograms of vaccine
17 per 10,000 liter in a 5-day production
18 cycle, you could imagine that it is feasible
19 to produce billions of doses in matters of
20 weeks.

21 It's also important to point out
22 that the antigen that you make using a

1 recombinant baculovirus approach is an exact
2 match to the H5N1 that is naturally
3 appearing, so you do not need to make a
4 reverse genetics modified strain. I also
5 want to point out, as was pointed out by Dr.
6 Couch before, that a potential influenza
7 pandemic doesn't focus around age 5 alone.
8 If we look at the last about 10 years, there
9 have been many different avian viruses
10 circulating with various impacts on humans.
11 And this slide, the only purpose of this
12 slide is that there's not just H5 but
13 there's also H9 and H7, and as has been
14 pointed out, H2 might be present a greater
15 threat.

16 So what we have done at Protein
17 Sciences is we have produced four additional
18 hemagglutinins. They have been cloned from
19 strains with pandemic potential, and they
20 were produced using the general
21 hemagglutinin purification process that we
22 also use in the development of our inter-

1 pandemic vaccine. I believe strongly that a
2 recombinant protein-based influenza vaccine
3 is the most vital, proactive approach in
4 fighting against a potential influenza
5 pandemic. As was indicated earlier, it will
6 take time for antibodies to develop, and if
7 you can prime the immune system, that can
8 have a major advantage.

9 We plan to produce and market a
10 prophylactic pandemic vaccine after FluBlok
11 has been approved. It is clear that you
12 need a large safety database before you can
13 develop such a vaccine, and therefore we
14 have been and are conducting quite a number
15 of clinical trials, and I want to very
16 quickly highlight those trials.

17 We are conducting at this very
18 moment a trial in young children age 6
19 months to 59 months. We expect results in
20 the second quarter. We are also conducting
21 an immunogenicity but also efficacy study in
22 elderly or people 65 years and older. The

1 immunogenicity results of this study are
2 expected in the second quarter. And a
3 couple of months later, we will also have
4 efficacy results. We earlier found I a
5 field study that the commercial dose that we
6 selected, and it's important to point out
7 that this commercial dose will contain three
8 times the antigen content of the regular
9 influenza vaccine, so it will contain 45
10 microgram of each of the hemagglutinins, was
11 100 percent effective against cell culture
12 confirmed influenza in subjects that
13 presented with influenza-like illness. CDC
14 defined influenza-like illness, even against
15 drifted strains. We characterized all the
16 strains, all the viruses from this study,
17 and they all represented drifted strains.

18 As far as effectiveness goes,
19 there was a 54 percent reduction in subjects
20 that presented with CDC-ILI versus placebo.
21 So we demonstrated in this study that a
22 hemagglutinin-only vaccine can be

1 efficacious and effective without
2 neuraminidase, and we also showed that the
3 vaccine was highly immunogenic. More
4 antigen leads to better immune responses as
5 has been demonstrated or written in the
6 literature for quite a while. We were able
7 to show protective antibody levels for all
8 antigens for at least 6 months.

9 We also earlier in collaboration
10 with NAIAD conducted a study in the elderly
11 where we particularly defined our endpoints
12 against around the H3 antigen. These
13 studies were published by Treanor et al in
14 2006. And as you can see here, there is a
15 clear dose response effect. When given more
16 hemagglutinin, you will get a greater sera
17 conversion.

18 We also were asked by the Journal
19 to perform a subset analysis because, as we
20 know, as you grow older, your immune system
21 may become more senescent, and therefore the
22 right part of this graph is also quite

1 interesting. And if you keep I mind that we
2 selected the purple dose as our commercial
3 dose, that gives you some reference.

4 Now the baculovirus technology
5 provides speed, cost and safety. It also
6 provides a rapid response to emerging
7 strains. So in other words, if tomorrow a
8 new virus is identified and we know what
9 antigen could convert protection, we would
10 be very rapidly able to develop a vaccine.

11 There is not need to handle a
12 live virus. And if you keep in mind that
13 the latest outbreaks around SARS all came
14 from laboratory or places where they were
15 working with viruses, you can imagine that
16 this is a risk that you cannot
17 underestimate. As I mentioned before, we
18 use the same antigen that is actually
19 circulating.

20 Our next steps are that we are
21 going to further evaluate the two clinical
22 studies that are currently ongoing. We will

1 initiate an efficacy study in the 2007-2008
2 season in a very large group. We expect to
3 initiate our BLA filing in the fourth
4 quarter of this year. And subsequent to
5 that, we will initiate the development of a
6 prophylaxis vaccine.

7 And in case it wasn't clear, I am
8 an employee of Protein Sciences, so that
9 will be my conflict of interest. Thank you.

10 DR. KARRON: Is there anyone else
11 who would like to speak during the open
12 public hearing?

13 (No response.)

14 DR. KARRON: Seeing noone, we
15 will take a break until 3:30 when we will
16 reconvene for a discussion.

17 (Whereupon, off the record at
18 3:17 p.m. and back on the record at 3:41
19 pm.)

20 DR. KARRON: Okay. I think we're
21 going to go ahead and begin if people in the
22 back of the room would please take their

1 seats, it would be very much appreciated.
2 And I think the best way to begin this
3 discussion is to really go through each of
4 the slides, each of the items that Dr.
5 Toerner put up for our consideration. So
6 we'll begin with this first one which has to
7 do with the issue of assessment of immune
8 responses, both the kinds of assays used and
9 assessing responses following prime and
10 following boost. So at this point, I'd like
11 to open those issues for discussion.

12 Comments?

13 I think maybe what we can do is
14 start with one of the last items first and
15 then perhaps move up, which has to do with
16 the use of HI antibody assays versus
17 microneutralization assays as a measurement
18 of immune response. Would anyone like to
19 comment on that?

20 DR. COX: Thanks. I think that
21 there's a growing body of evidence that
22 indicates that HI assays using horse red

1 blood cells are really good assays for
2 detecting antibody to H5. But in our
3 experience at CDC, and I can just speak to
4 that and to some experience elsewhere that
5 I've heard about, the microneutralization
6 assay, although it's a lot more labor-
7 intensive is still the gold standard, and
8 there are some unusual effects that you can
9 sometimes see with horse red blood cells
10 depending on the animal and the test and the
11 antigen and so on. So I think that it's
12 really fantastic that we have now the
13 ability to screen using the horse red blood
14 assay, but I think the microneutralization
15 test actually is better in reliably
16 detecting antibody to H5.

17 DR. KARRON: So would you perhaps
18 advocate using both tests as measurements of
19 immunogenicity?

20 DR. COX: I think that at this
21 point in time, it would be a good idea to
22 use both assays, and as more and more data

1 are developed, perhaps it would be possible
2 to move to the horse red blood cell assay
3 because it is so much easier. But right now
4 I think we're still at a stage where we need
5 to do more assays and more comparisons and
6 really get the cutoffs right.

7 DR. KARRON: Dr. Toerner, I was
8 actually wondering if you could elaborate a
9 little bit on your first two points there,
10 immune response assays following prime and
11 boost and what you wanted to elicit from the
12 committee, comments you wanted to elicit on
13 those points?

14 DR. TOERNER: The point that I
15 wanted to make is regarding the first bullet
16 point, the immune response assay following a
17 prime. What I would be interested to hear
18 is the heterologous immune response
19 following a prime, would that be supportive
20 evidence of demonstration of appropriate
21 priming across protection in contrast to
22 following subjects over time and

1 administering a heterologous antigen to the
2 subjects and then measuring the immune
3 response to the subjects following a boost
4 administered a future time point. Does that
5 --

6 DR. KARRON: So if I'm
7 understanding this correctly, I wonder if
8 maybe Dr. Treanor has data that could bear
9 on this point at all, and that is to say
10 from your original studies where you
11 immunized with Hong Kong/97, did you then go
12 back and look at those -- before those
13 individuals were boosted, did you ever test
14 their sera and look antibody responses to
15 2005? Is that the kind of thing that you're
16 asking?

17 DR. TOERNER: Yes, that's
18 correct.

19 DR. TREANOR: That would be a
20 really good idea but we didn't do that.
21 It's another thing on the list of things
22 that would be good to do. The sera are

1 available but they haven't been tested.

2 DR. KARRON: Dr. Self?

3 DR. SELF: I don't know too much
4 about this system, but I can compare the
5 nature of this discussion to what we've had
6 in HIV vaccines. And there are very well-
7 standardized, broad panels of reagents, of
8 pseudo variants in this case, and a system
9 of labs and assays that have been highly
10 standardized, validated, proficiency panel
11 tested so that endpoints taken at standard
12 times from the last boost can be compared
13 across, you know, many different studies
14 with, you know, some reliability. I'm not
15 hearing anything of such a system here.
16 Maybe there is something like that but if
17 there is, exercising that kind of a system
18 and having those sorts of reagents and
19 standardized assays sounds like it would be
20 a very good thing.

21 DR. COX: I think that you're
22 absolutely right and there's a lot of

1 thought going into that type of system so
2 that you have standard sera. And I think
3 that the NIH is involved in some trials that
4 will produce some standard sera. And also
5 having a standardized panels of antigens
6 that could be used to test so that you can
7 actually compare from study-to-study. And I
8 would certainly advocate for a lot more
9 harmonization among the studies that are
10 being conducted so that we can -- and a lot
11 more head-to-head comparisons so that we can
12 really understand what is going on in terms
13 of cross-protection, how much greater cross-
14 protection you get with adjuvants using a
15 specific antigen, and a whole variety of
16 other things. So these panels are --
17 discussions are occurring about how to get
18 these panels put together correctly.

19 DR. SELF: So in HIV, these
20 panels are also tiered that begin with the
21 homologous virus and then sort of expand,
22 not going to the next tier unless you see a

1 good breadth in magnitude in the current
2 tier. And the assays also span both
3 antibody as well as cellular immune
4 response. I heard earlier that there's some
5 interest in the role of cellular response
6 here, and so maybe there's also something --

7 DR. COX: Yes. There's not a lot
8 done but John may want to speak to more
9 studies that will be done to look at the --

10 DR. TREANOR: Well, in the study
11 that I presented, a large proportion of the
12 subjects had peripheral blood mononuclear
13 cells obtained. The laboratory that's going
14 to be assaying those has really spent quite
15 a bit of time validating their cellular
16 assays and showing reproducibility and
17 reproducibility of thawing cells and all
18 that kind of stuff. And I think they're
19 just beginning now to start actually doing
20 the assays on the PBMC that will provide
21 another way of looking at immune responses
22 beyond antibody.

1 DR. SELF: So the reagents there,
2 the antigens there to reflect, you know,
3 variability in the targeted virus population
4 raises a whole another series of problems,
5 so that's another issue to -- that you'll
6 have to address at some point.

7 DR. KARRON: Dr. Eickhoff?

8 DR. EICKHOFF: John, correct me
9 if I'm wrong, but I thought one of the
10 things that I heard you had on your list of
11 things to do, which must be very long by
12 this time, was to take the sera from the
13 Sanofi vaccine that we just looked at this
14 morning that you'd carried out and to test
15 those sera against clade 2 and perhaps clade
16 3 viruses, is that correct, as a measure of
17 heterologous response?

18 DR. TREANOR: Yes. I think that,
19 you know, it would be fascinating to know
20 what kind of response revaccination
21 generates against the original antigenic
22 exposure and it would be a very great

1 practical interest to know whether these
2 individuals are also responding to clade 2.
3 And so, you know, this is a assay
4 development sort of issue, but those will be
5 done at some point by the reference lab.

6 DR. EICKHOFF: Well, for that
7 reason, I think heterologous or testing
8 against heterologous antigen would be very
9 useful as outlined in that slide, simply
10 because it may provide some -- it may
11 correlate with a level of boost that you get
12 with a heterologous virus or will it
13 correlate with the level of boost following
14 boosting with a heterologous virus.

15 DR. KARRON: Dr. Couch?

16 DR. COUCH: Well, just a couple
17 of comments for the discussion. One was
18 that John made essentially and that is what
19 we're talking about when we're looking at
20 antibody and boosting antibody, that's an
21 operational definition of prime and boost.
22 If you really want to know whether a

1 person's primed or not, you're looking at
2 whether those lymphocytes are recognizing
3 that antigen or not, and we've got th
4 technology to do that. See, I was unaware
5 until he told me a little earlier that Jim
6 Crowe had been trying to do that
7 specifically for H5. If that's really a
8 goal of pre-pandemic vaccinations, then the
9 priming assay should be out there being
10 looked at right now and know the differences
11 in dose and age and maybe underlying disease
12 in terms of what the variables are that
13 determine priming, because that will define
14 your response at a future time. And that's
15 a far better and more accurate way to define
16 priming than the way we're talking about it
17 with operational definitions prime and
18 boost.

19 Second is that there are a lot of
20 different ways to do neutralization tests.
21 When we use the term microneutralization,
22 we're usually talking about the test that

1 was described originally by Maurie Harmon
2 that Jackie Katz has picked up using at CDC,
3 and I think that the British version is
4 essentially the same thing. And even the
5 way we do it, which is somewhat different --
6 essentially all of them -- they don't have
7 to be that way, but essentially all of them
8 are another way of measuring
9 antihemagglutinin and antibody, so I think
10 it's important that you keep that in mind.
11 Because if they don't correlate, well, then
12 you've got to raise a question as to exactly
13 what your neutralization assay is measuring
14 and antihemagglutinin and antibody is the
15 antibody we've all been focused on as the
16 desirable immune response, not the only one
17 that might be useful but the desirable one
18 and the one that we're still using as a
19 standard for making decisions on influenza
20 and influenza vaccines.

21 So the major value of
22 neutralization, we've been doing a version

1 of it for four years. In our hands, it has
2 greater sensitivity than the HI test. I
3 didn't make that comment earlier this
4 morning, but it's perhaps useful for the way
5 some of the thinking that's got on here that
6 the HI test is really a fairly crude and
7 relatively insensitive test for antibody.
8 So you need to think about that when we talk
9 about how we're going to try to use it.

10 DR. KARRON: I think that
11 probably we should -- I think we may be able
12 to move on to the next slide. I think if I
13 can summarize what I think I'm hearing for
14 the consensus -- I think the consensus is
15 you probably want to measure heterologous
16 protection both at the time of prime and at
17 the time of boost using modern technology as
18 well as using -- as just using at
19 conventional antibody responses. Okay.
20 Yes? Sorry.

21 DR. GELLIN: A question really
22 for Bob. You got into it a little bit, but

1 we're talking mostly about hemagglutinin,
2 and I guess the question is given that the
3 neuraminidase may be less variable, how do
4 we use this as an opportunity to get a
5 better understanding of what neuraminidase
6 immunity buys us?

7 DR. COUCH: I'm sorry Rob
8 Webster's gone, but you might say that we
9 fall into two camps, the neuraminidase
10 proponents and the neuraminidase is not so
11 significant. I'm on the first camp that
12 neuraminidase antibody is a highly desirable
13 antibody. And what we know about the
14 neuraminidase for at least the H1 and H3 --
15 that's Nancy's territory -- is it's less
16 variable than the hemagglutinin. And of
17 course, Ed Kilbourne's not here now but that
18 would be his basis for proposing very
19 strongly that the neuraminidase does need to
20 be evaluated and is -- I mean this is H5N1,
21 see, we're talking about and in H1N1 is an
22 N1 neuraminidase, you see.

1 Maybe I better make a question
2 out of it to Nancy then. Do we have the
3 data to say that there is no cross
4 relationship between those and that the N1
5 that we're currently vaccinating with on an
6 annual basis would have no benefit for H5N1
7 as we know that neuraminidase. I don't know
8 any of these questions and/or answers.

9 DR. COX: Unfortunately, we don't
10 have the answer to these questions. I think
11 there was a recent publication out of Rob's
12 group which indicated in an animal model
13 there was some cross-protection and there --
14 I think it remains to be seen. Of course,
15 we know that because of the ages of a number
16 of the individuals who have died of H5N1,
17 they surely were exposed to H1N1 viruses in
18 their lifetimes and that certainly didn't
19 protect them. So I think the jury is still
20 out and we have a lot more to learn about
21 the role of neuraminidase.

22 DR. KARRON: John?

1 DR. COUCH: I might say that's a
2 separate comment from the fact that
3 neuraminidase is a useful antigen and immune
4 responses to neuraminidase does indeed
5 convey protection.

6 DR. TREANOR: I was just going to
7 add that in that study that Rich Webby did,
8 we did send sera, and there is a low level
9 of recognition of the avian N1 in a panel of
10 human sera from people who had received
11 conventional vaccine, so the levels of
12 neuraminidase-inhibiting activity are
13 substantially lower against the avian N1
14 than they are against the human N1, but
15 there is recognition of the avian N1 by
16 human sera.

17 DR. COUCH: I suppose you might
18 say then they are primed.

19 DR. KARRON: Dr. Stapleton?

20 DR. STAPLETON: I'd like to ask a
21 question of the flu people. Also like
22 Steven, I'm from a different background.

1 But does the prime boost suggest that there
2 are T-cell epitopes that are linked to the
3 B-cell epitopes, and if so, have those been
4 mapped at all? And if not, that would seem
5 to be something that should be done.

6 DR. KARRON: There has been
7 substantial sequence analysis of those
8 viruses, and I know that a number of
9 epitopes have been potentially identified.
10 The Hong Kong and Vietnam viruses are
11 actually about, I think it's, 90 to 95
12 percent similar on an amino acid level. The
13 differences are all in the antibody epitopes
14 and more or less. So there probably would
15 be potential cross-recognition, I would
16 think anyway.

17 DR. KARRON: Okay. I think we'll
18 move on to the second discussion point which
19 was to discuss the feasibility of long-term
20 clinical studies of prime and boost, whether
21 studies should be six months, a year,
22 greater than a year and also this issue of

1 collaboration among different sponsors. And
2 I think the intent there was if one sponsor
3 might have had a clade 1 virus and another
4 had a clade 2 virus, how might that occur.
5 Yes, Dr. Robinson?

6 DR. ROBINSON: I just want to
7 acquaint you with some contracts that we let
8 out in January for antigen-sparing of
9 pandemic influenza vaccines. One of the
10 characteristics of those three contracts is
11 that the contractors who are known publicly
12 as GSK, Novartis and Iomai will submit to
13 HHS their adjuvants for evaluation with the
14 same antigen or other antigens for human
15 influenza to inform public health decision
16 makers as to if there can be a mix and
17 matching that, during an imminent pandemic,
18 if those adjuvants would work with antigens
19 that we have in our stockpiles, because most
20 of the stockpile is in a bulk form. So we
21 are encouraging that and we're in the
22 planning stages right now with the

1 manufacturers of the adjuvants and also the
2 antigens.

3 And so what we're looking for now
4 is guidance from CEBR to help us come up
5 with the proper study designs that would be
6 acceptable and also the regulatory pathways
7 as we move forward to that so that we can
8 present a suitable case to VRBPAC in the
9 future years.

10 DR. KARRON: Other comments?

11 Yes?

12 DR. FARLEY: This is a little bit
13 off the main focus of this discussion right
14 now, but one of the things that I noticed
15 about the modeling is that -- and what I
16 think I'm aware of with Longini's as well --
17 is that the focus on prioritization to
18 children seems to be sort of important and
19 kind of drives, in some ways, these models
20 of getting it out there early and having it
21 work well, and I wondered if there needs to
22 be any modification of the route with which

1 this phase of development -- I mean should
2 we be working more on or increasing the
3 emphasis on pediatric trials and
4 understanding their role in the prime and
5 boost and whether they're going to tolerate
6 the adjuvants and those sorts of things?

7 DR. KARRON: Jesse?

8 DR. GOODMAN: Well, I think
9 that's a good question. You know, we have
10 encouraged pediatric trials of pandemic
11 vaccines, but we've done that cognizant of
12 sort of the special status of children in
13 how we ought to have some safety data to
14 support that before such studies are done.
15 But yes, you notice even with the
16 nonadjuvanted Sanofi vaccine, there is a
17 small pediatric study that's been done. And
18 then I think this is an important point --
19 and then I know that others who are
20 developing new vaccine, once they have
21 substantive evidence of safety and
22 immunogenicity in adults are planning

1 pediatric studies, and we're encouraging
2 them.

3 Now I would say the -- you know,
4 maybe Dr. Couch or others might want to
5 comment -- but I think the notion of
6 children as sort of hyper spreaders and
7 important -- both important to protect in a
8 pandemic and also potentially important in
9 transmission, I think that the first one
10 everybody would agree. The latter one, I
11 know it's not as well documented as it could
12 be, but I think that we should get that
13 data. You know? But I don't think I'd want
14 to go prematurely with novel compounds into
15 children.

16 DR. KARRON: Dr. McInnes?

17 DR. MCINNES: I want to follow-up
18 on Robin's introduction there. We have not
19 taken a position that an adjuvant can be
20 thought of as a stand-alone project. I mean
21 there's no adjuvant licensed. It's a
22 product that has antigen in combination with

1 an adjuvant that comes forward for
2 licensure. So I'm trying to -- maybe you
3 could explain a little bit what the plans
4 are for the product characterization of
5 these, what essentially are, off the shelf
6 mix and matches and the characterization of
7 the product, the pre-clinical safety
8 evaluation of that product given that you
9 might be looking at varying concentrations
10 of either component and then what you're
11 thinking about in terms of the Phase I? I
12 mean you would have a characterization
13 piece, a pre-clinical safety piece, an
14 immunogenicity piece and moving to human
15 studies.

16 So if you look at that sort of,
17 you know, could really be a 20 by 20 box or
18 a 10 by 10 box or a 50 by 50 box. I mean I
19 have no idea what the plethora of
20 combinations could be. So could you tell us
21 a little bit about that strategy and who's
22 going to take this one and how is that going

1 to work when you don't have manufacturers
2 necessarily envisioning a commercial project
3 here?

4 DR. ROBINSON: Well, I'll leave
5 it to the manufacturers whether or not they
6 consider their products with adjuvants to be
7 commercially viable or not on that count.
8 But essentially, we do recognize exactly
9 what you just said is that adjuvants are not
10 stand-alone products in our world. What we
11 would like to know is if it is possible to
12 actually develop formulations of vaccines
13 that actually can be filled into antigen
14 concentrations that can be tested in pre-
15 clinical animal models, preferably in a fair
16 challenged model with the adjuvant either
17 pre-formulated with it or admixed prior to
18 giving the vaccine to the animals and then
19 challenging them.

20 From that data, two things will
21 pop out. One is that we may see that some
22 formulations, some antigens, the way that

1 they are actually formulated as a bulk
2 product and then finally into a final
3 container product, are contraindicated for
4 other adjuvants. That's a possibility we
5 would find that out so that we can strike
6 that one out.

7 If they are compatible and they
8 do afford in animals a reasonable, and in
9 these cases both homologous and heterologous
10 cross-protection, then the data supported in
11 the toxicity studies also, then we would
12 envision a subset of those going into Phase
13 I clinical studies for safety,
14 immunogenicity, and cross-protection as far
15 as cross-reactivity for serological samples.
16 So that in a nutshell would be what we
17 think.

18 But you're exactly right. I mean
19 one of the things that we do want to
20 understand is is there compatibility of
21 these different products, and we would
22 understand that in the pre-clinical setting

1 to move forward.

2 The desire for this, the reason
3 for this is that if we have stockpiles of
4 bulk antigen that are there and then we can
5 increase the amount of doses that we can
6 actually put into people's arms, then it
7 behooves us to at least look at, in pre-
8 clinical studies, you know, are these
9 compatible and then, as I said earlier, what
10 can the CEBR give us as a pathway to move
11 these forward and presumably to use these
12 under emergency uses authorization. I don't
13 foresee these mixing and matching of antigen
14 and adjuvants as a licensed product except
15 for the homologous systems where the company
16 has developed and has moved forward with the
17 licensure of a particular antigen with that
18 adjuvant.

19 DR. KARRON: Dr. Toerner?

20 DR. TOERNER: Just to provide
21 some additional clarification with the
22 bullet point of the collaboration among

1 different sponsors, I think what our goal
2 was to emphasize the value of following
3 subjects who were enrolled in studies. If
4 you go back a few slides or look at your
5 handout, the cohort A and cohort B were
6 those study subjects who've received one
7 dose or two doses of vaccine. We think
8 there's value in following those subjects
9 over time in order to administer a different
10 vaccine or a different clade, so that's the
11 point that we wanted to make about the
12 collaboration among different sponsors.

13 DR. KARRON: Essentially, to be
14 able to replicate studies like Dr. Treanor's
15 over time?

16 DR. TOERNER: Yes. For example,
17 if a sponsor is pursuing development of a
18 vaccine for use during a pandemic and they
19 have those data, they have those immune
20 response data from study subjects in cohort
21 1 and cohort 2, that there perhaps could be
22 a mechanism to follow the study subjects out

1 in order then to demonstrate the possibility
2 of priming.

3 DR. KARRON: Dr. Goodman?

4 DR. GOODMAN: One thing we'd
5 appreciate input on -- it may just be that
6 this is very straightforward, but if we can
7 go back to Dr. Toerner's slide about
8 heterologous prime and boost, you know, are
9 these basic outlines of, you know, the 1 or
10 2 doses then followed by a boosting dose at
11 6 or 12 months, you know, do people looking
12 at those think that they're reasonable
13 approaches or have other suggestions? We
14 all agree we should get as many useful
15 assays and samples at the different time
16 points to understand whether we can predict
17 a good boosting effect, etcetera, what
18 heterologous immunity might have existed
19 before the boost, etcetera.

20 But, you know, the real question
21 is does this kind of approach where you'd
22 get, for example, one, or I suppose this

1 doesn't have two followed by boost, but one
2 or two doses? And, you know, there are
3 many, many variables and approaches to doing
4 this, but, you know, for example, a six-
5 month boost might be comparable to being
6 immunized in a sort of pre-pandemic-emerging
7 pandemic followed by a new vaccine. A year
8 or greater might be similar to just a
9 population being pre-immunized. Are these
10 sort of reasonable approaches.

11 And the other issue I heard
12 raised to both Dr. Treanor, and I think is a
13 very -- again, you can't do everything at
14 once. You have to start with the simple
15 stuff and get your principles, but this
16 issue of might these approaches -- there
17 might be different approaches to dosing.
18 You know? And then I know you're not going
19 to be able to answer it, because we couldn't
20 even answer it this morning for a much
21 simpler question. But what should be
22 thinking about in terms of what is a

1 meaningful heterologous response?

2 So I want to frame first of all
3 is this a reasonable structure, because
4 manufacturers are, as you heard, may be
5 starting to do or even doing some of these
6 studies, and we can encourage, as I said,
7 that is if these are just additional arms to
8 ongoing studies, we could get data a year or
9 two before you might do it if you did these
10 studies just sequentially. So we do want
11 input is this the right track.

12 And then as Joe said, it would be
13 wise to look at not just with one
14 manufacturer's vaccine against another's but
15 in the real world, in an emergency, the
16 boosting could occur with -- you know,
17 you're not going to be able to say did you
18 get manufacturer x and now we're going to
19 just give x. So I hope that's helpful in
20 terms of framing some of our questions.

21 DR. KARRON: Dr. McInnes?

22 DR. McINNES: In some senses,

1 this takes me back, to the haemophilus
2 influenzae days of thinking about -- and
3 it's back to this operational issue of
4 priming and boosting and, in fact, whether
5 you are really testing boosting with a full
6 concentration or whether you should be
7 really looking at -- do you need one dose to
8 prime or do you need more than one dose to
9 prime I think is one question. And then the
10 boosting piece, in fact, I'm not sure of
11 that with 45 micrograms you're actually
12 testing boosting. You may actually need to
13 go with a much lower dose concentration to
14 evaluate boosting.

15 But I think you have -- we have
16 to be very careful what the question really
17 is on the table. And there are so many
18 questions that could be asked here. So you
19 could -- I mean theoretically, you could
20 take group A and wonder why -- you could
21 look at waning immunity with time and look
22 at what they look like boosting them at --

1 you know, giving a second dose at 15 months.
2 It just sort of never ends. So I mean I
3 think what you've put up on the table is as
4 reasonable as -- for a starting point. And
5 I think maybe it's better to actually raise
6 the principles around which you want the
7 studies to go rather than trying to define
8 specifically timing.

9 DR. KARRON: Dr. Couch?

10 DR. COUCH: Yes. You made me --
11 probably like a lot of them, I didn't think
12 about it when you were saying it, so you
13 made us start thinking about it. And if you
14 really focus on, as you said, pre-pandemic
15 use, I guess there are a whole lot of
16 variables that you'd like included in these
17 studies and then they might well become
18 prohibitive. This is not bad. If I added
19 one besides that, I would add Vietnam at
20 each of those boosting sites as comparison
21 to that heterotypic boost, the homotypic
22 boost. That would be the scientific data

1 I'd like to see.

2 DR. KARRON: I'd actually just
3 like to -- not that I can begin to answer
4 this question -- but the issue of what kind
5 of a heterologous would be considered
6 adequate? I have no idea but I would say
7 that just sort of to echo something that Dr.
8 Couch said, I think one of the other reasons
9 to use more modern methods to look at
10 priming besides the fact that it actually
11 looks at what is we think of when we
12 biologically of what priming is is that it
13 may, in fact, be much more sensitive, that
14 you might be able to detect responses that
15 you cannot detect using conventional
16 antibody assays. And so I think that should
17 be kept in mind.

18 DR. COUCH: Part of what that
19 homotypic would give you that I wanted to
20 put in there was the question of whether you
21 would need then still another boost of
22 Indonesia because you'd have the Vietnam.

1 DR. McINNES: And then depending
2 on when you're going to do your serology,
3 I'm not sure the timing on whether you will
4 really be able to characterize the kinetics
5 of the response. Maybe it is a very early
6 response, and I think we have to bear in
7 mind that you may have to actually be
8 pulling blood much more frequently maybe as
9 an initial study to characterize the
10 kinetics.

11 DR. KARRON: Dr. Cox?

12 DR. COX: Yes. Given a lot of
13 the discussions recently about the need for
14 developing countries to also have access to
15 H5 vaccines, I think the looking at what the
16 quantity of antigen that it really takes to
17 prime and to boost is incredibly important,
18 and so you've got to have some dosing
19 components in there, because we really do
20 want to be able to conserve antigen as much
21 as possible.

22 DR. KARRON: Dr. Treanor?

1 DR. TREANOR: Well, I was just
2 going to say two things. Just bear in mind
3 that all the experience that we have with H5
4 that relates to this sort of prime boost
5 idea involves a two-dose schedule for the
6 priming. So we don't have any information
7 about a single dose of one thing followed by
8 a dose of another. And the pediatric data
9 suggests, as I understand it, that that's
10 not quite as good when there's a strain
11 change. So that's the thing.

12 And the other thing I would say
13 is that I think -- bear in mind that the
14 assays for neutralizing and
15 hemagglutination-inhibiting antibody which
16 we're using now have been the subject of
17 quite a bit of effort to standardize. And
18 even so, it's well-recognized while within a
19 lab, they can be very reproducible, the
20 absolute titers that two different
21 laboratories on the same sera are not always
22 in complete agreement. I would think that

1 you would be faced with similar or perhaps
2 more challenging issues trying to really
3 standardize and validate the types of flow
4 cytometry and gamma interferon ELISPOT
5 assays you might be using to look at some of
6 these other cellular responses. And I think
7 that will be a major challenge for looking
8 at some of these other questions related to
9 priming and boosting.

10 DR. KARRON: Dr. Goodman?

11 DR. GOODMAN: Something somewhat
12 encouraging -- it doesn't shed light on this
13 but is that certainly both in the literature
14 and that for those of us who were at the WHO
15 meeting, we did hear several vaccine
16 developers of some of the newer vaccines
17 show, you know, heterologous immunity
18 without boost, so there may be some
19 background there to work with. And also, on
20 Nancy's point about antigen content, of
21 course, you just heard a presentation
22 suggesting that potentially with certain

1 adjuvants, that may greatly reduce the
2 antigen content.

3 But, you know, we're going to be
4 stuck with the challenge of trying to answer
5 some of these questions without the studies
6 becoming, you know, impossible or overly
7 complex. And, you know, I see this sort of
8 12-month framework that's up there, and
9 maybe with the additional of the homologous
10 control, you know, as a good one, I think if
11 we were to having to use high amounts of
12 antigen, then the idea may be at some of
13 these boost points, you know, titering what
14 you boost.

15 But we're not going to be able to
16 answer all these questions at once. And as
17 I said, I also think there are companies,
18 for example, doing studies or thinking about
19 studies that may inform -- you know, again,
20 just like we said this morning, in three
21 months we could have additional data from
22 one place or another, but we do appreciate

1 these suggestions.

2 I didn't hear a comment on, you
3 know, what -- you know, should we just be
4 happy if there is heterologous immunity? Do
5 people have comments on tying that as was
6 suggested in the discussion of how the
7 Europeans are looking at it to efficacy in
8 the ferret? What do people think of those
9 issues? Because we will at some point bring
10 back to you probably one of these,
11 hopefully, wildly successful vaccines and
12 say does this evidence for priming or
13 heterologous protection, you know, merit
14 either a claim or an indication.

15 DR. KARRON: Nancy?

16 DR. COX: I did notice the sort
17 of question implicit in the presentation
18 about the use of animal models, and I do
19 think that it is informative to use the
20 ferret model. It's perhaps the best model
21 that we have right now, best defined for H5.
22 There are some clear endpoints that can be

1 obtained using challenges and so I think
2 that it would be very useful to include the
3 ferret model for looking at cross-protective
4 antibody.

5 DR. KARRON: Okay. I think we
6 should probably go on to the last question -
7 - discussion point I should say. And this
8 has to do with -- actually, one more --
9 Christine, sorry. This has to do with
10 issues related to safety considerations,
11 pre-licensure safety database, and the
12 issues related to novel manufacturing
13 processes or adjuvants.

14 One comment that I would make
15 that, really, Dr. Robinson's comments made
16 me think about with the sort of mix and
17 match issues is I think that raises real --
18 there are efficacy considerations. There
19 are also safety considerations, because
20 every adjuvant behaves differently with
21 regard to inducing immune responses when
22 combined with different antigens. It also

1 may have different safety profiles when
2 combined with different antigens. And so I
3 think that's something that has to be
4 considered. Dr. McInnes?

5 DR. McINNES: I'll put something
6 out. So in a pre-pandemic setting, I see a
7 relatively high bar to demonstrate safety, a
8 requirement for safety, and I would see that
9 in needing to be in many thousands of
10 people. And I don't know how many
11 thousands. One would have to sort of give
12 that some consideration. But I certainly
13 don't see it on the same scale of what we
14 talked about this morning. So I think this
15 is really no different than the way we would
16 think about licensure of other vaccines,
17 that when you're going to be introducing
18 into a broad population, we have a
19 responsibility to be documenting and
20 characterizing the safety profile. And
21 maybe this gets done in a staged way, in a
22 stacked fashion, but I think that we are

1 looking at large studies.

2 DR. KARRON: Comments, reactions?

3 I'll say that I actually agree with Dr.

4 McInnes in terms of pre-pandemic and

5 pandemic use and that, you know, my sense is

6 the bar would be very different in terms of

7 safety profile in those two settings. Dr.

8 Wharton?

9 DR. McINNES: Yes. I would agree

10 with your comments and in the sub-bullet,

11 there were serious adverse events, those at

12 a frequency of 1 per 100,000 not likely to

13 be detected in a typical pre-licensure

14 database, I think we're unlikely to be able

15 to detect those in atypical pre-licensure

16 database either. It's hard to imagine a

17 study large enough to do that, so I think

18 that one is left with having to come up with

19 plans where those can be identified in the

20 post licensure setting.

21 DR. KARRON: Dr. Stapleton?

22 DR. STAPLETON: One issue thought

1 of as you were talking actually, Ruth, and
2 as with an adjuvanted vaccine down the pike
3 when it's coming up for review, since there
4 can be differences in reactivity and
5 immunologic response to different antigens,
6 it will be important, I think, as different
7 clades come out, even though those are
8 fairly subtle changes structurally, that
9 even clade to clade evaluation will be
10 different, and it's not going to probably be
11 like our current system I would think but
12 I'm not sure as far as being able to go one
13 year to the next. And I guess I'd be
14 interested in what the influenza experts on
15 the other side of the table and the top
16 table if they agree that's going to be an
17 issue or not.

18 So the question is do you think
19 with an adjuvanted vaccine, because you can
20 have quite different responses based on
21 formulations with different antigens, that
22 the year to year variability will need to be

1 assessed more diligently than the current
2 system with the non-adjuvant vaccine?

3 DR. COUCH: Well, I
4 think you can only comment on that in a
5 general way, and I don't want you to --
6 you're looking at me, but you're not looking
7 at an expert on this subject. Let me say
8 that.

9 But adjuvants, if they do what we
10 want them to do, increase the immune
11 response, and the greater the immune
12 response the greater the cross-reactivity if
13 there's something like an antigenic drift
14 that we're talking about -- so I think the
15 general concept we would all accept -- now
16 when you start talking about an individual
17 antigens -- adjuvants, there are TH1
18 adjuvants and there are TH2 adjuvants and
19 their immune response is different, I'm sure
20 the cross-reactivity would differ. And
21 that's data that we don't yet have for a lot
22 of antigens including just a little bit of

1 data, I'd say, on influenza.

2 But in general, I would say that
3 if an adjuvant does what we want it to do
4 and expect it to do, it should increase the
5 cross-reactivity if that's what you were
6 driving at, Jack.

7 DR. STAPLETON: Not exactly.

8 DR. COUCH: Not exactly? Try me
9 again.

10 DR. STAPLETON: No. I just was
11 curious from a regulatory standpoint, safety
12 standpoint if that's going to require a
13 different level of diligence and study
14 annually on a year-to-year basis. And I
15 throw this out as a rhetorical question.

16 DR. COUCH: Oh, safety questions
17 are a whole new subject. When you bring up
18 safety questions for adjuvant and most
19 people know the experience with incomplete
20 Freund's adjuvant in the 1950's. You know,
21 there were probably 10 to 100,000
22 vaccinated, and the responses, they were

1 reported in the military primarily, in the
2 use of this vaccine were excellent. A lot
3 of it was used civilian wise as well, and
4 the adjuvant was shown very clearly to be
5 dose sparing. The general figure I carry in
6 my mind from that data is you could get the
7 same antibody response with about 25 percent
8 as much antigen if you used incomplete
9 Freund's adjuvant along with your vaccine.
10 And that was considered highly desirable in
11 1957.

12 But if it hadn't been shown
13 inappropriately to produce polyoma tumors in
14 mice, and which it was an artifact in
15 retrospect and hadn't been shown to produce
16 sterile abscesses, although they were very
17 rare, it might still be around today as an
18 adjuvant that we knew a great deal about and
19 could be considered quite useful. But
20 that's not where we are. So I think that
21 really you're asking for an FDA comment, but
22 I'm not sure to a considerable extent

1 adjuvants need to be evaluated, that we're
2 almost starting over again with relation to
3 flu vaccine despite the fact that there was
4 a good bit of experience in the 50's.

5 DR. KARRON: So I think what Jack
6 is asking, probably of the FDA, is if you
7 were to license an adjuvanted vaccine for a
8 particular pandemic strain, then would the
9 laws of sort of strain change apply? Or
10 given that you have an adjuvant, would you
11 need to reassess in the context of that,
12 say, a new clade? Do I have that correct?

13 DR. STAPLETON: You said that
14 better than I did. Thank you.

15 DR. BAYLOR: I think -- and let
16 me make sure I have the question right --
17 you're saying if you have an adjuvanted
18 antigen and then we change the strain the
19 next year, then would we require additional
20 data for that? And I think, you know, the
21 manufacturing process is going to drive
22 this. The first product, we're going to

1 look extensively at that adjuvant and the
2 safety of that adjuvant with the antigen.
3 We would have to really think about whether
4 adding a different antigen would really
5 change sort of the profile of that. And,
6 you know, in the absence of data, I can't
7 say that.

8 But sort of on the normal under
9 just general principles, you would not think
10 that that would be the case, that changing
11 that antigen would elicit some kind of
12 safety issue that you didn't pick up in the
13 previous. But -- well, we don't know that.
14 And so we would have to think about that
15 really seriously, to think about whether
16 that new antigen would add some higher
17 concern. But again, it's sort of in the
18 once you've approved the adjuvanted whatever
19 that is that year, it almost falls into the
20 strain change paradigm. But again, it's --
21 we're dealing with the unknown.

22 DR. KARRON: Dr. Hetherington,

1 did you have a comment before?

2 DR. HETHERINGTON: No. I think
3 it's been answered.

4 DR. KARRON: Dr. Treanor?

5 DR. TREANOR: This is a more
6 theoretical -- just a hypothetical issue
7 that's brought up by something that Robin
8 mentioned and this may be naive, but as I
9 understand it, there's an issue with the
10 possibility that we would have difficulty
11 supplying the number of doses we need for a
12 pandemic vaccine even if all the
13 manufacturers who are capable of making the
14 vaccine were operating at full strength.
15 And in this process of evaluation of
16 adjuvants, we might go through, you know,
17 five, ten different adjuvants and find out
18 that a particular adjuvant, adjuvant x, is
19 absolutely ideal for a pandemic vaccine.

20 So the question would be under
21 what circumstances can now manufacturers a
22 to z use adjuvant x for their pandemic

1 vaccine so that we would have an adequate
2 supply? And this is where the mixing-
3 matching idea comes from. And I don't know
4 what the pathway for it is for should a
5 very, very important adjuvant be discovered
6 but only be made by one company, how would
7 this be able to be used by other
8 manufacturers to improve the supply?

9 DR. KARRON: Jesse?

10 DR. GOODMAN: Yes. I think that
11 is what Robin was trying to address. I
12 think there's a whole number of issues which
13 are both scientific and then intellectual
14 property-business relationships, etcetera.
15 But I think the scientific one, and this is
16 I think what triggered Jack's question and
17 what Robin was commenting on, is we have a
18 lot -- there probably are more concerns
19 about an antigen made with one manufacturing
20 process and an antigen made with another
21 manufacturing process and whether when those
22 are mixed with ideal adjuvant x in

1 potentially different circumstances or time
2 points, that could raise a bunch of issues
3 about formulation, stability,
4 immunogenicity, safety.

5 So I think there would be
6 scientific issues that would need to be
7 addressed, you know, probably through
8 clinical studies. And how you would address
9 those would be different perhaps in an
10 emergency versus for a routine product. I
11 think what Robin was saying is that at least
12 in HHS's contracting, they've tried to
13 preserve some ability to at least do some of
14 the studies that would answer those
15 questions.

16 But, you know, I think what we
17 would hope is that as data emerges, if there
18 are ideal candidates, they'd become -- you
19 know, or if some -- I mean there may be more
20 than one candidate that works very well and
21 more than one approach, and there's always
22 value to having that. But if there aren't,

1 then I think this would sort of be both the
2 national public health issue as to how you
3 brought the best technology to bear and, to
4 some degree, a business issue.

5 But I think the big question here
6 and we heard several knowledgeable people
7 comment at WHO that it -- you know, there
8 are things about the chemistry of the
9 manufacturing process and we're aware of
10 these, too, but may not always line
11 themselves to this being a simple matter of,
12 you know, taking x and throwing y in it and
13 add simple things like pH, polarity, water
14 content, etcetera. All these kinds of
15 things may affect behavior with an adjuvant.
16 So -- but this is another area where we need
17 more science.

18 DR. KARRON: Dr. McInnes?

19 DR. McINNES: I'd like to ask the
20 card-carrying influenza accolades for some
21 input on -- I was recalling the data from
22 VTEU studies where there was a very clear

1 dose response curve, immunogenicity wise
2 dose response curve, and then thinking -- and
3 I don't recall the slide as clearly -- but I
4 was struck with the GSK immunogenicity of
5 really a very pretty much flat
6 immunogenicity response and not much of a
7 dose response curve based on this is the
8 adjuvanted product, that they really pretty
9 much looked the same across the spectrum of
10 those concentrations of antigen. And is
11 that typical for -- who has recollections of
12 adjuvanted flu vaccines and whether you have
13 a dose response curve or whether you get
14 almost an all or none, there's some critical
15 level that it -- you get as an equivalent
16 immune response?

17 DR. TREANOR: Pam, that's more or
18 less identical to the pattern that's seen
19 with MF-59 as well.

20 DR. COUCH: The same with MF-59
21 with H9, too, with the adjuvant, but the
22 dose responses there are for non-adjuvant.

1 DR. STAPLETON: Yes. Have you
2 done studies where you've actually reduced
3 the antigen down to levels where you can say
4 that you don't have a dose response? It's
5 maybe that you just get such a good response
6 in that slide, 3.8 micrograms, maybe they
7 just need to go down to .038 micrograms?

8 DR. TREANOR: I haven't actually
9 done these studies directly, so I don't know
10 what the whole dose range is that's been
11 studied.

12 DR. COUCH: I think -- well, you
13 want to -- we'd like to see the lower anchor
14 for dose responses. That's part of what
15 you're saying. We didn't see that one
16 there. But one of the more important
17 aspects -- or maybe one of the important
18 aspects of those, what look like, comparable
19 responses to lower doses is the duration of
20 that response and the pattern of that
21 response which out to be dissected. And I'm
22 probably sure GSK is doing that.

1 DR. KARRON: Dr. Innis, would you
2 like to make a comment?

3 DR. INNIS: I would about the
4 dose response. What we've seen is that
5 there is a very slight dose response. I
6 didn't show you the GMT's and so that
7 distorts things a little bit, but there is a
8 slight dose response. But I expect that
9 we're way up on the shoulder of need or
10 maximum response. And the operation -- of
11 course, we would like to test much lower
12 hemagglutinin concentrations. The issue is
13 that the SRID assay is qualified down to
14 formulate as low as about 2.5 micrograms.
15 And so if we wanted to do less, we could,
16 but we need to come up with ways to do
17 dilutions that everyone would have
18 confidence in.

19 And an even larger question is if
20 you found that these lower doses were, in
21 fact, effective, potentially effective,
22 let's say immunogenic, how would you

1 actually then be able to formulate
2 commercial product and release it into the
3 marketplace. So we're hamstrung right now
4 by the limited quantitation of the SRID
5 assay as it's specially formulated.

6 And this is a very, very serious
7 issue. So if you have thoughts about that,
8 we sure would like to hear about them.

9 DR. COUCH: My comment on the
10 dose response is more of a scientific one
11 than it is a manufacturing one, Bruce, but
12 just scientifically, you'd like to know and
13 understand what's going on with those
14 responses in that adjuvant group, that's
15 one. Manufacturing is quite different.
16 Nobody questions your concern there.

17 The single radial immunodiffusion
18 has problems, and it is an old but has been
19 a reliable assay. But I'm not alone and
20 you're not alone in saying that better and
21 newer assays need to be developed for this
22 purpose, for standardizing vaccines, and

1 pandemic flu may be part of the stimulus to
2 be doing that. And I can't comment on --
3 for some of the people who are beginning to
4 look at some of these things, too. So that
5 concern about the radial diffusion assay,
6 hopefully, is only a temporary one.

7 DR. KARRON: Yes. Would you like
8 to make a comment?

9 DR. WILLIAMS: Yes. My name is
10 Mike Williams. I worked in the flu lab at
11 the FDA from 1976 to 1996, now a consultant
12 to the pharmaceutical industry. I'd like to
13 add in 1978, when SRID was instituted as the
14 potency assay for flu vaccines after the
15 extensive clinical studies, we could not
16 release final vaccine at the potency level
17 of 7.5 micrograms, and potency of vaccine
18 was released on monovalent concentrates as
19 it was really up until really recent years.
20 So there is a mechanism to do this. I think
21 the FDA needs to get creative in working
22 with the manufacturers. I would say if you

1 can make a pandemic vaccine down in the .1
2 or less microgram range, then you really
3 ought to be doing it, and there are
4 mechanisms to do that and there is
5 historical precedence to do it.

6 DR. KARRON: Thank you.

7 DR. WILLIAMS: Any questions?

8 DR. KARRON: Yes. Dr. Goodman?

9 DR. GOODMAN: I would just say
10 we're certainly open to creative solutions
11 to solve the problem. I also do think, you
12 know, with modern chemistry and analytic
13 tools, we can probably maybe do both, find
14 ways to deal with the present situation and
15 also probably find analytic methods that
16 might improve on what exists, might even be
17 better.

18 DR. KARRON: Any other comments
19 that any committee members would like to
20 make or members of the audience?

21 (No response.)

22 DR. KARRON: Okay. In that case,

1 I think our day on pandemic influenza is
2 concluded. Tomorrow, we will begin again
3 with discussions of seasonal influenza
4 vaccine. Thank you all.

5 (Whereupon, at 4:40 p.m., the
6 foregoing matter was concluded.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

CERTIFICATE


This is to certify that the foregoing transcript
in the matter of: Vaccines and Related Biological
Products Advisory Committee

Before: Food and Drug Administration

Date: February 27, 2007

Place: Gaithersburg, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.



Eric Mollen