

1834 7 MAR 27 09:34  
FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS  
ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

WEDNESDAY,  
FEBRUARY 28, 2007

+ + + + +

The meeting convened at 8:00 a.m.  
in Salons A, B, and C of the Hilton  
Washington D.C. North/Gaithersburg, 620  
Perry Parkway, Gaithersburg, Maryland, Ruth  
A. Karron, M.D., Chair, presiding.

ADVISORY COMMITTEE MEMBERS PRESENT:

- RUTH A. KARRON, M.D.  
Chair
- ROBERT COUCH, M.D. Temporary Voting Member
- NANCY COX, Ph.D. Non-Voting Member
- THEODORE EICKHOFF,  
M.D. Temporary Voting Member
- MONICA M. FARLEY,  
M.D. Member
- BRUCE GELLIN,  
M.D., M.P.H. Temporary Voting Member
- WAYNE HACHEY,  
D.O., M.P.H. Temporary Voting Member
- SETH HETHERINGTON,  
M.D. Industry Representative

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

LISA JACKSON,  
M.D., M.P.H. Member  
PAMELA McINNES,  
D.D.S. Temporary Voting Member  
JOHN MODLIN, M.D. Member  
CINDY PROVINCE, R.N.,  
M.S.N., M.A. Temporary Voting Member  
STEVEN SELF, Ph.D. Member  
JACK STAPLETON, M.D.  
Member  
MELINDA WHARTON,  
M.D., M.P.H. Temporary Voting Member  
BONNIE WORD, M.D. Member

This transcript has not been edited or corrected,  
but appears as received from the commercial  
transcribing service. Accordingly, the Food and  
Drug Administration makes no representation as to  
its accuracy.

## FDA PARTICIPANTS:

CHRISTINE WALSH, R.N.

Executive Secretary

NORMAN BAYLOR, Ph.D.

Director, Office of Vaccines Research and  
Review

SARA GAGNETEN, Ph.D.

Scientific Reviewer, DVRPA

RAKESH PANDEY, Ph.D.

Division of Vaccines and Related Products  
Applications

GALINA VODEIKO, Ph.D.

Division of Viral Products

JERRY WEIR, Ph.D. Director, Division of Viral  
ProductsZHIPING YE, M.D.,  
Ph.D.

Division of Viral Products

## SPEAKERS:

TONY COLGATE Novartis

ANGELA OWENS, M.P.H.

Air Force Institute for Operational Health

ALBERT THOMAS Sanofi Pasteur

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## A-G-E-N-D-A

CALL TO ORDER AND OPENING REMARKS .....	6
Ruth A. Karron, M.D., Chair	
ADMINISTRATIVE MATTERS .....	6
Christine Walsh, R.N., FDA	
<u>TOPIC 3: STRAIN SELECTION FOR THE INFLUENZA VIRUS</u>	
<u>VACCINE FOR THE 2007-2008 SEASON</u>	
INTRODUCTION .....	9
Rakesh Pandey, Ph.D., FDA	
U.S. SURVEILLANCE .....	20
Anthony Fiore, CDC	
WORLD SURVEILLANCE/ STRAIN CHARACTERIZATION .....	29
Nancy Cox, Ph.D., CDC	
VACCINE EFFECTIVENESS REPORT - DOD .....	59
Angela Owens, M.P.H., DOD	
VACCINE RESPONSES .....	68
Zhiping Ye, M.D., Ph.D., FDA	
AVAILABILITY OF STRAINS AND REAGENTS ....	84
Galina Vodeiko, Ph.D., FDA	
COMMENTS FROM MANUFACTURERS .....	87
Albert Thomas, Sanofi Pasteur	
OPEN PUBLIC HEARING .....	100
Christine Walsh, R.N., FDA	
STRAIN SELECTION OPTIONS/COMMITTEE DISCUSSION AND RECOMMENDATIONS .....	101
Rakesh Pandey, Ph.D., FDA	
INFLUENZA A (H5N1) VIRUSES UPDATE .....	165
Nancy Cox, Ph.D., CDC	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

TOPIC 4: INFLUENZA TYPE B STRAIN - DISCUSSION ON  
CIRCULATING LINEAGES

INTRODUCTION .....	179
Jerry Weir, Ph.D., FDA	
BACKGROUND AND PRESENTATION OF POSSIBLE VACCINE OPTIONS .....	183
Robert Couch, M.D., BCM	
REGULATORY IMPLICATIONS FOR ALTERNATIVE VACCINE OPTIONS .....	222
Sara Gagneten, Ph.D., FDA	
COMMENTS FROM MANUFACTURERS .....	227
Tony Colgate, Novartis	
OPEN PUBLIC HEARING .....	238
Christine Walsh, R.N., FDA	
COMMITTEE DISCUSSION .....	243
Ruth A. Karron, M.D., Chair	
ADJOURN MEETING .....	272

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:12 a.m.)

3 DR. KARRON: If everyone would  
4 please take their seats. We're going to begin  
5 this mornings session.

6 Yesterday we heard mostly about  
7 pandemic influenza and today we're going to  
8 hear mostly about seasonal influenza.

9 Christine?

10 MS. WALSH: Thank you, Dr. Karron.

11 Good morning. I'm Christine  
12 Walsh, the Executive Secretary for today's  
13 meeting of the Vaccines and Related Biological  
14 Products Advisory Committee.

15 I would like to welcome all of you  
16 to this meeting of the Advisory Committee.

17 Today's session will consist of  
18 presentations that are open to the public.

19 I would like to request that  
20 everyone please check your cell phones and  
21 pagers to make sure they are off or in the  
22 silent mode.

1 I would now like to read into the  
2 public record the conflict of interest  
3 statement for today's meeting.

4 "This brief announcement is in  
5 addition to the conflict of interest statement  
6 read at the beginning of the meeting on  
7 February 27 and will be part of the public  
8 record for the Vaccines and Related Biological  
9 Products Advisory Committee Meeting on  
10 February 28, 2007.

11 This announcement addresses  
12 conflicts of interest for the discussion of  
13 Topic 3, Discussion and Recommendation on  
14 Strain Selection for the Influenza Virus for  
15 the 2007-2008 Season, and Topic 4, a  
16 Discussion on Circulating Lineages of  
17 Influenza B Virus.

18 In accordance with 18 U.S.C.  
19 Section 208(b)(3), waivers have been granted  
20 to: Dr. Robert Couch, Dr. Lisa Jackson, Dr.  
21 Ruth Karron, and Dr. John Modlin.

22 Dr. Seth Hetherington is serving

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as the industry representative, acting on  
2 behalf of all related industry and is employed  
3 by Icagen, Incorporated. Industry  
4 representatives are not special government  
5 employees and do not vote.

6 In addition, there may be  
7 regulated industry or other outside  
8 organization speakers making presentations.  
9 These speakers may have financial interests  
10 associated with their employer and with other  
11 regulated firms. The FDA asks, in the  
12 interest of fairness, that they address any  
13 current or previous financial involvement with  
14 any firm whose product they may wish to  
15 comment upon. These individuals were not  
16 screened by the FDA for conflict of interest.

17 With regard to FDA's guest speaker  
18 for Topic 3, the Agency has determined that  
19 the following information is essential:

20 The following information is being  
21 made public to allow the audience to  
22 objectively evaluate any presence and/or



1 comments.

2 Mr. Albert Thomas is employed as  
3 Director, Bio-Manufacturing, Sanofi Pasteur in  
4 Swithwater, PA.

5 This conflict of interest will be  
6 available for review at the registration  
7 table.

8 We would like to remind members  
9 and participants that if the discussions  
10 involve any other products or firms not  
11 already on the agenda for which an FDA-  
12 participant has a personal or imputed  
13 financial interest, the participants need to  
14 exclude themselves from such involvement, and  
15 their exclusion will be noted for the record.

16 FDA encourages all other  
17 participants to advise the Committee of any  
18 financial relationships that you may have with  
19 any firms, its products and, if known, its  
20 direct competitors.

21 Thank you. And Dr. Karron, I turn  
22 the meeting over to you.

1 DR. KARRON: Thank you, Christine.

2 Our first speaker is Dr. Rakesh  
3 Pandey from the FDA.

4 DR. PANDEY: Thank you, Dr.  
5 Karron.

6 Good morning, everyone. I welcome  
7 you all to this mornings meeting.

8 I am Dr. Rakesh Pandey from the  
9 Division of Vaccines Applications at CBER's  
10 Office of Vaccines where I have been a  
11 scientific reviewer and have been involved  
12 with the review of influenza files for the  
13 last 12 years.

14 I'm going to introduce a topic for  
15 today's discussion on the composition of 2007-  
16 2008 season influenza vaccines.

17 This meeting has been an annual  
18 activity for years. And around this time,  
19 this Advisory Committee meeting is convened to  
20 get its recommendation on the composition of  
21 the influenza virus vaccine for the next  
22 season in the United States.

1           So why do we change influenza  
2 vaccines annually? Influenza vaccine is  
3 probably the most widely used human vaccine in  
4 the United States and millions of doses are  
5 produced and used year after year.

6           Influenza vaccines do not give  
7 long lasting immunity and generally do not  
8 protect well against the strains that are not  
9 included in the vaccine. So as listed on this  
10 slide, efficacy of the influenza vaccine is  
11 related to two things:

12           The efficacy of the influenza  
13 vaccine is considered to be related to vaccine  
14 potency. That's the amount of hemagglutinin  
15 antigen present in the inactivated vaccine,  
16 which is measured by SRID, or a single radial  
17 immunodiffusion acid. The antigen content  
18 relates to the immune-SRID, which is measured  
19 by the immune response seen in HAI assay.

20           Also, the efficacy is related to  
21 the match of HA and NA antigens to those of  
22 the circulating strains. The HA and NA

1 antigens keep on changing continuously, and  
2 that is why influenza virus is considered to  
3 be a moving target as far as the vaccines are  
4 concerned.

5           Influenza vaccines were licensed  
6 in 1945 for the first time in the United  
7 States. And, in fact, within two years of  
8 their use, evidence came up for reduced  
9 vaccine effectiveness because of the antigenic  
10 drift.

11           In order to ensure the  
12 effectiveness of influenza vaccine from season  
13 to season, we review the antigenic composition  
14 of the vaccine every year and change one or  
15 more strains as need, as and if needed.

16           However, in order to come to a  
17 conclusion that a change in vaccine  
18 composition is warranted, these are the four  
19 questions that we need to answer.

20           First, we need to know if there  
21 are new influenza vaccines out there that are  
22 antigenically different from the ones in the

1 vaccines. And for this purpose, the WHO, CDC,  
2 and other agencies are involved in global  
3 surveillance, which is a collaborative effort  
4 to monitor the emergence of new influenza  
5 viruses that might be showing an antigenic  
6 drift or shift.

7           Then, we need to know if these new  
8 viruses are actually circulating and spreading  
9 from one geographical location to another in  
10 human populations. Are such viruses confined  
11 to one geographical location only? Many times  
12 we may see that a new isolate may appear in  
13 one location and then it might just simply  
14 disappear. So we may not have to worry about  
15 those viruses.

16           Now, if an answer to these first  
17 two questions is yes, then we need to know if  
18 the currently used vaccines work well against  
19 the new isolates. So serological studies are  
20 conducted to compare the inhibition of these  
21 isolates against serum obtained from those  
22 vaccinated with the current vaccine. And this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is to answer if the current vaccine strain is  
2 well matched or whether it will work against  
3 the newly identified strains.

4 Finally, if we determine that the  
5 current vaccine strains do not match well  
6 against the new isolates, then we need to ask,  
7 is a suitable vaccine candidate available for  
8 including in the next seasons formulation.

9 So, if you make a recommendation  
10 to include a new strain in the vaccine and it  
11 does not grow well in eggs, it would not help  
12 much, since all the currently licensed  
13 vaccines in U.S. are made in chicken eggs.

14 On this slide we have listed the  
15 recommendations for 2006, 2006-2007 influenza  
16 season. Those are the vaccines that are  
17 currently being used.

18 Last season was one of the few in  
19 recent years when we had two strain changes,  
20 and those were the H3N2 and the B strain. And  
21 as is shown on the slides, you can see the  
22 ones in the red are the actual strains that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were used by the manufacturer of influenza  
2 vaccines in the United States.

3 Just a few years ago, the number  
4 of influenza vaccine manufacturers with  
5 inactivated license vaccine had gone down from  
6 four to two, leaving only Fluzone and Fluvirin  
7 on the market. This happened when King  
8 Pharmaceuticals and Wyeth went out of the  
9 influenza vaccine business.

10 However, since then we have made a  
11 lot of progress. We have overcome the  
12 shortage situation of 2004 and we have two new  
13 inactivated vaccines available for use.

14 Besides Fluzone and Fluvirin,  
15 which have been on the market for quite some  
16 time, we have now GSK's Fluarix and ID  
17 Biomedical's FluLaval licensed. GSK's Fluarix  
18 vaccine was licensed in 2005, and last year we  
19 licensed FluLival.

20 Besides these four inactivated  
21 vaccines, we also have MedImmune's live  
22 attenuated vaccine, FluMist, which was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 licensed in 2003.

2 This slide shows the time line for  
3 vaccine production, and it also highlights the  
4 activities relative to influenza vaccine  
5 manufacturer, which go on for the whole year,  
6 in order for us to have vaccine for use during  
7 the September to January time frame.

8 For the vaccines to be available  
9 for use, one of the other production  
10 activities, as I said before, goes on for the  
11 entire year.

12 New isolates are made available to  
13 the manufacturers by CDC and CBER throughout  
14 the year, and the manufacturers keep working  
15 on them to make sure they are usable in case  
16 they are recommended for use in the vaccines.

17 Then from ordering the eggs to  
18 making the monovalent strains for use in the  
19 vaccines in the U.S., the activities go on  
20 from January and sometimes up to the late end  
21 of fall. And to that point, they will switch  
22 over to the strains for making monovalent for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 use in the southern hemisphere vaccine.

2 So the formulation of trivalent  
3 vaccines usually starts somewhere around June,  
4 and the activities related to filling,  
5 testing, and release of the vaccine could  
6 continue until the end of the year.

7 And finally, any, sometime around  
8 July when the animal strain change supplements  
9 are approved, the distribution activities  
10 begin, and they continue for the next few  
11 months.

12 Now, in order for all of this to  
13 happen, the support activities go on for the  
14 whole year. Around this time of the year,  
15 WHO, U.S. Public Health Services, and VRBPAC  
16 gives recommendation. Then towards fall, the  
17 Southern Hemisphere recommendations come out.

18 And the whole process of  
19 surveillance, identification of new relevant  
20 strain, and preparation of regents continues  
21 for the entire year.

22 So basically, even though we

1 consider influenza vaccines as seasonal  
2 vaccines, they are seasonal only in the sense  
3 of use. In the sense of manufacturing, they  
4 are essentially a non-stop process with hardly  
5 a break in manufacturing.

6 So time taken for the trivalent  
7 vaccine lot to be available after a strain  
8 change, now since there can be a significant  
9 impact of the Committee recommendations on the  
10 manufacturing process, later in this session,  
11 the industry representative will go over this  
12 thing in more detail.

13 As you can see from the slide, it  
14 can take up to six to eight weeks for the  
15 manufacturers to optimize a strain for  
16 production after it has been made available to  
17 them. So any delay in identifying the strains  
18 to be used in the vaccine could delay the  
19 availability of vaccine in the fall.

20 Now, another great limiting step  
21 in this process is the availability of  
22 reagents needed for the manufacturers to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 standardize the vaccine and the assigned  
2 potency value.

3 Now, although the manufacturers  
4 may start production of some of the vaccines  
5 monovalent at risk, a timely VRBPAC  
6 recommendation is critical for trivalent  
7 vaccine to be available in fall, in time.

8 Basically, it could take up to 18  
9 to 20 weeks for the first trivalent lots to  
10 become available after the change in a single  
11 strain and the recommendations. If there is  
12 an additional strain change, it could delay  
13 the process by another few weeks. And if all  
14 three strains have to be changed, the  
15 situation could become really challenging.

16 The WHO held its meeting for the  
17 2007-2008 Northern Hemisphere formulation from  
18 the 11 to 14 of this month, where they  
19 reviewing the surveillance information and the  
20 information on antigenic and genetic  
21 characteristics of the viruses circulating  
22 around the globe. They also reviewed the

1 serological data as how the circulating  
2 viruses, isolated from different parts of the  
3 world, react against the serum obtained from  
4 currently used vaccines.

5 Now, based n the discussions, WHO  
6 gave the recommendations for the vaccine  
7 composition for the 2007-2008 season for the  
8 Northern Hemisphere, and that's published on  
9 their website, which is listed here.

10 And I also have that listed on  
11 this slide, the recommendation basically  
12 reads, "It is recommended that the vaccines to  
13 be used in the 2008 Northern Hemisphere winter  
14 contain the following:

15 An A/Solomon Islands/3/2006  
16 (H1N1)-like virus.

17 An A/Wisconsin/67/2005/(H3N2)-like  
18 virus.

19 And a B/Malaysia/2506/2004-like  
20 virus."

21 And out of these three, only the  
22 H1N1 A/Solomon Islands is a new

1 recommendation. The other two have been from  
2 the last years vaccine.

3 Finally, the agenda for the  
4 Committee would be to review the surveillance  
5 data on epidemiology and antigenic  
6 characteristics, and the serological responses  
7 to the vaccine, and the availability of  
8 candidate strains and reagents, which would be  
9 presented by the next few speakers, and to  
10 discuss which strains should be recommended  
11 for the antigenic composition of the 2007-2008  
12 influenza virus vaccine.

13 So, at this point I will stop and,  
14 unless there are any questions from me, I'll  
15 turn it over to the next speaker.

16 DR. KARRON: Our next speaker will  
17 be Dr. Anthony Fiore from the CDC.

18 DR. FIORE: Hi, I'm Anthony Fiore  
19 from the CDC, Influenza Division. I'm  
20 standing in for Joe Bresee, and I am going to  
21 provide an update on the influenza  
22 surveillance for this current flu season.

1           This depicts the various different  
2 surveillance systems which come into CDC, are  
3 compiled, and then go back out again to you,  
4 and the public, and healthcare practitioners,  
5 and so on.

6           We do conduct laboratory based  
7 surveillance with strains coming into CDC from  
8 a variety of different sources to be  
9 characterized.

10           We have Sentinel Provider  
11 surveillance, which consists of a variety of  
12 different providers who provide isolates and  
13 clinical information on patients that come in  
14 with influenza-like illness.

15           Population-based hospitalization  
16 surveillance, which is conducted in a number  
17 of different areas in the U.S., which were,  
18 those of you who went to David Shay's talk  
19 yesterday saw the map of where our population-  
20 based hospitalization surveillance is  
21 conducted.

22           Assessments by state and

1 territorial epidemiologists about flu activity  
2 weekly from their states.

3 A pediatric mortality surveillance  
4 system, which was setup in 2003-2004 after the  
5 early and fairly severe season that we had  
6 that year.

7 And vital statistics and  
8 registrars who provide us with data for 122  
9 cities mortality surveillance for pneumonia  
10 and influenza, and sorry, influenza, right.

11 And the next five slides are going  
12 to sort of give you a time lapse version of  
13 this years flu season. The season started off  
14 in November with a number of interesting small  
15 town, apparently school-based outbreaks in  
16 North Carolina, some influenza-B outbreaks.  
17 And over the course of the next few weeks, it  
18 kind of evolved to be a southeast-U.S.  
19 phenomenon.

20 And again, mostly concentrated in  
21 the southeast toward the end of last year, and  
22 then as we came into this year, spread

1 throughout other states.

2 And you can see by the color-  
3 coding, regional activity as assessed by the  
4 state and territorial epidemiologists is  
5 depicted in blue, and widespread activity in  
6 red.

7 And then this past week you can  
8 see where we have a widespread or regional  
9 activity in most states.

10 This is the Sentinel Providers  
11 surveillance system. And what you see here is  
12 the last two years tracked by the solid lines,  
13 and this current flu season by the red line  
14 with the diamonds on it. And it predicts the  
15 percentage of visits to the Sentinel Providers  
16 for influenza-like illness by week. And as  
17 you can, there's a dotted baseline there which  
18 depicts what the average is thought to be, the  
19 national baseline, and then over the course of  
20 the year the people who come in for INI is  
21 shown by the lines.

22 And what you can see here is this



1 season, which is depicted up to about week  
2 seven or so, we have a fairly typical  
3 percentage of visits for ILI. It tracks more  
4 or less the same as the last two years.

5 Here is information from our  
6 influenza hospitalization surveillance. This  
7 is laboratory-confirmed influenza in the new  
8 vaccine surveillance network, which is three  
9 sites around the U.S. And it tracks  
10 hospitalizations due to lab-confirmed  
11 influenza among zero to four year olds.

12 And this shows the last six  
13 seasons, the previous five seasons by the  
14 solid lines, and this season by the incomplete  
15 red line with the red circles on it. And what  
16 it shows you is that this season is very  
17 similar to four out of the last five seasons,  
18 that the blue line is the 2003-2004 season,  
19 which had early reports of illness among  
20 children, severe illness.

21 This is the Emerging Infections  
22 Program, this is, I believe, eight sites

1 around the country. It again was shown  
2 yesterday by David Shay on the map. Again,  
3 this is lab-confirmed influenza vaccinations.  
4 This is just shown for the last three years,  
5 sorry for the last four years counting this  
6 one. And this shows not only 0 to 4 year  
7 olds, but also 5 to 17 year olds. The younger  
8 children are shown with solid lines, the older  
9 children with the dotted lines. And you can  
10 see this season's information, again with the  
11 red lines with the circles showing the 0 to 4  
12 year olds, and then right there on the x-axis  
13 the dashed red line showing the 5 to 17 year  
14 olds. And this is a cumulative number of  
15 hospitalizations and that's why the number go  
16 up like that.

17 And then this is the pneumonia and  
18 influenza mortality surveillance system, often  
19 called the 122 city surveillance, showing the  
20 pneumonia and influenza mortality as reported  
21 by death certificates and registrars around  
22 the country. What is depicted by the black

1 lines, the top black line is the epidemic  
2 threshold, and the bottom, the bottom black  
3 line is the seasonal baseline. And then the  
4 actual reports are tracked with the red lines.  
5 So you can see, we haven't actually spiked up  
6 over the epidemic threshold in this flu  
7 season.

8 Now, I mentioned that in 2003-2004  
9 we started a pediatric death surveillance.  
10 And as of February 15 of this year, CDC has  
11 received 15 reports of influenza-associated  
12 pediatric deaths. Ten of these were among  
13 children five years of age or older. Three  
14 had underlying medical conditions. Five had  
15 no known underlying conditions. And two's  
16 previous health status are yet unknown. Nine  
17 of these children were unvaccinated, speaking  
18 strictly of the ten that were 5 years of age  
19 or older.

20 And then as compared to the last  
21 couple of flu seasons, in 2004-05, 44 deaths  
22 were reported. In 2005-2006, 48 deaths, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then going back to 2003-2004, 153 deaths.

2 This is the Collaborating  
3 Laboratories Strain Surveillance Summary for  
4 2006-2007. And what you see depicted here is,  
5 let's see, yes, of the 10,458 viruses typed,  
6 84 percent of them had been Influenza-A, 16  
7 percent, B. Of the 28 percent of those that  
8 have been sub-typed, 87 of the As were H1, and  
9 13 percent of the As were H3. And we've seen  
10 an increasing percentage in the last couple of  
11 weeks of H3s.

12 Switching over to anti-viral  
13 resistance data generated so far this year and  
14 as compared to last year, you'll recall last  
15 year there was the identification of high  
16 levels of adamantine-resistants among  
17 isolates, starting in 2005. And for  
18 comparison, in the last flu season, two out of  
19 eight, or 25 percent of the H1s are  
20 adamantine-resistant. And 192 of 209 H3s  
21 tested, or 92 percent, were adamantine-  
22 resistant.

1                   There are fewer of these  
2 adamantine-resistants, or the proportion of  
3 the adamantine-resistants is lower this year,  
4 but there is still a considerable amount of  
5 it. And global surveillance so far, three  
6 percent of the 199 H1s tested have been  
7 resistant. Forty-four percent of the H3s  
8 tested have been resistant. Among U.S.  
9 isolates, the numbers are similar, one percent  
10 of H1s and 33 percent of the H3N2s, although  
11 we don't have very many of those tested so  
12 far.

13                   As far as resistance to  
14 neuraminidase inhibitors, oseltamivir or  
15 zanamivir, among the isolates tested so far  
16 since 2005, and that's 437 isolates, there  
17 have been none identified as being resistant.

18                   And just to update you on issues  
19 that will come up in front of the ACIP over  
20 the next year, and also came up at the meeting  
21 last week, of course the new vaccine strains,  
22 which we're here today to discuss. The

1 recommendation, the ACIP recommended, as you  
2 would expect, that adamantine not be used in  
3 treatment of influenza-A viruses.

4 We did make one change to the  
5 recommendations. And this had to do with  
6 harmonizing the American Academy of Pediatrics  
7 and the ACIP recommendations for young  
8 children in a specific subset. As you would  
9 recall, young children who are six months to  
10 less than nine years of age who get vaccinated  
11 for the first time are supposed to get two  
12 doses. What, the disharmony occurred had to  
13 do with children who only got inadvertently  
14 only got one dose in that first year. And  
15 what the ACIP recommendations have been  
16 changed to, and what the AAP recommendations  
17 already were was that those children who got  
18 one dose in their first year of being  
19 vaccinated in that age range, in the second  
20 year of being vaccinated should go ahead and  
21 get two doses.

22 Now, what was not changed was the

1 age groups or the risk groups that were  
2 recommended for routine vaccination. And  
3 there is going to be continuing discussion of  
4 advancing the recommendations to include other  
5 age-groups or risk groups over the next  
6 several years. But for this coming flu  
7 season, the recommendations have not changes  
8 as far as that goes.

9 And that's all I have to say. I  
10 can either take questions now or do you want  
11 to wait after the session, either way.

12 DR. KARRON: We can take questions  
13 now if there are any.

14 Okay. Thank you, Dr. Fiore.

15 Our next speaker is Dr. Nancy Cox  
16 from the CDC, who will tell us about worldwide  
17 surveillance.

18 DR. COX: Thanks very much. It's  
19 a pleasure to be here. And I'll try to make  
20 my presentation as comprehensible as possible.  
21 For those of you sitting in the back of the  
22 room, I know you won't be able to see the

1 slides, so there are plenty of seats in front  
2 if you would like to move up and have a better  
3 view.

4 I'm going to be talking about what  
5 we're seeing globally with respect to  
6 influenza activity and influenza viruses.

7 I will be talking about  
8 hemagglutination-inhibition data, with post-  
9 infection ferret sera.

10 I will be talking about the  
11 genetic analysis of the HA and the NA genes of  
12 the viruses.

13 And I will not be talking too much  
14 about the serology, the post-vaccine serology  
15 unless you have specific questions, based on  
16 the data in your packages, because I think  
17 that data will be covered by Dr. Zhiping Ye  
18 later on.

19 Influenza H1 activity has been  
20 relatively light, although influenza H1  
21 viruses have predominated in the United States  
22 and a few other countries, and in a few other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 countries have caused moderate outbreaks, or  
2 moderate levels of activity. But really, H1N1  
3 hasn't caused many problems, except in the  
4 United States.

5 If we look globally at the number  
6 of H1N1 viruses that were isolated within  
7 WHO's global influenza surveillance network,  
8 we can see that for 2006-2007, which is shown  
9 in the blue line, we really have relatively  
10 low numbers of H1 activities isolated  
11 worldwide.

12 What that means, of course, is  
13 that we have a limited amount of data. If we  
14 look at the viruses that are characterized in  
15 all of the WHO collaborating centers, our own  
16 included here, we had the largest number of H1  
17 viruses characterized during this period from  
18 September to the current time. But there were  
19 also a significant number characterized in  
20 Australia.

21 So, what we see is that we have,  
22 the majority of viruses are still New

1 Caledonia like, but there are a number of low  
2 reactors. And they were seen particularly at  
3 the WHO collaborating center in London, where  
4 they had a higher proportion of low reactors.

5 I apologize for the HI table, but  
6 I think it's important for us to go over  
7 carefully and to understand the kind of data  
8 that we're looking at on a weekly basis.

9 All of the WHO collaborating  
10 centers generate one or two HI tests per week  
11 on average, or at least we do. And we often  
12 have to retest viruses if they appear to be  
13 low and we want to make sure that they're  
14 actually low reactors.

15 So what we have here are the  
16 reference antigens, starting with New  
17 Caledonia, the vaccine strain, and we have a  
18 number of other reference antigens, which we  
19 have put into ferrets to develop post-reaction  
20 ferret serum.

21 So these across the top are the  
22 corresponding reference ferret antisera. And

1 what we're really looking for is a lower level  
2 of reactivity than we see with the homologous  
3 virus interacting with the homologous serum.

4 So here you see a number of  
5 viruses, the Kentucky, the Virginia, and the  
6 St. Petersburg, which are very well inhibited,  
7 equally well-inhibited by anti-serum to the  
8 New Caledonia vaccine strain, as New Caledonia  
9 is itself.

10 Starting here with the  
11 Hawaii/15/2001 strain, we see quite a marked  
12 reduction in the ability of anti-serum to the  
13 New Caledonia virus to inhibit  
14 hemagglutination of this virus.

15 And if we look across here, we can  
16 see that it's true not only for the New  
17 Caledonia serum, but for the Kentucky serum  
18 and the Virginia serum, as well.

19 So Hawaii/15/2001 was one of the  
20 first viruses that we saw which had a specific  
21 amino acid change and a corresponding  
22 difference in activity with the New Caledonia

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 serum.

2 When we put the Hawaii virus into  
3 ferrets, we got a homologous titer of 320 and  
4 viruses like it in having that same amino acid  
5 change, which I'll talk about in more detail  
6 later, are well-inhibited by anti-serum to  
7 this particular virus.

8 So we've, we've been able to  
9 really distinguish viruses that have a change  
10 from lysine to glutamic acid at amino acid  
11 144. And that is antigenically important area  
12 of the globular head of the HA.

13 So we can really group these  
14 viruses into two groups, those that are well  
15 inhibited by the New Caledonia serum and those  
16 that are not, but that when we make antiserum  
17 to these viruses, they cover viruses with that  
18 144 change.

19 So here we have a lot of test  
20 antigens that have been isolated, many of them  
21 during the last couple of months in December  
22 and January in the United States. And really

1 the majority of them are well-inhibited by the  
2 New Caledonia serum. However, you can see  
3 this Texas virus, which is not, is well-  
4 inhibited by serum to these viruses, including  
5 the Solomon Islands reference strain, which  
6 I'll talk about later.

7 Likewise, if we look at viruses  
8 from Asia, we see that there are some viruses  
9 that are still well-inhibited by the New  
10 Caledonia serum, but we see a larger  
11 proportion of viruses from Asia, which fall  
12 into this other group with the change from  
13 lysine to glutamic acid at amino acid 144, and  
14 they're well inhibited by antisera to these  
15 reference strains, including the first one,  
16 the Hawaii/5, and the Solomon Islands/2006,  
17 which is the current reference strain.

18 I should note here that this is  
19 Solomon Islands IVR 145, which is a high  
20 growth re-assortant, which has been produced  
21 in Australia and circulated to the other  
22 collaborating centers in time for us to

1 produce antiserum against this particular  
2 virus.

3 So, in summary, while we still  
4 have a number of viruses which are well-  
5 inhibited by the New Caledonia antiserum, we  
6 see a growing proportion of viruses,  
7 particularly in Asia, which do have the  
8 signature change and which are better  
9 inhibited by antiserum to viruses like the  
10 Solomon Islands virus.

11 If you look at just our own CDC  
12 data, you can see quite clearly that although  
13 the proportion of viruses that are, that have  
14 a reduced titer to New Caledonia is really a  
15 moderate proportion, and actually somewhat  
16 less than we saw during the period of April to  
17 September. We have, we see viruses  
18 predominantly from the United States during  
19 this October to February period, but if you  
20 look at where we're seeing the low-reacting  
21 viruses, it's in Asia and Central and South  
22 America. And so, specifically, the majority

1 of the viruses that have been sent to us from  
2 Asia during this period from October to  
3 February, as well as the period from April to  
4 September 2006, from Asia, are low-reactors.

5 Now, I apologize. This is going  
6 to be very difficult for you to see in the  
7 back of the room, and I realize that your  
8 handouts are not color coded. The color  
9 coding actually helps a great deal because  
10 we've color coded the viruses by month of  
11 isolation so that we could really depict where  
12 we're seeing, if we're seeing a trend toward  
13 more viruses being in one group or another.

14 What you can see here is that the  
15 H1N1 viruses genetically divide into two  
16 distinct groups, or clades, Clade 1 here and  
17 Clade 2 at the top. These changes did not  
18 confer antigenic changes on the viruses,  
19 however. So we were not able to distinguish  
20 viruses from these two groups until we started  
21 seeing the change 144, the lysine, oh, this is  
22 the lysine to arginine, which is a different

1 change, until we started seeing the lysine to  
2 glutamic acid change pop up.

3 And it's a little bit, well, it's  
4 really quite interesting actually, because  
5 we're seeing that this change is occurring in  
6 separate subgroups. So we see the change up  
7 here independently occurring, or so it appears  
8 to be independently occurring, and in this  
9 group here, where the Solomon Islands  
10 referenced strain and reassortant viruses.

11 And so no matter where the virus  
12 is on the tree, if it has that lysine to  
13 glutamic acid change at 144, it is poorly  
14 inhibited by the New Caledonia serum and well  
15 inhibited by antiserum to the Solomon Islands.

16 And so what, when you see a change  
17 that is occurring, apparently independently in  
18 different parts of the tree, what you tend to  
19 think is there may be selective pressure in  
20 the population to select that particular amino  
21 acid at that position.

22 If we look at the evolutionary



1 tree of the neuraminidase genes, you can see  
2 that we don't have as many strains on this  
3 tree and we haven't really sequenced quite as  
4 many neuraminidase genes. We'll be sequencing  
5 more in the future because we'll be trying to  
6 do more high throughput sequencing, but you'll  
7 see that the Solomon Islands virus here is in  
8 the Clade 2 just, neuraminidase is in the  
9 Clade 2 just as the hemagglutinin was.

10 So there is correlation between  
11 where in the tree the hemagglutinin is and  
12 neuraminidase. And you'll see that we do have  
13 a number of viruses -- I should back up one  
14 and say that we do, we're still seeing quite  
15 a few viruses from the U.S., recent viruses  
16 from the U.S., in this group down here, which  
17 doesn't, and most of the viruses in Clade 1 do  
18 not have that 144 change.

19 So here is the old vaccine strain,  
20 which I should point out is the 99-strain.  
21 The Solomon Islands reference strain in Hong  
22 Kong/2652 is another of the reference strains

1 that has been important in our understanding  
2 of what's going on with the H1N1 viruses.

3 So if we look, step back and  
4 summarize what we've seen globally, we can say  
5 that H1N1 viruses have been circulating at a  
6 low level, but sporadically in Canada, South  
7 America, and the Russian Federation.

8 H1N1 viruses, however,  
9 predominated in the United States. Many H1N1  
10 viruses have remained antigenically like the  
11 New Caledonia vaccine strain, but a proportion  
12 of recent H1 viruses, particularly those from  
13 Asia, have been antigenically distinguishable  
14 from the vaccine strain. And, as I mentioned,  
15 these viruses were more closely related to  
16 early-Hawaii/15 strains and then these other  
17 reference strains, specific, and I want to  
18 note the Solomon Islands/3/2006. And the  
19 majority of those viruses do have this  
20 mutation that I spoke about.

21 Okay. We'll move on to the H3N2  
22 viruses. H3N2 activity has been very moderate

1 in many parts of the world. We started out  
2 with some H3N2 sporadic activity in a lot of  
3 the world, and then some increasing activity  
4 in Scandinavia. And then by January, we were  
5 seeing a number of European countries with  
6 slightly greater intensity of H3N2 activity,  
7 and Canada was having quite a bit of H3N2  
8 activity.

9 If we look at the number of  
10 viruses that were H3N2 viruses that were  
11 isolated with the Global Influences  
12 Surveillance Network, again looking at the  
13 blue line here, we see that there really were  
14 not many viruses isolated globally compared to  
15 previous years, where we had a lot more H3  
16 activity than we had this past season.

17 And this is reflected in this  
18 table here. If you look at the H3 table, you  
19 can see that between February and September of  
20 '06, there were over 1,000 viruses isolated,  
21 between October '06 and January '07 we've only  
22 had, or had only 319 viruses characterized by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all of the four WHO collaborating centers.  
2 And that was as of the second week in  
3 February.

4 This is our most recent H3HI  
5 table. And again, I'll walk you through it  
6 fairly slowly and carefully. We have included  
7 only one HI Table in our package this year  
8 because we felt that it was the best summary  
9 of what's going on and includes the Ferret  
10 Sera from some of the most recent viruses.  
11 And these data were not even available from  
12 the WHO Meeting, so these are very fresh data.

13 So we'll look here, starting from  
14 the left with the California/07. This is an  
15 old vaccine strain. The current vaccine  
16 strain, Wisconsin/67/2005 and its  
17 corresponding high-growth reassortant, 161B,  
18 and its corresponding antiserum.

19 We have other viruses, including  
20 one from the U.S., Kentucky, one from South  
21 America, Santiago, a Florida, and then you'll  
22 see the last two antigens, Nepal/921 and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Canada/1212, which I'll talk about later.

2 The Canada/1212 was actually used  
3 as a serology antigen, as was the Santiago  
4 virus. And you'll see those data later.

5 Now, what we've been seeing over  
6 time is that there's an increasing number of  
7 viruses that are poorly inhibited by antiserum  
8 to the wild type Wisconsin/67 strain or the  
9 actual vaccine strain, R161B. And you'll see  
10 that these viruses, however, react quite  
11 poorly with all of the ferret antisera that  
12 we've been able to generate.

13 Now, this table just shows a small  
14 number of the failed antisera that we've been  
15 able to generate, either using cell isolates  
16 or egg isolates. And I'll talk more about how  
17 many egg isolates we've actually had in hand  
18 later on, because that's increased  
19 significantly and I think it's important for  
20 the Committee to know.

21 But what we can see here in this  
22 reference panel is we don't get a great deal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of differentiation. It's not like the  
2 situation that we saw for the H1N1 viruses  
3 where you could see that clearly there were  
4 viruses which were low on this side, and then  
5 a group of viruses that were high on this  
6 side, and the antisera that corresponded to  
7 those viruses didn't inhibit the other viruses  
8 very well.

9 So, we have here what is a much  
10 less clear-cut situation. We have really  
11 struggled with what these low-reactors mean.  
12 And there is a tendency right now to think  
13 that these, many of these low reactors are  
14 actually low avid viruses in the  
15 hemagglutination-inhibition test. And the way  
16 that you can eyeball this and determine  
17 whether they are low avid or not is to look at  
18 what the difference is in the titer between  
19 the virus and the reference strain that you're  
20 looking at, and if it's a four-fold difference,  
21 just multiply everything by four; if it's a  
22 16-fold difference, multiply all these values

1 by 16, and see whether you get a pattern  
2 that's similar to that for the reference  
3 strain.

4 And what we've determined, and  
5 I'll show you a graphic representation, is  
6 that many of these appear to be low-avid  
7 viruses. Now, we don't really understand as  
8 much as we would like to about avidity. And  
9 we think that, well we know that the receptor  
10 binding properties of H3 viruses have been  
11 evolving over time, and that some changes  
12 occurred a few years ago that we believe have  
13 affected our ability to discriminate viruses  
14 using the hemagglutination inhibition test.

15 Nevertheless, whether we're  
16 looking at viruses from the U.S., Canada,  
17 Europe, or Asia, we do see viruses that are  
18 well inhibited by the Wisconsin antiserum.  
19 And if we look at these two most recent  
20 antisera, we see that while they cover some of  
21 the low reactors somewhat better than the  
22 Wisconsin antisera, or both that the antisera

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do, they really, you still are seeing a lot of  
2 viruses which have a 4-fold or greater reduced  
3 titer against these strains.

4 The Nepal/921 is an egg-isolate.  
5 And that was put into ferrets. And the  
6 Canada/1212, which is related genetically is  
7 a cell-based virus put into ferrets. And  
8 whether you have an egg isolate or a cell  
9 based isolate, you still see these low  
10 reactors.

11 So if we don't take avidity into  
12 account and we just strictly look at the  
13 number of viruses that are, that have a 4-fold  
14 or greater reduced titer to the antiserum to  
15 the vaccine strain, we see that we have about  
16 59 percent of viruses which are low reactors.  
17 And we do have relatively small numbers  
18 compared to what we've had in the past, but  
19 these viruses are geographically spread, so  
20 we're seeing low reactors around the world,  
21 but they are behaving similarly in perhaps  
22 being low avid.



1           We have been trying very hard to  
2           improve ways to look at the HI data, the  
3           ferret data, and these methods will be  
4           extended to look at the human serologic data.  
5           Derek Smith from the University of Cambridge,  
6           has developed a field which he has called  
7           antigenic cartography. He's pioneered this  
8           using data from the WHO Global Influenza  
9           Surveillance Network. And basically what it  
10          does is mathematically calculate different  
11          distances, antigenic differences between  
12          viruses and then display this information  
13          graphically.

14                 Well, if you're accustomed to  
15          looking at HI tables and you look at them  
16          everyday, your brain is actually doing a lot  
17          of it. It's amazing what, how much your brain  
18          can actually do on its own, but it's nice to  
19          have a visual display, especially when you're  
20          getting up in front of a group like this.

21                 So Derek has, here is some of the  
22          old vaccine strains, the Sydney, the Wyoming,

1 the New York, here is our current vaccine  
2 strain here in blue, and some virus strains  
3 that were used previously. And we're starting  
4 to see some outliers. You want to see some  
5 viruses really clustering around your vaccine  
6 strain. And if you look over time, which I  
7 haven't done here because we don't have enough  
8 time to review old data, but you would see  
9 that for the time that the vaccine, this  
10 vaccine was used, that there were, the  
11 majority of viruses were really clustering  
12 very closely around this. And each one of  
13 these squares represent a two-fold difference  
14 in antibody titer.

15 And then if you look at our CDC  
16 data generated from viruses that were isolated  
17 in December, you can see a scatter somewhat  
18 away from the list of Wisconsin/67 virus. And  
19 then if you look at January again, you see the  
20 scatter.

21 Derek has written a program which  
22 will account for avidity, and so he's

1 incorporated into his program an avidity  
2 correction, and so I'll just go through those  
3 same data. And what you see is that the  
4 viruses are actually, when the avidity  
5 corrections are done, the viruses are actually  
6 pulled closer to the Wisconsin/67 vaccine  
7 strain, although there are some viruses which  
8 are fairly far away, getting to be 4-fold, or  
9 8-fold, or greater. But certainly the  
10 differences are less for the July data.

11 For the December data, we are  
12 still seeing viruses out here. This is the  
13 Canada virus, Canada virus here, and Nepal  
14 virus here. So these viruses are really not  
15 being pulled toward the Canada and Nepal.

16 And then for the few January  
17 viruses that we've had in hand to analyze,  
18 we're still seeing this scatter over here, but  
19 a few more viruses that are clustering around  
20 Canada and Nepal.

21 If we look at the genetic data,  
22 we've actually sequenced a fairly large number

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of HA genes. Even in the last couple of  
2 weeks, we've been able to sequence well over  
3 50 HA genes from recent viruses. And we were  
4 very interested because we were starting to  
5 see two primary groups emerging. One here,  
6 which is represented by the Brisbane/9/2006  
7 virus, which was isolated in June during the  
8 Southern Hemisphere season, and another which  
9 is represented up here by Nepal/921, which I  
10 pointed out on the HI table, and the  
11 Canada/1212 virus, which was isolated in  
12 December.

13 I should mention that a lot of the  
14 data on here has, the data have been provided,  
15 the sequence data have been provided by some  
16 of the national labs. The Canada lab provided  
17 us with sequence data. And we actually get  
18 data exchanged among the four collaborating  
19 centers and as many of the National Influenza  
20 Centers as possible. So we really have a lot  
21 more data than I'm showing you on here, but  
22 I'm just really trying to demonstrate what

1 we're seeing.

2           Again, the most recent viruses are  
3 shown in purple. The December viruses in  
4 pink, November in orange. So you're looking  
5 for the more intense red colors here to see  
6 where the trends are. And what we're seeing  
7 is that the most recent viruses, and it is  
8 about 50/50, slightly more in this group than  
9 this group, but about 50/50 distribution of  
10 recent HA's into this group here, which has  
11 characteristic arginine to glycine change at  
12 142, and then some additional changes. You  
13 can see that there are subgroups here with  
14 additional changes. We do have a lot of  
15 viruses, and they are from Asia, from the  
16 U.S., and Europe in this group as well.

17           Likewise, if we look at the NA  
18 genes, here is our Wisconsin vaccine strain.  
19 Here are the two groups that I was referring  
20 to before, the Brisbane Group and the Nepal  
21 Group. And you can see that the viruses,  
22 again, they're color-coded so that the most

1 recent viruses are shown in purple and pink,  
2 and the NA's are segregating along with the  
3 HA's.

4 So, in summary, Influenza A H3N2  
5 viruses have been difficult to analyze.  
6 However, activity caused by H3N2 viruses was  
7 low, generally speaking, around the world.  
8 However, there were outbreaks during the  
9 period September to the current time in  
10 Madagascar, Canada and a number of European  
11 countries.

12 Many of the viruses globally were  
13 antigenically closely related to Wisconsin and  
14 Hiroshima. The Hiroshima virus is the vaccine  
15 virus used in Japan. But an increasing  
16 proportion of viruses was antigenically  
17 distinguishable from the vaccine virus,  
18 viruses.

19 And increased heterogeneity was  
20 observed in the HA sequences from recent  
21 viruses and no emergent antigenic variant  
22 group was identified. And that is, I think,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the key to focus on. If you'll remember, we  
2 really didn't see the same kind of dichotomy  
3 in the ferret antisera that we saw with the  
4 H1N1s.

5 Okay. We'll move on to Influenza  
6 B Viruses. Influenza B has also circulated at  
7 low levels. There really hasn't been much  
8 activity, except for sporadic activity in a  
9 few school outbreaks and so on. But if we  
10 look overall, the Influenza B viruses have  
11 circulated at relatively low levels, which is  
12 shown very clearly here in the number of  
13 Influenza B viruses isolated in the WHO's  
14 Global Influenza Surveillance Network. And  
15 you see almost a baseline the number of  
16 Influenza B viruses.

17 So the total number of viruses  
18 that we've had to look at is even smaller.  
19 And we have them divided into two distinct  
20 lineages. And of course, this afternoon's  
21 discussion will concentrate on the fact that  
22 we have these two distinct lineages of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Influenza B viruses.

2           So these are the four WHO  
3 Collaborating Centers, and sorry that this  
4 didn't get, that it must've got transposed.  
5 But you can see that in Australia they had 95  
6 percent of viruses being Victoria-lineage. In  
7 the U.S., we had more B viruses than any other  
8 Collaborating Center to examine. And 76  
9 percent of the viruses that we examined were  
10 Victoria-lineage viruses, thus matching the  
11 vaccine lineage.

12           But we're seeing some low  
13 reactors, nothing really different than what  
14 we've seen before, as I'll show you in the  
15 next table. Here, we have color-coded in this  
16 yellow mustard color the viruses that are on  
17 the B/Yamagata lineage. And to the right we  
18 have the viruses that are on the B Victoria  
19 lineage, including the Malaysia vaccine  
20 strain, which has been used in all countries  
21 by all manufacturers.

22           These are the most recent viruses



1 we have. We have some from December, just I  
2 think only one from January. We'll expect to  
3 get some more later on. But we have viruses  
4 from the U.S. and from Asia, and we see that  
5 if we look at the homologous titer here of  
6 1280, we do see a number of viruses that are  
7 reduced in titer. But that has been something  
8 that we have been seeing for a long time.

9           And what we know about the B  
10 Victoria viruses is that once they are  
11 isolated in eggs, they lose an important  
12 glycosylation site, which is right up at the  
13 tip of the hemagglutinin. And once they lose  
14 that glycosylation site and are put into  
15 ferrets, they induce antibody that is not as  
16 broadly cross reactive as the cell  
17 counterpart. And we have put many viruses  
18 into eggs and have found that even if you  
19 retain a glycosylation for one or two  
20 passages, if you pass it sequentially you  
21 eventually lose that glycosylation site. So  
22 this has been problematic for egg-based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 manufacturers.

2           So, if we look at what we are  
3 seeing at CDC, we're certainly seeing the  
4 majority of viruses in the Victoria lineage.  
5 That is, in the same lineage as the vaccine  
6 strain. A smaller number, which we're calling  
7 Florida-like, which would be a potential  
8 vaccine strain if we were to move to the  
9 Yamagata lineage, but of course, globally and  
10 within the strains that we've had from the  
11 USA, we've certainly seen a predominance of  
12 the Victoria like viruses.

13           If we look at the HA genes, now  
14 we're looking at the Yamagata lineage. And  
15 this is just for full information, not really  
16 as pertinent to our discussion today, but just  
17 to let you know that if we were to have, to  
18 move to the Yamagata lineage, we have some  
19 very good vaccine candidate strains, which  
20 produce antisera that do a very good job at  
21 inhibiting viruses on that lineage. So this  
22 is the old vaccine strain, so we do have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 contemporary egg run viruses that could be  
2 used, should that become necessary in the next  
3 few years.

4 For the Victoria lineage, you see  
5 that we really have much slower genetic change  
6 than we have seen for the H3N2 viruses. And  
7 this is typically what we see. The HA of the  
8 Influenza B virus does evolve more slowly.

9 So here we have the vaccine  
10 strain, Malaysia/2506/2004, and then the  
11 viruses that are more contemporary that we've  
12 been seeing. And you'll see some of the  
13 viruses that are egg isolates. As I've  
14 mentioned, they've all lost the glycosolation  
15 site. And we have a number of them. They are  
16 noted by the hatch mark, so you can see that  
17 we really do have quite a lot of egg isolate.

18 The neuraminidase genes are also  
19 not changing all that much. Here is the B  
20 Malaysia vaccine strain. Here are a number of  
21 the viruses, and again, you can see that we do  
22 have a number of egg isolates shown here.

1           So, in summary, Influenza B  
2 viruses have circulated in many countries;  
3 however, outbreaks or large outbreaks, apart  
4 from institutional outbreaks, have not been  
5 reported since September 2006 and January  
6 2007.

7           Both lineages have continued to  
8 circulate, but the Victoria lineage viruses  
9 have predominated. And if you look at the WHO  
10 data overall, it was about 82 percent of  
11 viruses were of the B Victoria lineage.

12           The Yamagata lineage viruses were  
13 closely related to those strains that I  
14 mentioned that were egg isolates and could be  
15 used. And most B Victoria lineage viruses  
16 were antigenic closely related to B Malaysia,  
17 taking into account that we have an egg  
18 isolate that's gone into ferrets and we're  
19 looking at mostly cell-based, cell isolated  
20 viruses.

21           Now, this is just to show you that  
22 we've been working very hard to increase the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 number of egg-grown viruses. And this is  
2 shown by fiscal year. And our fiscal year and  
3 our influenza seasons sort of start at the  
4 same time officially on October 1. So we have  
5 really increased the number of egg isolates  
6 that would be available to manufacturers,  
7 should that particular egg isolate look like  
8 it's an appropriate vaccine strain.

9 So, for the last fiscal year,  
10 which ended September 30, 2006, we had 255 egg  
11 isolates distributed fairly well across the  
12 different types and subtypes. Now, I should  
13 mention that obtaining H3N2 isolates has been  
14 exceedingly difficult. And if we put 100  
15 clinical specimens into eggs or into kidney  
16 cells and then pass on to eggs, we're likely  
17 to get out five if we're lucky. So it really  
18 does require a lot of work for the H3N2  
19 subgroup of viruses.

20 I think I'll stop there and take  
21 questions. If anyone has questions about the  
22 human serology data that you see in the

1 package, I can answer those questions now  
2 because I have the slides available.

3 DR. COUCH: Just one quick  
4 question, Nancy, for clarification to see if  
5 my assumptions are correct. When you say a  
6 low reactor, you spent a good amount of time  
7 on the H3 antigens with the ferret sera, a low  
8 reactor would be low reactor with say the  
9 Wisconsin antisera. But with your other  
10 ferret sera, no reactions that gave it a  
11 different identity? Is that --

12 DR. COX: That's correct.

13 DR. COUCH: -- what low reactor  
14 means?

15 DR. COX: So, okay, so when I say  
16 low reactor in my table, I'm really talking  
17 about the number of viruses that have a 4-fold  
18 or greater reduced titer to the antiserum to  
19 the vaccine strain. But when I was looking at  
20 the H3s, we were looking very specifically  
21 because we're seeing this pattern of low  
22 reactors across the board. So, in many cases,

1 your low reactor is a low reactor across the  
2 board and you don't see better inhibition  
3 using any of the other ferret antiserum, or  
4 only moderately better inhibition.

5 DR. COUCH: I guess being  
6 specific, that would count for some of them,  
7 but when you say low reactors that reacted  
8 only with ferret sera, or is a battery of  
9 ferret sera?

10 DR. COX: We always use a battery  
11 of ferret.

12 DR. COUCH: Only the Wisconsin --  
13 a battery?

14 DR. COX: We always use --

15 DR. COUCH: So it's a low reactor  
16 across the board?

17 DR. COX: We always use a battery,  
18 but in order to really be specific when we're  
19 talking of the WHO Meetings, we really are  
20 looking at the number of viruses or the  
21 proportion of viruses that are low reactors to  
22 ferret antiserum to the vaccine strain. So

1 that's what is in those tables. But when  
2 we're looking more broadly, we're looking for  
3 patterns of reactivity. And so many, so for  
4 the H3s, the majority of the low reactors are  
5 low reactors across the board to the battery  
6 of antisera. And we never test viruses on  
7 their own. We always test with a whole  
8 battery of ferret sera.

9 I don't know if that answered your  
10 question.

11 Ruth?

12 DR. KARRON: Yes, two questions.  
13 One is so can you contrast these H3N2 viruses  
14 where you say there is no emergent antigenic  
15 variant group, and I assume that's based on  
16 the ferret antisera data, with say the  
17 situation we were in several years ago with  
18 H3N2 Fujian. There we saw an emergent new  
19 variant, is that correct?

20 DR. COX: We saw there an emergent  
21 new variant, which we could clearly see using  
22 ferret antisera. And we could see, we could



1 see the two-way, 4-fold or greater difference.  
2 So antiserum to the Fujian strain didn't  
3 inhibit the old viruses as well, and antibody  
4 to the previous vaccine didn't inhibit the  
5 Fujian strain. So we had the two-way, 4-fold  
6 difference, which was very clear. And that  
7 also corresponded to changes that we saw in  
8 the genetic data.

9 DR. KARRON: Thank you. And then  
10 just a question either for you or for Dr.  
11 Fiore. Would you happen to know the school-  
12 based outbreaks of B in North Carolina,  
13 whether those were Yamagata or Victoria  
14 lineage. Is that something you would know?

15 DR. COX: The North Carolina, and  
16 Tony correct me if I'm incorrect, but the  
17 majority of those viruses were, they were  
18 Yamagata. And that was an early outbreak  
19 before the season really got rolling. And so  
20 we were feeling rather uncomfortable with the  
21 fact that they looked, that they were Yamagata  
22 lineage viruses and we had Victoria. But then

1 it didn't hold true for the rest of the  
2 season.

3 DR. KARRON: Thank you. I think  
4 we'll go on now to Angela Owens from DoD who  
5 is going to talk to us about vaccine  
6 effectiveness and responses.

7 MS. OWENS: Hi. I will also fill  
8 in for Luke Daum as well, as we provide the  
9 sequence analysis overview.

10 Let me go back, sorry. We are  
11 actually part of an overall Department of  
12 Defense Global Influenza Surveillance Program  
13 which is a WHO collaborating laboratory and it  
14 has two parts.

15 One part is Sentinel site  
16 surveillance that is managed at the Air Force  
17 Institute for Operational health, and another  
18 part is a population based surveillance that  
19 takes base at eight training camps and is  
20 managed at the Naval Health Research Center in  
21 San Diego.

22 For our Sentinel sites we have,

1       thank you, 43 medical treatment facilities  
2       that are located throughout the service. We  
3       also have 22 sites associated with four  
4       separate DoD Overseas Research Facilities. We  
5       provide naval wash kits, collection material,  
6       education material, shipping supplies, so they  
7       can send us specimens on a weekly basis. We  
8       contact them every week. We expect six to ten  
9       specimens every week from patients with a  
10      fever greater than or equal to 100.5 and/or a  
11      cough or sore throat. We also request an  
12      influenza surveillance questionnaire to be  
13      completed that describes vaccination history,  
14      travel history, any additional symptoms other  
15      than cough and sore throat.

16                   We have so far collected about  
17      1,200 specimens this season. Twenty-six  
18      percent have been influenza positive by  
19      culture and the majority have been Influenza  
20      A. We also test for adenovirus, enterovirus,  
21      RSV, parainfluenza, and HSV, which is a  
22      background virus.

1           About 93 percent of our isolates  
2           have been sub-typed at this time. The  
3           majority have been Influenza A, H1, and the  
4           majority of B have been from the B Victoria  
5           lineage.

6           This is Luke's portion, so let me  
7           describe this. For Influenza B, the  
8           hemagglutinin genes of over 40 DoD Influenza  
9           B viruses were analyzed from the influenza  
10          strains obtained from summer of 2006 to  
11          present. Of these isolates, five strains were  
12          Yamagata like, with the remaining isolates  
13          being B Victoria like.

14          B Yamagata like viruses are  
15          antigenically and genetically distinct from  
16          the current vaccine strain, which is the B  
17          Malaysia vaccine strain. These five strains  
18          were collected from Peru, Maryland, and  
19          Illinois.

20          The remaining B viruses were B  
21          Victoria and shared 99 to 99.6 percent  
22          sequence identity to the current B Malaysia

1 vaccine strain.

2 Depicted in this slide is a  
3 phylogenetic analysis of influenza strains  
4 represented by 38 Influenza B Victoria like  
5 strains and 5 B Yamagata like strains. As you  
6 can see, the B Malaysia indicated within the  
7 red box is found within the cluster of B  
8 Victoria like filled strains collected during  
9 the current season.

10 For Influenza A H3N2, the  
11 phylogenetic analysis of Influenza A strains  
12 collected during the 2006 through 07 season  
13 have been the minority this year, taking a  
14 backseat to the H1 subtype. At present, we've  
15 collected and sequenced about 70 strains,  
16 including a summary outbreak of H3N2 isolates  
17 in Nepal depicted as a distinct branch, which  
18 is at the top.

19 Shaded in the circle is Clade of  
20 Influenza A H3N2 Viruses that are forming a  
21 distinct branch in the current tree of H3  
22 viruses. These viruses show a genetic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 variances in several nucleotides, inter-  
2 defined by the R142G mutation, which forms the  
3 distinct branch point, I apologize, let me go  
4 back. I think every time I touch this -- I  
5 apologize. I've been touching the bottom.  
6 About 50 percent of our isolates, including  
7 the July outbreak in Nepal are contained  
8 within this branch, phylogeny. Indicated in  
9 the red box is a current A Wisconsin strain  
10 and the older A California vaccine strain.  
11 And as you can see from the phylogeny, the A  
12 Wisconsin strain shows genetic variance from  
13 viruses belonging to this Clade.

14 And this is the A H1N1 phylogeny  
15 of the strains collected during the 2006  
16 through 07 season. They've been the  
17 predominant circulating strain, and two  
18 distinct Clades have formed with Clade II  
19 viruses showing somewhat reduced titers  
20 according to the H1 analysis. While the two  
21 Clades are currently noted in this phylogeny,  
22 isolates obtained in the U.S. are Clade I,

1 which are the current A New Caledonia vaccine  
2 strain. Clade II isolates were obtained from  
3 South America, which are Peru, Nepal, and one  
4 isolate from Saipan. Clade II viruses are  
5 defined by several key amino acid changes,  
6 some of which are located within the antibody  
7 combining isolates.

8           Again, we are a WHO collaborating  
9 lab. All of our information is uploaded to  
10 CDC's national surveillance system, and they  
11 also receive our isolates of interest.

12           For vaccine effectiveness, this is  
13 a descriptive preliminary review. We looked  
14 at patients seeking care from U.S. Military  
15 Treatment Facilities from October 1 to 12  
16 February. This includes active duty and DoD  
17 beneficiaries.

18           Our outcome was lab confirmed  
19 influenza results by viral culture and  
20 isolation. We defined fully vaccinated  
21 patients as patients who received the vaccine  
22 greater than 14 days prior to the clinic visit

1 date. Any of those patients receiving the  
2 vaccine prior to August were defined as  
3 unvaccinated unless they received another  
4 vaccine later.

5 Our vaccination data were gathered  
6 form the Military Immunization Database, and  
7 our influenza surveillance questionnaires.  
8 Those are completed at the time of the clinic  
9 visit.

10 A total of 796 specimens were  
11 included. 170 of these were influenza. 65 of  
12 the 170 hadn't identified vaccination status  
13 in the breakdown of FluMist versus the  
14 injection. 23 of these patients has a  
15 possible vaccine breakthrough, meaning they  
16 had the vaccine greater than 14 days prior to  
17 the clinic visit in which they obtained the  
18 isolate. All age groups were represented.

19 And the isolates obtained were  
20 Influenza A, H3, from California, Texas, and  
21 South Korea. And the South Korea information  
22 is also described in the sequence data that



1 was mentioned before. H1 was identified in  
2 Alabama, Oklahoma, South Carolina, Texas, and  
3 Antarctica. And our Influenza B, one B was  
4 not sub-typed at this time. It was actually  
5 recently collected. And that was both  
6 identified from Alabama.

7 The Naval Health Research Center  
8 also provided a vaccine effectiveness review  
9 at the eight training camps, which six of them  
10 had influenza identified. All of the trainees  
11 are vaccinated upon arrival, so their  
12 vaccination to identify a fully covered  
13 vaccinated person is within the 14 days. They  
14 are not fully covered.

15 And they had 48 isolates. 38 of  
16 them of them were unvaccinated. And if you'd  
17 like more information regarding this review,  
18 please contact NHRC. And I have their  
19 information so afterwards I can get with you.

20 We did not, Geis, of course, is  
21 our funding and guidance agency. CHPPM and  
22 AMSA provided us with the vaccine information,

1 and Pop. Health, CDC, and of course all of our  
2 Sentinel sites. We do expect a full review of  
3 vaccine effectiveness at the end of the  
4 season. This is a descriptive review. There  
5 is no random sample applied yet.

6 Here is our contact information.  
7 And I do apologize that Luke was not here.

8 Do you have any questions?

9 Yes?

10 DR. FARLEY: I was a little  
11 surprised at how often you did not know their  
12 vaccine status in the first part. Is that  
13 because they really weren't all enlisted  
14 military? It was families and other people?  
15 Because otherwise I would think your record  
16 keeping would be very tight.

17 MS. OWENS: Yes, for Sentinel site  
18 surveillance, our population, it's gathered  
19 from family clinics, pediatric clinics,  
20 hospitals, and ER's. The majority of our  
21 population is DoD beneficiaries, and  
22 unfortunately for the Navy and the Army it's

1 hard to gather that vaccination status from  
2 DoD beneficiaries. That's where we come in  
3 play with the influenza surveillance  
4 questionnaires. That's why we actually look  
5 from the surveillance site, we request that  
6 they all fill out that. And unfortunately, a  
7 lot of these came from both Sentinel sites and  
8 non-Sentinel sites. We had about 22 non-  
9 Sentinel sites that submitted specimens this  
10 season.

11 But hopefully at the end of the  
12 season also we'll get more information.

13 DR. KARRON: Any other questions?

14 Thank you very much.

15 MS. OWENS: Thanks.

16 DR. KARRON: Next on the agenda is  
17 Dr. Ye who will talk about vaccine responses  
18 and availability of strains and reagents.

19 DR. YE: Thank you.

20 I think the whole purpose of doing  
21 the human serological study is to see whether  
22 the HI antibody response to the vaccine strain

1 will confirm that the antibody response to the  
2 isolated, newly isolated, viruses reach as  
3 Nancy mentioned in her talk.

4 It seems the serum sample from  
5 human was pre-selected to choose the antibody,  
6 positive antibody response. So the whole  
7 purpose of this study is not to compare the  
8 antibody response from different vaccine  
9 string, but rather to see, to use this serum  
10 to study, to compare the antigenic difference  
11 of the newly isolated circulated viruses.

12 Serum sample actually comes from  
13 different centers worldwide. As shown on this  
14 slide, the serum sample comes from, there are  
15 five serum samples that come from different  
16 centers. One is from Australia. The serum  
17 sample represent the human serum which  
18 immunized, was the vaccine that contained New  
19 Caledonia for H1N1 and New York/55/2004 for  
20 H3N2, particularly for the Austria serum  
21 samples.

22 Then the B strain is Malaysia and

1 that is the same for the rest of the serum  
2 sample.

3 And the other serum samples from  
4 Europe, from Japan, and from U.S., the serum  
5 sample comes from the human serum, from the  
6 human population that immunized the vaccine  
7 strain containing New Caledonia for H1N1, and  
8 the Wisconsin like strain for H3N2. The  
9 actual vaccine strain contains Wisconsin  
10 itself or Hiroshima for H3N2 for the European,  
11 and also for the Japan. The European  
12 contained two serum samples, two serums, one  
13 is for Wisconsin itself, another one is for  
14 Hiroshima. But what I presented in this study  
15 is the same sample for Wisconsin itself. And  
16 also to have the same sample from a pediatric.

17 Okay. The antigen used for human  
18 serological study has been carefully chosen.  
19 And one is, of course, is the vaccine strain  
20 itself. Here is New Caledonia/20/99 for H1N1.  
21 And the representative or current vaccine,  
22 current strain used for serological study,

1 choosing according to antigenic and genetic  
2 characteristics of the strain, but also the  
3 geographic characteristics of those viruses.

4 Here you can see that I have one  
5 strain from New England, from Hiroshima, and  
6 from Fukushima, and from Asia, also from  
7 European. And here I marked a group of the  
8 antigen with the asterisk over here as it  
9 represents the signature of antigenic  
10 differences in amino acid 144, substitution of  
11 amino acid from lysine to glutamic acid as  
12 mentioned in Nancy's talk. So we will see  
13 what the main response of those groups of  
14 viruses to the vaccine strain.

15 And here, this slide shows HI  
16 antibody response to H1N1. And this slide I  
17 choose a representative serum panels in one of  
18 the centers who did the serological study. By  
19 the way, there are four or five centers  
20 conducting the human serum study, and this is  
21 only one I choose as positive representative  
22 for H1N1. Here I chose from U.K study.

1           On the left column shows the serum  
2 panel, where the serum panel comes from. And  
3 here it shows the serum panel from adults and  
4 from European, which immunized for Wisconsin.  
5 They solved for H3N2, but here we're talking  
6 about H1N1. And then the panel coming from  
7 Australia. Since Australia human serum sample  
8 comes from human population immunize H1N1, so  
9 that's valid for this study. And here it  
10 shows the viral strain or antigens that has  
11 been used in human serology study. And here  
12 is what vaccine strain is solved and here is  
13 the representative circulating viruses.

14           And here I show the tradition of  
15 things that shows the percentage of post-  
16 immunization, HI or eco to 40, 1 to 40. And  
17 it also shows the percentage over 40, for the  
18 race.

19           But here I liked to focus on the  
20 GMT reduction. Unlike the serum panel studies  
21 in using ferret study, human serum we don't  
22 have the antibody of the serum against the

1 individual as related to viruses. So what we  
2 focus on is to see the GMT reduction.

3 And I'd like to spend a few  
4 minutes to explain how we summarized the  
5 overall study from different centers.

6 Here you can see the post-  
7 vaccination GMT titer to the vaccine strain.  
8 Absolute number is not meaningful, but the  
9 comparison of the GMT vaccine strain to the  
10 isolated virus is what you want to focus on.  
11 Here you can see that the GMT titer to the  
12 vaccine strain is 1 to 90, 1:90, where the GMT  
13 from the newly isolated virus is a 36. What  
14 we want to see is whether this reduction is a  
15 50 percent reduction. Here you can see that's  
16 over a 50 percent reduction. That's one layer  
17 of information I'd like to you focus on.

18 The second one is the different  
19 panels. This only shows the one panel of this  
20 particular strain of 50 percent of reduction.  
21 And in the next serum panel, it's the same  
22 thing. It's the GMT titer to vaccine strain



1 and it compares with the newly isolated virus.  
2 And here, again, it's a 50 percent reduction.  
3 Now, we can see that there are two panels and  
4 two out of two panels have a 50 percent  
5 reduction. And remember that because of that,  
6 the way you translated to the summary data I  
7 will present later on.

8 And here is another two panels  
9 which I show, which are shown in this slide.  
10 So here is a panel from U.S. and Japan.  
11 Again, you see that this GMT to the vaccine  
12 strain itself is 273, where to this particular  
13 strain, England is 40. So, it's a, one time,  
14 one panel, a 50 percent reduction. By the  
15 same token, the same thing happened to the  
16 Japan group. The GMT is a 59, where the GMT  
17 to this particular strain is a 22. The reason  
18 I said even in the beginning of my talk, we're  
19 not to see response to the particular vaccine  
20 strain, rather we see the difference. Since  
21 the serum sample from Japan was not  
22 preselected to choose the high positive

1 response of the post-serum sample, so they  
2 are, the GMT is relatively low compared to the  
3 other centers.

4 So now, have this one in your mind  
5 that I put the five different centers together  
6 to see the overall picture because individual  
7 lapse of data, you know, may not be well  
8 represented of overall data. And here is a  
9 summary of the GMT, 50 percent of GMT  
10 reduction by composed the serological study  
11 from different centers.

12 As I mentioned in a few slides  
13 back, four of four panels for this particular  
14 strain have a 50 percent reduction. So that  
15 indicates that there is a strain which is  
16 different from the vaccine strain. The same  
17 thing for A/Fukushima. Here is like 12, in 12  
18 serum panel, some of them have a reduction.  
19 So now you can see, I can give you the sense  
20 of overall, of the strain and composed from  
21 different centers, different centers of  
22 studies.

1           And here I have to point out that  
2           the Solomon Islands/3/2006 in 19 serum panels,  
3           only two have the 50 percent of the reduction  
4           in this serological study. But however,  
5           overall, the representative circulating virus,  
6           the antigen that are used in serological  
7           study, shows that in 55 panels, 30 of them had  
8           50 percent GMT reduction. That indicates  
9           those viruses antigenically is different from  
10          the vaccine strain. And the last column shows  
11          the average 50 percent of reduction. And  
12          also, that gives you the sense of the  
13          antigenic difference by using human serum.

14                 And I apologize that the handout  
15          that you have was typed and corrected over  
16          here, this 51 percent. So that's an indicator  
17          that the 50 percent of GMT reduction isn't  
18          quite significant in this study. And it also  
19          shows that H1N1 newly isolated circulating  
20          viruses is antigenically different from  
21          vaccine strain by using human serum study.

22                 And now we go on for the H3N2.

1 And as I mentioned, the vaccine strain is  
2 Wisconsin-like. So the actual strain for the  
3 different vaccine components either is  
4 Wisconsin itself or Hiroshima. And again,  
5 this representative occurrence strain, which  
6 we choose according to the antigenic and the  
7 geographic differences. Here I like to  
8 emphasize again that the Canada and the Lyon  
9 strain which is asterisks, indicates there is  
10 a Canada or Nepal genetic group, as mentioned  
11 in Nancy's talk. So we want to see how this  
12 strain behaves in the human serology studies.

13 And again here I choose the one of  
14 the serum panels from the CDC to give you the  
15 sense of what's the antigenic difference by  
16 using human serum studies. I am not going to  
17 explain it again. Here, like folks on the GMT  
18 reduction of the newly isolated viruses, here  
19 in this column you can see that the GMT to the  
20 vaccine strains is a 101, where the GMT to the  
21 Brisbane is a 65. It's not quite a 50 percent  
22 reduction; however, the rest of the

1 circulation viruses that we used for antigen  
2 in the human serum serology study included  
3 Canada antigen at more than 50 percent of  
4 reduction in a GMT. And something again, it's  
5 true to the other different panels, such as  
6 from U.K. and also from Japan, and from Japan  
7 and also from a U.S. serum panel.

8           And if we put the serum study from  
9 different centers together, now here we show  
10 the summary of the viruses. We use a 50  
11 percent of GMT reduction in adults. And again  
12 here, we show the Brisbane in the 16 serum  
13 sample, serum panels, 7 of them had 50 percent  
14 of GMT reduction.

15           And another one is Sendai. And in  
16 8 serum panels, 2 of them have the GMT, 50  
17 percent of GMT reduction. However, the rest  
18 of the virus includes the Canada and the Nepal  
19 variance here. We can see that every one of  
20 them had a 50 percent GMT reduction.

21           And if we put the whole thing  
22 together, as shown in here in 73 serum panels,

1 55 of them had 50 percent of GMT reduction, as  
2 indicate that this virus really antigenically  
3 is different from, from the vaccine strain.

4 And again, as a true for the  
5 average, the average percent of a GMT  
6 reduction, 65 percent of reduction in the  
7 summary. So, that is for H3N2.

8 Now, we go on for the B-strain.  
9 Again, the B-strain, everyone uses the  
10 B/Malaysia, which is Victoria-like HA lineage.  
11 And the representative current strain was a  
12 two group. One was Victoria itself, and  
13 another one was the group that represent the  
14 viruses from the, represent the Yamagata  
15 lineage.

16 And here, again, I choose the  
17 serum panel from the CDC. And here you can  
18 see that the vaccine strain, here there are  
19 two vaccine strains, but I don't think anybody  
20 used Ohio for their vaccine strain, for  
21 licensing the vaccine strain. And here, as  
22 you can see that the Malaysia has a 69 GMT and

1 Ohio is 126. And the rest of the strain you  
2 can see that the newly isolated viruses from  
3 Victoria like, you can see that there's been  
4 no reduction. Include the Yamagata strain,  
5 they are not 50 percent reduction. Probably  
6 that's due to adults that has been pre-  
7 immunized, immunized the previous year with  
8 Yamagata vaccines. So this is true for the  
9 rest of the serum panel including from Japan  
10 and U.S.

11 And here is a summary of the GMT  
12 reduction in adults. So here you can see that  
13 in 22 serum panels only four of them have 50  
14 percent of GMT reduction. Seventeen is the  
15 average percentage of GMT reduction. So that  
16 indicates that the circulating viruses that we  
17 used for serological study are antigenically  
18 close to the vaccine strain.

19 And although the strain from  
20 Yamagata had lower GMT reduction, but compare  
21 with Victoria and the reduction is more than  
22 those with Victoria like strain.

1           So in summary of the serological  
2 study, with the sera collected after  
3 immunization with current vaccines show that:

4           With H1N1, the recent viruses was  
5 not well inhibited compared to the current  
6 vaccine strain.

7           And the same thing with the H3N2,  
8 the current viruses was not well inhibited  
9 compared to the current vaccine strain.

10          Where the B, for B, the recent  
11 B/Victoria lineage viruses generally well  
12 inhibited compared to the current vaccine  
13 strain.

14          And I'll stop here if you have any  
15 questions.

16          DR. COUCH: Again, just a question  
17 to be sure I understand and have the data  
18 straight. On your summary tables of GMT  
19 reductions and you say four out of four  
20 panels, that's four out of four sources of  
21 sera tested at that particular laboratory?

22          DR. YE: Yes.



1 DR. COUCH: And that's their  
2 results. So we're looking at maybe CDC or  
3 CBER data where you're looking also at the  
4 data from Japan, Australia, and Britain.

5 DR. YE: Right.

6 DR. COUCH: With that summary  
7 table?

8 DR. YE: I think do, as we  
9 discussed yesterday, the HISA, the variations  
10 from center-to-center from lab-to-lab, so in  
11 order to see the whole picture we have to use  
12 the serum from different centers. Here we  
13 have to use it from five different centers to  
14 get the whole picture.

15 DR. COUCH: But your serum went to  
16 those laboratories and they tested it. Is  
17 that correct?

18 DR. YE: Can you repeat your  
19 question please?

20 DR. COUCH: The FDA sera, the CBER  
21 sera, you have a panel of 24 sera. Those sera  
22 went to Australia, for example.

1 DR. YE: Right, okay.

2 DR. COUCH: And they tested. It  
3 went to Japan and they tested the same sera.  
4 All this is a change of sera.

5 DR. YE: I should've explained it  
6 in my talk. Yes, we exchanged the serum  
7 sample from center to center. Every single  
8 one of the center had the same serum panels  
9 from all the centers. So all the centers used  
10 the same serum samples. But the study may  
11 vary, such as using different red blood cells  
12 or the way they diluted for the same samples.

13 DR. KARRON: John?

14 DR. MODLIN: You didn't show us  
15 the data from the pediatric samples. I'm sure  
16 you've looked at that, but probably the  
17 numbers are small. But I wonder if you could  
18 just summarize the age range of which the kids  
19 with sera came from and maybe just give us a  
20 summary of what they showed.

21 DR. YE: I know you going to ask  
22 this question. Actually I have a back slide

1 for that but I took it out.

2 DR. MODLIN: I'm getting to be  
3 that predictable.

4 DR. YE: I think although this  
5 same, okay, for this pediatric serum, since  
6 the majority of them are naive, relatively, so  
7 you will see the better picture. But in this  
8 particular study --

9 DR. MODLIN: Oh no, I'm sorry.

10 DR. YE: So to answer your  
11 question that the serological study from  
12 pediatric study more represent, for H3 for H1,  
13 is similar to adults. Where the B, you can  
14 see some difference between Yamagata and  
15 Victoria. Did I answer your question?

16 DR. MODLIN: Yes, in general.  
17 That's fine.

18 DR. KARRON: Actually, I have an  
19 H1N1 question. The A/England/555/2006, I  
20 didn't see that in the ferret sera panel or in  
21 the evolutionary diagram. But is that virus  
22 like Solomon Islands?

1 DR. YE: I'd like Nancy to answer  
2 this question. It should be there.

3 DR. COX: In your slides it  
4 should've been designated if it had that  
5 change. Let me look at that. I've got all  
6 the data here.

7 Could you mark it on your slide so  
8 that he could go back and look at your slides  
9 and see?

10 So the England did not have that  
11 change?

12 DR. YE: Right. England has no  
13 change in, I think it should be 144.

14 DR. KARRON: I guess I was just  
15 trying to understand was the England virus and  
16 H1N1 virus that is neither like the New  
17 Caledonia nor like the Solomon Islands. I  
18 guess that was just my question.

19 DR. COX: That wasn't a virus that  
20 we used in our serology, sorry. What was the  
21 strain designation again?

22 DR. KARRON: 55/2006.

1 DR. COUCH: What question are we  
2 trying to answer?

3 DR. COX: I'll get back to you.  
4 I'll find it and get back to you.

5 DR. KARRON: Thank you.

6 Other questions for Dr. Ye?

7 At this point, I think Dr. Vodeiko

8 --

9 DR. YE: Yes.

10 DR. KARRON: -- is going to speak?

11 DR. YE: Yes, talk to Dr. Vodeiko  
12 from the FDA, our next talk.

13 DR. VODEIKO: Thank you again for  
14 this chance to present the next part of  
15 information. My name is Galina Vodeiko. And  
16 from the end of 2005, I am in charge of  
17 potency and reagent preparation in CBER with  
18 big help from Christian Anderson.

19 Let me introduce you information  
20 about availability from CBER on vital strains  
21 and for vaccine for reagents for potency.

22 I think I go to four.

1           We start from Influenza A, H1N1  
2 viruses. The currently available strain is  
3 New Caledonia/20/99 and it's reassortant IVR-  
4 116, prepared in Australia. Many of you know  
5 the designation for many reassortant prepared  
6 in Australia started with IVR, and prepared in  
7 New York Medical School, it's usually X with  
8 a number.

9           With three kinds of candidates for  
10 new vaccine strain, one of them is  
11 A/Solomon/3/2006, and is available in high  
12 yield reassortant, IVR-145. The second strain  
13 is A/St. Petersburg/8/2006, and is available  
14 as a high yield reassortant, designated as X-  
15 163. And the third strain is  
16 A/Fukushima/141/2006, a high yield reassortant  
17 preparation is ongoing in Melbourne. And it  
18 expects to be available in late-February, so  
19 now.

20           Influenza A H3N2 currently  
21 available vaccine strains, we have two of  
22 them. A/Wisconsin/65/2005-like. One is a

1 high yield reassortant prepared in New York  
2 Medical School, designated as X161B for  
3 A/Wisconsin/67/2005. And another,  
4 A/Hiroshima/52/2005 is Australian high-yield  
5 reassortant IVR-142.

6 We have one candidate for strain  
7 changes, it's A/Nepal/921/2006, and the  
8 preparation of high-yield reassortants is  
9 ongoing in New York Medical School and  
10 expected to be available in early-March.

11 For Influenza B, we have available  
12 current vaccine strains, B/Malaysia/2506/2004  
13 and B/Ohio/1/2005. There is no superior  
14 candidate strains available by now.

15 Availability of potency reagents  
16 from CBER, H1N1, A/New Caledonia/20/99 strain,  
17 we have available reagent antigen and reagent  
18 serum. For H3N2, A/Wisconsin/67/2005, reagent  
19 antigen and reagent serum. The same reagents  
20 are available from other centers, in U.K. and  
21 Australia.

22 If new strain will be chosen, we

1 expect reagents, potency reagents available by  
2 the May of this season.

3 For viruses B, in CBER we have  
4 reagents for both lineages. For Victoria  
5 lineage it's a current vaccine strain,  
6 B/Malaysia/2506/2004, reagent antigen and  
7 reagent antiserum. And for Yamagata lineage,  
8 we have seen from the previous year, reagents  
9 for vaccine for the previous year,  
10 B/Jiangsu/10/2006. The same reagents are  
11 available from other chosen centers.

12 If new strain will be chosen, as  
13 it is out of Advisory Committee work, the  
14 reagents are going to be available in May of  
15 this season.

16 That's it of what I wanted to say.  
17 Any questions?

18 (No response.)

19 No questions.

20 DR. KARRON: Thank you very much.

21 At this point, we'll take a break.

22 And I think we're a bit ahead of schedule. So



1 instead of reconvening at 10:35, we'll  
2 reconvene at 10:30, when we'll hear comments  
3 from the manufacturers.

4 (Whereupon, the above-entitled  
5 matter went off the record at 10:07 a.m. and  
6 went back on the record at 10:36 a.m.)

7 DR. KARRON: We're now going to  
8 hear from Mr. Albert Thomas, who will give us  
9 comments from the manufacturers.

10 MR. THOMAS: Good morning. My  
11 name is Albert Thomas. I am with Sanofi  
12 Pasteur.

13 I would first like to thank the  
14 Committee for the opportunity to present the  
15 comments from manufacturers at today's strain  
16 selection meeting, and would like to begin by  
17 discussing several of the critical factors  
18 that are involved with influenza vaccine  
19 supply, and how the strain selection process  
20 can impact each of those factors.

21 The first critical factor is the  
22 growth potential of each monovalent strain

1 seed virus. There are many factors that can  
2 impact the total number of doses of influenza  
3 vaccine that can be produced, such as the  
4 overall capacity that is available to each  
5 manufacturer, as well as the average yield of  
6 all three monovalent strains, but most  
7 typically the number of doses of vaccine that  
8 can be produced is limited by poorest growing  
9 or least yielding monovalent string.

10 For example, a manufacturer may be  
11 successful in producing 40 million doses of  
12 the H1N1 monovalent strain, 40 million doses  
13 of the B strain, but if only 20 million doses  
14 of the H3N2 strain can be produced, there will  
15 only be 20 million doses of trivalent vaccine  
16 that are available.

17 To evaluate the potential impact  
18 of a low-yielding strain on vaccine supply, we  
19 only need to look to 2006, to last year. Due  
20 to the initial low-yield from the A  
21 Wisconsin/67/2005 strain, as part of the  
22 initial production, if it were not for the

1 greatly improved yield of the improved  
2 reassortant, the X161B, the supply of  
3 trivalent influenza vaccine last year would've  
4 been significantly reduced. Even though a  
5 record number of doses of influenza vaccine  
6 were ultimately available last year, the late  
7 availability of the much better yielding A  
8 Wisconsin X161B reassortant did have a timing  
9 on the impact of vaccine supply.

10 The most critical overall factor  
11 is time. The timing for influenza vaccine  
12 manufacturing is limited at the beginning by  
13 the timing of the strain selection meeting,  
14 and is then limited at the end to distribute  
15 and administer the vaccine prior to the onset  
16 of the influenza season. Thus, the total time  
17 to develop production seeds, manufacture the  
18 monovalent components, formulate the trivalent  
19 vaccine, fill, package, release, and  
20 ultimately distribute is quite limited.

21 Also, please keep in mind that  
22 production seeds typically require at least

1 four weeks from time of receipt for  
2 development and release prior to use in large  
3 scale manufacturing.

4 The potency of, the availability  
5 of potency test reagents is another factor  
6 that must be taken into account. The potency  
7 or hemagglutinin titer of each monovalent  
8 component lot must first be determined prior  
9 to formulation of the trivalent vaccine. And  
10 as we've heard, that's done via single radial  
11 immunodiffusion, which requires a strain  
12 specific reference antigen and antiserum.  
13 These two potency reagents must be  
14 manufactured and standardized for each new  
15 strain prior to initiation of trivalent  
16 formulation. The time to prepare and  
17 standardize the reference for reagents  
18 typically requires 8 to 12 weeks.

19 The final critical factor is the  
20 timing of the annual Biological License  
21 Supplement Approval. Since formulation of the  
22 trivalent vaccine typically changes from year