

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE MEETING

+ + + + +

OPEN SESSION

+ + + + +

WEDNESDAY,
NOVEMBER 14, 2007

The Committee convened at 1:00 p.m. in Conference Rooms A and B of Building 29B of the National Institutes of Health, Bethesda, Maryland, Ruth A. Karron, M.D., Chair, presiding.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

COMMITTEE MEMBERS PRESENT:

(All members present via teleconference)

RUTH A. KARRON, M.D., Chair

MONICA M. FARLEY, M.D.

PHILIP S. LaRUSSA, M.D.

STEVEN SELF, Ph.D.

BONNIE WORD, M.D.

JOHN MODLIN, M.D.

SETH HETHERINGTON, M.D.

(Non-Voting Industry Representative)

LISA JACKSON, M.D., M.P.H.

JACK STAPLETON, M.D.

EXECUTIVE SECRETARY PRESENT:

CHRISTINE WALSH, R.N.

COMMITTEE MANAGEMENT SPECIALIST PRESENT:

DENISE ROYSTER

ALSO PRESENT:

NORMAN BAYLOR, Ph.D.

MILAN BLAKE, Ph.D.

MICHAEL J. BRENNAN, Ph.D.

KONSTANTIN CHUMAKOV, Ph.D.

WILLIAM FREAS, Ph.D.

JAYA GHOSH

SHELDON MORRIS Ph.D.

JAY SLATER, M.D.

JERRY WEIR, Ph.D.

WAYNE WRAY, Ph.D.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

WELCOME:

Ruth Karron.....	4
Christine Walsh.....	4

OVERVIEW OF RESEARCH/SITE VISIT PROCESS,
CENTER BIOLOGICS EVALUATION & RESEARCH (CBER):

Michael Brennan.....	13
----------------------	----

OVERVIEW OFFICE OF VACCINES RESEARCH/REVIEW:

Michael Brennan.....	18
----------------------	----

OVERVIEW DIVISION OF VIRAL PRODUCTS:

Jerry Weir.....	24
-----------------	----

OVERVIEW LABORATORY OF METHOD DEVELOPMENT:

Konstantin Chumakov.....	29
QUESTIONS:.....	52

OVERVIEW DIVISION OF BACTERIAL, PARASITIC
AND ALLERGENIC PRODUCTS:

Milan Blake.....	54
------------------	----

OVERVIEW LABORATORY OF MYCOBACTERIAL DISEASES
AND CELLULAR IMMUNOLOGY:

Sheldon Morris.....	57
---------------------	----

OPEN PUBLIC HEARING SPEAKER:

Jaya Ghosh.....	74
-----------------	----

ADJOURN:

Ruth Karron.....	75
Christine Walsh.....	75

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

1:01 p.m.

CHAIR KARRON: Yes, I would like to call to order the last VRBPAC meeting of 2007.

Welcome, everybody. And we are here today to discuss site visit reports for two laboratories. And, Christine, at this point, I'm going to turn the meeting over to you.

DR. WALSH: Okay. I'm just looking, I'm sorry, I'm looking for something. I'll be right with you.

CHAIR KARRON: Okay.

DR. WALSH: No, I'm missing my conflict of interest. Denise, my conflict of interest? Just give me your copy. I don't know what happened to it. Thank you. I'm sorry, I'm back.

Good afternoon, I'm Christine Walsh, the Executive Secretary for today's teleconference meeting of the Vaccines and Related Biological Products Advisory Committee meeting. I would like to welcome all of you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to this meeting of the Advisory Committee.

2 There is a speaker phone for public
3 participation located here in Building 29B,
4 Conference Room A/B on the NIH campus. This
5 afternoon's teleconference meeting will
6 consist of sessions dealing with the
7 presentations and Committee discussions that
8 are both open and closed to the public, as
9 described in the Federal Register notice of
10 October 23, 2007.

11 At this time, I would like to
12 introduce the Committee Members and ask that
13 you acknowledge by saying present if you can
14 hear me. The Committee Chair, Dr. Ruth
15 Karron, Professor, Johns Hopkins School of
16 Hygiene and Public Health.

17 CHAIR KARRON: Present.

18 DR. WALSH: Dr. Monica Farley,
19 Professor of Medicine, Emory University School
20 of Medicine.

21 Dr. Philip LaRussa, Professor of
22 Clinical Pediatrics, Columbia University.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LaRUSSA: Present.

2 DR. WALSH: Dr. Steven Self,
3 Professor, Department of Biostatistics,
4 University of Washington, Fred Hutchinson
5 Cancer Research Center.

6 DR. SELF: Present.

7 DR. WALSH: Hi, this is Christine,
8 who just joined us?

9 DR. FARLEY: It's Monica Farley.
10 I'm sorry I'm calling in late.

11 DR. WALSH: That's okay, Dr.
12 Farley, we're just going over roll call. I
13 just did your name. Welcome.

14 DR. FARLEY: Thank you.

15 DR. WALSH: Dr. Bonnie Word,
16 Assistant Professor of Pediatrics, Baylor
17 College of Medicine.

18 DR. WORD: I'm present.

19 DR. WALSH: Dr. Seth Hetherington,
20 Industry Representative, Senior Vice
21 President, Clinical and Regulatory Affairs,
22 Icagen Incorporated.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HETHERINGTON: Present.

2 DR. WALSH: Dr. John Modlin,
3 Professor of Pediatrics, Dartmouth-Hitchcock
4 Medical Center.

5 DR. MODLIN: Here.

6 DR. WALSH: Dr. Lisa Jackson,
7 Senior Scientific Investigator, Group Health
8 Cooperative, Seattle, Washington. Dr. Jackson
9 did inform me that she may just be a little
10 late in joining the teleconference this
11 afternoon.

12 Dr. Jack Stapleton, Professor of
13 Infectious Diseases, University of Iowa
14 Hospital Clinic.

15 DR. STAPLETON: Present.

16 DR. WALSH: Thank you. I would
17 like to thank all Committee Members for taking
18 the time to join us today. I would also like
19 to note at this time that this will be the
20 final VRBPAC meeting for our Chair, Dr.
21 Karron, along with Members Dr. Self, Dr.
22 Farley, Dr. Word, and Dr. LaRussa. We wish to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thank each of you immensely for your
2 dedication and valuable contribution to the
3 VRBPAC Committee over the past several years.

4 Now, I would like to introduce some
5 of the staff members that will be
6 participating in today's meeting and are
7 currently seated in the room. Dr. Norman
8 Baylor.

9 UNIDENTIFIED SPEAKER: He just
10 walked out.

11 DR. WALSH: Okay. Director, Office
12 of Vaccines Research and Review. Dr. Michael
13 Brennan, Associate Director for Research. Dr.
14 Jerry Weir, Director, Division of Viral
15 Products. Dr. Milan Blake, Acting Director,
16 Division of Bacterial, Parasitic and
17 Allergenic Products. Dr. Konstantin Chumakov,
18 Chief Laboratory Methods Development, Division
19 of Viral Products. And Dr. Sheldon Morris,
20 Chief Laboratory of Mycobacterial Diseases and
21 Cellular Immunology, Division of Bacterial,
22 Parasitic and Allergenic Products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I ask that all our Committee
2 Members identify yourselves each time you
3 speak. We have a transcriber present who will
4 need your assistance in order to accurately
5 transcribe all comments to the appropriate
6 Committee Member. I also ask that our
7 Committee Members not use cellular phones,
8 since they may add extra unnecessary
9 background noise to the line.

10 Should during the teleconference a
11 source of noise occur in your office, we would
12 appreciate it if you would use the mute button
13 on your phone, if you have that option. We
14 ask that you do not place us on hold, since
15 many clinical centers have background music
16 and that could be distracting to those
17 remaining on the teleconference line.

18 I would now like to read into the
19 public record the conflict of interest
20 statement for this meeting.

21 The Food and Drug Administration is
22 convening today's meeting of the Vaccines and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Related Biological Products Advisory Committee
2 under the authority of the Federal Advisory
3 Committee Act, FACA, of 1972. With the
4 exception of the industry representative, all
5 Members of the Committee are special
6 government employees or regular federal
7 employees from other agencies and are subject
8 to federal conflict of interest laws and
9 regulations.

10 The following information on the
11 status of this Advisory Committee's compliance
12 with federal conflict of interest laws,
13 including, but not limited to 18 USC 208, is
14 being provided to participants in today's
15 meeting and to the public.

16 FDA has determined that Members of
17 this Advisory Committee are in compliance with
18 federal ethics and conflict of interest laws.

19 Under 18 USC 208, applicable to all
20 government agencies, Congress has authorized
21 FDA to grant waivers to special government
22 employees who have financial conflicts when it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is determined that the Agency's need for a
2 particular individual's services outweighs his
3 or her potential financial conflict of
4 interest.

5 Today's agenda includes updates of
6 the research programs in (1) The Laboratory of
7 Method Development, Division of Viral Products
8 and (2) The Laboratory of Mycobacterial
9 Diseases and Cellular Immunology, Division of
10 Bacterial, Parasitic and Allergenic Products,
11 Office of Vaccines Research and Review, CBER.

12 Based on the agenda, it has been
13 determined that the Committee discussion
14 present no actual or appearance of a conflict
15 for today's meeting. Dr. Seth Hetherington is
16 serving as the industry representative acting
17 on behalf of all related industry and is
18 employed by Inhibitex Incorporated.

19 Industry representatives are not
20 special government employees and do not vote.

21 This conflict of interest statement will be
22 available for review at the registration

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 table. We would like to remind Members that
2 if the discussions involve any other products
3 or firms not already on the agenda for which
4 an FDA participant has a personal or imputed
5 financial interest, the participants need to
6 exclude themselves from such involvement, and
7 their exclusion will be noted for the record.

8 FDA encourages all other
9 participants to advise the Committee of any
10 financial relationships that you may have with
11 firms that could be affected by the Committee
12 discussions.

13 That ends the conflict of interest
14 statement. Can I just ask who recently joined
15 us on the line?

16 DR. JACKSON: Lisa Jackson.

17 DR. WALSH: Hi, Dr. Jackson, thank
18 you.

19 Dr. Karron, I turn the meeting over
20 to you.

21 CHAIR KARRON: Thank you,
22 Christine. Welcome again, everyone, to our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 last meeting of 2007. Our first speaker will
2 be Dr. Michael Brennan. Dr. Brennan, I see
3 that you have two presentations and my
4 thought, if it's okay with you, is for you to
5 give both of those presentations and then for
6 us to take any questions that we have at the
7 end of that time.

8 DR. BRENNAN: Okay. That's fine.

9 CHAIR KARRON: Okay.

10 DR. BRENNAN: Yes. Okay. Well,
11 welcome, everybody, good afternoon and thank
12 you for participating in this extramural
13 review of our two laboratory programs that are
14 the focus of today's meeting.

15 I will start first giving the
16 Center for Biologics Evaluation and Research
17 talk, which is the hard copy program that says
18 that at the top and says that it's a site
19 visit introduction by myself for Kathy
20 Carbone, who departed CBER last week. My
21 intent for both of these talks is to be brief
22 because I believe the focus of today's meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 should be on the presentations by the
2 Laboratory Chiefs of the two laboratories as
3 well as the review by the VRBPAC Committee
4 Members.

5 So the second slide then introduces
6 the researcher/reviewer model, which most of
7 you on the Committee know is the model that
8 CBER uses where they have active scientists
9 and laboratory also involved in review that
10 form part of the team, along with regulatory
11 scientists and clinical review scientists to
12 perform regulatory responsibilities. The two
13 laboratories today that are under review are
14 active in this researcher/reviewer model and
15 have active scientific programs, as well as
16 regulatory responsibilities.

17 Slide three is just an
18 organizational chart of CBER. It shows down
19 at the bottom a number of the offices within
20 CBER. Four of these have researcher/reviewer
21 programs, including the Office of
22 Biostatistics and Epidemiology, the Office of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Blood Research and Review, the Office of
2 Cellular Tissue and Gene Therapies, and the
3 office which is the focus today of Vaccine
4 Research and Review.

5 On the fourth slide, I'm going to
6 just spend a few slides just giving a brief
7 overview of the site visit and extramural
8 review process.

9 So in the evaluation of our
10 research programs, we have both an internal
11 and external management evaluation program.
12 The internal management review looks at the
13 yearly accomplishments that are tabulated for
14 each of the research programs, which include
15 publications, participation in regulatory
16 policy, the development of guidance documents,
17 the involvement of these researchers in
18 presentations of their research and their
19 outreach activities. And they are evaluated
20 within the office, in this case, the Office of
21 Vaccines.

22 There is also an external review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process, which is done through the VRBPAC
2 Committee and why we're here today, and that's
3 done on a four year cycle for each laboratory.

4 On the next slide, there are
5 extramural review guidelines. As you know,
6 for the site visit team, which provides a
7 criteria for evaluating each program and it
8 occurs through each cycle, there should be an
9 evaluation of the last four years of progress
10 of the research teams. The proposals contain
11 research activities proposed for the next four
12 years by the program and each of those is
13 evaluated for relevance to the regulatory
14 mission, for its management structure, for its
15 originality and innovation, and for its
16 quality, both of the program and of the
17 investigators involved in the research
18 program.

19 The regulatory activities and the
20 regulatory work by the laboratory are not
21 assessed as part of this extramural review.
22 It is focused on the research.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the next slide called Site
2 Visit Team and suggestions to get are continue
3 on the right track. These are the sort of
4 nitty-gritty things that the site visit team
5 is asked to do, which is evaluate the quality
6 of the science, propose new research
7 directions and approaches to be considered,
8 identify gaps or needs in laboratory
9 expertise, and comment on changes in the
10 laboratory organization or on new
11 collaborations.

12 And the next slide, in the process
13 of the site visit itself, there is an oral
14 summary that is presented at the end of the
15 review and then there is a written report,
16 which is also prepared, which comments on each
17 investigator on the laboratory program on
18 management issues, as well as on any specific
19 personnel issues.

20 And the next slide, as is happening
21 today, this draft report has been distributed
22 to the full Advisory Committee. There has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been a final report which is approved and
2 that's why we're here today by the Advisory
3 Committee. And this final report then is used
4 for research evaluation by both the offices
5 and by CBER for decision-making on the
6 programs, including funding and FTEs,
7 laboratory space, et cetera, and also for
8 evaluation by the PCE Committee on individual
9 personnel actions.

10 So that's my introduction to CBER.

11 I think I'm much quicker than Kathy. I'm
12 sorry for that. So I'll proceed right into a
13 brief overview of the research program within
14 the Office of Vaccines. And you have that on
15 a separate tablet there of vaccine
16 presentations.

17 DR. WALSH: Excuse me, this is
18 Christine. Who joined us? Okay. Continue,
19 Mike.

20 DR. BRENNAN: Okay. If you have
21 that slide presentation called the Office of
22 Vaccines Research and Review with my name on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the cover, if you go to the second slide,
2 that's an organizational chart of the Office
3 of Vaccines. We have two product divisions
4 where the researcher/reviewer model is used,
5 that's the Division of Bacterial, Parasitic
6 and Allergenic Products and the Division of
7 Viral Products. Norman Baylor is the
8 Director. He is here with us today, if you
9 have any questions for him.

10 We have -- the two programs today,
11 one is in the Laboratory of Mycobacterial
12 Diseases and Cellular Immunology is within the
13 Division of Bacterial, Parasitic and
14 Allergenic Products and the other Laboratory
15 of Methods Development is within the Division
16 of Viral Products. And Dr. Blake and Dr. Weir
17 will talk a little bit about a brief overview
18 of those divisions.

19 And the next slide, just briefly,
20 the mission of the Office of Vaccines it's
21 major regulatory responsibilities include the
22 review, evaluation, and taking appropriate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 action on investigational new product
2 submissions, on licensing submissions, and on
3 amendments and supplements and major
4 regulatory responsibilities.

5 But we also have major research
6 responsibilities to plan and conduct research
7 related to the development, manufacture, and
8 testing of vaccines and other products that we
9 regulate, such as allergenics. And then we
10 have other regulatory responsibilities which
11 include the development of policy and
12 procedures such as guidance documents and
13 standards that are related to our products,
14 evaluation and testing of licensed vaccines
15 which occurs now in a focus through our new
16 Division of Product Quality, evaluate and
17 monitor clinical experience and adverse events
18 that we do in collaboration with the other
19 Office of Biostatistics and Epidemiology.

20 Our staff participates in
21 inspections of manufacturing facilities for
22 the evaluation of good manufacturing practices

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and we also have a number of outreach
2 activities, including with other national
3 regulatory authorities in other countries.
4 There is good examples in both laboratories
5 today of outreach activities internationally
6 with tuberculosis and with polio issues.

7 And the next slide of major OVR
8 research priorities, some of you know we have
9 established a new management process and we
10 have -- we are focusing now on developing new
11 priorities each year that should -- that our
12 research programs should address. We have
13 four this year.

14 The first one is focused on the
15 safety of vaccines and related products. The
16 second one on evaluating the effectiveness of
17 vaccines and other biologics. The third one
18 is to facilitate the development of products
19 that address new public health threats and
20 emerging diseases. And the last one is to
21 develop and evaluate novel scientific
22 technologies that assist in the regulatory of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 biologics and any evaluation of the quality of
2 the products that we regulate.

3 And the next slide, this is just a
4 brief overview of how we are evaluating our
5 office research programs. We have an annual--
6 on an annual basis, we evaluate all of the
7 programs within the office that this process
8 now is under a new management system, which we
9 discussed with the VRBPAC earlier this year,
10 and we are on track to have further
11 discussions of this in the spring of 2008.

12 And it begins within the divisions.

13 The programs are evaluated within the
14 divisional management and then through the
15 office management for various criteria,
16 including regulatory relevance and how it is
17 addressing emerging issues, what it's
18 proposing to do in the future, and budget
19 decisions are made on -- after this
20 evaluation.

21 Just as important is the extramural
22 review, which is the process we are involved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in today, where individual programs are
2 evaluated by the VRBPAC Committee. And
3 together these evaluations and criteria are
4 taken forward to the PCE Committee that is
5 used by CBER for promotions and conversions.

6 And the next slide is a summary
7 slide just to say the main purposes then of
8 our research program within the Office of
9 Vaccines, does the research regulatory staff
10 support the science-based review and
11 regulation of vaccines, so the science is here
12 to be relevant to the regulatory mission of
13 the FDA.

14 Secondly, the research priorities
15 focus upon our mandate to assure the safety
16 and purity/potency and efficacy of vaccines
17 and other biologics. And lastly, our research
18 program also serves to recruit, train, and
19 retain highly qualified scientists.

20 And lastly, just thank you very
21 much for your time and effort. And if you
22 have any questions for me either about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CBER or the office program, I'll try to answer
2 those.

3 CHAIR KARRON: Thank you, Dr.
4 Brennan. Are there any questions for Dr.
5 Brennan? Okay. Thank you. Our next
6 presenter will be Dr. Jerry Weir, who will
7 give us an overview of the Division of Viral
8 Products.

9 DR. WEIR: Good afternoon. Thank
10 you, everyone, for participating. I'm going
11 to try to be even more brief than Mike was, so
12 that we can move on to the lab presentation.

13 The first slide on your handout
14 shows the organizational chart for the
15 Division of Viral Products. There are seven
16 laboratories in this division listed here:
17 Laboratory of Hepatitis Viruses; the
18 Laboratory of DNA Viruses; the Laboratory of
19 Respiratory Viral Diseases; the Laboratory of
20 Immunoregulation; the Laboratory of Vector-
21 Borne Viral Diseases; the Laboratory of
22 Retroviruses; and the subject of today's or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part of today's discussion, the Laboratory of
2 Method Development with Konstantin Chumakov as
3 Laboratory Chief.

4 The second slide shows the brief
5 distilled mission and functions of the
6 Division of Viral Products. Essentially, it
7 can be divided into two areas. We regulate
8 viral vaccines and related biological
9 products, ensure their safety and efficacy for
10 human use and we also facilitate the
11 development, evaluation and licensure of new
12 viral vaccines that positively impact the
13 public health.

14 The next slide. There are a lot of
15 activities that we participate in in support
16 of this mission. They are listed here, some
17 of which were already touched upon by Mike in
18 the previous presentation. We review
19 Investigational New Drug applications. We
20 review and act on Biologic License
21 applications and their supplements. We are
22 involved in lot release review and some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 testing.

2 We participate in post-marketing
3 activities. An example would be any sort of
4 product deviations to our licensed products.
5 We participate in manufacturer inspections.
6 And as already mentioned, we have a very large
7 role, consultation role with other public
8 health agencies, such as WHO, CDC, NIBSC. And
9 last, but not least, we maintain a research
10 program, and those research activities in our
11 division are related to the development,
12 manufacturing, and testing of viral vaccines.

13 The type of research projects that
14 we have vary anywhere from extremely basic to
15 very applied. They can include aspects of
16 viral pathogenesis, vaccine safety and
17 efficacy, including cell substrates, vaccine
18 and viral vector evaluation, studies on the
19 correlates of protection that we need to
20 evaluate new vaccines, reagent preparation,
21 methods development evaluation and, of course,
22 we address emerging issues, for example BSE,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 counter-terrorism, pandemic influenza in the
2 last few years.

3 The subject of today's site visit
4 report in the Division of Viral Products
5 centers on the Laboratory of Method
6 Development. This site visit was conducted on
7 March 15th of this year. And in the next to
8 the last slide I have listed the teams that
9 were evaluated. There are three teams in this
10 laboratory.

11 The first one with Konstantin
12 Chumakov, who is the Chief of the Laboratory,
13 is the head of this team. They focus on the
14 evaluation of safety and potency of viral
15 vaccines based on molecular consistency.

16 A second team is headed by Vladimir
17 Chizhikov. Their focus is on the microarray-
18 based evaluation of purity and safety of
19 biological products.

20 And the third team is led by Steven
21 Rubin, who is an acting team leader at this
22 point, and the focus of this team is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developing tests to evaluate virus vaccine
2 safety for the nervous system.

3 And in the last slide, I briefly
4 listed some of the major regulatory
5 responsibilities and areas of research. The
6 regulatory responsibilities of this laboratory
7 include the regulation of polio virus
8 vaccines, measles, mumps, rubella virus
9 vaccine. We address mycoplasma vaccine
10 issues, and also we have -- this laboratory
11 has, responsibility for various other viral
12 vaccines, which include parvovirus, varicella
13 virus vaccine, Ebola and some influenza work.

14 The areas of research are pretty
15 varied as you will hear in just a minute, but
16 some of the areas that they focus on include
17 the development of new methods to assess the
18 consistency of viral vaccines, the development
19 of preclinical neurotoxicity assays for
20 assuring the safety of live virus vaccines,
21 the development of methods for rapid accurate
22 identification of biological agents,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evaluation of surrogate endpoints for vaccine
2 safety, and the development of methods to
3 detect extraneous agents in vaccines.

4 And that's all I have for my intro.

5 If anyone has any questions, I'll try to
6 answer them.

7 CHAIR KARRON: Thank you.
8 Questions for Dr. Weir? Okay. Dr. Chumakov,
9 I think we will move on to your presentation.

10 DR. CHUMAKOV: Well, thank you.
11 Good afternoon. This Laboratory of Method
12 Development was created in early '90s within
13 the Division of Product Quality Control in the
14 Office of Establishment, Licensure, and
15 Product Surveillance. And after departure of
16 Dr. Leyvandook, who was the founder of the
17 lab, the lab was translocated to the Division
18 of Viral Products because we primarily deal
19 with issues of a viral nature.

20 So the -- our mission is to create
21 new methods to -- for quality control and for
22 pre-license evaluation of new viral vaccines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we like to think of ourselves not as just
2 developing new methods, but rather trying to
3 come up with new approaches for evaluation of
4 biologics. And I will give you later a few
5 examples of such development.

6 So I move to Slide No. 3. And we
7 have three research teams led by three
8 principal investigators, myself, Dr.
9 Chizhikov, who is reviewed for the first time
10 as an independent PI because during the last
11 site visit four years ago, he was recommended
12 for conversion as a senior investigator, and
13 this was not really done, just because of his
14 citizenship status. So after four years, he
15 was reviewed again this time and according to
16 the recommendations, he was presenting his
17 data as a PI.

18 And then Mr. Rubin, who is an
19 acting PI. He inherited this group from Dr.
20 Carbone, who recently departed CBER. And
21 therefore, he presented at the site visit also
22 independently.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So my group primarily deals with
2 issues of viral vaccines, and we focus on the
3 analysis of the actual substance of viral --
4 live vaccines and inactivated vaccines.

5 Dr. Chizhikov's focus is primarily
6 on the technological development in the field
7 of microarray research with a particular focus
8 on purity of vaccines analysis of -- and
9 trying to find adventitious agents with,
10 again, a primary focus of mycoplasma detection
11 and classification.

12 And the third group is primarily--
13 it's called neurotoxicity group, because its
14 primary focus is on development of new methods
15 for analysis of neurotoxicity of different
16 vaccines.

17 So we have on the fourth slide list
18 the staff of the Laboratory of Method
19 Development. In the past year, we have three
20 departures, Dr. Carbone, who left, and also
21 two post-doctoral fellows in my group also
22 left during this period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Our regulatory responsibilities
2 include polio vaccines and combination
3 products with IPV, mumps, measles, rubella and
4 varicella vaccines. We also perform lot
5 release of IPV, MMR and HPV vaccines. We
6 perform quality control of vaccines that are
7 under licensure, and we also deal with review
8 of INDs and BLAs related to these products.

9 We also are involved in
10 international activities, and three of us are
11 advisors to the WHO. We are also involved in
12 the creation of international guidances and
13 WHO recommendations. We collaborate with WHO
14 on validation of reference materials and
15 participate in international collaborative
16 studies, and also we are involved in the
17 activity under the DHHS Biotechnology
18 Engagement Program.

19 So I move to Slide No. 7. And I
20 will start in reverse order, and I will first
21 describe the scope of research activities and
22 accomplishments in the neuropathogenesis team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 led by Steven Rubin. So they perform research
2 and development of new methods for analysis of
3 neurovirulence of vaccines, primarily mumps
4 vaccine. Historically, this group started
5 with creation of rat, newborn rat method for
6 evaluation of residual and neurovirulence of
7 mumps vaccine live.

8 And recently, they diversified and
9 also began development of methods also based
10 on rat model to assess neurovirulence
11 potential of influenza vaccines and smallpox
12 vaccines. So there was a number of new models
13 developed within this group, including the
14 newborn rat test. And they also are involved
15 in the development of -- in studies of
16 molecular determinants of neurovirulence, and
17 particularly in mumps virus by doing some
18 molecular studies.

19 So basically, after departure of
20 Dr. Carbone, this group remains perhaps the
21 leader within CBER on issues of
22 neuropathogenesis for viral vaccines. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 since mumps is not really something that is
2 studied widely in this country, so this lab is
3 the only -- is the second of two labs in the
4 United States that deal with mumps issues.

5 So their research accomplishment in
6 mumps, and I move to Slide No. 9, include
7 development of rat model for assessment of
8 residual neurovirulence of this vaccine, and
9 this test is now undergoing WHO collaborative
10 study to evaluate utility of this method.
11 They also created a method for analysis of
12 neurovirulence in cell culture.

13 They created a model of reverse
14 genetics where they can manipulate genome of
15 mumps virus, both wild type and attenuated
16 vaccine virus in order to study the effect of
17 individual genes on the neurotoxicity of the
18 virus.

19 They recently, in 2006 and early
20 2007, were involved in collaboration with CDC
21 in outbreak investigation. As many of you
22 know, there was an outbreak of mumps in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 country, first since the B- perhaps in 20
2 years, and Steve Rubin was actively involved
3 in this investigation.

4 So now, Slide No. 10. He has also
5 initiated projects on development of methods
6 for analysis of influenza virus neurovirulence
7 and vaccinia virus neurovirulence, and this is
8 very much a work in progress, and it's very
9 important considering that there is a number
10 of new influenza vaccines are on the horizon,
11 and CBER feels that we need to have an active
12 program to address this issue, because, I
13 mean, this is something that was not really
14 very much on the radar screen before. So this
15 is something that we feel needs to be
16 advanced.

17 Let me move to the second research
18 team led by Dr. Chizhikov. As I already
19 mentioned, he was -- before he was a part of
20 my lab, he first came in 1998 as a post-
21 doctoral fellow, and then he established
22 himself as a leader in microarray research.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And in the last four years, he was
2 functioning independently, so we tried to
3 separate/segregate our research priorities in
4 such a way that he could become an independent
5 principal investigator as was recommended by
6 our previous site visit. So he has a very
7 small team. He only has two post-doctoral
8 fellows.

9 And his research focuses on two
10 major subjects. First is development and
11 improvement of microarray technology. He is -
12 - came as by his education, so he is very
13 active in collaborating with other teams in
14 bringing new technical ideas to CBER to
15 improve in this approach. But his focus on
16 the biological aspects of microarray studies
17 is primarily on safety and purity. And in the
18 past two years, he was working primarily on
19 mycoplasma detection and identification
20 methods.

21 So the technical approach, as I
22 move to Slide No. 13, it's a use of advanced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 microarray substrates and instruments. He
2 also pioneered in using nanotechnology, nano-
3 gold particles that significantly increase
4 sensitivity of this method and also enabled
5 him to do visual analysis of the results
6 without the need for expensive equipment.

7 He also is involved in
8 collaborations with CDRH, another center
9 within the FDA on the so-called Lab-on-Chip.
10 It will be an integrated instrument that would
11 perform all steps of this analysis in one --
12 on one slide.

13 Biological models that he
14 investigated included drug-resistant TB,
15 genotyping of measles isolates and this was
16 done in collaboration with the Johns Hopkins
17 University. He also collaborated with CDC on
18 genotyping of VZV isolates and also analysis
19 of vaccine strains isolated at CDC.

20 He also created a method for
21 genotyping of rotaviruses, and this work was
22 also done in collaboration with NIH. And he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also plans to transfer this method to CDC. He
2 also collaborates within CBER with the Office
3 of Blood Research and Review on use of his
4 approaches for detection of pathogens in
5 blood.

6 And finally, his other -B his other
7 studies are in collaboration with the Center
8 for Food Safety at the FDA on detection and
9 classification of food-borne pathogens,
10 listeria and E. coli.

11 Next slide lists his accomplishment
12 and primarily this is in the mycoplasma part
13 of his project. So he established a
14 comprehensive collection of mycoplasma species
15 that were ever found in biological
16 preparations, he created an extensive database
17 collected from all over the world and also
18 developed in-house a nucleotide sequence
19 database of ribosomal RNA that enabled him to
20 create a microchip that can discriminate
21 between any of the above mycoplasma species.

22 And he also recently came up with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the idea of enrichment of mycoplasma on cell
2 culture. And this is a very significant
3 development because new techniques including
4 PCR and microarray analysis in principle are
5 incapable of being as sensitive as the
6 traditional microbiological methods just by
7 virtue of using a very small sample volume.

8 So his proposal allowed him to kind
9 of combine both high sensitivity of
10 microbiological methods and a rapid nature of
11 new molecular techniques and a very high
12 resolution. Basically, he can -- he shortened
13 the time needed for analysis from 21 days to
14 just one week. And as a result, he can
15 accurately identify mycoplasma contamination
16 that is present in cell cultures and other
17 biological materials.

18 He is quite productive over the
19 past four years. He published 24 papers and
20 applied for two patents. And he presented to
21 numerous international meetings, including
22 oral presentations as an invited speaker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So finally, let me move to my
2 program, and Slide No. 16 lists staff that
3 works with me. As I already mentioned, the
4 last -- in the last year, Dr. Ivanov and Dr.
5 Cherkasova left my group, so now we are down
6 to six people in my lab. And the principal
7 directions in Slide No. 17 include studies of
8 oral polio vaccine, inactivated polio vaccine,
9 and we also study recombinant and flavivirus
10 vaccine. They are under development at the
11 National Institute of Allergy and Infectious
12 Diseases here at NIH.

13 We also do some influenza research
14 on influenza vaccines. We also use microarray
15 methods for genotyping of orthopox viruses and
16 herpes viruses. And this is historical, and
17 this project was done as a part of our BTEP
18 collaboration with former Soviet Union
19 scientists.

20 And we also are conducting research
21 in molecular consistency of cell substrates.
22 In this particular case, it's analysis of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tumorogenicity of Vero cells.

2 So first, oral polio vaccines. So
3 our lab pioneered in using of transgenic mice
4 for -- as a substitute for the mouse -- for
5 the monkey in neurovirulence test for oral
6 polio vaccine, and it was evaluated by the
7 World Health Organization and about four years
8 ago was recommended as a kind of alternative
9 method for assessment of neurovirulence of --
10 and lot release of oral polio vaccines.

11 So now, WHO initiated a process by
12 which they will eliminate monkeys as a
13 recommended method because the previous
14 recommendation was to have monkeys as a golden
15 standard and mice were an alternative. So
16 now, they want to make transgenic mice
17 developed by Dr. Dragunsky in my lab as a
18 primary tool for assessment of neurovirulence
19 in lot release of oral polio vaccine.

20 And we are involved in this
21 international collaboration to make this
22 happen. So we also study molecular basis of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neurovirulence and interactions of polio virus
2 with innate immune system, and we have
3 published and -- so this is all done, I mean,
4 this part is done in collaborative efforts
5 with the University of Chicago and with the
6 Cleveland Clinic Foundation.

7 So our main emphasis in OPV is on
8 development of method that could enable
9 analysis of clinical studies, because recently
10 there was a number of studies conducted with
11 IPV and OPV and interpretation of these
12 studies are complicated, because of the
13 inability to study both immune response and
14 genetic stability of OPV.

15 For instance, one example was that
16 it was suggested that prior immunization with
17 IPV makes OPV more genetically unstable. And
18 this issue was unresolved for the past 10
19 years. So we have developed ex vivo molecular
20 technique that enabled us to directly amplify
21 full lengths genome of polio virus directly
22 from stool samples.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And by using this method, we have
2 demonstrated as first there is no influence of
3 prior immunization status on genetic stability
4 of OPV. And second, we have found that
5 immunization with IPV protected a significant
6 part of vaccine recipients from being
7 reinfected with OPV, suggesting that IPV
8 elicits, after two immunizations, measurable
9 intestinal immunity, which was important for
10 understanding of the benefits that IPV can
11 provide to vaccine recipients.

12 So we also studied adverse
13 reactions caused by oral polio vaccine, and we
14 performed a number of studies on the so-called
15 vaccine-derived polio viruses. So we have
16 discovered the recombination patterns that
17 different polio virus serotypes are involved
18 in and provided an explanation for the driving
19 forces behind this recombination.

20 And we also published a study on
21 antigenic drift that occurs in vaccine-derived
22 polio viruses. So basically, the conclusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was that vaccine-derived polio viruses very
2 often revert at the antigenic sites. But
3 despite this fact, they do not escape from
4 neutralization properties and still -- so they
5 do not present a threat of a runaway nature.
6 So basically, we explained the driving forces
7 behind such evolution, but we also
8 demonstrated that these viruses do not present
9 a threat in terms of being -- rendering a
10 vaccine immunization ineffective.

11 So we also have a group of -- a
12 number of publications on creation of advanced
13 immunochemical procedures. First, we
14 developed this, what we call, block-ELISA
15 procedure that enabled us to very accurately
16 measure potency of vaccine. And this is -- I
17 mean, in my estimation, this is the best
18 protocol for D antigen potency testing that
19 exists so far.

20 And we also proposed a new approach
21 for characterization and consistency
22 monitoring of IPV by what we call epitope

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 profiling. And in short it means that we can
2 quantify contribution of individual epitopes
3 to the overall antigenicity of IPV. And
4 recently, we also created a method that we
5 call paratope profiling, basically meaning
6 that we now can quantify contribution of
7 individual immunoglobulins that are specific
8 to individual epitopes.

9 So these two methods are -- the
10 first method, epitope profiling enabled us to
11 comparatively evaluate conventional IPV and
12 Sabin IPV that was recently proposed, and we
13 have demonstrated that Sabin IPV significantly
14 differs from the conventional product.

15 And the paratope profiling also led
16 us to discover that immunization with IPV and
17 OPV produces very different profiles of
18 antibodies. So our studies on activated polio
19 vaccine also involve, as I already mentioned,
20 evaluation of the new Sabin IPV. And this is
21 Slide No. 20.

22 So this is done by using transgenic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mouse immunization challenge test that was
2 also developed in our lab. And we also -- by
3 using this method, we have found that wild
4 Sabin IPV of Type I is equally or perhaps even
5 more immunogenic and protective as the
6 conventional product. Sabin IPV of Type II
7 and III are less immunogenic and need further
8 development.

9 We also explored the potential
10 effect of novel adjuvants and, in particular,
11 we used dihydroxy vitamin D3 and found that it
12 not only increases secretion of local IgA in
13 the mucosal surfaces, but also significantly
14 boosts neutralization titer in mice immunized
15 with IPV with this adjuvant.

16 And we also recently started a
17 project on exploring the effect of alternative
18 routes of IPV administration. In this
19 particular case, we used this BioJet device.
20 It's an air gun that delivers a vaccine
21 directly into the skin. We found that it is
22 significantly more efficient for inducing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immune response, and immune response was about
2 5 times greater compared to the subcutaneous
3 and other routes of administration.

4 And this is very important because,
5 apparently, this device is considered as a
6 method for delivery of vaccines, especially in
7 the developing countries in order to save cost
8 of vaccine, because it can enable potentially
9 a reduction of dose needed for immunization.
10 And our studies they lend support to this
11 idea.

12 So finally, let me move to other
13 models that we work on, and this flavivirus
14 project, it was -- it is supported in part by
15 our interagency collaboration that we entered
16 last year with the National Institute of
17 Allergy and Infectious Diseases. And it is
18 not only supported by this agreement with NIH,
19 but also conducted in collaboration with a
20 group in the Laboratory of Infectious Diseases
21 at NIH that developed this vaccine.

22 So this vaccine represents a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chimera between West Nile and dengue virus,
2 and it was demonstrated to be highly
3 attenuated. But significant issues remain
4 whether this vaccine is genetically stable and
5 can -- if it can revert upon passage upon
6 growth in the cell culture, during manufacture
7 or in vaccine recipients.

8 So we have developed microarray
9 analysis to study genetic stability. We have
10 validated this technique and currently are
11 studying experimental samples that we hope
12 will enable us to address this issue. And the
13 outcome will be a method for quality control
14 and consistency monitoring of production of
15 this vaccine.

16 So we also worked on seasonal
17 influenza vaccine creation by developing
18 microarray methods for genotyping of
19 individual segments of both influenza A and
20 influenza B. And the issue was that we wanted
21 to create a method to be able to rapidly
22 genotype reassortants that are created every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 year for seasonal influenza vaccines.

2 So we have published this before
3 for influenza B, and now we are working on
4 influenza A, and the major accomplishments
5 here include our ability now to amplify
6 genomes of all influenza A viruses in 1 2,
7 which was very problematic before. And we
8 also created a number of oligoprobe that
9 enabled us to discriminate between different
10 segments from different strains. So we hope
11 that this could be a useful tool in annual
12 activity for creation of vaccine strains.

13 So finally, just briefly about the
14 project I already mentioned. It was a
15 genotyping of orthopox viruses and herpes
16 virus. So first, it was our DARPA-funded
17 project and when we were exploring utility of
18 microarrays for different applications in
19 virology, and then it was picked up by
20 Biotechnology Engagement Program and it was
21 done in collaboration with Russian scientists.

22 And the reason for this was that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they are the only group besides CDC that have
2 access to variola virus. So it was done in
3 collaboration with two institutions in Russia.

4 Now, this collaboration is over, but it
5 resulted in several publications, so that's
6 why I presented this at the site visit.

7 And finally, the last project is a
8 molecular consistency on cell substrates.
9 Vero cells, of course, are widely used for
10 production of vaccines. First, it was killed
11 vaccines, inactivated vaccines and now live
12 vaccines. So issues of tumorigenicity still
13 remain unresolved, because high passage Vero
14 cells and Vero cells passage or prepared cell
15 banks prepared in inappropriate conditions
16 could potentially increase tumorigenicity,
17 which is undesirable.

18 So our approach involves analysis
19 of mitochondrial DNA and also use of
20 microarray gene expression profiles to
21 identify markers of tumorigenicity. And we
22 also use proteomics approaches, too. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 idea is that we want to identify molecular
2 markers that would enable us to screen cell
3 banks and to identify cells with potentially
4 altered tumorigenicity properties.

5 So the same method, if we develop
6 this approach into a workable method, we could
7 also potentially use for analysis of
8 consistency of cell substrates at the level of
9 cell culture confluency and their metabolic
10 status. So basically, this covers the scope
11 of our studies and in the future we probably
12 will try to consolidate some of the projects
13 because, I mean, this lab is 18 years old and
14 as often happens we diversified perhaps in too
15 many areas.

16 And with the departure of people
17 and with limited resources, we probably will
18 not be able to sustain the scope that we had
19 before. And we will perhaps concentrate on
20 the -- in the areas that we are most -- can be
21 most helpful. And this includes some
22 expertise in the polio vaccines and perhaps in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- we will finish influenza viruses, but
2 primarily focus on issues that we feel are --
3 we have maximum experience in.

4 So with this, I will finish and
5 hope I didn't use too much extra time.

6 CHAIR KARRON: No. Thank you very
7 much, Dr. Chumakov, for that very
8 comprehensive presentation. Are there
9 questions for Dr. Chumakov?

10 Dr. Chumakov, can you just say a
11 little bit more about your very last comment
12 about your -- that you think primarily for the
13 future you will focus on polio and influenza?

14 Will you continue to do some of the
15 microarray work as well, do you imagine, in
16 the service of that or will that be less of a
17 focus of your laboratory?

18 DR. CHUMAKOV: Yes, we will use
19 microarray methods, but before we B- in fact,
20 I think we, in many areas, we were the first
21 to use this approach for analysis, in the
22 version that we used. So in the future

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perhaps we will use it, but we will not -- I
2 don't anticipate that we will be developing
3 novel versions of this technique.

4 Not only because Dr. Chizhikov in
5 my lab will focus on this, but it will be just
6 one of the many other approaches that we will
7 use.

8 So I mean, I really recently became
9 more excited about immunological approaches
10 that really this project is going extremely
11 well. And now with this development of new
12 paratope profile method, I think it has an
13 extremely important future, not only in polio
14 vaccines, because if we will be able to
15 validate this approach in polio vaccine, we
16 could do it for any other vaccine.

17 I think I'm very excited about this
18 opportunity and probably I would -- I mean, at
19 least mentally I am more prepared to diversify
20 in this direction and willing to cut off some
21 of the less important things that perhaps
22 others could do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Okay. Thank you.
2 Other questions for Dr. Chumakov? Okay.
3 Thank you. Hearing none, I think we will
4 proceed to hear from Dr. Blake.

5 DR. BLAKE: Hi. First of all, I
6 would like to extend to the Committee my
7 thanks for your time and effort during this
8 process. I just want to give you a little
9 background into the Division of Bacterial,
10 Parasitic and Allergenic Products kind of to
11 give you an idea how Dr. Morris's lab sits in
12 our division.

13 We have currently six laboratories
14 that are in research, and they really are
15 based on products and potential products into
16 the future. Those of the allergenics is led
17 by my Acting Deputy Director, Jay Slater, and
18 that's the Laboratory of Immunobiochemistry.

19 The Enterics and Sexually
20 Transmitted Diseases Laboratory is headed up
21 by Dennis Kopecko, that of bacterial
22 polysaccharides, i.e., that's the capsular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 polysaccharides and conjugates are headed up
2 by Willie Vann. Those that are specialized in
3 respiratory and special pathogens,
4 specifically many of those pathogens that use
5 toxins or toxic compounds, are headed up by
6 Drusilla Burns, and she is also now Acting
7 Chief of the Laboratory of Methods Development
8 and Quality Control.

9 And that brings us to the
10 Laboratory of Mycobacterial Diseases and
11 Cellular Immunology that is headed up by
12 Sheldon Morris. And much as you have heard
13 from Dr. Brennan and also Dr. Weir, if you
14 look at our second slide, we have the
15 responsibilities of the researcher/reviewer is
16 to conduct regulatory review, also conduct
17 very Critical Path sorts of research, both of
18 the programs and special tasks. We also have
19 -- serve as outside organizations as
20 recognized by the subject matter experts WHO,
21 PAHO and so forth.

22 The third, some of the work where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this becomes synergistic both the review and
2 laboratory work, we do provide reagents and
3 standards to worldwide and international. We
4 do collaborative efforts for assay
5 development. We try to improve the technology
6 and do troubleshooting for both manufacturing
7 in these assays. And also the research that
8 we have, we gain expertise in to better
9 anticipate issues and identify and fill
10 knowledge gaps. We provide expertise and
11 input into the vaccine community and provide
12 guidance, advice to the industry.

13 The next slide, obviously, this is
14 a slide that we -- this comes from our
15 research priorities that is coming from the
16 office, and I won't review these, but these
17 are our priority as well, both safety,
18 effectiveness, facilitating new biological
19 products for health threats, and to develop
20 new ways to increase the availability and
21 quality of vaccines that we do regulate.

22 And that comes to the Laboratory of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mycobacterial Diseases and Cellular Immunology
2 that is headed up by Dr. Morris. There is
3 three particular sections within this
4 laboratory. One is headed up by Sheldon
5 Morris, the second one by Michael Brennan, and
6 the third by Karen Elkins. And they are
7 looking at intracellular pathogens and trying
8 to understand both the availability of
9 vaccines that are currently on the market and
10 how to improve them and to develop ways to
11 monitor new vaccines that may improve on
12 these.

13 And so I'll turn that over to Dr.
14 Morris.

15 CHAIR KARRON: Thank you, Dr.
16 Blake.

17 DR. MORRIS: Okay. Thanks for
18 allowing me to participate in this meeting.
19 Today I want to review the Laboratory of
20 Mycobacterial Disease and Cellular Immunology,
21 briefly review regulatory responsibilities and
22 talk about our research accomplishments, at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 least in the past four years and finally talk
2 about activities within the public health
3 community.

4 If you go to Slide 3, it
5 illustrates the regulatory responsibilities
6 and duties that we have. We have a whole
7 spectrum of regulatory duties from providing
8 preclinical guidance to reviewing IND
9 submissions, reviewing BLAs, doing inspection,
10 reviewing product labeling, product release
11 documents, and also assisting in developing
12 regulatory policy.

13 On Slide 4, summarizes the products
14 that we regulate. We regulate vaccines,
15 immunotherapeutics and diagnostics, probably
16 most importantly in the past two or three
17 years is regulation of INDs for new TB and
18 malaria vaccines. Of course, these are two of
19 the most important global vaccine initiatives
20 currently.

21 Regulatory accomplishments are
22 summarized for the past four years during the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 site visit period. In the next slide, we've
2 reviewed more than 700 IND submissions,
3 participated in a number of pre-IND meetings,
4 reviewed greater than 30 BLA supplements,
5 reviewed a number of annual reports,
6 coauthored guidance documents, made 18
7 presentations relevant to regulatory process,
8 and actually co-organized the FDA/NIH workshop
9 on regulation of TB vaccines.

10 So that's just a brief summary of
11 our regulatory duties. And now, I want to go
12 on to spend more time on the research.

13 Historically, we have been involved
14 with four basic areas. First of all, looking
15 at the molecular basis of disease
16 pathogenesis, and for our lab that's largely
17 TB and Francisella. Also looking at immune
18 mechanisms associated with intracellular
19 infections.

20 We have done a lot of work in the
21 past 15 years on studying the effectiveness of
22 novel TB vaccines. We have a standardized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aerosol challenge model in the lab for
2 evaluating TB vaccines. And finally, more
3 recently, we have become more involved in
4 development of assays to characterize vaccine-
5 related products.

6 As Milan mentioned, we have three
7 research sections in our lab: molecular
8 vaccine section, a micropathogenesis section,
9 and an immune mechanism section.

10 The next slide, which I believe is
11 Slide 8, lists the staff in my section. I
12 have one staff fellow, two post-docs, and a
13 technician. And it lists some of the
14 collaborators we have. We collaborate with
15 Bill Jacobs and Steve Porcelli at the Albert
16 Einstein College of Medicine, with Bob Cedar
17 at the Vaccine Center at NIH, with some folks
18 at NCI, with investigators at the Aeras Global
19 TB Foundation, and with public health -- or
20 with Barry Kreisworth at the Public Health
21 Research Institute in Newark.

22 Okay. Our section is focused on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three primary areas during the past four
2 years. First of all, characterization of live
3 attenuated TB strain; that has been a big
4 effort in collaboration with Bill Jacobs and
5 Steve Porcelli at Albert Einstein. We have
6 also evaluated a number of novel TB DNA
7 vaccines and spent a lot of effort in
8 development of assays to facilitate vaccine --
9 TB vaccine development.

10 With support from NIH, we have been
11 developing an in vitro potency assay for TB
12 vaccines, which are -- which is sorely needed.

13 And with support and collaboration from the
14 Aeras Global TB Foundation, we have been
15 developing a preclinical safety test for post-
16 exposure TB vaccines. There is a concern in
17 the TB community that administration of TB
18 vaccines into people that were previously
19 exposed to TB or infected with TB, I should
20 say, will yield Koch-type reactions, so we're
21 trying to develop a preclinical safety test
22 which will address this concern.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We had a number of significant
2 findings in the past four years. I've just
3 sort of summarized four of them. First of
4 all, demonstrated the effectiveness of a pro-
5 apoptotic strategy for generating new
6 attenuated TB vaccines, and this pro-apoptotic
7 approach may be a new paradigm for developing
8 TB vaccines, and that was recently published
9 in Journal of Clinical Investigation.

10 We showed that BCG immunization
11 protects against challenge by a number of
12 different M.TB genotypes, and the reason this
13 is important is because recent epidemiological
14 studies and other preclinical studies have
15 suggested that BCG may not be so effective
16 because it doesn't protect against certain TB
17 genotypes.

18 But we showed at least in mouse
19 model that BCG is equally effective against a
20 number of TB genotypes, and I think that has
21 significant implications for future vaccine
22 development testing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As I mentioned, we have developed
2 preclinical assays for assessing the safety
3 and potency of post-exposure and prophylactic
4 TB vaccines with support from NIH and the
5 Aeras Global TB Foundation. And finally, and
6 this is collaboration with Bob Cedar at the
7 Vaccine Research Center, we showed that the
8 frequency of multi-functional T-cells
9 expressing Interferon-gamma, TNF-alpha, and
10 IL2 correlate with level of vaccine-induced
11 protection against TB.

12 So that suggests that these multi -
13 - induction of these multi-functional T-cells
14 may be a correlate of protective immunity
15 against TB. And this was recently published
16 in Nature Medicine.

17 The second section is headed by
18 Mike Brennan, it's the mycopathogenesis
19 section. Mike currently has a visiting fellow
20 working for him, a post-doc, and an
21 experienced technical person. He has a number
22 of collaborators throughout the world,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 including people at the Institut Pasteur,
2 University of Maryland, Colorado State,
3 University of Texas, and Catholic University
4 of Rome.

5 They have focused in the past four
6 years on two major projects, characterization
7 of a heparin-binding hemagglutinin in cell-
8 surface protein, a very interesting protein
9 from TB. And I think in the last couple of
10 years, they've focused largely on the
11 characterization of novel multi-gene family of
12 TB. There are nearly 100 of these PE/PE_PGRS
13 genes encoded in the TB genome. The role of
14 these genes is unclear in TB and so there is a
15 considerable interest in labs throughout the
16 world about defining what the role of these
17 proteins are.

18 Some significant findings from
19 Mike's lab section during the past four years
20 are the following. First of all, they showed
21 the differences in expression of certain
22 PE_PGRS genes during infection may indicate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they provide a novel mechanism of
2 antigenic variation used by TB.

3 Nathalie Cadieux in Mike's group
4 has shown that PE_PGRS proteins interact with
5 mitochondria, which may lead to host cell
6 injury and death and provide M.TB with a
7 mechanism for escaping macrophages and other
8 infected host cells. And also they have
9 identified a PE antigen that elicits a strong
10 TH1-like response and protects M.TB against
11 challenge in an aerosol TB mouse model. And
12 this MaPE antigen is being pursued as a new TB
13 vaccine candidate.

14 Now, finally, Karen Elkins's group
15 immune mechanism section. Karen currently has
16 a visiting fellow, Siobhan Cowley, two post-
17 docs, and two technicians. She has a number
18 of collaborators, NIH; UNC Chapel Hill;
19 University of Maryland at Baltimore;
20 University of Victoria in British Columbia;
21 University of Texas, San Antonio; University
22 of New Mexico.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And Karen's group, in the next
2 slide, which I think is Slide 17, has had
3 three major focuses in the past four years.
4 First of all, they provided a lot of reagents
5 and information for tularemia vaccine
6 research. I just want to emphasize this
7 point. Post-9/11 there has been a
8 considerable expansion of the Bio-Defense
9 Program and an increased interest in tularemia
10 research.

11 For many years, Karen has been a
12 leading domestic tularemia researcher and with
13 this -- when these new tularemia research
14 programs were evolving in recent years, Karen
15 has been an invaluable source of agents and
16 information about Francisella host pathogen
17 interactions.

18 Okay. So the second sort of
19 interest or -- of this group in the past four
20 years is understanding innate immune
21 mechanisms, responses to intracellular
22 bacteria, including Francisella tularensis and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 M.tuberculosis and also in defining mechanisms
2 by which B&T cells provide protection against
3 intracellular bacteria, including Francisella
4 and mycobacteria.

5 We have summarized a few of the
6 accomplishments that Siobhan and Karen have
7 had during the past four years, and they
8 include -- they have looked at lot at this
9 unique membrane TNF-alpha molecule and found
10 out that it's a major mediator, a T-cell
11 mediated control of Francisella and
12 M.tuberculosis growth.

13 They also found that interferon
14 gamma, although has a modest role in this
15 whole process of controlling growth of these
16 intracellular pathogens, probably is an
17 unlikely reliable correlate, probably has only
18 a modest role.

19 Secondly, Siobhan and Karen have
20 identified this unique non-CD4/CD8 double
21 negative T-cell subset, which appear to
22 contribute substantially to adaptive immunity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 against Francisella and mycobacteria at least
2 in mice. And they have two nice general
3 experimental medicine papers describing these
4 cells.

5 And finally, Francisella species,
6 they found that Francisella species contain a
7 major pathogenicity island, which expresses
8 about 25 virulence-related genes, and this
9 could have an impact with respect to the
10 evaluation of a safety of a live vaccine
11 strain of tularemia.

12 So to summarize our research
13 accomplishments, we had, during the past four
14 years, 45 publications, including a number of
15 publications in very prestigious journals,
16 including Nature Medicine, TNAS, Journal of
17 Experimental Medicine, Journal of Clinical
18 Investigation.

19 We had 55 invited research
20 presentations. We competed for external
21 funding and actually got funded from 15
22 sources or 15 different projects. So that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 summarizes the research.

2 I just want to briefly talk about
3 our involvement with the public health
4 community. We do a lot of work for the WHO.
5 Mike has been involved with the GAVI
6 Committee, TB Vaccine Initiative Advisory
7 Board, Stop/TB Working Group. Karen has been
8 involved with the tularemia network of the
9 WHO.

10 We provide standard reagents for
11 the WHO. We do some work with the CDC,
12 especially in terms of skin test studies. We
13 have been involved with the BTEP Program, all
14 three of the PIs, the Biotechnology Exchange
15 Program with Russian scientists. We do a lot
16 of work with NIH, study sections, TB Vaccine
17 Review Committee, Mike was on that. Karen has
18 been on some NIH Blue Ribbon Panels.

19 I'm on the Advisory Committee for
20 Elimination of TB. Mike and I have
21 participated in the Federal TB Task Force. A
22 number of us in the group do a lot of review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of scientific papers and are on editorial
2 boards for scientific journals. And we have
3 been involved with the organization of major
4 scientific meetings.

5 Karen was a major organizer of the
6 -- last year's international tularemia
7 meeting, and Mike has been very involved with
8 some of the TB vaccine meeting organizations.

9 And finally, we'll just talk about
10 some outreach activities. We, as I mentioned,
11 provide reagents and develop assays for
12 tularemia and TB research in collaboration
13 with the Aeras Global TB Foundation and the
14 WHO. We actually developed and characterized
15 TB challenge strains and a standard BCG
16 vaccine for preclinical vaccine testing.

17 In labs throughout the world, we
18 actually distribute these; we're asked by labs
19 throughout the world to send us these strains
20 so that we can have some sort of standardized
21 preclinical testing of TB vaccines.

22 Mike and I have also been involved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the development of standard tuberculins,
2 and Mike's group has been involved in the
3 distribution of anti-HBHA monoclonal
4 antibodies and the production of HBHA knockout
5 strains.

6 That's all I have to say about our
7 lab. Thanks for your interest. Any
8 questions?

9 CHAIR KARRON: Thank you, Dr.
10 Morris. Any questions for Dr. Morris? Okay.

11 Thanks again, Dr. Morris. I think, at this
12 point, we'll move on. Christine, I think you
13 have an announcement?

14 DR. WALSH: Thank you, Dr. Karron.

15 As part of -- we will move on to the open
16 public hearing section. As part of the FDA
17 Advisory Committee meetings procedure, we are
18 required to hold an open public hearing for
19 those members of the public who are not on the
20 agenda and would like to make a statement
21 concerning matters pending before the
22 Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I have received one written
2 comment. A copy of the statement has been
3 given to the Committee Members. A copy has
4 been placed in the viewing notebook at the
5 registration desk and we will make -- and will
6 be made part of the official meeting record.

7 Is there anyone in the room who
8 would like to address the Committee at this
9 time?

10 MS. GHOSH: I have a quick
11 question. I'll identify myself.

12 DR. WALSH: Dr. Karron, we do have
13 someone who would like to make a statement.

14 CHAIR KARRON: Okay.

15 DR. WALSH: Can you come up to the
16 desk, so the Committee can hear you? And
17 before that, Dr. Karron, would you, please,
18 read the open public hearing general matters
19 statement?

20 CHAIR KARRON: Yes. Both the Food
21 and Drug Administration and the public believe
22 in a transparent process for information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gathering and decision-making. To ensure such
2 transparency at the open public hearing
3 session of the Advisory Committee meeting, FDA
4 believes that it is important to understand
5 the context of an individual's presentation.

6 For this reason, FDA encourages
7 you, the open public hearing speaker, at the
8 beginning of your written or oral statement to
9 advise the Committee of any financial
10 relationship that you may have with any
11 company or any group that is likely to be
12 impacted by the topic of this meeting.

13 For example, the financial
14 information may include the companies or a
15 group's payment of your travel, lodging, or
16 other expenses in connection with your
17 attendance at the meeting. Likewise, FDA
18 encourages you at the beginning of your
19 statement to advise the Committee if you do
20 not have any such financial relationship.

21 If you choose not to address this
22 issue of financial relationship at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 beginning of your statement, it will not
2 preclude you from speaking.

3 MS. GHOSH: Okay. My name is Jaya
4 Ghosh. I'm from Cell Technology Incorporated.
5 We sell elispot readers, and I just had a
6 question about the TB diagnostic tests that
7 are based on gamma interferon secretion
8 assays. I wanted to ask Dr. Morris if he
9 would like to make a comment on that. I heard
10 about the TNF being more important protective
11 correlates, so if you'll just, the
12 implications of that work? Thank you.

13 DR. MORRIS: Good question. There
14 are a number of -- I mean, there are a couple
15 of diagnostics that are being developed, some
16 of which have been licensed, largely based on
17 interferon gamma. Our work would suggest
18 preclinically that interferon gamma is not the
19 only important cytokine in terms of
20 controlling TB, but that has not been taken
21 into the clinic as yet.

22 So it's going to take some clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies to prove that. So far the FDA has
2 approved some diagnostics largely based on
3 interferon gamma release, so obviously we
4 think that they do correlate with -- or they
5 are effective.

6 DR. WALSH: Thank you, Dr. Morris.

7 CHAIR KARRON: Thank you. Is there
8 anyone else who would like to make a
9 presentation during this open public hearing?

10 DR. WALSH: Dr. Karron, I see no
11 response.

12 CHAIR KARRON: Okay. At this time,
13 I think we will take a five minute break to
14 allow you to clear the room, and then we will
15 reconvene in five minutes to begin the closed
16 session.

17 DR. WALSH: Okay. If the Committee
18 would stay on the line, if you need to take a
19 break, that would be fine, but if you would
20 just stay on the line with us, and we'll, as
21 Dr. Karron says, reconvene in five minutes.

22 DR. HETHERINGTON: All right. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is Seth Hetherington, and I will sign off at
2 this point.

3 DR. WALSH: Thank you very much,
4 Dr. Hetherington.

5 DR. HETHERINGTON: Thank you very
6 much. I enjoyed it. Bye now.

7 DR. WALSH: Okay. Thank you.

8 (Whereupon, the open session
9 meeting was concluded at 2:21 p.m.)

10

11

12

13

14

15

16

17

18

19

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701