

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

RISK-BASED INSPECTION (RBI) PUBLIC WORKSHOP

GROUP 1

+ + + + +

October 10, 2006
3:45 p.m.

George Mason University School of Public Policy
Arlington Original Building
3401 Fairfax Drive
Arlington, Virginia 22201

FACILITATOR: KATHY GRANT, RESOLVE

PARTICIPANTS:

DR. DOLORES BEBLO
MS. PATTY LOVERA
MR. BOB MCKEE
DR. JOE HARRIS
DR. JOE BLAIR
MS. JENNY SCOTT
MS. SANDRA ESKIN
MR. CHARLES LINK
MR. KEVIN DENNIS
MR. MICHAEL KOWALCYK
MR. JOHN ALLAN
MR. MATT COOK
DR. ANDREA GRONDAHL
MR. BILL POTTER
MR. SKIP SEWARD
MS. DORIS SIEFRING
MS. CHRISTY MARR
MR. BRYCE QUICK

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Introduction of Participants	3
Group Discussion on Expert Elicitation	6
Product Inherent Risk Paper	
Question 1	16
Question 2	23
Question 3	25
Question 4	39
Question 5	42
Question 6	50
Establishment Risk Control Paper	
Question 1	62
Question 2	65
Question 3	72
Question 4	75
Questions 5 and 6	79
Adjourn	

1 P-R-O-C-E-E-D-I-N-G-S

2 (3:45 p.m.)

3 MS. GRANT: So why don't we just start with
4 going around and just saying your name and, you know,
5 what stakeholder you include yourself in, for
6 everybody's benefit.

7 DR. BEBLO: I'm Dolores Beblo. I'm with the
8 FDA, and I'm working on a model for risk-based
9 inspection for the FDA.

10 MS. LOVERA: I'm Patty Lovera, and I'm with
11 Food and Water Watch.

12 MR. McKEE: I'm Bob McKee (ph.). I'm with
13 FSIS. I'm here as an employee representative.

14 DR. HARRIS: I'm Joe Harris with Southwest
15 Meat Association. We represent mostly small meat
16 packing facilities.

17 DR. BLAIR: I'm Joe Blair. I'm with the
18 HACCP Consulting Group --

19 MS. SCOTT: I'm Jenny Scott. I'm Vice
20 President of the Food Safety Program for the Food
21 Products Association.

22 MS. ESKIN: Hi, I'm Sandra Eskin and I do --

1 for a number of consumer groups, and I'm also a
2 consumer rep on the National Advisory Committee on
3 Meat and Poultry Inspection.

4 MR. LINK: Is it my turn?

5 MS. GRANT: Yeah, it is.

6 MR. LINK: I'm Charles Link. What am I
7 supposed to tell you? I'm the Manager of Technical
8 Services for Cargill -- Meats in Wichita, Kansas. I'm
9 on the National Advisory Committee --

10 MS. GRANT: Can you fill out one of these?

11 MR. LINK: I will.

12 MR. DENNIS: I'm Kevin Dennis with Perdue,
13 Incorporated.

14 MR. KOWALCYK: I'm Mike Kowalcyk with Safe
15 Tables Our Priority and I'm also a member of the
16 National Advisory Committee for Meat and Poultry
17 Inspection.

18 MR. ALLAN: John Allan with the American
19 Frozen Food Institute.

20 MR. COOK: Matt Cook, Moroni Feed.

21 DR. GRONDAHL: Andrea Grondahl. I'm with
22 the North Dakota State Inspection Program and also on

1 the Advisory Committee.

2 MR. POTTER: I'm Bill Potter, QA Tech
3 Services with George's Inc. We're a poultry
4 company --

5 MR. SEWARD: Skip Seward, American Meat
6 Institute, trade association.

7 MS. SIEFRING: I'm Doris Siefring, Cooper
8 Farms, Quality Services.

9 MS. MARR: Christy Marr, National Turkey
10 Federation --

11 MR. QUICK: Bryce Quick, FSIS.

12 COURT REPORTER: Can I say one thing?
13 You're going to have to speak just a little bit louder
14 and enunciate because of the kind of strange set up we
15 have here.

16 MS. GRANT: If we just came a little closer?

17 COURT REPORTER: Oh, yeah. But there's a
18 lot of you and it's going to get crowded but --

19 MS. GRANT: We're obviously not using all
20 the chairs. So --

21 COURT REPORTER: It would help though, yeah.

22 MS. GRANT: So we want to give this first

1 paper, inherent product risk, about a half an hour.
2 So we're going to work through the questions, get as
3 many comments, as many comments that you want to give,
4 comments, options, that FSIS can take back and take
5 into consideration. Any questions about what we're
6 going to do?

7 (No response.)

8 MS. GRANT: All right. So the first
9 question you have it on your papers, Michael
10 Matthew -- Matthew Michael raised these questions when
11 he was doing his presentation but the first question,
12 FSIS has tentatively decided to use the median of the
13 expert score in the inherent risk algorithm. Is there
14 an alternative they should consider? Sandy.

15 UNIDENTIFIED SPEAKER: I'm sorry. Can I ask
16 a threshold question --

17 MS. GRANT: Sure.

18 UNIDENTIFIED SPEAKER: -- that's related to
19 this?

20 MS. GRANT: Sure.

21 UNIDENTIFIED SPEAKER: I know you want to
22 get to the questions. There's obviously a lot of

1 concern about the expert elicitation that was done.
2 Can we have a show of hands of people that have
3 serious concerns about the validity of that? And I
4 don't know if the decision would be you want to do it
5 over from start or you would want to have some other
6 group look at it, but to me that underlies my answer
7 to that question.

8 MS. GRANT: Go ahead.

9 MS. SCOTT: Jenny Scott. Can we rephrase
10 that question?

11 MS. GRANT: Please do.

12 MS. SCOTT: I would not want to go out
13 saying I have serious concerns about the validity of
14 that, but I would agree that more information would be
15 better. This is one whole access of how plants are
16 going to be ranked, and they need some more
17 substantive basis for that.

18 MS. GRANT: I feel -- in general, I think
19 everybody else wants to comment on that, but we
20 certainly did want to, in addition to these six
21 questions, if there were other things that are just
22 really important that you want to say about these

1 papers, and we want to take some time to actually do
2 that. So I'm sure FSIS would want us to do that as
3 long as we're also getting to this.

4 Now are you saying you want to do that first
5 or --

6 UNIDENTIFIED SPEAKER: To me what this
7 question assumes is that (a) people are comfortable
8 with the elicitation as it was done, and as to Jenny's
9 point, that it's sufficient. That's all that needs to
10 be done to plug into that axis to get the data. So I
11 think they're absolutely related.

12 MS. GRANT: So would other people agree that
13 it would be a good idea to just take a few minutes to
14 get your comments about this expert elicitation and
15 other suggestions for making it better?

16 MR. SEWARD: I think that's an okay idea, as
17 long as when people speak they have something
18 constructive to say about what their recommendation is
19 to make it better. If you don't have anything
20 constructive to add about how to make it better or how
21 to achieve the objective, then there's no sense in
22 raising it as an issue. So if people have, you know,

1 specific bullets this is what you should do, and I
2 think a lot of them have already been stated
3 previously in the meeting. So there's no sense in
4 going over what's already been captured, but if
5 someone has something new to add to what's already
6 been stated, it's probably time well spent, but I
7 don't want to rehear everything I've already heard
8 about suggestions on how to make it better. So if
9 someone has something new to add to make it better,
10 then we should capture that.

11 MS. GRANT: Yeah, I agree that we definitely
12 want -- we definitely want ideas, any ideas that
13 people have. I think people have a concern that
14 wasn't expressed, that we need to -- for any of these
15 questions, if there's any concern, you know, in the
16 way you want to answer any of these questions, I'm
17 sure that's something FSIS wants as well as any, you
18 know, specific concrete answers to the questions. Did
19 someone else have their hand up?

20 DR. HARRIS: Joe Harris. Along Skip's line,
21 one suggestion that I have, and I do have some
22 concerns with the way it was approached in terms of

1 the assumptions that were given, while at the same
2 time, it's -- I don't want to get too carried away
3 with my concerns on that because when I look at the
4 bottom line, the ranking that they ended up with,
5 frankly I somewhat am in agreement with the actual
6 ranking, if you took the bottom line after they
7 compiled everyone's ranking together. So I don't want
8 to, I don't want to throw too many rocks at the
9 process, but I do think that the assumptions that were
10 given to the experts in order for them to do their
11 rankings definitely need to be clarified and frankly I
12 thought were a little too restrictive and did
13 contribute to some of the sort out in left field
14 responses that were noted earlier today.

15 MS. GRANT: Joe.

16 DR. BLAIR: I would like to look at it as a
17 dynamic process, that this should be continually
18 changing and improving as more data becomes available.

19 MS. GRANT: Everything?

20 DR. BLAIR: Right, this whole Y axis, and I
21 would use that -- it's sufficient for me for a
22 starting place. It's a reasonable starting place, but

1 not an ideal -- point.

2 MS. GRANT: Bill.

3 MR. POTTER: I'd like to talk about
4 alternatives if we can.

5 MS. GRANT: Say your name.

6 MR. POTTER: Bill Potter. And the first
7 comment, I thought the panelists had good credentials,
8 the panelists had good credentials. The instrument
9 that was used could have been better, and how to
10 measure risk, there's a lot of people in this room
11 that spend a lot of time measuring risk and do risk
12 analysis. And the way that most people do that is
13 they use formulas or equations and various things
14 other than just road rankings and using the median.

15 For example, if you look at the panelists --
16 I'm repeating a little bit what I said, and I
17 apologize for that, but some of the panelists have
18 certain products at the very highest risk and other
19 panelists have those at the very lowest risk. The
20 panelists were of equal credentials.

21 So I think what you have to do, you have to
22 look at the components of the risk, one being, for

1 example, the likelihood of that product category -- I
2 don't know how to say this quick -- the likelihood of
3 the product category causing a food safety illness and
4 then the severity. This is getting back to the HACCP
5 principles. And the severity of what would happen if
6 there were an illness, and then somehow for raw
7 products, you know, they were comparing raw and ready-
8 to-eat products there. And somehow, there's got to be
9 some measure of the -- shall we say the likelihood of
10 products being fully cooked versus not fully cooked by
11 the consumer.

12 So all of those things have to be put
13 together in a model to develop risk, not just a road
14 ranking, if I'm making sense there.

15 What we do in our HACCP programs, in the
16 industry, what we do in HACCP programs, we try to come
17 up with two things. The first two is the likelihood
18 of the occurrence as well as the severity, and you can
19 give those scores. You know, this can be scored, and
20 then you could do the product of those, a simple
21 multiplication product and come up with a risk factor.
22 That might necessitate a third part of that equation,

1 the likelihood times the severity times the -- for raw
2 products, the likelihood of the product being cooked
3 or for ready-to-eat products, the likelihood of
4 recontamination. Does that make sense?

5 So all of those can be put together to come
6 up with a relative risk.

7 And I think what happened in the panel, I
8 think the panelists all made assumptions about those
9 in various ways. Some of the panelists, you know,
10 were assuming that fully cooking products would never
11 be recontaminated. Some were assuming that raw
12 products would always be fully cooked, and that's not
13 necessarily the case.

14 MS. GRANT: Mike.

15 MR. KOWALCYK: Michael Kowalcyk for Safe
16 Tables. I can follow up on that point, Dr. Harris'
17 point earlier about what the final ranking was and the
18 reservations I have was the scope being so narrow.
19 It's to your point of how you measure risk --
20 severity. But also before making a recommendation
21 that median measure is a good measure, to start with
22 that, it would be interesting to see that what Joe is

1 seeing as a reasonable ranking, does the data bear
2 that out? Does the data that FSIS has, to put up
3 against this, like the recall data, if it's food-borne
4 illness data, from CDC, to validate that these experts
5 are on the right track because it is a sample of 23
6 experts, albeit they are experts. We shouldn't even
7 be talking about them, and I'm not questioning that.
8 It's just the scope is very narrow and if you need a
9 place to start, you should try to -- does the data
10 actually bear that out.

11 MS. GRANT: Okay. Other comments or
12 concerns? Jenny.

13 MS. SCOTT: You might have a point there.
14 Also if you look at the expert documents that's on the
15 website and look at the individual comments, you can
16 see some thought process by some of them and why they
17 did what they did and I think that if they had been
18 put in a room together, they would have talked it out
19 and they would have come out with a ranking that is
20 probably more closer than the spread that they had on
21 some of these. So I think it is a good idea to take
22 it before some other group. I don't think the

1 National Advisory Committee on Microbiological -- is
2 going to meet Caroline's requirements for --

3 MS. GRANT: That was just another panel of
4 experts is what you're saying.

5 MS. SCOTT: Yes. But I think they can use
6 it as a starting point, and it's a good starting
7 point, and as Joe said, I think we're not in total
8 disagreement with some of the high risk versus some of
9 the low risk, while there may be some outliers, there
10 was some justification for why people did that, and
11 we're just having a group discussion to flush this
12 out, will give a better picture for the Agency.

13 MS. GRANT: Other comments on the
14 elicitation?

15 MS. ESKIN: Well, it kind of goes sort of
16 this, not a verification, but a balance -- data
17 anywhere relative to food risk. So I guess that would
18 be important, sort of a way to cross check -- data
19 from -- whatever's out there in the public domain,
20 there are some groups that have done their own sort of
21 attribution studies. I assume there's literature out
22 there, too, that's publicly available maybe.

1 Back to Jenny's initial point, just don't
2 use this one source of information to fill out your Y
3 axis. Use as much as you can and if you realize there
4 are gaps as was suggested, this is ongoing, make it a
5 priority to fill those gaps in.

6 MS. GRANT: That was Sandra Eskin. Do you
7 have that?

8 COURT REPORTER: Yeah, I'm sorry. Sandra
9 Eskin. Thank you.

10 MS. GRANT: Other comments, concerns,
11 suggestions on the expert elicitation?

12 Okay. So let's go back. That's good. The
13 other things under these questions that we will also
14 revisit but let's go back and start with this question
15 again, should they use the median of the export score?
16 What do you think? Jenny.

17 MS. SCOTT: Well, if they ever refine this
18 and come out with a closer spread than what they have
19 now, it would probably make sense to use that. Even
20 with what they have now, the median is the best --
21 tendency as opposed to the average because we have
22 such skewed results there.

1 DR. HARRIS: The 300 million --

2 MS. GRANT: Other thoughts? Do people agree
3 with that? Does anybody disagree?

4 MR. POTTER: I do. I disagree.

5 MS. GRANT: Okay. Bill Potter.

6 MR. POTTER: Bill Potter. First of all, how
7 did they rank them? They ranked them somehow. Based
8 on what, you know? Median of what? Which category
9 was the median?

10 MS. SCOTT: It was the ranking. It was the
11 median of the rankings.

12 MR. POTTER: The ranking was based on what?

13 MS. SCOTT: Everybody ranked these from --

14 MR. POTTER: They ranked each.

15 MS. SCOTT: -- 1 to some number.

16 MR. POTTER: Okay. They ranked each
17 category of food product. So which category did we
18 use to rank them?

19 MS. SCOTT: But everybody has a ranking.
20 They have 24 and the numbers that they assign to those
21 gave rankings. So whatever the spread was, you could
22 figure out this is 1 and this is 23 and 24, whatever,

1 and then the Agency took those and said, okay, even
2 though this one rated at 300 million, was that 24th in
3 the ranking or not. And so they went and assigned a
4 specific ranking to the ranker and then they take the
5 median of that.

6 MR. POTTER: The median by category, by food
7 product category?

8 MS. SCOTT: Yes.

9 MS. GRANT: So in that case --

10 MR. POTTER: Well, I'd say no. I don't
11 believe that's a good instrument of measure of risk.
12 I would create a mathematical formula more something
13 like we did with HACCP which is like -- this is really
14 general but I'd say something like the likelihood
15 of --

16 MS. GRANT: What did you say before?

17 MR. POTTER: The likelihood of a food safety
18 hazard times the severity of the occurrence times the
19 likelihood of mishandling.

20 MS. GRANT: I'm sorry. So likelihood of
21 hazard --

22 MR. POTTER: Times --

1 MS. GRANT: -- times --

2 MR. POTTER: We're being redundant here but
3 times the likelihood of occurrence or the severity
4 times the likelihood of mishandling.

5 DR. BEBLO: Dolores Beblo. It seems that
6 you're discussing different factors to consider
7 whereas the question it poses, you have a distribution
8 of data and how do you want to use the data, and so I
9 think there's two different things being discussed
10 here. If the question is how do you use the data,
11 perhaps you could just use the whole distribution and
12 you can do a probable risk assessment if you didn't
13 want to lose any information.

14 MR. SEWARD: Skip Seward. MR. SEWARD: Skip
15 Seward. Isn't it also possible for everybody's
16 response to normalize those on a 1 to 100 scale and
17 then, you know, so that they're all just 1 to 100,
18 based on, you know, whatever their spread was for that
19 individual investigator and then use that number to
20 pick to the median they test, the same value.

21 MS. SCOTT: They just normalize based on
22 what the 24 --

1 MR. SEWARD: Well, for each product
2 category, you're going to have a range of responses
3 from 1 to 3 million, 1 to 100, 1 to 10. If you
4 normalize all those to a 1 to 100 scale, based on that
5 individual's ratings, then you can at least normalize
6 them across the same scale. You'd have the same
7 distribution for each individual person but then it
8 would be easier to pick the median as 55 or whatever
9 that number is without skewing the results or bias in
10 the results I believe.

11 DR. BEBLO: Yeah, I thought about that. But
12 the question comes up is the confidence in the expert
13 opinion then, my question -- I'm not familiar with all
14 the details of the questionnaire that went out, why
15 would a responder -- if given a high limit, why would
16 a responder choose to either adhere to it or not.
17 What is the message, and so you would have -- I think
18 you're losing information of the confidence of the
19 results if you do that. Perhaps before you do that,
20 you want to go back and find out the answers to these
21 underlying questions about --

22 MS. GRANT: About -- what was the thought

1 process? I need to --

2 DR. BEBLO: What was the thought process by
3 the experts.

4 MS. MARR: Christy Marr, National Turkey
5 Federation. The original expert solicitation told
6 them to pick 1 as the minimum, the lowest possible
7 risk. They did not ask them to give a maximum. There
8 was no maximum. So it's not that people weren't
9 adhering to it. It's that they weren't given that
10 advisement.

11 UNIDENTIFIED SPEAKER: They were told to
12 pick what they thought was the least and the most and
13 then give the reason why and then what portion -- what
14 number would you give the most and then fill in --

15 DR. BEBLO: And the highest was relative to
16 the risk --

17 MS. GRANT: Okay. That was your clarifying
18 hers. Okay. Charles and then Mike.

19 MR. LINK: Charles Link. Just to build your
20 point, I think if you look at the data, the median
21 might be a good way to take a number to put into your
22 formula. If they were categorized in -- based on the

1 severity or -- something. You've got to start
2 somewhere. So you take two or three guys and you pick
3 your median -- but you do have to get back to, I
4 think, before getting into doing all that --

5 MS. GRANT: Michael.

6 MR. KOWALCYK: To follow up on that, I think
7 it's -- to use the median we're really not sure what
8 context that median should be looked at in. If you've
9 got some people on that panel that assigned a value of
10 300 million, obviously they thought that product was
11 so much more risky than the least risky product, and
12 then you also look at other expert scores, it's the
13 same value over and over and over again. So a limited
14 scope with the assumption as far as they have no
15 severity of illness and albeit they are experts, it's
16 only 23 experts at that point in time. Before
17 agreeing to use a median that would be a key input to
18 a model to help allocate inspection resources, I would
19 be very hesitant to agree to that. I'd like more a
20 risk-based methodology like Mr. Potter discussed.

21 MS. GRANT: So you're saying it's hard to do
22 without knowing the context in which they made them,

1 how they come up with those numbers?

2 MR. KOWALCYK: Yes.

3 UNIDENTIFIED SPEAKER: You said risk based?

4 MR. KOWALCYK: Yes.

5 MS. GRANT: Okay. Any other comments on
6 this question?

7 (No response.)

8 MS. GRANT: Okay. We can always come back.
9 If something occurs to you, we can always add more.

10 So let's move onto question number 2. I'll
11 read it. Thermally processed, commercially sterile
12 products, which involve canned products, were not
13 included in the elicitation for scoring by the
14 experts. How exactly should they be fit into the
15 range of species/process values now? Yes. Joe.

16 DR. HARRIS: I'll take a shot. Joe Harris.
17 Obviously I think they need to be included. They are
18 a USDA inspected product. So they have to be included
19 in the list. Honestly, I'm -- I guess I'm not really
20 sure why they didn't give them to the experts to start
21 with. It would have been a likely place to start your
22 risk with the lowest --

1 MS. GRANT: You heard that the explanation
2 why they didn't.

3 DR. HARRIS: I did. I suppose I did. It
4 must not have registered.

5 MS. GRANT: That that was so low that they
6 thought it was -- that if that were a 1, it would skew
7 the rest of them. And I'm going to say that's what I
8 understand was the explanation.

9 DR. HARRIS: But the funny part about this
10 is I looked at all the individual reviews regarding
11 expert responses and they -- some of them specifically
12 discussed in there how likely that product was to be
13 undercooked or overcooked or whatever, and if the
14 expert was willing to take those things into
15 consideration, obviously a canned product, if you
16 don't properly process it during the canning would be
17 enormously high risk. It's just that the likelihood
18 of that happening is it just virtually never happens
19 anymore. So I mean it's got to be in the list. So I
20 would suggest that it be fit in as the lowest risk
21 product.

22 MS. GRANT: Okay. Do others agree with that

1 or would you do something different? I see a lot of
2 heads nodding.

3 MR. SEWARD: I think we'd all agree unless
4 somebody disagrees, speak up but it's the lowest risk.

5 MS. GRANT: Okay. I'm going to say that
6 because I don't know if that's going to happen too
7 often.

8 Okay. Let's move on to number 3. If a
9 product is to receive further processing at another
10 establishment, how should we account for its inherent
11 risk? And it's the same question for retail. But for
12 right now, let's just say --

13 UNIDENTIFIED SPEAKER: Further processing.

14 MS. GRANT: Is there -- well, they ask a
15 different question. So is there a difference?

16 UNIDENTIFIED SPEAKER: Yes.

17 UNIDENTIFIED SPEAKER: Yes.

18 MS. GRANT: Okay. Let's start with another
19 establishment.

20 MR. LINK: This is Charles Link. Is there a
21 reason we don't look at it at the same establishment?
22 I mean we're looking at a salmonella outbreak, for

1 example, we test, test, test a product that's going
2 straight out of our chiller, straight to cook
3 processing across the plant and around the corner, to
4 where we're going to cook it. And we treat it just
5 like a plant that -- sending it out to the retailer --
6 and it makes a lot of sense to do that.

7 So to answer your question how do we do it,
8 how we do we rank the risk number, I'm not really
9 sure, but I certainly think it needs to be taken into
10 consideration, whether it's -- same stuff or -- the
11 street. Retail's different. So I don't know. The
12 question is how do we deal with it? How should we
13 deal with the risk?

14 MS. GRANT: For this establishment, how do
15 we account if it's going to be further processed at
16 another establishment? It's like how do we account
17 for it when looking at this establishment?

18 MR. LINK: I think it kind of gets back to
19 Bill's point. If you look at the particular product
20 category that you're manufacturing in plant A, and you
21 go through the risk likelihood and severity, knowing
22 that every bit of it is going to plant B to be cooked,

1 then you almost have to treat it as cooked. I mean
2 you really can't -- it's not going to the marketplace,
3 the raw product. So you can't treat it as raw. It's
4 kind of confusing. I'm not sure how to answer that.

5 MS. GRANT: Jenny.

6 MS. SCOTT: I think it should have a reduced
7 risk if it's going for further processing. That's
8 what I'm hearing, regardless of whether it's processed
9 at an integrated plant or if it's shipped to another
10 plant for further processing.

11 MR. SEWARD: It then assumes the risk
12 ranking of that finished product, the cooked product
13 or further processed product.

14 MS. SCOTT: As far as inherent risk, yes.

15 MR. SEWARD: Yes. So it assumes the product
16 inherent risk of the product after it's been further
17 processed. Skip Seward.

18 MS. GRANT: Does everybody agree with this?

19 DR. HARRIS: It -- yes.

20 MR. SEWARD: Well, if it's under the control
21 of the establishment and it moves to another federally
22 inspected establishment or to a different area of the

1 same federally inspected establishment and it's formed
2 into patties and cooked, has a CCP to insure lethality
3 at the required level, and then you have a fully
4 cooked or partially cooked, which we'd have a
5 different product inherent risk, but a fully cooked
6 patty, which is exposed after cooking prior to
7 packaging, that it would assume the product inherent
8 risk of the finished product ultimately.

9 MS. SCOTT: But I think there's another
10 scenario. There's the patty one. What if I'm making
11 diced cooked chicken that's going into canned food?
12 What if I'm making diced cooked chicken and it's going
13 into chicken salad? Is my diced cook chicken then
14 going to assume a canned food, a very low risk? Is
15 that what we want to say? We have to picture a
16 scenario and make sure how it works.

17 MR. LINK: Well, it's kind of like when
18 you -- I guess when you do your hazard analysis to
19 begin with. You have to know exactly where it's
20 going, how's it being used. So you've got to go
21 through the process. If it's going in chicken salad,
22 it assumes a different risk.

1 UNIDENTIFIED SPEAKER: But it could be going
2 to many. It could be going to both, right?

3 UNIDENTIFIED SPEAKER: Assuming the highest
4 risk of a product line --

5 COURT REPORTER: I'm sorry. I have to ask
6 again that you say your name because -- for the
7 record.

8 MS. GRANT: So that was Charles making that
9 point.

10 COURT REPORTER: I've got everybody's name.
11 I know the characters (laughter), but for the record,
12 it has to be on here. So thank you.

13 MS. GRANT: All right. So --

14 COURT REPORTER: I'm okay.

15 MS. GRANT: You're okay now.

16 COURT REPORTER: Yeah.

17 MS. GRANT: Okay. All right. So I actually
18 didn't hear the end. So if it goes to both, it
19 assumes the one that's most risky. Is that what the
20 end result of that was?

21 DR. HARRIS: Joe Harris. I believe it's
22 going to be dictated by the HACCP plan, the intended

1 use is going to be extremely important when it comes
2 down to determining the risk of the product. It
3 should be stated in the HACCP plan.

4 MS. GRANT: Sandra.

5 MS. ESKIN: This is Sandra, and also back to
6 the HACCP formula, are you assuming other formulas
7 like -- wasn't there also another factor as far as
8 likelihood to be mishandled. There are many things
9 besides further processing that would have to be
10 factors into that.

11 MS. GRANT: So it does, it does assume the
12 same inherent risk and/or other factors that have to
13 be factored in. Is that what you're saying or it
14 doesn't?

15 MS. ESKIN: No, I think it depends, it
16 depends what we're saying. It depends on a number of
17 factors. If it's chopped chicken going into chicken
18 salad or chopped chicken going into chicken noodle
19 soup, that's going to make a difference, as well as
20 other factors that are considered in HACCP. Dr.
21 Harris mentioned end user.

22 MS. GRANT: No, intended user.

1 MS. ESKIN: Intended user. Sorry. And I
2 guess this issue of possible mishandling along the
3 way, I guess that's something that --

4 MS. GRANT: Okay.

5 MR. POTTER: Bill Potter. Under HACCP, we
6 typically make these decisions at the point the
7 product enters commerce, and we describe products at
8 the point they enter commerce, and I would think that
9 that's when we would want to assign risk, is at the
10 point of entry to commerce.

11 MR. McKEE: Bob McKee. I think though,
12 Bill, that -- It skews the -- We can't assign risk
13 there unless you consider commerce, the transaction
14 between -- So you still have to be concerned with the
15 initial risk and what kind of risk -- that product --
16 from there.

17 MR. POTTER: This is Bill Potter again. I
18 agree 100 percent with your -- conceptually with those
19 comments. However, if the product transfers
20 ownership, it also transfers risk accountability. If
21 the product -- once the product goes into commerce,
22 let's say you've got a slaughter plant, they're

1 producing product, and they sell that to a further
2 processor. Well, by transferring the ownership, they
3 assume risk at that point. Therefore risk must be
4 measured. If they did not, if they were -- if it was
5 an internal transfer from a slaughter part of their
6 operation to a further process that's just 100 feet
7 down the way in the plant, I'm not sure that it's
8 necessary to measure risk. I would think once that
9 product was ready to enter commerce, it would be the
10 appropriate time to measure risk.

11 UNIDENTIFIED SPEAKER: I thought we were
12 dealing with transfer from one establishment to
13 another?

14 MS. GRANT: Right. We are. In this
15 question. Jenny.

16 MS. SCOTT: Jenny Scott. There's another
17 aspect to this. If you think about some of the
18 products that are shipped to another facility for in
19 the package lethal treatment that reduces the risk,
20 and should the inherent risk at the initiating plant
21 be as high. Let's take lunchmeat which might be
22 considered higher risk for listeria, but if it's going

1 to be shipped for high pressure processing at another
2 facility, it comes out with a much lower risk, and it
3 seems reasonable in that sense to assume that this
4 product takes on the lower risk category. So there
5 may be different cases. It may be case by case, and
6 we're trying to make a -- statement here.

7 UNIDENTIFIED SPEAKER: We maybe can't
8 jump --

9 MR. POTTER: Bill Potter here. I think
10 we're probably in agreement on that. Back to what
11 you're saying. I would suggest that when a product is
12 transferred from one establishment to a different
13 federally inspected establishment, that is an entrance
14 into commerce. So there would need to be risk
15 analysis in both places not either or.

16 MR. LINK: Charles Link. What if it's your
17 own establishment 15 miles down the road? Is that
18 entering commerce? I still own it. I still control
19 it. I'm just moving it from plant A to plant B --
20 So that's another caveat I guess to throw in there.

21 MR. COOK: Matt Cook. I think that it
22 should be treated separately just because you have to

1 meet certain requirements, temperature, regulations
2 and all that within the first establishment before you
3 move it. So I think that it should be treated
4 separately because it really is treated separately in
5 both plants or at least you assume it --

6 MS. GRANT: I want to encourage us to --
7 we're only on question 3-A, and I'd like to get
8 moving.

9 DR. BLAIR: Joe Blair. I need to get back a
10 little bit back to more basics. I think that FSIS is
11 going to continue to depend on HACCP for the safety of
12 the product, wherever it's produced or wherever it's
13 cooked. What this system is doing is trying to figure
14 out a more systematic and better way of utilizing
15 resources within the inspection service rather than
16 categorizing individual plants or inspecting their
17 food safety programs. How are we going to verify what
18 these plants are doing, in the most efficient, cost
19 effective way, and that's a whole different thing than
20 what we're talking about in terms of assigning risk in
21 a HACCP plan. I think the HACCP plan is going to
22 remain the basic food safety system.

1 MS. GRANT: So 3-B asks the same question
2 but has to do with after it's processed and further
3 retailed. Is there anything you want to say different
4 about further processing and retail? Everything you
5 said before applies?

6 DR. GRONDAHL: This is Andrea Grondahl. I
7 think you have a completely different scenario with
8 the product going to retail. There's no -- some
9 retailers are going to have HACCP plans, but there's
10 not the same regulatory controls in a retail
11 parameter. So I think this is a whole different
12 situation.

13 MS. GRANT: So -- and so how would you
14 answer the question?

15 MR. LINK: This is Charles Link. If we're
16 settled on -- I know you asked earlier, if we're
17 settled on at the time it leaves the plant's back
18 door, it's going into commerce and you already
19 assessed that risk and it doesn't -- I mean you're
20 basically saying it doesn't matter what happens to it
21 down the road -- I don't necessarily agree with that.
22 We don't have consensus. That's what I heard. If

1 it's going in commerce when it goes out the back door
2 of the plant, we should assign risk --

3 MS. GRANT: Right. At that point.

4 MR. LINK: -- regardless of what happens to
5 it afterwards is kind of what I heard. If that's the
6 case, it doesn't matter where it's going to be
7 processed.

8 MS. GRANT: And you disagree with answering
9 the question that way. So how would you answer it.

10 MR. LINK: From the retail side, I think I
11 agree with saying, once it leaves the back door --

12 MS. GRANT: Okay.

13 MR. LINK: -- all bets are off.

14 MS. GRANT: Okay.

15 MR. LINK: If it's going to another --
16 establishment, I think --

17 MS. GRANT: Okay. Others? Jenny.

18 MS. SCOTT: Jenny Scott. I agree with
19 Charles. I think we can probably all agree on retail.
20 It's different when you're sending something raw into
21 retail to be cooked, to rely on them to do it properly
22 as opposed to a federal establishment which has a lot

1 of oversight.

2 MS. GRANT: Right.

3 MS. SCOTT: But going back to what Joe was
4 saying, using this as a tool for putting your
5 resources where they ought to be, I think we have to
6 take into account some of the risk. If I am making
7 chicken that is going to go into a canned product, I'm
8 slaughtering or I'm cutting up chicken and I'm going
9 to stick it in a can, it shouldn't need the same
10 amount of inspection on the cutting up side that it
11 does on some other facility that is going to do
12 something else with that product.

13 MS. GRANT: That's going back to part A.

14 MS. SCOTT: Yeah, going back to part A.

15 MS. GRANT: Okay.

16 MS. SCOTT: We hadn't reached consensus on
17 it --

18 MS. GRANT: No, you didn't reach consensus
19 and you had certain -- a list of factors that needed
20 to be weighed. Okay. But I -- did you want to --

21 MR. SEWARD: This is Skip Seward, and I just
22 want to see if we can't get consensus on what Jenny

1 just said which when it comes to risk-based inspection
2 and allocation of resources, if the product is being
3 produced at one establishment and goes to another area
4 of that establishment, or to another area
5 establishment, to another establishment that's under
6 federal inspection, where there's a risk reduction
7 step, that that product doesn't necessarily need the
8 same degree of oversight as some other type product
9 from another location. I mean does anybody have real
10 exception to that or feel uncomfortable with that?

11 MR. McKEE: Bob McKee. Skip, in the real
12 world, we find that the products are sent out with the
13 intended use, the intended end user gets them, they
14 don't approve and now they end up out in other areas
15 of commerce where there's not complete understanding
16 of what that product is, and it may be different from
17 what people expect to get. So I think that we've got
18 to be real guarded about lowering the risk when that
19 product leaves plant A.

20 MR. SEWARD: Okay. Skip Seward. I think --
21 I agree that without really good traceability on that
22 product through the system for it's intended use or

1 further processed use, that what you said is something
2 that could be of concern. Yeah, I agree with that.

3 MS. GRANT: Okay. Let's move onto number 4
4 which is a question on volume. How do we translate
5 volume data collected for each type of processed
6 product produced at each establishment into an
7 exposure variable for that establishment? Michael.

8 MR. KOWALCYK: This is Michael Kowalcyk from
9 Safe Tables Our Priority. This is a tricky question
10 because it's not really the product attribute. It's
11 really the amount of that product. So we discussed
12 earlier that would it be more appropriate in assessing
13 establishment risk? It was also mentioned should that
14 be on its own axis and I find that idea intriguing
15 because it's not as simple as a two dimensional
16 problem here. What scale it should be? I think that
17 should be open for discussion. I think there were
18 some good ideas -- rhythmic scale or some scaling to
19 get a better measure than what was -- out there in
20 that paper. So I think what I would recommend
21 personally is some additional analysis into how that
22 can be more integrated as an establishment attribute

1 or as an attribute on its own.

2 MS. GRANT: Okay. Anybody else?

3 DR. HARRIS: Yes. This is Joe Harris, and
4 without actually jumping ahead to the next question,
5 number 4 and number 5 are a little bit interrelated
6 because if we're talking about inherent product risk
7 and the volume of that product, and we're about to get
8 to in number 5, the vast majority of establishments
9 make a lot of different products, and now we're going
10 to be talking about volumes of different products all
11 have a different inherent risk and the relative
12 volumes of those, and I'm at a little bit of a loss on
13 how we could go about assessing a product risk for
14 that plant as a result of all those interrelated
15 factors.

16 MS. GRANT: So are you suggesting that we
17 try to deal with both questions at the same time.

18 DR. HARRIS: I don't know that I'm
19 suggesting to deal with those questions at the same
20 time but we better be keeping number 5 in the back of
21 our mind.

22 MS. GRANT: All right. Then just for

1 simplicity sake, let's stick to 4 with that caveat.
2 Any other comments on 4? Any suggestions?

3 MR. POTTER: Bill Potter. I was just going
4 to say that the only thing that comes to mind is that
5 there is a plant profile that each establishment has
6 to fill out every time there's a change of management
7 or every time there's a new type of product that they
8 run, and I hadn't seen one in a while, but I think you
9 might even have to check the product HACCP -- the nine
10 HACCP product categories that your establishment runs.
11 It's not probably out of the question to have those
12 plant management officials once a year or something
13 like that go in and estimate, you know, their volumes,
14 be it number of shifts or -- in fact, you already have
15 to list number of shifts, but number of shifts or
16 estimated volume, pounds, birds, whatever, head kills.

17 MS. GRANT: Okay. Other suggestions?
18 Jenny.

19 MS. SCOTT: I was the one who suggested the
20 third dimension, that it captures the volume -- and
21 I'm hearing some support for that. So I'd just like
22 to get that captured. They should look at that.

1 UNIDENTIFIED SPEAKER: That was the second
2 point that Mike made on number of volume --

3 MS. GRANT: Okay. That's something I should
4 add here?

5 UNIDENTIFIED SPEAKER: No, no, no.

6 MS. GRANT: Okay. Other comments on this or
7 suggestions on this question number 4?

8 (No response.)

9 MS. GRANT: All right. So on number 5.
10 Given that most establishments produce more than one
11 type of product, how should inherent risk data for
12 each establishment be presented?

13 DR. HARRIS: Clarification of the question.
14 Are we talking about processed categories by saying
15 different types of products? The 24 categories.

16 MS. GRANT: So does someone else have their
17 card up? Sandra.

18 MS. ESKIN: I just -- yeah, Sandra Eskin.
19 Again, it should be presented the way I read this, and
20 maybe I'm reading it wrong, is, you know, how do you
21 take if you've got multiple products with multiple
22 inherent risk factors, how do you, how do you measure?

1 And my reaction is looking only at public health, you
2 would want to base that score on the most risky
3 product, again from a public health point of view, not
4 averaging it, not -- just look at the most -- the
5 product that presents the greatest risk.

6 MR. SEWARD: Skip Seward. I agree with you
7 Sandra. I think that the caveat would be that if
8 their algorithm and assignment of resources is
9 specific enough, there would be no reason why you
10 couldn't detail that out for the inspection staff,
11 indicating that in a given establishment there is a
12 specific production line of a specific product, that
13 has this high inherent risk. So if they're able to
14 get it on that level, then when the inspector or
15 inspectors are in that establishment, or go there,
16 they know within that establishment what was their
17 resources. So --

18 MS. ESKIN: In theory, yes.

19 MS. SEWARD: Yes, right.

20 MS. ESKIN: But if push comes to shove, you
21 don't have that ability, then I would argue again on
22 the -- basis, the level of inspection be based on the

1 most risky product.

2 MS. GRANT: Okay.

3 MR. LINK: This is Charles Link. If I
4 could -- just a little bit. I know we're basing it on
5 24 categories. If I use kind of a different example
6 of alternative 1, 2 and 3, ready-to-eat products.
7 There may be a plant that produces an alternative 3
8 product two weeks out of a month and not for another
9 three months and then produces it again, and the rest
10 of the time would do 2s and 1s, and to say that that
11 plant is an alternative 3 plant or a high risk plant,
12 compared to the plant down the street that does 90
13 percent alternative 3 products, doesn't seem to be --
14 to me. So I don't know if there's a fourth dimension,
15 when you look and say, well, based on volume, based on
16 how frequently you run the alternative 3 products,
17 versus someone else. I'm not sure how that would --

18 MS. ESKIN: I guess that wouldn't
19 necessarily be reflected in volume because it's volume
20 as well as frequency.

21 MR. LINK: Yeah, yeah, but if we just say
22 they run alternative 3 products, therefore they're

1 classified as an alternative 3 plant, which I think is
2 kind of a different -- we're going to assign a lot of
3 resources to a plant that probably doesn't need to be
4 always there, all the time --

5 MS. GRANT: Okay. Michael and then Jenny.

6 MR. KOWALCYK: Michael Kowalcyk, Safe
7 Tables. Charles, I think you raise a valid point. We
8 have -- production work in that manner and this has
9 sort of brought out that caveat that you need to know
10 where in that facility riskier products are being
11 produced. It's a valid point. I think this gets to
12 how is volume going to be defined? Is it annual
13 volume or is it volume during the month of October?
14 Because if you see a plant that's producing higher
15 risk product and low risk product at the same time,
16 there you bring in that caveat that was mentioned
17 earlier where -- plant. Charles' example was that
18 during the month of May through July it's high risk
19 product in this plant. So I guess it would go, we're
20 defining, you know, what timeframe am I looking at for
21 volume? How does volume apply? We need some more
22 clarity on how that variable is going to be used.

1 MS. GRANT: Okay. Good point. Jenny and
2 then Bob.

3 MS. SCOTT: Jenny Scott. I'm just trying to
4 think what's inside the box in this case. We've got a
5 little grid there, we've got little boxes there, and
6 we've got multiple products and control measures. So
7 you could plot every one of those products based
8 somewhere on that grid and the could make a
9 determination based on where the majority of the
10 products fall to make --

11 MR. McKEE: Bill, Skip, Charles and --

12 MS. GRANT: Sandra.

13 MR. McKEE: -- Sandra, we do manipulate
14 those plant profiles as things change within a plant,
15 and I would expect that at some point the Agency could
16 develop those where we could plan those low volume,
17 high risk products and come up with some kind of a
18 factor to consider those, rather than allocate the
19 resource all year round or for extended periods of
20 time. We might be able to apply that -- we can
21 identify those production periods, get someone in
22 there and start -- So hopefully that's something

1 that we can --

2 MS. GRANT: Okay.

3 DR. BLAIR: Another huge restraint here is
4 these resources aren't fluid and immediately available
5 in one place or another. I mean they may have a lower
6 workload in New York City and all of a sudden a
7 heavier one in Los Angeles, you're not shifting the
8 resources to do that work for one week or two weeks or
9 a day or two. So there's another practical
10 consideration of assigning people and the relative
11 cost.

12 MS. GRANT: Patty.

13 MS. LOVERA: I work for consumer groups.
14 This is a really painful discussion for my brain to
15 have about any concept of minimums and what Pat
16 focused on this morning about cutoffs. You go up so
17 far up, after so far, over X and up one Y, that
18 something has to happen in inspection there. And so
19 we get into this about seasonality or the things we're
20 raising here, like we're not down risk-based
21 inspection yet. I don't know if we will be but we're
22 never going to get there unless we get some kind of

1 like guarantee that some things aren't going to happen
2 without inspection, that some things are so inherently
3 risky. I mean we keep talking about slaughter and
4 it's always going to be there for slaughter, but I
5 think there's some things in processing that we have
6 to have, inspection, and that takes me to your issue
7 about having a guarantee that there's going to be
8 something there in doing it this way. We're starting
9 to give examples, scenarios, like they have this kind
10 of record and making this kind of product and --
11 concerns me.

12 DR. BLAIR: I think the difference -- Joe
13 Blair. I think the difference in the slaughtering
14 process is really, really obviously for people who are
15 in the industry. In slaughter, you have very specific
16 tasks that the inspectors perform on each carcass or
17 each bird, and it's the number of the people required,
18 related to the speed and the configuration of the
19 floor and all that, and that's why. But this is
20 already dictated in terms of use of resources. Now
21 there is work being done to try to figure out more
22 efficient ways to do that but I think on this project,

1 we have to set aside slaughter because it is like the
2 apple and orange in terms of resource allocation.

3 MS. LOVERA: But from our perspective, it's
4 really hard for us to -- all the processing, not
5 having -- maybe some parts but some activities that
6 are at that level, that meet that level of --

7 DR. BLAIR: That is every --

8 MS. LOVERA: No, I'm saying -- that's what
9 I'm talking about these axis, like where do you --
10 what is that line there?

11 DR. HARRIS: Joe Harris, and somebody will
12 correct me if I'm wrong, and I probably will be but to
13 the best of my knowledge, we are still going to be
14 bound at least at the one end by the statutory
15 requirement of daily inspection. So there's going to
16 be some level of inspection every day. So that's
17 statutorily required. So when you're asking for
18 boundaries, that's one that's already there.

19 MS. LOVERA: Yeah, we could have a long
20 discussion about --

21 MS. GRANT: Okay. Any other comments on
22 number 5?

1 (No response.)

2 MS. GRANT: Okay. Let's go to the last
3 question, number 6. To better ensure comparable
4 expert data, FSIS did not ask expert to consider
5 severity of illness resulting from consumption of
6 contaminated meat and poultry. How should they
7 account for severity of possible illness when
8 calculating the risk inherent to each type of meat and
9 poultry product?

10 DR. HARRIS: Joe Harris again. The Agency
11 has some experience in doing this in some of the risk
12 assessments that they have conducted for specific
13 pathogens over the years. I'm not a risk assessor,
14 but there are people who are very well equipped to
15 make these kinds of calculations. There are risk
16 assessment experts out there.

17 MS. GRANT: Go ahead. Sandra.

18 MS. ESKIN: Yeah, I would agree that -- I
19 think -- I hope the consensus is for the first part of
20 that question, yes, and the second of part of the
21 question, what Joe just said. There are experts out
22 there who have done this. Again, I just want to

1 emphasize on that first part, severity, again many of
2 us have expressed a concern that when you're
3 determining the severity of illness, who is your
4 target population, and a healthy, middle-aged man is
5 going to have a much different sort of illness than a
6 young child or an older person. So I would want to
7 say both severity and -- take into account severity as
8 it relates to particularly vulnerable populations,
9 acknowledged in most cases to be children and older
10 persons and people with suppressed immune systems.

11 MS. GRANT: And use the experience of other
12 experts.

13 MS. ESKIN: Yes, it should be considered,
14 and there are experts that FSIS has used before and
15 there are experts out there in the community that can
16 help, but it clearly needs to be -- among other things
17 that if concerned would be the expert elicitation,
18 that that was taken out or not included.

19 MS. GRANT: Okay. Jenny.

20 MS. SCOTT: Jenny Scott. I think what I
21 heard here is that, yes, severity needs to be taken
22 into account here. I'm not sure we completely

1 answered the question though in how should we account
2 for severity when calculating risk inherent to each
3 type of meat or poultry product. So are we suggesting
4 that they should then take these rankings, whatever we
5 come up with, and then apply the severity factor to
6 the risk assessment and then adjust the rankings as a
7 result of that? Because if you look at these
8 products, at least half a dozen of them could have raw
9 poultry, turkey, meat, you know, whatever. So they
10 may come out to be very similar, the salmonella, the
11 campylobacter, the red meat 0157, and I'm not sure
12 there's going to be too much more in terms of juggling
13 the rankings, but certainly it would be appropriate to
14 make this calculation perhaps through some
15 suggestions.

16 MR. POTTER: This is Bill Potter. You could
17 review the CDC data, how severe are the illnesses when
18 they're contracted.

19 MS. GRANT: Michael.

20 MR. KOWALCYK: Michael Kowalcyk from STOP.
21 Following up on Jenny's comment, I would agree that
22 the logical next step would be that raw ranking

1 initially and then adjustment to account for severity
2 and --

3 UNIDENTIFIED SPEAKER: --

4 MR. KOWALCYK: Yeah, I think if that were to
5 make sense.

6 MS. GRANT: Adjust the rankings of the raw
7 product?

8 MR. KOWALCYK: Well --

9 UNIDENTIFIED SPEAKER: He said raw just as a
10 descriptive.

11 MS. GRANT: Okay. Okay.

12 MR. KOWALCYK: Not the raw product.
13 Starting with the initial rankings and then the
14 assigned factor -- results.

15 MS. GRANT: Okay. Any other comments on
16 number 6? Go ahead. Sandra.

17 MS. ESKIN: I just want to follow up. That
18 may make sense. I'm not a numbers person. I would
19 just want to make a point that that may be one way of
20 doing it, what you're suggesting. There may be other
21 ways to factor it in, and I just want to throw it out
22 there, that consulting with the appropriate experts

1 that we need to do it this way, that way.

2 MS. GRANT: Okay. Joe.

3 DR. BLAIR: I see severity already
4 considered in the expert evaluation. I mean you told
5 them not to use it, but I see it in the results.

6 UNIDENTIFIED SPEAKER: Severity to what
7 population?

8 DR. BLAIR: Well, the one they have the most
9 severe which are mostly the raw product. That is not
10 the number of diseases. It is the severity of that
11 O157 that would cause that to be in the highest
12 risk -- higher risk category. I think --

13 MS. ESKIN: I still think it needs to --
14 this is Sandra. Given the concerns we've all had
15 about the elicitation, was this -- you may very well
16 be right, but I think we need to go back and cross
17 check. So I'm not sure if it was adequately covered.

18 MR. COOK: Well, wouldn't it change it?
19 Wouldn't the demographics change and all of a sudden
20 you're targeting another group of people?

21 DR. BLAIR: Well, I think that's why canning
22 was left off, low acid canning was left off of that.

1 I can't think of anything more severe than botulism.
2 It was left off of it because it was taken out of the
3 HACCP -- They really follow this set of regulations
4 and we know it works, and because we know it works,
5 we're going to keep doing it, and therefore that's why
6 you put it at the lowest risk category because it has
7 a system that's taken care of an extremely severe
8 hazard.

9 MS. GRANT: Michael.

10 MR. KOWALCYK: Michael Kowalcyk from STOP.
11 I think this points out a key shortcoming if the
12 expert elicitation is looking at the results, you can
13 make the interpretation that the fact of severity,
14 they were instructed not to factor that into the
15 analysis, nor to assume high risk populations in that
16 analysis. This is to look at the healthy population.
17 So that's why a lot of folks have been struggling with
18 using that as -- using it as a benchmark because of
19 the way the scope was defined --

20 MS. GRANT: Okay. I want to wrap up this
21 paper. We have about a half an hour left. Before we
22 do, is there anything that was not captured in those

1 questions that you think is really important to say.
2 You've already made a lot of comments about the expert
3 elicitation. Is there anything else that rises to
4 that level that you want to say about this paper that
5 isn't asked by these questions?

6 MR. POTTER: This is Bill Potter again. If
7 it's okay, Kathy, we've talked about a lot of
8 different factors that go into something that people
9 typically call a risk index --

10 MS. GRANT: Uh-huh.

11 MR. POTTER: -- or a score and index or
12 something. And if it's okay, I'd like to at least put
13 the proposed score, the proposed index in front of
14 everybody. I know it would be a little bit redundant.
15 Is that all right before --

16 MS. GRANT: This is a proposed index for?

17 MR. POTTER: Inherent Risk Index.

18 MS. GRANT: Okay.

19 MS. ESKIN: So would -- excuse me. This is
20 Sandra. So that would be one of the variables you
21 plug into that equation that they gave us?

22 MR. POTTER: Yeah, it would take me just

1 about one minute, and I can write it faster than I can
2 tell you.

3 MS. GRANT: Okay.

4 MR. POTTER: Do you all mind if I do that?
5 Write it up there.

6 MS. GRANT: Okay.

7 MR. POTTER: And this is just based on --

8 MS. GRANT: Do you need a fresh sheet of
9 paper?

10 MR. POTTER: Yeah, that might be good. This
11 is just a starting point. Start with the likelihood
12 of food safety hazard, and all of these -- I just have
13 a continuum of 1 to 10, with 1 being low and 10 being
14 high, okay. Times the severity of the hazard, if it
15 occurs, again the 1 to 10 continuum, 1 is low and 10
16 is high. Times likelihood of consumer mishandling
17 and, of course, the key factors here are, you know,
18 ready-to-eat or maybe just mishandling is a better
19 term. For example, ready-to-eat products that are
20 contaminated or raw products that are undercooked.
21 Okay. And then you have a low to high continuum, 1 to
22 10. And then this thing, someone said about a fourth

1 dimension which I thought was really good, and I
2 mentioned -- I'm just calling this a volume factor,
3 and you could also do it low to high. I just, you
4 know, wrote down if I was doing low to high, I would
5 say, you know, low is 1, somewhere between 1 and 3,
6 medium volume is 4 to 7, and high is 8 to 10. Okay.
7 So, anyway. But that's kind of the idea. And then
8 each product category in each plant could be given,
9 you know, a risk index -- inherent risk index.

10 MS. GRANT: Okay.

11 MR. POTTER: That's just taken from a
12 safety -- human safety risks. That's kind of what
13 OSHA folks do.

14 MS. GRANT: Jenny, do you want to respond?

15 MS. SCOTT: Yes. The problem I see with
16 that is the likelihood of a food safety hazard is
17 solely dependent on controls in the plant. So it's
18 hard to put that in on an inherent risk index that
19 covers the industry as a whole. I mean that's going
20 to figure in all the interventions we've talked about,
21 how well they apply, how well they're validated and
22 things like that. So this almost takes the place of

1 the higher two dimensional, three dimensional matrix
2 that was put on the table before. So we can certainly
3 throw that out as something for consideration, but --

4 UNIDENTIFIED SPEAKER: It goes to not just
5 inherent risk is what you're saying.

6 MS. GRANT: Okay. I don't want to take too
7 much more time on this paper. Jenny, you're the
8 person who is going to report back on this paper.
9 Would you like some help on what you should highlight
10 or do you think you have it, you have a sense of that?

11 MS. SCOTT: I think I have a sense but I
12 will certainly welcome us agreeing on the points that
13 we want to convey because we have had some
14 disagreement.

15 MS. GRANT: Okay. And I'm going to -- I'll
16 try to do this in order. You started out with the
17 elicitation, and that's the first time we heard the
18 beginning of your formula.

19 MS. SCOTT: So what I'm getting from this --

20 MS. GRANT: The scope was too narrow, does
21 the data really bear out the ranking, should we take
22 it before another group of experts, cross check the

1 data and other -- data on the public domain. Robert
2 has more extensive notes on it which could be made
3 available.

4 MS. SCOTT: Well, I've got pretty good
5 notes, too. I just want to make sure we agree upon --

6 MS. GRANT: Does that sound right? Those
7 are the key points that you want to point out. And
8 then with regard to the median. There was agreement
9 that, yes, you could use it if you -- closer spread.
10 I think there was agreement on that. Another formula
11 again. It was hard to say yes to this without knowing
12 the context in which the individual panelists come up
13 with their numbers and then we switched to thermally
14 processed. There was just all in agreement that it
15 should have been included. Bob is going to have a lot
16 more notes on this. And as far as further processing
17 in another establishment versus further processing in
18 retail. Retail was simpler, with the regard to
19 further processing establishments, there were a lot of
20 factors that people wanted to have considered,
21 including the likelihood of intended use, likelihood
22 of mishandling, further processing, retail, volume.

1 People really liked the idea of taking a real look at
2 the third axis. Additional analysis about how it
3 should be integrated.

4 MS. SCOTT: Mike said it would be an
5 establishment attribute or on its own.

6 MS. GRANT: Or on its own. Suggestion to
7 use the plant profile that plants fill out. If
8 there's more than one product, based on the most risky
9 product, if you're looking at a public health
10 perspective. There are ways of detailing that out.
11 Another suggestion, you just detail out frequency,
12 factor in frequency. Some plants don't do the risky
13 processes all the time. How you define volume. Is it
14 an annual thing, or is it something that's done -- are
15 you looking at it for a month? Someone said the
16 plants could give some information about when they do
17 the risky products. And then think about frequencies,
18 seasonality. Severity, definitely factor this in. Go
19 out and look for risk assessment experts who know how
20 to do this, take into account effects on vulnerable
21 population, look at CDC data. Yes, maybe you should
22 adjust the initial ranking after you've done this

1 based on this information, but there may be other ways
2 of doing that. Some people felt that severity was
3 already factored in the way -- that they just couldn't
4 separate it from their thinking about having come up
5 with the rank. And I think your point is well taken.

6 I think everybody agreed with it that it does include
7 more than inherent risk. It includes some
8 establishment risk control. Okay.

9 Now we have, let's see. We have about 20
10 minutes on the risk control papers. There are six
11 questions to this, the first being, are those six
12 measures that they had in that circle, design,
13 implementation, in-commerce, food security and other
14 enforcement, all of those, are those the right -- are
15 those appropriate and adequate? Go ahead. Joe.

16 DR. HARRIS: Joe Harris. I feel pretty
17 strongly that food security doesn't belong in this
18 particular arena. Food defense is -- I think we're
19 unnecessarily muddying an already muddy stream.

20 MS. GRANT: I see some other people agreeing
21 with that?

22 UNIDENTIFIED SPEAKER: Yes.

1 MR. KOWALCYK: This is Michael Kowalcyk from
2 STOP. I would like to assume that that's part of the
3 system design already because you expect a facility to
4 protect its assets in some way. So it seems to me
5 that it is redundant.

6 MR. McKEE: Bob McKee. That isn't always
7 the case. The bigger corporations certainly go to
8 great lengths to show that, but the small and the very
9 small plants, maybe the small size plants don't have
10 emphasis on good defense, and it would amaze a lot of
11 people in this room to see how vulnerable they really
12 are in terms of -- and things like that. So it may
13 be -- you want to think about --

14 DR. BLAIR: Joe Blair. But would assigning
15 additional inspection resources mitigate that?

16 MR. McKEE: I believe it would have an
17 impact on it.

18 MS. GRANT: So you're not agreeing with that
19 first statement that it doesn't belong?

20 MR. McKEE: I'm not comfortable with that.

21 MS. GRANT: Okay.

22 MR. DENNIS: Kevin Dennis. When it comes to

1 food security -- target which is physical security --
2 I don't think the same principles apply when it comes
3 to food safety.

4 MS. GRANT: So you're not --

5 MR. DENNIS: Not for this.

6 MS. GRANT: So you are in agreement that it
7 should not be one of the six. Go ahead. Jenny.

8 MS. SCOTT: I agree that it shouldn't be
9 there but to Bob's point, I think that we don't want
10 to indicate that we don't think it's important. It is
11 something that --

12 UNIDENTIFIED SPEAKER: It doesn't belong in
13 this system.

14 MS. SCOTT: -- it just doesn't belong in
15 this --

16 DR. HARRIS: I was the one that voiced the
17 opinion first that it shouldn't be there, and I just
18 finished writing a long article last week trying to
19 convince people why they need to be doing this. So
20 I'm definitely not against food defense. I just don't
21 think that it has a place at this table.

22 MS. GRANT: Anything else anybody wants to

1 say about that?

2 MR. POTTER: Bill Potter. Could you expand
3 on why you don't think it does? I mean I'm not
4 disagreeing with you.

5 DR. HARRIS: For one, I don't think we
6 understand the risks and how the food defense risks
7 are going to play into public health consequences. We
8 keep hearing about vulnerability, but they're so top
9 secret nobody will even tell us what they are, and
10 here we're supposed to be addressing them, and beyond
11 physical security and trying to make sure that you
12 have the facility secured and you have the employees'
13 security, there's not a whole lot that people can do,
14 and again, I don't think the assignment of inspection
15 resources is really -- is going to be effected greatly
16 by variations in that. Again, obviously everyone
17 won't agree with me on that.

18 MS. GRANT: Okay. Any other comments on
19 that?

20 (No response.)

21 MS. GRANT: So the next question really is
22 about are some more important than others. So

1 let's -- you made your point about this one. What
2 about the other five? So this is really about
3 weighting them. Michael, do you have your card up?

4 MR. KOWALCYK: Michael Kowalcyk, Safe
5 Tables. I think where I'm struggling with this is
6 determining why each of these elements that lead into
7 this overall measurement, I'm still not sure of the
8 reliability data from each of those sources, how to
9 utilize that data and how will that data be
10 appropriated into a ranking for establishments. So to
11 me, this was an issue that was brought to the NACMPI
12 Committee a while back. It's really difficult to
13 determine which one should be more important than the
14 other. For example, NRs, which NRs are more important
15 than others. That's within a specific data source
16 and -- question about that. So me I struggle with
17 determining, they seem appropriate. Are they
18 adequate? I don't know. That's the first question,
19 but determining how should be weighted. To me it
20 seems like there's not enough information to make that
21 determination.

22 MS. GRANT: Okay. Do others feel

1 differently?

2 MR. SEWARD: Skip Seward. I think with
3 pathogen control, that's something that's actually
4 measurable and more factual than perhaps all the
5 others which in many cases are left up to
6 interpretation and you're going to get that
7 subjectiveness in there, but I think pathogen control
8 sticks out as something that, you know, it's there,
9 it's not there, and it's measured and, you know, how
10 equitably that kind of testing takes place across all
11 establishments is the issue that I think perhaps FSIS
12 could work on in their sampling program, but that
13 stands out as perhaps, you know, the one that it is,
14 you know, should be represented fairly highly on the
15 program.

16 MS. GRANT: And partly because the data is
17 more reliable. Is that what you're saying?

18 UNIDENTIFIED SPEAKER: More objective.

19 MR. SEWARD: Yes, it's objective. That's a
20 good way to put it.

21 MS. SCOTT: It has a bigger impact on public
22 health.

1 MR. SEWARD: Skip Seward. With regard to
2 the design, I think when they talk about the design,
3 they talked about gauging the efficacy, and to me
4 that's just that efficacious in doing what, and so I
5 was a little -- I think if you get away from the
6 efficacy and just say does the design of the food
7 safety program have all of the elements that a HACCP
8 plan should have and so forth, that again could be
9 measured fairly objectively without getting into gauge
10 the efficacy because that gets more into the
11 implementation aspect of it. So I think those two,
12 you know, whether your food safety system is designed
13 properly, is fairly straight forward because it has to
14 have certain elements which are defined by HACCP and
15 in the regulatory environment. So those two stand
16 out, pathogen control and design stand out as fairly
17 objective in their measurement. When you start
18 getting into implementation and enforcement actions,
19 it becomes a little bit more difficult to be I think
20 objective because, you know, it involves the human
21 element of making judgments about things, and you're
22 going to get some inconsistencies there and those

1 types of things. So just a couple of comments.

2 MS. GRANT: And do you weight design high
3 also like you said with pathogen control or are you
4 just making a point that that --

5 MR. SEWARD: Well, I think it's less than,
6 in my opinion. This is Skip. It's less than the
7 pathogen control but at least the design of something
8 and what's supposed to be there could be measured
9 fairly objectively.

10 MS. GRANT: Okay.

11 MS. SCOTT: And I'm not sure -- Jenny
12 Scott -- where this fits in though. They said it
13 would come back to interventions. It doesn't fit into
14 design but to me interventions and their validations
15 being part of the design, that can have a real impact
16 on public health. So that would rank higher in my
17 mind.

18 MR. SEWARD: That's a good point.

19 MS. GRANT: Validated -- right.

20 DR. HARRIS: This is Joe Harris again. In
21 my opinion, if -- of those factors there, the pathogen
22 control sort of stands out as being a good objective,

1 direct measurement of pathogens of human health
2 concerns, and that sort of stands out as being pretty
3 high on the list, while a lot of those other things
4 are important, that one does sort of stand out --

5 MS. ESKIN: Sandra Eskin. Again, in theory,
6 I think there's a disconnect just to the global point,
7 as Mike was saying it before, and a number of people
8 said it in the plenary session. I mean this looks
9 good, FSIS has said it has this data and that data and
10 this data, but that's actually audit, where you can
11 see what data they have, and again they said that
12 these FSAs, maybe they happen once every three years,
13 are not necessarily done as to -- basis. Consumer
14 complaints aren't necessary. So again we're talking
15 about a theoretical construct here but it bears
16 repeating again and again that if they don't have the
17 data that accurately reflects reality, then the
18 determinations that are being made are just not going
19 to be accurate. So, yes, again back to even the point
20 of pathogen control, there's data for sure but is it
21 representative -- is it really accurate? Does it
22 really tell -- is it a picture of what's happening in

1 a plant, an individual product? I'm looking at it
2 rhetorically.

3 MS. GRANT: I didn't get the last part of
4 your sentence. So if we don't have data that
5 accurately reflects reality, you can't --

6 MS. ESKIN: You can't make accurate
7 determinations of risk and therefore base any kind of
8 resource allocation on risk.

9 MS. GRANT: Okay. Jenny.

10 MS. SCOTT: I'm just wondering if -- Jenny
11 Scott -- if they are interpreting or limiting
12 themselves too much on pathogen control to just the
13 pathogen testing results that they do.

14 UNIDENTIFIED SPEAKER: --

15 MS. SCOTT: Yes. And this raised the
16 question that we raised earlier with respect to
17 industry data and industry has a lot more data than
18 they contribute to this. These are data that the
19 Agency has access to and I think that they should find
20 a way to let the industry data play a role in the
21 evaluation.

22 MS. ESKIN: Sandra Eskin. We've said that

1 multiple times in multiple ways at the Advisory
2 Committee meetings. We've been asked data questions,
3 and I don't know if any progress has been done on
4 that. I'll certainly ask on Thursday.

5 MS. GRANT: Okay. Michael.

6 MR. KOWALCYK: Michael Kowalcyk. Another
7 source of data would be public health data. During
8 the meeting, I was sketching out how the database --
9 looking at how this database would look like. If you
10 look at data elements that for every plant you would
11 know the plant's ID, where the plant was located,
12 possibly where their distribution is going to, and
13 seek out geographically -- CDC data that reflect
14 outbreaks in certain areas with possibly allocate
15 resources to plants that are distributing to those
16 specific areas, if there's an outbreak. So public
17 health data seems --

18 MS. GRANT: For specifically pathogen
19 control or for any one of these, any one of the six?

20 MR. KOWALCYK: I think it would be number 3,
21 other useful information.

22 MS. GRANT: Okay. So other data. I don't

1 know if anyone had anymore to say on the weighting of
2 it. Was there an agreement that pathogen -- you know,
3 because of the concerns that you raised, you're not
4 willing to say that pathogen -- that you believe that
5 pathogen is the highest because of the concerns.

6 UNIDENTIFIED SPEAKER: Yes.

7 MS. GRANT: Okay. I just want to be clear.
8 Joe.

9 DR. HARRIS: I want to kind of follow up
10 Michael's comments on public health data. One of the
11 things to me that is missing in this picture is we've
12 got, if you will, these little spokes coming out. We
13 don't have a linkage between that and reduction in
14 food-borne illness. That's the part to me as we went
15 through the discussion today of the big picture of
16 risk-based inspection is how do we actually tie this
17 whole picture to a reduction in food-borne illness.
18 And I would be interested in that kind of data.

19 MS. SCOTT: This is Jenny Scott. Following
20 on to what Joe said, attribution was brought up
21 several times here and it was implied that nobody was
22 doing anything about it, but that's not true. CDC and

1 the Agency has gotten together and had discussions
2 about how to get to better food attribution data, and
3 they're looking to putting in a system. So as this
4 evolves, we'll also have a system for getting better
5 food attribution data that should be entered into
6 this.

7 MS. GRANT: From CDC did you say?

8 MS. SCOTT: Yes. It'll be joint projects
9 between the Agency, among the Agency and CDC, but we
10 will have more data coming in.

11 MS. GRANT: Okay.

12 MS. MARR: Christy Marr, National Turkey
13 Federation. It was my impression that that
14 attribution data would be considered in the inherent
15 risk side, not the establishment side. So that
16 information can be separated from the establishment
17 and not what we're doing right now.

18 MS. GRANT: Okay.

19 MS. SCOTT: It can be both.

20 MS. ESKIN: Public health data generically
21 is a category that Mike mentioned. Do you agree that
22 some public health data will be relevant to these

1 issues of establishment control?

2 MS. MARR: Yes. Yes. I'm sorry. Also I
3 want to remind --

4 MS. GRANT: Okay. So it can be used for
5 both. Okay. Anything else, additional information?

6 (No response.)

7 MS. GRANT: Are there other ways besides
8 FSAs to evaluate the food safety design? Are there
9 any thoughts on that one? No ideas.

10 MR. KOWALCYK: Michael Kowalcyk, Safe
11 Tables. I guess this is just from my own personal
12 education. I know that the food safety assessments,
13 it was thrown out there the frequency is every three
14 years. Now I work in a different industry, but we
15 change the way we do business. Certainly if we did
16 everything the same way over three years, we wouldn't
17 be in business very long. I guess from a practical
18 point of view and maybe those in industry can educate
19 me on this, is that an accurate reflection? I mean if
20 you're looking at data that's held by the Agency and
21 it's looking at let's say Mike's plans two and a half
22 years ago, and I was doing things a certain way, and

1 now I bring in the good quality guy and I clean up all
2 of my processes, and I have a whole new system in my
3 plant, there's no mechanism to account for that, to
4 revisit my systems or is it just stuck in this three
5 year pattern, so the data that you have is kind of
6 stale. Is that the way it happens?

7 MR. POTTER: This is Bill Potter. When
8 we're talking about an every three year assessment,
9 we're talking about a person coming into a plant, a
10 third party, that every shift, every hour, every week,
11 for a federal inspector assessing the food safety
12 systems and implementation of the program. There's
13 veterinarians in the plants that are overseeing the
14 inspectors and then -- I'm talking about in slaughter
15 plants. We also do processing. And then there's
16 circuit supervisors and they have district FSIS
17 management. So I think the question is worded a
18 little funny but --

19 MS. GRANT: Well, FSAs are the primary way
20 of evaluating the design, the system design. So
21 they're asking are there other ways.

22 DR. HARRIS: I think what you're missing in

1 here is the PBIS data.

2 MS. GRANT: Are you going back to number 3?

3 UNIDENTIFIED SPEAKER: That's the NRs.

4 DR. HARRIS: Well, no, it's not NRs. The
5 NRs are noncompliance. PBIS is they're given a task
6 to go out and review something, and they report back
7 on that task and there could be very positive findings
8 that everything is fine. That is data that should be
9 used in terms of the risk in that plant. I'd be happy
10 if you put it under system implementation.

11 MS. GRANT: Okay.

12 DR. HARRIS: I think it's a good point. We
13 seem to have sort of missed that in our discussion.

14 MR. SEWARD: Skip Seward. I think, you
15 know, just throwing out things, it might of value. I
16 mean a lot of companies are audited by at the request
17 of the customers and part of that may involve a review
18 of the design of the HACCP plan and so forth. It's
19 sometimes reliable and sometimes not, but it's another
20 avenue.

21 MR. COOK: So you're saying third party
22 audit.

1 MR. SEWARD: Yes.

2 MR. POTTER: I guess I would say that in my
3 opinion, it is acceptable to have local inspectors and
4 circuit supervisors be involved in their design, food
5 safety design since it's on a frequent, ongoing basis.

6 MS. GRANT: Local inspectors and --

7 MR. POTTER: And inspection supervision.
8 Inspection management.

9 MS. ESKIN: Sandra Eskin. Just a question,
10 on that audit data, that's private though, right?
11 That's not something that would be acceptable. Again,
12 if it's a factor -- if it were to be considered in the
13 determination of establishment control, the data
14 itself would be available to look at -- I mean I
15 assume it would be private --

16 MR. SEWARD: No, I agree with you. This is
17 Skip. I agree. It's something that an establishment
18 would have to be willing to turn over and share with
19 the inspection staff so that they would have it in
20 order to evaluate it or -- so you're exactly right.

21 MS. ESKIN: Right, as with other data as
22 well, right. What's collected that the Government

1 doesn't collect.

2 MR. SEWARD: That's correct.

3 MS. GRANT: In the last minute, does anybody
4 have any comments on the last two questions. The last
5 one, what is an appropriate look-back period? It's
6 already been stated that they're considering six
7 months and then that list of things or types of
8 things, the type of NRs that you were suggesting were
9 the appropriate ones to look at. Is that inclusive or
10 are there other NRs that should be included?

11 DR. HARRIS: The way that was phrased seems
12 a little broad. Someone pointed it out in a comment
13 earlier today that just the fact that I had a NR
14 related to a verification activity, that could mean
15 before it got to the initial record when you reported
16 the data. It could be anything. So somehow or
17 another we still have to narrow that down a little bit
18 and be more specific on when a NR is food safety
19 related, and I would contend that even within the
20 scope of a given regulation cited on the NR, there are
21 going to be food safety related ones and non-food
22 safety related ones.

1 MS. GRANT: Okay. Do you have a comment on
2 either one of these? Look back or --

3 MR. COOK: Matt Cook. I agree with the
4 look-back window being changed to a year to account
5 for some -- rather than the six months.

6 MR. SEWARD: This is Skip Seward. I think
7 on that look back, they ought to be able to do it as
8 often as their system allows them to do it. In other
9 words, if it's an automated system, if this is all
10 mathematically generated, it seems like they could do
11 that -- it would almost be an automatic update system
12 as it went along. So I think you're right. You would
13 have to be aware that if it wasn't a year, it may not
14 be long enough. To me that's something that should
15 almost be built in and if they get this thing working,
16 they're not going to be doing all of these manually
17 anyway I would imagine. It's going to be an automated
18 system. So they ought to be able to do that at
19 whatever frequency they choose to or that they can do
20 it.

21 MS. GRANT: Michael and then Patty.

22 MR. KOWALCYK: Michael Kowalcyk. I agree,

1 it should actually be a rolling window, rather than
2 just a fixed snapshot, and I would even recommend that
3 it go beyond the year, as far back as feasible, I
4 would say at least 12 months to capture, and if they
5 could go further back, that would be better. I would
6 like to take --

7 MS. GRANT: So it should be more than a
8 year. Is that what you just said? I'm sorry.

9 MR. KOWALCYK: Minimum of a year.

10 MR. SEWARD: This is Skip Seward. You know,
11 I think you have to be -- they have to be able to do
12 this depending on what you're looking for. You know,
13 in other words, you don't want to be accumulating NRS
14 that were written over a year ago and having that
15 affect your current status but, you know, depending on
16 what you're looking for, you know, you want to be able
17 to go back as far as you want to go back, to see
18 trends or things like that but, you know, that's a
19 caution I would have is that --

20 MR. KOWALCYK: I agree with you but you want
21 to lay more recent activity --

22 MR. SEWARD: Yes.

1 MR. KOWALCYK: That's part of the bodily
2 process --

3 MR. SEWARD: I agree.

4 MR. KOWALCYK: But I would agree with you
5 that what happened yesterday is more relevant than
6 what happened nine months ago.

7 MS. GRANT: Okay.

8 MR. POTTER: And establishments ought to be
9 able to petition for a more current look-back period.
10 If they implement new technologies that would say, for
11 example, reduce pathogens by 5 logs, they should be
12 able to request a shorter window of look back.

13 UNIDENTIFIED SPEAKER: Five logs?

14 MR. POTTER: Just as an example. If
15 pathogens can be reduced --

16 MS. GRANT: New technology --

17 MR. POTTER: -- by new technology, the look-
18 back window ought to be less far back.

19 UNIDENTIFIED SPEAKER: When you implement
20 them back or --

21 MR. POTTER: Yeah.

22 MS. GRANT: Okay. All right. Thank you all

1 very much.

2 UNIDENTIFIED SPEAKER: About the NRs, I just
3 want to turn out like a word of caution about this
4 urge to narrow which NRs are food safety. Having
5 spent a painful period reading about 1,000 NRs that we
6 got from ESD, a number of times, it's just the HACCP
7 plan problem. The HACCP plan problem was they had no
8 way to deal with an O157 and that's what happens in
9 HACCP violations.

10 MS. GRANT: Okay. Thank you all very much.
11 There will be a discussion after the presentation.
12 That will be your last opportunity to make any
13 comments.

14 And, Jenny, I'm not exactly sure what we're
15 going to have for you tomorrow.

16 MS. SCOTT: Why don't we meet early.

17 (Whereupon, at 5:40 p.m., the meeting was
18 concluded.)

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

RISK-BASED INSPECTION (RBI) PUBLIC WORKSHOP

GROUP 1

Arlington, Virginia

October 10, 2006

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

Keith McGuire, Reporter

FREE STATE REPORTING, INC.