

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

ATTRIBUTING ILLNESS TO FOOD

+ + + + +

April 5, 2007
8:30 a.m.

George Mason University
Arlington Campus
3401 Fairfax Drive
Arlington, Virginia 22201

MODERATOR: DR. KRISTIN HOLT
FSIS Liaison to Centers for
Disease Control and Prevention

PARTICIPANTS:

DR. RICHARD RAYMOND
DR. JOHN O. AGWUNOBI
DR. DAVID P. GOLDMAN
DR. DANIEL ENGELJOHN
DR. CHUANFA GUO
DR. FRED ANGULO
MR. MICHAEL BATZ
DR. ROBERT L. BUCHANAN
MS. NANCY DONLEY
DR. PATRICIA GRIFFIN
DR. SANDRA HOFFMAN
DR. TIMOTHY F. JONES
MS. BARBARA KOWALCYK
DR. MICHAEL RYBOLT
MS. JENNY SCOTT
DR. SKIP SEWARD

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

(CONTINUED)

MS. CAROLINE SMITH-DeWaal
DR. ROBERT V. TAUXE
MR. CHRISTOPHER WALDROP
DR. DAVID G. WHITE
MS. PATRICIA BUCK
MS. CHAVA CHINDER
DR. ROGER COOKE
MR. KERRY DEERFIELD
MS. FELICIA NESTOR
MR. BOB REINHART
MS. CAROL TUCKER-FOREMAN
DR. WOLFGANG MAIER

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Welcome and Introductions by Dr. Kristin Holt, FSIS	6
 NEED FOR THE SUMMIT	
The Importance of Foodborne Illness Attribution Data and Charge to Participants	
Dr. Richard Raymond, USDA	10
Dr. John O. Agwunobi, U.S. Department of Health and Human Services	16
 HOW DO WE ALL DEFINE AND USE ATTRIBUTION	
Federal Agency Perspective by Dr. David P. Goldman, FSIS	24
Federal Agency Perspective by Dr. Robert V. Tauxe, Centers for Disease Control and Prevention	32
Federal Agency Perspective by Dr. Robert L. Buchanan, U.S. Food and Drug Administration	43
State and FoodNet Site Perspective by Dr. Timothy F. Jones, Tennessee Department Of Health and Tennessee FoodNet Site	54
Industry Perspective by Ms. Jenny Scott, Grocery Manufacturers of America/Food Products Association	61
Consumer Perspective by Mr. Christopher Waldrop, Consumer Federation of America	66
Additional View on Definition	77

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
CURRENT METHODS/ACTIVITIES TO DEVELOP ATTRIBUTION DATA	
A Statistical Model for Attributing Human Salmonellosis to Meat, Poultry And Eggs by Dr. Chuanfa Guo, FSIS	79
Using Data from Outbreak Investigations to Attribute Illness to Food by Dr. Patricia Griffin, Centers for Disease Control and Prevention	85
Outbreak Alert Database by Ms. Caroline Smith-DeWaal, Center for Science in the Public Interest	93
Using Data from Illnesses that Are Not Part of Outbreaks by Dr. Fred Angulo, Centers for Disease Control and Prevention	106
Using Data from Expert Elicitation to Attribute Illness to Food by Dr. Sandra Hoffman, Resources for the Future	117
Ranking Foodborne Risks under Uncertainty: Comparing Outbreak and Expert Attribution of Illnesses to Foods by Mr. Michael Batz, University of Maryland	128
Using Data at Retail by Mr. David G. White, Center for Veterinarian Medicine, Food and Drug Administration	140
Additional Views on Methods	151

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Discussion: Current Methods/Activities to Develop Attribution Data by Questioners:	
Ms. Nancy Donley	166
Dr. Skip Seward	175
Ms. Caroline Smith-DeWaal	178
Ms. Barbara Kowalcyk	184
Dr. Michael Rybolt	200
Ms. Nancy Donley	202
Dr. Skip Seward	217
Ms. Caroline Smith-DeWaal	220
Ms. Barbara Kowalcyk	224
Dr. Michael Rybolt	232
WHERE DO WE GO FROM HERE?	
FSIS Next Steps by Dr. Daniel Engeljohn, FSIS	237
Public Discussion on Next Steps Moderated by Dr. Kristin Holt, FSIS How have we used attribution data in the past? How could agencies use this data in the future?	252
Other Comments	291
Closing Remarks by Dr. David P. Goldman, FSIS	304
Adjourn	

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:30 a.m.)

3 DR. HOLT: I'm Kristin Holt, FSIS Liaison to
4 the CDC in Atlanta, Georgia, and I will serve as your
5 Moderator today.

6 Welcome to this public meeting on
7 Attributing Illness to Food. I think you'll find here
8 today the most comprehensive coverage and discussion
9 of efforts to attribute illness to food. And I'd like
10 to thank Dr. Raymond for having the novel idea of
11 pulling together all these speakers and pulling
12 together all these different people to talk about this
13 important and call it cutting edge topic.

14 I'd like to quickly review our agenda with
15 you. I think you should all have an agenda, and for
16 people on the audio bridge, it is listed on the FSIS
17 website. So we have an agenda, and I'll go through it
18 quickly.

19 We'll start with opening comments where
20 Dr. Raymond and Dr. Agwunobi will give us our charge
21 for today, followed by a session of perspectives that
22 will shed light on how we all define attribution and

1 use attribution. A little before 10:00, we'll break
2 for 20 minutes, and then return for additional views
3 on attribution, on definitions of attribution, and
4 we'll have microphones available in this room, and
5 we'll also check in with the audio bridge
6 participants.

7 Our next session is on Current Methods and
8 Activities to Develop Attribution Data, followed by
9 time for additional views on methods. And again,
10 we'll have microphones and check in on the phone.

11 Then we'll break for lunch from 12:15 to
12 1:15, and return for more discussion on methods, but
13 this time led by a panel of questioners. Then we'll
14 have a 15-minute afternoon break, and then hear about
15 FSIS Next Steps followed by a discussion on next
16 steps, and basically where do we go from here.

17 And at the end of the day, we'll have 20
18 minutes or so to make sure we've heard all comments,
19 and then we'll have closing remarks with the goal of
20 ending at 4:30 today. So we do have a very full
21 agenda, and as Moderator, I ask everyone to help us
22 keep us on track.

1 We do plan to post the transcripts from this
2 meeting on the FSIS website and the presentations that
3 we receive.

4 I need to talk just a minute about
5 logistics, and as a morning coffee drinker, and
6 probably many of you are coffee drinkers, too, you
7 want to know where the restrooms are. So if you
8 haven't found them already, you go out of the room and
9 go to your right and make a hard right, and they're
10 down at the end of the hall. If those restrooms are
11 crowded at break, feel free to go up a level and
12 they're basically oriented in the same location.

13 And for ideas about places to get lunch, you
14 can talk with people at the registration desk.

15 Now I'll introduce Dr. Raymond and
16 Dr. Agwunobi, who will speak about the importance of
17 foodborne illness attribution data and provide the
18 charge to participants.

19 Dr. Richard Raymond was appointed as Under
20 Secretary for Agriculture for Food Safety on July 18,
21 2005. He is responsible for overseeing the policies
22 and programs of the Food Safety and Inspection

1 Service, and he chairs the U.S. Codex Steering
2 Committee, which provides guidance to the U.S.
3 Delegation to the Codex Alimentarius Commission.
4 Dr. Raymond has extensive experience in developing and
5 implementing policies and programs designed to improve
6 public health.

7 Prior to joining USDA, Dr. Raymond served as
8 the Director of the Nebraska Department of Health and
9 Human Services, Regulation and Licensure Division,
10 where he oversaw regulatory programs involving health
11 care and environmental issues. He also developed
12 several anti-bioterrorism initiatives and a statewide
13 healthcare alert. Dr. Raymond also played a major
14 role in the development of local health districts to
15 serve Nebraska's 93 districts.

16 And I guess I'll just move on and also
17 introduce Dr. Agwunobi, who was confirmed by the U.S.
18 Senate on December 17, 2005, to be Assistant Secretary
19 for Health at the U.S. Department of Health and Human
20 Services and an Admiral in the U.S. Public Health
21 Commission Corps. He serves as the Secretary's
22 primary advisor on matters involving the nation's

1 public health and science.

2 Admiral Agwunobi's responsibilities include
3 disease prevention, health promotion, women's and
4 minority health, the reduction of health disparities,
5 fight against HIV Aids, pandemic influenza planning
6 and vaccine preventable disease. He's actively
7 involved in the push for improvements in research and
8 enhanced access to quality healthcare.

9 He currently serves as the Department's
10 Blood Safety Officer and the representative on the
11 World Health Organization's Executive Board.

12 Prior to becoming the Assistant Secretary
13 for Health, Dr. Agwunobi served as Florida's Secretary
14 of Health where he led the state's public health and
15 medical response to the unprecedented four major
16 hurricanes that struck Florida in 2004.

17 Let me introduce Dr. Raymond.

18 DR. RAYMOND: Thank you, Kristin. We do
19 appreciate your taking the time to come up to D.C.
20 today to help moderate this important meeting and
21 bring your expertise on this important topic to us.

22 Attributing foodborne illness to specific

1 vehicles of transmission has been one of the foremost
2 priorities for researchers, risk assessment
3 specialists and Government officials like myself and
4 Dr. Agwunobi, who use that data to create policies and
5 make the food supply safer. That focus is what has
6 resulted in a lot of reports and a better
7 understanding of what is possible with food
8 attribution. We now need to use that information and
9 translate it into action. I believe action is what
10 public health needs right now, today.

11 However, before action can be taken, we must
12 first agree on foodborne illness attribution, what it
13 means to every stakeholder and how we can use it to
14 improve public health protection. That's the purpose
15 of this meeting, and that's why I'm joined by our
16 partners in securing the safety of the food supply
17 from the Department of Health and Human Services as
18 well as our important food safety partners from all
19 other arenas.

20 Everyone here today brings with them a great
21 deal of knowledge and diversity of experience on this
22 subject that we hope to share. I hope everyone will

1 forgive me for using the cliché, but I believe that
2 this experience and special knowledge of each one of
3 you coming together will create a product that is far
4 greater than the sum of its parts. When people from
5 varying backgrounds collaborate and communicate, you
6 need perspectives that would otherwise be absent or
7 brought together to achieve a common goal.

8 This discussion we've having today is not
9 just the right thing to do. It's what we must do to
10 achieve the best results as public servants.

11 Speaking only for the USDA's Office of Food
12 Safety, I can tell you that the subject of this summit
13 holds a particular interest to me and to us because of
14 how it could be used to further enhance our plans for
15 a more robust risk-based inspection system.

16 Many of you here today in the audience have
17 repeatedly told me that improved attribution data
18 would be a real benefit to this important initiative
19 that we are moving on. So I assure you that as this
20 discussion continues, I'm going to be paying very
21 close attention to what is presented here today.

22 Additionally, it's my charge to everyone

1 here today that you focus much of the discussion today
2 on the existing data gaps that we face when trying to
3 make practical use of the current attribution data
4 available and to other barriers that prevent us from
5 working together in the best fashion. Identifying
6 these barriers and these data gaps is an important
7 step but as is my nature, as most of you know by now,
8 I'm much more interested in hearing solutions than
9 problems, and I'm interested in the next steps that
10 the USDA and HHS can implement together to develop a
11 better attribution data system.

12 And even as I give you this charge this
13 morning, I hope that those in the audience realize
14 that this is a jointly held meeting between USDA and
15 HHS and our partners in food safety, the CDC and FDA
16 under the auspices of HHS. This is not just about
17 risk-based initiatives. I would hate to see an
18 opportunity to agree on what attribution data is and
19 how we can move forward in using it to improve public
20 health protections be overshadowed by concerns that
21 are not directly related and pertaining to the issue
22 at hand today.

1 We will have a follow-up meeting on food
2 attribution data and how it can best be applied to
3 risk-based inspection systems. That will be a topic
4 of a separate meeting in the future that we will be
5 announcing.

6 I do want to keep my remarks short, so I can
7 get to my good friend, Dr. Agwunobi. He's someone who
8 I'm always excited to hear speak. He can kick off a
9 meeting like no one I've ever heard before. He will
10 give you a charge that will get you riveted and ready
11 to work for the rest of the day.

12 But before I go, I want to leave everyone
13 with something I've been thinking about this week,
14 especially since Monday, especially since last
15 Thursday. It's important that we are all careful
16 today not to confuse excellence with perfection.
17 After all, we can all reach for excellence, but I
18 think perfection on the other hand is something that I
19 would leave at least to a power that's much higher
20 than the Federal Government, and I do not want to let
21 perfection get in the way of being better.

22 Now with that said, the introduction,

1 Kristin, that you gave for Dr. Agwunobi, was very
2 accurate, very complete and very detailed. I want to
3 summarize it at a very high level. Dr. Agwunobi and I
4 are almost exactly alike. We started as practicing
5 physicians, and we made a decent living and we put
6 some money away for a college, we put some money away
7 for retirement, and then we got a calling to public
8 service. And John went to Florida and I went to
9 Nebraska, and we became state health officials at the
10 same time, and we worked together collaboratively.
11 And John would come to my conferences and I would go
12 to his conferences. Then we both felt a calling to do
13 a higher level, and we came to the Federal Government
14 in our current positions, and we continue to be almost
15 exactly alike.

16 John asked me to come to Denver to a
17 conference that he had. I came. I asked John to come
18 to Denver to a conference I had. He came. I asked
19 John to come to this meeting. He came. There's a
20 payoff somewhere I know.

21 DR. AGWUNOBI: For sure.

22 UNIDENTIFIED SPEAKER: Alaska.

1 DR. RAYMOND: Alaska. Probably in December.
2 So, John and I have had career paths that have been
3 similar. We've acted alike. We look alike. We like
4 each other, but when John came to a minority health
5 conference in Nebraska, he told the audience that if
6 he and I went to the emergency room with chest pain,
7 even though we are a lot alike, we would not be
8 treated alike in that emergency room. Now I know what
9 you're thinking, but what John said was, Raymond is an
10 old man. So therefore he won't get all the
11 interventions that I will.

12 (Laughter.)

13 DR. RAYMOND: With that, Dr. Agwunobi.

14 (Applause.)

15 DR. AGWUNOBI: Thank you, Dick. I've got to
16 tell you, he's being very kind, Dr. Raymond, and I say
17 this with all sincerity. I'm of a young countenance,
18 as you can see, and Dr. Raymond has served as a mentor
19 and as a big brother for me for a large chunk of my
20 public health career. And he continues to gently
21 offer me advice almost every day.

22 I have to tell you, I am extremely

1 privileged to be here. As you can well imagine, I
2 stand in front of you somewhat humbled by the
3 expertise that I recognize is in the room. And
4 although I won't be able to stay and learn an awful
5 lot from you today, you and your colleagues inform me
6 and educate me on a daily basis as I watch you in your
7 work, as I receive reports and briefings of how you're
8 doing and of the challenges that you're facing. And I
9 think Dr. Raymond would be the first to agree that
10 this nation is truly privileged and quite frankly
11 fortunate to have this army of experts committed to
12 this field.

13 Now I describe you as a single unit, a
14 single army, regardless of which agency you come from,
15 quite frankly, regardless of which level of government
16 you come from, the Federal Government, the state
17 government, or local, because in my travels through
18 public health, I've come to realize that no one agency
19 or level of government can do it on its own, that when
20 all is said and done, it doesn't matter how we
21 structure ourselves, we're going to have to do it
22 collaboratively across a number of experts, across a

1 number of settings, across a number of states. It's
2 the nature of our nation that when all is said and
3 done, it's the nature of the challenge that when all
4 is said and done, there will always be someone from
5 the food industry at the table. There will always be
6 someone from state government at the table. There
7 will always be someone from the Federal Government at
8 the table. There will always be a need for us to
9 figure out not only how to do our jobs better, but
10 there will always be a need for us to figure out how
11 to help everyone else on that collaborative team do
12 their jobs better if we hope to reach that excellence
13 that Dr. Raymond described.

14 I'm humbled by science. I'm frequently
15 proven wrong by nature, and you are today going to be
16 discussing how to learn from science, and how to
17 gather data from nature and beyond, and how to analyze
18 and present and use that data to intervene and prevent
19 future occurrences of disease associated with food.

20 I have the experience of -- I was made
21 Secretary in Florida on September -- no, I was made
22 the Acting Secretary for the Department of Health in

1 Florida, on September 7, 2001. The Buildings fell on
2 the 11th, and the job changed. I was made the full
3 Secretary on October 2nd, and on October 3rd, we had
4 the first anthrax attack in Palm Beach, and things
5 really began to heat up. And I was struck by the fact
6 that as I watched the experts rush to the challenge of
7 anthrax, as I watched many of us rush to the challenge
8 of bioterrorism and buildings being blown up by planes
9 and just all of the issues that followed, West Nile,
10 SARS, and the many different challenges that public
11 health has faced, I've always been struck by the fact
12 that we approach each of these challenges with a set
13 of data, a dogma as to how to approach the situation,
14 but that dogma is always full with holes. There's
15 always something we don't know, and we need to build
16 systems and processes and collaborations to fill those
17 data holes.

18 But I think we also have to realize that
19 with each new challenge, we have to be willing to
20 throw aside some of the dogma, some of the established
21 thinking that we hold to be true. Each event teaches
22 us something new, or at least it should. I'll give

1 you an example. I was told when we were approaching
2 anthrax, and I know this isn't food related but allow
3 me this. When we were approaching the anthrax
4 challenge, I was told, you know, don't worry. Once
5 the anthrax falls to the ground, it sticks. It can't
6 be re-aerosolized. No one's going to inhale it once
7 the initial attack's over. They were wrong. Very
8 quickly we realized that it's quite easy to re-
9 aerosolize anthrax.

10 I remember an *E. coli* O157:H7 outbreak in a
11 petting farm, in which children were petting, touching
12 animals and contracting *E. coli* and I remember that
13 the dogma at the time was that *E. coli* can't persist
14 in that farm setting for a prolonged period of time
15 because it requires warm, moist feces to survive, and
16 because it doesn't encapsulate and become in cysts,
17 that it dies when the medium dries up. Well, two or
18 three weeks later, we just happened to have somebody
19 go up on the rafters of that petting zoo and swab on
20 the rafters, meaning it was dust that blew up there.
21 Live *E. coli* was found.

22 I know that in our food safety work, at our

1 pursuit of disease associated with food, we approach
2 each of these circumstances with a pile of data and my
3 pleas to you, my charge to you is to gather data
4 constantly. Let it inform how you begin but don't let
5 it knock out what you might learn as you go. It's
6 important that we have better data and that we find
7 better ways of using that data. It's important that
8 we fill gaps in the data spectrum but it's also
9 important that we improve our process for gathering
10 data, so that in an event we have the flexible ability
11 to change direction, to real time analyze how we are
12 learning from what we're being presented with.

13 I'm beginning to sound a little bit like I'm
14 preaching. So I will stop. I'll say this, however.
15 I have three children, 12, 10 and 8, two girls and a
16 boy. And I live in a suburban community not far from
17 here on the other side of the river. And I've often
18 been struck, I joined public health as Dick described
19 around the same time as he did, perhaps a few years
20 later, and I was told that it was kind of boring and
21 bureaucratic, and that I was going to have a quiet
22 time when I joined public health.

1 Man, were they wrong. It's been the most
2 exciting time of my entire life. It's been the most
3 fulfilling time of my entire life. And although as a
4 pediatrician I treated babies for the most part,
5 sitting on mother's knees with an ear infection or
6 pneumonia, in some case sometimes something very
7 severe, and I would be there, and I felt quite
8 satisfied with my work.

9 The work that you do cures and prevents
10 disease in thousands, millions of people. The work
11 that you do is that gift that keeps on giving. It's
12 not just about the people that are at risk today.
13 It's about the people that are at risk 20 years from
14 now, 30 years from now, 100 years from now, and not
15 just in this nation. Your work is one of the primary
16 sources of data and knowledge for the entire world.
17 And when I was a pediatrician in that office treating
18 that sick baby, I touched one person, one family, one
19 life. And when I was at the state level, I like to
20 think that I touched the people across the state, but
21 your work is so much bigger than just the nation.
22 It's not just about this generation of citizens living

1 in the United States. It's about this generation and
2 all future, and it's about every other nation. You
3 impact them in very real ways.

4 So I'll stop by saying, sometime during the
5 course of the day, if you would, with a sense of
6 gratitude, turn to someone from another part of this
7 army and congratulate them for the work that they do,
8 and recognize that we have to work together. We just
9 have to. It doesn't matter how we structure
10 ourselves. That will never go away. The need to
11 collaborate will always be a required competency of
12 this army, and it's not just about what you know
13 inside your heart and your brain. It's about what
14 everyone else can bring to the table. So I, with
15 greatest respect, applaud you and thank Dick for this
16 opportunity to come and preach before you. Thank you
17 very much.

18 (Applause.)

19 DR. HOLT: Thank you, Dr. Raymond and
20 Dr. Agwunobi. I think we have a clear direction for
21 what we need to do today and in the future.

22 I'd like to shift us now to the session on

1 perspectives, on how do we all define and use
2 attribution. For a Federal Agency Perspective, I'd
3 like to introduce Dr. David Goldman with Food Safety
4 and Inspection Service.

5 DR. GOLDMAN: Thanks, Kristin, and again
6 thank all of you for coming to this meeting. It's
7 really good for me personally to see very many of the
8 colleagues that I've worked with over the past few
9 years. I've just passed five years here in the Agency
10 on foodborne illness and attribution in particular.

11 I want to share with you very briefly how
12 FSIS views attribution and data generally, but I want
13 you to hear not only from me, but appreciate
14 throughout the day that attribution is not an easy
15 topic. There is no magic button to push. There is no
16 book on the shelf that has the attribution data, and
17 I'm confident that at the end of the day if you're
18 here to the end, you'll come to appreciate that.

19 If you'll bear with me, even though it says
20 Federal Agency Perspective, I was a local health
21 director for three and a half years. So I want to
22 walk you through a quick timeline to give you an

1 appreciation for how difficult attribution can be. So
2 imagine this past Monday you lived in Fredericksburg,
3 Virginia, and you became sick with diarrhea. It was
4 non-bloody diarrhea, you've had diarrhea before, you
5 tolerate it for the day and you are confident you'll
6 be well the next day.

7 So Tuesday, this past Tuesday, you still
8 have diarrhea. It's still non-bloody but you decide
9 to go see your healthcare provider. So on Tuesday you
10 go see your healthcare provider and that healthcare
11 provider in this instance decides to order a stool
12 test or a stool culture and that test comes back on
13 Wednesday. So the doctor gets the test back and it's
14 confirmed, *Salmonella* Typhimurium on Wednesday. It's
15 a little bit artificial because we probably wouldn't
16 have the serotype. Let's just say you have *Salmonella*
17 Typhimurium on Wednesday, which is yesterday.

18 So in this health department, that I managed
19 for three and a half years, we would be waiting. We
20 wouldn't know anything about this illness just yet.
21 The hospital or the lab would put a lab slip in the
22 mail. We still used the mail just a few years ago,

1 and would send that lab slip to the health department.

2 So today is Thursday, the lab slip's in the
3 mail today. It arrives tomorrow, on Friday. So
4 Friday, Ms. Berry, my communicable disease nurse who
5 also does lots of other things in the health
6 department, gets the lab slip amongst many other
7 things, as she's preparing the maternity charts for
8 Monday morning's clinic. So because she sees it's
9 *Salmonella*, she might decide she can't get to it
10 tomorrow, Friday. So it's next Monday that she gets
11 to this lab report and at that point, she may call the
12 patient.

13 So bear in mind you're now a week from the
14 time you first had symptoms. So she's going to call
15 you next Monday and ask you what you ate last weekend.
16 So just imagine, if you will, trying to recall what
17 you had to eat for the three days or so prior to the
18 onset of your illness this past Monday, next Monday
19 when she calls you. Now if it was *E. coli* O157:H7,
20 she might call you on Friday afternoon because she
21 knows that's a little bit more serious. So even so,
22 she might call you tomorrow but again, your symptoms

1 started Monday. She's going to ask you about your
2 food history for the previous days prior to the onset
3 of illness.

4 So I hope you can see from that little
5 illustration, which is hypothetical, but quite
6 realistic, how difficult foodborne attribution can be.
7 And we're talking right then about sporadic cases.
8 Arguably, outbreak cases bring more resources to bear
9 both at the local public health level as well as at
10 the state level, but even so, CDC published a report
11 last fall in which they reported on outbreak
12 investigations over the previous several years and
13 even in those cases, where outbreaks were investigated
14 and to the extent they could be the ideology and the
15 vehicle was defined, in those cases, only between 55
16 and 65 percent or so of the outbreaks could be
17 attributed to a specific food vehicle. You can even
18 see that in outbreak cases where there are more
19 resources, attribution can be difficult.

20 Along the way investigating a foodborne
21 illness, there are other issues that come to bear in
22 addition to food histories. There are sometimes

1 delayed onset of illness and listeriosis would be a
2 good example of that. There are issues with further
3 processing of food products, repackaging. Sometimes
4 foods come from restaurants where there are
5 investigations to determine the contributing factors
6 in those restaurants which might have led to illness.
7 There are the issues of in-home food preparation and
8 the difficulties that can occur in the home situation
9 in terms of cross-contamination. So there are many
10 factors which can be investigated which are difficult
11 to investigate, that make foodborne attribution a
12 difficult matter.

13 Having said all that, FSIS continues to use
14 foodborne illness data to help us to develop policies
15 and regulations and to inform and shape our consumer
16 food safety education messages.

17 I'll give you a couple of quick examples.
18 Everyone knows about the severe outbreak of *E. coli*
19 O157:H7 in the northwest in the early nineties. After
20 that, this Agency took several steps over a period of
21 years to implement policies which would help work with
22 the industry to drive down the levels of *E. coli*

1 O157:H7 in ground beef products. Just very recently,
2 several years ago, we had an outbreak of listeriosis
3 in turkey deli meat. From that experience, you will
4 now find that when we go and divide a sampling scheme
5 for our products, that we will go and look for turkey
6 deli meats among other deli meats as well as franks,
7 as to those products which are most likely to cause
8 illness. So we developed policies which would help us
9 direct our resources at those products, in this case,
10 deli meats and franks, which are most likely to cause
11 illness.

12 You'll also know that just in the past year
13 or so, we found that there were some cases of
14 salmonellosis that were attributable to frozen poultry
15 products which appeared to be cooked but were, in
16 fact, raw products. From that experience, we
17 determined that there needed to be new cooking
18 instructions provided to consumers as well as label
19 changes for those products.

20 So you can see that FSIS has taken
21 information from illnesses and made policy changes
22 which we hope will have reduced the potential that

1 consumers will be exposed to pathogens causing
2 illness.

3 I think you can appreciate from what I just
4 said, that we've been able to take imprecise data in
5 the past. This is not precise attribution data but
6 these are instances in which we've taken data from
7 illness investigations or outbreaks and made policy
8 changes based on the best data we have available. And
9 no less than the eminent epidemiologist, John Snow,
10 said, and I'll paraphrase, good public health is to
11 put preventative measures in place before knowing the
12 exact cause, and I think we've been able to do that.

13 FSIS sees attribution data in at least one
14 instance as a report card. It will help us to measure
15 the effects more precisely than we can do now of the
16 policies that we put into place. And, so FSIS
17 continues to look forward to having better attribution
18 data so that we can continue to assess the effects of
19 our policies. After all, if we put a policy in place
20 and don't know what its effect is, then arguably we
21 should not spend the time developing that policy.

22 I think you can see from previous examples

1 we have used engaged our assessment function with our
2 partners to find out about foodborne illnesses and
3 made policy changes to lower the risk of exposure but
4 we need to have better attribution data to assure
5 ourselves and the public that we've been able to
6 create good policies that will result in lower
7 pathogen exposure and ultimately lower illnesses.

8 More precise data will make our decisions
9 better, and we will continue to look forward to this
10 better data in order to help us assess our policies.

11 We will hopefully use attribution data both
12 for further development of our risk-based systems in
13 general, and I mentioned the listeriosis sampling
14 program which is a risk-based program. We also intend
15 to develop a risk-based sampling program for *E. coli*
16 O157:H7.

17 We also will look forward to using this data
18 more specifically for risk-based inspection in
19 processing which is the initiative that's on our front
20 burner right at the moment. This data is important to
21 our Agency and to our stakeholders, our public health
22 partners, as well as to our sister agencies. We need

1 to take this data, develop and implement policies
2 based on the information we have that's available to
3 us today as well as continue to improve the data that
4 we have available on which to make those policy
5 decisions.

6 Thank you very much.

7 (Applause.)

8 DR. HOLT: I'd like to move on to our next
9 Federal Agency Perspective that will be provided by
10 Dr. Robert Tauxe with the Centers for Diseases Control
11 and Prevention.

12 DR. TAUXE: While we're setting up here, let
13 me just say, it's an honor and a pleasure to be here
14 today, and I welcome this conference, this meeting,
15 and everyone's participation in it. I think there's a
16 set of issues that we're going to be talking about
17 here that have been animating us for sometime in the
18 group at the Centers for Disease Control and
19 Prevention that grapples with foodborne and related
20 diseases.

21 Back in 1999, we published a paper in which
22 we established the burden of foodborne disease in

1 terms of number of illness, number of
2 hospitalizations, number of deaths. And, the very day
3 it was published, I mean obviously the very next
4 question that came to people's minds, well, how much
5 of that burden, how much of those illnesses,
6 hospitalizations and deaths can we attribute to one
7 particular food group or another particular food
8 group. And the question ranged from into large
9 categories of food, like seafood or meat to very
10 specific categories of a particular type of product
11 processed in a particular way. And it became clear
12 that a lot of people thought about attribution, that
13 kind of question, in different ways.

14 Actually surprisingly perhaps to some, it's
15 not easy to answer these questions, and our answers
16 have been evolving over time and are made possible by
17 new data that we've been gathering and the new support
18 for a number of food safety issues that has been
19 applied over the last number of years. And today I'm
20 going to discuss some of the approaches to these
21 questions, that we consider really version 1.0 but an
22 important step forward.

1 Now I'm going to present quickly a
2 conceptual framework, which was how we started to try
3 to think how to even categorize these questions we're
4 trying to answer. Talk about attribution at multiple
5 levels of food production, because different questions
6 relate to different points along the food production
7 chain, and it became clear to us that different data
8 and different approaches actually are appropriate for
9 the different levels.

10 The data that we principally used for these
11 purposes and that we contribute to this discussion,
12 come from three main data sources at CDC. There's the
13 eFORS, the Electronic Foodborne Outbreak Reporting
14 System, our national foodborne outbreak surveillance
15 data. There is FoodNet, the active surveillance
16 program, the collaborative program across 10 sites and
17 3 Federal agencies, conducting case control studies
18 with specific pathogens and PulseNet, our molecular
19 subtyping network that's used, can also be used to --
20 it's main purpose is to detect outbreaks, but it can
21 also be used as a tool for attribution questions.

22 Now let's start with a conceptual model, and

1 this is coming out of our attempts to try to
2 categorize the different questions that we feel are
3 embodied in the question of attribution. One of the
4 dimensions and, of course, this reflects that sort of
5 scientific and medical microbiological background.
6 One of the questions is, well, what pathogens are we
7 talking about or which agent, which diseases are we
8 talking about. A lot of different things can cause
9 foodborne conditions. And so we can think of the ones
10 we don't know at all or the bacteria, the viruses,
11 parasites, the prions and toxins and there are
12 undoubtedly other categories we could probably fit on
13 this line.

14 There's the vehicle dimension, the food
15 vehicle, and actually I've got a vehicle dimension
16 here that could encompass all of public health beyond
17 food. There's contact with animals like the petting
18 zoo. There's contact with people, if we go further
19 out to the left maybe. But then there are the foods
20 that come from the land animals, the foods that come
21 from the plants that we eat, the seafood. There's the
22 drinking water, and there are a variety of different

1 ways that diseases can be transmitted and reach us.

2 And if we put those two together, we've got
3 the pathogen vehicle plane and a whole lot of public
4 health happens on this plane, and we can put some
5 boundaries on it and I've circled land animals, plants
6 and seafood as being sort of that's the boundary of
7 really the foods that we eat. That's what we're going
8 to be considering here and bacteria, viruses and
9 parasites are the pathogens we're really considering
10 in these discussions giving us that sort of bounded
11 plane.

12 Now there's another important dimension.
13 So, for example, we could be talking about the
14 bacteria that are transmitted through seafood, viruses
15 transmitted by eating plants or parasites that might
16 be contaminants of the foods derived from land
17 animals, and that sort of breaks down those kind of
18 categories, but there's another really important
19 dimension, of course, the food processing continuum
20 starting with the farm, the orchard, the fishery. It
21 might be we're talking about the issues that are
22 happening there and attributing our problems to

1 whatever issues might be happening at that level. Or
2 processing, slaughter plant, packing, cannery, again
3 there may be a set of issues there, and what we deal
4 with most of all in public health is, when people get
5 sick, it's because of food that was prepared in the
6 kitchen, and that may be the immediate focus. Did
7 something happen in the kitchen or at least what was
8 the state of the food as it left the kitchen before it
9 reached the person and caused the illness or even
10 death?

11 And if we put those three dimensions
12 together, we get what we could call a food safety box
13 and can think about these attribution questions now in
14 this term.

15 Now mapping the boundaries of that box, I
16 did several things there when I sort of clipped that
17 out. First of all, how many infections were related
18 to food as opposed to the petting zoos or other
19 categories that are out there? And, we can provide
20 answers to that by looking at, for example, a series
21 of outbreak investigations for *E. coli* O157:H7. There
22 might be some that are related to non-food and some

1 that are related to food, some to water.

2 For other infections, we have the case
3 control studies of sporadic cases in FoodNet that help
4 us determine those boundaries. And for a few, we can
5 get it from individual case reports and if we have no
6 other source of information, expert elicitation is a
7 perfectly acceptable way of helping to bound us.

8 Another boundary that came up is whose food
9 was it, and where did it come from? How many of the
10 infections related to food consumed in the United
11 States? And this means taking out people who travel
12 and get sick because they ate some contaminated food
13 in other places, and less important perhaps to public
14 health, but critically important for the groups that
15 are responsible for the safety of food in this
16 country, to understand that level of bounding.

17 And the data sources we have on travel,
18 we've collected it in FoodNet case control studies,
19 and now are collecting it in FoodNet on all the cases,
20 and this is a, this is an important contribution.

21 So we can talk about attribution, thinking
22 only about what the state of the food was as it came

1 from the kitchen at the point of consumption. And so
2 in an outbreak investigation, what did you eat? I ate
3 a, you know, a tuna salad sandwich. Well, maybe that
4 was it. Now there was lettuce in there. It might be
5 the plant. There was tuna in there. It might be the
6 seafood. You know, we can talk about that later, but
7 the point is it's the sandwich that came from the
8 kitchen. That's the point of consumption issue.

9 And, so what was the relevant contribution
10 of each food group as it was consumed regardless of
11 the original source of the food or of the
12 contamination? And that can reflect cross-
13 contamination in the kitchen, and a whole set of
14 issues that can really blur where the contamination
15 originally started from, but are very important if you
16 want to deal with it at the kitchen level.

17 So we can look at our series of foodborne
18 outbreak investigations. We can look at the case
19 control studies, the sporadic cases, and get
20 information about that point of consumption level of
21 attribution, a challenge we have for the future
22 actually to put these different sources of information

1 together.

2 Then some people when they talk about
3 attribution, they're really talking about the point of
4 processing attribution, that is at the point of
5 slaughter or other processing step, as the food left
6 the processing and then went on to cause illness or
7 not, regardless of what happened in the kitchen. And
8 that level is a different sort of information but
9 that, of course, obviously is very important if what
10 you're trying to do is to make sure that the steps
11 you're taking at the food processing level are
12 reducing illness.

13 So the relative contribution of each food
14 group based on what level of contamination there was
15 in the food, as it passed through food processing, and
16 that could reflect, of course, cross-contamination
17 during shipping, transport and processing itself of
18 the foods as they ultimately came from the farms.
19 But, it does not reflect what happens later in the
20 kitchen.

21 The data sources for this is more complex,
22 and one of the really intriguing methods has been to

1 look at sampling foods at processing for pathogens and
2 then compare the strains that come out of those foods
3 at processing with the strains that come from
4 patients. And here's where the molecular
5 fingerprinting tools and other subtyping methods that
6 allow that comparison have been really important.
7 Using that overlap in patterns to show the fractional
8 contributions of each food. For this to work, it
9 takes large numbers of isolates from each food at the
10 processing level and I think we will see that this has
11 been most available for *Salmonella* in meat and
12 poultry, but it also requires the collaborative
13 comparison of those isolates with the ones from sick
14 people. This is a good example of that kind of
15 collaborative approach that we're very excited about.

16 Finally, there's attribution that is
17 preharvest, and that preharvest attribution, sort of
18 what about that reservoir, which group of animals or
19 which group of plants or was it humans? Where did it
20 all start from? And that's yet a different sort of
21 question for attribution, and perhaps sometimes the
22 most difficult to answer of all. This is before the

1 cross-contaminating events that might be mixing things
2 up and to answer this, would require sampling animals
3 or plants perhaps back on the farms or production
4 sites, and a systematic comparison of those strains
5 with people. Actually, few system collections are
6 available to do this outside of the ones that come up
7 and operate trace back testing. So this is largely a
8 desired thing but something we're not aware of a great
9 way to approach systematically in most cases.

10 Let me end by saying that food is complex
11 for us. It requires a substantial effort to analyze
12 it. The attribution is the burden of illness, to
13 specific foods can be done at several levels of food
14 production and when people talk about attribution,
15 they may be referring to one or another level, that
16 different methods and data are used for the different
17 levels appropriately, and the results may not all be
18 the same. When we're using different data and
19 different methods, the results may come up a little
20 bit differently. We hope that the global picture is
21 complementary but it may not always be consistent, and
22 we can expect further development in the methods as we

1 gain more experience and as we discuss this further.

2 Thank you very much.

3 (Applause.)

4 DR. HOLT: Thank you, Rob. I'd like to
5 introduce our next speaker, who will give us another
6 Federal Agency Perspective, Dr. Robert Buchanan with
7 the U.S. Food and Drug Administration.

8 MR. BUCHANAN: Thank you, and for those of
9 you, I'll try and move around you can see me over the
10 screen. Like Rob, I'd like to thank FSIS for hosting
11 this conference today, and I appreciate being invited
12 to represent the Food and Drug Administration. And,
13 I'm also looking forward to learning a lot during the
14 day.

15 And what I'd like to do in my 10 minutes and
16 being charged with both establishing a perspective and
17 providing a definition for food attribution for the
18 Agency, what I'd like to do is break it into basically
19 three major segments. I'd like to define a little bit
20 our needs in food attribution first in relation to our
21 regulatory program. Then I'd actually like to define
22 food attribution from our perspective and provide a

1 couple of examples of where it fits into our
2 regulatory process, and then I'd like to finish it up
3 a little bit with some of the challenges we face in
4 doing that.

5 And I'll start off a little bit with our
6 commercial, but is it also one of the important things
7 to understand in the Food and Drug Administration, is
8 that we are committed to maintaining and building upon
9 our international reputation as a risk-based, science-
10 based food safety agency. And, emphasize the fact
11 that in order for us to do this, food attribution in
12 its broadest sense is a critical resource for our
13 ability to meet that goal. And that as an
14 organization we're continually striving to be public
15 health oriented, science-based, risk-based, cost-
16 effective, proactive and responsive at the same time,
17 a learning and self-correcting organization and to
18 continuously improve in that process.

19 And, we've learned that in order to do that
20 as a regulatory agency, it's tremendously dependent on
21 our ability to acquire the data that we need to meet
22 the needs for sound decision making.

1 We need that data for a variety of
2 activities in our regulatory programs. We need to
3 have that data to establish scientifically sound
4 standards and guidance. We need to be able to make
5 decisions about how we're going to devote our
6 inspection resources, identifying the highest risk
7 foods that we need to pay the most attention to,
8 making decisions about where we put our efforts in
9 terms of imports and domestic food. We have to make
10 decisions often about what season and where will we
11 put our inspectors at what part of the year, or in
12 what region of the country.

13 And we also need this to design better
14 education and outreach programs and food labeling
15 approaches since these are very important means by
16 which we help improve food safety.

17 We need to be able to determine where in the
18 farm-to-table continuum that Rob just talked about are
19 the likely sources of contamination and we also need
20 to make decisions about where interventions are going
21 to be most effective in terms of mitigating the risk
22 we have in our food safety systems.

1 We need to be able to differentiate food
2 safety concerns due to inherent risk. What are the
3 capabilities of our food safety systems versus those
4 where we have compliance failure.

5 And on the international scene, we need to
6 be able to evaluate the equivalence of different food
7 safety systems, so we can make determinations on
8 whether the food produced in one location, one region
9 or one country is equivalent to those that we expect
10 from our own domestic industry.

11 So that brings me to the charge I was asked
12 to take on which was defining food attribution in
13 terms of FDA's needs and requirements. And I started
14 asking around and I asked people like Jack over there
15 in the audience, representing our epidemiologists. I
16 asked our policy people what their thoughts were. And
17 what I got was that old proverbial, you know, five
18 blind men and the elephant, each one was feeling a
19 different part of the animal and coming up with their
20 own conclusions of what food attribution was needed.

21 So the lesson I learned in getting ready for
22 this meeting is that in terms of FDA, we take a very

1 broad view of food attribution to make really a very
2 simple determination. What is the information that we
3 need to understand who is getting sick and why? And
4 more importantly, then how can we mitigate that so
5 that we can improve public health?

6 And as I thought about it more, basically
7 when we look at food attribution, we're looking at a
8 very broad definition of what I would go back to my
9 roots in pathogenic microbiology, to define the
10 disease triangle, the interaction between the host,
11 the agent and the food that winds up leading in
12 foodborne disease to incidence of adverse events.

13 The other thing that we need in that process
14 is not only defining what that triangle is, but what
15 is the impact of diversity, diversity in the way the
16 food is manufactured, diversity in the host that we
17 deal with and diversity in the agents that we're
18 concerned about. And I do note that in this slide,
19 that I used the term agents on purpose because while
20 today's conference is focused on infectious diseases,
21 we're responsible for a variety of potential adverse
22 events including chemical risk, nutritional risk and a

1 variety of other things that we're concerned about.
2 So, in fact, I'm going to give an example of a
3 chemical related attribution issue that we have as one
4 of the examples as I go through.

5 Again, trying to take an approach of
6 defining our needs in terms of in part what we have
7 available and then in part in terms of what our
8 regulatory needs are, I do note that we have at this
9 point limited sources of information about the
10 different components of that triangle in terms of the
11 host. Really, the places that we get out information
12 now are outbreak data, sporadic case data, annual
13 disease statistics which are something that we think
14 that are not always collected most vigorously but it's
15 incredibly important to making risk-based decisions,
16 food consumption surveys. For example, as you'll see
17 in a minute, having the capability of acquiring data
18 through NHANES turns out to be a critical resource for
19 the FDA.

20 And then things like consumer practice
21 surveys are also important to understanding the host
22 and the diversity in that host in terms of potential

1 mitigation of disease.

2 On the food side, we have even less
3 resources. In addition to small amounts of published
4 data in the scientific literature, there are a few
5 microbiological baseline studies that become available
6 and we're primarily limited right now to outbreak
7 investigations.

8 So what do we need to know in order to
9 function as a regulatory agency? We need to know in
10 simple questions, who gets sick? And equally
11 important is who doesn't get sick? What foods are
12 involved? Where did the foods come from? What was
13 done to those foods? What are the contributing
14 factors in the handling of those foods and their sale
15 and distribution and use in the home to contribute it
16 to the foodborne disease? Was the adverse event as a
17 result again of an inherent risk? You've reached the
18 limit of the capabilities of the food safety system.
19 Or, was it a failure to actually apply the food safety
20 system? What is the frequency and the level of the
21 contamination in food? And did the consumers know
22 what to do with the food once they got it? All of

1 these are important things. For example, if we found
2 in that last one that there was a misunderstanding on
3 how this food should be handled, we would put our
4 effort and our education programs as opposed to if it
5 was a failure to follow current guidelines, we would
6 have to be able to put more resources into inspection
7 and oversight.

8 I might note that this is becoming
9 increasingly important to us as the country and world
10 moves to adopt basically a risk analysis framework
11 dealing with food safety. And as we have to deal with
12 risk assessment as a way of doing business, both
13 nationally and internationally, it's incredibly
14 important that we have the data so that we can
15 transparently lay out our decision making process.

16 I might note that this is now part of the
17 way that FDA must do business in terms of both the
18 Executive Orders that are in place, in terms of
19 evaluating risks, and one that's just cropped up in
20 the last few years and one we're still learning to
21 work with is the requirements of the Information
22 Quality Act. As we put out our scientific evaluations

1 and we put our regulatory proposals and guidance, the
2 impact that the Information Quality Act has on our
3 ability to demonstrate conclusively the scientific
4 advice we providing has gotten incredibly important.

5 Internationally, with the WTO becoming more
6 involved in international trade and Codex Alimentarius
7 adopting a risk analysis approach, again we're
8 spending much more time looking at the details of
9 attribution.

10 Just a couple of quick examples, this is one
11 where we looked at with our partners in FSIS,
12 quantitative risk assessment on *Listeria monocytogenes*
13 and these are some of the attribution factors that we
14 had to deal with. One I might note, it's incredibly
15 important for us to find out more about the
16 information on the immune status of the population.

17 Many of you are familiar with the NARMS
18 Project that FDA, CDC and FSIS have been working on in
19 terms of antimicrobial resistance and the importance
20 of being able to attribute disease in antimicrobial
21 resistance.

22 One that we learned in terms of working

1 closely with our partners down at CDC and learning to
2 understand the different aspects of attribution was a
3 risk assessment and the subsequent risk management
4 decisions we've made about *Vibrio parahaemolyticus* in
5 oysters, being able to attribute that portion of
6 *parahaemolyticus* outbreaks to oysters, knowing the
7 difference between the source of the oysters and the
8 location of the illness and a variety of other
9 factors.

10 And then I did want to point out that this
11 is not just about microorganisms when it comes to food
12 attribution. Currently we're actively trying to
13 figure out what to do with acrylamide, whether it is a
14 problem and these are some of the attribution factors
15 that we've had to consider as we've gone through the
16 process of learning about acrylamide and trying to
17 manage that risk in the food supply. Things like
18 using the NHANES data to develop assays for adduct
19 formation in the blood samples that are taken.
20 Surveys of acrylamide levels in different food
21 products, basic research on the formation of
22 acrylamide and things like how toasty do you make your

1 toast, all of these leading to help us make decisions
2 about our regulatory programs.

3 We do face a couple of important challenges.
4 One of those things, you know, you get new regulatory
5 authority and you're surprised as a result of the
6 Bioterrorism Act. We finally have the responsibility
7 for registering food plants and we always figured we
8 had a lot of food plants that we were responsible for,
9 but our estimate was about a sixth of what the actual
10 number is as of right now. We have, we're responsible
11 for over 300,000 manufacturing facilities with about a
12 third of them being domestic and two-thirds of them
13 being foreign. The global nature of the food industry
14 really hit home to us.

15 We desperately need better information about
16 sporadic cases and being able to attribute them, and
17 likewise, we still have that big chunk of cases out
18 there, adverse events for which we have no cause.

19 So in summary, because they're flashing I'm
20 out of time, I hope I've left you with an impression
21 that FDA needs in food attribution are broad and
22 diverse, and that we remain committed, in fact, with

1 working for our sister agencies and all of our
2 stakeholders to find solutions to those challenges
3 because for us to be able to do our job that we've
4 been asked to do, we need to know where to put our
5 effort and where to put it wisely.

6 And with that, thank you again for inviting
7 me, and I look forward to learning for the rest of the
8 day.

9 (Applause.)

10 DR. HOLT: Thank you, Bob. Next, I'd like
11 to introduce Dr. Timothy Jones of the Tennessee
12 Department of Health and Tennessee FoodNet Site, who
13 will give us a state and FoodNet Site Perspective.

14 DR. JONES: Thank you. It's a honor to be
15 here. I was charged with summarizing the perspective
16 of 50 states and 3500 counties in about 9 minutes. So
17 forgive me for making some over generalizations, but I
18 think the first thing to say is that at the local
19 level, we're faced with just exponentially increasing
20 challenges. A few generations ago, you know, we had
21 to worry about 40 foods, 80 percent of which came from
22 less than 50 miles away from where they were consumed.

1 Now there are 65,000 items on grocery store shelves,
2 365 days a year, and it greatly increases the
3 differential diagnosis of where our disease are coming
4 from.

5 I also have to admit a huge sense of
6 bewilderment at the local level. These are acronyms
7 that I gleaned from only two Federal reports on food
8 safety, and I dare say that at the county and state
9 level, a few of us could say what more than five of
10 these acronyms stand for which means that when one of
11 your agencies give us results, particularly if they're
12 conflicting, at its best, it leaves us perplexed and
13 at its worst, suspicious about why they're different.

14 So the local and state level is really quite
15 simple. I think we view ourselves as at two ends of
16 the spectrum. We really are the ones that are
17 interviewing sick patients and the patients want to
18 know why they became sick, and we want to know, too.
19 And so we need to know what to put on our lists, our
20 differential diagnosis at the beginning of our
21 investigations.

22 And then there's this huge black box that we

1 feed data into with models and mathematics and things
2 that most of us don't understand, and we just really
3 want simple results because the patients want to know
4 why they got sick and we want to either be able to
5 tell them or at least give them some probabilities and
6 most importantly, define an intervention or target our
7 education.

8 So I think at sort of the front line level,
9 the goal of attribution is to use those results for
10 prevention and we need to know where to focus our
11 preventative efforts and that has a very limited
12 meaning at the local and state level.

13 You've seen this description before. I
14 think, you know, again on these planes, again, it's
15 important to remember that, yes, pathogens are
16 important but 80 percent of the diarrhea in this
17 country never has a diagnosed pathogen. So we're
18 dealing with a very small slice of the pie.

19 You heard about food vehicles. It's very
20 important to remember that in only a quarter to a
21 third of foodborne disease outbreaks do we at the
22 local level ever even have any idea what the food

1 vehicle was. While the pie is big, much of our data
2 is coming from, or your data, is coming from a very,
3 very small slice of that pie, and it's important to
4 remember the limitations of that.

5 And then there's the third dimension, and
6 from production all the way to the source of
7 prevention, and I think I would summarize by saying
8 the local and state perspective is what's by far the
9 most important to us, is that bottom layer because
10 that's where we can do an intervention. It's in the
11 kitchen. It's in the restaurant. It's at the point
12 of preparation, and we can do very little about the
13 steps above that.

14 I think it's also important for us to
15 remember that a disproportionately huge amount of what
16 we understand about the epidemiology of foodborne
17 disease comes from outbreaks, but a huge majority,
18 over 90 percent of the cases that we deal with are not
19 associated with recognized outbreaks. And we have no
20 chance of being able to define a vehicle or a source
21 in that huge majority of cases.

22 One of the things we worry about very much

1 at the local level is restaurants. Almost half of the
2 money that we spend on food in this country is spent
3 on food consumed away from home and two-thirds of all
4 of the outbreaks that we're investigating are
5 associated with restaurants, which means that at the
6 local level, the lesions or the defects, the cause of
7 these diseases that we are concerned with really have
8 to do with preparation. You know, we're looking for
9 where there was a temperature abuse, where there was a
10 cross-contamination, where there was poor hand
11 hygiene. And no matter how much contamination came
12 through on products higher in the chain, if they'd
13 wash their hands, if they'd cooked it properly, if
14 they cleaned the cutting boards, we wouldn't have seen
15 the disease. And those are the things, you know,
16 these downstream lesions are the ones that local and
17 state food safety folks are trying to or have a hope
18 of being able to control. Hand washing obviously done
19 poorly and is a huge challenge.

20 And I think for us finally at the local
21 level, while attributing disease to specific food
22 commodities is important, we also have the burden

1 constantly of being cognizant of things outside that
2 traditional box. You know, two-thirds of the
3 foodborne disease that we see is neurovirus, and
4 that's very rarely from a food product. It's almost
5 always from poor hygiene, person-to-person
6 transmission. It's the majority of what we deal with.

7 There's also, you know, petting zoos and day
8 cares and multiple other sources of direct contact
9 transmission. I think we have to remember that when
10 we look at models like the Danish model, which used
11 PFGE and molecular subtyping to attribute or to say
12 that these isolates in this disease look a lot like a
13 particular animal's pathogen, that that may not come
14 from eating that animal's meat. But it could come
15 from, you know, direct contact with the animals or
16 indirect contact other than through food.

17 And I think finally the thing to remember is
18 that this is a rapidly moving target, and many of us
19 unfortunately because of our bureaucracies and
20 limitations and data sources, are working with data
21 that's old, and that if we are working with data
22 that's from 2002 or 3 or 4, you know, peanut butter

1 wasn't on the list, that green leafy vegetables were
2 far lower on the list. And so it's less useful to get
3 attribution results with old data if we are -- for
4 folks that are on the frontline that are having to
5 deal with, you know, the most recent causes of things.

6 So I guess I would summarize by saying that
7 at the local or state level, the primary value or
8 importance of attribution, first of all is to limit
9 for us the list of suspects when we are beginning an
10 investigation. You know, we have to have a
11 differential diagnosis. We need to keep our eyes and
12 ears open, but we like to have a target to start with.
13 And good attribution data can help us to focus on the
14 most likely causes. It also helps us tremendously in
15 patient education. We can't always say for certain
16 where a patient acquired a disease but at least we can
17 give them an idea of the likelihood and probability.

18 It's also very important for us to guide our
19 collection of data because we realize that, you know,
20 we're a source of much of the data that your agencies
21 are using. I think that at much of the local and
22 state level, this is less of a concern than it is for

1 FoodNet sites where we have resources to be able to do
2 case control studies and pay a little bit more
3 attention to it, and for us at that level, extremely
4 important to be using our resources to provide that
5 black box with the folks there with the data that's
6 most useful to them.

7 And then ultimately, for us and for all of
8 us, the highest priority is to end up with data that's
9 really useful to focus interventions, and if that's
10 not the goal of the data or the outcome of those
11 models and algorithms, it's largely a wasted effort.
12 So I will stop with that.

13 (Applause.)

14 DR. HOLT: Thank you, Tim. I'd like to move
15 on to Ms. Jenny Scott, with the Grocery Manufacturers
16 of America/Food Products Association, who will give us
17 a Industry Perspective.

18 MS. SCOTT: Thank you, Kristin. And I don't
19 have any PowerPoint slides because I figure that by
20 the time I got up here, everything would be said and I
21 would probably have to change what I wanted to say
22 anyway.

1 I was asked to give industry's perspective
2 on attribution and how it's used. And as with the
3 states, you have to recognize that, you know, industry
4 is not just one entity there, that there's a very
5 broad range there. I think within industry, we're
6 pretty much agreed on what we think attribution is,
7 and that is assigning the cause of foodborne illness
8 to the food responsible for causing illness.

9 It's an easy definition and we recognize
10 that getting this type of information is not easy.
11 It's quite difficult, in fact. So we are very
12 appreciative of the efforts of CDC and the state and
13 local health departments and FSIS and FDA who
14 investigate outbreaks and look into sporadic cases and
15 try and determine what foods are responsible.

16 When we're looking at outbreaks and illness,
17 ideally we're looking for an organism to be isolated
18 from a patient, from the epidemiologic investigation
19 to implicate the food, that the same organism, even
20 down to the PFG subtype to be isolated from the food,
21 and this is pretty much a conclusive basis for
22 indicating which foods cause illness. We also know

1 that we're not always going to get those types of
2 data, that sometimes we're going to have to rely on
3 EPI investigations alone and a strong enough
4 epidemiologic study can be indicative that a
5 particular food is responsible for an outbreak.

6 Now although I define attribution as
7 assigning the cases of foodborne illness to the food
8 responsible for causing illness, for us to use the
9 information it really has to go beyond that. We
10 really have to know the factors that were responsible
11 for the illness occurring. Preventing a pathogen in a
12 food is an ultimate control measure. But in many
13 cases, that's not going to be possible. We all have
14 responsibilities for keeping pathogens out of a food.

15 Clearly, if they're not there, they can't cause
16 illness, and while we acknowledge that it's industry's
17 responsibility to keep pathogens as low as we can in
18 raw meat and poultry for example, we also know that
19 these are products that will never be sterile.

20 If you consider something like illness from
21 an open-faced roast beef sandwich, where the roast
22 beef is clearly identified as the cause of illness, we

1 isolate the organism from the roast beef, it's really
2 not the fact that the *Clostridium perfringens* were
3 there to begin with, but the real problem is the
4 improper holding temperatures that resulted in growth,
5 the high levels, that caused the illness. And this is
6 very important in determining where we dictate our
7 control measures. We don't think it would be
8 particularly fruitful to try and focus our control
9 measures on keeping *Clostridium perfringens* out of raw
10 meat or poultry, but certainly controlling temperature
11 in establishments that are preparing these products is
12 within the realm of something that we can do.

13 So while the food industry defines
14 attribution as assigning foodborne illness to the food
15 that's responsible, we want it to go beyond that and
16 get down to these factors that tell us what went
17 wrong. So that's why we're very -- to see this food
18 safety box that Rob Tauxe and Tim Jones talked about.
19 It does go beyond where we are just assigning it to a
20 particular food.

21 How do we use the foodborne attribution data
22 in industry? Well, the bottom line is for industry

1 that this is a basis on how we allocate resources. We
2 want control efforts to be put where they will have
3 the most benefit in terms of public health. We're
4 embarking on an effort to focus inspection resources
5 based on risk, and a significant portion of a plant's
6 RBI measure as defined by FSIS will be the product of
7 its inherent risk, which clearly should be tied to how
8 that product is linked to foodborne illness, the
9 attribution. But, you know, again, we really have to
10 look at other factors as well.

11 Industry uses foodborne attribution data in
12 doing their hazard analysis for their HACCP plan. We
13 need to know what hazards are coming from what foods
14 in order to establish control measures for those where
15 we can establish those control measures. But we also
16 look at attribution in a bigger sense as being the way
17 the agencies are going to focus their efforts on
18 preventing foodborne illness, and for industry, that's
19 probably more important. What's important to the
20 Agency to determine is where the controls need to be
21 because they're going to make us put controls there if
22 they believe that that's an important source.

1 So if you think about that, how much
2 emphasis should we be focusing on *Listeria*
3 *monocytogenes* in foods that don't support growth, if
4 they're not responsible for illness. Having good
5 attribution data will help us designate where we can
6 appropriately put our resources, where the agencies
7 should appropriately put their resources.

8 So from what I've heard here, there are some
9 pretty common themes with respect to attribution, that
10 food attribution is very important, and the reason
11 it's very important is so we can properly direct our
12 resources. I think we're all in agreement on that.

13 Thank you.

14 (Applause.)

15 DR. HOLT: Thank you, Jenny. Next I'd like
16 to introduce Mr. Christopher Waldrop with the Consumer
17 Federation of America, who will give us a Consumer
18 Perspective.

19 MR. WALDROP: Good morning. My name is
20 Chris Waldrop. I'm the Director of the Food Policy
21 Institute at the Consumer Federation of America.
22 Consumer Federation is an organization of about 300

1 pro-consumer groups representing 50 million Americans
2 across the country. Our member groups include state,
3 local and national consumer advocacy organizations,
4 senior citizen organizations, consumer cooperatives,
5 anti-hunger and food safety organizations, as well as
6 a host of others. We were started in 1968 to advance
7 the consumer interest through research, education and
8 advocacy.

9 I am here today to talk about the consumer
10 perspective on attributing illness to food. Food
11 attribution data is the ability to identify which
12 foods are vehicles for specific cases of illnesses.
13 And it's a basic element for prioritizing and
14 allocating resources to reduce the level of foodborne
15 illness in a population.

16 Foodborne illness, as we all know, is a very
17 serious public health problem in the United States,
18 and for several years, we've had declining foodborne
19 rates but now progress has stalled. According to the
20 CDC, there's been little further reduction in the
21 rates of campylobacteriosis, salmonellosis and
22 listeriosis since about 2001. And the Government

1 failed to meet its National Health Objective of
2 reducing the rate of listeriosis to 2.5 per million by
3 2005. This total demonstrates that neither industry
4 nor Government is meeting their obligations to the
5 public. It is imperative the Government food safety
6 regulators take the steps needed to reduce the human
7 and economic cost of foodborne illness and food
8 attribution data is an important component of that.

9 Now food attribution data is valuable for
10 several reasons. One, it is objective and
11 quantitative information, and it establishes actual
12 links between foods and specific cases of illnesses.

13 It also gives us a better understanding of
14 food pathogen combinations and their associated risks.
15 This is useful for several reasons. One, it gives
16 appropriators greater information so they know where
17 to appropriate resources to combat the problem. It
18 gives the industry better information so that they can
19 apply particular interventions in their processing
20 plants, and it gives regulators better information so
21 that they can prioritize and allocate limited
22 resources to protect consumers.

1 It also allows us to scientifically justify
2 a lot of the assumptions that we make in designing
3 food safety interventions and food safety programs to
4 reduce foodborne illness.

5 We cannot wisely target limited health
6 resources without knowing which foods are vectors for
7 which diseases and we need to be able to attribute
8 illness to particular foods in order to insure that
9 the resources we are devoting are proportional to the
10 illnesses being caused.

11 Now this need for food attribution data is
12 not a new thing. There's been a multitude of
13 documents that said this is important, a multitude of
14 agencies and stakeholders who have said it's
15 important. For example, the Institute of Medicine and
16 National Research Council in 2003, in their scientific
17 criteria to insure safe food report, noted that a
18 cause/effect relationship needs to be established to
19 allocate the burden of foodborne disease among foods
20 and food groups.

21 Also in 2003, the Food Safety Research
22 Consortium put together a Food Attribution Data

1 Workshop. They in their report said we must be able
2 to identify or perform food attribution and associate
3 foodborne illness with specific food vehicles.

4 USDA and other Government agencies have also
5 acknowledged this need for information for a long
6 time, and USDA has often promised Congress that
7 they've been already at work preparing the data. CDC
8 and FDA are getting off light because I didn't have
9 time to go through all your testimony and pick out
10 quotes. But in 2000, for example, Under Secretary for
11 Food Safety Catherine Woteki said that CDC was working
12 on contributing illness to food. In 2004, USDA is
13 fulfilling the Vision statement, said that to achieve
14 the best level of food safety, attribution data was
15 essential, and they noted a study by the CDC and the
16 University of Minnesota to get attribution data that
17 would be ready by fall 2004.

18 In 2005, FSIS responded to Congress and said
19 again, significant progress was being made on food
20 attribution data collection, and they highlighted a
21 CDC point-of-consumption attribution study which they
22 said would be ready by fall 2005.

1 In 2006, FSIS again said progress was being
2 made and highlighted the University of Minnesota study
3 which was now delayed until July 2006, the point-of-
4 consumption attribution study which was now delayed
5 until June 2006, and a new study, a mathematical
6 modeling project they highlighted which they said
7 would be ready in May 2006.

8 So this, this -- USDA, the other agencies
9 have all acknowledged the need for this and it's
10 become very evident through their statements to
11 Congress and in other correspondence.

12 But that begs two questions. One, after all
13 this talk, years after years, of all these different
14 projects, where are the results of these promises?
15 You know, maybe we'll see some of them today in this
16 later session, but where's the Minnesota studies,
17 these mathematical modeling projects? Are they ready?
18 Are they coming soon? Or are we just expecting more
19 delays?

20 And, two, FSIS, of course, has acknowledged
21 or has showed the need for attribution data year after
22 year. It's invested time, money, resources, effort

1 into these projects but the question is why does the
2 Agency now insist that food attribution data is not
3 necessary or important enough to go ahead on their
4 risk-based inspection programs?

5 And I am going to talk about risk-based
6 inspection for a couple of reasons today. One is
7 because I think that this meeting has come up in the
8 context of the Agency's efforts in risk-based
9 inspection and two, I think the two are very much
10 connected.

11 These are important questions that hopefully
12 we can get some answers to at the end of the
13 proceedings today.

14 Now good public health programs should be
15 data driven. I think we all agree on that. The data
16 is necessary to challenge a lot of the assumptions
17 that we make about the potential effects that we think
18 will happen when we put in particular interventions or
19 food safety programs.

20 I think when the answer seems the most
21 obvious to a particular problem, that's when we might
22 be in danger of neglecting to determine whether or not

1 the data backs up what these assumptions are. Now I'm
2 not suggesting we need perfect data before we move
3 ahead, but it would be reckless and irresponsible to
4 move ahead on particular programs and public health
5 programs without excellent and adequate data.

6 This is especially true when food
7 attribution data could be acquired within a reasonable
8 amount of time and with just some focused effort.

9 Agencies need to make collecting food
10 attribution data a priority. We've heard that the
11 agencies do think it's a priority but collectively
12 they need to focus their efforts, their resources and
13 make this a genuine priority and, and try to advance a
14 lot of the projects that we've heard talked about
15 today.

16 In regards to risk-based inspection, there's
17 no compelling reasons to rush ahead on that until we
18 have good food attribution data. There's been no
19 justification to say why we need to move ahead on
20 implementing a risk-based inspection program before we
21 have this very important information. And a lot of
22 this concern, and a lot of the reason that I'm

1 insisting on this is based on past precedent. In the
2 mid-nineties, CFA and other consumer groups were
3 concerned that the HACCP rule that the Agency was
4 putting in place was not sufficiently stringent
5 especially in terms of their *Salmonella* standards.
6 FSIS, in our discussions with them, assured us that as
7 the industry met the standards, they would ration it
8 down and CFA trusted that and they supported the HACCP
9 program. But since that time, the *Salmonella* standard
10 has really not changed. So as a result, consumer
11 groups are justifiably reluctant, at least CFA is, to
12 accept these future guarantees and the promises that
13 this will be done at some point in the future without
14 seeing meaningful action.

15 Finally, because we're going to be
16 discussing expert elicitation later, we don't believe
17 that expert elicitation alone is sufficient for risk-
18 based inspection. We don't think that FSIS should
19 legitimately move ahead on risk-based inspection until
20 it has the data necessary from food attribution to
21 back up a lot of its assumptions.

22 FSIS has said it will use expert elicitation

1 to determine the relative inherent risk imposed by
2 various types of processed meat and poultry products,
3 but there hasn't really been any mention of using
4 other data to back up this expert elicitation, and we
5 think that's a problem. The 2005 elicitation was
6 roundly criticized by both industry and consumer
7 groups and the 2007 instrument is the new elicitation
8 is being done right now. We think that this meeting
9 can provide a lot of useful information and insight
10 into helping them guide and adjust that instrument,
11 and we hope that that will be incorporated into this
12 new elicitation.

13 Now we're not saying that expert elicitation
14 is not useful. It is particularly useful in
15 identifying areas in which further effort is needed,
16 and where we can reduce uncertainty. But expert
17 elicitation is limited because it's based on opinions.
18 It's based on perceptions of the experts rather than
19 on observable data. And it should be used as a
20 supplement to primary data collection and not as a
21 substitute for it.

22 Our recommendations are that dedicated

1 efforts need to be done to collect food attribution
2 data as a collective group of agencies. Resources,
3 time and energy need to be put together and this needs
4 to be a genuine priority as opposed to something that,
5 you know, we're working on, it's delayed, and we'll
6 get around to it at some point.

7 FSIS and all agencies should base its
8 programs on data and not just opinion and they need to
9 use this data to justify the assumptions, the
10 opinions, the perceptions and perspectives they are
11 getting from other sources.

12 And finally, FSIS should not move forward on
13 risk-based inspection until serious efforts are made
14 to collect this data. Without it, we're afraid that
15 the Agency and other agencies will be simply hazarding
16 guesses and not really allocating scarce resources
17 appropriately. Thank you.

18 (Applause.)

19 DR. HOLT: Okay. Well, now we move to an
20 important part of the morning, is a 20-minute break.
21 So everyone be sure to come back on time at 10:15.
22 Thank you.

1 (Off the record.)

2 (On the record.)

3 DR. HOLT: Good morning again. For those of
4 you who came in a little late, I'll just reintroduce
5 myself. I'm Kristin Holt with FSIS, and I'm FSIS'
6 Liaison with CDC in Atlanta, and I'm serving as your
7 Moderator today.

8 If everyone would please take their seat,
9 we'll go ahead and get started. The next part of our
10 agenda is seeking Additional Views on Definition. So
11 this is a period where people can come to the
12 microphone and I'll take turns alternating, picking
13 somebody out on the audio bridge. So is there a run
14 for folks to get to the microphone? We had many
15 perspectives this morning on how do we all define and
16 use attribution. So I don't know if anyone has any
17 additional ideas, additional views on the definition
18 of attribution.

19 (No response.)

20 DR. HOLT: Let me go to the audio bridge.
21 Does anyone have a question or a comment or view?

22 UNIDENTIFIED SPEAKER: Again, as a reminder,

1 if you would like to ask a question, press *1 now on
2 the touch tone phone.

3 (No response.)

4 UNIDENTIFIED SPEAKER: I have no questions
5 from the phone line.

6 DR. HOLT: Okay. Well, I think the
7 perspectives expressed this morning were very clear.
8 Let me -- last call. Anyone else?

9 (No response.)

10 DR. HOLT: Okay. Well, this is great.
11 Well, let me then transition us. I mean there's a lot
12 of periods during the rest of the day for more
13 discussion. So we'll just make up a little time here,
14 and let's move onto the next session which is Current
15 Methods and Activities to Develop Attribution Data.

16 And our first presenter is Dr. Chuanfa Guo
17 with the Food Safety and Inspection Service, and
18 Dr. Guo will describe a model that attributes
19 proportions of human illness to different food
20 commodities such as chicken, pork and eggs, based on
21 the distribution of serotypes causing human illness,
22 and the distribution of serotypes recovered from

1 different foods and the data from food consumption
2 patterns are all rolled into the model.

3 So a key point regarding this first approach
4 that we're going to talk about today is that the model
5 attributes illness to commodities based on serotypes
6 recovered at the point of production and that this
7 approach does not address the issue or question of the
8 final food product that was consumed. Dr. Guo.

9 DR. GUO: Thank you, Kristin. It's really a
10 pleasure to hear different perspective and the point
11 of view about food attribution. And I would like to
12 thank you for the opportunity for me to present our
13 model at the meeting today.

14 The attributing human salmonellosis to food
15 source, we use a statistical approach to quantify the
16 contribution of major food sources to human
17 salmonellosis. The model used *Salmonella* serotyping
18 information from both human cases and food sources to
19 provide a link between public health endpoint and
20 source of infection. The model compares the number of
21 reported human cases caused by different *Salmonella*
22 serotypes with the distribution of *Salmonella*

1 serotypes isolated from food sources.

2 The *Salmonella* attribution model was
3 developed by Hald and colleagues, in Denmark and was
4 applied to Danish *Salmonella* surveillance data. The
5 model is often referred to as the Danish Attribution
6 Model, or simply Danish Model.

7 Danish Model quantifies the contribution of
8 animal-food sources to human salmonellosis. The model
9 uses a Bayesian approach, is Monte Carlo Markov Chain
10 simulation to estimate the number of human
11 salmonellosis cases. The model is written in a
12 software, WinBugs.

13 It is a joint effort by FSIS, CDC, FDA and
14 state partners under the FoodNet Attribution Working
15 Group and the Modeling Subgroup to adapt Danish Model
16 to U.S. data. The objectives include estimate the
17 number of cases of human salmonellosis attributable to
18 various food sources, support risk managers and
19 regulators when deciding how to allocate resources,
20 and equally important with that, identify the data
21 needs and data gaps for our future effort on this
22 important area.

1 I would like to give a brief description
2 about the model and the data. There are four
3 important parameters in this model. One is *Salmonella*
4 prevalence by serotype in a food source. We call it
5 parameter p. And the amount of a particular food
6 consumed, we call that parameter M. And the food
7 source dependent parameter, that's a parameter. And
8 *Salmonella* serotype dependent factor, that is what we
9 call q parameter.

10 These four parameters were used to calculate
11 lambda. Lambda in the model is the expected number of
12 salmonellosis cases by different food sources,
13 different serotypes, for given years. And in addition
14 to lambda, lambda is all food of our model. In
15 addition, parameter a, that is food source dependent
16 parameter and the parameter q, that is serotype
17 dependent parameter, is -- here. So also be estimated
18 by the model, also all food from the model.

19 Here is the attribution data we used in this
20 model. Human salmonellosis cases by serotypes, for
21 the year from 1998 through 2003 were obtained from
22 PHLIS. And we have *Salmonella* prevalence by serotype

1 in different food product from FSIS in-plant samples
2 for years from 1998 through 2003. Shell eggs is from
3 Pennsylvania SE Pilot Project, that is, I want to put
4 a note, that that is from early years than other food
5 product. And we also have the consumption data and we
6 also used outbreak and travel information from
7 FoodNet.

8 Now I want to show you the preliminary model
9 results. This is a pie chart to show estimated
10 percentage distribution of human salmonellosis cases
11 for year from 1998 to 2003, because our model include
12 only the food testing data from meat, poultry and
13 eggs, and the model does not attribute other food
14 sources such as produce, seafood and other to
15 attribute the salmonellosis to the other food
16 categories. So they are 41 percent salmonellosis
17 cases is this model is in the category of other and
18 unknown category. And from the data, we have put into
19 the model, the model attributes 19 percent of
20 salmonellosis cases to ground beef, 18 percent to
21 chicken, 12 percent to eggs, 8 percent to turkey and 2
22 percent to pork. Egg product and intact beef account

1 less than one percent.

2 This slide is to show the estimated
3 attributions for meat, poultry and eggs, based on the
4 numbers of culture confirmed human salmonellosis from
5 1998 to 2003. As you can see, for the first year in
6 the data for this model is 1998, the model attributes
7 over 7,000 salmonellosis cases to ground beef and the
8 year going on, by 2003 the model attributes 3,000, a
9 little bit over 3,000 cases to ground beef. So the
10 trend for ground beef is declining, decreasing. And
11 opposite to the ground beef, for chicken, it's
12 increasing at the same time period. So the trend is
13 up for chicken. That is the preliminary results the
14 model show.

15 This statistical model, as I said, is
16 adapted from the one developed in Denmark, may be used
17 to attribute human cases of salmonellosis to specific
18 food commodities. And our work on this model, we have
19 been applying Danish Model to the U.S. data, has
20 proved difficult. And this model does not attribute
21 all observed human cases of salmonellosis to specific
22 food product. For example, like produce, seafood,

1 because the limitation of data for these food.

2 The model does not attribute human cases to
3 non-food sources, such as environmental exposures,
4 pets, farm animals and others.

5 And the shell egg data are very limited use
6 in this model. So I would like to emphasize the model
7 results just shown here are preliminary.

8 And for future efforts, as you know, we
9 started with the best data we have, that is the data
10 for meat and poultry. We would like to explore how we
11 can obtain better data from produce and other food
12 sources currently not included in the model by working
13 with other federal agencies, including FDA and the way
14 we work these industries to gather better data.

15 Under the model currently, the Danish Model
16 treat the *Salmonella* serotype in the food product, the
17 prevalence, as a constant. That just means if the
18 prevalence, a particular prevalence for a serotype in
19 a product is zero, we don't get any positive sample,
20 the model cannot predict or estimate any cases
21 attributed to that product and serotype. And for the
22 future update, we would like to modify the Danish

1 Model, give that prevalence a probability
2 distribution, so we may better attribute the cases.

3 And for the future, we would like to
4 explore, to use *Salmonella* subtyping information and
5 the model will be updated at least two more years.
6 The model will undergo further technical and
7 scientific review. And as I said before, this is a
8 project under FoodNet. Here are the contributors. I
9 would like to thank you everyone for their
10 contribution and thank you again for opportunity to
11 present our work results. Thank you.

12 (Applause.)

13 DR. HOLT: Thank you, Dr. Cho. Next I'd
14 like to introduce Dr. Patricia Griffin with the
15 Centers for Disease Control and Prevention.
16 Dr. Griffin will talk about using data from outbreak
17 investigations to attribute illness to food.

18 DR. GRIFFIN: Good morning. I'm enjoying
19 being in this academic center where we're all learning
20 from each other.

21 Why use outbreak data to attribute illness
22 to various food commodities? Well, for most

1 illnesses, the cause of the food can only be
2 determined if the person is part of an outbreak.
3 Outbreaks capture information on both common and
4 uncommon agents and both common and uncommon food
5 vehicles.

6 eFORS, the Electronic Foodborne Outbreak
7 Reporting System, is the major source for this
8 project. About 1300 outbreaks are reported each year
9 from state and local health departments. We're using
10 a frozen data set from 1998 through 2004. We
11 developed a software program for this data set. The
12 program does not work for later years because the
13 database has since been restructured. Nine thousand
14 outbreaks were reported from '98 through 2004. Fifty-
15 six percent of them had an agent determined and sixty-
16 five percent of those had a specific food determined.
17 Eighty-seven thousand people were ill in these
18 outbreaks.

19 We categorized over 1700 foods in these
20 outbreaks and listed the names of every one of those
21 foods. We accommodated many problems such as
22 duplicate names and we categorized the foods into

1 commodities.

2 We developed a hierarchical scheme for
3 categorizing foods into commodities. So first we
4 divided all foods into land, plant and seafoods. In
5 the land category, by far the largest is meat and
6 poultry which includes beef, pork, poultry and game,
7 and the other two categories are dairy and egg. In
8 the plant category, the largest one is produce which
9 includes fruit, nuts and then the vegetable category
10 which we subdivided into leafy, root, vine/stalk,
11 sprouts and fungus which means mushrooms. The other
12 two categories in plant and grain/beans and oil/sugar.
13 Oil/sugar is process plant food such as vegetable oil,
14 sugar and honey. In the sea category, we have fin
15 fish and shell fish.

16 We then divided foods into simple and
17 complex. Simple foods are simple. They contain only
18 one food commodity. Complex foods contain more than
19 one commodity.

20 So let me give you an example of an outbreak
21 from a simple food, 100 people ill. The simple food
22 item is steak. The commodity is beef. So where would

1 it go on this chart. You can see steak. We simply
2 assigned those hundred illnesses to beef, which is in
3 the meat/poultry, land category.

4 Let's do an example now of an outbreak in a
5 complex food item. A hamburger sandwich causing an *E.*
6 *coli* outbreak. The causative ingredient is known and
7 100 people are ill. Well, a hamburger sandwich, this
8 one contains ground beef, lettuce, tomato and a bun.
9 If ground beef is the cause, we can assign the
10 illnesses to the beef commodity. So we simply assign
11 those illnesses to the beef commodity, meat/poultry,
12 land. Pretty simple.

13 So let's consider this same example but the
14 causative ingredient is unknown. Well, the cause is
15 probably beef or lettuce, but we don't know. Tomato
16 and bun never caused an *E. coli* outbreak. So let's
17 see how to assign this one. It could be ground beef,
18 but then again it could be the bun. It could be
19 lettuce or it could be tomato. Pretty complicated.

20 So how do we assign these 100 illnesses?
21 There are a couple possible methods for assigning
22 illnesses from foods. Method 1 has a lot of appeal.

1 Use only data from outbreaks of simple foods. For
2 example, use outbreaks due to ground beef, but don't
3 use outbreaks due to hamburger sandwiches. That
4 sounded like a great idea, but the problem is most
5 implicated foods are complex.

6 So we go to Method 2, use data from both
7 simple and complex foods, determine the ingredients of
8 the complex foods and model the relative importance of
9 each ingredient. So how would we model the relative
10 importance? We make high, low and middle estimates
11 for each ingredient. The high estimate assumes that
12 all the illnesses were due to this ingredient. For
13 example, we say all of the illnesses were due to
14 ground beef. The low estimate is to say none of the
15 illnesses were from this ingredient, none were due to
16 beef. We're going to blame the lettuce. Or the
17 middle way is partition the illnesses into ingredients
18 based on data from prior outbreaks, and only assign
19 illnesses to commodities that have been previously
20 shown to transmit this pathogen.

21 So back to our example of the hamburger
22 sandwich outbreak. We're now looking at beef and

1 lettuce as possible vehicles. Grains/beans and
2 vine/stalk have been eliminated because they haven't
3 caused prior outbreaks. So of these 100 illnesses,
4 based on a hypothetical set of prior outbreaks, we
5 assign 60 of those illnesses to ground beef and 40 of
6 this illnesses to lettuce.

7 So let me go further on our hypothetical
8 examples, summing all outbreaks, and again this is not
9 real data. This is explaining our methods. So for
10 all *E. coli*, 50 percent of illnesses in all outbreaks
11 -- we'll go the beef in this example, none to pork, 40
12 percent to vegetables and none to shellfish. For the
13 U.S. foodborne illnesses estimated in 1999, we
14 published this paper, and we estimated that there were
15 62,000 *E. coli* illnesses. So we can apply these
16 percentages to that 62,000 in the entire U.S.
17 population.

18 Then we can do the same thing for *Vibrio*.
19 It's a smaller number of total illnesses, so that that
20 95 percent of shellfish that's *Vibrio* is applied to a
21 smaller number of *Vibrio* illnesses, and then we go
22 along and can do it for all of our agents until we

1 come to the total 14 million estimated U.S. foodborne
2 illnesses due to known pathogens, and we have a
3 percent due to each commodity.

4 This is our natal plot, showing the
5 estimates of illnesses attributed to food commodities
6 in the United States on this frozen data set 1998
7 through 2004. If you look at the X axis, you'll see
8 we divided it like that scheme into land animals,
9 plants and seafood. And you'll see those commodity
10 groups within land animals, plants and seafood. The
11 Y axis is attributed illnesses by the methods that I
12 just described.

13 So if you look at the land animal
14 categories, I want you to focus for all of them on
15 that blue bar which is the middle estimate. You can
16 then move your eye to the high bar, to that red
17 triangle which is the high estimate and to that green
18 mark which is the low estimate. But it's easiest to
19 look along those blue bars. In land animals, the
20 highest blue squares, middle estimates, are for dairy
21 and poultry. For plants and for overall, the highest
22 number of attributed illnesses is for vegetables.

1 And you can see that the seafood product, very few
2 illnesses are attributed.

3 So some limitations of this method is it's
4 based on reported outbreaks from health departments.
5 Many outbreaks are not detected, not investigated, or
6 not reported. Investigations of outbreaks is based
7 on resources, on severity of illness and on many
8 other factors.

9 Our methods are based on frequency of
10 illnesses and outbreaks. Some food pathogen
11 combinations cause few outbreaks but many non-
12 outbreak illnesses. For example, *Campylobacter*
13 infections from eating chicken. Our analysis program
14 only works right now on this frozen data set, and our
15 analysis relies on estimates of the number of
16 foodborne illnesses due to each pathogen that we
17 published in 1999.

18 Our future in plants include creating
19 computer programs to apply the methods to later
20 years, creating models to measure trends, revising
21 estimates of the numbers of foodborne illnesses due
22 to each pathogen, improving foodborne outbreak

1 investigation of reporting. So more outbreaks are
2 reported to the eFORS database, so we have more data
3 points. And we want to modify the model to use
4 information from studies of non-outbreak illnesses.

5 So in summary, outbreak data can provide
6 estimates of the amount of foodborne illnesses due to
7 each food commodity including all foods that have
8 caused outbreaks, all pathogens that have caused
9 outbreaks, and data from complex foods. This method
10 relies on estimates of the number of U.S. illnesses
11 due to each agent, and future possibilities for the
12 method include measuring trends and adding
13 information from non-outbreak cases.

14 (Applause.)

15 DR. HOLT: Thank you, Patricia. I'd like
16 to introduce our next speaker, Ms. Caroline Smith-
17 DeWaal, with the Center for Science in the Public
18 Interest, who will talk to us about the Outbreak
19 Alert Database.

20 MS. SMITH-DeWAAL: I told Dr. Raymond that
21 this is a great meeting because it's all of my
22 favorite people talking about my favorite subject

1 which is risk attribution. And I think what's
2 interesting here is we've seen a couple of really
3 complex models and I'm very interested to hear about
4 CDC's model. I think it's going to be an important
5 contribution to this. But I never heard about it
6 before this meeting. So I think it's at least been
7 very, very valuable to us.

8 Our outbreak database started in 1997. I
9 am a lawyer. I am not a scientist. I do want to
10 thank, by the way, Farida Bhuiya who is sitting in
11 the back of the room who is our staff level
12 epidemiologist, and also Kendra Johnson, another
13 epidemiologist who actually worked with Dr. Agwunobi
14 in Florida before she came to CSPI who did most of
15 the data entry for our latest database.

16 We started the database in 1997 because I
17 figured out that I couldn't do my job unless I could
18 figure out what the food attribution was because I
19 was managing all food on behalf of a consumer
20 organization representing over 900,000 consumers. At
21 that time, data from CDC was not available without a
22 Freedom of Information Act request. So we had to

1 FOIA the data, but with our continued requests, CDC
2 started posting line listings every year on the
3 Internet. And in 1999, CSPI began to publish our
4 database on our website. We have a report that's
5 available but we've been publishing it since 1999,
6 and last year, our methodology was published in Food
7 Protection Trends which is a peer reviewed journal of
8 the International Association of Food Protection.

9 Our database contains 5,000 outbreaks
10 covering 15 years of data. It's maintained in
11 Microsoft, accessed by either microbiologists and
12 epidemiologists. We use CDC's definition of an
13 outbreak which is two or more people acquiring the
14 same illness after consuming the same contaminated
15 food, but we are selective in choosing the data
16 because we want an identified food and pathogen. If
17 there are unknowns in either of those categories, it
18 doesn't make it onto our list.

19 And the reason that we are so selective is
20 we want, in fact, the best investigated outbreaks.
21 They have to come from a reliable source. In recent
22 years, mostly we have used CDC but in early years

1 where CDC's data was incomplete, we looked for
2 scientific journal articles, health department
3 postings, and everything. If we were using a non-CDC
4 source or a non-peer review journal source, we would
5 go back to actual state health and local health
6 departments to confirm the data. So the data is very
7 credible, and we clean it and double check it for
8 duplicates every single year.

9 And there's the form that we use for
10 entering. This one is a chocolate case with icing
11 outbreak from 1990, which does show that bakery
12 products do cause outbreaks.

13 We have 13 food categories but we started
14 the project, really looking at USDA versus FDA
15 regulated food. So that's the first categorization
16 we make. Under FDA, the produce and seafood
17 categories, they're the big ones and eggs are
18 actually an improving category. It used to be a
19 major category. But really there are outbreaks in
20 all of these categories.

21 The USDA regulated outbreaks, which is the
22 ones I'll talk about today are beef, pork, poultry

1 and luncheon and other meats.

2 And then we have this catchall category of
3 both where if they at a meal and they couldn't figure
4 out if it was the potatoes or the turkey that caused
5 the problem, it's kind of a catchall, not terribly
6 useful but we've got it.

7 The outbreak categorization for USDA
8 regulated foods breaks into 13 subcategories. And we
9 have a category for complex foods, which we called
10 dishes. So if we can't figure out what the core
11 ingredient is, it'll move into a category which says
12 beef was a principal ingredient but it also contained
13 the bun, the lettuce, the tomato and the ketchup. So
14 it will go in the beef dishes category.

15 This shows you the outbreak trends for USDA
16 regulated food categories. Now in about 1998, CDC
17 started greatly improving their outbreak reporting
18 through eFORS. So we have a line there
19 distinguishing the outbreaks from '90 to '97 and 1998
20 on. Significantly within this outbreak data, we
21 observed that illnesses as a rule for USDA regulated
22 products are going down. The peak years were 2000 or

1 1999, and the exception here is poultry, and we were
2 very pleased to see action taken last year to address
3 the problems in poultry because we had observed that
4 poultry continues to be a major contributor to both
5 outbreaks and illnesses linked to outbreaks.

6 This is a breakdown of our data by who is
7 reporting, states that are reporting, and I recently
8 gave a presentation to the National Council of State
9 Legislators to show them the importance of actually
10 funding their public health departments to do this
11 work. But what we see is that we're actually getting
12 better reporting among our northern states, and our
13 southern states are decidedly lower. By the way,
14 this right here is 1.5 to 2 outbreaks, apologies to
15 my staff, the final one didn't get up there, but for
16 every state they're reporting about slightly over 2
17 outbreaks per 100,000 state population. And we want
18 good reporting. So the fact that some states are
19 much lower than that, doesn't mean they're not having
20 the outbreaks and it probably means they're not
21 reporting them.

22 Foodborne illness outbreaks overall we've

1 gone over, kind of why are they difficult to
2 investigate. But in an investigation done by Scripps
3 Howard News Service last year, they found that the
4 cause of about 64 percent of the outbreaks reported
5 to CDC were unknown. We've heard similar figures
6 here today. And only about 36 percent of reported
7 outbreaks are diagnosed. So what our database really
8 does represent, this smaller subset of the full
9 outbreak data.

10 There are limitations of our outbreak data.
11 One of the most frustrating ones to me is the fact
12 that CDC doesn't release the data very promptly at
13 the end of the year. So we are just now getting 2005
14 outbreak data. We have people on staff all the time
15 who are ready when the data comes out to put it into
16 our database. So it really is a matter of getting
17 the resources into CDC to get their work done and the
18 data scrubbed before they can release it.

19 Our data also does not include deaths or
20 hospitalizations again because that's a component
21 that does not emerge from CDC's database. And we
22 estimate that it really only represents about 25 to

1 30 percent of foodborne illness outbreaks because it
2 excludes these outbreaks with unknown foods or
3 unknown pathogens.

4 In addition, there are some pathogens, like
5 *Vibrio vulnificus* or *Campylobacter*, that just don't
6 show up in the outbreak data. And they're definitely
7 causing illnesses but they're causing more in the way
8 of sporadic illnesses.

9 I started the database in part because of
10 the value to my work legislatively as I started to
11 look at budgets for different agencies, but I think
12 the database is equally critical to the issue of
13 HACCP and developing food hazard combinations. The
14 industry, since they're implementing HACCP, need to
15 know what are the pathogens reasonably likely to
16 occur in their products and our database does provide
17 that information.

18 Our data, it is a point-of-consumption
19 attribution data. I looked at Rob's chart this
20 morning. We're playing three-dimensional chess here.
21 We are not playing on a one dimensional board, and I
22 appreciate that and that's why I think there is

1 actual value to the use of experts sometimes in
2 evaluating the data because you can't assume that
3 because someone ate it and got sick at this point,
4 that the pathogen didn't enter much earlier in the
5 food supply.

6 In addition, we can identify the frequency
7 of food and pathogen outbreaks. The press has told
8 me, I get the data to them much faster than anyone
9 else, but when we have a peanut butter outbreak, I
10 can tell them very, very quickly how frequent, how
11 common this is. In that case, it was very uncommon.
12 *E. coli* in scallions is very uncommon. We have had
13 scallion outbreaks but not linked to *E. coli*. So I
14 can identify really within a matter of an hour
15 usually the frequency of different food/pathogen
16 combinations.

17 And in addition, it tells me what states
18 are reporting. It tells us the difference between
19 home and restaurant prepared foods. By the way,
20 anyone is welcome to ask for our data, to get queries
21 on our data, because again we respond to those all
22 the time for the media and would to industry and

1 others as well.

2 So I want to show one application to our
3 database, and I'm told I only have a minute here, so
4 I'm going to have to go very quickly. USDA asked 23
5 experts, mostly from industry, to rank 24 categories
6 of processed meat and poultry products. This expert
7 elicitation has been criticized. It didn't address
8 the severity, and there were some lack of boundaries
9 reported. But I'm using it here just as an example
10 of how our database can be used.

11 Here we ranked, because there isn't a
12 direct line up between these categories which are the
13 categories the experts were asked to comment on, and
14 what is reported by the public health officials, I
15 took -- I asked my team to group them into low,
16 moderate and high risk categories. And in the low
17 risk category, it's mostly ready-to-eat. Medium
18 risk, it's mostly intact meat products, and in the
19 high risk, we have mostly poultry, almost all poultry
20 and all the ground meat products. And these are the
21 rankings we saw on Monday at this meeting. So that
22 essentially reviews that data.

1 So this is what the outbreak data showed
2 with respect to those three categories of below risk,
3 moderate risk and high risk. We, in fact, have lower
4 outbreaks, fewer numbers of outbreaks linked to the
5 low risk foods, and higher with the high risk foods
6 and the same tracks with the illness data.

7 Now I also asked for it to be broken down
8 by pathogen because I'm a very curious person and
9 always want to know what my data looks like. So here
10 we highlighted a couple of categories for you,
11 *Salmonella* clearly tracks between the moderate and
12 low risk products as does *E. coli*. *Campylobacter*
13 shows up only in the high meat product and moderate
14 meat product categories, and *Listeria* shows up only
15 in the low meat categories.

16 Now what's interesting is *Clostridium* and
17 *Staph aureus* show up really a lot in the meat
18 categories. In fact, *Staph aureus* is more a moderate
19 risk meat category and those are -- again, this is
20 where experts come in. Those are hazards that often
21 are from post-cooking handling of the product. So if
22 we wanted to tackle those pathogens, we would rank

1 different meat products as perhaps high risk here.

2 In addition, *Shigella* shows up really as
3 underreported in this data, and I'm going to show you
4 why, but note that in the high risk category it's
5 number 9, and in the low risk meats it's number 10,
6 and it doesn't even show up in moderate risk. Well,
7 here this slide's pretty complicated, so I tried to
8 put a lot into this presentation. Here's the FoodNet
9 data on frequency, and again you have *Salmonella* and
10 *Campylobacter*, you know, in terms of frequency,
11 you're not going to be using the outbreak data
12 because we know that *Campylobacter* is showing up a
13 lot more in the FoodNet data which is the sporadic
14 case data. And in addition, the *Shigella* which I
15 pointed out earlier is probably underrepresented in
16 the outbreak data. *Listeria*, the frequency of
17 *Listeria* according to FoodNet is really low compared
18 to the other hazards. So that's showing up
19 consistently both in the outbreak data and in the
20 FoodNet data.

21 Now we also included the -- estimates to
22 bring in hospitalizations and deaths because you have

1 to consider severity as you do this risk attribution.
2 And again, you see the data there but deaths from
3 *Listeria* are clearly a significant concern as are
4 hospitalizations. But *Salmonella* and *Campylobacter*
5 definitely stand out in all the data sets as being
6 very important.

7 So outbreak data alone cannot be used to
8 rank food risk, and experts should also be looking at
9 sporadic cases and product testing, the actual food
10 tests that are being done to determine severity, the
11 hospitalizations and deaths must be considered, and
12 foods -- outbreak really is very hard to get. So the
13 best thing we could be doing is getting better
14 reporting at the state and local level. I don't know
15 exactly where Tennessee ranked but it's in the lower
16 reporting. So I really would like to see more
17 resources just at the state and local level to get
18 these outbreaks reported. That would make our work
19 easier, CDC's work easier, and the food attribution
20 go better.

21 And I think we are just at a point where
22 food attribution -- we have to recognize the

1 appropriate role of data and experts, because both
2 are needed. You cannot rely on data solely and you
3 cannot rely on experts alone.

4 This is our contact information in the
5 event that any of you want to access our database,
6 we're welcome to have questions. Thank you.

7 (Applause.)

8 DR. HOLT: Thank you, Caroline. I'd like
9 to introduce our next speaker, Dr. Freda Angulo,
10 Centers for Disease Control and Prevention, who will
11 talk about using data from illnesses that are not
12 part of outbreaks.

13 DR. ANGULO: Thank you very much. There's
14 been much discussion already about the public health
15 surveillance pyramid in which someone, of course, at
16 the bottom of the pyramid must become ill and then
17 they must seek medical care. When they do seek
18 medical care, a specimen must be collected and then
19 the specimen sent to a clinical laboratory where the
20 case would be identified. And then finally at the
21 top of the pyramid, we have a laboratory confirmed
22 case and some of those laboratory confirmed cases

1 will be parts of outbreaks.

2 The proportion of laboratory confirmed
3 infections that are associated with recognized
4 outbreaks varies from year to year amongst the
5 different pathogens. And even within a pathogen, by
6 the various subtypes of that pathogen. For example,
7 the latest FoodNet data shows that about 5 percent of
8 the laboratory confirmed *Salmonella* infections are
9 associated with recognized outbreaks, but it varies
10 by serotypes and as much as 25 percent of *Salmonella*
11 *enteritidis* laboratory confirmed cases are associated
12 with outbreaks.

13 For *E. coli* 0157:H7 infections, it also
14 varies from year to year but in recent years, about
15 20 percent of laboratory confirmed *E. coli* 0157:H7
16 infections have been associated with outbreaks.

17 Back to public health surveillance, as
18 emphasized with the circle on the pyramid, most
19 public health surveillance activities are conducted
20 at the top of the surveillance pyramid. And many,
21 but not all patients with laboratory confirmed
22 infections are interviewed by local and state health

1 departments, and it varies from state to state and
2 from locality to locality to the extent that they
3 will interview comprehensively the laboratory
4 confirmed cases.

5 But information from these patient
6 interviews may be used for attribution and it would
7 be focused on particular at point-of-consumption
8 attribution, the term that was introduced by
9 Dr. Tauxe.

10 One of the important examples of
11 information from patient interviews that can be used
12 for point-of-consumption attribution is information
13 from patient interviews on travel outside the United
14 States prior to illness onset. This is vital
15 information to end up with estimates on attribution
16 of domestically acquired infections. And patient
17 travel information is reported to CDC for the major
18 foodborne diseases. It's reported nationwide from
19 all laboratory confirmed *Listeria* infections and all
20 *Vibrio* infections and all *Salmonella* Typhi
21 infections. And as reported within the 10 states
22 that participate in FoodNet, from all *E. coli* O157:H7

1 infections and from all *Salmonella* infections.

2 Besides travel information, information
3 useful for point-of-consumption attribution on other
4 exposures can be gathered from patient interviews.
5 And we can categorize those in two specific broad
6 types of information. One is the individual case
7 reports from patients, and the second is then case
8 control studies.

9 First, the individual case reports,
10 nationwide surveillance is conducted using individual
11 case reports for all laboratory confirmed *Listeria*
12 infections, all *Salmonella* Typhi infections, all
13 cases of Botulism and all cases of *Vibrio* and those
14 data that are collected on these individual case
15 reports can provide important information for point-
16 of-consumption attribution.

17 For example, amongst the *Vibrio* infections,
18 information gathered or reported to CDC on these
19 individual case reports tell us the proportion of the
20 laboratory confirmed *Vibrio* infections that are
21 associated with wound infections, and therefore, the
22 wound infections, what proportion of those, which is

1 most of them, are associated with the recreational
2 contact with water.

3 Similar amongst the *Vibrio* infections, the
4 individual case report reports the proportion of the
5 cases that have eaten oysters prior to illness onset
6 and other seafoods. So from these individual case
7 reports, we can gather point-of-consumption
8 attribution information.

9 Within FoodNet, we have conducted a special
10 one year study for all *Shigella* infections in which
11 all laboratory confirmed *Shigella* infections were
12 interviewed to determine the proportion of *Shigella*
13 infections that were associated with day care center,
14 international travel and with other recognized
15 sources of *Shigella* infections, and resulting with us
16 being then able to understand what proportion of all
17 *Shigella* infections are foodborne. And in current
18 estimates, it's about 25 percent of all *Shigella*
19 infections are, in fact, transmitted through
20 contaminated food.

21 So this information from individual case
22 reports for attribution has strengths and

1 limitations. It is useful for distinct exposures as
2 I described with the example with *Vibrio* and the
3 distinct exposure like a wound infection versus a
4 foodborne infection for some of those pathogens. And
5 it's also useful for uncommon exposures such as
6 eating oysters prior to illness onset. But the
7 limitations of these individual case reports is
8 they're only practical for uncommon diseases. In
9 other words, the local and state health departments
10 are interviewing all of these cases and it's not
11 practical to assume that local health departments
12 will interview everybody who has a laboratory
13 confirmed *Campylobacter* infection, for example. And
14 therefore, only a limited number of diseases have
15 these individual case reports.

16 And furthermore, for common exposures, you
17 need a comparison group. For example, amongst the
18 *Listeria* infections, *Listeria*, if reported, a high
19 proportion of the *Listeria* cases have eaten deli
20 meats. While it's hard to understand the attribution
21 of *Listeria* to deli meats because eating deli meats
22 is, in fact, a common exposure for the general

1 population unlike eating oysters which would be a
2 more uncommon exposure.

3 So for those type of common exposures, we
4 must have a comparison group. And so to compare the
5 exposures of ill persons, that being the cases, with
6 exposures of well persons, then, of course, we
7 conduct a case control study. And you could call
8 this a case control study of sporadic illness.

9 In this case control study of sporadic
10 illness, it's important to emphasize that these
11 interviews of well persons is not a routine public
12 health surveillance activity and, in fact, it does
13 require human subjects review and approval. For
14 public health officials to interview a well person
15 about their recent activities requires a Human
16 Subject Institutional Review Board permission to
17 conduct those interviews.

18 However, FoodNet provides an efficient
19 platform for conducting these sporadic case control
20 studies. FoodNet has conducted 16 sporadic case
21 control studies from 1996 through 2006. This just
22 shows a timeline of these sporadic case control

1 studies that have been conducted within the FoodNet
2 platform, and it lists the various different
3 ideologies of those sporadic case control studies.

4 A couple examples of some important
5 contributions of sporadic case control studies,
6 FoodNet conducted a *Campylobacter* case control study
7 in 1998 and 1998. It was a 12 month study, in which
8 1600 cases and 1600 controls were involved, and it
9 determined that *Campylobacter* infections, an
10 important exposure of *Campylobacter* infections was
11 international travel, and that provided important
12 information to understand the attribution of
13 *Campylobacter* infections to domestically acquired
14 infections. Also the sporadic case control study
15 demonstrated that eating chicken outside the home was
16 an important source of *Campylobacter* infections.
17 That is a signal that does not come up strong within
18 the outbreaks of *Campylobacter*.

19 Another example is the recently published
20 *Listeria* case control study, and that *Listeria* case
21 control study was -- I'm sorry. I misstate the dates
22 in which it was conducted. It was conducted in the

1 early 2000s. It was a 3-year study in which 169
2 cases and 376 controls were interviewed. An
3 important risk factor or important exposure
4 identified in that *Listeria* case control study was
5 eating humus. Humus has not been identified in
6 outbreaks of *Listeria* but that's important signal
7 that comes from this sporadic case control study.

8 This may be difficult to read from where
9 you are sitting, but this is a graph that shows each
10 of the sporadic case control studies that have been
11 published by FoodNet, and I would just like to
12 highlight that this dotted line is the beginning of
13 the study preparation. For example, in the
14 *Campylobacter* case control study, it took a year of
15 preparation to receive all the human subject
16 approval, develop a protocol. We conducted the study
17 for a year, and then this is a timeline to
18 publication. So there is quite a delay from
19 envisioning the sporadic case control data study, the
20 concept and agreement to allocate the resources to
21 the study design, the development, the human subject
22 approval, conduct of the study, peer review,

1 necessary revisions and publication. And this is
2 just a standard peer reviewed science approach.

3 So therefore to highlight the strengths and
4 limitation of these case control studies for
5 attribution, they're excellent for memorable
6 exposures such as reptile exposure, people will
7 remember whether they had reptile exposure even if we
8 interview them several weeks of their illness onset.
9 And they may be useful for common exposures like
10 ground beef but there will be problems with people's
11 memory of these common exposures. They have been
12 very helpful to identify exposures that have not yet
13 been identified in outbreak investigations, but these
14 case control studies have limitations. In
15 particular, they're tremendously resource intensive,
16 and they therefore need to be focused in a limited
17 period of time and on specific exposures.

18 So you heard earlier the presentation about
19 using point-of-consumption attribution information
20 from outbreaks, and to have the most useful
21 information on point-of-consumption attribution is
22 the combined information from these outbreak

1 investigations with the information from interviews
2 of cases that are not involved in outbreaks.

3 For example, with *Campylobacter*, outbreaks
4 tell us that produce is an important source of
5 outbreaks, *Campylobacter*, as is dairy products and
6 there are some chicken outbreaks. However, the non-
7 outbreak interviews tell us that international travel
8 is an important source of *Campylobacter* infections
9 and eating chicken outside the home. So we're
10 working on methods to combine this information into a
11 more holistic measurement of point-of-consumption
12 attribution.

13 In summary, data from cases that are not
14 involved in outbreaks are useful for attribution. It
15 enables, in particular, attribution to be focused on
16 domestically acquired infections, and can be useful
17 to understanding other exposures, those being
18 ascertained through individual case reports and
19 through case control studies. And combining the
20 information from outbreaks and information from cases
21 not involved in outbreaks will be helpful for point-
22 of-consumption attribution. Thank you.

1 (Applause.)

2 DR. HOLT: Thank you, Fred. I'd like to
3 introduce our next speaker, Dr. Sandra Hoffman from
4 Resources for the Future, and Dr. Hoffman will talk
5 to us about using data from expert elicitation to
6 attribute illness to food.

7 DR. HOFFMAN: Thank you. I appreciate
8 being invited to be here today. I'll be discussing
9 research that I've conducted with colleagues at
10 Carnegie Mellon University and Resources for the
11 Future, attributing illnesses caused by foodborne
12 pathogens to food consumption.

13 This is a project that grows out of work of
14 a collaboration with Glenn Morris and Mike Taylor and
15 Mike Batz from University of Maryland, developing a
16 foodborne risk ranking model. I'd especially like to
17 thank Mike Batz for his help with the outbreak data
18 used as a point of comparison in this study.

19 There are three major points I'd like to
20 make today. First, I want to talk about how knowing
21 why you're attributing food can affect the way you do
22 attribution. Second, I hope to show you that expert

1 elicitation can improve the information basis for
2 risk management. And, finally, I want to talk about
3 how our expert elicitation study contributes
4 information relevant to risk-based food safety
5 management. And I'm going to do that in 10 minutes.
6 So we'll see.

7 I want to share some of the basic lessons
8 that I've learned in the past few years in thinking
9 about foodborne illness attribution, and I think
10 things have kind of come up in our discussions today,
11 but I think it's important to highlight them.

12 First of all, as Dr. Tauxe pointed out, you
13 can attribute foods to many dimensions, to many
14 different factors. But I think it's important to
15 recognize that decision needs really are going to end
16 up driving the attribution. It's important that they
17 do that. But I think as you do that, I think one of
18 the important things is to stay clear about what the
19 need is and what dimension you're measuring on. I
20 think some of the disagreements we've run into and
21 some of the confusion we've run into in categorizing
22 for attribution has resulted from wanting to meet

1 multiple needs. And it's important that you maintain
2 kind of a consistent set of categories that are not
3 overlapping, and if you start to mix needs, you can
4 run into problems with that kind of issue of
5 inconsistency and categories.

6 Finally, I think it's also useful to point
7 out that it's useful to have kind of a tier and
8 multiple studies on attribution, and it's useful to
9 start with thinking about dividing up the whole pie
10 and working down. You could do attribution of just
11 being focused on the particular problem you're
12 concerned about, but if you start from that bottom up
13 perspective, I think you can run into danger of not
14 being able to add up your estimates. And so a lot of
15 the approaches that we've been seeing today are
16 taking that kind of approach of starting with the
17 whole pie and dividing it, attributing it to factors
18 within the pie.

19 My second major point is that expert
20 elicitation can be useful in attributing risks. More
21 often than not, complex decisions have to be made
22 with imperfect information. The question is not

1 whether expert judgment will be used but how it will
2 be used. Expert elicitation is a set of
3 methodologies that can provide systematic structured
4 means of assessing expert judgment and eliciting it.
5 It's been used since at least the 1970s by many
6 Government agencies as well as in industry in a wide
7 range of areas from assessing safety of nuclear power
8 plants to assessing exposure estimates in air
9 pollution. My colleague, Roger Cook, who I think is
10 here today, is working on a project in the
11 Netherlands, there he is, using expert elicitation
12 for attribution of microbial foodborne hazards. So
13 it's been widely used, widely tested.

14 As with all sciences, expert elicitation
15 results are only as good as the study. The methods
16 used in expert elicitation do vary and like many
17 areas of science, there are differences of opinion on
18 which is best. Since time is short, I will just
19 leave it at saying there are several good textbooks
20 and surveys. I've listed a few here.

21 Expert elicitation can help shed some light
22 on food attribution data gaps. We've been hearing a

1 lot about the difficulties of collecting data on food
2 attribution. I think it's starting back with
3 Dr. Goldman's comments about the difficulties simply
4 of reporting and identifying what's foodborne much
5 less what particular food caused an illness.

6 Outbreak data is certainly improving
7 greatly but it's still incomplete and likely to
8 remain incomplete. It's simply a difficult data
9 collection task. Furthermore and also just simply by
10 definition, it excludes sporadic cases. Furthermore,
11 there's studies indicating that outbreak cases and
12 sporadic cases may be associated with different
13 foods. So we're covering a part of the universe with
14 outbreak data and it may be different than the
15 sporadic cases.

16 FoodNet was created to provide information
17 on sporadic cases but it's not yet nationally
18 representative. It's improving. It's great. We
19 need to do more of it, but it's not yet -- we still
20 have those data gaps.

21 Most importantly I think experts have
22 knowledge and experience relative to assessing the

1 association between foodborne illness and food
2 consumption that's not brought into epidemiological
3 data. Whenever you sit down and you talk about
4 what's the likely source of foodborne data, what you
5 start hearing people draw on is information about
6 microbial ecology, information about food consumption
7 patterns, what they know about the way processing is
8 done, what they know about the way industry is -- who
9 are the good actors, who aren't the good actors,
10 where do we think things are under control. So if
11 you're coming up from kind of a risk assessment
12 perspective, there's a lot of information that people
13 have and know that help inform judgments about the
14 likely association between foodborne illness and
15 food.

16 What expert elicitation does is give you a
17 structured way of synthesizing that information.
18 It's only once. Formal risk assessments are
19 certainly another but this is one additional way of
20 bringing more information to the table.

21 What we did was surveyed 44 nationally
22 recognized food safety experts. These are people who

1 have spent their careers in government, in academia
2 and industry from a wide variety of fields relevant
3 to microbial food safety. Forty-four is a large
4 panel for expert elicitations. We used a formal
5 survey. My expertise is really in survey research,
6 and with a panel of 44, it was large enough to allow
7 us to also use some statistical analysis to begin to
8 understand patterns of responses that we saw.

9 Each expert was asked to attribute all
10 foodborne illnesses associated with a particular
11 pathogen to the consumption of 11 types of food. We
12 followed Ms. Caroline Smith-DeWaal's categories for
13 food consumption. It allowed us to compare to
14 another set of outbreak data and provide some
15 consistency and comparability. We did this for the
16 FoodNet pathogens plus toxoplasma and neuroviruses
17 because of their importance in the -- report.

18 These food categories were designed to span
19 the food supply and as I said, are a modification of
20 the CSPI categories.

21 From our data, we estimated four measures
22 of what I will call uncertainty or if you're more

1 comfortable with knowledge, the flip side of
2 uncertainty is knowledge about food attribution. One
3 is to what extent do our respondents agree with one
4 another about their best estimates. Let me back up.
5 I forgot to say one thing. What we asked our
6 respondents to do was to give us a best estimate
7 which in Bayesian terms is probably closest to a
8 medium, as well as a 90 percent credible interval or
9 upper or lower bound around that estimate. So we
10 have for each expert both a measure of central
11 tendency and their upper and lower bounds.

12 So when we measure uncertainty, we can get
13 four different measures of uncertainty or knowledge
14 about food attribution. The degree to which this
15 group, this panel is agreeing about their best
16 estimates, the degree to which they are agreeing with
17 the outbreak estimates, the degree to which the
18 experts mean confidence intervals and variability in
19 the expert's individual uncertainty or confidence
20 intervals.

21 We use these measures to characterize
22 knowledge about food attribution of foodborne

1 illnesses in this panel, in a way that I hope will be
2 useful to decision makers. What we're looking at is
3 the thinking that there's a difference between the
4 cases where you have a large body of experts agreeing
5 with one another, agreeing with the outbreak estimate
6 and saying that they're highly confident about their
7 estimate. In a case where they're agreeing with one
8 another, they're saying they're highly confident
9 about their estimates, but they're not agreeing with
10 the outbreak data. That suggests that there's
11 probably information out there that's not being
12 captured in the outbreak data that they think is
13 important or the case where the experts are not
14 agreeing with one another. Obviously if they don't
15 agree with one another, they're not agreeing with the
16 outbreak. Oh, boy. And they're not confident about
17 their estimate. See you can see different qualities
18 of information are available.

19 Just to illustrate, I'm presenting charts
20 of three of these measures for food. The one on the
21 left compares the correlation among experts' best
22 estimates on the vertical axis, and the correlation

1 between experts' best estimates and outbreak
2 estimates on the horizontal axis.

3 The chart on the right compares correlation
4 of experts' best estimates with the mean individual
5 uncertainty or confidence interval. So here you can
6 see some examples. Seafood and poultry are both
7 cases where the experts are highly correlated and
8 have moderate size credible intervals but experts
9 believe that outbreak data tells the full story about
10 seafood but not about poultry.

11 Another case is eggs, produce and breads,
12 where there's a high level of expert correlation with
13 one another and with outbreak data but experts are
14 far more uncertain about their estimates for produce
15 than they are for eggs and bread.

16 So it starts to tell you something about
17 the quality of the state of knowledge or the quality
18 of information that this panel of experts thinks we
19 have about food attribution.

20 We're able to do some regression analysis,
21 and since I'm short on time, I'm going to skip over
22 this. I think one of the major things it allows is

1 to do is to check for some construct validity and
2 also gives us a few patterns that may be useful in
3 policy.

4 The major stories that come out of our
5 attribution empirically is the high concentration of
6 foodborne illness among food pathogen pairs. We have
7 121 food pathogen pairs and a fairly small number are
8 really causing most of the illnesses and deaths. I
9 think the same thing is coming out of the CDC data as
10 well. On many, but not all certainly, our expert and
11 outbreak based attribution estimate agree that there
12 are very significant exceptions. I show a couple of
13 here for the case of illnesses. They also occur with
14 deaths. One that I think probably many people would
15 probably recognize is the issue of produce and
16 poultry and *Campylobacter*.

17 So I want to return to my three major take
18 home messages. It's very important as we talk about
19 attribution to be clear about why we're doing it, and
20 to make sure that the categorizations we use remain
21 consistent and not to allow different decision needs
22 to drive us towards inconsistencies in our studies.

1 I hope I've begun to show how expert
2 elicitation can be a valuable supplement to more
3 conventional scientific data, especially in cases
4 like foodborne attribution where we have significant
5 data gaps. And I think our expert elicitation
6 provides an alternative set of estimates attributing
7 foodborne illness to foods, but perhaps more
8 importantly, it can help characterize what food
9 safety experts think they know and don't know about
10 the association between foodborne illness and the
11 consumption of specific foods. Thank you.

12 (Applause.)

13 DR. HOLT: Thank you, Sandra. I'd like to
14 introduce our next speaker, Mr. Michael Batz, with
15 the University of Maryland, who will speak about
16 ranking foodborne risks under uncertainty: comparing
17 outbreak and expert attribution to illnesses to
18 foods.

19 MR. BATZ: Thank you all, and thanks for
20 allowing me to talk today. I think with only 10
21 minutes, I think I'll be able to keep you awake but I
22 have so many slides that if you have epilepsy, you

1 may be facing a risk-risk tradeoff in terms of paying
2 attention or not.

3 I'm at the University of Maryland School of
4 Medicine. I used to be at Resources for the Future
5 where Sandy is. And I'm the Executive Director of
6 the Food Safety Research Consortium. I just want to
7 set this up to give a little bit of perspective of
8 where what I'm going to be saying is coming from.
9 And the purpose of the consortium, it's really a
10 loose collaboration between seven research
11 institutions for the purpose of developing analytic
12 tools and decision tools to help make more risk and
13 science informed decisions.

14 Our role with food attribution has come
15 primarily through one project which is the risk
16 ranking model which I'll talk a little bit about, and
17 we've had a couple of meetings and a couple of
18 workshops similar to this one, and I think this
19 meeting is great because it continues the discussion
20 in which there really is a need to continue to get
21 agreed upon nomenclature, agreed upon sort of
22 understanding of what we mean by attribution and what

1 some of the different perspectives might be. There's
2 also a SRA meeting that a lot of these talks were at
3 and these things have really moved things forward.

4 The risk ranking model as Sandy mentioned
5 came out of a funded project by Robert Wood Johnson
6 Foundation and subsequently funded by a CSREES
7 grant, really in an attempt to make a first step at
8 broad resource allocation type priority setting. So
9 the goal really for us in that context was to start
10 by identifying what the worst problems are from a
11 public health standpoint, towards the idea of moving
12 forward down the line in the future towards being
13 able to identify the best solutions. And that
14 discrepancy is important because I think it relates
15 to why we chose to attribute the food and how that
16 relates to attributing to causes and contributing
17 factors.

18 Our definition of food attribution is
19 similar to what's been presented earlier today in the
20 sense that we're talking about a percentage
21 attribution and this is just an example because it's
22 very similar actually to what Patty presented

1 interpreting the outbreak data, quantifying these
2 things into some percentages and applying them to
3 incident estimates. For example, if we have an
4 estimate whether it's based on mean or something
5 else, that there are some number of foodborne cases,
6 that is of total cases, some percentage are foodborne
7 and then of those foodborne, attributing those.

8 Now to do this, the important thing for us
9 is that things have to add up to 100 percent. So
10 certain kinds of attribution approaches where things
11 can add up to 100 percent aren't useful for us. That
12 doesn't mean that they're not incredibly useful for
13 getting at those food pathogen combinations but for
14 us, we need to use some data that gets at that sort
15 of broad level 28 pathogens across all foods.

16 The point of attribution has been discussed
17 already, the point being to distinguish where a
18 specific attribution approach attributes illnesses
19 even to a specific food which might be considered or
20 a vehicle, you know, at some point in that continuum.
21 For us, we're starting with public health impact. We
22 want to look at point of consumption.

1 So if we want to look across these things,
2 really the data set that we can use, imperfect as
3 they may be or, you know, starting with this outbreak
4 data and although it represents a very small number
5 of total percentages, it really is the only national
6 data set that covers a broad number of pathogens.

7 One reason why this is important from a
8 risk ranking modeling standpoint, is that comparative
9 risk assessment or something like this, you want to
10 have as few methodological differences between your
11 risk, you know, your risk hazards as possible. So
12 you want to minimize the effect of methodological
13 differences between these things you're ranking. So
14 for us that's one reason why we want to use one thing
15 for all.

16 Now moving forward, the data may be so poor
17 that, you know, and uncertain that it may be
18 preferable to give up that methodological consistency
19 to do a little bit more picking and choosing of
20 attribution method between, you know, between
21 different pathogens, largely because we see from the
22 work and from what Sandy has done and when you look

1 at case control studies and outbreaks, that certain
2 type of approaches seem to work better for certain
3 pathogens than others. The serotype stuff that
4 Chuanfa presented on *Salmonella*, some of those same
5 kinds of Bayesian statistical approaches to looking
6 at subtyping for *Campylobacter* have not found
7 reservoirs at all because those methods depend on
8 something that happens to work for that specific
9 pathogen. So moving forward, we may be able to move
10 towards using a more combined approach of trying to
11 integrate all these different attribution approaches.

12 One thing that's been brought up today,
13 that was presented in Patty's talk, it is part of
14 Caroline's talk as well, is that interpreting
15 outbreaks is messy business. It's a dirty data set
16 in the sense that, you know, this data is collected.
17 It's temporally variable. It's geographically
18 variable. It's dependent on human interpretation and
19 human investigation, limited by resources and effort
20 and all kinds of other biases. So you end up with
21 foods in there that may or may not be easy to
22 interpret. You may end up with things in there such

1 as, you know, homemade cougar jerky I think is in
2 there, you know, but it's hard to go very far when
3 you have, you know, you're attributing risk to home
4 smoked meats of large predatory cats.

5 You know, but you still have other issues
6 such as whether or not when you're reporting this
7 stuff, whether or not you're considering a tomato a
8 food or a vegetable, whether you're categorizing
9 things by whether they're a row crop or a tree crop,
10 and this is a mind killing exercise of going through
11 this, and I really love where CDC has ended up, you
12 know, they've tried a few approaches using recipes to
13 try to do these things and breaking these complex
14 foods which really are probably about half of the
15 data that are in foodborne outbreaks where you have a
16 know ideology and no vehicle. So what I tried to do
17 with complex foods is try to bend them in a couple of
18 different ways to try to understand, you know, what
19 is the real variability in terms of how these things
20 can be bent.

21 So two questions that come up with complex
22 foods are whether or not to include them or exclude

1 them and then, you know, compute your percentages
2 with them as a category or without because obviously
3 you're going to change something. If complex foods
4 are 40 percent of your outbreaks or 50 percent, you
5 leave them in, you're going to be doubling or having
6 things. And the other thing is whether or not you
7 make any effort to try to break up that complex foods
8 into two ingredients which is a subjective exercise
9 but one in which hopefully we can try to manage.

10 So these are our broad 13 food categories
11 which again I'll mention. So far we've had three
12 presentations on outbreaks and this is third or the
13 fourth category set that we've seen, and I hope that
14 moving forward I can concede some of this and we can
15 all come together to agree upon some uniform
16 categories.

17 So these are just two lines here, the first
18 being where it's the most conservative, where we have
19 these complex foods and where we're not trying to --
20 we're just going to leave them in the complex foods
21 category. We have another one where we try to put it
22 with a primary ingredient. So if it's an omelet,

1 we're going to go ahead and say it's an egg. If it's
2 a hamburger sandwich, we would put that in beef,
3 although, you know, we've seen another approach
4 today. So I'm not suggesting that this is the only
5 or the best approach.

6 So there's the 41 percent and you can see,
7 the numbers go up. I mean it's not a surprise when
8 you move some of these things out and for *Salmonella*
9 you can see that a lot of these things are egg
10 containing dishes, that then get recategorized.

11 When we move to killing out that category
12 obviously those numbers change again, and so what you
13 end up with is in the left-hand column sort of a low,
14 in the right-hand column sort of a high, and in the
15 middle sort of a low, high, high, low, overlapping
16 kind of things. This isn't particularly meaningful
17 other than just to show that, you know, for a
18 specific pathogen, that uncertainty and just where to
19 bend things has a pretty huge impact on which
20 vehicles get identified.

21 And this issue of being able to deal with
22 this sort of issue which is sort of a, you know, it's

1 not a probabilistic uncertainty, it's this kind of
2 bending issue but it's -- there really is an issue
3 with interpretation that pertains to dealing with
4 outbreaks.

5 Now comparing outbreaks to experts, I think
6 Sandy talked a lot about that. So I don't want to go
7 into a lot of detail about this, even if it is the
8 title of my talk, but I think it's been covered a
9 lot, and this is just one estimate. And this is old
10 data. It's preliminary but it shows you that this is
11 the mean outbreak for one cut of it. So the mean
12 outbreak attribution percentages and box plots for
13 expert attribution, and you see the biggest
14 difference here is this shift between produce and
15 poultry. And the lesson is that, you know, does this
16 actually impact rankings of what we would say the
17 most important foodborne pathogen or food pathogen
18 combinations are. And the answer is yes. I
19 presented hospitalizations here because deaths are
20 very heavily rated to a few pathogens and so are
21 illnesses where you end up, everything becomes a
22 neurovirus.

1 But the point is just that difference
2 between these data sets that we might use for
3 attribution really does impact in the end result, and
4 it may not be apparent to somebody viewing those end
5 results that you have these underlying problems or
6 differences between these data sets.

7 So I think we've talked a lot today about
8 the problems of outbreaks and the problems with
9 expert elicitation and the benefits of both of those
10 things, but I think it's important to recognize that
11 we're not going to have perfect attribution as
12 Ms. Scott sort of said in the first sort of sentence
13 today. So I could have changed the slide but even
14 excellent attribution, and I'm not sure how close
15 we'll get. You know, we have a surveillance pyramid
16 problem where we have a hard enough time getting a
17 hold of how many people get sick for a certain, you
18 know, pathogen let alone taking it to a food let
19 alone getting back to the contributing factors or the
20 sort of behavioral causes.

21 We also have an incredibly dynamic system
22 that's changing over time, both in terms of

1 antimicrobial resistance and durable immunity of the
2 population, but also in terms of food trends,
3 consumption trends and so on. So we have to
4 recognize that whatever we do here is going to be a
5 snapshot.

6 I think moving forward though, there are
7 some opportunities to do things right, and that is we
8 can come to consensus on terminology. We can move
9 forward with some categories and we can try to find
10 ways to combine these data, connect them and compare
11 them side-by-side. I think it is a useful thing to
12 do, and I think that the more we go after that, the
13 more we can try to isolate what those real data needs
14 are.

15 My sort of last take home message is just
16 sort of a personal perspective, and that's just that
17 I don't think we can wait forever for attribution
18 information. I think we need to present the data as
19 best we can, try to be as transparent as we can about
20 the biases and limitations and uncertainties of the
21 data, but move forward understanding that hopefully
22 by presenting that analysis we can improve the data

1 over time and improve the results over time and
2 improve the decisions over time. So that's it, and
3 although I didn't get my presentation in time to have
4 handouts, I will be happy to give it to you at a
5 later date. Thank you.

6 (Applause.)

7 DR. HOLT: Thank you, Michael. I'd like to
8 introduce to you Dr. David White, center for
9 Veterinarian Medicine at the Food and Drug
10 Administration, and Dr. White will talk to us about
11 using data at retail.

12 DR. WHITE: We'll jump right to the end.
13 Thank you very much, and I'd like to thank FSIS as
14 well as for inviting CVM to present their views on
15 attribution.

16 Ours is a little different than that. Our
17 main focus is looking at antibiotic resistance as
18 we're the organization that approves antimicrobial
19 use in food animals. That's again what we're looking
20 at, the negative potential consequences of such use.

21 And how we do that is through a program
22 called NARMS, the National Antimicrobial Resistance

1 Monitoring System, and this is a national
2 collaborative network between the FDA, CDC and USDA
3 as well as public health laboratories in all 50
4 states and local health departments in 3 major
5 cities.

6 NARMS was developed to monitor changes in
7 susceptibility and resistance of select zoonotic
8 bacterial pathogens as well as commensal organisms,
9 we've added *Enterococcus* and general *E. coli* as
10 sentinel organisms, recovered from animals, retail
11 meats and humans to antimicrobial agents of both
12 human and veterinary importance.

13 There are three testing sites involved in
14 NARMS. The first is FDA/CVM in the Laurel
15 facilities, the Office of Research, which looks at
16 retail meat and poultry, the CDC that you've heard a
17 little bit about today that deals with our human
18 isolates, and USDA looks at isolates from food
19 animals on the farm and also through the FSIS
20 isolates at slaughter --

21 I'm going to focus today on the retail meat
22 part of the program, and I just want to stress again

1 that there are two other imports and they all need to
2 be put together to really look at the big picture.

3 With regard to retail meat sampling, it's
4 based on a collaboration with CDC and FoodNet. We
5 have all 10 FoodNet sites participating in the retail
6 meat sampling. There's a similar random sampling
7 scheme at each of the FoodNet sites. Each site
8 purchases 40 meats per month, and that's 10 packages
9 each of ground beef, pork chops, chicken breasts and
10 ground turkey.

11 All 10 sites at their own facilities
12 culture for *Salmonella* and *Campylobacter*, and we have
13 4 of the 10 sites that look for *E. coli* and
14 *Enterococcus*, Georgia, Maryland, Oregon and
15 Tennessee. And why we only do four is we have such
16 high prevalence we would quickly overwhelm the system
17 if we had all 10 sites look for that.

18 Once the bacterium are recovered, the
19 isolates are then sent to the Office of Research
20 where their individual is confirmed and we also
21 perform antimicrobial susceptibility testing and
22 we've instituted a molecular subtyping now of all

1 *Salmonella* and *Campylobacter* isolates through the
2 PulseNet program.

3 Just to give you an idea on the number of
4 meats sampled per year, it's grown dramatically from
5 our first year and this is the newest part of the
6 NARMS program, too. It's been in place since 2002.
7 We started with about 2500 meats in 2002. Our
8 preliminary data for '06 is about 4300, and that will
9 rise to about 4800 meats when we have all of our data
10 in, and that's with all 10 sites. So we're really
11 shooting for 4800 retail meats being sampled per year
12 which is the largest I think study of its kind in the
13 United States right now on an ongoing basis.

14 Here's some data on *Salmonella* prevalence
15 between 2002 and 2006, and please remember that 2006
16 is preliminary. As you can see, most of the
17 *Salmonella* we're recovering in the retail meats is
18 coming from poultry, either chicken breasts or ground
19 turkey, and those figures, hover around 10 to 13
20 percent for chicken breasts and between 12 percent up
21 to 15 percent for ground turkey. We repeatedly
22 recover low rates of *Salmonella* from both ground beef

1 and pork chops. The pork chops traditionally about 1
2 percent. And remember, those are about 1200 meats
3 we're testing for each of those commodities. It's a
4 very low *Salmonella* prevalence rate for ground beef
5 and pork chops.

6 If we look at the comparison of *Salmonella*
7 between what's being seen in the human component of
8 NARMS at CDC and what we're focusing in on poultry,
9 we see a lot of diversity from the *Salmonella*
10 serotypes being recovered. For 2004, in the human
11 CDC component we had approximately almost 1800
12 *Salmonella* isolates that were included in the
13 program, and here the isolates from the retail meat
14 components from chicken breasts and ground turkey 157
15 from chicken breasts which is about 13.4 percent of
16 the chicken breast samples were positive, and 142
17 from ground turkey. Again you can see really that
18 there's much more diversity in the human *Salmonella*
19 serotypes. We're seeing a lot more commonality in
20 the serotypes being recovered from chicken breast and
21 ground turkey, and those are from all 10 of the
22 sites.

1 If we try to take a look at the top five
2 serotypes among human and retail poultry isolates, we
3 do see some interesting similarities between the
4 serotypes but interesting as well in terms of
5 attribution. We do see some distinct differences.
6 For instance, if you look at the human, we see
7 Newport and Javiana in the top five. You don't see
8 either of those in the poultry. If we did expand out
9 to ground beef and cow, you would see Newport show
10 up. However, Javiana does not show up really in any
11 of the commodities at all, to me suggesting that
12 there's not a food and/or -- for this. This is
13 coming from somewhere else. So again it's an
14 attribution.

15 The more difficult serotypes though would
16 be Heidelberg who we do see this in every meat. We
17 see this in every food animal. So again, if you see
18 a *Salmonella* Heidelberg outbreak, it might be a
19 little more difficult to determine where it
20 originally came from than some of these other
21 serotypes where we only see it associated with one
22 particular food and one particular animal.

1 We also have the ARS/FSIS data from
2 slaughter and all I want to point out here is that if
3 we look at the ones highlighted in orange, those are
4 ones that also are matches with what we're seeing in
5 the retail mates. So we are seeing overlaps in terms
6 of the *Salmonella* serotypes being observed at
7 slaughter -- and retail.

8 With regard to resistance, and I'll go over
9 this real quick, because I know this isn't the focus
10 of this meeting, again this is our focus at CVM and
11 for those of us dealing in resistance, there's a lot
12 of antibiotics we test. There's a lot of acronyms.
13 So just to quickly tell you what they are. The ones
14 on your left, the first five, are all beta lactam
15 antimicrobials. AMP is Ampicillin. AUG is
16 Amoxicillin Clavulanic Acid. FOX is Cefoxitin. TIO
17 is Ceftiofur, which you may have heard of Ceftiofur.
18 It's an expanded spectrum beta lactam -- is a third
19 generation Cephalosporin. AXO is Ceftriaxone, a
20 third generation Cephalosporin that would be used to
21 treat salmonellosis. GEN is Gentamicin. KAN is
22 Kanamycin. STR is Streptomycin. CHL is

1 Chloramphenicol. TET is Tetracycline. SUL is
2 Sulfamethoxazole. COT is Trimethoprim. NAL and CIP
3 are Nalidixic Acid and Ciprofloxacin. But just to
4 give you some idea, these are really four main drugs
5 of human health importance for *Salmonella* in terms of
6 what could potentially be used. Just to give you
7 some ideas, these are really the four main drugs of
8 human health imports for *Salmonella* in terms of what
9 could potentially be used.

10 Just to give you some quick rates for all
11 four, they're pretty low. They range from 0.6
12 percent, Ceftriaxone resistance, 0.2 percent to
13 Ciprofloxacin, but two things I'd like to point out
14 in terms of how we look at attribution is say, for
15 example, we take a look at Ceftiofur and also
16 Gentamicin, you can see some differences between the
17 resistance phenotypes in the *Salmonella* recovered
18 from the different origins, and if we focus in on
19 these three, this is what we're seeing. You're
20 seeing the majority of Gentamicin resistance coming
21 from *Salmonella* recovered from ground turkey where
22 the majority of Ceftiofur resistance is coming from

1 *Salmonella* recovered in chicken breasts. And I
2 always treat this data as peeling layers off an
3 onion. You need to look at one level, believe it or
4 not, because we start to see a serotype influence.
5 If we look at this data by serotype, you see that the
6 majority of Ceftiofur resistance is actually, in
7 effect, *Salmonella* Typhimurium and no other serotype,
8 whereas we look at Gentamicin resistance, it's almost
9 100 percent *Salmonella* Heidelberg, and this is
10 happening with other serotypes and other resistance
11 profiles as well.

12 So it's important as well to get down to
13 the serotype level and actually if I can may play, we
14 need to get down to the molecular subtyping levels
15 that's been presented on several occasions as well.
16 We need to keep peeling away these layers until we
17 get down to what we need to really look at that.

18 And in terms of NARMS, we partner with
19 PulseNet as I mentioned. All *Salmonella* and
20 *Campylobacter* isolates in the retail part are
21 submitted to the PulseNet Program, a PulseNet
22 certified lab by CDC. So far in our database, we

1 have over 7,000 entries with approximately 4,000
2 *Salmonella*, 432 *E. coli* and those are primarily 157s,
3 over 2600 *Campylobacter*, and that includes the -- and
4 69 *Vibrio*. And we're also using this data for
5 research as well. We're trying to spin enough
6 research because we are a research laboratory looking
7 at biosource tracking, virulence studies and, of
8 course, antimicrobial resistance studies. So I think
9 this is a great thing to tie up attribution with
10 molecular subtyping.

11 We've been moving in NARMS to present the
12 data side by side by side. In the past, each of the
13 three arms has presented their own annual report, and
14 three months ago, we presented our first executive
15 report which showcases data side by side by side,
16 from food, animal slaughter and human. I think this
17 was a fantastic idea. It was a long time coming.
18 It's on our website if you want to look at it. It
19 really can show you the big picture from farm to fork
20 in terms of *Salmonella* serotypes being observed as
21 well as associated resistance profiles.

22 The 2004 report is being worked on now, and

1 we hope to have it out hopefully by early summer on
2 the web.

3 And what I'll try to end with is one way
4 how we're using the data at CVM in terms of
5 attribution and risk assessment, we have our own risk
6 assessment process in place and it's really based on
7 a guidance 152 for industry which is evaluating the
8 safety of antimicrobial new animal drugs with regard
9 to their microbiological effects of bacteria of human
10 health concern, and it's a typical risk analysis
11 where we have a release assessment, exposure
12 assessment and consequence assessment. They all
13 factor into a risk estimation and then we look at
14 risk management strategies.

15 The exposure assessment of this process
16 includes pathogen, pathogen load so to speak,
17 pathogen prevalence. So we are needing that type of
18 data for our types of assessment.

19 In terms of risk management, the steps
20 range from denying the drug approval to approving the
21 application under various use conditions that assure
22 the safe use of the product. So we are adopting risk

1 assessment as well on drug approvals.

2 That's perfect timing. In terms of
3 acknowledgements, there's quite a large dedicated
4 staff at all three arms of this program, and I wish I
5 could acknowledge them all. There's a lot of other
6 people, too, of course, at the EPI funded sites that
7 without them, we could not do any of this work. CDC
8 PulseNet as well, as well as USDA -- and FSIS.

9 And with that, I'd like to thank you for
10 your time and invitation again, and if anyone has any
11 questions, I'll be out later on for lunch.

12 (Applause.)

13 DR. HOLT: Thank you, David. We're not
14 going to bolt out the door yet. We have on the
15 agenda a little discussion period here from 11:55 to
16 12:15.

17 Before we move into the discussion, and
18 maybe to stimulate a little discussion, I just want
19 to recap. I think we see there's a lot of work being
20 done, a lot of studies have been completed especially
21 the FoodNet case control studies that Dr. Angulo
22 presented, a lot of important work out there. We

1 didn't talk about international projects but we could
2 have probably rolled in some speakers from the
3 European Union into the agenda and overwhelmed you.

4 Could I recognize some international
5 visitors. I think we have a couple, maybe one or two
6 international folks.

7 Thank you. Thank you for coming so far.

8 One thing I think we know is there's a lot
9 of work being done and all the work is important and
10 maybe, you know, we can't just vote for one and
11 dismiss the other. It's all very important. Each
12 has different strengths and weaknesses, and they're
13 not really easy, right? They look like they're
14 really tough projects.

15 I want to open up the microphone to any
16 comments, discussion. We'll start in the room, and
17 then we'll go to the phone.

18 DR. COOKE: My name is Roger Cooke. I'm
19 from Resources for the Future, a Chauncey Starr
20 Senior Fellow in Risk Analysis, and also from Delft
21 University of Technology in the Netherlands and in
22 the Department of Mathematics and I've done a lot of

1 work with expert judgment in the context of risk
2 analysis, much of it in the field of technical risk
3 but also substantial work in the area of food safety
4 with a group of Ari Havalar (ph.) at REVM in the
5 Netherlands.

6 And I would like to offer just two brief
7 lessons learned with regard to using expert judgment.
8 These lessons we have learned sometimes repeatedly,
9 and the first lesson is that the questions that you
10 pose to the experts must have a very clear
11 operational meaning. They should have physical
12 dimensions and the questions you ask of the experts
13 should also be questions which you could ask of
14 nature if you could do the experiments or perform the
15 measurements.

16 Why is this important? It's the only way
17 to really make exactly clear what you are asking and
18 if experts interpret the questions in different ways,
19 it's the only way to go back and disambiguate what
20 the different interpretations are. So that's the
21 first point. The questions you ask of experts should
22 be questions, which you could ask of nature with

1 physical dimensions.

2 The second point is it's really useful to
3 get expert external validation. This is not easy,
4 but it is very useful for two reasons. First of all,
5 expert judgment by its nature is very noisy, and any
6 validated tool that you can use to reduce this noise
7 is going to pay off substantially. The second reason
8 is that there are a lot of people out there who for
9 very good reasons are suspicious of using expert
10 judgment. And using expert external validation is
11 really the only tool we have to try and address those
12 concerns.

13 I would like to mention if you Google RFF
14 Expert Judgment Workshop, you will find a website of
15 a workshop that we did at Resources for the Future
16 last year, and there's a lot of useful information
17 that you can download from that. There is also a
18 special issue of Reliability Engineering and System
19 Safety that will be appearing shortly. Sandy has an
20 article in there, and there will be some other
21 articles in there which I think you might find useful
22 to peruse. Thank you very much.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 DR. HOLT: Thank you for the comment. We
2 appreciate it.

3 Let me move to -- I'm sorry. Wolf, go
4 ahead, and then we'll go to the phone. Can you
5 identify yourself please?

6 DR. MAIER: Yes. I'm Wolfgang Maier from
7 the European Commission. I work here in Washington
8 in our Embassy delegation as we say in Food Safety,
9 Health and Consumer Affairs. I have maybe two
10 questions, which are a bit related to each other,
11 although they might not sound like being related.

12 The first question is recently I have heard
13 a very interesting -- about serological data being
14 used in food illness or food related illnesses, and I
15 haven't heard of that today. So I wonder what expert
16 thinks about the value of serological information
17 which could be used to link market survey data on the
18 prevalence of certain strains of microbials and the
19 level of antibodies being present, which are also
20 quite strain specific sometimes in the population
21 because it's quite cheap and efficient to obtain a
22 representative sample of serum from the population.

1 So it's quite a cost effective means to obtain
2 representative samples for the entire population, to
3 look at the serological. I mean obviously it doesn't
4 really cover the kitchen stage of the food chain, but
5 it could relate the retail and the population,
6 serological prevalence.

7 And another question about surveillance in
8 food attribution, it is obvious that if you want to
9 aggregate data and if you want to evaluate data
10 statistically, you need a certain level of
11 harmonization, of categorization, of agreed
12 definitions but are there also tradeoffs because on
13 the other hand, the real expert ties on an outbreak
14 is local, and at the local level, people can react
15 very quickly and interview people and ask the right
16 questions maybe to identify the source locally. And
17 if you have a -- system of reporting, there may be
18 tradeoffs if you inference is local level too much by
19 -- questionnaires and procedures and so I wonder
20 whether -- as I said, these two questions seem at the
21 first place not being related, but they may be anyway
22 because you link the market surveillance data to the

1 serological population data and have the food
2 attribution data, the kitchen stage, covered by a
3 more empowered local level. So that's basically
4 question. I have no answers. That's really a
5 question.

6 DR. HOLT: And as Moderator, I'm going to
7 open the floor to any comments. Wolf, let me
8 recharacterize that and correct me if I'm wrong. I
9 think on your first point, you're talking about using
10 serotype data maybe to carry that into expert
11 elicitation and to also possibly use human sera like
12 serologies --

13 DR. MAIER: Yes, it was at the Food --
14 Meeting in Georgia, in Atlanta recently, four weeks
15 ago or so. I think you were present. There was a
16 contribution about the use of seriological data in
17 the population to characterize exposure towards
18 certain serotypes and the guys have developed this --
19 to the extent which I spoke to them and afterwards to
20 detect exposure which was in the time window of six
21 weeks to three months ago or something like this. So
22 it was quite sophisticated and clean procedure, and I

1 was quite impressed about this. So I was wondering
2 whether this might be an avenue for further research
3 to the extent of other microbials or other exposures
4 because I thought it's quite good because it is a
5 reason because it eliminates part of the mess because
6 you can really obtain as well as -- a representative
7 sample which could cover the entire population, this
8 maybe 2,000 seriological samples and could turn into
9 a lab and have an accurate window or picture of
10 previous exposure to certain serotypes of pathogens.

11 DR. HOLT: Do we have anybody who would
12 like to -- Dr. Tauxe.

13 DR. TAUXE: Thanks. I think the work that
14 you're referring to was again a very interesting new
15 model coming out of Denmark, that we were eager to
16 hear more about. Yes, another Danish Model. I think
17 we're eating a lot of Danish here both in Europe in
18 the United States. And it was really an attempt to
19 solve the problem of the pyramid and estimating the
20 size of the pyramid by looking at how much serologic
21 evidence of infection there is in people whether they
22 were ill or not or whether cases were reported or

1 not. It's an interesting approach. It's one that I
2 think a number of countries are probably going to
3 want to explore. There's still a lot of unanswered
4 questions about it, but it was especially to allow
5 sort of constructing pyramids from different
6 countries to decide how is the burden of illness, how
7 does it look like? You know, does France have more
8 infection than Denmark or the United States or less
9 and how can you compare that? A very interesting
10 approach, but one I think that needs a lot of further
11 consideration and standardization before we
12 understand exactly how to interpret it.

13 I think your other point was that a lot of
14 the investigations that we talk about, and certainly
15 when we're looking at the outbreaks, are local, and
16 that it is the local and state efforts to investigate
17 those are the essential part of the foundation on
18 which a lot of this is built, but I would certainly
19 echo that and enhance that. And there is a balance
20 between how do you standardize across a group of
21 different counties in the case of Europe, different
22 states in the case of the United States, how do you

1 standardize the approach while you still preserve
2 that local flavor, local expertise and local
3 differences that are important, and that's a balance
4 we have to face, yes.

5 DR. HOLT: I'd like to move to the
6 telephone call ins, take a call from the audio
7 bridge.

8 UNIDENTIFIED SPEAKER: Yes, we have a
9 question from Patricia Buck. Your line is open.

10 MS. BUCK: Hello. My name is Patricia
11 Buck, and I'm from the Center for Foodborne Illness,
12 Research and Prevention, and I basically concur with
13 the gentleman from Denmark has been saying here, that
14 we need to look at other models that can provide us
15 stronger resources for developing attribution data.
16 Expert elicitation, of course, is a starting point,
17 but it cannot replace valid data, and I would caution
18 all of our efforts, which have been immense.

19 I'm so impressed with all the presentations
20 this morning but we need, as one of them suggested, a
21 higher integration or collaboration between all of
22 these parties so that we can get to the root of the

1 problem which is identifying the foodborne disease
2 and how it is linked to a specific food product.

3 So I don't know if that's really a question
4 but more of a comment. It's been very hard sometimes
5 for me to hear. Sometimes the reception isn't 100
6 percent, but I want to reiterate the idea that we
7 need more data on which to base our future plans for
8 food production and inspection in the United States
9 and I strongly applaud the FSIS for holding this
10 meeting. Thank you.

11 DR. HOLT: Thank you for that comment. I'd
12 like to move then back to the room.

13 MS. SMITH-DeWAAL: Thank you. I just want
14 to pick up on what Wolf and Rob Tauxe have been
15 talking about as well. This is Caroline Smith-
16 DeWaal, Center for Science in the Public Interest.

17 One of the things that has developed in our
18 food safety system just in the last 15 years is the
19 use of food testing. It wasn't really done even in
20 the early 1990s. It's really something that we're
21 just starting to employ. In countries like Denmark
22 and there was also a major study in Iceland, they've

1 actually trapped pathogens back to the farm through
2 retail and into the human populations. And this is a
3 very strong tool that could be used in this country
4 but it would take the commitments of not only USDA
5 but FDA to also be tracking these pathogens in the
6 food products that they regulate.

7 And that's just a huge question. Could
8 FDA, which doesn't have the resources today to manage
9 the food that it regulates, could it actually
10 implement a very sophisticated sampling program at
11 retail or even in process, that would allow us to
12 track these illnesses. I think it would be
13 extraordinarily powerful if it could be done on the
14 scale of the U.S. as it has been done in a couple of
15 other countries for various products.

16 DR. HOLT: Thank you for that comment.
17 Anyone have any thoughts? And this afternoon we will
18 have discussions about data gaps. We're coming up --
19 okay.

20 DR. BUCHANAN: Hi. I did want to make one
21 comment or maybe just a challenge because I listened
22 to all the presentations, and I'm wonder if we could

1 in considering outbreaks and sporadic case data, et
2 cetera, if we might be able to get more in terms of
3 what regulatory agencies need in terms of root causes
4 by subcategorizing some of this data set.

5 For example, as I was thinking about
6 outbreak data, we basically have two different types
7 of outbreaks that occur. We have what we'll call
8 catastrophic failures where we see an incident that's
9 associated with a single time point, usually a single
10 lot, and that's typically associated with a single
11 failure of the food safety system as opposed to an
12 outbreak that involves a diffuse number of cases over
13 a long time period. Typically I would think of that
14 as a root cause would be an ongoing failure in good
15 manufacturing practices.

16 And so I was wondering if we could get more
17 fine tuning by going back and getting a group of
18 experts that are one composed of our epidemiologists
19 and then a second group that are more used to going
20 back and tearing apart what actually happened that
21 led to the outbreak, and subcategorizing this
22 information so that we could again try to find root

1 causes and get a better tuning of the attribution.
2 Thank you.

3 DR. HOLT: Thank you for that comment. I
4 think we heard that some this morning in the
5 perspective discussion about really getting down to
6 the root cause because that will lead us to think
7 about interventions.

8 Let me take another caller question from
9 the phone bridge?

10 UNIDENTIFIED SPEAKER: This is a reminder
11 that if you would like to ask a question please press
12 star 1 on your touch tone.

13 (No response.)

14 UNIDENTIFIED SPEAKER: I have no questions
15 from the phone lines at this time.

16 DR. HOLT: Okay. Well, let's move onto the
17 lunch period then, and reconvene here at 1:15. And
18 if you need ideas about lunch, you can check with the
19 registration table.

20 (Whereupon, at 12:15 p.m., a luncheon recess
21 was taken.)

22

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19

1 minutia today because that's not just something I feel
2 I can really do.

3 But that said, I just, I guess kind of just
4 going to throw this out to whoever I suppose in
5 Government would like to answer this question that,
6 you know, we're sitting here today probably at least I
7 think I can conservatively say 10 years after various
8 governmental agencies and other stakeholders have said
9 that there is a real need for food attribution data.
10 And I guess my question is why are we finally getting
11 around to it today at least a good 10 years later, and
12 even to the point where we are trying to define, get
13 the definition of what it is?

14 DR. RAYMOND: Nancy, while I certainly can't
15 speak for 10 years ago, or even 10 years up to 2 years
16 ago, the history of food attribution data, I will tell
17 you why we're here today and that's because with the
18 listening sessions we've been having regarding risk-
19 based inspection, it's been driven home to me monthly
20 that attribution data is sorely lacking, and if we had
21 better attribution data, we'd have a better risk-based
22 inspection system. So this was a decision we made

1 along with CDC and FDA to co-host this meeting, try to
2 get this ball rolling down the hill a little bit
3 faster. It's not going to solve the problem today or
4 even this year, but we felt it was important to get a
5 lot of the experts around that have attribution data.
6 They do it in different ways. They talk different
7 languages. They have different graphs. If we can
8 find ways we can share our data together with the
9 different Federal agencies, with the not for profits
10 like the information that Caroline put up there today,
11 if we can get this type of group together more
12 frequently, I think we can make better progress.
13 That's why. It's just -- I heard the message, I'm
14 trying to respond to the message along with our
15 partners at the FDA and CDC.

16 MS. DONLEY: I guess I'm just concerned that
17 again, and this has been said, that we're rushing to
18 do something now that we, you know, it's kind of like
19 that we're rushing, we're putting -- charging full
20 speed ahead and working on I think less than adequate
21 data here to put something in place that we've been
22 talking about for over 10 years. And it's really,

1 really, really kind of very discouraging to me as a
2 consumer, as a mother who has lost her child to a
3 foodborne illness, that there is so much discussion
4 that goes on on a high level up here and it never
5 materializes down into anything. And then when
6 finally someone says, hey, we really do need to do
7 something, such as, and I'm taking this again up to
8 the broader picture here which is a risk-based
9 inspection system, which again I don't want to slow
10 anything down that is going to be ultimately
11 beneficial and spare others what my son had to go
12 through. But I want to do it, let's do it right. And
13 it's just again, it's just that we go on and on and on
14 and say we need to be doing this, we need to be doing
15 that, and we talk, talk, talk, talk, and nothing gets
16 done.

17 DR. RAYMOND: And I hope that a year or two
18 from now you won't have to come and repeat that same
19 message of talk, talk, talk and nothing gets done. I
20 really do intend to try to get something done. Again,
21 it won't get done completely on my watch by any
22 stretch of the imagination. We all know that. But I

1 do think that talk is important and I think getting
2 this group together today hopefully is just the
3 initiation of something that will progress with or
4 without RBI.

5 We are going to have a separate meeting
6 where we will discuss attribution and risk-based
7 inspection and, you know, we're trying not to do a
8 whole lot on that today. What we're really trying to
9 do is just make food attribution data better for CSPI,
10 for the FDA, for the CDC, for the USDA. I mean we all
11 need better food attribution data. I think we have
12 made progress. I don't know how it's to be measured.
13 I do know when we had the spinach outbreak, you know,
14 in seven days we had a recall and that's pretty darn
15 fast, and that's a measurement of what we can do today
16 that we couldn't have done 11, 12 years ago. That's
17 progress and as we have unfortunately outbreaks that
18 give us better attribution data, that attribution data
19 improves.

20 We met with the CDC yesterday and I don't
21 want to put words in Fred's mouth or Art's not here I
22 don't think right now, but basically for every person,

1 for every 100 people that have a foodborne illness,
2 probably less than 10 will have attribution. That
3 means 90 we don't know where it came from because
4 they're usually sporadic cases and if you have a
5 sporadic one case, you're probably not going to be
6 able to find the attribution unless you have a person
7 who eats one food product.

8 So there's limitations to what we can get
9 done and how quick we can get it done, but I know we
10 can do better than what we're doing.

11 MR. BUCHANAN: Nancy, I hear your
12 frustration, I empathize with your frustration, and we
13 need to have you know that we share in many ways your
14 frustration but it really is a -- I think it was Tim's
15 picture of that blurry taxicab. The progress that's
16 been made in the last 10 years, Caroline showed in one
17 of her graphs, where our ability in 1997 as a result
18 of a concerted effort just improved in terms of
19 attribution. During those past 10 years, we've had
20 this incredible amount of advances in terms of
21 science. And I think we're poised now after a 10-year
22 investment in the type of science, the type of

1 infrastructure we need to do that, that we're poised
2 to make that next leap fairly quickly. And so I
3 commend the FSIS and CDC for getting this meeting
4 together because I think this is where we're ready to
5 make that next leap forward.

6 And it does tend to go in big leaps just as
7 in '97 it went from here to here in terms of
8 attribution. I think now we have the infrastructure
9 not only here in the United States, but we've been
10 working with our partners around the world in terms of
11 this attribution. And so I'm hoping to see the next
12 one take place very quickly.

13 DR. GRIFFIN: Patricia Griffin, CDC. I also
14 feel frustrated. Those of us who work in this area
15 work so that we can provide information for improving
16 public health, provide information that our colleagues
17 in industry, regulators, the public, need to make
18 decisions. So it's frustrating that it moves slowly.

19 I just want to point out, some of the things
20 that have been part of this process, FoodNet was
21 created in 1996, following the big O157 hamburger
22 outbreak, and FoodNet data, we needed to accumulate

1 for several years before we could make estimates of
2 how much illness due to food for each pathogen there
3 was in the United States, and we published those in
4 1999. Until we had those estimates, we couldn't begin
5 to figure out how much foodborne illness was from the
6 different food commodities. So that was a step that
7 had to happen first. We had been wanting to do that
8 for many years before that, but we couldn't do that
9 until we had published those estimates.

10 And the reason we were able to publish those
11 estimates was the combination of FoodNet data plus
12 outbreak data plus increased resources that came from
13 the Food Safety Initiative that began I think around
14 1998.

15 The other thing that happened with the Food
16 Safety Initiative is that the states began better
17 reporting the outbreaks and converted the system into
18 an electronic system. And so the data that you saw
19 that I presented today, was all from that new
20 electronic system, and that had to accumulate for
21 several years before we had enough data that we could
22 use to do that sort of attribution. So it's very

1 frustrating in the amount of time it takes but we're
2 very grateful that we had the funding from Agriculture
3 to start FoodNet and then the increased funding from
4 the Food Safety Initiative for all these work on food
5 that allowed us to start a lot of programs that's
6 resulting in the sort of efforts that you're seeing
7 that are going on today.

8 MS. DONLEY: Patty, do you -- those were
9 times because that was -- a lot of things were
10 happening as a result of the 1993-1994 O157 epidemic,
11 and a lot of money was channeled into food safety work
12 in the CDC. Where is that today? Are you still at
13 that level of funding? Has it increased, decreased?

14 DR. GRIFFIN: Well, a lot of programs were
15 improved as a result of those initiatives and those
16 improvements have been maintained.

17 MS. DONLEY: But have you been allowed to
18 grow? Now like the FoodNet sites, we're at 10 now.
19 Weren't we at 12 at one point or --

20 DR. GRIFFIN: No, we weren't at 12 at one
21 point. The FoodNet, we think that 10 sites is really
22 a good proportion of the population on which to obtain

1 good data. Our challenge with FoodNet is to continue
2 to have those data, those sites be able to provide the
3 sort of data that we need to make extrapolations to
4 the rest of the United States.

5 MS. DONLEY: Karen, can I ask just for a
6 point of -- where did she go? I'd like to just say if
7 any of my other colleagues have anything to jump in on
8 this panel, I don't mean to obviously keep going
9 along, but as this conversation is going on, if you
10 have questions to ask, I would say jump in.

11 DR. HOLT: I was going to rotate around and
12 we'll keep cycling back if we have time. Thank you,
13 Nancy Donley. I'd like to introduce Skip Steward.

14 DR. SEWARD: Skip Seward. I'm with the
15 American Meat Institute. This question, two related
16 questions really. The first one has to do with, it
17 seems to me that there is a data gap in
18 characterization of microbial isolates that would come
19 from areas that are downstream from the processing
20 sector, and those are obviously maybe a little bit
21 more complicated to get or require more effort in time
22 because we don't have necessarily agencies that are

1 devoting their time to going in and sampling at food
2 service, retail, consumers' homes and so forth, but it
3 seems like that's important when you look at the data
4 that suggests that a lot of foodborne illnesses are
5 related to activities that occur in those areas or
6 contamination that occurs. So the question is really
7 the obvious one is, you know, what are the various
8 agencies doing individually or collectively to try to
9 improve that -- close that data gap and make that
10 information -- build the strength of that data?

11 And then a related question is that if you
12 look at the millions of dollars that are being spent
13 by the Federal agencies on microbial testing and
14 sampling, if you were to put that together with the
15 agencies, see any reallocation of those resources that
16 could help improve the attribution project, if you
17 will, to try to get better information because
18 obviously particular agencies when they get awarded
19 certain money tend to use that money focused simply in
20 their own area of regulatory activity or what have
21 you, and may not be really contributing in the long
22 run in the big picture to the bigger picture of food

1 attribution in trying to solve this on a U.S. national
2 basis. So how would you reallocate the resources to
3 help get at some of the information like I asked in my
4 first question?

5 DR. JONES: Nancy -- this is Tim Jones.
6 Nancy and Skip both asked questions about resources,
7 and I guess because I'm not a Fed I can answer them
8 more bluntly than others in the room.

9 You know, as one of the participating states
10 in FoodNet, I can tell you that our budget is exactly
11 the same or a little bit less than it was in 2002
12 which means that given increased salaries and
13 increased expenses, you know, we've lost 15 or 20
14 percent and this year we're at risk of having to cut
15 sites or cut employees at our current sites. So, you
16 know, the perception of being level is not really
17 level in the real world.

18 And, you know, I think for a few hundred
19 thousand dollars that each of the FoodNet sites get,
20 that's an incredible investment in terms of the amount
21 of data that are generated and used by a huge number
22 of agencies. The reallocation question is a difficult

1 one. I hate to say two and a half minutes in what we
2 spend in Iraq would pay our entire FoodNet budget in
3 Tennessee but even money that's currently spent on
4 food safety, a little bit can go a long way when it's
5 put out on the front lines.

6 DR. BUCHANAN: Bob Buchanan, FDA. Skip, one
7 of the things that I tried to articulate in my opening
8 remarks is that food attribution, the definition we
9 use is really quite broad. And the question of
10 testing versus food attribution is not one that you
11 can pull apart. Critical to any attribution is also
12 knowing what's out there in terms of the potential
13 exposure, and if those two don't match, something's
14 wrong. And so sort of saying attribution, testing, if
15 you pull those two apart, you're not going to get the
16 data you need, that we need, in order to make
17 regulatory decisions. So it's both.

18 DR. HOLT: Okay. Let me move onto Caroline
19 Smith-DeWaal.

20 MS. SMITH-DeWaal: Thank you. I have two
21 questions to CDC, one question but I'm hoping
22 Dr. Raymond and Dr. Buchanan will both answer it, and

1 then one question to Tim from Tennessee.

2 To CDC, one of the criticism that has been
3 leveled against the expert elicitation that USDA did,
4 one of them, there were many, was that they don't have
5 data getting down to those specific, those 24
6 categories of meat products of which I don't know, 8
7 or more are different types of ready-to-eat meats.
8 You have a number of whole meat products as well as a
9 number of ground meat products. And in looking back
10 at the outbreak data, as you saw, I had to clump these
11 categories into very broad, large categories to kind
12 of try to get it to match up at all with the outbreak
13 data.

14 So my question is, is it realistic to
15 collect data on these very specific food types? I
16 talked to Rob Tauxe while you were doing the peanut
17 butter investigation, and he mentioned that you had to
18 use a questionnaire that had 300 questions on it in
19 order to get down to the Peter Pan Peanut Butter that
20 was responsible for that outbreak. So can you tell
21 us, is it realistic that we're going to have the
22 outbreak investigations getting to these 24 specific

1 meat categories?

2 DR. TAUXE: Well, I think this is the fine
3 grain categorization question, and we -- the one part
4 of it is when you're trying to figure out where an
5 outbreak is coming from, and you really have to
6 consider an awful lot of possibilities. The nature of
7 food is so complicated, there are a lot of
8 possibilities and we're fortunate that peanut butter
9 was one of those 300 questions or it might not have
10 been quite so obvious as soon as it was, which wasn't
11 very soon.

12 But I think your central question is what
13 about fine grain categorizations once there's a whole
14 series of outbreaks, in an outbreak data set, and
15 probably everyone in the room has specific questions
16 they would really like to ask of the data that turn
17 out to be very specific and very focused often, and
18 how can we do that? We're talking about chicken or
19 poultry or seafood as categories that are way too
20 broad for a lot of the questions that a lot of people
21 would ultimately like to be able to ask of the data.
22 And if it's so fine grained that there's just one or

1 two outbreaks in the whole system that correspond to
2 that, and I guess the cougar jerky was an example of
3 that, then we really can't -- all we can do is tell
4 the story of that outbreak.

5 But if it's something where there is a
6 meaningful number of outbreaks that can fit in a
7 category, it would be nice to have a system that let
8 one sort of construct new categories or apply new
9 categories to the data and see, how does that break
10 out?

11 But what we've become keenly aware of is
12 that tomorrow someone else will have a different
13 question that's a different set of categories and I
14 like very much the concept that where there are key
15 regulatory decisions coming on a specific issue to
16 apply the categories that make sense for the key
17 regulatory decision. And I hope that's something that
18 we'll be able to do, but we should say up front that
19 for the very fine grain, often the data, just there
20 aren't enough outbreaks due to that specific food to
21 make it possible, and we have to be looking at broader
22 categories often.

1 MS. SMITH-DeWaal: Just to follow up. USDA
2 is releasing the *Salmonella* data quarterly now for the
3 meat testing programs. Is there any way you can speed
4 up releasing your outbreak data? I know you scrub it
5 very hard but maybe breaking it up quarterly or
6 somehow getting the data out faster because you're
7 looking at really two year time lags, almost two full
8 year time lags to get the data out right now.

9 DR. GRIFFIN: That's certainly our goal, to
10 be able to get it out in a much more timely manner.
11 We would like to get it out quarterly, and we would
12 like to be able to when we get it out do some trend
13 analyses. So all of that is in the plans, but how
14 soon we can accomplish that depends on the other
15 demands on the system.

16 As you know, this year there were the same
17 group that puts out those reports was investigating a
18 small spinach outbreak, small peanut butter outbreak
19 and a few others.

20 MS. SMITH-DeWaal: I'm going to jump to Tim.
21 What do the states need to do that's better? I will
22 correct my previous statement. Tennessee was in the

1 top level or top half of states reporting outbreaks,
2 not near the bottom like some of their colleagues in
3 the south. But what do the states need to really do
4 the outbreak investigations and the reporting faster
5 and better?

6 DR. JONES: You know, I hate to -- but I
7 think to be honest that the local county and state
8 levels, it's really resources, and most importantly
9 people. You know, much of the funding of public
10 health infrastructure, I mean we've been lucky in some
11 senses that we've been able to ride the waves of
12 successive disasters. You know, we got a lot of money
13 for bioterrorism, and then it was West Nile money and
14 then it was SARS and now it's PAN Flu. And much of
15 those resources have been used to be honest for things
16 that are real and really affect people all the time.
17 So we've been able to subsidize FoodNet and our
18 outbreak response programs. But a lot of those other
19 sources are drying up and all of those cooperative
20 agreements that I mentioned have had substantial cuts
21 in the last year.

22 So I mean Patty alluded to it at the Federal

1 level. The same thing happens at the local level, and
2 the very nurses that are called on, that I have to
3 call up every day to say do this 300 questionnaire
4 about spinach or peanut butter, are the same nurses
5 that are giving vaccines and running an HIV clinic and
6 tracing TB contacts. And that's really unrealistic
7 when the demands are going up.

8 I guess along the same lines, money that we
9 have no real expectation that it will continue, you
10 know, one time end of the year money or bonuses. I
11 mean I never want to look a gift horse in the mouth
12 but we can't spend that on people. And it doesn't
13 really help to have 15 computers per person if there's
14 no one that knows how to use them. So from the local
15 perspective, the only way we're going to get people is
16 to have some stability in the support. I don't know
17 if that answers your question.

18 MS. SMITH-DeWaal: Thank you. I'm going to
19 let Barb go.

20 DR. HOLT: Okay. Thank you. We'll go to
21 Barbara Kowalcyk.

22 MS. KOWALCYK: Hi. My name is Barbara

1 Kowalcyk. I'm from Center for Foodborne Illness
2 Research and Prevention. And I would like to thank
3 FSIS and HHS for coordinating this meeting, because
4 it's been very interesting. Food attribution data is
5 something that is very near and dear to my heart. As
6 Nancy, I have also personally experienced losing a
7 child to foodborne illness.

8 I also have another interest in food
9 attribution data being a statistician and data is the
10 love of my life.

11 So I have several questions. I mean there
12 were some common themes that seemed to jump out at me
13 today. One is that for most food attribution, we are
14 very reliant on outbreak data, and the other common
15 theme was attribution data is very, very hard to get.

16 In terms of looking at outbreak versus
17 sporadic data, I have a keen interest in this. My son
18 was a "sporadic" case. But there seems to be some
19 consensus that there are significant differences
20 between sporadic cases of foodborne illness and
21 outbreak cases of foodborne illness. And I understand
22 that it's very hard to get at those sporadic cases but

1 I think it's very important.

2 The analogy that I would like to use is
3 suppose you would like to estimate the height of trees
4 in Pennsylvania. Pennsylvania has a huge number of
5 trees. It would be very, very difficult to measure
6 every single tree in Pennsylvania to find the average
7 height. But that doesn't mean you just go out and
8 sample those in your back yard or those in your
9 residential neighborhood because they may not be truly
10 representative of the entire population of trees in
11 Pennsylvania. Pennsylvania has a lot of forests and
12 so forth.

13 So my concern is -- I thought all the
14 methodology that was presented this morning was very
15 good, and I thought it was very appropriate. The
16 question that I have and the concern that I have is it
17 seems that we are inclined to take a leap from
18 outbreak data to the entire population of foodborne
19 illness. In essence, we're willing to take the height
20 of our trees in our residential neighborhood and use
21 that as an estimate for the height of all trees in
22 Pennsylvania, which isn't necessarily appropriate.

1 And what do the different people that presented this
2 morning, you know, what do you see as the solution to
3 that? I mean how do you see that we can go ahead and
4 come up with a better way than just using outbreak
5 data? And I'd like to have this ongoing conversation
6 because I'd like to get at solutions. I mean it's
7 very difficult to obtain attribution data at the level
8 that we really need and I would like to find out ways
9 that would improve that.

10 DR. JONES: Tim Jones again, and I share the
11 same concerns and I think, you know, you heard from a
12 couple of people that between 80 and 95 percent of the
13 disease that we see is not associated with recognized
14 outbreaks. So all but one of the presentations today,
15 you know, were focused on extrapolating from outbreak
16 data. So I very much share the same concern.

17 I think the one presentation that -- I mean
18 there were many, but I think Fred Angulo's
19 presentation was a good introduction to what I think
20 the solution is, which is case control studies where
21 outbreak associated cases are specifically excluded
22 and, you know, we're looking pathogen by pathogen at

1 sporadic cases looking for risk factors.

2 Now that will tell us probabilities. It
3 will focus the number of targets. It won't
4 necessarily answer the question for specific patients.

5 You could see from Fred's slide though that, you
6 know, each of those studies takes several years to
7 complete, and we have to slog through them, pathogen
8 by pathogen, but if we need to do that, I think that's
9 the only way to answer you question, and there were a
10 lot of pathogens that were not on the list that he
11 showed.

12 MS. KOWALCYK: Thank you very much for your
13 response. That begs the next question. Do the
14 various governmental agencies have the computer and
15 data infrastructure necessary to move towards doing
16 more case control studies and developing the kind of
17 data that we need to get good reliable attribution
18 data?

19 DR. GRIFFIN: Patricia Griffin, CDC. If I
20 can go to your last question and also the one before.
21 As far as sporadic case control studies, we in FoodNet
22 continue to target each year what are important issues

1 on which a case control study is needed to define a
2 particular burden of illness and so FoodNet continues
3 to do case control studies. Fred Angulo may want to
4 say more about that.

5 The other point I wanted to bring up about
6 your very excellent point about the concerns of basing
7 attribution data just on outbreaks, and as mentioned,
8 we do these sporadic case control studies partly so
9 that eventually we will blend that data in with the
10 outbreak data. And we still need to work out the
11 methodology for doing that.

12 The other thing that's been happening in
13 recent years, and the best example where we can see it
14 happening is *Listeria*, but it's happening in a lot of
15 other areas, is we're starting to get the whole pie
16 for each pathogen, and we're starting to pick out
17 those sporadic cases and realizing that some of them
18 are part of outbreaks and define them as part of the
19 outbreaks, so that we know a lot of the sporadic cases
20 are truly part of widespread outbreaks and we haven't
21 been able to find those outbreaks.

22 And PulseNet has been pioneering subtyping

1 methods that are now used in all of our state health
2 department laboratories and they are subtyping many,
3 many strains of pathogens and as quickly as possible
4 getting those patterns into the central database. And
5 with that information, we are linking those sporadic
6 cases in which there are only a few cases in each
7 state. That's how we found the peanut butter
8 outbreak. That's how we find some of these diffuse
9 ground beef outbreaks. And the more we improve that
10 infrastructure of the isolates coming to PulseNet and
11 being subtyped and then the state and local health
12 officials having the personnel who can look over and
13 say, you know, three isn't a big number but we haven't
14 had 3 of this pathogen in a 2 week period for the past
15 10 years, and this may mean something, and let's see
16 if the state next door has the same thing. That's how
17 we find those, and then they decide to put their
18 energy into investigating it and figure out the cause.

19 The more of those data points we find, the
20 more we start to break up those sporadic cases, find
21 the outbreaks and then our whole data set becomes more
22 robust.

1 DR. HOFFMAN: I think I'd just like to add
2 what's probably as much a question as a comment and
3 that's why are we wanting to look at sporadic cases
4 and outbreak cases and get the entire set of
5 illnesses?

6 And so I want to come at this from the
7 perspectives I've gained from looking at chemical
8 hazards in environmental health where the
9 epidemiological data, they would be thrilled to have
10 what you have on microbial data because we're dealing
11 with latency periods of 20, 30 years, and you just
12 don't find the bodies but they're probably there.

13 So one question and to kind of comment I
14 have is, to what extent is there a potential for
15 taking a bottom up perspective on predicting illnesses
16 to complement the top down approach of being able to
17 identify the illnesses and attribute them back? Can
18 we be in a position to use sampling and response
19 functions to get at prediction? And what's the
20 potential for developing that broadly enough that it
21 can start to be used to supplement the epidemiological
22 data?

1 DR. TAUXE: Well, that's sort of the
2 essences of a risk assessment I think that goes all
3 the way to the prediction of the number of illnesses
4 that one might see. And a step or two beyond that
5 then is, for instance, the serilogic assessment of
6 exposures which might be a whole variety of exposures,
7 actually food and non-food and difficult to separate
8 out. But that's the direction that that takes, sort
9 of recalibrating this from another end.

10 And I think I've been part of or have seen
11 several of the risk assessment exercises and they are
12 very interesting. They sometimes found or run into
13 challenges because they're data gaps on that side,
14 too, and you wind up fitting a dose response curve
15 that's your best guess to fit what you think you ought
16 to be getting or seeing, and that has its own
17 complexities and sometimes would use the actual
18 surveillance data to decide how best to fit that
19 curve. So they tend to complement each other but I
20 don't think they're necessarily independent. I don't
21 know. Bob, maybe you'd like to comment further on
22 sort of the risk assessment of, bottom up approach I

1 think was the word you used.

2 DR. BUCHANAN: Yeah, and we generally don't
3 like to use bottom up or top down. Just different
4 sets of data that we start with, and that was really
5 the basis for my comment back to Skip about it's not
6 and/or on microbiological data because we can do an
7 effective way of predicting. And one of the strengths
8 is that in any model approach, you want to have a set
9 of data that validates your conclusions, and by taking
10 both approaches, and having both sets of data, you can
11 walk away with a lot stronger scientific basis for
12 making decisions.

13 I also might note that there's as much
14 benefit to be gained when the two data sets do not
15 match each other, and when you have to go back and
16 investigate. I might note just in passing an incident
17 that happened when we did the *Listeria* risk
18 assessment, and the epidemiological data for soft
19 cheeses didn't match the risk assessment data that
20 was, as you referred, based on microbiological
21 testing. And we had long discussions between FDA and
22 CDC over that issue, and then low and behold, we went

1 back and reanalyzed the data, and all of our data was
2 generated on commercially available products from the
3 marketplace. And we went back and looked, and all of
4 the data that they had on soft cheeses was from
5 illegal cheeses that were being brought into the
6 country illegally, and that wound up to be an
7 incredibly important decision because it reoriented
8 our entire regulatory program for that commodity to
9 focus on where the problem was.

10 DR. GUO: I try to address to use all the
11 outbreak data and -- in attribution. First my comment
12 is that the Danish Model, that is two separate --
13 overseas and the sporadic cases. So that is a model
14 that's not based on outbreaks. When we adapt this
15 model to apply to U.S. data, we have the human
16 serotyping data from -- That data do not separate
17 provided -- and outbreak information. But we do try
18 to use data from FoodNet. That is a different year
19 data of -- and outbreak information. So we use that
20 information, try to estimate what is the sporadic
21 portion in the whole data set.

22 So for this reason, this model I talk, is

1 actually a mostly sporadic cases. That is the model
2 to answer the question, but I want to say as a risk
3 assessor, I know no model is perfect. No model can
4 answer all questions. The only way is collect data
5 together to address the crisis. So each model
6 answered particular crisis and so that is why I think
7 that we gather together to present different
8 perspective. This is Chuanfa Guo from FSIS.

9 MR. BATZ: I just had a few thoughts. I
10 don't even remember what the original questions were,
11 but I think in response to Sandy's question about
12 exposure assessment and the role of risk assessment in
13 these things, I think that was really the only sort of
14 approach that has been used that really wasn't
15 presented today in terms of, you know, broad
16 approaches.

17 And the Dutch have done one for
18 *Campylobacter* that was an exposure assessment where
19 they looked at foods, non-food sources. It was
20 presented in Berlin was the first time I saw it, and I
21 presume they'll be publishing it soon. It had some
22 benefits in that they were able to compare these

1 different pathways much in the way that the *Listeria*
2 risk assessments were able to compare these different
3 pathways, but because they weren't limited to, you
4 know, say ready-to-eat meats, it did give a little
5 bit broader of a perspective in terms of all these
6 potential pathways. So it gave more information than
7 I, you know, previous to seeing that thought would be
8 possible through a risk assessment approach, just
9 knowing how many resources have to be put in them. I
10 think there were some simplifications that had to be
11 made, but I think they still got some useful
12 information out of it.

13 On the other hand, they estimated something
14 like 10 times the number of annual *Campylobacter*, you
15 know, illnesses using their gross response models
16 than they would ever predict even including under
17 reporting in the population, you know, which is
18 saying something considering when people in the
19 Netherlands, you know, get diarrhea, they're probably
20 10 times more likely to go to the doctor than we are.
21 So there is room for exposure assessment. I think in
22 the states we really haven't gone down this route but

1 I think there is a lot of potential to learn from
2 that and compare it to the data that we can get from
3 the human surveillance and the EPI side working
4 backwards to identify causes.

5 I did have a thought, you know, one of the
6 reasons why we all use outbreak data is because that
7 data is a byproduct of an investigation that's done
8 for a different purpose. You know, attribution is
9 the purpose of that investigation, but it's really,
10 you know, sort of a crisis response kind of role, and
11 then we have this byproduct of data that we want to
12 then go back to and address it. And there is a
13 question, if outbreaks, if we see an increasing role
14 of these outbreak investigations to provide
15 attribution information, then perhaps we should
16 rethink a little bit about how we ask those questions
17 about what foods are, what those causes are.

18 Now, you know, I said some things about
19 food categories and a lot of that comes from the
20 perspective of interpreting data that already exists
21 and there are some concerns about going down this
22 route of, you know, giving a 12 digit code for

1 whatever the food is when you're doing reporting, and
2 I don't think anybody would like to see it go that
3 route. But I think there is a question as to whether
4 there is room to improve the role of outbreak
5 investigations for specifically improving
6 attribution. And I don't know that it's cost
7 effective or whatever, but I think it is an open
8 question.

9 And I did also want to say that we have to
10 be careful with things. I think that the Danish
11 Model is one example of this where there's an
12 approach that worked somewhere else because they have
13 a very different way of collecting data. You know,
14 in the Danish Model, they have a lot of sporadic
15 illness information and a lot of isolates from human
16 illnesses and they have a lot, I mean a tremendous
17 number of isolates from different animal sources that
18 are very well representative of all those major
19 animal reservoirs. And so one of the robustness of
20 that model is partially the use of the analytical
21 method, but part of it is really the result of the
22 fact that they said we think, you know, *Salmonella* is

1 occurring on the farm. We want to know where it's
2 happening and we want to target the species that
3 really matter. And, you know, their goal was on farm
4 regulation and that's not really the same as we do it
5 here. So I think that the roles of some of these
6 things, the way they were originally done, were
7 really driven by a different question than, you know,
8 an attribution question in the sense that that
9 attribution was for a specific regulatory purpose.
10 And when we, you know, when we take -- I think that's
11 similar to, you know, the purpose of it is heavily
12 driven, and the reason why you have all these
13 approaches is because there's a lot of different
14 questions that we're trying to answer. I was
15 rambling. I apologize but --

16 DR. BUCHANAN: Bob Buchanan, FDA. And I
17 did want to note in terms of attribution models and
18 things that work in other countries, as Mike
19 indicated, that have been very powerful but I don't
20 know would work in the United States. And what I
21 didn't hear was anyone mention the Japanese Model for
22 attribution data.

1 For those of you who are not aware of it,
2 the Japanese government required all major catering
3 activities to take a sample of each of their major
4 entrees and food. They basically take a meal and
5 they must put it away for two weeks in the freezer,
6 and then if there are any adverse events that are
7 reported, they then have a real sample of the food
8 that was actually associated with the adverse events.
9 Incredibly resource intensive. On the other hand,
10 some of the best does response modeling and the best
11 attribution data we have for outbreaks comes from
12 that. It's had a tremendous impact on the risk
13 assessment community in terms of being able to
14 calculate some of these things. So again, a
15 different model, a different tool, it works very well
16 in Japan, and we're using it ourselves but I'm not
17 sure it would work here.

18 DR. HOLT: We're going to cycle around.
19 Let's move on then to Michael Rybolt.

20 DR. RYBOLT: Michael Rybolt, National
21 Turkey Federation. I'll just reiterate what
22 everybody else has said and thank Dr. Raymond and

1 FSIS and the other agencies for hosting this meeting.
2 I think it's been a good meeting, very educational.

3 I guess my question is probably a little
4 bit more targeted than some of the other questions
5 towards our first presenter, on the Danish Model.
6 The results came out as basically 41 percent other.
7 Based on your input of other sources I guess are what
8 that comes out as, if there were other data inputs in
9 there from other commodities or from other products,
10 would you anticipate that changing or would it be
11 that 41 percent? Because if you look at the actual
12 graphs for the different products, it really looks
13 like we're modeling or plotting out the actual
14 *Salmonella* data that we're getting from FSIS now
15 through their sampling programs. I mean '98 to 2003,
16 the pattern looks very similar to just the data that
17 we collect through the micro sampling. So I'm
18 wondering if that would -- do you anticipate that
19 changing some if you input other data sources into
20 the model?

21 DR. GUO: This is Chuanfa Guo, FSIS. As
22 you said, there are 41 percent in this model, the pie

1 chart. There are 41 percent human culture confirmed
2 cases that have been put in other unknown category.
3 Since we started is the best data we have, that meat
4 and poultry both. And we also have -- data from the
5 earlier years. So that is if we got the better data,
6 that mean we have other food product data. For sure
7 that is the pie chart will have some change but I not
8 expect to be totally changed. So since that will
9 provide additional, since this model is the principal
10 is compare the serotypes from human cases to the
11 serotype as related from food products or food
12 sources. So that is where we make better comparison,
13 compare the distribution in the public health and --
14 that is human cases side and the food product side.
15 So my answer is that there will be changes but I
16 don't expect dramatic change. It will make the data
17 better.

18 DR. HOLT: Okay. We'll circle back to
19 Nancy, Nancy Donley.

20 MS. DONLEY: Okay. Again this is what's
21 kind of wonderful about being the only non-scientist
22 in the room is I'm kind of outside the box here. And

1 a general question of how does the whole idea of
2 getting food, you know, attribution data that's going
3 to be ultimately used in a risk-based inspection
4 system work -- how does that all work with emerging
5 pathogens or pathogens that we don't know about? How
6 do they fit into this picture here? Gee, I keep
7 directing my questions to you, don't I, Dr. Raymond?

8 DR. RAYMOND: Dick Raymond with Office of
9 Food Safety, USDA. The reason I jumped up is you
10 kind of hit an old nerve here from when I used to be
11 a state health official and used to preach that
12 public health to be effective has to be more
13 efficient, has to be smarter and certainly has to be
14 more nimble than it has been in the past. It has to
15 be able to respond to emergencies as they arise. I
16 don't think we've ever been more aware of that since
17 September 11th, followed by anthrax and as
18 Dr. Agwunobi mentioned, all the misconceptions we had
19 about anthrax which we learned as we went through
20 that crisis and got better quickly. If we'd had
21 committee meetings for a couple of years to decide
22 about anthrax spores, could they go through an

1 envelope, we'd still be dealing with anthrax.

2 So public health has changed. It used to
3 kind of be an 8:00 to 5:00 job, but it's changed
4 because the world has changed. We do have emerging
5 pathogens. We have to think about SARS and try to
6 figure out, is that pandemic flu or not, and look how
7 quickly we figured out what SARS was. Science is
8 better. The scientists are better.

9 So to respond partly to your question,
10 emerging pathogens are all something we all worry
11 about. We all have to be nimble. We cannot be
12 restricted by rules and regs and laws that put us
13 into boxes and do not allow us to be flexible.
14 That's not part of the question.

15 The other part of your question is how do
16 we -- something about the attribution data and risk-
17 based inspection. I can't remember exactly how it
18 was phrased but I just want to make a point that what
19 we're trying to do today with attribution data, and
20 how to figure out how to work together better and get
21 the information better and get more robust, isn't
22 just for risk-based inspection in the food safety and

1 inspection service. I can't speak for how Bob
2 Buchanan may use it at the FDA. Is it risk-based
3 inspection at the FDA or is it where he's going to
4 put his resources based on attribution? So there's
5 lots of different ways to use attribution besides
6 just risk-based inspection. Does that kind of get to
7 the question?

8 MS. DONLEY: Yeah, I guess, yes, and I
9 don't even know if -- obviously attribution data
10 means that you're working with known entities I
11 guess. I guess, you know, there just is a general
12 level of concern of how do we be proactive rather
13 than reactive to the next bug that comes along and I
14 don't know if this is the meeting to be having that
15 discussion. It's just something that, you know, and
16 something that Tim Jones said is that the lists of
17 the pathogens that have been, you know, up on the
18 board today is also just the tip of the iceberg as
19 far as -- of pathogens that make people sick.

20 DR. RAYMOND: And someone had a slide
21 towards the end that showed that, I can't remember
22 how many 125 pathogen/food product combinations are,

1 15 of them account for what? Eighty or ninety
2 percent of what we know. So the next new pathogen
3 that we don't know today may take over as the king or
4 it may be a little bit of a nuisance.

5 SARS was a little bit of a nuisance for a
6 while. I don't belittle the people that got SARS and
7 the communities that were locked down in quarantines,
8 but it didn't become a worldwide pandemic like we
9 feared. And so the next pathogen may or may not be
10 with us for a long time.

11 West Nile came. It had its crisis moments
12 in each state and it's still there but at a lower
13 level.

14 Monkey pox by the way came and it was done
15 pretty darn quick once we figured out which rodents
16 were carrying it. We got them confiscated. So
17 emerging pathogens will always be with us.

18 Using attribution though, you mentioned
19 proactive. It also made me think as you were saying
20 that, it isn't just for risk-based inspection for
21 FSIS. I already said that. But the other thing is
22 the better we can attribute illnesses to food, the

1 better our messaging to the public. You know, if two
2 people got sick with one pathogen this year, I'm not
3 going to spend a lot of resources educating on that
4 pathogen, but *Salmonella* we have attribution data
5 that show we got a problem with poultry. We're
6 working on it. We're getting better, but in the
7 meantime, we need to convince the American public to
8 be extremely careful when they handle raw poultry
9 products and how to cook it and so forth.

10 So attribution data can also direct our
11 education efforts.

12 MS. DONLEY: And, Dr. Raymond, do you work
13 at all with and I guess this would be also a question
14 for Jenny Scott. Does industry have data that they
15 share with you on this specific issue?

16 DR. RAYMOND: Patty's got the mike. She
17 still wants to respond to your previous question. I
18 think we'll do that and then I'm going to let Jenny
19 do the industry one if she would.

20 DR. GRIFFIN: Yeah, Patricia Griffin, CDC.
21 So you're asking about how to find those unknown
22 agents that we think are out there or could be out

1 there in the future.

2 And sort of my bottom line for how we do
3 that is by continuing to strengthen and upgrade our
4 public health infrastructure. The main way that we
5 find new agents, the most cost effective way, is by
6 investigating outbreaks. Now Tim Jones and his
7 colleagues, through FoodNet, did a study looking at
8 why there are so many outbreaks we don't find the
9 causative agent. And the answer is pretty simple.
10 We don't get the specimens from the patients. So you
11 need to get the specimens from the patients in a
12 timely manner to find the pathogen.

13 And then beyond that, once you get those
14 specimens, you have to send them to a laboratory, and
15 then you need an epidemiologic investigation. If I
16 get a diarrheal illness tomorrow, and I say, well, I
17 was traveling, I ate here, I ate there, they test for
18 the usual pathogens and they find nothing, it's not
19 worth it for them to look in my stool specimens for
20 everything possible in the world. For one person,
21 it's not worth it. For one thing, they wouldn't know
22 how I got that agent.

1 But if a health department or CDC does an
2 outbreak investigation, finds a bunch of people ill
3 and says, we know that all these people got sick from
4 eating that pineapple, we know, we can target the
5 food. So it's really worth figuring out what the
6 agent was because we're sure they had the same
7 illness. So really looking at their stool samples
8 and Minnesota Health Department did an investigation
9 like that several years ago, and they found a very
10 unusual *E. coli* that was present in all of their
11 stool samples.

12 So those are the sorts of investigations
13 that we need to find the unusual agents. Our
14 clinical laboratories look for only a small number of
15 the agents that we know exist. The public health and
16 CDC laboratories can look for many more. So that
17 when we have those outbreaks due to unknown agents,
18 we can bring those resources to there if the
19 specimens are gathered.

20 We can also do studies of sporadic cases to
21 figure that out and we can -- if we have good
22 communication channels, we start to hear about other

1 pathogens. Right now we're very concerned about a
2 pathogen that's based in hospitals called *Clostridium*
3 *difficile*. It causes a diarrheal illness but we know
4 that people are now acquiring it in the community,
5 and we're interested in looking at how they're
6 getting it into the community, and we're doing that
7 because we have good communication channels with the
8 people who deal with those organizations -- with
9 those organisms.

10 So the bottom line is define these unknown
11 agents. We need to continue to strengthen and
12 upgrade our public health infrastructure.

13 DR. RAYMOND: So is the CDC now causing
14 microbial agents organizations?

15 DR. GRIFFIN: Sorry.

16 DR. RAYMOND: A new categorization.
17 They're getting organized it seems. While the mic is
18 going down to Jenny, I want to -- one other thing,
19 Nancy, when you talk about being proactive. I want
20 to use BSE as an example.

21 You know, when it was discovered that
22 prions were causing variant CJ disease in Europe and

1 they found out the cause of it, once the cow went
2 down in the State of Washington on December 23rd, we
3 became very proactive to prevent people in this
4 country from getting variant CJD from eating the
5 specific risk materials. And, you know, what we do
6 with the feed ban, what the FDA has done, what we
7 have done in the slaughter houses, we can still say
8 that no one in America has ever got that prion from
9 eating American beef. So I think it's a classic
10 example of putting a lot of resources into an area
11 that could have caused a disaster in this country.

12 DR. TAUXE: Rob Tauxe with CDC. I want to
13 amplify that example and that issue and that if we
14 wanted to be proactive and if we want to be looking
15 out for where the next emerging foodborne pathogen
16 could be coming from, before the outbreak that it
17 causes happens, I think we should look at where most
18 emergency foodborne infections have come from in the
19 past. And that's out of animal reservoirs which
20 means we should be concerned with issues that are
21 going on an animals that may not have at first blush
22 a public health impact but the connection between the

1 veterinary world and the animal world. And human
2 health is pretty close and that link needs to be
3 fostered and, you know, if the veterinary world or
4 even Lord knows now, the plant health world is
5 concerned about an issue, that's something that
6 public health ought to have an ear out for.

7 The other is maybe it's happening in
8 another part of the world, and links around the world
9 are, you know, are so fast and so direct and so
10 rapid, both for shipping people and for shipping
11 food, that events that may seem very remote, and
12 outbreak investigations that may seem very remote and
13 unconnected are something we need to be alert to and
14 those international and global networks for
15 communication and collaboration and surveillance
16 cooperation are really critical.

17 I mean the reason our 300-question
18 questionnaire -- the reason one of those questions
19 was peanut butter was because some years ago,
20 Australia had one outbreak related to peanut butter,
21 a *Salmonella* outbreak, and because of that, it made
22 its way onto the questionnaire and we benefited from

1 that. So those links both to the veterinary world
2 and to the global surveillance network are one of the
3 good ways we'll get some advance warning we hope.

4 MS. DONLEY: I just want, my final comment
5 is, I thank you, Dr. Tauxe, for bringing that up
6 about -- I and my organization could not agree with
7 you more the need that there just needs to be some
8 more attention paid to the animal reservoir issue.
9 It's critical. It used to be, you know, we could
10 kind of be safe and say, hey, this was a meat and
11 poultry product and we find now that these pathogens
12 are no longer confined. These problems are no longer
13 confined to just meat and poultry products but to
14 other products as well, and they are animal reservoir
15 pathogens. And Skip had kind of alluded to that with
16 his question. That's a giant gap which I, you know,
17 I guess it's not the scope of this meeting but I hope
18 someone in Government really pays attention here that
19 that's a huge gap that needs to be closed.

20 DR. HOLT: Jenny.

21 MS. SCOTT: Jenny Scott, GMA/FPA. I think
22 the question, Nancy, was does industry collect data

1 and share it with the Agency?

2 MS. DONLEY: Yeah.

3 MS. SCOTT: And industry does collect a lot
4 of microbial data, and particularly meat and poultry
5 plants, and in meat and poultry plants, all of those
6 data are available to the inspector. For the most
7 part, I don't think the data are collected in a
8 common database where you could say that, you know,
9 across plants, maybe without attribution to plants,
10 this is what we're seeing. There might be some room
11 to do something like that particularly under risk-
12 based inspection if there are incentives to plants
13 participating in this type of program and sharing the
14 data, collecting it together, for getting some credit
15 for that and the interventions they're putting into
16 place to deal with the results of data collection.

17 DR. HOLT: Okay.

18 DR. BUCHANAN: Bob Buchanan, FDA. I'd
19 really take Rob to test a little bit on his comment
20 about animal reservoirs. And animal reservoirs are
21 important but you can't make the assumption that all
22 emerging pathogens or all microorganisms concerned

1 come from an animal reservoir. Certainly, you know,
2 you can get yourself if you blindly make those
3 assumptions or follow what has always occurred, you
4 get yourself into real trouble. Case in point,
5 hepatitis in green onions, assuming that it was a
6 food worker. *Enterobacter sakazakii*, something that
7 was one of the most important emerging pathogens for
8 infants in the past few years, has no animal
9 reservoir that we know of. *Cyclospora* has no animal
10 reservoir that we know of, and they're still looking.
11 So I think you really need to approach attribution
12 and certainly you don't want to throw away the
13 lessons of the past but on the other hand, you need
14 to approach any new emerging pathogen or any new
15 instance of an existing pathogen, where you're not
16 sure where it came from, approach it with an open
17 mind.

18 Another case in point, we had to deal with
19 an outbreak of Salmonella enteritidis phage type 30 in
20 almonds, and they looked all over for an animal
21 reservoir and there is none. It lives in the hulls
22 of the almonds as Linda Harris dramatically

1 demonstrated with research.

2 So again, we need to make sure we approach
3 attribution with an open mind.

4 DR. JONES: So can I defend Dr. Tauxe? I
5 guess in response to your examples, you know, these
6 bugs done spontaneously generate themselves in almond
7 hulls and not recognizing a reservoir is different
8 than knowing what the reservoir is. And I mean I
9 don't think that the primary reservoir for *E.*
10 *sakazakii* is infant formula. It got there from
11 somewhere. Where, we just don't know where. I guess
12 for Hepatitis A, it depends whether you classify
13 humans as animals or not. The *Cyclospora*, you know,
14 we don't know that it wasn't bird feces. But it
15 didn't generate itself on the raspberries. And I
16 think, you know, for practical purposes, we have to
17 put the money in the resources where we have a best
18 shot of making a difference. And I think with the
19 majority of foodborne pathogens, if you look at
20 what's been on the front of Newsweek in the last 10
21 years, it's things with animal reservoirs, whether
22 you go for SARS or pandemic flu or West Nile virus or

1 any of the things that are killing the most
2 Americans. It's by and large -- if you're betting
3 which is what we're doing, get a bet on animal
4 reservoirs.

5 DR. HOLT: Over to Skip Seward.

6 DR. SEWARD: Skip Seward, American Meat
7 Institute. One question that's come up is in terms
8 of the structure of the database that CDC and CSPI
9 and others are using for attributing certain
10 microorganisms to certain food in case of food
11 attribution. And is there an effort underway to
12 really standardize that across at least the
13 governmental organizations or CDC really taking a
14 lead in that such that FSIS and FDA will use the same
15 type of -- will use your database as sort of the
16 standard so to speak? And then are you planning on
17 under those food categories as far down as you can
18 drill, are you planning to have another drop down
19 menu at sometime to try to capture potential root
20 causes that were associated with that food, where it
21 can be done? So I guess that question really is for
22 CDC to try to answer. Thank you.

1 DR. GRIFFIN: Yeah, this version 1
2 categorization scheme that you saw is actually the
3 first version that we've put out for public viewing.
4 This is the first time we've done it, but it's not
5 the very first version that we worked on. We
6 initially may have heard somebody talking about row
7 crops and tree crops, and we played with other ways
8 of categorizing things, and the reason we changed is
9 we talked amongst ourselves. We talked with the
10 regulatory agencies and got a lot of input into what
11 sort of would work for people. So we shared this
12 scheme with our regulatory agency partners and we all
13 agreed that we were striving for excellence, not
14 perfection here if I could quote. And this was the
15 closest we could get to what everybody thought was
16 workable, and it's workable right now.

17 And as for would we be subcategorizing
18 later, if you look at that category fruit/nuts, well,
19 obviously you'd love to separate it into fruit and
20 nuts. And so one would hope that as we get more
21 data, we will have a robust enough data set that we
22 can subcategorize more. At this point, each of the

1 ends of that tree that you saw with the smallest
2 categories that we felt that we could do a fairly
3 robust analysis on.

4 DR. SEWARD: Dr. Griffin, just a quick
5 follow up. What I was talking about in terms of a
6 subsequent drop down is the root cause of what
7 happened, whether it was mishandling, under cooking,
8 and those types of practices that also were
9 attributed, where that could be identified, so you'd
10 have that root cause data in addition to just the
11 food itself. That would be helpful and it seems like
12 doable, if that information is available for certain
13 outbreaks or certification investigations.

14 DR. GRIFFIN: Right. The database collects
15 that information and it's of varying quality. And
16 it's information that everyone is interested in
17 knowing, and it would take another similar effort
18 like this to try to figure out how to use that
19 contributing factor data, whether we could model it
20 in with this sort of analysis or whether it would be
21 a different sort of analysis and how to judge the
22 quality of that information. That's not an effort

1 that we have approached yet, but we have been working
2 very hard on our form to try to capture as much as
3 possible, what the contributing factors are so that
4 we can do those sorts of analyses.

5 DR. SEWARD: Thank you.

6 DR. HOLT: I'd like to move onto Caroline
7 Smith-DeWaal.

8 MS. SMITH-DeWaal: Thank you. I wanted to
9 respond to Skip a little bit in terms of our
10 database. Our food categories actually have been in
11 use for longer than the categorization, which I saw
12 for the first time today from CDC. So it's very
13 exciting that CDC is moving forward here. But we've
14 got a fair amount of experience. Our database is
15 really based on what people purchase and what they --
16 I mean when they go to the grocery store, they might
17 be buying beef or pork or fruits or vegetables, but
18 it's things that people know -- it's supposed to be a
19 very common sense category.

20 We are hoping to make our database
21 searchable on our website. It will be limited to the
22 data that's published, our most recent published

1 database, but we are hoping to get it up and
2 searchable in the next year. I'm looking at Freda
3 right now. But there are -- it's a challenging
4 project but it's one that we're really striving to
5 achieve.

6 I have one comment and then I'm going to
7 get to my question. I love the debate going on
8 between Rob, Tim and Bob, and would suggest that it's
9 worth a whole other meeting because the issue of
10 animal pathogens versus human pathogens versus
11 environmental pathogens comes up really clearly in
12 the outbreak data. When I look at produce, which is
13 not the subject of this meeting, 40 percent of the
14 outbreaks in our database, whether you like the
15 categorization or not are linked to neurovirus.
16 About 25 percent are linked to *Salmonella* and *E. coli*
17 as a combined category, which is just what I'm
18 looking at. I mean I've got more animal pathogens
19 than that, but I look at those as the two big ones in
20 that category. And then there are environmental
21 pathogens that we're very concerned about.

22 In the mean area we talked today about

1 *Staph aureus*, *Clostridium perfringens* and *Listeria*.
2 Those come in often in outbreaks through
3 environmental means and so I think that there are
4 ways that we can start to look at broad categories
5 because they suggest ways that we need to either
6 address the problem in processing or address the
7 problem in consumer and retail education.

8 I did a presentation at IAFP two years ago,
9 where we broke out the food pathogen combinations by
10 home prepared, the outbreak occurred in the home
11 setting versus those that are prepared in the
12 restaurant setting. And it's fascinating because
13 they're different. The food pathogen combinations
14 differ depending on where the outbreak occurs.

15 So I think that's a great topic for a
16 meeting, and I'd like to recommend if the USDA --
17 this is really a rich area.

18 So I would like to suggest that USDA
19 continue to really push forward on this issue of food
20 attribution and how do we do it better.

21 But my question is how do we evaluate
22 severity in the food attribution equation? One of

1 the big issues that the consumer groups have been
2 grappling with is the issue of that low risk category
3 which I put up which are largely the ready-to-eat
4 meat products. But where the risk of illness is very
5 high, high rate of hospitalizations, high rate of
6 fatality. How do we evaluate that compared to the
7 ground meat products where we have *E. coli* and
8 *Salmonella* as the risk factors or the intact meat
9 products? So I'm throwing open. Maybe Patty would
10 like to start, but I'd really like your best advice
11 on how to deal with severity.

12 DR. GRIFFIN: So that the sort of analysis
13 that we presented to you today with the -- and I'd
14 like to point out Tracy Ayers, raise your hand,
15 Tracy, who's our point person on this data analysis
16 is here at this meeting, but that sort of analysis
17 that I showed you for illnesses can also be applied
18 to hospitalizations and to deaths because we capture
19 that information in the outbreak database. Does that
20 answer your question?

21 MS. SMITH-DeWaal: Yeah. When are you
22 going to put that kind of data up on the -- in the --

1 because you may capture it in the outbreak database
2 but it's not publicly available. Or should I FOIA
3 it?

4 DR. GRIFFIN: Let's talk further about
5 that.

6 MS. SMITH-DeWaal: Okay. Thank you.

7 DR. HOLT: Move onto Barbara Kowalcyk.

8 MS. KOWALCYK: I have lots of questions and
9 I did want to respond to a couple of comments,
10 particularly again the exchange between Robert
11 Buchanan, Tim and Robert Tauxe. I think that Robert
12 Buchanan brings up a very important point. I do not
13 want to get into the specifics of the animal
14 reservoir versus non-animal reservoir, but you have
15 to be very careful about the assumptions that you
16 make. And we've heard a lot of -- robust is a term
17 that I've heard thrown around an awful lot recently,
18 and just for non-statisticians out there, robust is a
19 statistical technical term that means that your
20 model, or whatever, will hold up even under
21 deviations from your assumptions. Okay.

22 So you have to be very careful about which

1 assumptions you make, and I think that that's the
2 point that Bob Buchanan was trying to bring up. And
3 that kind of leads me into this expert elicitation
4 which has been very contentious recently especially
5 for us consumer representatives.

6 The expert elicitation that was done for
7 RBI has several significant deficiencies that we've
8 discussed before, and I found today's presentation by
9 Sandra Hoffman to be very interesting on expert
10 elicitations.

11 The one question that seems to be popping
12 up, I believe also in Michael Batz's presentation, is
13 there seems to be a high correlation between, you
14 know, when you have more outbreaks, there seems to be
15 less difference between outbreak estimates and the
16 expert opinion. And, of course, that then does raise
17 the question is, is that really a confounding factor
18 or is the outbreak data really what's driving expert
19 opinion? Or is it a confirmation of the expert
20 opinion? Am I making sense? And has anyone looked
21 at that?

22 DR. HOFFMAN: That I'm aware of, no one has

1 looked at that. This is Sandra Hoffman. That I'm
2 aware of, no one has looked at that. There are two
3 ways of -- I'm identifying the right question. There
4 are two ways of looking at that. Outbreaks in a
5 sense are adding more information to the system. So
6 to the extent that you look at differences between
7 outbreaks and experts, as a measure of some kind of
8 uncertainty about what's going on in the system, as
9 you get more information, hopefully that difference
10 is going to get smaller. That's one thing.

11 But the other is what is actually driving
12 the expert opinion, and we don't have that yet.
13 Annette O'Connor and I and others are hoping that we
14 may get a NIH grant funded to look at that more.
15 What we would like to do is look at the way
16 revelation of information over a time period would
17 affect. We'd like to resurvey people and see how
18 different forms of information inform expert
19 judgment, and that would give us a sense of what's
20 happening there, but at the moment, we don't have
21 that.

22 MS. KOWALCYK: Well, I think that that's a

1 very important research area that needs to be looked
2 into because it gets to that underlying assumption
3 and, of course, then it reiterates and I believe it's
4 a point that I want to say Roger Cooke made earlier,
5 that you need an external validation of some of the
6 stuff so that you can actually see what's going on.
7 I think that some of the methods that you raised in
8 the expert elicitation, I'm not an expert elicitation
9 expert by any means but I would hope that FSIS would
10 look into some of the methods or at least similar
11 methods that you employed in looking at inter-rater
12 and intra-rater reliability type things. I think
13 that those are excellent.

14 DR. BUCHANAN: I'm Bob Buchanan, FDA. One,
15 I liked their expert elicitation. I did participate
16 in it because they actually did try to measure the
17 uncertainty around, made the experts figure out how
18 confident they were of their results.

19 I might from just having been on several of
20 these things, one of the issues when you get to
21 outbreaks and why the uncertainty is associated is
22 lower there, I've always thought a lot of it is

1 you're much more likely to see publications on
2 outbreaks and your experts all read the same
3 publications. And so after you built that up, what
4 you're measuring is sometimes whether or not
5 everybody is reading the same literature. And that
6 doesn't make it wrong but that's one of the reasons
7 there's less uncertainty.

8 MS. KOWALCYK: I did have a couple of other
9 questions, and I think someone brought up the point
10 earlier that sometimes when you have, and it may have
11 been you, Bob Buchanan, that sometimes if you have
12 differing opinions, that tells you just as much as if
13 you have an agreement. And one thing that struck me
14 just in watching all the presentations this morning,
15 is there didn't seem to be a whole lot of research or
16 at least by the participants here, on the outbreaks
17 that don't have a source, or a source hasn't been
18 identified for the outbreaks. And has anybody really
19 been looking at that to see, one, are the number of
20 outbreaks that don't have an identified source, is
21 that increasing? What are you finding out from that
22 41, or actually more like 65, 60 percent of outbreaks

1 that you don't have a source, is anyone looking at
2 what's happening in those outbreaks? And, is there
3 any information that we can glean from that to help
4 get us a better picture of foodborne illness
5 attribution?

6 MS. SMITH-DeWaal: I'm sure CDC can answer
7 this, but actually the best investigation I've seen,
8 it was done by Scripps Howard News Service, where they
9 went in and looked at all CDC's outbreak data and
10 they evaluated states to tell them what was being --
11 which states were actually missing the most
12 information. So that was one piece of information.

13 MS. KOWALCYK: Well, I think this
14 information would be use for several reasons. Just
15 like attribution data, I mean we could probably spend
16 all day here going through the list of things that we
17 could use attribution data for. But, first of all,
18 if the number of outbreaks that don't have an
19 identified source is increasing, that would certainly
20 boost a case for getting more funding at the local
21 levels.

22 The other thing that I found interesting

1 and kind of a related topic, is the definition of an
2 outbreak. I mean there seems to be, and this gets to
3 the standardization question that Skip Seward brought
4 up. And certainly it is helpful to have some level
5 of standardization. I think someone earlier brought
6 up the issue that you might lose information by
7 standardization, and that is true. But I'm going to
8 draw on my clinical background here. I've spent at
9 least 10 years working in clinical research as a
10 biostatistician, and they did finally come up with a
11 cohesive list of adverse events. Because let me tell
12 you, people spell headache 15 different ways, believe
13 it or not and that's what was standardized, and all
14 the pharmaceutical companies use that list of adverse
15 events which I could see being very useful to develop
16 a similar list here for food attribution.

17 But getting down to the definition of an
18 outbreak, it's very different depending on who you
19 talk to. I've heard about three or four different
20 versions. I hear from the CDC two or more illnesses
21 are an outbreak. Well, I can tell you from my own
22 personal experience, my son, my husband and my

1 daughter all tested positive for the exact same
2 strain of *E. coli* O157:H7. We were not declared an
3 outbreak. And then I've heard from other people that
4 that's because you're related. You know, if you
5 weren't related, you would have been an outbreak.
6 Well, I can tell you that my son ate three different
7 hamburgers the week before he got sick. There was
8 only one that all four of us ate. Only one of those
9 meals did all four of us eat together.

10 So I think that it's important that one, we
11 start looking at outbreaks that don't have
12 attributable sources and also there needs to be this
13 standardization at least on what's the definition of
14 an outbreak since we are relying so heavily on
15 outbreak data. And I'd love to hear what the experts
16 have to say.

17 DR. JONES: I guess to your first point, I
18 very much agree with you and I guess I can say that
19 FoodNet actually has an outbreak working group which
20 is looking specifically at unknown outbreaks. So
21 outbreaks without an unknown ideology or without a
22 known vehicle or both. I can say that an example of

1 dramatic improvement in that area is that the number
2 of unknown outbreaks decreased dramatically when we
3 finally got diagnostics for neurovirus, which for
4 practical purposes was within the last five years,
5 and that knocked off a huge proportion of outbreaks
6 that we were suspicious of but could never confirm.
7 But I agree with you, that there is a huge amount to
8 learn about what remains and it is being worked on.

9 DR. HOLT: I was wondering, Barbara, do you
10 have more questions or should we move on? I want to
11 hopefully get through everybody. Okay. Let's move
12 onto Michael Rybolt.

13 DR. RYBOLT: I just want to go back to the
14 discussion earlier with Rob and Dr. Buchanan,
15 thinking outside the box. Don't lock yourself into
16 *Salmonella* may only come from a warm blooded animal
17 because it does come from, you know, as you
18 mentioned, almonds. And to that point, if we had
19 some data on that, that demonstrated, you know, the
20 serotypes that are common in those sources, I feel
21 like with this model, with any of the models, we
22 would capture that information a lot better and be

1 able to target that and have interventions in place,
2 the poultry industry, the meat industry, you know,
3 understand that *Salmonella* is in our animals and we
4 need to address it. And therefore, they put
5 interventions in place. So using that, having that
6 information, knowing what serotypes there are that
7 are associated with it, we can target those. That
8 was really more of a comment than a question.

9 DR. GRIFFIN: To responding to that
10 comment, and I think one of Nancy's comments earlier,
11 and to Jenny's response, I think that, you know,
12 Jenny's saying that perhaps industry could do more to
13 make data on microbiologic testing available, would
14 be a huge leap in addressing a huge data gap. We
15 have been getting better information now from
16 slaughterhouses, from FSIS. We have some information
17 from cattle farms, from people who go out and do
18 cultures. We have some idea of the prevalence of *E.*
19 *coli* and *Salmonella*, certain serotypes on cattle
20 operations.

21 But we have very little information in
22 other areas, in processing plants, pathogens on

1 products, pathogens in plants. We just have very,
2 very little information. And it's striking because
3 some of the best microbiology in the United States is
4 done in those plants by some of the best
5 microbiologists in this country, and that information
6 is lost for public health purposes. And it's a shame
7 because I think it would be messy information. It
8 would be, you know, a big job to figure out how to
9 organize it and how to make sense of it with respect
10 to human illness, but I think it would be very
11 helpful.

12 An example that fits into the question of
13 an emerging pathogen, is we have a serotype of
14 *Salmonella* called Newport that we referred to
15 recently as an emerging pathogen because this
16 particular -- some of this particular strain, about a
17 quarter of them, are highly resistant, in fact,
18 resistant to anything that you would give a child who
19 had meningitis. So this is a pretty bad emerging
20 pathogen. And we know it's present on certain animal
21 farms.

22 And as far as industry information that

1 people were asking about, we know that the presence
2 of organisms like this is related to the use of
3 antibiotics in animals. We have no information on
4 how much of any antibiotic is used in any food animal
5 in the United States.

6 DR. BUCHANAN: Bob Buchanan, FDA. I think
7 this whole issue of reservoirs, and is one that is a
8 developing science. And what we can't get trapped
9 into is assuming those reservoirs have always been
10 the same. Caroline and I have been talking for 10
11 plus years about *Shigella*, and the current wisdom is
12 that *Shigella* has two reservoirs, higher primates or
13 humans, except we see it when we do surveys of things
14 like produce coming across the border. We find it at
15 a rate, in one survey, as high as two percent of the
16 samples were positive for *Shigella*, and I just figure
17 out how you would get that high based on what we
18 consider the traditional reservoirs for this
19 organism. And coupling that with the expert
20 knowledge that I have that the methods for isolating
21 *Shigella* in culture positive cases are really
22 terrible for most food. I've got to ask myself, is

1 there a reservoir out there, and is that what's
2 accounting for the foodborne outbreaks. But we need
3 to be able to go out and look for those things and,
4 you know, sometimes it's tough. Field work is the
5 hardest type of microbiology to do. Getting out on a
6 farm and trying to track it down is really tough, and
7 I will say, you know, the spinach outbreak, that was
8 a great example of what can be done. We pulled an
9 awful lot of resources in to get that done and done
10 quickly thanks to CDC and California and FDA.

11 DR. HOLT: Okay. This is Kristin Holt.
12 I'm going to go ahead and draw this wonderful
13 discussion to a conclusion but I want to give a round
14 of applause to not only our esteemed colleagues
15 throwing out the questions, but the people who had to
16 answer the questions did a great job, too. So we'll
17 take a break and come back at 3:00. Thank you.

18 (Applause.)

19 (Off the record.)

20 (On the record.)

21 DR. HOLT: We're going to go ahead and get
22 started, if everybody could take their seat please.

1 I'm going to open up a session here that we
2 have, where do we go from here? And to lead off that
3 session, is Dr. Daniel Engeljohn from the Food Safety
4 and Inspection Service, to talk about FSIS next
5 steps.

6 DR. ENGELJOHN: Thank you very much, and
7 I'm delighted to be here and to share with you where
8 we, as an agency, think we're going in terms of the
9 information that we put together thus far
10 particularly with our regulatory program and how we
11 want to make some modifications to it.

12 I'll talk about our goals. Our goals are
13 to use the current science to move us beyond the
14 HACCP pathogen reduction regulation expectation,
15 which in 1995, we said that while FSIS cannot
16 quantify the reduction in disease incidents, which
17 will occur with specific interim reductions in
18 bacterial contamination of raw products, simply
19 reducing the percentage of products containing the
20 pathogen should result in a reduction of disease
21 incidence.

22 We're beyond that point now to where we can

1 actually make some measurements, and that's what I
2 want to talk about in my presentation today.

3 In addition, we want to expand the use of
4 risk assessments to inform risk management strategies
5 and to insure that we're collecting relevant and
6 representative regulatory data. The vision that we
7 have for this is to allocate FSIS inspection
8 resources among and within establishments based on
9 attributable public health risks. This would insure
10 that all risk-based inspection algorithms that we use
11 are scientifically based, they're objective and
12 assessed, such as through sensitivity analyses in
13 order for us to be able to determine what matters and
14 how much does it matter in terms of making
15 modifications, to identify the establishment
16 characteristics and inspection activities that are
17 best attributed to reducing the risk of foodborne
18 illness. And we want to insure that risk-based
19 activities are effective in protecting public health.

20 Well, how do we want to do this? I'll give
21 you some examples of how we think our current public
22 health driven programs are actually achieving the

1 goals that we set out and the vision that we put
2 forward.

3 We have three programs that we have risk-
4 based verification testing, designed to insure that
5 we can actually measure the effect of our program in
6 terms of how we've constructed our regulatory testing
7 and how we conduct our inspection activities. The
8 first being our risk-based inspection program for
9 *Listeria monocytogenes* in which we sample high risk
10 as well as medium risk and low risk products as well
11 as all ready-to-eat products in a very risk based
12 structured manner in which we use a risk assessment
13 to inform us how to pull those samples. We initiated
14 this in 2005. We've set a goal of insuring that we
15 don't exceed a percent positive rate in our
16 regulatory samples of 0.65 percent and we monitor
17 that rate from one quarter to the next, to see
18 whether or not we have an increase or decrease in the
19 percent positives. And then we have correlated that
20 percent positive rate with the public health goals
21 that we have as a nation which are contained in the
22 Healthy People 2010.

1 For *Listeria monocytogenes*, FSIS adopted
2 the change that was put in place to achieve that goal
3 in 2005. Although we did not meet it, we still have
4 designated our program to insure that we are
5 constructively and purposefully trying to achieve the
6 goal that we set out.

7 For *Salmonella* verification sampling, this
8 would be for our raw products program, and this in
9 particular is related to what we want to achieve with
10 our broiler testing program. This would be for all
11 of our commodities but because we've had a persistent
12 rise in the percent positives in broilers for the
13 last three years, the Agency issued an initiative to
14 purposefully drive down the percent positives in raw
15 broilers. Our goal is to get 90 percent of the
16 establishments which we have in our sampling program,
17 and this represents nearly 99 percent of the
18 production of poultry in this country, is contained
19 within that sampling program, and we want to get
20 those into Category 1 by the year 2001. And I'm
21 going to present you some information that shows how
22 we can monitor whether or not this has an impact on

1 public health.

2 And in our *E. coli* O157:H7 program in which
3 we recently added beef manufacturing trim to that
4 program, and we intend to expand that to include all
5 raw components that are used to make raw beef. And
6 this would be a risk-based program in which we're
7 purposefully targeting sampling in a more structured
8 way than we do now, and with that, we have a 0.2
9 percent positive rate that we monitor each quarter.
10 And we have as well tied this to the Healthy People
11 2010 goals, which are related to human infections,
12 but it's our best proxy for measuring how well our
13 program is doing.

14 We look at program effectiveness. This
15 would be something we would do with any program in
16 which we make changes to see whether or not we're
17 having the intended effect. Again, as I said, we
18 want to get 90 percent of our establishments, in this
19 case, broiler establishments, into category 1, which
20 would be at or less than half the standard that we
21 put in place back in 1996 when the HACCP pathogen
22 reduction regulation went into place.

1 In order to achieve this, by the year 2010,
2 at least six establishments would have to be added to
3 the category 1 status every three months, every
4 quarter, and so I've laid out for you a pictorial as
5 to how we would move establishments along quarter by
6 quarter in order to meet that 90 percent target by
7 the year 2010.

8 But that's not enough. Just putting them
9 into this category doesn't tell us much about the
10 program. And so we've used the risk assessment to be
11 able to make some determinations about what effect
12 this has. So we predicted the public health benefits
13 associated with this particular initiative. This
14 would be real data. This is for the year 2007 going
15 forward with the baseline being in this case at the
16 end of calendar year 2006. And so from this slide
17 you can see that the percent positive rate for
18 broilers was at roughly 46 -- between 46 and 49
19 percent, and by the end of the year, we want to get
20 that up to nearly 56 percent of the establishments
21 into category 1.

22 If we were to do that, using the risk

1 assessment, we would predict that there would be a
2 reduction in human illness associated with *Salmonella*
3 from broiler carcasses moving from roughly, in this
4 case, moving down from the 100 percent where we would
5 start the calendar year down to just below 94
6 percent. So between a 6 and 7 percent decrease in
7 risk associated with *Salmonella* if, in fact, we
8 achieve the goal that we have in place for broilers.
9 And at this time, we're on track with meeting those
10 goals.

11 Another program we put in place in which
12 we've directly tied a risk assessment to measuring
13 public health benefit is our *Listeria monocytogenes*
14 program in high risk ready-to-eat products. We asked
15 the question which ready-to-eat foods pose the
16 greatest risk of listeriosis. With FDA and FSIS, a
17 quantitative assessment was done on the relative risk
18 of a variety of products and in that, it showed that
19 the highest predicted cases of listeriosis per
20 serving in the total population would be the deli
21 meat category.

22 So it gave us a perception in terms of what

1 products are actually causing illness, and in the
2 annual perspective as well as on a per serving basis.
3 And then with that, we asked the question, now that
4 we know which products contribute to human illness,
5 then what do we as an inspection agency need to do to
6 mitigate that risk. Where can we apply a mitigation
7 in the form of a regulatory action that would have
8 the intended positive effect on public health?

9 With that then we modeled various
10 mitigations in terms of things that we could require
11 the establishments to do in order to control *Listeria*
12 in the higher risk ready-to-eat products. In this
13 case, we identified three different alternative
14 approaches that establishments could adopt in
15 whatever practical means that they had, and then we
16 identified the relative risk reduction that would
17 occur depending on which alternative the
18 establishment chose.

19 You can see from this graph that sampling
20 and sanitation presents little benefit alone, whereas
21 applying a growth inhibitor or post-lethality
22 treatment adds additional benefit, and the

1 combination of both has a significant impact in terms
2 of reducing the risk.

3 With that then, we also set aside a number
4 of our tests that we perform on a monthly basis. In
5 this case, we allocate 800 samples every month
6 towards testing the high-risk products that we
7 regulate. And in order to know how we should
8 allocate those samples, we run that through an
9 algorithm that we have designed in the risk
10 assessment, to identify how should we allocate the
11 samples amongst the higher risk products, and this
12 would supplement our random program that we have for
13 all ready-to-eat products. So this graph would show
14 how the risk assessment model based on the
15 information that we've inputted, and this would be
16 information about production volume, about the
17 effectiveness of the food safety system, about the
18 interventions being used and the alternative
19 selected, to give us a perception in terms of how
20 many samples we should allocate for each product
21 category.

22 With that then, we plugged this information

1 into a risk assessment to predict what the effect our
2 program, in terms of a risk-based program would have
3 on public health. From this then, using the pre-
4 regulation estimate of how many deaths occur as a
5 consequence of *Listeria* in the products that we
6 regulate, this being the ready-to-eat meat or poultry
7 products, in which we estimated approximately 286
8 deaths per year prior to the implementation of a
9 regulation. Then by implementing this risk-based
10 verification testing program and the inspection
11 activities that occurred in those operations, we
12 predicted that we are saving at least 118 lives as a
13 consequence of adopting the mitigations that we have
14 in this rule.

15 This incorporates 800 samples that are
16 specifically targeted at the higher risk products
17 each month. It also incorporates quantitatively
18 factors that we have identified for each
19 establishment that we think affects risk. And then
20 we've looked at those risk factors to see how much
21 impact that they have. And we designed this program
22 in order to assume that the adulterated product is

1 being removed from commerce.

2 So that's how we make a determination about
3 the effectiveness of this program.

4 That's two examples of what we've done in
5 terms of having in place risk-based programs already
6 driving how we conduct our inspection activity and
7 allocate our resources.

8 But where do we want to go? Well, we know
9 that we can't continue to look at one pathogen, one
10 product at a time, and have an effect in our overall
11 inspection system. We really need to be looking at a
12 more broad-based, global risk assessment model, and
13 we're looking at attribution among all regulated
14 establishments, the contribution of what they make to
15 the impact on public health.

16 We need to be looking at multiple microbial
17 hazards, in this case *Campylobacter*, *E. coli* O157:H7,
18 *Listeria monocytogenes* and *Salmonella*. And then to
19 pursue enhanced serotype information, subtype
20 information in genomic and another attributable
21 public health linkages in order to better ascertain
22 what impact our products are having in terms of

1 exposure to the public for various pathogens and
2 contributions to illness.

3 And then we have the products that we
4 regulate. We regulate an intermediary stage between
5 the farm and between retail and consumption, which
6 means that we only have an impact on certain forms of
7 the products. This is where we look at what the
8 commodity is. You heard a variety of discussions
9 this morning on how we try to relate the CDC data to
10 the products that we regulate. We look mostly at
11 beef, pork and poultry, and within poultry, we look
12 at turkey and chicken differently. We haven't
13 focused a great deal on the minor species that we
14 regulate, but this is also an area contribution, and
15 that's an area where we need to expand our focus.

16 We also need to look more intensely at our
17 raw, ready-to-eat categories, and in this case, for
18 those of you who know our system, our HACCP
19 regulations require that each establishment identify
20 a HACCP plan for nine categories of products. This
21 would be nine processes within HACCP regulatory
22 requirements.

1 Our expert elicitation identified 24 in
2 which we further breakdown those 9 categories going
3 from the species down to the various products into
4 the forms that we regulate and could potentially,
5 through a risk assessment, be able to model where
6 inspection activities should occur more frequently or
7 less frequently and whether or not they would have an
8 impact in terms of the sanitation or performance of
9 the establishment on their likely contribution to
10 human health.

11 So this information is what we would plug
12 into a risk assessment to model.

13 We also are looking at intact versus non-
14 intact because we know that the way the product is
15 processed makes a difference. We've traditionally
16 just looked at the entire carcass or at the boneless
17 trim that's going into ground beef or into ground
18 poultry. But in terms of where we need to be
19 looking, we also need to be looking at the parts and
20 other forms of the product that are prepared in
21 inspected establishments that may be consumed in the
22 form that they're sold in out of the establishments

1 or that may contribute to the production of other
2 foods at retail or in the home.

3 And then we need to better associate
4 inspection findings with pathogen control. All this
5 we would do through inputting information into a risk
6 assessment to model and predict what we think the
7 contribution would be in terms of impact on public
8 health.

9 We need to do this in a timely manner as
10 well as have continuous baseline studies to measure
11 national changes. This is something that we
12 committed to in the HACCP regulation. We are just
13 now instituting a new poultry baseline that will
14 begin in a matter of weeks, not months. And t his
15 will tell us what has happened in terms of poultry
16 for the pathogens that are on carcasses as well at
17 two points in the operation, still looking at
18 *Salmonella*, *Campylobacter* and other indicator
19 organisms, to let us know what changes occurred since
20 we originally did those baseline studies prior to
21 HACCP implementation.

22 And our intention would be to use that new

1 baseline information to make determinations about
2 whether or not the performance standards or the
3 guidelines that we put in place need to be adjusted
4 and probably lowered. In case that should happen,
5 then the category 1 criteria would change for the
6 establishments over what they are today.

7 In any case, we need to be looking
8 differently at what we are concerned about. We need
9 to look at the opportunity to look at a greater
10 variety of pathogens, particularly emerging ones, so
11 that we have an idea of the background of the types
12 of pathogens that are on the products that we
13 regulate and their potential to contribute to adverse
14 public health outcomes.

15 So with that, what do we need? Well, what
16 we really need to do is to continue having ongoing
17 communication with all of our stakeholders, state and
18 local partners, in order to have a shared
19 understanding about attribution and what each of us
20 contribute to that puzzle. And as I had said what we
21 regulate is at an intermediary stage. The state
22 programs regulate at the same stage that we do, as

1 well as regulate at retain.

2 We have jurisdiction to look at retail, and
3 what we would need to know through data that would be
4 collected and through the attribution information
5 from CDC and elsewhere, whether or not it would be a
6 fruitful exercise for us to shift our activities
7 outside of the plant, and I would say that that would
8 be something that would occur presumably once we are
9 sure that we have operations within the Federal
10 system well under control. In any case, we need to
11 put our inspection resources where they have the best
12 impact on public health.

13 And all this needs to be done with a
14 purposeful and timely closure of the gaps associated
15 with attribution and how it's used by the various
16 stakeholders.

17 And with that, I thank you, and looking
18 forward to the discussion.

19 (Applause.)

20 DR. HOLT: Thank you, Dan. Now I'd like to
21 move onto public discussion on next steps, and before
22 we jump into the discussion though, I'd like to go

1 back and recap Dr. Raymond's charge to us. And
2 Dr. Raymond asked us to focus much of the discussion
3 on the existing data gaps that we face on trying to
4 make practical use of the current attribution data
5 available, and that's probably also essential to the
6 two questions that you see on your agenda.

7 I know people may be on the phone, and I'd
8 like to open up the phone line for a question there
9 or a comment or a viewpoint. Anyone on the audio
10 bridge have a question or a comment?

11 (No response.)

12 DR. HOLT: I'll move back to the room. I
13 think there were a few burning questions the last
14 round and they may not have gotten answered or asked.
15 So if anybody would like to get up to the microphone
16 pose a question or a comment, go ahead.

17 MR. DEERFIELD: I'm Kerry Deerfield with
18 FSIS and I did want to say something about some of
19 the things that were discussed in the last session,
20 but I think actually it is applicable to what we
21 might want to do sort of in the future here.

22 I just want to hammer the point that Sandy

1 Hoffman made here by sort of respinning I guess the
2 question that you asked, Barbara, about, you know,
3 what are some of the things that we could do to help
4 maybe get more information, better data, for
5 attribution type of stuff. And I put the question
6 around is like why aren't we using risk assessment
7 more in the food safety world?

8 I do come from a heavy chemical background
9 where that is like one of the primary ways that they
10 look at, predicting instances of, not illness, but
11 adverse effects in humans. And there are so many
12 methodologies and tools out of the risk assessment
13 community that could be used in food safety, which I
14 have seen used a very limited amount. You just heard
15 Dan Engeljohn talk about some of the risk-based
16 sampling programs which I think shows a powerful, you
17 know, contribution that risk assessment can use
18 towards some of the things in food safety but there
19 are lots of other things in the risk assessment world
20 that can be used.

21 For example, why aren't we using animal
22 models more? We could be talking about those

1 response relations. From there, compare that to the
2 epidemiology data and start figuring out how we can
3 extrapolate that information and then we can start
4 gathering a lot more information about exposures to
5 pathogens that you can't get from human
6 epidemiological studies, the outbreak data. So start
7 filling in a lot of these data gaps.

8 Another thing you might be able to think
9 about doing is what are the new technologies that are
10 coming down the pike that are just not being utilized
11 I think very well. For example, genomic space
12 technologies, the molecular things, we're just not
13 getting into them very well. We're only scratching
14 the surface with PFG patterns. We're only scratching
15 the surface and looking at just serotypes. There is
16 so much more information if we go into the whole
17 genomic. You look at the -- all of these things can
18 be used to characterize these things, not just the
19 bugs themselves but the host. We had a question
20 earlier about why aren't we using seriological types
21 of things, you know, in looking at these attribution
22 types of studies.

1 With these genomic type of things, you can
2 look at the host reactions, take a page out of the
3 toxicogenomics world where they look at systems
4 biology and how a human being is responding to a
5 stressor. These are things that can be used again to
6 fill in data gaps among all these types of stuff.

7 And just one last, I have to put this
8 comment in, coming from a pure risk assessment world,
9 we're mangling our terms about risk here. We're not
10 talking about inherent risk. We're actually talking
11 about inherent hazard. Risk is something different,
12 and I think we've been mangling these terms a lot
13 this morning.

14 DR. HOLT: Thank you for the comment.
15 Would anyone like to follow up or respond?

16 (No response.)

17 DR. HOLT: Well, we've heard a little
18 discussion today about common nomenclature, and
19 categorizing foods in different ways. I think maybe
20 some of the meat of this discussion here is to throw
21 out ideas about how we could move forward with some
22 of these things that we came up with today, noting

1 common nomenclature is one, resources at the local
2 level, outbreak cases versus sporadic cases,
3 reservoir issues, that's one of my favorites, risk
4 assessment top down or bottom up approaches or is it
5 the other way around? Anybody have any other
6 comments? We had some discussion earlier that might
7 have cut someone off. If you wouldn't mind, identify
8 yourself.

9 MR. REINHART: Bob Reinhart, Sara Lee
10 Corporation. First I want to comment to all of the
11 speakers and presenters that the information provided
12 on attribution, food attribution was outstanding.
13 And I'm pleasantly surprised. I normally wouldn't
14 say something like that. I'm pleasantly surprised
15 with what we did have and what we were able to go
16 over and what was put up. And I know sometimes when
17 things are being developed up, it's difficult to put
18 it out in a public forum but a decision was made to
19 do that and it's really appreciated. I think it
20 drives to better results.

21 And the next comment I have is related to
22 the future and the future steps, and I'm glad we're

1 looking to go forward with this and continue. And I
2 have a recommendation that everyone could consider
3 and that would be that the three agencies or four,
4 depending on how you want to divide it up, develop a
5 task force that works on food attribution
6 continuously to look at how they can drive filling
7 the data gaps, defining common protocols, bringing
8 data together that exists out there, in all these
9 different entities, either in government agencies or
10 in the private sector in some format.

11 If that did happen, and they were able to
12 identify specific gaps, well, then, yes, potentially
13 that gap could be filled by industry data as an
14 example, that gap could be filled by research, that's
15 done and prioritized in the academic world. So I
16 would recommend that it's considered and one of the
17 agencies to lead that, but I think that would be a
18 good way to continue this and to continue moving
19 forward in a format that gets defined out. Thank
20 you.

21 DR. HOLT: Thank you.

22 UNIDENTIFIED SPEAKER: We do have a comment

1 from the phone line if you'd like to take it.

2 DR. HOLT: Can you hold the phone question
3 in queue please.

4 UNIDENTIFIED SPEAKER: And we'll come right
5 back to the phone. Thank you.

6 MS. TUCKER-FOREMAN: Carol Tucker-Foreman
7 with Consumer Federation of America. In his
8 presentation today, Chris Waldrop noted that there
9 were several reports that FSIS has reported to the
10 Appropriations Committee or listed in public reports
11 that would be ready, some of them get moved back
12 every year. But the FoodNet project with the
13 University of Minnesota, most recent date was July
14 2006, it was supposed to be ready, CDC point-of-
15 consumption attribution study, June 2006;
16 mathematical modeling project with FoodNet partners,
17 May 2006. Are any of those finished?

18 DR. HOLT: I think we may have people that
19 can talk about the status on those. At least for two
20 of those, three that you mentioned, we did have
21 presentations on those today. Dr. Guo, would you
22 like to respond on the Danish modeling adaptation?

1 DR. GUO: This is Chuanfa Guo, FSIS. Under
2 the -- we do have started Danish Model more than two
3 years ago. That is I think the so-called
4 mathematical model you referred to, our project.
5 That is my guess, and also the University of
6 Minnesota's project has been a pre-exploratory study
7 of this project as a result continues to the current
8 result. So all of this is related. So we have
9 finished -- last year. We have continued to work,
10 since last year continued to work. We presented that
11 at a meeting of Society for -- and also presented it
12 at FoodNet recent meeting and today we give another
13 presentation, the same project. I think all of the
14 project you mentioned is related. Maybe people give
15 different names I think. That is my answer.

16 MS. TUCKER-FOREMAN: Can you tell me when
17 it will be published so that the public, I have your
18 presentation from today, but we would like to have
19 the narrative of this and if it would be possible and
20 I assume that the point-of-consumption project is one
21 -- is that the one you were talking about
22 Dr. Griffin? The point of consumption and you told

1 me while we were just chatting but when do you expect
2 it to be ready?

3 DR. GRIFFIN: I'm very hesitant to give a
4 date on a project for which we're still in a very
5 early stage. So we don't have a date. Some of the
6 steps that are needed are to finish the analysis,
7 make charts and graphs, and then actually write the
8 report. It'll go through scientific review both at
9 CDC and at a peer review journal because I think it's
10 very important to us and to the scientific community
11 at large and to the regulators, to industry and to
12 consumers, that this report which would be, we hope,
13 "excellent but not perfect," be the best science that
14 it can be and be science based. And it will then be
15 reported in the Medical Journal.

16 We're hoping that our process at CDC will
17 be done by the end of this year, but it really
18 depends on a lot of factors that I can't predict
19 right now. So we're not setting a date.

20 MS. TUCKER-FOREMAN: If I could just, thank
21 you, just finish on that. All of these are studies
22 that FSIS has said it is relying on in developing a

1 risk-based inspection system or they are referenced
2 with regard to the development of a risk-based
3 inspection system. I'm not sure what role they're
4 playing in the development of the risk-based
5 inspection system since some of them aren't completed
6 and others -- well, since most of them aren't
7 completed. Can you tell me, Dan, what role they're
8 playing? For example, in the expert elicitation or
9 in the development of your risk ranking by product.

10 DR. ENGELJOHN: This is Dan Engeljohn with
11 FSIS. Well, I would respond by the fact that we have
12 a number of risk assessments under development, which
13 for them, it's taking the best available information
14 that we have along with the information FSIS has from
15 its regulatory testing program, and using our
16 modeling techniques to make predictions. And so it
17 serves as whatever information has been published is
18 what we rely upon when we get things peer reviewed.
19 So we would -- for those risk assessments, there is a
20 peer review process for that.

21 In terms of for the risk-based inspection
22 process and the expert elicitation and all those

1 other facts, as I tried to point out in the
2 presentation that I had, would be that we would take
3 information, the best available information that we
4 have and put those into a risk assessment and try to
5 model those factors as well to make predictions, and
6 then that serves as the basis for which we could move
7 forward.

8 MS. TUCKER-FOREMAN: And then the
9 allocation of inspectors according to risk will not
10 rely on the risk ranking that you currently have
11 given out to us?

12 DR. ENGELJOHN: I think it's fair to say
13 that everything that we have put together in terms of
14 the risk-based inspection system that we've made
15 available to the public thus far and that you've
16 reviewed or at least had access to and have commented
17 on, serves as pieces of information that inform
18 others. And so nothing in and of itself serves as
19 the sole determinant. They serve as pieces of
20 information that can be modeled. We can look through
21 if we, in fact, incorporate these in through risk
22 assessments which would be the intent to wherever we

1 can incorporate that data, model that, do uncertainty
2 or sensitivity analysis to see what has an effect on
3 what, and then make judgments about how those things
4 would work and how they could be applied.

5 MS. TUCKER-FOREMAN: Between now and July?

6 DR. ENGELJOHN: Because those kind of
7 things are undergoing constantly in terms of the how
8 we can continue to look, we've been using risk
9 assessments now for quite sometime. They're actually
10 required in the Department of Agriculture for any
11 activity that we do which relates to public health.
12 And so we use them constantly as means to inform us.

13 MS. TUCKER-FOREMAN: And you'll publish
14 this more, this rounded out list ranking before you
15 go forward?

16 DR. ENGELJOHN: I'm sorry. Are you
17 referring to the second elicitation?

18 MS. TUCKER-FOREMAN: No, I have a document
19 here that's a risk ranking and in each meeting we've
20 had, that has been referred to as the risk ranking by
21 inherent product risk that the Agency's using to
22 decide how to allocate inspection. You've just said

1 it's only -- I think that it's only one piece, and I
2 hope the 2005 one is a very small piece.

3 DR. ENGELJOHN: It serves as a small piece
4 in terms of it informs us about what impact it may
5 have, and then as our intention would be as we move
6 forward, and we had identified is that our intention
7 is to continuously update the science, get new
8 information and better information and each time make
9 determinations about how that would impact.

10 MS. TUCKER-FOREMAN: But in July, when you
11 start this, what's the list you're going to use in
12 July?

13 DR. ENGELJOHN: Again, we have two that we
14 have -- one has been done and one that we're working
15 on now, and both those together, if they present the
16 same information or different, will be the source of
17 I believe a public meeting that we intended to have
18 on the issue to talk about how to use the --

19 MS. TUCKER-FOREMAN: Then what about the
20 risk assessments? I'm sorry. It's just that you've
21 got a date of July to get this done, and I'm trying
22 to figure out which list is going to be used to say

1 this plant gets less inspection and that plant gets
2 more.

3 DR. ENGELJOHN: And I think the intention
4 was to provide the information from the first and the
5 second, and identify differences there and talk about
6 that in the next public meeting.

7 MS. TUCKER-FOREMAN: But it is not the risk
8 assessments that you were describing. It's just
9 these things?

10 DR. ENGELJOHN: Yes, the risk assessment I
11 was talking about in my presentation related to how
12 we can take all of this and put it into a more
13 refined, more structured process to model and
14 predict.

15 MS. TUCKER-FOREMAN: But that won't be what
16 you base inspection on in the risk-based inspection
17 program that starts in July?

18 DR. ENGELJOHN: Yeah, that would be on our
19 current system, and the decisions we've made thus
20 far.

21 MS. TUCKER-FOREMAN: Thank you.

22 DR. HOLT: I'd like to move to a question

1 on the phone bridge please. If you could state your
2 name and your affiliation please.

3 UNIDENTIFIED SPEAKER: Yes, we do have a
4 couple of questions on the phone line. Felicia
5 Nestor, your line is open. Please state your
6 affiliation.

7 MS. NESTOR: Thank you. This is Felicia
8 Nestor, Food and Water Watch. I want to follow up on
9 a question that Nancy asked and it sounds like the
10 question that Carol was just asking. Nancy asked how
11 the information about emerging pathogens was going to
12 be incorporated into the RBI program, and I think
13 what I would mean by that is, how is the Agency going
14 to use attribution data in the algorithm? Now at the
15 last meeting, the Agency said that you're going to be
16 updating the plant list on a monthly basis. So what
17 is your plan for how often to update the product
18 inherent risk and is there an alternate plan, for
19 instance, if there's some outbreak or there's good
20 information about an emerging pathogen? Will the
21 Agency then do another product inherent ranking?
22 That's my question.

1 DR. RAYMOND: Felicia, Dr. Raymond, OFS.
2 You were breaking up quite a bit on the call there.
3 I think I have the gist of your question, however,
4 and that is how often will we do an inherent risk
5 product analysis? How will we merge emerging
6 pathogens into the list of products that we currently
7 have? And how will we use attribution data in the
8 risk-based program? Is that --

9 MS. NESTOR: Yes, exactly.

10 DR. RAYMOND: Good. First of all, since we
11 don't have attribution data that we can live and die
12 by right now, or enough foodborne illnesses,
13 attribution data will not be a single solitary factor
14 going into risk-based inspection. There is a point
15 in time, hopefully that we can change that and use
16 the attribution data better. Right now we're
17 counting on the 24 experts that will be doing the
18 expert elicitation to use what attribution data is
19 available along with what sampling data is available
20 along with data we saw today that had comments about
21 how many hospitalizations for different bugs, how
22 many deaths for different organisms, et cetera.

1 Hopefully they'll take that into consideration, the
2 severity of illness and special populations as we've
3 heard need to be in that expert elicitation. So
4 attribution will blend into that but it won't have a
5 single point in the mathematical equation.

6 As far as new organisms that may or may not
7 be emerging organisms in the foodborne illness world,
8 again, a single organism is not a factor into this.
9 What is factored into this is the risk of the
10 product. We have certain organisms associated with
11 certain products. And so ground beef, for instance,
12 the risk of ground beef will be scored based on the
13 organisms that are found in ground beef, the severity
14 of illnesses created by those organisms and the
15 frequency of illnesses created by those organisms.
16 If a new organism pops up tomorrow, in ground beef
17 and it's universal, and a lot of people are getting
18 sick, we'll obviously have to do an immediate
19 reevaluation. If a new serotype of *Salmonella* pops
20 up, that causes the same types of infections as
21 *Salmonella* Typhimurium, it won't be a factor because
22 it will still be found in the same products. I hope

1 that answers your question.

2 MS. NESTOR: Thank you.

3 DR. HOLT: This is Kristin Holt, the
4 Moderator. Let me get one more question from the
5 phone bridge please, and then I'll go to the
6 microphones here.

7 UNIDENTIFIED SPEAKER: We have a question
8 from Patricia Buck. Your line is open.

9 MS. BUCK: Hello. This is Patricia Buck
10 from the Center for Foodborne Illness, Research and
11 Prevention. And my question is we have meetings
12 coming up that's going to talk about industry and the
13 sharing of data which I appreciate very much
14 especially if it's going to be conducted, you know,
15 as high quality as this meeting was. But one of the
16 things that I would like to know about, when they
17 talk about in the sharing of data, are we talking
18 about the sharing of microbiological data? Are we
19 talking about the sharing of antibiotic use in the
20 animals type of data? Are we talking about the
21 distribution risks that are currently proprietary to
22 help us track back, you know, when these cases of

1 foodborne illnesses are identified? Could you give
2 us or characterize for us a little bit more what you
3 mean when you say it will be helpful if industry
4 would share its data?

5 DR. RAYMOND: Pat, Dr. Raymond again, and I
6 think it was your second question, I'd say yes. I'd
7 have to say no to your first and third, but to make
8 sure I have them in the right order. Industry has a
9 wealth of data, microbiological testing primarily,
10 and as someone else said earlier today, they have
11 some of the best microbiologists and scientists in
12 the country doing that work for them because of the
13 pride they take in their product and obviously do not
14 want people becoming ill from their product.

15 That is data that I would love to mind, and
16 that is why we're going to have a separate conference
17 on it --

18 MS. BUCK: Yes.

19 DR. RAYMOND: -- because it's very
20 controversial. There are some in the industry who
21 would love to share that data with us, particularly
22 if there's some kind of incentive or reward in the

1 risk-based inspection system. There are others in
2 the industry who quite frankly probably don't want
3 that information to ever be made public. We need to
4 figure out how to get around those issues and is it
5 identifiable by plant? Is it aggregate? There's may
6 things that we talked about last Friday in my office,
7 in fact, when you were I think on the line that day
8 but -- so I'm looking forward to a real healthy
9 exchange of ideas on how we can use industry data.

10 Everybody in this room I think would tell
11 me, Raymond, if you had better data, we'd be even
12 more in line with you. Well, there's data out there.
13 We can get better data if we can figure out a way to
14 do that.

15 Your other question, proprietary list, that
16 has nothing to do with risk-based inspection. It
17 does have to do with recalls, and you know we're
18 working on rules and regs for that. So I'll just say
19 that for now.

20 And antibiotic use in animals is basically
21 either an on farm or in the grow out facility issue,
22 and it's not an issue in the plants that we regulate.

1 MS. BUCK: Well, again, I realize that it's
2 not an issue in the plants in which you regulate but,
3 of course, you do have the consequences of some of
4 the problems that CDC pointed out, in some of its
5 presentations, that there are, you know, *Salmonella*
6 super 9 (ph.) is in our midst now, and it's very
7 disturbing that we can't get to that type of thing
8 through our regulatory agencies.

9 DR. RAYMOND: But, Pat, that is, that is a
10 question for a different meeting and perhaps even a
11 different agency than FSIS. Dr. Buchanan is sitting
12 down here kind of cringing because it should actually
13 be a FDA issue, but what we do know is we know bugs,
14 like the antibiotic resistant *Salmonella*, that will
15 enter into our risk-based formula because some of
16 those bugs are very nasty bugs and that will present
17 a higher risk, the seriousness of infection is going
18 to be factored in with this elicitation. And so
19 therefore the results of antibiotic use will be
20 factored into the RBI.

21 MS. BUCK: Okay.

22 DR. RAYMOND: But I can't regulate on-farm

1 practices.

2 MS. BUCK: I understand that. I just
3 brought it up as an issue because I feel that it's a
4 very important issue that we haven't paid as much
5 attention to as we should be.

6 And finally, I do have this comment. I
7 realize that all this testimony will be made public
8 for all of us to review and make comments on in 30
9 days plus all the comments, all the testimony from,
10 you know, Monday's meeting, and the comments are also
11 available in 30 days. I am very concerned that the
12 timeline that we have put currently in place, which
13 is now July implementation, of RBI is not going to
14 allow all of the stakeholders with their, you know,
15 jointed amount of expertise to make the type of
16 comments that will really help you to devise that
17 best prototype, and I would seriously hope that you
18 would consider, you know, taking some additional time
19 and moving back once again the implementation of
20 risk-based inspection. I realize that that's
21 something that you can say right now, but I'm hoping
22 that you are thinking about that, given the fact that

1 everybody in this room has devoted an awful lot of
2 time and energy today and as well, in the future, to
3 try and provide you with the best guidelines for your
4 new initiative.

5 DR. RAYMOND: Your comment has been noted,
6 but I am going to -- we have 40 minutes left. I am
7 going to take the microphone from Kristin here for
8 one second and ask that the last 40 minutes we do
9 concentrate our conversation on how we can move
10 forward to get better attribution by working
11 together. We have guests here from Atlanta. We have
12 guests from the FDA area. We have the Tennessee
13 gentleman here. We have lots of folks who have
14 traveled a long ways today to talk about how do we
15 get better attribution data. So I would ask the
16 folks in the room and on the phone to try to focus on
17 that for the time being.

18 MS. BUCK: Thank you.

19 DR. HOLT: I'm going to switch from the
20 audio bridge to the room, and I believe Caroline
21 Smith-DeWaal has been kind of standing and sitting.
22 So I'll go to her.

1 MS. SMITH-DeWaal: Thanks. I sat down
2 during the phone portion. I think that what's really
3 come out strongly for me today is that the value of
4 the food attribution data really is in the validation
5 of the expert elicitation. The data is not robust
6 enough to use by itself, but I'm always looking for
7 low hanging fruit. I'm always looking for what could
8 we do quickly to improve that data, to make it
9 better? And I'd like to suggest that reducing the
10 unknowns from the state investigations would really
11 give us a lot more data, and it would help to
12 identify and isolate where the emerging pathogens may
13 be coming in because right now we don't know if those
14 unknowns are existing pathogens that just haven't
15 been tested for because of weaknesses in the
16 laboratory system or if those are, in fact, true
17 unknown pathogens that we need to understand that may
18 be entirely new.

19 So I think if we wanted to improve things
20 quickly, there is a rich data source that's available
21 that is partially investigated outbreaks at the state
22 level, and if we could get those investigated more

1 quickly. I don't know if there's money in the FERN
2 System, the Federal Emergency Response Network
3 System, to go to the state laboratories for this
4 purpose. You know, let's be creative and try to find
5 a way to do that because that would improve that data
6 right away.

7 Secondly, is the product testing data. I
8 think that is critically important and whether it's
9 collected by industry, whether it's collected by FSIS
10 under their *Salmonella* testing program, their *E. coli*
11 testing program and their *Listeria* testing program,
12 and maybe a few others I haven't thought of, I think
13 the product testing data is critical again to
14 validate the expert opinion that you will probably be
15 using for risk ranking.

16 So the key here is to reduce the unknowns
17 and to get the best data possible, but I think it's
18 going to be hard, and I know this data well. I have
19 waited for CDC to get their data out to put our data
20 together. And so I know this outbreak data set very,
21 very well, and I just -- I told Carol Foreman and
22 Barb Kowalcyk and many in our lengthy discussions on

1 this, the expert elicitation is an appropriate tool
2 to use in areas where the data just isn't good enough
3 to give you what you want to know. I mean you can't
4 test, and I agree with the statement's earlier that
5 if you can test the, you know, if you can test the
6 question empirically it will give you a better expert
7 elicitation but I think you can't avoid using expert
8 elicitations to answer this particular question.
9 Thank you.

10 DR. HOLT: Thank you.

11 MS. KOWALCYK: Barbara Kowalcyk, Center for
12 Foodborne Illness, Research and Prevention. I
13 actually had a different comment, but I'd like to
14 respond to Caroline's first.

15 First of all, I do think the expert
16 elicitation is an appropriate tool that should be
17 used under the right situations. I think that the
18 methodology that FSIS used in the first expert
19 elicitation was significantly flawed and I think that
20 there is a lot of other methodology out there and
21 just one example of which is what Sandra Hoffman
22 presented today, and these are things FSIS should be

1 strongly considering.

2 However, and I think the message was
3 perfectly clear from almost every presenter here
4 today, the expert elicitation is not the only thing
5 we should be using. It should be a starting point to
6 help identify the gaps that are in the system. What
7 attribution data do we still need?

8 And the question that I would like to
9 propose -- I mean I'd also like to first comment on
10 Dr. Raymond's comment earlier, that I hope that the
11 goal is that one day attribution data will be a large
12 component of RBI, and I would like to see us move
13 towards that model. And how are we going to get
14 there?

15 So my question, I really have a question
16 for the different agencies, both Federal and state
17 that are here today. What specifically do you need
18 that will better enable you to collect the type of
19 food attribution data that we need to get an accurate
20 picture of what is happening with foodborne illness?
21 Do you need more resources financially and human
22 resources? Do you need better regulatory

1 authorities? What exactly do you need in order to
2 achieve this?

3 DR. RAYMOND: Dr. Raymond with the Office
4 of Food Safety. Just speaking from my own personal
5 viewpoint and not trying to speak for the other
6 agencies, of course, but I think in my viewpoint, the
7 one thing, there's probably lots of things we need,
8 but the one thing that would be of the most benefit
9 to all of us, to get better attribution is better
10 collection of samples in ill patients and better
11 reporting and quicker reporting from state and locals
12 to coordinate with CDC, FDA and FSIS. When there's
13 an outbreak, we ask them not to wait until they feel
14 they found the source before they let us know,
15 because if they find out it's ground beef, the trail
16 is pretty cold for us to trace back and find out
17 where it came from.

18 So I think we can all do a better job, but
19 we've also been preaching to the healthcare
20 professionals. I am one. I practiced medicine a
21 long time. I didn't get stool cultures on every
22 person that came in with the diarrhea because it was

1 probably going to be a virus. But somewhere along
2 the line we need to look at, how do we obtain better
3 sampling so we will get a better idea of what the
4 actual rate of foodborne illnesses are? And again,
5 if we now have an outbreak of three instead of a
6 single isolate case of one, perhaps, perhaps that
7 helps the epidemiologist figure out what the source
8 of that one was.

9 So I know it's not being done much, and I'm
10 not pointing the finger at the healthcare
11 professionals because as I said, I did not do it
12 every time either. If it was going to cost my
13 patient \$150 of hard earned cash to say you've got a
14 virus, drink Gatorade and, you know, call me
15 tomorrow, if the symptoms are worsening, it is just
16 not cost effective. But somehow we have to figure
17 out how to get better data.

18 I am appalled sometimes when I hear stories
19 about people that are in the hospital with bad enough
20 gastrointestinal symptoms to be required being in the
21 hospital. I can't imagine why someone would not get
22 a culture cooking on that one, because if you wait

1 until they get sicker, you wasted a day and we're
2 working with the healthcare professionals trying to
3 get some middle of the road there.

4 I'll let CDC and FDA if they want to
5 comment on what they need. That's my major.

6 DR. JONES: This is Tim Jones, and I
7 grabbed the microphone as you were walking up there
8 essentially to say the same thing, and address that
9 comment and Caroline's as well.

10 Caroline, you made the statement that we
11 need to be creative, and I'm all for bolstering
12 laboratory resources. You talked about FERN, money
13 going to laboratories. But I don't think that's
14 where the primary lesion is. You know, as
15 Dr. Griffin said earlier, for the unknown outbreak,
16 two-thirds of our outbreaks are unknown and in over
17 two-thirds of the unknown ones, we do not collect a
18 single stool specimen. And you can put all the money
19 you want into a laboratory but if they have no
20 specimens to test, it's not going to help. And what
21 that's going to require is epidemiologists at the
22 county level that can go out and get the stools, and

1 get them from providers and at state level test them
2 for free. It's a matter of collecting them. And
3 that requires people in the field.

4 DR. HOLT: Barbara, you have a quick follow
5 up?

6 MS. KOWALCYK: Yeah. I have a question. I
7 mean I agree with both what Dr. Raymond said and what
8 Tim said. So is it just a matter of getting more
9 money or do you actually need some additional
10 regulatory authorities to fix the problem? Which one
11 is it or is it both?

12 DR. HOLT: Dr. Griffin, you were going to
13 make a comment.

14 DR. GRIFFIN: I wasn't going to answer that
15 question.

16 DR. HOLT: Oh, well, I mean --

17 DR. GRIFFIN: I was just going to, you
18 know, I like sometimes to offer the contrarian
19 viewpoint and everyone's moaning, these unknown
20 outbreaks. And just a little bit of a contrarian
21 side. Unknown ideology is a shame, and we've talked
22 about how to fix that with local health departments

1 having the resources to go out and get the specimens,
2 and I've talked to some people here about how we'd
3 love our eFORS program to be more interactive, so the
4 local health department can plug in, you know, a 100
5 people at a banquet, got sick within 12 hours of
6 eating a food. What's the differential diagnosis?
7 It includes *Clostridium perfringens*. Oh, get stool
8 samples and test them for this organism which is not
9 done in a clinical lab. All the stool cultures that
10 they send to the doctors are going to be negative.
11 You have to have the state lab look. So that's an
12 interactive program that we hope will help us to
13 figure out the ideology.

14 As far as the unknown vehicle, we're always
15 going to have a percent of outbreaks for which we
16 don't figure out a food, and I would not look at that
17 as failure because local health departments are going
18 to go out and they'll investigate outbreaks in which
19 only five people are ill. It is really hard to
20 figure out the cause if only five people are ill. A
21 lot of times they all ate the same meal, and it had
22 several different foods in it.

1 So one of the markers of them going out and
2 investigating more outbreaks is that they're going to
3 find smaller outbreaks for which it's impossible to
4 figure out what the food was, but that's a marker of
5 them going out and investigating more outbreaks. In
6 fact, we've tracked that for *E. coli* O157:H7
7 outbreaks. Our average size 10, 15 years ago, was
8 very large. Now that median size of those outbreaks
9 is five people. We don't always figure out the cause
10 but because those local health departments have gone
11 out and they've found the outbreak and they've looked
12 into the organism, and they often send a message over
13 the list serve (ph.) to other people in the health
14 departments. We have five people. We can't figure
15 out the cause. They all ate at Restaurant X. Next
16 day, they get an e-mail back from another state
17 saying, huh, you know, we have the same thing. It's
18 that same restaurant and then you put it together.

19 So for some of them, there are always going
20 to be an unknown vehicle but the more of those small
21 ones you investigate, the more you're going to pull
22 together.

1 DR. HOLT: Barbara, you were talking about
2 resources, and I saw some heads nodding. Basically,
3 does anybody want to tackle resources? Dr. Jones.

4 DR. JONES: I guess I've -- enough about
5 resources, but I think your question about authority
6 is an important one, and it's important to remember
7 that, you know, every -- the laws that govern
8 investigation of foodborne disease are state laws. I
9 mean there is no Federal law. And we have huge
10 authority at the local and state levels. So we don't
11 need any more authority. We just need the resources
12 to go out and enforce the authority that we already
13 have.

14 DR. HOLT: On the phone bridge, could you
15 try to get someone in the queue there, and I'll come
16 back to the phone in a minute. And I'd like to come
17 back to the room here.

18 MS. TUCKER-FOREMAN: Carol Tucker-Foreman
19 with Consumer Federation again.

20 I want to pursue the question about
21 resources just a little bit because nobody wants
22 perfect data but the data that we have now, most of

1 the consumer people believe is not adequate and for
2 FSIS to -- on July 1st. So Buchanan, tell me, how
3 many people you got working on this now? On your
4 list of priorities, where is food attribution data?

5 DR. BUCHANAN: Of the different --

6 MS. TUCKER-FOREMAN: Of all your --

7 DR. BUCHANAN: Among all of them?

8 MS. TUCKER-FOREMAN: Uh-huh.

9 DR. BUCHANAN: I haven't the slightest
10 idea. It's certainly one of our higher-level
11 activities for our scientific and epidemiological
12 staff. It is a priority area for us.

13 MS. TUCKER-FOREMAN: How many people you
14 got working on it?

15 DR. BUCHANAN: Probably about five.

16 MS. TUCKER-FOREMAN: What difference would
17 it make if you had 10?

18 DR. BUCHANAN: Is Jack still in the
19 audience? No, he's -- here we go.

20 UNIDENTIFIED SPEAKER: Excuse me. This is
21 the operator. We're having trouble hearing.

22 MS. TUCKER-FOREMAN: Bob Tauxe.

1 DR. TAUXE: We have quite a number of
2 people who are engaged in the collection and some are
3 cleaning out the foodborne outbreak response system
4 data, and another large group of people that are also
5 involved in the collection and cleaning of the
6 PulseNet data. The assembling of surveillance data,
7 we have a rather small group that is actually engaged
8 in the analysis and attribution particular phase of
9 that.

10 MS. TUCKER-FOREMAN: How many?

11 DR. TAUXE: Yeah. Two of whom have
12 recently taken other positions at CDC. Before they
13 left, I think there would be four.

14 MS. TUCKER-FOREMAN: Thank you.

15 DR. TAUXE: And then, of course, there is
16 the FoodNet group that is also a working group that
17 collaborates across agencies as well. So our current
18 group size is probably four total current and, yes,
19 with more people it would be substantially faster and
20 also when we have large outbreak investigations, like
21 the seven phenomenal outbreaks that happened in the
22 last six months, three of which were traced to food

1 vehicles that had not previously been associated with
2 foodborne illness in this country. That pulls in a
3 lot of people and sort of an all hands on deck public
4 health emergency system, and that probably itself
5 delayed progress by a number of months.

6 MS. TUCKER-FOREMAN: Dr. Griffin,
7 Dr. Tauxe, Dr. Buchanan, any of you all had any
8 increase in staff to work on these issues in the last
9 few years?

10 DR. BUCHANAN: Carol, you've read all our
11 press releases. We haven't had any increase in staff
12 in the last few years.

13 DR. HOLT: That was Robert Buchanan.

14 MS. TUCKER-FOREMAN: Dr. Tauxe, have you
15 had any increases in your staff to work on this with
16 all the publicity it's had?

17 DR. TAUXE: We have not specifically for
18 attribution, no.

19 MS. TUCKER-FOREMAN: Dr. Griffin, how many
20 people you got working on your -- survey?

21 DR. GRIFFIN: It's really the same program.

22 MS. TUCKER-FOREMAN: Yeah, okay. So I

1 guess most of us if we really think it's important,
2 we have something that we could do to advance the
3 cause.

4 One quick word in response on the expert
5 elicitation. I think we all acknowledge that it is
6 part of the answer but if you look at the RFF model
7 for expert elicitation, and you look at the 2005
8 expert elicitation done by FSIS, it is not the same
9 animal. It should not be called by the same name.
10 And before it's going to be acceptable to use those
11 data, if you're going to have any public credibility,
12 you have to have an expert elicitation that has some
13 credibility. That one did not. And you've
14 acknowledged it was done by a group of 20 people, 2
15 public health people, five industry or former
16 industry, most of them aggies, meat scientists, food
17 microbiologists, not people who come at this from a
18 public health point of view. You didn't use
19 severity. You insisted that they use a healthy adult
20 population and specifically excluded pregnant woman.
21 Now tell me how you can come up with a risk for
22 *Listeria* if you've excluded pregnant women from your

1 database? So I'm willing to use the results of
2 expert elicitation if it's not garbage.

3 DR. HOLT: Kristin Holt, Moderator. I'd
4 like to point out on the agenda, we may have just
5 kind of moved into the other comment period, but I
6 don't want that to deter anyone from having, you
7 know, any comments or questions. I asked the audio
8 bridge to queue up. So let me check in with the
9 phone bridge to see if there's any questions or
10 comments.

11 UNIDENTIFIED SPEAKER: At this time we have
12 no questions but as a comment, we are losing your
13 audio.

14 DR. HOLT: Is it the audio of everyone in
15 here or just me, the Moderator?

16 UNIDENTIFIED SPEAKER: The last two
17 gentlemen that were speaking, we were hearing like --
18 of the conversation, like every other word, and you
19 seem to be doing something similar to that kind of
20 skipping. Is there -- hang on just a second. Do you
21 have two speakerphones in the room?

22 DR. HOLT: I'm sorry. Could you repeat

1 that question?

2 UNIDENTIFIED SPEAKER: Do you have two
3 speakerphones in the room that you're using?

4 DR. HOLT: We have several microphones in
5 the room.

6 UNIDENTIFIED SPEAKER: Several microphones.
7 Okay. Just a moment.

8 DR. HOLT: And there will be a transcript
9 posted on the FSIS website.

10 UNIDENTIFIED SPEAKER: Okay. I guess I'll
11 just continue on, and we'll do the best we can at
12 this end.

13 DR. HOLT: Okay. Thank you.

14 UNIDENTIFIED SPEAKER: And there's still no
15 questions.

16 DR. HOLT: Okay. Sorry about the audio
17 problem there.

18 Let me see. Sandy, you have been at the
19 mic. If I could just start with you and then I'll go
20 to Dr. Angulo.

21 DR. HOFFMAN: All right. I'd just like to
22 pose what I really intend to be kind of a conceptual,

1 can we, is this worth discussing and thinking about.
2 Maybe this isn't the right forum but maybe it is.
3 Just a question about thinking about updating of
4 disease incidence and attribution estimates. I know
5 having done this, it's hard to do it. Doing a study
6 is a long period kind of thing. But ultimately, you
7 know, the fact that I know you're updating need, but
8 the fact that, you know, it's now several years
9 later, kind of what needs to happen, what would make
10 it possible to have regular updates, but maybe also
11 what would go into thinking about periodicity in
12 updates because you've also got a lot of noise and
13 annual changes and depending on the effort that it
14 takes to either do disease incidence or attribution
15 updates, you know, you may not want to be doing those
16 annually, but is there a way of getting at more
17 regular kind of a data set or set of estimates so one
18 can start looking at trends more and have something
19 kind of more systematized way of thinking about that?

20 DR. RAYMOND: Dr. Raymond. I think what I
21 will take home from this meeting is getting back
22 together with Dr. Agwunobi and possibly

1 Dr. Gerberdean (ph.), possibly Bob Brackett, whoever
2 I need to get together with to talk about some kind
3 of a memorandum of understanding. I know sometimes
4 that's a bad acronym, a MOU, my God, another MOU, but
5 we have signed one amongst the three agencies on how
6 we will work -- we have an improved work plan for
7 dealing with outbreaks both during the outbreak and
8 in the follow up, and we feel we have a better way to
9 skin that cat. We think it's been done very well but
10 we think there's ways to do it better and to learn to
11 make it more of a learning experience and I think we
12 can take from this meeting today the same thing and
13 consider drawing up some kind of a memorandum of
14 understanding which would put some regularity of the
15 Federal agencies and some NGOs, getting together on a
16 regular basis and sharing the data and moving us
17 forward is my take home. I hope that answers your
18 question a little bit. Rather than like somebody
19 said earlier, there's a lot of talk. Where's the
20 action? I think getting together is perhaps the
21 action. There's the verb that comes out of this
22 talk.

1 DR. HOLT: In the room, Dr. Angulo.

2 DR. ANGULO: This is Fred Angulo from CDC.
3 I was intrigued by the question that was posed about
4 how have we used attribution data in the past, and I
5 think it's worthwhile to think of the major successes
6 that we've enjoyed in public health in the last
7 several decades that rely on attribution data.

8 For example, in the seventies when it was
9 understood what proportion of human *Salmonella*
10 infections were due to turtles, there was an
11 important intervention placed, that was regulatory in
12 nature, the prohibition of sales of turtles less than
13 four inches, and it resulted in a remarkable decline
14 in human *Salmonella* infections.

15 There's similar successes on attribution
16 with *Salmonella enteritidis* in eggs with
17 fluoroquinolone-resistant *Campylobacter* and the use
18 of fluoroquinolone in chickens which relied on the
19 attribution estimate of how much of that
20 fluoroquinolone-resistant *Campylobacter* infections in
21 humans were coming from chickens and turkeys.

22 And even the recent success of decline in

1 *E. coli* O157:H7 in ground beef, we associate with
2 interventions made in ground beef processing.

3 All of those rely on an understanding of
4 attribution that compel the industries, and also in
5 some instances, regulatory efforts, to make changes.
6 So attribution has been used for a long, long time.

7 What is so exciting and while I understand
8 the frustration expressed, it's been a decade or more
9 and why is it taking so long to get to this point in
10 attribution? What's so exciting is that we're on the
11 threshold of having a comprehensive measure of
12 attribution across all different pathogens using the
13 outbreak data, and that's really, really, really,
14 really exciting to be so comprehensive.

15 But it does point then to the next issue
16 which is, once that is done, certainly the data gaps
17 are going to become evidence as soon as that is
18 published. It was pointed out that the one data gap
19 that would immediately become evident will be how is
20 this outbreak data different when you talk to experts
21 on their understanding of the sources of illness and
22 that will be a difference in this expert's

1 understanding of sources of sporadic illness versus
2 outbreak, and I think that will be a very useful data
3 gap to identify and I don't think we need to do
4 sporadic case control studies on all of the
5 pathogens, just those pathogens in which the experts
6 thinks there's a big disconnect from the outbreak
7 data from the sporadic data.

8 So in terms of identifying what needs to be
9 done next, it's basically in two arenas in my
10 judgment. One is to try to get this comprehensive
11 report out quicker which can only be done if it's
12 priority and resources are directed, and we're going
13 as quickly as we possibly can with available
14 resources currently.

15 And secondly then I accept the criticism
16 that outbreak data could be improved if there were
17 more resources at the local health departments and
18 that's a longer-term solution that needs to be
19 addressed.

20 DR. HOLT: Thank you. Jenny Scott, I
21 think, was next.

22 MS. SCOTT: Jenny Scott, GMA/FPA. I just

1 wanted to make a comment about this whole issue of
2 whether we can or can't move forward on RBI based on
3 what we know about attribution.

4 I'm really excited about the focus that we
5 have now in getting better attribution data. That's
6 something we've asked for for a long time, and we
7 would love to have perfect attribution data. But it
8 is going to be a while before we get much better
9 data.

10 I take you back to a comment that Kerry
11 Deerfield made, that said maybe we ought to be
12 referring to this as product inherent hazards, not
13 product inherent risk, and he's probably right. And
14 if you think about that, we do know a lot about the
15 hazards that are associated from meat and poultry
16 products. And we certainly have good reason to
17 believe that if we decrease those microbial hazards,
18 that we can have a positive impact on public health.
19 And just because we don't have the perfect measure of
20 the outcome of that, doesn't mean we shouldn't be
21 going forward right now and we will then use the data
22 that we get from better attribution to refine the

1 system.

2 DR. HOLT: Thank you. I think Nancy, you
3 were at the microphone first.

4 MS. DONLEY: Nancy Donley from STOP. I too
5 have a takeaway from this meeting. I have a lot of
6 takeaways but one of the takeaways that I have is the
7 screaming, silent message in this room of how the
8 Government agencies are just plain strapped. And
9 none of you can say it, but I can. And I think it's
10 just really pathetic how our National Government,
11 none of you people in the room here, I'm not speaking
12 of you, you can't go to your bosses and say, I need
13 more money. You're told what you can and can't do,
14 but I can say these things. And I think it's just
15 appalling what our National Government here is
16 willing to put resource-wise into protecting
17 consumers, the public, from the most basic of basic
18 necessities and that's the food that we eat. And I
19 think that where the whole National Government will
20 finally hear where they'll come screeching to a halt
21 and start throwing money again, like they did after
22 the Jack-in-the-Box outbreak, is to have another

1 Jack-in-the-Box, God forbid.

2 This is just really, really -- it's sad.
3 It's very, very sad to me, particularly again having
4 -- it's what brought me into this arena was a
5 tragedy, and why does it always have to be tragedies
6 that make us kind of spin around and examine the
7 situation and try to get proactive and do something
8 about it.

9 I have heard some people kind of say that
10 the money, looking specifically now at food safety as
11 a category, they're saying it's not the slices of the
12 pie, that USDA is getting, you know, we're only
13 having so many illnesses attributed to food and
14 poultry, and there's all this going to produce and
15 it's not equitable.

16 No, there's nothing wrong with the slices
17 of the pie. The problem is the size of the pie.
18 It's too small. I really hope that if it's at all
19 possible for you all to go to your bosses and say,
20 you know what? The public is not going to accept a
21 defense that this is all we had to do and that it's
22 again just responding to a horrible tragedy to get

1 what you need to do your jobs. I very much
2 appreciate where the Agency is coming from, in trying
3 to put this together. I understand. At the end of
4 the day, when we get this also in the slaughter
5 plants, it is a budget driven process. And I
6 understand that. I don't like it. I don't believe
7 in it, and again I just had to say that I hope it
8 doesn't take another tragedy to get our head head
9 officials to pay attention, that consumers want safer
10 food. Thank you.

11 DR. HOLT: We'll move to the microphone.

12 MS. CHINDER: Hi, my name is Chava Chinder
13 (ph.) and I work for the National Association of
14 County and City Health Officials. And I wanted to
15 talk a little bit about resources and it seems she
16 helped me out a little bit.

17 I did want to say along the lines of
18 support financially, one of the things that we've
19 talked about among our partners is storytelling, and
20 I really think it would help kind of documenting our
21 work in a way that is friendly to legislators and
22 policymakers and people who do the appropriations and

1 people who want to hear from the public, not say from
2 all of us scientific folks, but kind of more of the
3 storytelling narratives of our experiences and why we
4 need more funding or where this would be supportive.
5 Where do we need resources? So that's something I
6 think our agency will be working on with the counsel
7 that we have other partners with.

8 And I also wanted to say something that
9 would be helpful is I've heard everybody talking
10 about what's happening at the local level, and I
11 think Tim has done a wonderful job of trying to
12 represent all of local public health, and I want to
13 say that we should be probably be invited to meetings
14 like this, more of them, so you can hear from their
15 point of view what it is that they need.

16 I can represent as a staff members of an
17 association, but I'm not the local public health
18 professional. So I know that at our local levels,
19 that I do represent, there's not always the
20 epidemiologist or the environmental health specialist
21 that's going to do investigations. There's a public
22 health nurse maybe who's doing multiple tasks, to

1 mention that a little bit and trying to convince
2 somebody to give you their blood samples, their fecal
3 samples, is a whole privacy related issue, public
4 issue and talking about these things I think
5 publicly, about our messages, what do we want, how
6 can we get reporting better, it has to be something
7 that we're all saying the same message. And that
8 it's friendly to the public so that they want to come
9 report, that they're going to call your health
10 department, that they're going to give you samples.
11 You can have a great public health nurse but she
12 might not or he might not be able to get that sample
13 from somebody.

14 So I just wanted to put that out there as
15 some communication and relationship building with
16 your local public health people and representatives
17 and get their perspective on some of these issues.
18 And to also talk about communications issues that are
19 not all funding related. It's about collaborating
20 and doing message development and talking to your
21 representatives. So thank you.

22 DR. HOLT: Thank you. I'm going to transit

1 this to closing remarks, and I'd like to introduce to
2 you again, Dr. David Goldman, the Acting
3 Administrator for the Food Safety and Inspection
4 Service who will close up our meeting for us. Thank
5 you.

6 DR. GOLDMAN: Thanks, Kristin, and thanks
7 for all of you who have hung in there. I have the
8 unenviable task of trying to recap. I won't do that
9 exactly because a lot of the comments in the last
10 hour or so have echoed some of the recurrent themes.
11 So I won't try to do that exactly.

12 I will pick up on a point that Nancy Donley
13 was making in that we shouldn't forget that
14 attribution is about reacting to illnesses. Just
15 think about that for a second. It means in the same
16 way when we do a recall, we failed in some way to
17 even talk about attribution. It means there has to
18 be illnesses out there for us to learn about. So
19 ultimately we need to apply whatever it is we learned
20 about attribution to change policies, if we're one of
21 the Federal regulatory agencies or to target
22 interventions or mitigations as Tim Jones was stating

1 earlier if you're at the local or state level, in
2 order to reduce pathogens on products, and therefore
3 the exposures to hazardous products to decrease
4 illness.

5 So we have to start from illnesses, work
6 our way back through this collaborative exercise with
7 the common goal that we all share of reducing
8 illness. So I'll start with that.

9 I did a very rough calculation on the
10 technical talks that we heard about the different
11 methods. I estimate that there's about 35 years
12 worth of work represented in the 7 or 8 efforts that
13 you heard about. If you multiply that by probably on
14 the average of four collaborators per project, it's a
15 lot of effort that has gone into attribution. So I
16 think the other thing that we took away, we all took
17 away from this meeting, and we started out with this
18 this morning, was this is a very complex issue. It's
19 one that we all feel very strongly about and have an
20 interest in but nevertheless it's complex.

21 I think Dr. Tauxe's model is a very good
22 graphical representation of the complexity. I just

1 wish it was four dimensional instead of three. I
2 mean it's that complex I think.

3 Attribution data and results are important
4 to all of us for different reasons. As I just
5 mentioned, the local public health officials and
6 state public health officials who regulate perhaps
7 mostly at the retail level, are interested in
8 attribution data to help them shape their
9 interventions, therefore to reduce the exposures of
10 whatever products have been produced at retail from
11 causing illnesses. The Federal regulators are also
12 interested in attribution so that we can develop
13 policy that will again reduce the exposure of the
14 public to pathogens and products that we regulate.
15 And as Dan Engeljohn pointed out, FSIS, just speaking
16 for our Agency, has a very specific place where we
17 regulate, and we could have a longer discussion about
18 whether we should have greater influence on either
19 end of that spectrum.

20 The industry has a great need for
21 attribution data. They want to produce high quality
22 product and safe product, and having acknowledged

1 earlier that some of the meat and poultry products
2 inherently have *Salmonella*, for example, as a
3 component of those products. We need to collectively
4 find ways to mitigate and minimize the exposures that
5 might result in illness.

6 And ultimately, we're all consumers but as
7 consumers, we're all interested in attribution. We
8 all have wondered I'm sure, when we've gotten sick
9 whether mildly or severely, where that came from. I
10 mean we've all asked ourselves that question, and
11 it's not just an academic question. It's often a
12 very serious question to know what has caused an
13 illness and what we might do differently in the
14 future having learned from that particular illness.

15 In a world with unlimited resources which
16 we don't live in, we might investigate every single
17 sporadic illness, investigate every single outbreak,
18 subtype ever isolate that we have that comes from
19 humans or from food or from the environment, and then
20 we would have a comprehensive attribution picture.
21 We probably won't get there but we can move in that
22 direction and I think we're all interested in doing

1 that.

2 We heard some very interesting points about
3 what we need to move from here to that ideal
4 situation. I think having a common nomenclature is
5 one thing that's been identified here. For example,
6 having all isolates in PulseNet. I mean PulseNet is
7 the goal standard for the current subtyping of
8 microbiological isolates. And PulseNet holds out the
9 promise of future systems of subtyping, which I think
10 we would all like to subscribe to, and therefore be
11 speaking with common terminology.

12 We've heard a lot about the use of outbreak
13 data versus sporadic illness data, and the reasons
14 that we use one versus another. And I think the one
15 exciting next step is this blending project. We
16 heard a little bit about it today but the blending
17 project that CDC is sponsoring, I think will provide
18 for a much clearer and more comprehensive picture of
19 attribution. And so we'll look forward to that.

20 And finally I'd say that I'm surprised it
21 hasn't been said yet, but for those of us who have
22 lived and breathed FoodNet for a number of years, you

1 might recall that when FoodNet was established, that
2 attribution was the third of the objectives that was
3 set out at the beginning of FoodNet. FoodNet, as
4 Patty Griffin pointed out, necessarily had to get a
5 burden of illness estimate first, and then has done
6 very well the last three years or so with modeling
7 trends in illness across different pathogens and
8 commodities or vehicles rather. And then finally the
9 next five years or so, so roughly starting last year,
10 for the next five years, attribution is kind of the
11 key goal for FoodNet.

12 So for those who have suggested various
13 venues for further discussions of attribution, I'd
14 suggest that FoodNet is one place we need to put our
15 time and effort among others.

16 So with that, I will close this meeting and
17 let you know a couple of kind of housekeeping things.
18 One is we said there would be a transcript. There
19 will be a transcript back to us, the Agency in about
20 five days. We'll clean it up and edit it and post it
21 within a couple of more days. So about a week from
22 now, you should expect to see a transcript. So you

1 can look at that transcript, you can pass it around
2 to people who didn't make the meeting, and have them
3 react to that transcript.

4 And the other thing is, early on we talked
5 about having a second meeting on attribution that
6 would be a little bit more FSIS centered. This
7 meeting was meant to kind of survey the entire
8 landscape about attribution. We intend to have a
9 second meeting and the details of that will come out
10 later where we will focus specifically on how FSIS
11 will use or intends to use attribution data as it
12 becomes available in a risk-based inspection system.

13 So with that, I appreciate all of you who
14 traveled in from out of town, and have contributed to
15 this, and we'll look forward to further discussions
16 on this topic. Thank you.

17 (Applause.)

18 (Whereupon, at 4:30 p.m., the meeting was
19 concluded.)

20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

ATTRIBUTING ILLNESS TO FOOD

Arlington, Virginia

April 5, 2007

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

Andy Vogel, Reporter

FREE STATE REPORTING, INC.