

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ADVISORY SUBCOMMITTEE OF THE
ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE

Wednesday, February 4, 2004

8:00 a.m.

Advisors and Consultants Staff Conference Room
5630 Fishers Lane
Rockville, Maryland

PARTICIPANTS

P. Joan Chesney, M.D., Chair
Thomas H. Perez, MPH, Executive Secretary

SGE CONSULTANTS (VOTING):

Mark Hudak, M.D.
David Danford, M.D.
Richard Gorman, M.D., FAAP
Robert Nelson, M.D., Ph.D.
Susan Fuchs, M.D.
Robert Fink, M.D.
Victor Santana, M.D.
Norman Fost, M.D., MPH
Judith O'Fallon, Ph.D.
Ralph D'Agostino, Ph.D.
Mark Fogel, M.D.
Tal Geva, M.D.
Craig Sable, M.D.
Vasken Dilsizian, M.D.
Marilyn Siegel, M.D.
Phillip Moore, M.D.

MEMBERS (VOTING):

Mary Glode, M.D.
Steven Ebert, Pharm.D. (Consumer Representative)

FEDERAL EMPLOYEE (VOTING):

Mario Stylianou, Ph.D.

INDUSTRY REPRESENTATIVE:

Samuel Maldonado, M.D.

FDA:

Julie Beitz, M.D.
Sally Loewke, M.D.
Susan Cummins, M.D.
Diane Murphy, M.D.

3

C O N T E N T S

Call to Order, P. Joan Chesney, M.D.	4
Recap of Day 1, David Danford, M.D.	4
Discussion of Questions to Committee	11

1 P R O C E E D I N G S

2 Call to Order

3 DR. CHESNEY: Welcome back, everybody.
4 Dr. Loewke is going to give us an overview of the
5 questions again but, first, the folk at the FDA had
6 asked for one of us to do a recap of what we
7 covered yesterday and Dr. David Danford, our
8 resident cardiologist, has offered, under duress,
9 to do that.

10 [Laughter]

11 So, why don't you go ahead?

12 Recap of Day 1

13 DR. DANFORD: Thank you, Dr. Chesney. The
14 subcommittee had quite a full day yesterday of
15 excellent, very informative presentations on
16 pediatric cardiac imaging and the agents currently
17 in use to enhance that imaging.

18 FDA began by identifying four classes of
19 these injectables, including gadolinium agents for
20 cardiac MRI, radiopharmaceuticals for evaluation of
21 myocardial function and perfusion, microsphere
22 contrast for echocardiographic image enhancement,
23 and iodinated contrast for angiography and CT.

24 With the exception of the iodinated
25 contrast, which is labeled for angiographic use in

1 children as young as one year of age, all pediatric
2 cardiac use of these agents is currently off-label.
3 Desiring to obtain information about these agents
4 that would allow labeling for pediatric use, FDA
5 assembled speakers to address, one, what pediatric
6 subpopulations receive these agents; two, what
7 diagnostic purposes are being served; three, how
8 the imaging data affects patient management; and,
9 four, what additional labeling is needed.

10 From this, it was hoped that we could
11 determine what, if any, pediatric labeling
12 information could be extrapolated from the adult
13 experience and what research studies should be
14 designed to obtain the data required for
15 responsible pediatric labeling.

16 Dr. Geva introduced the concept that there
17 are huge numbers of patients living with congenital
18 heart disease in the United States that are
19 surviving longer, and frequently they have residual
20 anatomic and functional cardiovascular impairments
21 and may have a lifelong need for medical
22 surveillance that includes cardiac imaging.

23 We repeatedly heard from multiple
24 presenters that unenhanced standard, regular old
25 echocardiography was the imaging modality of first

1 choice for most of these patients. It accounts for
2 more procedures than MRI, cath, CT and nuclear
3 studies combined. Its shortcomings are poor
4 diagnostic quality in certain subgroups of
5 patients, like older and bigger patients, those
6 with chest wall deformities or prior cardiac
7 surgeries, those with pulmonary disease and those
8 in whom the primary focus of diagnostic interest is
9 outside the heart, for example, aortic arch,
10 pulmonary artery branches, systemic or pulmonary
11 veins. Unenhanced echo is also suboptimal when the
12 diagnostic question is one of coronary perfusion.

13 So, when standard echo fails to provide
14 the diagnostic information required for management
15 of heart disease one of the other imaging
16 modalities is selected. MRI is one of those
17 modalities, and we heard from Dr. Fogel that
18 gadolinium contrast is injected in the large
19 majority of pediatric cardiac MRI examinations.
20 MRI often provides images superior to echo for
21 aortic arch and its branches, pulmonary arteries
22 and veins and the systemic veins, and it can also
23 provide information on myocardial perfusion and
24 tissue characterization.

25 The anatomic MRI data is suitable for

1 processing into 3D reconstructions that are
2 aesthetically impressive and highly clinically
3 relevant for the guidance of surgeons and
4 interventional cardiologists as they plan
5 treatment. MRIs applications are limited by
6 artifact when objects made of certain metals are in
7 the field of interest.

8 There was support in our discussions for
9 investigations to define the appropriate pediatric
10 gadolinium dose, its safety in children with heart
11 disease and the diagnostic accuracy in pediatric
12 cardiac applications.

13 Like MRI, cardiac CT is also superior to
14 standard echo for imaging of extracardiac large
15 vessel abnormalities like aortic aneurysm, double
16 aortic arch and other vascular rings, pulmonary
17 artery sling, pulmonary branch stenosis, aortic
18 coarctation and pulmonary systemic venous
19 anomalies.

20 Nonionic iodinated contrast is used in
21 essentially all pediatric cardiac CT exams. It has
22 a long record of safe use in children and is
23 approved for angiography in patients as young as
24 one year old. The subcommittee heard concern,
25 however, about radiation exposure from CT imaging

1 and struggled with the issue of separating the
2 risks of the contrast agent from the risks of X-ray
3 exposure.

4 Like CT, cardiac catheterization with
5 angiography utilizes nonionic iodinated contrast
6 material and X-rays. It has broad diagnostic
7 applicability in a wide range of conditions,
8 including both intracardiac and extracardiac
9 anomalies, and is increasingly performed as a means
10 to treat the condition by means of balloon
11 valvuloplasty or angioplasty, stent placement, the
12 creation of holes where they are physiologically
13 advantageous and the closing of holes where they
14 are not.

15 The diagnostic information obtained with
16 angiocardiology is, therefore, often with
17 immediate application to therapeutic intervention.
18 Even in the shrinking minority of such procedures
19 now done for purely diagnostic purposes the
20 anatomic details provided angiographically often
21 guide surgical treatment.

22 One speaker suggested that there were few,
23 if any, pediatric labeling issues remaining about
24 the use of iodinated contrast material in the
25 cardiac cath lab, but another suggested that we

1 actually lack information about the true maximum
2 safe dose and in some complex cases in the cath lab
3 we enforce an artificial maximum, resulting in
4 deferred angiography and return to the cath lab for
5 second procedures that might not be in the
6 patient's interest if it were established that
7 greater volumes of contrast could safely be
8 administered in a single sitting.

9 We heard that nuclear cardiac imaging
10 differs from the modalities we have discussed so
11 far, and its focus is not on anatomy but on
12 function, blood flow and myocardial perfusion. Not
13 surprisingly, its applications in the
14 cardiomyopathic processes and abnormalities of
15 pulmonary blood flow and coronary arterial
16 perfusion were emphasized. The use of radioactive
17 pharmaceuticals to obtain this information is
18 associated with radiation exposure to the patient.

19 While there was support for studies to
20 determine the pediatric safety and appropriate
21 pediatric dosing, concerns were raised that NIH
22 guidelines for radiation exposure in pediatric
23 research subjects may be an impediment.

24 Contrast echocardiography employs
25 encapsulated air or other gas bubbles to enhance

1 endocardial edge detection by harmonic ultrasound
2 imaging. This adds information on myocardial
3 function and perfusion to the cardiac anatomic and
4 Doppler blood flow diagnostic information that is
5 generally available on standard echo.

6 The potential for pediatric application or
7 contrast echocardiography is currently largely
8 unrealized as most pediatric centers do not provide
9 routine contrast echo services. Nevertheless,
10 there was interest in obtaining pediatric safety
11 and efficacy data for these contrast agents as some
12 experts would estimate that as many as five percent
13 of all patients having pediatric echocardiography
14 would benefit from the clinical information about
15 myocardial perfusion and ventricular function that
16 contrast provides.

17 Finally, representatives of a number of
18 national professional organizations, including the
19 American Academy of Pediatrics, the American
20 Society of Echocardiography, American Society of
21 Nuclear Cardiology, Society of Nuclear Medicine and
22 a representative of one pharmaceutical company all
23 spoke in strong support of FDA's initiatives to
24 promote responsible pediatric use of these agents
25 through labeling.

1 DR. CHESNEY: Thank you very much. That
2 was excellent. We should have asked you for copies
3 last night.

4 DR. DANFORD: I wasn't ready last night.

5 DR. CHESNEY: Thank you. I did have one
6 announcement to make. Dr. Hari Sachs had asked me
7 to tell you that on March 29-30 the FDA and NIH are
8 jointly sponsoring a neonatal workshop, in
9 Baltimore, which will cover pain, pulmonary,
10 neurologic and cardiac issues, and there is more
11 information available on the web site for anybody
12 who is interested--and ethics.

13 Dr. Loewke, would you like to get us
14 started on the job at hand?

15 Discussion of Questions to the Committee

16 DR. LOEWKE: Good morning. I just wanted
17 to clarify a couple of points and maybe run through
18 an example that might help the discussion for
19 later, so bear with me here. Let me find my
20 slides.

21 [Slide]

22 I wanted to talk a little bit about
23 extrapolation. The agency has commented that when
24 there is potential to use adult efficacy data and
25 extrapolate that to the pediatric population--I

1 just wanted to clarify that we would fully intend
2 to do PK parameters, PK studies and safety studies
3 in the pediatric population. So, the question that
4 is posed to the panel today is whether or not there
5 is any case in which we can extrapolate efficacy
6 data to children so we wouldn't have to do large
7 efficacy trials in the pediatric population.

8 [Slide]

9 I don't want to beat a horse to death but
10 I wanted to just throw these back up so we can see
11 what really is approved in the pediatric
12 population, and reiterate that everything that
13 really was talked about yesterday, most of it is
14 being used off-label in the pediatric population.

15 What I really wanted to focus our
16 discussion on is what products are currently being
17 used in a large enough population that additional
18 drug labeling would make a considerable health
19 benefit and make efficacy trials feasible.

20 I just wanted to walk through an example.
21 I hope it might help. Dr. Fogel talked about
22 gadolinium yesterday and he had identified that MR
23 angiography is performed in patients with
24 congenital heart disease to look at vascular
25 anatomy. So, I am thinking that obviously there is

1 benefit in this particular area to study the
2 gadolinium product. The question is that first we
3 need to identify which patient populations. I
4 assume you are looking at things such as anomalous
5 vessels, aneurysms, coarctations, etc. that you
6 pointed out. So, what are the relevant
7 populations? We just need to identify what
8 specific groups of patients we want to look at
9 anatomy for.

10 Within that population, are all the
11 abnormalities considered equal? I don't mean from
12 a clinical standpoint but I mean from an imaging
13 standpoint. Could you do a general angiography
14 exam with gadolinium and see all of these different
15 types of abnormalities, or would you have to change
16 your procedure or modify your procedure for any
17 particular one? If that is the case, we would tend
18 to probably exclude that. We want to try to get a
19 homogeneous group of patients in which all those
20 types of anomalies or abnormalities of vasculature
21 you want to look at would be captured in a standard
22 MRI angiography. I don't know if it is possible; I
23 am just throwing it out there.

24 Then, we would need to identify whether
25 just knowing vascular abnormality--do you find that

1 clinically useful? Do we have to prove that that
2 is clinically useful? If we have to prove that,
3 how would we go about proving that as part of the
4 clinical trial?

5 Then, how do we validate the findings on
6 the MR angiography? I was thinking last night
7 maybe many of these patients go on to
8 interventional angiography. Maybe we could use the
9 findings of that procedure to confirm the
10 abnormality seen on MR. Maybe many of these
11 patients go on to surgery and we could use surgical
12 findings to confirm the abnormalities picked up by
13 MR.

14 That is just sort of an example of how we
15 are trying to work through these and the types of
16 information we are trying to get so we can try to
17 figure out where to go.

18 DR. CHESNEY: Just before you sit down,
19 could I ask the committee and our consultants
20 whether you have questions for Dr. Loewke as to
21 exactly what they are looking for? Yes, Dr.
22 D'Agostino and then Dr. Fogel.

23 DR. D'AGOSTINO: If you break down every
24 possibility we could go on forever. Are you
25 looking for some sort of general type of indication

1 so that then there is sort of a guideline or
2 response to these questions that sort of gives some
3 input on how one would go about putting the trial
4 together? I am just concerned that the
5 specifications, you know, can get very, very
6 detailed. When you were saying can you lump these
7 together, there were some people on this side of
8 the table shaking their heads, no, you can't. So,
9 does that mean that for each possible condition
10 there is another trial, or are you just looking for
11 some sort of generalities in terms of how you could
12 give guidance to industry and the FDA and some
13 sense from the advisory committee?

14 DR. LOEWKE: We are trying to capture what
15 information and what populations are large enough
16 that we can pursue efficacy trials that would give
17 benefit to the pediatric population. If we can't
18 lump patients, then we have a problem.

19 DR. D'AGOSTINO: Right.

20 DR. LOEWKE: But I needed to hear from the
21 panel what they think we can or cannot do, given
22 their experience.

23 DR. D'AGOSTINO: I went through this with
24 the FDA in terms of pain models and we ended up, in
25 terms of analgesics, laying out a tremendous number

1 of pain models, and what-have-you. In the end we
2 just said we can't fill page upon page upon page
3 but there are some general principles, and I am
4 gathering that that is where you are heading, that
5 there are specifics but there are still general
6 principles that would lead from one condition to
7 another so that we could give you decent input to
8 putting trials together.

9 DR. LOEWKE: From the talks yesterday,
10 generally a role that I was seeing is that we are
11 largely doing these studies to do anatomy. CT does
12 that; MR does that. There are reasons to do
13 perfusion studies in kids. So, knowing those
14 global areas, now I just want to get a little more
15 to what specific populations shall we be looking at
16 because why are you doing these studies? Then, how
17 we should at least design the endpoints that would
18 have clinical value to the community? Then we can
19 go from there.

20 DR. D'AGOSTINO: We do a lot these--the
21 Framingham study, and we find oftentimes that you
22 find calcium or something like that and you get all
23 upset about it. To produce a better image of that
24 that we don't know what to do with, or anything
25 like that, isn't very helpful. So, when you say is

1 it clinically useful, then does that mean that we
2 have to be able to identify in the protocol that it
3 actually has a clinically meaningful condition that
4 is tied into it? Or, is it just an enhancement of
5 the image that we may not know anything about?

6 DR. LOEWKE: Well, that is what we are
7 trying to get at.

8 DR. D'AGOSTINO: Right.

9 DR. LOEWKE: We don't just want
10 enhancement. If it doesn't mean anything and isn't
11 useful to the practicing community, then that is
12 not the endpoint--

13 DR. D'AGOSTINO: So, we need a standard
14 beyond just the image.

15 DR. LOEWKE: Right.

16 DR. D'AGOSTINO: Thank you.

17 DR. CHESNEY: I have Dr. Fogel, Dr. Geva,
18 Dr. Fink and Dr. Fost.

19 DR. FOGEL: I wanted to step back for just
20 a minute. Yesterday we got a lot of speakers
21 together and we heard all sorts of wonderful talks
22 about how we use contrast agents and how we might
23 eventually design efficacy and safety trials in
24 children to prove that that, indeed, is efficacious
25 and safe in children and adds clinical benefit and

1 value to their medical care.

2 I guess I want to step back for one
3 second. I guess this was bothering me last night
4 and this morning, that is, a number of people
5 brought up the notion that a number of these agents
6 are off-patent. We could sit here all morning and
7 talk about what wonderful trials we would design
8 and how we would do it but, from a practical
9 standpoint, how will the FDA approach, once we do
10 give recommendations--how will the FDA approach
11 getting the trials done? I mean, is there some
12 sort of carrot that you guys think you are going to
13 stick in front of the pharmaceutical industry, as
14 you did with the pediatric exclusivity rule, that
15 would be able to accomplish this? Or, is this
16 really more an academic discussion?

17 DR. CUMMINS: This is not just an academic
18 discussion. I want to reassure all of you of that.
19 I spent most of my time yesterday talking about the
20 on-patent process. There is an off-patent process
21 as well. That off-patent process is done in
22 collaboration with the National Institutes of
23 Health. It is specified in the Best
24 Pharmaceuticals for Children Act.
25 Annually--actually it has been a couple of times a

1 year, the NIH lists in the Federal Register drugs
2 that are high priority for study and the FDA
3 develops a written request for those high priority
4 drugs and issues them to industry. If industry
5 does not want to conduct the studies, then those
6 off-patent written requests are referred to the NIH
7 and the NIH then translates them into request for
8 proposals and they are awarded for study.

9 We have been doing this now for about 18
10 months. We have a process in place. A couple of
11 contracts have actually been awarded. There is a
12 coordinating center that is coordinating all these
13 studies and there is definitely a mechanism for
14 translating the recommendations that we get from
15 you all into studies for off-patent products.

16 DR. FOGEL: That is great. I must have
17 missed that yesterday. Thank you.

18 DR. CUMMINS: Well, I don't think I
19 explained it enough so rest assured.

20 DR. CHESNEY: Could we ask you--we were
21 discussing this in the van this morning--does the
22 NIH have money to do these studies, or do they go
23 in a list and maybe the top one is funded and the
24 other hundred aren't?

25 DR. CUMMINS: Without being responsible

1 for spelling out the NIH process in detail, yes,
2 they have funding to do these studies. These
3 studies are not funded through the NIH Foundation;
4 they are funded through the NIH budget.

5 DR. D. MURPHY: They have some funding,
6 yes. You know, Congress suggested that they have
7 200 million dollars for this and then appropriated
8 none. So, the issue is that the institutes are now
9 each having to find this money, and they have.
10 But, you are right, there clearly are limitations
11 and you will have to march through the priority
12 list.

13 DR. CHESNEY: Thank you, Dr. Murphy. Dr.
14 Geva, Dr. Fink, Dr. Fost and Dr. Nelson.

15 DR. GEVA: I wanted to ask a question.
16 What are the advantages or what is the incentive
17 to, let's say, get gadolinium for pediatric
18 cardiology applications approved by the FDA?

19 DR. CUMMINS: The approval would allow for
20 labeling of a product in the pediatric population
21 so it would give us data on efficacy, on dosing and
22 on safety that we could then put into the label.
23 Currently, as you all acknowledged, we don't have
24 that information in the label. The products are
25 all being used off-label.

1 DR. GEVA: Perhaps if I may, to just
2 answer some of the questions that were asked
3 earlier about the patient populations and specific
4 diagnoses--to answer your specific question, I
5 would think that what you are looking at is
6 essentially the entire population of patients with
7 congenital heart disease as far as designing these
8 studies. I don't think that it makes a lot of
9 sense, at least early in the process, to break it
10 down to very specific diagnoses.

11 I can tell you that of the patients who
12 come for an MRI examination, as Dr. Fogel mentioned
13 yesterday and that is the experience in our center
14 as well, the majority get gadolinium MRI studies.
15 So, to start breaking it down into specific
16 diagnoses you would probably be doing yourself a
17 disservice. Now, there are a number of ways by
18 which you can address the issue of efficacy and
19 that would be a fascinating academic discussion.

20 DR. CHESNEY: Thank you. Before we get
21 down to that issue, I think Dr. Fink had a question
22 and Dr. Nelson had a question.

23 DR. FINK: I guess mine actually coincides
24 with what Dr. Geva was saying. I don't really
25 think that you can measure efficacy for these

1 agents and what we really need is safety and PK/PD
2 data for their usage in children because what I
3 heard yesterday is that there are differences in
4 technology, and which agent is most effective may
5 depend on how many tesla your MRI scanner has; the
6 experience of the operator; whether the
7 cardiologist prefers MRI or CT after they have done
8 the echo. And, the effectiveness we are really
9 looking at may depend on the thoracic surgeon or
10 the skill of the interventional cardiologist and
11 these are really agents for helping to just do the
12 image. So, I think efficacy for these agents
13 really is the imaging and what we primarily want in
14 pediatrics is safety and PK/PD data.

15 DR. LOEWKE: We need to give the user
16 information about the performance of these agents,
17 and we look at the image and we compare it to a
18 standard of truth to show them how it performs to
19 what may be currently used. It gives them a sense
20 of how this performs; do they want to use this in
21 place of something else. So, there is value and it
22 is very important to look at the efficacy of these
23 products.

24 DR. FINK: I didn't hear a whole lot of
25 discussion yesterday about different agents that

1 are used or what we are comparing it to. It seemed
2 like for MR it was pretty much gadolinium and that
3 there wasn't a lot of variation in agents.

4 DR. LOEWKE: I am not sure I understand
5 your question.

6 DR. FINK: For efficacy are you looking at
7 the various efficacies of the different gadolinium
8 agents or variation between, let's say MR versus
9 CT?

10 DR. LOEWKE: No, you are looking at the
11 particular MR agent and you are comparing it to
12 what--depending on what we define as a standard of
13 truth, whether it be a comparator agent that is
14 already approved for the indication or whether it
15 is a standard of truth such as conventional
16 angiography that is currently a gold standard for
17 use in the cardiac population today. So, it gives
18 the user a sense of where this falls into their
19 arsenal, and how to use it, and how to rely on the
20 information that they get from it.

21 DR. FINK: But it would seem that that is
22 primarily machine driven. A 3 tesla coil is better
23 than a 1.5 tesla coil, or a 16 detector CT is
24 better than a 4 detector CT. It would seem like
25 the technology available has a far greater impact

1 than potentially these agents.

2 DR. LOEWKE: I think we heard yesterday
3 that it is a combination of both the agent, the
4 drug and the user too. It is a combination. We
5 try to put factors in place to accommodate for some
6 of those issues when we design a trial.

7 DR. CHESNEY: Dr. Nelson?

8 DR. NELSON: I guess I have a question and
9 a comment. I just want to make sure I know which
10 are on-patent and off-patent so as we are
11 discussing the issue I have a sense of the public
12 health impact for the feasibility of doing the
13 trials. I guess since Bristol-Myers Squibb likely
14 is the one who put in those two, I am inferring
15 from the fact that they presented on nuclear
16 imaging that, in fact, the nuclear imaging products
17 are on-patent. I am assuming most of the
18 gadolinium products, unless there is some fancy one
19 in the wings, are off-patent. The nonionic
20 contrast is probably off-patent but the fancy
21 echocardiography bubbles are likely on-patent
22 because that is new. Have I gotten that right? I
23 am just trying to understand which are on- and
24 off-patent as we are discussing these different
25 modalities.

1 DR. CUMMINS: Whether or not a drug is on
2 patent is actually more complicated than one might
3 imagine, and I would encourage you to put aside the
4 patent status of any product and focus on the
5 product. We really need your scientific advice.
6 Then we can think about how that fits into the
7 whole on-patent/off-patent process.

8 DR. NELSON: So be it. Let me then
9 continue. I am still unclear about the issue of
10 extrapolation and trying to separate out in terms
11 of properties of the agent and the resolution of
12 the imaging versus application to the population.
13 Part of this, in my mind, then translates to how
14 you would try to design a trial. For example, when
15 I listened to the echocardiography presentation and
16 looked at the slides, it sounds like that one of
17 the issues is the ability to differentiate a
18 tissue-liquid interface and the relationship
19 between the resonance of the bubbles and the
20 harmonics of the machine in relationship to the
21 harmonics of the tissue and the harmonics of the
22 liquid--so, a very complex interaction. If you
23 said that what you need to see a good image is a
24 resolution better than--I think you mentioned 1 mm
25 or 2 mm, 1-2 mm, the question then is under what

1 circumstances can you demonstrate that you have a
2 product that gives you that 1-2 mm resolution
3 assuming all other factors remain constant as far
4 as tissue harmonics and liquid harmonics.

5 So, if I was looking at a protocol and
6 asking do you need to do that in a neonate to
7 answer that question, you know, can you demonstrate
8 a 1 mm resolution, my question would then be are
9 all other factors equal apart from the properties
10 of the agent itself? That would be the question
11 and then you would have to tell me what are the
12 harmonics of the adult tissue of the heart. I
13 would then say, well okay, if it is the same use
14 adults; if it is not use kids. So, that is the
15 kind of technical question I would ask in
16 evaluating a protocol.

17 If then you said, okay, we have an agent
18 that has demonstrated 1-2 mm resolution, do we then
19 take it to a pediatric population and try and show
20 that we can find clinically useful information?
21 That then goes to the next step and I think it goes
22 to trying to sort out what is the nature of the
23 kinds of questions that we need to ask.

24 I must confess, you know, I understand an
25 image. If anybody in the audience is in art

1 history or art appreciation, I mean imaging is--but
2 it is unclear to me--if you took the gadolinium MRI
3 scans, if you see a double arch you are going to
4 see a double arch and I am not sure you need to
5 know 1 mm resolution to see a double arch. So,
6 some of the questions are going to vary depending
7 upon the modality. It sounds to me, from what I
8 saw, I confess it looked like most of the complex
9 questions are in the nuclear and the echo where
10 there are still a lot of unanswered questions,
11 whereas in the CT and the MRI it was more a
12 question of extracardiac use of it for imaging of
13 vessels that you can't see in the other modalities,
14 putting function aside.

15 So, I think, you know, as we go through
16 these--I mean, all the questions break down each
17 particular imaging modality so it is not only
18 population but it is what do we really need to see
19 via CT, via MRI versus imaging and the like. So, I
20 think separating out those questions is important
21 and that is why when I think about PK and safety
22 data I also consider the basic properties of the
23 agent, independent of whether or not you are using
24 it to find clinically useful information.

25 I don't know if that helps. You know, can

1 you extrapolate? If all you need to do is see a 1
2 mm vessel, find a 1 mm vessel in an adult
3 basically, if that is all you need to see. You
4 don't use kids until you have to use kids.

5 DR. CHESNEY: Lots of hands and lots of
6 lists here but, Dr. Siegel, do you have a specific
7 response to his comment?

8 DR. SIEGEL: To three of the comments.
9 First on the patient population for each
10 examination, I think the patient population is
11 really more than complex heart disease. There are
12 several things that have been brought up here.
13 One, the extracardiac or the vascular lesions; two,
14 valvular lesions; three, simple septal lesions;
15 and, four, complex heart disease. So, if we are
16 looking at that, and that does bring up the point
17 of, as you said, if we see a vascular lesions how
18 sophisticated do we need to get? We have seen it
19 and that is the end of the imaging. So, looking at
20 the patient population we need to sort of deal with
21 those areas, where each of these exams fits in and
22 do we need more to confirm it or do we stop at a
23 certain point.

24 I think the other confusion in my
25 mind--there are two things. There is endpoint and

1 gold standard. I think maybe we are overlapping
2 them. The endpoint would be a clinical outcome.
3 If we were doing antibiotics, you know, does the
4 patient get better? An endpoint here is a little
5 bit more difficult to define. But if you look at
6 it clinically, there are I think at least two
7 endpoints. If somebody has a widened mediastinum,
8 whether it is on a CT or MR to look at the arch,
9 and we find something and we can say what is the
10 clinical usefulness? The clinical usefulness there
11 terminates further imaging studies. We are not
12 going to have a correlate on that. So, in some
13 cases the clinical usefulness is that it terminates
14 additional imaging studies or diagnostic workup.
15 In other instances it is going to lead to further
16 evaluation or treatment. So, if we do a study and
17 you see a septal lesion, it is perhaps going to
18 lead to echo or catheterization.

19 So, to me, the two clinical endpoints, at
20 least in a simplistic world, would be termination
21 of additional studies and end of the workup or if
22 we need further workup. That is a clinical
23 outcome.

24 Gold standard then is if we wanted to
25 confirm a lesion that needed further workup, how do

1 we do it? Again, it is going to depend on the
2 modality. If I am doing CT, you know, probably
3 echo is going to have to be my god standard if I
4 wanted to do a study. If this is an incidental
5 pickup, it probably would go to echo but somebody
6 might say do an MR. I mean, that is going to be a
7 little tougher. If you design a study you could
8 probably get very specific on what you wanted to do
9 clinically after you find a lesion and it is up to
10 us perhaps to suggest or up to a clinician to
11 decide what they want. But I think we are dealing
12 with, you know, gold standard and endpoint here,
13 and gold standard might vary for each study.

14 DR. CHESNEY: Thank you. I have Dr.
15 Dilsizian, Dr. Gorman, Dr. Geva and Dr. Fogel.

16 DR. DILSIZIAN: I guess I wanted to
17 respond to Dr. Loewke's request. There are two
18 questions you asked. One is extrapolation from
19 adults to kids. I think yesterday we all said
20 adults and kids are different. But, at the same
21 time, I would like to emphasize that a lot of the
22 things we use, let's say, in nuclear medicine
23 perfusion imaging and function--the concept of flow
24 and function can be extrapolated from adults to
25 kids but what we need to do then is that at the end

1 it would be wise to test these in adults because
2 the dosimetry is much more favorable. Once you
3 have shown your efficacy and the accuracy in
4 adults, now you can, in essence, apply this in kids
5 but with the caveat that it has to be retested
6 because their vessels may be small; the organs are
7 smaller; the radiation exposure is now different.
8 But I think that it is perfectly safe or wise, at
9 least in my mind, to have it approved in adults at
10 first and then accept it in kids but then repeat it
11 in kids to see whether a difference exists or not.

12 The reason I say that is because, for
13 example in nuclear, as you know, perfusion and
14 function has been approved by the FDA. It is one
15 of the few indications that has been done. But we
16 now are extrapolating use in kids but we haven't
17 really tested in kids. So, I think it would be
18 wise, again, for echo bubbles or DTPA to do the
19 same thing. I think we have to first show efficacy
20 in adults and then apply it in kids. I know they
21 are different but, given the safety issues, I think
22 it is always wiser to test it in adults first.

23 DR. CHESNEY: Can I ask you how do you
24 define efficacy?

25 DR. DILSIZIAN: There are two approaches.

1 For perfusion imaging one would say, in adults for
2 example, I would like to detect coronary artery
3 lesions so I can angioplasty that lesion or send
4 the patient to surgery. Therefore, we use
5 traditionally coronary angiography as the gold
6 standard and say can I non-invasively predict a
7 perfusion defect which will then guide cath and
8 angioplasty or surgery?

9 We have learned, however, since then that
10 there could be perfusion defects that are not
11 necessarily anatomical. There could be
12 vasoconstriction or other physiological parameters
13 of hypertrophic cardiomyopathy where we have no
14 coronaries but the demand is different. So,
15 physiological information is not necessarily
16 equivalent to anatomical information.

17 So, the next question is how do I judge
18 those patients? I hope I made the case that in
19 those patients you look at outcome--syncope, sudden
20 cardiac arrest--to see whether identifying those
21 patients and treating them or not treating them
22 changes the outcome of that patient's symptoms.
23 So, those are the two endpoints. One is an
24 anatomical correlate as a gold standard and the
25 other one would be outcomes--syncope, sudden

1 cardiac arrest or some other adverse events.

2 DR. CHESNEY: I think Dr. Siegel mentioned
3 yesterday that accuracy is efficacy here, efficacy
4 of diagnosis. Next, Dr. Gorman and then Dr. Geva
5 and Dr. Fogel.

6 DR. GORMAN: The question of gold standard
7 is one that we didn't discuss much yesterday but
8 the clinical definition I think has already started
9 to be expressed and expounded here, which is that
10 the clinical definition of a gold standard is if
11 you stop intervening at that time and your clinical
12 predictions come true, then you made a clinical
13 diagnosis that was appropriate. If you continued
14 to intervene after you do a procedure of any of
15 these sorts and the next procedure confirms your
16 diagnosis, then it was again an efficacious
17 procedure. So, you then begin to have a moving
18 gold standard target which is that for each of
19 these many lesions that we could discuss there is a
20 series of modalities that would help diagnose them.

21 Clinically, there is a pediatric
22 population that is enriched for cardiac lesions and
23 everyone undergoes cardiac imaging and I would
24 suggest them as a potential first place to start
25 study design. Every single one of those undergoes

1 a cardiac imaging procedure I think in the United
2 States for whether they have clinical findings or
3 not. They also have potential benefit.

4 DR. CHESNEY: Dr. Geva, Dr. Fogel and Dr.
5 Sable.

6 DR. GEVA: To go back to the efficacy
7 issue, I would like to expand on Dr. Siegel's
8 comment and that is that there are at least two
9 ways of defining efficacy in the context of this
10 discussion. One is diagnostic accuracy and it
11 depends on the specific trial and the specific
12 lesion or group of lesions that are being
13 investigated. One can choose an appropriate "gold
14 standard" and that may be something that has been
15 around for decades, such as angiography which is
16 commonly accepted as the best that is currently
17 available; surgical observations; a compilation of
18 all available imaging tests--there are several ways
19 of going about putting together a reference
20 standard. Not all of these are true gold standards
21 but they have been around long enough and that is
22 what is being used most commonly so if a new agent
23 or a new technique is being proposed it is a common
24 thing to test it against those.

25 Then, a different approach to efficacy is

1 to look at an outcome, clinical outcome with the
2 use of a new diagnostic technique. To give a
3 specific example, currently all patients, let's
4 say, who are candidates for a certain surgical
5 procedure, let's say the Fontan operation,
6 routinely undergo cardiac catheterization and one
7 can design a study whereby instead of routine
8 cardiac catheterization selected patients undergo
9 non-invasive preoperative testing and that is an
10 arm in a clinical trial. Patients are randomized
11 to standard invasive testing versus non-invasive
12 testing. Then one can look at set clinical
13 outcomes--freedom from intervention, length of stay
14 and so on and so forth.

15 So, studies like that can certainly be
16 designed. Although you don't directly test the
17 diagnostic accuracy of, let's say, gadolinium MRI,
18 you are testing whether the use of gadolinium MRI
19 can be used in order to achieve equivalent clinical
20 outcome but with less cost, less risk for the
21 patients, less radiation and so on.

22 DR. CHESNEY: Dr. Fogel, Dr. Sable and Dr.
23 D'Agostino.

24 DR. FOGEL: Yes, I have a number of
25 comments. First going to the efficacy issue, I

1 just wanted to say that I strongly support the
2 notion that efficacy is a very important part of
3 this entire discussion. It goes towards the whole
4 notion of, because we have seen a potpourri of
5 diagnostic imaging modalities, obviously, if you
6 have efficacy on the various imaging modalities in
7 a given patient population or a given category of a
8 patient population you can then compare the various
9 diagnostic imaging modalities and say, well,
10 imaging modality X is more efficacious than imaging
11 modality Y in this particular instance and that
12 would actually improve patient management and
13 patient care in the sense that you would then have
14 some real data to say, well, if this patient comes
15 along with a certain likelihood the best clinical
16 pathway for one to follow would be to get imaging
17 modality X and then Y and then go on to
18 intervention Z because we have shown that X is more
19 efficacious than Y in this patient population.

20 So, I think that that would be very
21 useful. It would improve patient safety because
22 you wouldn't have to do sedation for an
23 echocardiogram and then do sedation for an MRI; you
24 could just do it once and then move on to the next
25 diagnostic imaging modality or therapy. So, I

1 think that would be very important to do and I
2 voice strong support for efficacy.

3 In terms of efficacy being a clinical
4 outcome, which I have heard a number of speakers
5 talk about, we all have to recognize that imaging
6 in an of itself, to use the clinical trial
7 terminology, is really a surrogate, and it is a
8 surrogate for something that is really true, which
9 would be holding the heart in your hand and being
10 able to see the whole heart, being able to
11 miniaturize yourself down to a teeny little person
12 and see that little coronary artery and walk
13 through it. But apart from that, it really is a
14 surrogate.

15 As such, with clinical outcome there is so
16 much that--let me step back for one second. The
17 imaging itself is just one component of a
18 multi-faceted thing that is going to happen to the
19 patient. There are all sorts of other imaging
20 modalities that might occur, as well as
21 interventions and postoperative care.

22 So, although I guess you could design
23 trials that would have imaging modalities and look
24 at the clinical outcome, I would imagine you would
25 need a lot of patients and it would be very noisy

1 because there are so many other factors that go
2 into a patient's clinical outcome other than the
3 diagnostic imaging modality. I think it would be
4 very, very difficult in terms of being able to show
5 efficacy in that particular way. Now, if you want
6 to do it against a gold standard, that would be
7 surgical observation, unfortunately, sometimes
8 pathologic observation. That is totally different.
9 But clinical outcome sounds like it would be pretty
10 noisy data.

11 Finally, the last thing I wanted to
12 mention is the extrapolation issue of Dr. Nelson.
13 I have to say that I don't really think you can
14 extrapolate from adults to kids, as we all
15 mentioned yesterday. I don't think that if you
16 have a 3 mm or 4 mm aorta in a child you can then
17 say, well, can I see a 3 mm coronary artery in an
18 adult? Well, if I can see a 3 mm coronary artery
19 in an adult, then I can certainly see a 3 mm aorta
20 in a child. That doesn't really work. There are a
21 lot of technical issues that go on in there--tissue
22 attenuation, the size of the patient, how big a
23 field of view you need to see the various
24 structures--a lot of technical things go into the
25 fact that I don't think you can really do a good

1 extrapolation from adults into children and I would
2 be very wary of doing that.

3 DR. CHESNEY: Dr. Sable and Dr.
4 D'Agostino, and then I would be very interested in
5 polling all our experts to see if they agree with
6 you. Let's do that right now, if you don't mind.
7 Would you all agree that you can't extrapolate from
8 adult data to children? I think that was one of
9 the big issues.

10 DR. MOORE: I would not agree. I would
11 say, just to focus on what I think the issue here
12 of this subcommittee, whether additional labeling
13 is required for some of these agents and labeling
14 specific for pediatrics to make sure that these
15 agents are safe and effective, I would argue a
16 little bit along Dr. Nelson's lines that gadolinium
17 and certainly iodinated contrast have a lot of data
18 that is available both in adults and children in
19 terms of their safety and efficacy in other areas
20 in the body and in other modalities which can be
21 translated over to cardiac imaging. I would argue
22 that the focus really needs to be on some of the
23 newer agents and perhaps some of the
24 radiopharmaceuticals and some of the echo contrast
25 agents in terms of the specific issues with safety

1 and efficacy.

2 Just to speak to that point, you know the
3 gold standard in many institutions nowadays for
4 some of these cardiac lesions is no longer
5 angiography; it is already considered gadolinium
6 MRI or iodinated contrast CT. So, to then go back
7 and say we are going to evaluate efficacy in these
8 agents that are already clinically being used in
9 many areas of the country as the gold standard in
10 these applications doesn't make a whole lot of
11 sense to me, and I think we can extrapolate from a
12 lot of the data that is already out there for some
13 of these very experienced agents.

14 DR. SIEGEL: Well, I am going to go the
15 opposite way.

16 DR. CHESNEY: Dr. Siegel?

17 DR. SIEGEL: I don't think we can
18 extrapolate because of the various varying factors
19 in children, which would be the smaller size; the
20 faster heart rate; the inability to hold their
21 breath; the motion. I think that is going to make
22 it harder to see or more difficult to see these
23 smaller lesions.

24 As far as just following up on another
25 comment, I do agree that safety issues have been

1 proven in the iodinated contrast media, but I am
2 not sure about the efficacy because that has really
3 not been shown in children. I think we still have
4 to prove that.

5 DR. DILSIZIAN: I actually go somewhere in
6 between.

7 [Laughter]

8 And the answer is, as I said before, yes,
9 you can extrapolate but do test again in the kids.
10 The reason I disagree with the comments is that
11 everything we have talked about, whether it is
12 gadolinium, micro bubbles or perfusion, we tested
13 in adults first and then we are testing it in kids.
14 The knowledge base came from adults. We
15 extrapolate to the kids but we haven't really
16 checked the efficacy in the kids, which has to be
17 tested. Yes, there is extrapolation but test again
18 in the kids.

19 DR. SABLE: I think, as everyone seems to
20 be agreeing, it is not a simple answer. First of
21 all, we can't come up with a blanket answer for our
22 different modalities. Just to use echo as an
23 example, I think if you divide patients by weight
24 or size above a certain age and weight there is
25 probably reasonable utility to extrapolating for a

1 given patient population. For example, a 14-year
2 old who had Kawasaki disease with a structurally
3 normal heart would be a very reasonable population
4 to study, very much based on extrapolating from
5 adult data, although I think it should be done in
6 children also. Conversely, a 3-year old who had a
7 transposition repair in whom we want to try to
8 assess regional wall motion I think has a lot more
9 unanswered questions.

10 Just to kind of cover one other thing
11 about gold standards versus other ways to design
12 tests, I think a lot of us feel that MRI or
13 contrast-enhanced CT may be a gold standard for
14 some things, but the reality is that in most adult
15 studies that I would pattern my pediatric studies
16 after they are not using gold standards because it
17 is much more difficult to design tests using a very
18 subjective standard which is widely accepted as
19 having a physician or a group of physicians look at
20 different segments of the heart and saying I can
21 see it well; a little bit; not at all, and asking
22 the question does this modality improve my ability
23 to see what I am trying to see. Most tests are
24 much more easily designed but clearly not as
25 elegant as having a gold standard such as MRI or CT

1 or the ultimate gold standard which would be
2 surgical or pathology which we rarely have.

3 DR. CHESNEY: Dr. Geva, can we extrapolate
4 from adults to children?

5 DR. GEVA: I agree with Craig that this is
6 complex. There is no blanket answer. I would say
7 with regard to the gadolinium MRI that it is age
8 related and you can extrapolate a little bit to the
9 adolescent and adult with congenital heart disease
10 perhaps. But when it comes to young children with
11 small body size the answer is no.

12 DR. CHESNEY: Dr. Loewke, does that help
13 with your question about whether we can extrapolate
14 adult to pediatric data?

15 DR. LOEWKE: Yes, it does. Thank you.

16 DR. CHESNEY: Yes, Dr. Fogel?

17 DR. FOGEL: Listening to all my colleagues
18 talk, you know, I do agree that for children who
19 are in the adolescent age group that are getting
20 close to adulthood you could potentially
21 extrapolate from adults to children. But I guess,
22 again using the terminology of surrogate, when you
23 are talking about this you are really talking about
24 using adult studies as surrogates for looking at
25 childhood efficacy in these patients. You know,

1 using surrogates has all sorts of issues and
2 problems. I mean, the Fleming and Demetz article
3 basically states that a whole lot, and I would
4 still be very, very wary about doing that.

5 But using gadolinium-enhanced MRI or CT as
6 a gold standard, if you do it already why do more
7 clinical trials? I think what we are missing in
8 the literature is rigorous, large-scale trials that
9 look at this. We have numerous reports with small
10 numbers of patients that add up to a certain
11 number--maybe add up to a mildly large number of
12 patients but we don't have large-scale, rigorous
13 clinical trials that look at it. Then, there is
14 anecdotal evidence but I think if we are going to
15 serve our patients properly we need to have the
16 data to then show them.

17 DR. CHESNEY: Dr. D'Agostino, did you have
18 a comment?

19 DR. D'AGOSTINO: I wanted to comment on
20 the trial design. I am not sure, given what I have
21 heard and what I know about these procedures, that
22 clinical outcomes are necessarily a useful way,
23 just to endorse what Mark was saying, because there
24 are so many other things that go along with the
25 actual decisions in terms of what medical practice

1 is going to do beyond the imaging.

2 The other comment is that I would have
3 thought, again from what I know and what I have
4 read, that a simple trial that you can do here is
5 basically to have the individual go through this
6 procedure with and without the imaging agent, or
7 different levels of the imaging agent, and then ask
8 the question does the higher level of the imaging
9 agent somehow or other add more information to
10 improve the clinical decision on that individual.
11 It is a simple trial and the point is how do you
12 decide on the clinical information. You know, the
13 sort of subjective way of having a panel do it, and
14 so forth, blinded or unblinded, is a matter for
15 discussion but I don't think we want to run to the
16 notion of clinical outcomes, and I do think that
17 the trial design doesn't have to be very
18 complicated and we should try to avoid that. But
19 the outcome being clinically meaningful is a real
20 trick, be it a gold standard or something else.

21 DR. CHESNEY: Dr. Sable, and then I think
22 we will go on to question number two.

23 DR. SABLE: I want to add one more comment
24 about extrapolation. I think that it is
25 important--and I am kind of biased--to

1 differentiate what I do from what all of my
2 colleagues do. All of my colleagues are already
3 using contrast in some percentage of the studies
4 and that is probably the rule throughout the
5 country. Conversely, there are almost no pediatric
6 echocardiographers using contrast and the idea of
7 us using contrast, although I am obviously an
8 advocate for it, is a much bigger leap. For us to
9 even think about using it in our clinical practice
10 needs an incredible amount of push and support.
11 So, even if you could extrapolate, if I have a
12 17-year old who comes into my lab who has the exact
13 same criteria as an adult and I want to do a
14 contrast study, it is going to be a much bigger
15 issue for me to do it. But we do have patients
16 that we would like to do in our lab. So, the
17 practicality of the issue is that even if you could
18 extrapolate, the pediatric cardiac community needs
19 additional enhancement to undertake contrast.

20 I will just kind of end by using the
21 example from Dr. Gardiner's talk yesterday. A
22 company that makes Definity and a nuclear medicine
23 agent was very adamant that we think about using
24 his agent for a population of maybe 4,000 studies a
25 year but didn't even mention using one of his other

1 agent for a population that has a million studies a
2 year. So, I think that just kind of brings home
3 the point that there is just a huge gap between
4 using contrast echo in the practical setting and
5 using the other agents.

6 DR. CHESNEY: Dr. Siegel and then Dr.
7 Santana.

8 DR. SIEGEL: Just one comment about the
9 research possibilities, I think designing these
10 trials in children is going to be difficult because
11 you can't really use different concentration doses
12 of drugs. It would be very difficult to get it
13 through an IRB and you certainly can't do it in the
14 same patients. You would have a very mixed patient
15 population.

16 One of the issues we haven't addressed is,
17 you know, do we need to get down to the level of
18 doing animal research and really getting back to
19 basics? It is the only way I think we will be able
20 to look at different doses versus enhancement and
21 different flow rates, if that is important to you,
22 versus enhancement, and I don't think we will be
23 able to do that on a pediatric population. Adults,
24 yes, probably but not in children.

25 DR. CHESNEY: Thank you. Dr. Santana and

1 then we will see if we can start--

2 DR. D'AGOSTINO: Can I make a comment?

3 DR. CHESNEY: Yes.

4 DR. D'AGOSTINO: When I was talking about
5 the trial I was saying a simple trial but I didn't
6 say it would be simple to do.

7 [Laughter]

8 It is a different matter altogether in
9 terms of can you operate it. But the design of
10 running to a clinical outcome and so forth I think
11 is a much harder to thing to do and probably has
12 tremendously difficult interpretation problems.

13 DR. SIEGEL: I think we are proving this
14 whole thing is going to be difficult to do.

15 DR. CHESNEY: Dr. Santana first and then
16 Dr. Loewke.

17 DR. SANTANA: Having experienced sitting
18 through pediatric oncology committee meetings at
19 two separate meetings where we discussed the issue
20 of extrapolation of adult oncology data to
21 pediatrics, I have learned two lessons that I think
22 may be relevant to this discussion. The first is
23 that although I think in general we agree that it
24 is not wise to extrapolate adult data directly into
25 pediatrics because there may be different disease

1 processes; there may be different issues of
2 tolerance; and ultimately there are differences in
3 functionality, PK, organ maturity, when forced to
4 think about this issue, the pediatric oncology
5 committee did come up with a few examples in which
6 we were able to fulfill the criteria that the
7 disease process was similar enough that it was not
8 ethical to do efficacy trials in children, and we
9 should put our resources in doing the type of PK
10 safety studies that are more relevant.

11 So, the challenge I think for my
12 colleagues--although we all like to say that in
13 general terms we should not extrapolate, the
14 challenge is to come up with examples in which you
15 can extrapolate and that will save us time, effort
16 and safety for our patients so that then we can do
17 those studies more wisely and capture that data
18 quickly and get more information out to consumers
19 and practitioners.

20 So, that was just a word of wisdom by
21 extrapolation. We all like to say, no, let's not
22 extrapolate; they are different. But force
23 yourself to think that there may be scenarios in
24 which you will be able to extrapolate and those are
25 the ones that I think we need to bring forward to

1 resolve some of these issues.

2 DR. CHESNEY: Dr. Loewke?

3 DR. LOEWKE: I just wanted to make a
4 comment that seeing more doesn't necessarily mean a
5 benefit. These drugs are not without risk. So,
6 obviously, the utility of the information you are
7 getting is very important and that is, again, a
8 risk-benefit assessment.

9 DR. CHESNEY: Dr. Glode and Dr. Fink, and
10 then I think we need to push on to begin question
11 two.

12 DR. GLODE: I just wanted to clarify a
13 question and I think reemphasize the comment that
14 Dr. Siegel just made. It seemed to me, or at least
15 I wanted to confirm that for some of these agents
16 not only dose but infusion rate are issues to be
17 potentially studied.

18 The comment I wanted to make is just a
19 comment very similar to what Dr. Siegel just
20 commented on in terms of if your goal was to find
21 the lowest effective dose--again, a presumption
22 that a lower dose translates to a safer dose--I
23 don't know how you are going to do that in
24 children. In animals, yes, and hope that that
25 translates or something. But it does seem very

1 problematic to say here is our standard dose X and
2 we are randomizing people to half X, and the
3 endpoint is that we couldn't read your study and it
4 gave us no valuable information. So, now we need
5 to sedate your child again and do another study.
6 So, the study design is pretty problematic in
7 trying to get to the lowest dose that gives you an
8 interpretable image.

9 DR. CHESNEY: Dr. Fink?

10 DR. FINK: It strikes me that we are
11 spending all this time talking about these agents.
12 It is wonderful. It would also be interesting to
13 see if equal time was spent looking at the
14 equipment. How much of the equipment we are
15 talking about is actually licensed for use in
16 neonates? There are huge improvements in
17 resolution at least with MR and CT that could be
18 done with better design of the equipment or
19 attachments that optimize it for the infant where
20 you get the collectors and the collimators much
21 closer to the patient.

22 My guess is that there would potentially
23 be more to gain by equipment redesign and algorithm
24 specifically designed for the neonate than by the
25 dyes, and you might be able to cut dosages far more

1 dramatically by getting manufacturers of the
2 equipment interested in looking at the problem.

3 Just out of curiosity, are any of these
4 devices actually licensed for use in premature
5 infants or neonates? Because it seems like they
6 come on the market for adults and they get used in
7 kids because that is what is available.

8 DR. LOEWKE: I don't think that CDRH is
9 here--they were here yesterday--to answer that
10 question.

11 DR. CHESNEY: Dr. Maldonado?

12 DR. MALDONADO: Just about that, actually
13 I approached Dr. Feigel, who is the Center Director
14 of Devices, recently because I was curious about
15 how we will go to approve a device for children.
16 He told me that the Center for Devices doesn't
17 approve those devices for particular populations.
18 You are right, Dr. Fink, they are approved for a
19 participant image in this case but there is no
20 reference to where these devices could be used.

21 DR. CHESNEY: Approved for human use and
22 neonates are human. So. I keep putting off
23 question two but let's have two more, Dr. Fogel and
24 Dr. Danford.

25 DR. FOGEL: Yes, I just wanted to respond

1 to the question about dosing. At least for MRI for
2 example, as I mentioned yesterday, gadolinium is an
3 adjunct to the rest of the study and not a study in
4 and of itself for the vast majority of the studies,
5 not all but for the vast majority of the studies.
6 So, if you have an MRI scan that has half a dose of
7 gadolinium versus a full dose of gadolinium versus
8 a dose and a half of gadolinium, you wouldn't
9 necessarily get uninterpretable information from
10 the entire study because you would have done the
11 non-contrast part as well and maybe gotten the
12 information but you certainly would be able to make
13 a diagnosis. Now, would it change the clinical
14 outcome? Would the surgeon not like it as much as
15 if we had done the 3D and had them take a look at
16 the 3D? Probably not but you certainly would get
17 that information.

18 If you address it along the same lines as
19 you would in a blood pressure clinical trial, it is
20 the same thing versus getting a placebo. I mean,
21 you know, you have to accept that when you enter
22 into a clinical trial there are some people who
23 will benefit and some people who won't benefit.

24 DR. CHESNEY: Dr. Danford?

25 DR. DANFORD: I am going to quibble for

1 just a minute with Dr. Loewke's remark that we
2 really need to prove that better imaging translates
3 into better outcomes. In an ideal world, of
4 course, we would prove that but, as a practitioner
5 in pediatric cardiology, I think that the better
6 you see this stuff the better job your surgeon and
7 your interventional cardiologist is going to be
8 able to do for the patient. We haven't yet reached
9 the plateau where we have such high quality imaging
10 that we absolutely know stuff. It is still shades
11 of grey and degrees of confidence and we are still
12 surprised sometimes by what our surgeons find that
13 we were not expecting.

14 And, I think the proliferation of all of
15 these imaging modalities that we have heard about
16 speaks to that. You wonder why are we developing
17 all of these things. Don't we already have either
18 an accurate diagnosis or not? I think it is more a
19 shades of grade phenomenon and the better imaging
20 we get, I think the better outcomes we are going to
21 have. I have no data to support that but I think
22 that is true.

23 DR. CHESNEY: Thank you, Dr. Danford. I
24 know Dr. Siegel has to leave a little bit early
25 this morning--oh, that is different than my

1 question two. My question two says please discuss
2 each of the following questions for cardiac CT. I
3 must have the wrong set of questions. Sorry.

4 DR. SANTANA: Dr. Chesney, may I make a
5 comment?

6 DR. CHESNEY: Dr. Santana?

7 DR. SANTANA: As I have heard all the
8 discussions yesterday and today, I am still a
9 little bit like Skip was yesterday, disoriented,
10 because we are talking in certain scenarios about
11 anatomy, in certain scenarios about perfusion, in
12 other scenarios about the tools, the machines, the
13 operators, in other scenarios about the agents.
14 So, one thing that would be very helpful to me, as
15 we go through each of the modalities, is if the
16 panel of experts, one or many, could specifically
17 tell us what is the question that is most
18 clinically relevant to them. If they were given
19 one choice to do a study with this modality and
20 this patient population, what is the burning
21 question that they want answered. Rather than, you
22 know, trying to design fifty trials, it may be
23 better if they would help us or the FDA by saying
24 this is the question that is most relevant right
25 now. Let's put our money into it; let's put our

1 effort into it; let's move forward.

2 DR. CHESNEY: Thank you. That was maybe
3 your idea yesterday. Somebody raised that as a
4 potential way of addressing this.

5 DR. D'AGOSTINO: That is what I raised
6 yesterday but was 24 hours too early I guess.

7 DR. CHESNEY: Well, you phrased it
8 differently in the van. It came out very clearly,
9 what is the burning issue for each one of our
10 experts. The FDA has put a lot of thought into
11 these questions so we want to be sure to address
12 them as well, but maybe each of you could start by
13 saying in the best of all possible worlds, this is
14 the question that I would like addressed and then I
15 will address (a) through (f). Dr. Siegel, you are
16 starting.

17 DR. SIEGEL: Okay, we will start with
18 cardiac CT. I think there were sort of three basic
19 elements discussed yesterday and it is really
20 safety, dose and efficacy. If I look at that for
21 CT, the safety has been proven. My issue is dosing
22 and actually other elements of technique.

23 I don't know the dose that will work best
24 for CT. We use doses that are based on information
25 dating back to the '60s and '70s and that is the

1 standard dose we use now. My feeling is that for
2 CT we can get away with a lower dose. I have used
3 it but we have no large series on that. So, my
4 question is what is the minimum dose that we can
5 use that will provide an effective or diagnostic
6 image?

7 The other issue for CT is what is the flow
8 rate that will also provide an effective and
9 diagnostic image? So, those are the issues I need,
10 the more technical factors to optimize a study for
11 children.

12 DR. CHESNEY: That is very valuable.
13 Maybe we could go (a) through (f) now and you can
14 just give us one-word answers and then we will move
15 on.

16 DR. SIEGEL: Okay, imaging agents further
17 study? No, I think it is a mature population and
18 the safety of these agents has been proven.

19 What population should be studied? I
20 think we addressed that before. We could divide it
21 into four populations, the vascular lesions,
22 valvular lesions, septal lesions and complex heart
23 disease.

24 I will step back for a second and say if
25 we look at the vascular lesions such as the aortic

1 lesions, the arch lesions and some of the pulmonary
2 slings we may be able to extrapolate on that.
3 There are series both in the MR literature,
4 primarily in the MR literature and some in the CT
5 literature and certainly in the adult literature
6 that CT is efficacious for the diagnosis. Those
7 are large structures; it is going to be valuable.

8 But I think the other three categories,
9 valvular lesions, septal lesions and complex heart
10 disease are patient populations that need to be
11 studied. You can further say patient population by
12 age, and I think the age we really need to look at
13 is the younger patients. For CT, those are
14 patients who are six years of age and younger, the
15 ones who are more likely not to cooperate or hold
16 their breath and are smaller in size.

17 Moving on, what disease states should be
18 studied? To me, that is the same as sort of the
19 patient population unless you have another
20 definition.

21 What endpoints? Again, endpoints, to me,
22 are going to be different from gold standard, and
23 that would be clinical outcome either leading to
24 further studies to validate the finding on CT or
25 termination of imaging studies. We could, of

1 course, talk about research but I think that will
2 come a little bit later.

3 How should a trial be designed? If I
4 think the burning concern is dose and flow rates,
5 as I mentioned, it is going to have to be animal
6 studies. We cannot do that on children. It just
7 will not be approved. I can't imagine any IRB
8 approving that. So, that would have to be an
9 animal study with varying doses. I have the
10 numbers but I don't think we have to say the exact
11 numbers. Varying flow rates and then looking at
12 enhancement, standardizing the study by automated
13 means and looking at various structures in the
14 heart and even outside the heart. That I think is
15 the type of trial that I would be designing.

16 By designing that type of trial you would
17 also be able to look at whether there is diagnostic
18 information, whether we can see these structures.
19 Hopefully, at that point we would be able to
20 translate some of this use in children. Perhaps
21 these studies could also be done in adults; they
22 are being done and we might want to look at that
23 information when those trials are completed to see
24 if we can extrapolate that information and where
25 our starting point would be.

1 How should the standard for comparison be
2 defined? Is there a gold standard? I think if we
3 were to do those studies the gold standard would be
4 cardiac cath. I think that has been the gold
5 standard for a while. That is probably what I
6 would suggest for the animal studies.

7 I think if we do a pediatric population it
8 is going to be more different because of the
9 radiation issue and we would not really be able to
10 say let's do a cardiac catheterization on
11 everybody; the risk is going to be too great. You
12 would have to redefine your gold standard and then
13 I might say let's go for echocardiography,
14 hopefully with some contrast agent by that time, to
15 minimize radiation risk. That is always going to
16 be the concern when we design any study for CT.

17 DR. CHESNEY: Thank you. Comments? Dr.
18 Nelson and Dr. D'Agostino and then maybe Dr. Loewke
19 could tell us if we have answered everything for
20 question number two or three.

21 DR. NELSON: I agree with your
22 observations about the risk and how it would be
23 hard to design a trial like this, but let me see if
24 I can ask you a question that might give a little
25 bit of an opening. Would there be a population

1 that might be going to surgery anyway where the
2 surgeon would say if you don't see this as well as
3 you would because you have done half a dose of
4 contrast I can check it operatively and it won't
5 put the patient in any different risk relative to
6 having been exposed to the risk of a lower quality
7 study because you have done a lower dose of the CT?
8 If it is possible that the gold standard would
9 still be done, in whatever instance this might be,
10 and the person doing it would not have lost
11 information that they wouldn't be able to verify
12 at that time that you might be able to make an
13 argument for putting the child at that risk. You
14 might, but it is a reach.

15 DR. SIEGEL: Right now you couldn't vary
16 the dosage. I think if I go and start saying
17 instead of using 2 mL let's do our studies with 1
18 mL I am experimenting without approval.

19 DR. NELSON: I am assuming you would
20 design a protocol that way. I am just thinking
21 that the point at which, from a risk perspective,
22 an IRB might say it is justified is if the gold
23 standard would still be done, and at the time that
24 that gold standard would be done, such as surgery,
25 the operator would not have lost information that

1 they couldn't otherwise verify, and there might be
2 a chance that they would let you take the risk of a
3 lower quality study.

4 DR. SIEGEL: In some places it might, but
5 you are absolutely right, you might say that I want
6 to do, you know, 1 mL/kg based on the adult
7 work--it has to be based on something, and that
8 would be a possibility. Then the patient--to be
9 part of the study the clinician would either have
10 to agree to do a cardiac cath because he is going
11 to do it anyhow or the patient is going to surgery.
12 I mean, I have that type of study now, a rather
13 limited study, so I think that is doable. But,
14 again, it is going to be a little more difficult to
15 get through a number of IRBs.

16 Just as a quick comment, a few years ago I
17 tried to get a similar study through by saying I
18 would like to do patients with reduced
19 milliamperage or current. We were using 200 and I
20 said let me drop it to 150, 100 and then 50, and I
21 couldn't get it approved because they were
22 concerned it wouldn't be a diagnostic study and I
23 would be repeating it. So, by dropping the
24 contrast, I think there may be the same concern
25 about that. I think we can design a study. It is

1 going to be a little bit more difficult to do given
2 the radiation. That is why I suggested the animal
3 model. But I agree with you, there would be some
4 possibility to do that.

5 DR. CHESNEY: Dr. D'Agostino?

6 DR. D'AGOSTINO: I have three comments.
7 The answer to part (a) where you said nothing needs
8 further study, I thought that was the whole purpose
9 of the question, to sort of identify which agents
10 do need further study.

11 The second and third questions I have is
12 that if we had the design that I was calling simple
13 before and one was the echo and the other was the
14 imaging agent, that gives you the two measurements
15 on the individual to make those comparisons and try
16 to get the clinical benefit, and so forth, so it
17 fits in very much I think with what I was
18 suggesting earlier.

19 The third question in terms of the dose,
20 couldn't one do some animal studies, maybe some
21 sort of Phase II type of studies getting some idea
22 of the dose, and then move on to the Phase III
23 study where you have the dose fixed and also the
24 injection rate, and so forth? I mean, a little
25 mixture of the animal studies to get some

1 information and move to something like a
2 dose-ranging study with a small number of subjects
3 to give you an idea. The study for the efficacy is
4 the fixed dose, fixed infusion echo versus the CT.

5 DR. SIEGEL: Going backwards, I think I
6 agree with you on the last point. I think I
7 mentioned that we start with an animal study with
8 the varying doses and then translate it to
9 pediatric patients using echo as the comparison of
10 the standard.

11 The contrast agents that are being used
12 for this have been studied in detail. There is a
13 lot of information out there. They are approved.
14 Their safety is known. I don't think we are going
15 to see new contrast agents. It is not the contrast
16 agents; it is really the dose and flow rate that we
17 are dealing with. These are safe. They work. We
18 don't need to develop new ones. What was your
19 second question?

20 DR. D'AGOSTINO: What I was calling a
21 simple design before, that you need two
22 measurements and you could do an echo on an
23 individual and then the imaging agent at a fixed
24 dose.

25 DR. SIEGEL: I agree. You start with the

1 CT and then we do the echo to confirm it.

2 DR. CHESNEY: Dr. Stylianou, you had a
3 comment?

4 DR. STYLIANOU: I have a comment also. As
5 far as the animal studies are concerned, even if
6 you do the animal studies you still have to test in
7 humans eventually. And, my guess is that a
8 clinical trial is probably unrealistic because of
9 the toxicity involved. One possibility would be a
10 case-control type of study. You could have two
11 groups and match them by some characteristic like
12 age, body mass index or some kind of
13 characteristic, and you can have a study doing it
14 that way.

15 DR. CHESNEY: A prospective study?

16 DR. STYLIANOU: A prospective study.

17 DR. D'AGOSTINO: What are you matching?

18 DR. STYLIANOU: At this time I am not sure
19 how to match but at least it would be a way--

20 DR. D'AGOSTINO: But what is it? People
21 with two different procedures?

22 DR. O'FALLON: Stratifying.

23 DR. STYLIANOU: Right.

24 DR. SIEGEL: But I don't see how this gets
25 us to dose or flow rate issues.

1 DR. STYLIANOU: You test it. You said the
2 doses are already safe but is it tested?

3 DR. SIEGEL: The current dose is tested
4 but we don't know how low we can go on the dose--

5 DR. STYLIANOU: Right.

6 DR. SIEGEL: --and get a diagnostic image.

7 DR. STYLIANOU: So, basically you have to
8 test a lower dose to see if it is effective.

9 DR. SIEGEL: Correct, and again it can be
10 different in a pediatric population because if you
11 get a non-diagnostic study you have irradiated a
12 patient for no reason and then you have to either
13 repeat that study or do another study. That is the
14 dilemma we are in with CT because of the ionizing
15 radiation.

16 DR. CHESNEY: Dr. Sable?

17 DR. SABLE: I think we need to be a little
18 bit careful when we are designing studies. If we
19 are going to use echo as a gold standard, which is
20 safe, simple, low cost and portable, then why do we
21 need to do another study that may be more risky? I
22 think CT has a lot of wonderful potential for many
23 things that are much better than echo--

24 DR. D'AGOSTINO: Couldn't you ask do you
25 get more information out of the CT than the echo?

1 DR. SABLE: Well, if we are asking that,
2 then we shouldn't be using echo as a gold standard.

3 DR. D'AGOSTINO: It is not a gold
4 standard, it is a comparison.

5 DR. SABLE: I think when we design our
6 studies we just need to be careful--

7 DR. D'AGOSTINO: But you can use a gold
8 standard if you have a gold standard or you can use
9 a comparison. The question is do you get some
10 information from the CT.

11 DR. SIEGEL: Right. I mean, we are not
12 saying that CT becomes the first imaging study.
13 Echo is still the first imaging study. But let's
14 say the echo is equivocal, then we are going on to
15 CT, and I am basing this on our adult population,
16 as I said, with congenital heart disease which is
17 1,200 patients and we have done a number--at least
18 300. We are doing them because of equivocal study
19 or sometimes there is a murmur and it is the first
20 diagnostic study we are doing. So, the question
21 is, you know, is it efficacious and can we use it
22 if there is an indication for it because of an
23 equivocal echo or because it is an incidental
24 pickup. If it is an incidental pickup, do we need
25 to go further? But I don't think this is a

1 first-line imaging study.

2 DR. SABLE: Sure, and I certainly agree
3 with all that. This is not a question that CT
4 doesn't add a lot to equivocal echoes; the question
5 is when we are designing studies, if we are putting
6 echo as part of your study design to validate CT,
7 then I think an IRB could look at that and say,
8 well, why are you even doing the study? I think
9 that is a different question than whether or not CT
10 adds to equivocal echoes. I think we need to be
11 careful about using circular logic.

12 DR. SIEGEL: Yes, I am agreeing with you.
13 I think if we do the echo and it is diagnostic we
14 don't go further. But we would have to identify
15 the population that would have an equivocal echo,
16 or perhaps postoperative if it is Mustard or
17 Senning procedure and there is a question of a leak
18 and you need a better definition. That is a large
19 population and perhaps the postoperative patients
20 might be another population. But we are not here
21 to really design the study in detail right now.

22 DR. CHESNEY: If I could ask FDA about a
23 procedural issue here, if we are going to have to
24 discuss (e), trial design, on each one of these we
25 are going to be here for several days. I am

1 wondering if we can't just omit (e) and--

2 DR. NELSON: And the ethics disappear--

3 DR. CHESNEY: And I am not making the
4 ethics disappear; just to get through each one of
5 the questions for everything but (e), and then we
6 address issues of trial design. Can I get a show
7 of hands from the pediatric committee? Does that
8 sound like a reasonable approach?

9 DR. O'FALLON: I think we could talk about
10 design in about three minutes and get that off the
11 board. All right? May I do that?

12 DR. CHESNEY: Wait just a minute, I have
13 to absorb that.

14 [Laughter]

15 DR. SANTANA: Joan, I agree with that. I
16 think if we frame the question that Dr. D'Agostino
17 and I have been trying to push, which is tell us
18 what is the question that is more relevant in your
19 disease and what you want to do, then we could have
20 a brief discussion about how that trial should be
21 designed rather than discussing every single
22 permutation of every possible trial to be done. I
23 think if we look at it that way we should be able
24 to help the discussion.

25 DR. CHESNEY: All right. So, before we

1 address any of these questions we will just address
2 the most important thing for you and how you would
3 like to set up the study, and then we will come
4 back to these questions. Is that what I am
5 hearing? That is not what Dr. O'Fallon is
6 suggesting, Dr. Santana. You are suggesting that
7 we ask each person to tell us the most burning
8 question and how they would design the trial.

9 DR. SANTANA: Right, like Dr. Siegel did.
10 She did that I think very appropriately. She told
11 us what her issues were if she wanted to answer
12 this question. She wanted to look at dose. She
13 wanted to look at infusion rate. She wanted to
14 look at animal models and then she was thinking how
15 she would take that into a clinical trial,
16 comparing it to another modality. If we have that
17 kind of discussion, we may be able to get some
18 comments like Skip was making about whether it was
19 ethical or whether there would be issues that would
20 have to be approached in a different way.

21 DR. CHESNEY: I just have a feeling that
22 we are going to be going on and on if we get into
23 that. All right, Dr. O'Fallon, if you can solve it
24 in three minutes we are wide open.

25 DR. O'FALLON: I have been sitting here

1 quietly, letting you guys have your say, but I
2 think that we can cut through on the issue of
3 design. I think that there is a basic strategy
4 that applies to all of them. Not all of them will
5 use every piece but there is a basic procedure that
6 has to be used to go through this and we don't need
7 to deal with it for every single modality.

8 I think that basically you have to define
9 your study goals. Are you looking at movement?
10 Are you looking at anatomy or are you looking at
11 what? Disease identification, whatever? But you
12 decide the goal. Then you have to rank in your
13 particular disease the contrast agents that are of
14 most importance to you. Then you have to define
15 what initial dose levels you want to study based on
16 adult levels and/or animal models, but, you know,
17 you have to decide what you want to do. Then you
18 do your pharmacokinetics and dose levels and
19 flow--in this case flow levels, but that would have
20 to be well defined before you went into the
21 children. But then when you had realistic levels
22 you would go ahead and perform the PK and dose
23 level which could include flow level studies.

24 Now you have to define your age groups.
25 Are you going to do it in adolescents? Are you

1 interested in neonates? What are we dealing with?
2 But you have to define that and you would have to
3 do them I think in each age group in order to
4 characterize the adverse events. You know,
5 everybody is making the assumption that they know
6 what they are, but they have to be defined to at
7 least get some preliminary data on adverse events
8 in each of these age groups that you choose to use
9 it for.

10 Of course, you have to define your success
11 endpoint which would be in terms of image quality
12 or diagnostic utility. That you would have to
13 design for each one of your things. That is what
14 you would be talking about up here.

15 I mean, there is a basic strategy for
16 doing the design in these studies and, like Dr.
17 D'Agostino was saying, it is pretty much a simple
18 deal because they really do have PK and dose level
19 information in order to provide the kind of
20 information that will be needed for labeling.

21 DR. CHESNEY: Dr. Beitz?

22 DR. BEITZ: I would say that what Dr.
23 Siegel responded with was really excellent and is
24 the kind of thing we are trying to get from the
25 panel. So, if we could go through the different

1 modalities in turn and just get some brief answers
2 and then let the panelists and other members have a
3 discussion for maybe five, ten minutes afterwards
4 and then go on to the next, that would be I think
5 plenty.

6 DR. CHESNEY: Thank you. So, we will
7 proceed to cardiac MRI. Dr. Maldonado?

8 DR. MALDONADO: I just have a quick
9 question for Dr. Siegel. I think that you seem to
10 be comfortable with the safety of these contrasts,
11 as I heard, but I still don't understand why you
12 want to go down in the doses if you feel that the
13 safety is not a problem. The reason I ask this is
14 because when we are trying to go to small molecules
15 in my field, go down in the doses, we are trying to
16 optimize safety without losing much in efficacy.
17 Since you seem to be comfortable with the safety,
18 are you trying to optimize the efficacy with going
19 down with the doses?

20 DR. SIEGEL: Well, i think as we discussed
21 yesterday, we think that less is better so it would
22 be nice to be able to use less. The safety is
23 proven. The other thing in CT is if we can lower
24 the dose and give less volume we may be able to
25 inject it faster and get better enhancement because

1 if we can increase the flow rate, then we can
2 increase our enhancement so we will get better
3 images. They will be better diagnostically; I am
4 not sure, you know, that they will be better images
5 from our quality standpoint. So, lowering the
6 volume makes it easier to get the total amount of
7 contrast in somebody who is small.

8 DR. CHESNEY: Thank you. Dr. Fogel, if
9 you would first tell us what is the most burning
10 issue for you if you had a wish-list, and then
11 address (a) through (f) and very briefly (e)?

12 DR. FOGEL: Sure. Well, in my mind, I
13 have to say there are two most burning issues. One
14 is anatomy and being able to get efficacy data and
15 safety data on anatomy with relation to dose. The
16 second burning issue, real quickly, would be
17 perfusion and viability, which I think is very
18 under-utilized in congenital heart disease in our
19 patient populations and I think gadolinium-enhanced
20 MRI could add greatly to that. So, those are in
21 general the two burning categories which I would
22 like to see addressed.

23 What imaging agents need further study?
24 Well, in MRI it is fairly easy. The vast, vast,
25 vast majority is gadolinium and nobody is using the

1 manganese or the superoxide iron particles,
2 although there are some studies being done but I
3 don't know if they are being done in cardiac very
4 much. So, for me gadolinium would be the only
5 agent.

6 What patient population should be studied?

7 Again addressing the anatomy and perfusion, for
8 anatomy I think you could probably lump all the
9 extracardiac vasculature into one patient
10 population. The key would be the size of the
11 patient whether they be neonates, infants,
12 toddlers, children, and then adolescents. There
13 was a good case made that you could probably
14 extrapolate adolescents from adult data so I am not
15 as strongly married to that as I am to neonates,
16 infants, toddlers and children. So, I think those
17 would be the patient populations.

18 In terms of the types of disease processes
19 and the patient population, it would be those
20 patients who have extracardiac anomalies like
21 coarctation, postoperative tetralogy, postoperative
22 transposition. Those would be the patient
23 populations--the postoperative Fontan patients.
24 Those would be the patient populations that I would
25 target.

1 In terms of perfusion and viability, again
2 I would say that we would have to address both the
3 size issue--neonates, infants, toddlers, children,
4 and I would put in as a patient population the
5 people who are at most risk for myocardial
6 perfusion defects and scarring of the myocardium.
7 Those, for example, are patients after a Ross
8 procedure where they get coronary manipulation;
9 patients after transposition of the great arteries;
10 after arterial switch procedures who also get
11 coronary manipulation; and those patients, although
12 rare, who have native coronary artery anomalies,
13 like anomalous left coronary, and come to medical
14 attention. All those patients would have the
15 opportunity to benefit from myocardial perfusion.

16 What endpoints should be used? As Skip
17 was alluding to, I think the gold standard would
18 probably be surgery, and for perfusion I think
19 nuclear medicine would probably be the gold
20 standard that I would use for the perfusion defects
21 because that is the most widely accepted, although
22 it still has issues with radiation and things of
23 that nature. But this is a wish-list; this isn't
24 how we would actually do it in practice.

25 In terms of dosing, presently for

1 extracardiac anomalies, for example, we usually use
2 a double dose of gadolinium so I would advocate
3 maybe just four categories; double dose, one and a
4 half, one and then half a dose of gadolinium, just
5 thinking off the top of my head how one would do
6 that and randomize people to those four dosing
7 levels.

8 I would just like to point out that with
9 MRI gadolinium is an adjunct and we will get other
10 information from the study which will help the
11 surgeon. I would also have a gold standard which
12 would be surgical observation. You know, in any
13 trial in the high risk procedures that we do,
14 unfortunately, sometimes we will have pathologic
15 observations but in either case we will have direct
16 human observation which would be the gold standard.

17 I also want to point out that when we are
18 looking at these dosages we know what the upper
19 dose is and we have gotten a lot of safety data
20 from anecdotal evidence from various studies,
21 numerous studies in the literature on upper dose of
22 gadolinium. It is the lower dose and the risk of
23 not getting a diagnostic gadolinium study rather
24 than giving too much and causing toxicity. So, I
25 think that is an important point for us to

1 remember.

2 Then, how should the standard for
3 comparison be defined? Is there a gold standard?
4 In answering the other questions, you have to
5 necessarily answer that. So.

6 DR. CHESNEY: Thank you very much. I am
7 hoping that when we get through we can come back to
8 issues of study design. Dr. Nelson?

9 DR. NELSON: Mark, for perfusion issues
10 now what tests are being done? I mean, would you
11 do a nuclear scan? DR. FOGEL: Normally what
12 we will be doing will be nuclear scans and/or
13 cardiac catheterization to see if there was any
14 coronary artery stenosis or some microcirculation
15 perfusion abnormality. So, these would have been
16 done clinically anyway and the question would be
17 whether or not MRI--because of its greater tissue
18 characterization, no ionizing radiation, being
19 non-invasive--would have a benefit so that in the
20 future you would be able to obviate the need for
21 cath and/or nuclear studies to a great degree and
22 just be able to use MRI instead.

23 DR. CHESNEY: Go ahead.

24 DR. NELSON: Just as a follow-up, I think
25 there can be some general principles outlined in

1 terms of trial design that if, in fact, the gold
2 standard would be performed anyway--I mean, I think
3 that is an important one, then you want to avoid a
4 repeat procedure. So if, in fact, the gold
5 standard would be done anyway and the risk of a
6 repeat procedure is not there because you would
7 then proceed to that gold standard without
8 repeating your MRI, I mean, I think that is the
9 general principle. So, I think you can outline
10 some general principles of a trial design that
11 would allow you to generalize across all of these
12 possible scenarios.

13 DR. CHESNEY: Dr. Ebert had his hand up,
14 then Dr. Fost and Dr. Santana.

15 DR. EBERT: Just a follow-up question, Dr.
16 Fogel, I think earlier in your comments you
17 mentioned that you could also design this is a way
18 where you would not use contrast in an MRI. That
19 could also serve as a control in some of these
20 studies.

21 DR. FOGEL: Yes, well, what we basically
22 do is we basically do the non-contrast studies
23 first, if nothing else, as a localizer to how we
24 are going to do the contrast studies. So, the
25 contrast is more of an adjunct to it rather than

1 standing on its own merit, although there are some
2 times when it does stand on its own merit but as a
3 general rule we do the non-contrast enhanced first,
4 get some information that way and add more
5 information by doing the gadolinium.

6 DR. CHESNEY: Dr. Geva, you have some
7 expertise in this area. I wondered if you wanted
8 to comment. Then I have Dr. Fost, Dr. Santana and
9 Dr. D'Agostino.

10 DR. GEVA: I just wanted to comment about
11 the endpoint and reference standard for a potential
12 study design. I would have some concern about
13 relying on surgical observations alone. Number
14 one, it does have its own limitations. Although it
15 appears on the face of it as if the surgeon opens
16 the chest and sees everything, that is far from
17 being the case. I would propose for consideration
18 as a blanket reference standard for studies on
19 diagnostic accuracy you might want to look at
20 something like summation of all available
21 diagnostic information on a patient. Some of these
22 patients will have clinically indicated cardiac
23 catheterization with extra angiography. Actually,
24 some will also have CT. Some will have surgical
25 observation. Some will have autopsy findings.

1 That information can be combined together.

2 DR. FOGEL: I just want to say that I
3 understand that surgery is not a be-all and end-all
4 in and of itself, but any gold standard has a
5 false-positive, false-negative and sensitivity and
6 specificity rate. And, I think that for most gross
7 anatomical manipulations that the surgeon is going
8 to do for a diagnosis or for an extracardiac
9 structure that they are going to be sewing
10 together, they are going to be deeply involved in
11 manipulating the tissue itself, and the success or
12 failure of the surgery depends upon how well they
13 manipulate the tissue we are trying to image
14 non-invasively and that is the best gold standard
15 that we have. I don't pretend to say that that is
16 the be-all and end-all by any means, but at the
17 moment I think it is the best we have. Comparing
18 it to echo and angiography, I don't think that they
19 are gold standards in the sense that for the things
20 we are talking about, that patients have to go
21 surgery for, it is ultimately going to be up to the
22 surgeon to be able to manipulate the tissue in such
23 a way to have a good clinical outcome for the
24 patient, and that seems like to would be the gold
25 standard we want to shoot for. Again, surgeons can

1 be wrong, heaven forbid, and it certainly is not
2 100 percent of a gold standard.

3 DR. CHESNEY: Thank you. Dr. Fost?

4 DR. FOST: A couple of questions, Dr.
5 Fogel. So, you are proposing doing children who
6 are already scheduled for a cath to look for
7 perfusion problems, and you are suggesting doing an
8 MRI before you go to cath?

9 DR. FOGEL: Well, this would be patients
10 who you would be considering who might have some
11 coronary artery issues and some coronary artery
12 problems. I mean, there would be clinical
13 justification in all patients who have coronary
14 artery manipulation that you would want to see
15 whether or not coronary artery manipulations that
16 were preformed by the surgeon, for example after a
17 Ross procedure or after an arterial switch
18 procedure, whether or not that put any of the
19 myocardium at risk. We do have some individuals
20 after those surgeries who then get coronary
21 ischemia. We see this on the EKG and other things.
22 Or, decreased myocardial performance that might
23 suggest that there may be some coronary perfusion
24 issues that we would need to address. Now, the
25 knee-jerk reaction and the first thing you would go

1 for would be a nuclear medicine study, and other
2 individuals would go for cardiac cath. Therefore,
3 as people are saying, you would have done those
4 things anyway. These would be patients who are at
5 risk who you would have done those things anyway
6 for, and now you would add on the MRI as an
7 additional test.

8 DR. FOST: So, this would be a
9 non-therapeutic MRI for this child.

10 DR. FOGEL: Correct.

11 DR. FOST: And that meets minimal risk
12 criteria.

13 DR. FOGEL: I would believe so, yes.

14 DR. FOST: Would they need separate
15 sedation for that?

16 DR. FOGEL: Well, depending on the age
17 group, they could potentially need extra sedation.
18 That is correct.

19 DR. FOST: And are there data on that
20 question in adults? That is, does MRI predict or
21 correlate with cath data for perfusion problems?

22 DR. FOGEL: There is a number of papers
23 that have been done in adults, looking at ischemic
24 heart disease and comparing it against PET, that
25 have shown that MRI was very good in that sense, in

1 actually advocating the use of MRI for that patient
2 population. Can you then say that the coronary
3 artery disease that we see in kids--can you then
4 extrapolate that from ischemic heart disease to
5 congenital heart disease coronary artery issues is
6 another question. I don't think you can but if you
7 have information in adults saying that it could
8 potentially be useful, then I think that would be a
9 good basis for you to then go ahead and move along
10 into kids.

11 DR. FOST: Might it be different in
12 infants than adults?

13 DR. FOGEL: Well, most of the time the
14 microcirculation and the actual obstruction that
15 you might find in the major coronary arteries are
16 atherosclerotic in nature, as opposed to patients
17 who have undergone cardiac-pulmonary bypass and
18 actually taking the coronary arteries and moving
19 them, and flipping them, and putting them in all
20 sorts of other geometric ways you might not
21 necessarily think that it may be as efficacious in
22 kids as it might be in adults. Plus, with kids you
23 have smaller children and you need a greater
24 resolution to tell differences in myocardial
25 perfusion. In children you might need a 1 mL or

1 sub milliliter pixel size to be able to tell issues
2 of hypoperfusion whereas in an adult it may be 1.5
3 mL, 2 mL limit of resolution with which you might
4 be able to tell perfusion defects. So, you may not
5 necessarily think that you could do it in adults
6 and not doing it in kids.

7 DR. FOST: Thank you.

8 DR. CHESNEY: Dr. Santana, D'Agostino and
9 Nelson, and we will let you go first and then we
10 will go on to the next question.

11 DR. LOEWKE: What I am hearing is you are
12 looking at probably two types of clinical trials,
13 one to get an anatomic delineation type of a claim,
14 and one for a functional perfusion type of claim.
15 I know you said this was a wish-list but I have to
16 go back to your perfusion gold standard, just to
17 throw it out there. Nuclear medicine is not
18 approved. The radiopharmaceuticals are not
19 approved for perfusion in kids. So, do you have
20 any other suggestions?

21 DR. FOGEL: Yes, but it is actually, in
22 fact, in clinical practice used all the time in
23 children. I don't know the numbers specifically
24 but the numbers were shown yesterday. It was a
25 considerable number of patients in the childhood

1 population in whom it is used. I guess outside the
2 regulatory arena it is considered the gold
3 standard. Cardiac catheterization doesn't
4 necessarily address the microcirculatory issues
5 that would be addressed with perfusion defects that
6 are shown by MRI as well as by nuclear studies.

7 So, I think if you were just going to use
8 cardiac cath alone it would be a suboptimal trial
9 and less accepted by the general community of
10 physicians than if you use the
11 radiopharmaceuticals. I know that that might
12 present a regulatory issue from your standpoint but
13 I think you might have--and I don't know if that is
14 a total brick wall that can't be broken down or if
15 it is something that can be finessed and
16 side-stepped, but I think it would be better for
17 general acceptance among the entire medical
18 community if something like radionuclide
19 pharmaceuticals were used. And, I am not a big fan
20 of radiopharmaceuticals but it is a gold standard.
21 So, that is what I would use.

22 DR. CHESNEY: That is why we are meeting.
23 Dr. Santana, Dr. D'Agostino, Dr. Nelson, and then
24 we are going on to the next question. We have just
25 had another question added so we need to get

1 moving.

2 DR. SANTANA: Can you clarify for me--I
3 should have asked this yesterday but it didn't come
4 up until today when I realized what you were
5 talking about in terms of your potential trial
6 designs--how many times within a given MRI can you
7 administer gadolinium or, because it has such a
8 half-life time, is it that you can only do it once
9 and you are over with it?

10 DR. FOGEL: Well, we can give it a couple
11 of times as long as the dose during that entire
12 session does not exceed the maximum dose which is
13 40 cc or a double dose up to 40 cc, depending on
14 the kilo body weight. We do that a number of times
15 for the perfusion abnormalities so, for example, we
16 will inject half a dose of gadolinium, get three or
17 four slices, and then wait a few minutes, inject
18 another half dose, get three or four at different
19 orientations and then do that a couple of times;
20 then wait five minutes and then do the viability
21 portion. So, you get basically two for the price
22 of one.

23 DR. SANTANA: So, you could do a study in
24 which there was an intra-patient escalation of
25 dosing once you defined what the target lesion was

1 that you were after. So, to address some of your
2 issues of dosing of gadolinium the patient could
3 have an escalation--I was thinking about anatomy
4 actually, not perfusion. Once you identified what
5 the target lesion was that you were looking at with
6 X dose, you could administer that patient a
7 different dose and see if you improved your
8 efficacy of defining that target lesion within the
9 same patient. So, the incremental risk would be
10 the risk of giving another dose certainly, and the
11 incremental risk of more time under the machine.

12 DR. FOGEL: Right, you could do that with
13 half a dose and one and a half doses, which would
14 actually add up to two doses. You can't do it with
15 the double dose because that is the maximum you can
16 give. And, you couldn't do it with one dose
17 because you couldn't give one dose and then do
18 another dose because they are the same dose. But
19 you could potentially do that with half a dose and
20 one and a half dose so you could simplify the trial
21 to a certain extent that way. That is a very good
22 point.

23 DR. CHESNEY: Dr. D'Agostino?

24 DR. D'AGOSTINO: Fortunately, Victor just
25 asked half of my question. The other half is to

1 the FDA. If you did a design that had no contrast
2 versus contrast at some fixed level, would that be
3 an acceptable design if you could show clinical
4 benefit, gold standard and so forth with none
5 versus some and get more information standard some?

6 DR. LOEWKE: You would have to be able to
7 identify that the added information had clinical
8 value.

9 DR. D'AGOSTINO: Exactly, you would have
10 to show that you do get clinical benefit but, you
11 know, could you do the MRI without any gad in it
12 and then do it at a particular level and show that
13 that particular level does, in fact, add
14 information? Because you automatically do it at no
15 level, right?

16 DR. LOEWKE: I mean, we have approached
17 things before in that fashion. That was before we
18 have moved forward with clinical utility. So, it
19 would be very important that the added information
20 really had value that you could clearly identify.

21 DR. D'AGOSTINO: Right, but it is not an
22 unacceptable design?

23 DR. LOEWKE: It is something that would
24 need further discussion.

25 DR. D'AGOSTINO: Yes, thank you.

1 DR. CHESNEY: Dr. Nelson?

2 DR. NELSON: I have just two comments to
3 follow-up on some of Norm's questions. There is
4 precedent both for local protocols as well as for
5 NIH-funded studies for limited procedural sedation
6 to be considered a minor increase for
7 non-therapeutic procedures. There is also
8 precedent for trying to minimize the risk of
9 sedation by combining the MRI being performed when
10 there is an anesthetic being provided for other
11 reasons, either operatively or that real fancy
12 picture you showed us yesterday, Phil, of the UCSF
13 slide in and out between MRI and catheterization
14 which, sounds to me like the perfect venue for this
15 kind of MRI/catheterization because you are just
16 sliding the patient back and forth a few feet, it
17 would seem.

18 DR. CHESNEY: We have been asked to
19 include Dr. Moore in all of these modalities. So,
20 that is going to be an additional question and I
21 would like, unless there is some strong feeling, to
22 move on to the cardiac ultrasound, hoping to take a
23 break at the end of that and then we can address
24 the nuclear imaging and angiography. But Dr.
25 Siegel looks insistent.

1 DR. SIEGEL: One quick comment as we go
2 through the rest of it, I just thought about one
3 other way to do research and to maybe complicate it
4 more, we forgot about simulation models. With all
5 the computer designs out there now, we would be
6 able to look at certain facets at least in CT and
7 maybe in MR using computer models. That is just a
8 thought. It just dawned at me that if I am looking
9 at dose and I am measuring density I could probably
10 do this with a computer phantom, setting up the
11 appropriate computer example. So, it is just
12 another thing to put on the table if anybody thinks
13 that is appropriate as we discuss other modalities.

14 DR. CHESNEY: Thank you. I am glad you
15 were insistent. Dr. Sable, are you ready?

16 DR. SABLE: Sure.

17 DR. CHESNEY: Please tell us what is your
18 most burning issue in the best of all possible
19 worlds, and then if you could address (a) through
20 (f), please.

21 DR. SABLE: Well, I think to me the most
22 burning issue is to try to incorporate contrast
23 echo into evaluating left ventricular function and
24 wall motion in complex patients in whom we can't
25 get good pictures with routine echo. I think that

1 is probably the most important thing and would be a
2 starting point as a basis to do other things with
3 contrast echo.

4 I think in terms of what agents need to be
5 studied in pediatrics, there is the most experience
6 in adults with Optison and Definity so I would
7 clearly focus on those two drugs, both looking at
8 the necessary dosing and safety in anything that we
9 do.

10 In terms of which populations should be
11 studied, I think there are a couple of groups that
12 I would divide them into. If you think about
13 patients with poor windows, they can be patients
14 like after cancer where you just need functional
15 studies, or patients with complex heart disease
16 that have unusually shaped ventricles or single
17 ventricles, right ventricles acting as systemic
18 ventricles such as in Mustard or stenting repairs.
19 I think another group of patients would be those
20 who would need stress echo evaluation, including
21 patients with Kawasaki disease, heart transplant
22 and patients who have undergone operations that
23 involve the left coronary artery or the arterial
24 switch procedure. I think later on we could move
25 towards doing perfusion studies, but I think the

1 first step would be to look at left ventricular
2 opacification both at rest and exercise.

3 In terms of using endpoints, I think that
4 the ideal is using a gold standard and in this case
5 probably MRI or nuclear medicine could be a gold
6 standard, but I think the more practical approach
7 to using an endpoint, and it is probably easier
8 with echo than other modalities because we can vary
9 without increasing risk sedation or time, we can
10 get pre- and post-injection images to see if there
11 is an improvement using the standard American
12 Society of Echo wall motion score. We have 22
13 segments for every patient so the power of the
14 study could be achieved relatively easily with not
15 a huge number of patients.

16 In terms of trial design, I would start
17 with a group of patients such as those with poor
18 windows that I had mentioned, and pick a drug like
19 Definity or Optison and use incremental dosing
20 based on weight to get a sense of do we get
21 improved images; how long does that image last for;
22 and comparing it to the pre- and postop and pre-
23 and post-injection state.

24 A second, probably softer endpoint would
25 be does adding contrast obviate the need to do more

1 invasive studies? We could do a randomized,
2 controlled study, although the ethics of that may
3 be challenged based on adult literature and we may
4 need to consider using historical controls.

5 That probably gets to trial design. I
6 think I would start with using an older population
7 and then gradually work my way down to smaller
8 patients. I think I will stop there and answer
9 questions.

10 DR. CHESNEY: Dr. Loewke?

11 DR. LOEWKE: I was wondering, you had
12 mentioned stress testing, are you talking about
13 exercise only? Pharm stress only? If you do
14 exercise, how low can you go age-wise and actually
15 get patients to cooperate? I will throw a monkey
16 wrench in here as well. I believe--and there is
17 somebody here I believe from Cardiorenal--that the
18 pharm stress agents aren't approved in kids.

19 DR. SABLE: Certainly, that is true and
20 there is maybe one more paper on stress echo in
21 children that is on contrast echo in children.
22 That being said, a large number of us do dobutamine
23 stress echo. It is a little bit cumbersome, as one
24 can imagine, to have somebody run and then throw
25 them onto the bed to image them. You can do a

1 little better with some of the odometers where you
2 are lying flat. Tal can probably speak to this.
3 They probably do more than we do in their lab. But
4 it is much easier to do dobutamine stress echoes
5 and that would probably be the way we go. If you
6 look at Dr. Kimball's study, I think they did 19
7 dobutamines and 2 ergometer stress echoes in their
8 study. Clearly, we could be having the same panel
9 meeting about dobutamine stress echo and come up
10 with all the same issues that I just mentioned for
11 contrast echoes. We are a little bit further along
12 in that realm.

13 In terms of age, we have done them down to
14 a year, a year and a half. Then you get into the
15 whole issue of sedation and that clearly needs to
16 be a part of any equation with echo if you are
17 doing very small children. With older children,
18 probably beyond four or five, it is not as much of
19 an issue.

20 DR. CHESNEY: I have Dr. Ebert, Dr.
21 Gorman, Dr. Moore and Dr. Geva.

22 DR. EBERT: I have a question for Dr.
23 Loewke. As we go through some of these
24 specifically, does the agency feel pretty
25 comfortable about measures of safety, either short

1 term or long term, that you are going to
2 incorporate into these, with MRI or with any of the
3 other modalities?

4 DR. LOEWKE: Obviously, we would welcome
5 any information that you can provide on how you
6 feel safety should be incorporated into trial
7 design.

8 DR. SABLE: I think with any type of
9 stress echo or contrast echo we would need to
10 monitor vital signs and pulse oximetry very
11 frequently, probably similar to some of the
12 conscious sedation protocols, for a set period of
13 time after the study is done, probably at least an
14 hour. Obviously, we would be watching for more
15 severe adverse events and reporting those.

16 DR. CHESNEY: Drs. Gorman, Moore, Geva and
17 Nelson.

18 DR. GORMAN: I was hoping not to have to
19 ask this question because I was hoping it would
20 come out, but what has been the barrier that has
21 prevented these contrast agents from being used in
22 echocardiography? Clearly, it is not fear of using
23 things off-label because pediatricians do that all
24 the time. Clearly, it is not that it hasn't been
25 used in adults. So, what has been the barrier that

1 has prevented this modality from leaping, as all
2 the other technologies have leapt, to pediatrics?

3 DR. SABLE: There are two answers to that.
4 The first one is that every patient that comes to
5 one of my colleague's labs is probably the only
6 patient they are dealing with for at least a few
7 minutes, and they are all going to have an IV and
8 they are all going to be prepared to get contrast.
9 So, it is kind of the mind set.

10 Whereas, in a busy echocardiography
11 laboratory--we do about 50 studies a day in our
12 laboratory and we usually have three or four rooms
13 going at once--we have very limited nursing. We
14 have maybe one nurse that is there to cover a
15 sedated echo which we still use oral sedation for.
16 So, putting an IV in, in the midst of a very busy
17 echo lab is much different than for some of the
18 other modalities.

19 The economics of echocardiography is that
20 in many cases we are supporting other programs. We
21 have a huge volume, a huge money-maker and it is
22 hard for me to convince my administrators who are
23 looking at the practicality of doing this that
24 instead of doing seven echoes using one sonographer
25 I want to do one echo using one sonographer, one

1 doctor and at least one or two nurses. So, I think
2 that is a huge barrier. It is inappropriate but it
3 is the reality.

4 The second barrier is what I alluded to
5 earlier, that is, the drug companies which are
6 making these agents--I have talked to them at
7 several meetings--don't seem to have much interest
8 and they seem to be scared of getting into
9 pediatrics. Clearly, the talk we heard yesterday
10 from Bristol-Myers was much more focused on the
11 nuclear agent even though the discussion included
12 both.

13 DR. GORMAN: I can understand the economic
14 argument inside a hospital, but not much
15 echocardiography actually goes on in hospitals.
16 So, why isn't some entrepreneurial private practice
17 group that does echoes doing this? I mean, if
18 there is really a need out there for this--if there
19 is really a diagnostic utility to this that
20 clinicians will use, then generally what happens is
21 people use it and either show it works or doesn't
22 work and reimbursement follows after that.

23 DR. SABLE: Yes, I think that even though
24 a large proportion of pediatric echo is done in
25 community hospitals or in smaller clinics, contrast

1 echo is going to start in tertiary care large echo
2 labs. So, I think it would primarily be hospital
3 based early on. It has been something that I have
4 been trying to push in my institution and I think
5 it is somewhat resources and just a different way
6 of thinking. A lot of the people who refer
7 patients--a lot of my colleagues who refer patients
8 for echoes are used to getting an answer in five or
9 seven minutes so it is kind of changing the mind
10 set. Hopefully, Dr. Kimball's paper will circulate
11 through the pediatric cardiology community and the
12 American Society of Echo that you heard yesterday
13 will change the mind set. I think in general, for
14 lack of a better term, it may be ignorance. Very
15 few of us even think about it.

16 DR. GORMAN: One more, is there something
17 uniquely different about these agents? Are these
18 really something that has traveled the border of
19 drugs and devices? Are these bubbles really
20 bubbles or are they particles that don't break
21 down?

22 DR. SABLE: I think they break down. I
23 think another issue is that the way they interact
24 with the echo machine--before I prepared for this
25 talk I read a couple of contrast echo books and the

1 principles behind them, and the way you use them is
2 complex and intimidating and it is really a whole
3 new science to learn. So, I think it is more that
4 than actually concern about safety or particles
5 breaking down. I mean, the potential, as I
6 presented yesterday, is so great and if it could be
7 done in a routine echo setting--I have talked to a
8 number of adult echocardiographers who do this on a
9 daily basis and there is a huge learning curve to
10 get started and a lot of us aren't willing to take
11 this learning curve. Clearly, I am here as a
12 representative of an academic echo lab that feels
13 the learning curve is certainly worth it.

14 DR. CHESNEY: Drs. Moore, Geva, Nelson and
15 then I think we will take a ten-minute break.

16 DR. MOORE: Well, I will just follow-up on
17 that. Dr. Gorman played devil's advocate for me so
18 I appreciate that. But our experience, interacting
19 quite a bit with our own echo lab which has had an
20 interest in contrast echo for years and interacts
21 very closely with the adult echo lab because we are
22 not a free-standing children's hospital, has really
23 found a relatively limited utility in the smaller
24 patients with regards to the current echo contrast
25 agents. I wouldn't say that is to say there aren't

1 applications and there may not be huge future
2 applications for it.

3 I would say in our own experience the
4 limitation has primarily been added value in terms
5 of the younger patients. We even started using
6 some of the Optison type contrast in the cath lab
7 looking at different shunts in very specific
8 indications and found over time that it really
9 wasn't giving us a lot of added value. Because of
10 that, we limited its use.

11 The second comment that I would like to
12 make would be with regard to Dr. Loewke's comment
13 on the stress imaging issues. I polled our nuclear
14 medicine people, our echo people and our CT and MR
15 people before I came here just to get a sense of
16 what their concerns were about some of these
17 imaging agents. It was very interesting in that
18 all of them mentioned the medical or drug stress
19 imaging issue in children. They had all run into
20 concerns and complications in that there really is
21 very little data in any of the areas with regards
22 to that, particularly as it pertains to congenital
23 heart disease and some of the pathologies we deal
24 with in children which are quite distinct from the
25 pathologies we deal with in adults. There is

1 extensive literature in adults. And, as much as I
2 am an advocate for translating information across
3 agents and across diseases when it is applicable, I
4 think in this particular area it is not applicable
5 in that there is extremely limited information. It
6 sort of crosses most of these imaging modalities.
7 I know MR, at least in certain institutions, is
8 starting to get active in stress MR imaging.
9 Certainly, echo and nuclear medicine have been
10 quite active, and I would say that that is an area
11 that probably should be encompassed in this
12 discussion from the FDA standpoint.

13 DR. CHESNEY: Thank you, very interesting;
14 very helpful. Dr. Geva?

15 DR. GEVA: I just want to add to a
16 previous comment that was made on a gold standard
17 for perfusion studies both with regard to MRI and
18 contrast echo. That is, in most adult ischemic
19 heart disease studies the gold standard was
20 coronary angiography and most comparisons were done
21 to that.

22 DR. CHESNEY: Dr. Nelson, and then we will
23 take a ten-minute break.

24 DR. NELSON: A change of pace for me, I
25 want to ask a question about a different patient

1 population because I think one of the advantages of
2 echo is that you can come to my patient and I don't
3 have to drag my patient to the CT scanner or MRI
4 scanner out of the ICU. The times where I ask for
5 echoes are often the point of most frustration for
6 the cardiologist because they are the ones they can
7 tell me the least about, which is extracardiac
8 anatomy, clots, looking at the superior vena cava,
9 etc., and what can you tell me about that? And
10 function when I have a patient who is going down
11 the tubes and is on six different drugs and I am
12 trying to figure out what combination works best.

13 So, I am not sure what the gold standard
14 ought to be and whether or not using contrast echo
15 to see if you can see extracardiac vessels, say,
16 prior to an MRI scan or something where you could
17 begin perhaps to develop the capability of doing
18 those kinds of studies when patients can't go to
19 get the other modalities--that is my question,
20 whether there would be some benefit in going in
21 that direction.

22 DR. SABLE: I think, clearly, contrast
23 echo could improve the cardiac border visualization
24 and help get more accurate assessment of left
25 ventricular function, and probably even regional

1 wall motion abnormalities. It could also help with
2 intracardiac thrombus. In terms of seeing
3 extracardiac vessels, I am not sure how accurate it
4 would be but I think clearly there is the potential
5 to design studies, and I would certainly include
6 patients with poor acoustic windows in the
7 intensive care unit. In terms of the gold
8 standard, especially in patients that are already
9 intubated, a very simple design that may be very
10 doable would be to compare to transesophageal
11 echocardiography because, clearly, that can be done
12 also at the bedside, and the main risk of that is
13 sedation. If the patients are already sick enough
14 that they are on ventilators, then that becomes a
15 non-issue.

16 DR. CHESNEY: Thank you, a very good
17 point. Let's take a ten-minute break and be back
18 by 10:35 to tackle nuclear imaging and angiography.
19 Thank you.

20 [Brief recess]

21 DR. CHESNEY: We want to move on to
22 questions regarding cardiac nuclear imaging and Dr.
23 Dilsizian is back so please start with your most
24 pressing, most urgent wish and need.

25 DR. DILSIZIAN: Let me emphasize that in

1 nuclear cardiac imaging we should be looking at
2 physiology, not anatomy and, therefore, the key
3 applications would be in detecting myocardial
4 perfusion. When I say perfusion, there are two
5 categories. One, we are looking at ischemia which
6 is an area of the myocardium that is hypoperfused
7 that, if you revascularize, will improve. And,
8 there are many causes of that. The other one would
9 be viability. That is, the function of the heart
10 is abnormal and now you want to say can I predict
11 whether that area of the heart that doesn't move is
12 scarred or viable. You would predict that by doing
13 something that intervenes and then the function
14 improves. So, again, physiologic studies both in
15 perfusion and viability.

16 Now, you heard that nuclear perfusion
17 imaging is actually used commonly in clinical
18 practice in the pediatric population. It has more
19 or less become standard clinical use. Then we
20 heard that it is not really an FDA approved
21 procedure. This is a very nice example of how we
22 have taken the adult data on coronary artery
23 disease, ischemia and viability and we have
24 extrapolated to the pediatric population. As I
25 said before in my talk, I know it is not scientific

1 but it has, in essence, stood the test of time of
2 clinical application. In essence, none of us here
3 and none of the pediatricians out there would be
4 ordering a test for ten or twenty years that
5 actually doesn't work. The fact that it has worked
6 is a testament that the test is accepted in
7 clinical practice. Now, should we now go back
8 retrospectively and start testing these in kids to
9 prove that it works clinically? That is one
10 question.

11 I want to bring up an issue that has come
12 up in adults, and this is the area of viability,
13 which I am quite interested in, with FDG PET. FDG
14 PET, as you know, has been used in adults to assess
15 viability and one of the questions was does it
16 impact morbidity and mortality. There are three
17 studies in the literature, all retrospective, that
18 have shown that if you show viability or
19 hibernation with FDG PET and you send them to
20 medical therapy versus those who went to surgery,
21 the mortality and morbidity was significantly
22 higher. They are all retrospective studies.

23 NIH decided to do a prospective trial
24 where they were now going to use as part of the
25 trial an imaging modality, as in FDG PET, to show

1 whether, indeed, prospectively you can
2 differentiate those who do well or not, and the
3 ethicists stood up and said you can't do that.
4 There is literature to say--retrospective--that if
5 you have hibernation or viability and you don't
6 revascularize those patients don't do well. They
7 don't seven-fold as much. So, that was an issue.

8 So, we have to come back and say it is
9 true what we have said here, that there are some
10 indications where we have used it clinically over
11 ten years, and it has even been used as a gold
12 standard. Do we really need to test and retest
13 those?

14 Now, with that introduction, let me say
15 that the agents that are currently used for
16 perfusion imaging in nuclear would be thallium-201,
17 technetium perfusion tracers and then upcoming PET
18 radiotracers, which are rubidium and ammonia. The
19 way I see this in the pediatric population is that
20 clinically, even though it can be tested again and
21 can be easily tested in, let's say, anomalous
22 coronary artery patients who are already planned to
23 go to surgery you can do a before and after
24 perfusion study and determine that, yes, there was
25 ischemia; that it improved after appropriate

1 surgery. You don't have to do many patients. You
2 can just do a certain number of patients and test
3 the concept that you have done it in adults and it
4 actually works in kids.

5 I agree that in the adult population the
6 disease is atherosclerosis and in the pediatrics it
7 is different, it is anomalous or microcirculation.
8 Therefore, the physiologic aspect of this, that is,
9 ischemia and viability, become different from
10 anatomy. Anatomy--and we have shown this in
11 adults--is not the gold standard for viability. It
12 is the metabolic component or ischemic component
13 that determines whether an area, even if it is an
14 occluded vessel, improves or not.

15 With thallium, because it redistributes
16 quite rapidly, if the kid is injected with thallium
17 and starts moving around under the camera you are
18 already changing the information. So, it is a very
19 unforgiving agent. Even though it is an elegant
20 biologic agent, it is an unforgiving agent.

21 Technetium perfusion tracers, because they
22 don't redistribute much, have the advantage of not
23 only that if the kid moves you can restart it and
24 you don't lose information because it doesn't
25 change with time as much. It also has a better

1 radiation dosimetry.

2 So, the next stage, however, would be what
3 about PET imaging? Now, PET imaging provides you
4 absolute blood flow rather than relative. In
5 essence, if you have an anomalous coronary artery
6 you are saying is it hypoperfused or not relative
7 to the other regions. We can tell with PET in
8 absolute terms whether it is so or not.

9 The other advantage or technology change,
10 and one of you brought up that question, is are we
11 really going to be only concerned with tissue
12 perfusion tracers or how about the technology? I
13 can tell you that the technology has moved from 20
14 mm resolution to 12 mm, to 10 mm resolution with
15 SPECT. You know what PET is these days? It is 4
16 mm resolution.

17 I want to go one step further to the
18 so-called micro-PET which is currently used in
19 animals. The resolution is very high. And some of
20 you who were talking about neonates and preemies,
21 perhaps--perhaps we shouldn't be using adult PET
22 machines; maybe we should be using micro-PET in
23 those patients to get the appropriate resolution.
24 Again, there are a technological advances as well
25 as perfusion tracers and, again, the PET flow

1 tracers have short half-lives and also will provide
2 you with absolute blood flow and high resolution.

3 So, I think that what we are saying here
4 is that we have currently used perfusion tracers,
5 these thallium and technetium perfusion tracers.
6 We may need further studies in a subset of patients
7 who are undergoing surgery for, let's say, coronary
8 anomalies where you show that, yes, there is a
9 defect that improves. We may need to test that and
10 get approval through the FDA.

11 But I think the next phase will be that we
12 are probably going to be going to higher
13 resolution, small kids, small hearts, absolute
14 quantitation and better resolution techniques.

15 So, I think I have addressed most of
16 these. The endpoints, as I said, is a tough one
17 because we are looking at physiology, not anatomy
18 but we can use anatomy as an initial marker for
19 gross perfusion defects that change. But I think
20 physiological endpoints that don't have anatomical
21 or structural correlates would have to be used.
22 The endpoints would have to be used as clinical
23 outcomes--just do the patients feel better? Do
24 they have less syncope? Less episodes of chest
25 pain? Some sort of surrogate marker that is

1 clinical that says that, indeed, what you showed
2 physiologically does translate to improvement in
3 some of those endpoints.

4 So, gold standard, unfortunately, is going
5 to be, again, physiological, metabolic markers.
6 The gold standards have to be non-anatomic but more
7 patient-related, symptoms-related.

8 DR. CHESNEY: Thank you. Questions for
9 Dr. Dilsizian? Dr. Nelson, do you have a question?

10 DR. NELSON: Could you just remind me of
11 the conversion between millisieverts and millirems?

12 DR. DILSIZIAN: It is the same.

13 DR. NELSON: Exactly the same?

14 DR. DILSIZIAN: Exactly the same. One
15 thing I want to bring up since you brought up the
16 dosimetry, as far as planning trials, I think that
17 again we have to make sure that even though we
18 would like to study kids, we also don't want to put
19 the kids in a special patient population and say,
20 gee, we can't study them because they are going to
21 get high radiation exposure. In essence, it is
22 almost a discrimination to the kids if we are not
23 going to do appropriate testing. So, we have to
24 start thinking of this and, as a panel, I would
25 like to challenge you to maybe think first about

1 what is an additional acceptable risk in children?
2 Is it 0.03, 0.01? Then perhaps we can start from
3 there and decide on dosimetry. There is going to
4 be additional risk but, at the same time, we don't
5 want to eliminate studying certain very important
6 metabolic tracers even beyond cardiology, in cancer
7 treatment in kids, that have high radiation
8 exposure. We need to come up with some sort of
9 decision about what is the minimal acceptable risk
10 for trial design and then subsequently do those
11 designs.

12 DR. CHESNEY: Could I bring up something
13 that may seem far out but it wasn't my suggestion
14 and, whoever made it, please raise your hand
15 because I thought it was an intriguing one. When
16 you work in the laboratory you have to wear a
17 little badge that picks up radiation exposure every
18 day. Yet, I have children for whom I have ordered
19 X-rays and I can barely hold the folder, it is so
20 heavy, and they are not wearing any kind of meter
21 or whatever--detector. They are very young. They
22 are presumably going to have lots more tests for
23 the rest of their lives. Is this an issue? Is
24 this something you have thought about? We talk
25 about dose for an individual study but there is

1 nobody summing all the studies over a period of
2 time.

3 DR. DILSIZIAN: It is a tough one because
4 I think none of the studies that are ordered in
5 pediatrics--again, it is a risk/benefit ratio.
6 Every test that you order, you think about it,
7 whether there is more benefit than risk. The other
8 thing is that there is always this background
9 radiation exposure, whether you are flying
10 frequently, and what does that number mean in
11 general? I think it is tough to do that.

12 DR. CHESNEY: Dr. Geva, Dr. Fogel and Dr.
13 Siegel.

14 DR. GEVA: I thought this was a brilliant
15 comment and it is actually a question whether this
16 should be an FDA mandated practice, that is, to
17 form a patient-specific log of cumulative radiation
18 exposure because it is true that we make those
19 decisions as far as risk/benefit on an
20 event-specific basis but there is no way to tell us
21 whether a ten-year old with tetralogy of flow with
22 pulmonary atresia who had six cardiac
23 catheterizations, five of which were interventional
24 and then a couple of hundred of chest X-rays, and
25 so on and so forth, where that patient's cumulative

1 radiation dose stands relative to potential risk.

2 DR. CHESNEY: Who made that suggestion
3 this morning? Dr. Fink? It was his idea.

4 DR. FINK: Dosimeters.

5 DR. CHESNEY: Dosimeters for children.
6 Dr. Fogel, Dr. Siegel, Dr. Dilsizian and Dr.
7 Nelson.

8 DR. FOGEL: I just wanted to ask--and it
9 is my ignorance on the nuclear imaging part, but I
10 imagine in children you would also have to sedate
11 them to hold them still for the cameras to pick it
12 up?

13 DR. DILSIZIAN: No, what we do is that
14 every kid who is being imaged has to have a parent
15 be there in the room with them, and they usually
16 read a book or tell a story, and that is all. We
17 don't really sedate them.

18 DR. FOGEL: So, even like a two-year old
19 or a three-year old? What do you do for that?

20 DR. DILSIZIAN: Again, if you need to,
21 yes, but most commonly it is not. If you need to,
22 we do get an anesthesiologist to sedate them.

23 DR. FOGEL: So, most commonly these are
24 done in your institution in patients who are
25 older--

1 DR. DILSIZIAN: Yes.

2 DR. FOGEL: --who don't require sedation?

3 DR. DILSIZIAN: yes.

4 DR. FOGEL: Okay.

5 DR. CHESNEY: Dr. Siegel, Dr. Dilsizian
6 and Dr. Nelson.

7 DR. SIEGEL: Just back to the radiation
8 dose, I think the comment that we have heard is
9 that it is a risk/benefit and we would hope that
10 they wouldn't be ordered unless they are absolutely
11 necessary. On the other hand, it is also our job
12 to screen these. So, if a request comes down and I
13 think there is a study that can be done, a
14 substitute that has a lower radiation dose, I will
15 suggest that. I think it goes both ways. The
16 patients that we are examining that have all these
17 radiographs--we have to assume that they are
18 necessary when they are ordered and we can't
19 substitute radiographs but for some of these other
20 modalities that have radiation we may be able to
21 adjust that factor by substituting another
22 examination.

23 As far as radiation badges, that would be
24 very difficult to do because we wouldn't know
25 whether we are monitoring external surface or

1 internal dose. So, although it would be nice and,
2 in fact, if you wanted to calculate the dose you
3 could. It is quite doable. The question is what
4 do we do with that information? I don't think you
5 can predict cancer risk for any one patient, no
6 matter what the radiation dose is. I think all we
7 can do is minimize the dose that any one patient
8 receives.

9 DR. CHESNEY: Dr. Dilsizian?

10 DR. DILSIZIAN: That is exactly what I was
11 going to say. You know, before you collect this
12 data you need to know what to do with that data. I
13 would probably suggest that let's go back and look
14 at all the nuclear medicine technologists that have
15 collected the data and, you know, what have we done
16 with that data? It seems like it is pretty safe
17 but, again, you have to use the safety of clinical
18 need versus what am I going to do with this data
19 that I am accumulating? I think before we go ahead
20 and recommend that we should think about that.

21 DR. CHESNEY: Dr. Nelson?

22 DR. NELSON: I have advocated that there
23 needs to be better guidance at the federal level,
24 not so much from the FDA but from OHRP, about
25 radiation risk with respect to what IRBs can do for

1 that. There is precedent for some level of
2 radiation to be considered minimal risk, and there
3 is precedent for another level of radiation to be
4 considered a minor increase and, therefore,
5 justified under non-therapeutic, non-beneficial
6 conditions. But there is no guidance about what
7 that number ought to be.

8 The other complex thing that I think Phil
9 mentioned yesterday is cumulative dosing exposure.
10 It is easy enough to say at a single level whether
11 it is 100 mg or mSv. I gather that tissue dosing
12 and what you are talking about is often less than
13 that, and that would fit within acceptable
14 boundaries I think as a single dose under either of
15 those two conditions. But then, when you add in
16 all the other ones the key idea that needs to be
17 considered is incremental risk. I mean, if the
18 child is having, say, 3 rem exposure over 6 months
19 for clinical indications, what is the additional
20 risk from another 100 mg from a nuclear study
21 potentially? I mean, that is unanswerable but,
22 strictly speaking, from a research perspective what
23 should be evaluated is that incremental risk, not
24 the total risk of the 3.1.

25 DR. CHESNEY: Dr. Fogel, Dr. Gorman and

1 Dr. Fink.

2 DR. FOGEL: In terms of the radiation, I
3 guess I understand that for each individual we
4 can't really predict who will have that risk and
5 who won't. But, you know, in terms of science we
6 need to collect the data and we need to be able to
7 actually come up with a risk stratification in the
8 general population and probabilities of what is
9 going to happen given a certain cumulative dose.
10 We have to start somewhere, and I think that the
11 first step might be looking at the literature and,
12 if it is possible, to calculate the dosage from
13 some of the existing data that is out there, and
14 then maybe see if we can track those patients down
15 and follow-up and look at their cancer rate. That
16 might be one way of doing it.

17 The other way of doing it is to be
18 prospective about it and to start collecting data.
19 We just have to start somewhere. There were a
20 couple of papers that were shown yesterday by Dr.
21 Geva about the notion that increased exposure to
22 radiation could potentially cause an increased
23 cancer rate in kids in the future. I mean, I think
24 it behooves us to at least start somewhere.

25 DR. CHESNEY: Just an editorial comment,

1 for those of us who think you all are magic and can
2 figure something out examining the patient, we are
3 quick to send them down because you do such
4 incredible things now. But we forget--we forget
5 because we don't see the radiation; we are not in
6 your position; we are not physicists and we
7 forget--at least I do, I can't speak for everybody
8 but I think in general we do and, therefore, the
9 parents and patients are not always thinking. They
10 understand the risk/benefit and we do too and I
11 think we try to send them only for the right
12 things, but it just occurs to me that, you know, we
13 have got so far from World War II and nuclear
14 energy that we just don't retain that concept, if
15 that makes sense. Dr. Gorman and Dr. Fink.

16 DR. GORMAN: To get off the radiation
17 issue for just a moment, yesterday you were talking
18 about your favorite research subject of
19 myocardiology, you were saying you could actually
20 predict to some degree the number of deaths over a
21 decade. When you have predicted, you elegantly
22 showed us that you look at function on cellular
23 levels. Looking at gold standards, is there some
24 macrophysiology that we can look at for this
25 micropathology? So, can we look at LV function to

1 show that your perfusion studies as they change
2 actually do predict what they say they predict?
3 Or, since you are able to predict death, can you
4 predict things that are a little bit less invasive?

5 DR. DILSIZIAN: Good question.
6 Unfortunately, perfusion and function can't be
7 dissociated and that is where hibernation comes in.
8 In essence, you can have a relatively narrowed
9 vessel and what the heart does is try to compensate
10 by reduced blood flow by reducing function, in
11 essence, until someone decides to revascularize
12 you. That state of narrowed vessel and not moving
13 can also be scarred tissue. So, function and
14 anatomy can't tell me whether that area is scarred
15 or not and the only way we have been able to do
16 this recently is to look at flow independent
17 measures, i.e., FDG metabolism or, in the case of
18 thallium, the redistribution phase which tells you
19 about cellular viability that is flow independent.

20 So, it is not easy. So, now you are
21 saying to me how do I test FDG viability or
22 thallium redistribution? The way we have done that
23 is we have said if you see the signal of high FDG
24 uptake or thallium redistribution and send those
25 patients to surgery you will see recovery of

1 function in that area. Therefore, it is a good
2 signal. On the other hand, if the FDG metabolism
3 is absent or thallium is absent and you
4 revascularize, that area will not improve in
5 function. You, therefore, use this dichotomous
6 functional recovery as a way of looking at the gold
7 standard, not anatomy.

8 DR. CHESNEY: Dr. Fink, and then we will
9 move on to Dr. Moore and his set of questions.

10 DR. FINK: My comments are very similar to
11 yours. The concern about radiation risk, thinking
12 about the institution I work with, every preemie
13 who doesn't feed well now gets hearing and speech
14 orders or modified barium swallow, which is 2-4
15 minutes of fluoroscopy and probably worth several
16 hundred chest X-rays in terms of radiation
17 exposure. What I wonder about, when I order an
18 antibiotic, you know, the computer pops up with
19 what the price is. What would happen if
20 radiologists just started routinely putting the
21 radiation exposure either in the report or in the
22 order sheet and make physicians more cognizant of
23 it? Residents today look at all the advantages of
24 radiography; they don't look at the risks. And, it
25 would seem like a fairly simple thing to maybe make

1 physicians more sensitive by just giving routine
2 feedback on the radiation even for just individual
3 procedures, not going into the issue of
4 accumulation and everything else.

5 DR. CHESNEY: Dr. Siegel?

6 DR. SIEGEL: It is a good comment, but if
7 you are doing that, then you have to also include
8 the risk of the alternatives because if barium
9 swallow is performed for a reason to look at
10 function, then perhaps the alternative might be a
11 direct endoscopy with the sedation and the risk of
12 the endoscopy. So, I think if you are offering
13 that, it has to be really what the alternative is
14 as well and its risk or the alternatives and their
15 risk.

16 DR. LOEWKE: Dr. Chesney?

17 DR. CHESNEY: Dr. Loewke?

18 DR. LOEWKE: I have one question for Dr.
19 Dilsizian. With regards to stress testing, can you
20 tell me are you using exercise versus pharm stress,
21 and if you are using exercise how young can you
22 actually exercise a patient on a treadmill and
23 still get good quality image results?

24 DR. DILSIZIAN: A great question. I
25 always prefer to do stress testing, adults or kids,

1 because in essence what you do on the treadmill
2 reflects much more what happens to that patient on
3 a daily basis physiologically, whether it is
4 climbing up the stairs or playing basketball. So,
5 you are looking not only to reproduce the symptoms
6 but also to look at arrhythmia of the cardiogram
7 and also how far they go as a prognostic marker.
8 So, treadmill is always preferred. In young kids,
9 as you know, especially in teenagers, we have no
10 problem. Perhaps the youngest one for exercise is
11 about six years old. You know, he is actually
12 excited to be on the treadmill and to run. But
13 younger than that, obviously, is a problem.
14 Fortunately, we don't do a lot of very young kids
15 looking at ischemia on a treadmill. In those
16 cases, again, a simple flow agent with a
17 pharmacologic stress property would be the way to
18 go.

19 DR. SANTANA: Joan, may I make one final
20 comment?

21 DR. CHESNEY: Yes, Dr. Santana.

22 DR. SANTANA: I heard a little bit of
23 discussion about setting up systems for tracking
24 patients, tracking radiation exposure. That is
25 always easier said than done in practicality. We

1 have been having a pilot project at our institution
2 where we now have an electronic system and some of
3 us were able to convince the ITS group to give us a
4 tool so that when a test was ordered we would have
5 to differentiate the protocol the patient was on to
6 at least give us a tool that we could begin to
7 track under what research studies tests were being
8 done. And that is all I am going to say. It is
9 very difficult--it is very difficult. The data is
10 fraught with a lot of problems. So, you may think
11 idealistically that these ideas are good but unless
12 you really have adequate tools to do it right you
13 wind up with really bad data that doesn't help
14 anybody; it just confuses the problem more.

15 DR. CHESNEY: Thank you. Dr. Moore, could
16 you tell us what your wish list highest priority
17 would be and then go through (a) through (f) for
18 angiography?

19 DR. MOORE: Well, this can be relatively
20 short I think. One, the iodinated contrast agents
21 are already approved for application in pediatric
22 angiography so I don't know that that applies
23 terribly.

24 In terms of research studies in that area,
25 I would concur with the rest of the panel in terms

1 that limited dose or smallest dose that is
2 effective has not really been defined. But, as has
3 been mentioned in some of the other modalities,
4 that is as much related to technique, flow rates
5 and other issues as agent-specific issues. So, I
6 am not so sure that the agent itself is the major
7 factor in that setting.

8 In terms of populations and disease
9 states, again, the ones that have been looked at
10 the least have been in infants and in premature
11 infants. Although in angiography there has been a
12 reasonable amount of retrospective studies done
13 looking at infants down to even 1.5 kg or less in
14 terms of complications in angiography. So, I am
15 not so sure that that is critical.

16 I guess my comment would be more to the
17 future of angiography and certainly the future of
18 catheterization, which I think is going to be away
19 from radiation and away from some of the current
20 agents and some of the newer imaging modalities
21 which you heard about today or the last two days
22 and some of the newer agents that are both being
23 developed and will be developed in the future.

24 I guess my plea for the committee and for
25 the FDA in particular is that it would be extremely

1 helpful as new agents are developed for these
2 modalities, including angiography, that pediatrics,
3 particularly the application safety and efficacy
4 data in young children is included with the initial
5 drug application because, as you noted today, the
6 history of this is that they get studied and
7 approved in adults and then they get used in
8 children for many, many years before there is much
9 impetus to look at them critically in children and
10 it sort of sorts itself out. The problem is that,
11 you know, while it sorts itself out an awful lot of
12 patients have the potential for harm and a lot of
13 patients get studies that they shouldn't get and
14 don't get any benefit from. So, my strong plea
15 would be for those agents that have been used
16 off-label but have relatively extensive
17 information, it is not clear to me, the additional
18 benefit. But certainly for the newer agents and
19 for future agents the focus on pediatrics as part
20 of the initial application and study procedure I
21 would think would be a huge benefit both to current
22 and future kids with congenital heart disease.

23 DR. CHESNEY: Dr. Cummins?

24 DR. CUMMINS: That is what PREA actually
25 allows for, for including kids in studies for new

1 drugs.

2 DR. CHESNEY: Dr. Nelson?

3 DR. NELSON: I assume it is worded as it
4 was in the original pediatric rule that it is
5 restricted to the indication that the sponsor is
6 looking for. If that is the case, then I would
7 encourage you to take a page out of the oncology
8 book and define indication by image and not by
9 condition. If you define it by condition you are
10 not going to be able to argue that if they are
11 looking for approval that you can apply an adult
12 indication based on coronary anatomy to a pediatric
13 indication based on congenital heart disease. So,
14 if the indication is defined at the image level you
15 are able to apply the rule because then you have an
16 indication that is the same.

17 So, my understanding is that PREA still
18 restricts the FDA to requiring studies if it is
19 within the confines of the indication that the
20 sponsor is going after as opposed to exclusivity.
21 That is where this discussion of extrapolation has
22 some implications for that. If you are liberal,
23 then you can require them to do that if you define
24 it at image level as opposed to anatomy level.

25 DR. CHESNEY: Other comments or questions

1 for Dr. Moore?

2 [No response]

3 Last question, please discuss the
4 relevance of new developments in the field of adult
5 cardiac imaging that may have potential application
6 to the pediatric population. Can we anticipate the
7 need for future drug development for pediatric
8 cardiac imaging?

9 I think almost everybody addressed those
10 issues in their responses. Do we need more
11 definition, or do we want to go around and ask each
12 one of them for one area, or do we have enough
13 information on that?

14 DR. LOEWKE: I think if anybody has any
15 final comments, we would be glad to hear them.

16 DR. CHESNEY: Dr. Fink?

17 DR. FINK: An area we are seeing at our
18 institution, and I don't think it is unique to
19 Ohio, is the whole issue of cardiac function in the
20 markedly obese adolescent. I don't quite know what
21 the utility is of looking for cardiac lesions or
22 cardiac artery disease but it clearly is a major
23 clinical problem.

24 DR. SABLE: In terms of contrast echo,
25 that would be a relatively good population to study

1 because it would probably be one of the easiest to
2 extrapolate adult data or at least study design
3 from because they are patients with theoretically
4 normal structural hearts. As everyone knows, the
5 percentage of adolescents with obesity is growing
6 and I think the best way to do this, as was alluded
7 to earlier by Dr. Moore, is that we probably could
8 do some collaboration with some of our adult
9 cardiology colleagues to get more powerful numbers.

10 DR. CHESNEY: That is an excellent point.
11 Just last week when I was on service we had an 85
12 kg 10-year old come in, who ended up on the
13 ventilator and we ended up doing a cath and looking
14 for pulmonary hypertension, which he had along with
15 his congenital heart disease. So, I think this is
16 a growing area. Dr. O'Fallon?

17 DR. O'FALLON: But after the
18 considerations on Monday, I would think that one of
19 the things that you shouldn't do is just assume
20 they are going to be like the adults because their
21 immature metabolisms may be very different and you
22 could still come up with some surprises in terms of
23 the adverse event patterns.

24 DR. SABLE: Sure. I would agree we should
25 study them as a separate group but they are closer

1 to adults. I think when we talk about
2 extrapolation I wouldn't propose we just use adult
3 data but I would think that it would be reasonable.
4 The studies could be more similar than maybe doing
5 one- and two-year olds with complex heart disease.

6 DR. CHESNEY: Dr. Fink?

7 DR. FINK: The other area that I think is
8 not probably solved in adulthood but is becoming of
9 significant pediatric importance, at least to
10 pulmonologists, is the issue of sequential
11 measurement of pulmonary hypertension in childhood
12 lung disease.

13 DR. CHESNEY: Dr. Siegel?

14 DR. SIEGEL: Measurement of pulmonary
15 hypertension, are we talking about functional or
16 quantitative? Both? Pulmonary vascular--

17 DR. FINK: Pulmonary
18 vascular--non-invasive or less invasive
19 measurements of pulmonary vascular resistance.

20 DR. SIEGEL: At least from the CT view
21 point, as I mentioned yesterday, I think what we
22 are going to be able to look at now is with
23 functional and qualitative data. The vessels we
24 can already do with CT angiography and give you
25 something about the size, but carrying it a step

1 further, I think we could certainly look at the
2 perfusion at smaller levels with the use of this
3 subtraction image and then the color mapping and
4 the histogram mapping so that we could look at
5 perfusion at different areas, which might give you
6 some information, but I am not sure how to relate
7 that actually to resistance per se, except to look
8 at the vessels. We can take it to looking at
9 perfusion in different areas of the lung, if that
10 is helpful from a CT standpoint. They are doing
11 some of that in adults. But looking at vessels per
12 se, the only thing I could think of with CT would
13 be CT angiography. So, I am interested to know
14 what you are looking for particularly when you say
15 resistance.

16 DR. FINK: Well, it is clear with
17 pediatric lung disease that pulmonary hypertension
18 has its onset years before it becomes clinically
19 evident and for treatment of a variety of
20 disorders, potentially the most common being severe
21 asthma, it would be nice to track that because we
22 are learning a lot about airway remodeling in
23 asthma. There is clearly vascular remodeling that
24 occurs and is probably more important, and we know
25 nothing about it.

1 DR. SIEGEL: Right, so we are starting to
2 do studies for that for CT angiography, looking at
3 the larger and the smaller vessels and at the same
4 time we are looking at the effects on the airway
5 and lung function.

6 DR. CHESNEY: Interesting. Drs. Fogel,
7 Sable and Moore.

8 DR. FOGEL: I wanted to address the two
9 patient populations you bring up in terms of the
10 obese pediatric patient. At least what MRI can
11 offer in that realm is a combination of both
12 contrast and non-contrast enhanced studies. In
13 terms of the non-contrast enhanced studies, we can
14 measure left ventricular mass and volume. From a
15 contrast standpoint, if you had any inkling that
16 those patients may have decreased myocardial
17 perfusion we could potentially do that with a
18 contrast enhanced methodology.

19 In terms of your pulmonary hypertension
20 patients, what you are asking for is the "holy
21 grail" of non-invasive imaging. That is what we
22 have all wanted to do, to be able to non-invasively
23 measure pressures and flow. We could in theory do
24 that with using velocity mapping to get tricuspid
25 regurgitation jets and then make an estimate for

1 pulmonary pressures then, since we know flows too
2 by MRI, we could essentially calculate out what the
3 resistance would be. But in practice, anybody who
4 has a pulmonary hypertension estimate by either
5 echo or MR or any one of the other studies, they
6 always go to cardiac cath for a diagnostic
7 determination as a good, definitive gold standard
8 to directly measure the pressure in the pulmonary
9 artery and then measure flows and get pulmonary
10 vascular resistance. When that happens people also
11 do drug studies to be able to see if there are any
12 drugs that might be able to decrease pulmonary
13 vascular resistance whether that be oxygen, nitric
14 oxide, etc., etc., or some other drugs. But the
15 pulmonary hypertension one would be pretty
16 difficult in non-invasive. I am not saying we
17 shouldn't start trying. I am just saying that it
18 would be difficult to do.

19 DR. CHESNEY: "The holy grail" of imaging!
20 Drs. Sable, Moore and Gorman.

21 DR. SABLE: I would certainly agree that
22 pulmonary hypertension is a very complex issue.
23 Ideally, you would study it in a state that is
24 similar to what the patient is doing normally.
25 That is where echo has significant advantages. I

1 think with some of the newer techniques, including
2 contrast echo, myocardial performance indices and
3 tissue Doppler we can get incremental increase and
4 in a number of patients we can assess pulmonary
5 blood pressure. As Dr. Fogel alluded to, the
6 difference between pulmonary blood pressure, which
7 is important, and pulmonary resistance, which is a
8 much more complex number which uses pressure and
9 flow and is really what we want to know about, it
10 is much harder to get resistance than the actual
11 pressure. In our patients that we treat with
12 prostacyclin for primary pulmonary hypertension, we
13 can get dramatically better in terms of exercise
14 tolerance, quality of life and, yet, their PA
15 pressure and RV function won't change at all. So,
16 it is a very complex issue. I think we can help a
17 little bit with contrast echo but it is going to be
18 a while before anything but cath gives us the gold
19 standard.

20 DR. CHESNEY: Dr. Moore and then Dr.
21 Gorman.

22 DR. MOORE: I would just support what Dr.
23 Fogel said and be relatively optimistic about what
24 the potential is for MRI and some of the imaging
25 agents in the future. We have been doing some work

1 in San Francisco with this combined lab looking at
2 flow measurements and pressure measurements in the
3 MRI scanner. And, there is a fair amount of work
4 in a variety of centers or at least a few centers
5 around the world looking at ways to combine some
6 mildly--to coin a new term--invasive measurements
7 with MR imaging to really get a much better handle
8 on function and on resistance calculations. So,
9 there may be the opportunity in the future with
10 some of these newer agent modalities to get that
11 information in a much more complete, easier, less
12 invasive data set. I think certainly right now MRI
13 and its imaging drugs will be the method for
14 achieving that.

15 DR. CHESNEY: Dr. Gorman?

16 DR. GORMAN: We have spent the last 36
17 hours thinking about agents and the heart. At the
18 risk of finding out far more than I want to know,
19 are there agents that are being used in the other
20 part of the body by your radiology colleagues or
21 ultrasonography or nuclear medicine colleagues that
22 we may anticipate coming back in the near future?
23 Are there rapid developments in other areas of
24 imaging in terms of agents that someone here would
25 like to comment on?

1 DR. DILSIZIAN: Well, I could do that.
2 One of the areas that I am actually currently
3 working on is a new agent called BMIPP. It is a
4 fatty acid analog. When we tested it in adult
5 patients, the uniqueness of this agent is that it
6 reflects ischemic memory. What do I mean by that?
7 You see a patient in your office and the patient
8 says, you know, last night as I was sleeping I was
9 just having this discomfort in the epigastric
10 region. I am not sure what it was. I woke up; I
11 couldn't sleep. I took a couple of Maalox and it
12 went away. I am concerned; is this cardiac in
13 origin? So, what do we do? We get an EKG and say,
14 well, there is no infarct but it doesn't say it is
15 not angina. You say, you know, just to be sure
16 let's schedule you for a treadmill test study of
17 some sort.

18 Now, with the BMIPP agent we have shown in
19 a Phase II trial that if you inject a person at
20 rest in your office, it reflects whether the chest
21 pain or the discomfort that the patient had up to
22 30 hours before is ischemic or not. The way we
23 tested that, we put a patient on a treadmill and
24 created ischemia with thallium, and up to 30 hours
25 after the treadmill thallium study we injected the

1 fatty acid agent at rest and reflected ischemia
2 exactly the same as on the treadmill thallium. So,
3 it is potentially a useful thing for kids for
4 example.

5 Again, coming back to an example, a
6 patient comes in who survived after cardiac arrest
7 or syncope. Is this ischemia in origin or is it
8 arrhythmia? What do we know? Just inject this
9 tracer and if it is ischemic in origin, it will
10 show you.

11 So, you know, there are agents like this.
12 Here we are talking about metabolic agents, fatty
13 acid, FDG. In adults it has been approved already
14 but, you know, it could play a very important role
15 in metabolic disorders. We talked about
16 cardiomyopathy in kids. Well, we know that they
17 don't have any coronary disease; their function is
18 abnormal. Well, what is the cause of the
19 cardiomyopathy? It may be viral; it may be
20 something else. Can we look at disturbances in
21 metabolism and determine what is causing those
22 things?

23 You know, if we think in broader terms
24 than just congenital abnormalities, there may be
25 some interesting metabolic disorders that we can

1 look at in kids to determine what their disorders
2 are. Again, it is very premature obviously. We
3 are going to test these in adults and then say,
4 well, can we translate these to children.

5 DR. CHESNEY: Dr. Fogel?

6 DR. FOGEL: Yesterday I think all the
7 speakers mentioned the future of the imaging
8 agents. Just in terms of MR, we mentioned
9 molecular imaging and newer relaxivity agents. But
10 the specific question was more about adults in
11 other areas. Specific to MR, there are two other
12 kinds of imaging agents. There is the manganese
13 ionic agent. I think it is called mangatopere, a
14 trisodium. Then, there are also the
15 superparamagnetic ion oxide agents. They are both
16 used in liver imaging and they are presently being
17 studied for liver imaging. I think they are
18 approved for imaging in MRI in the liver. The
19 reason why those might be applicable in pediatric
20 cardiac imaging is because they are blood pool
21 agents. They stay in the blood pool a whole lot
22 longer so that you would be able to use those for
23 coronary imaging as opposed to the gadolinium
24 agents, which are first-pass agents which would
25 just diffuse out into the extravascular space; they

1 won't stay in the blood pool.

2 So, those potentially hold promise in the
3 future. My understanding, not having followed that
4 particular literature too much, is that they do
5 have an increased incidence of adverse events over
6 and above the gadolinium agents. Whether or not
7 that is an acceptable risk relative to the benefit
8 one would get is another story. But those are at
9 least two things for MRI, at least in the future,
10 that might hold some promise.

11 DR. CHESNEY: Dr. Fogel, you mentioned
12 yesterday that gadolinium is a heavy metal. Do we
13 have any concerns about whether it is retained in
14 the body over a period of time? Are there studies
15 looking at people who have died who had had studies
16 with gadolinium and we know it is not there
17 anymore?

18 DR. FOGEL: I mean, we know that there is
19 a number of studies in children looking at
20 non-cardiac patients. The ones I mentioned
21 yesterday, the five studies combined with over
22 1,300 patients were just a number of small studies.
23 Those were purely safety studies. There are a
24 number of other efficacy studies, especially in
25 neuroimaging, where we have data and there is no

1 mention of any adverse events. Now, that doesn't
2 necessary mean that there weren't any but at least
3 in the papers themselves that were published there
4 were no adverse events that were noted. So, it
5 appears to be a relatively safe drug. The adverse
6 events are very, very low; anaphylactoid reactions
7 are very low.

8 I guess there is a theoretical
9 consideration of transmetallation, as I mentioned
10 yesterday, where copper and zinc can in theory
11 displace gadolinium from its chelator and have the
12 heavy metal stay in the body. You would think that
13 if gadolinium stays in the body it is more toxic
14 and, therefore, since it is excreted by the kidneys
15 in renal patients it might be difficult. There has
16 been a number of small studies, not a large
17 clinical database but a number of small studies
18 that showed that it was safe even to triple the
19 dose that it is approved for. So, it seems to be
20 relatively safe in theory. It has the potential
21 but in practice it doesn't seem like it turns out
22 to be the case in terms of it being difficult and
23 toxic.

24 DR. CHESNEY: Let me turn to our FDA
25 colleagues and see if there are any additional

1 questions or issues you wanted to raise with this
2 erudite group of cardiac imaging folk.

3 DR. LOEWKE: No, I think it has been a
4 very productive meeting. I wanted to thank all the
5 panel members for coming and participating in the
6 discussion. The presentations were fantastic and
7 did a great job I think in priming for today's
8 discussion.

9 I think some issues that you brought up
10 are cross-center and cross-division level within
11 the agency and I would just like to say that we
12 will take these issues to our colleagues and
13 discuss them further.

14 DR. CHESNEY: Dr. Beitz?

15 DR. BEITZ: I also wanted to say how
16 excellent and insightful the presentations and
17 comments were from the committee and our guest
18 consultants.

19 I wanted to take this opportunity to make
20 an announcement that Dr. George Mills has accepted
21 a position as permanent director for the Division
22 of Medical Imaging and Radiopharmaceutical Drug
23 Products, and he will be starting in this position
24 on March 7. George came to FDA in 1993 and is
25 currently the acting deputy division director for

1 the Division of Therapeutic Biological Oncology
2 Products. He is Board-certified in nuclear
3 medicine and anatomic and clinical pathology, and
4 he also holds an MBA from Pepperdine University.
5 We look forward to working closely with him on the
6 development of new imaging agents and searching for
7 the "holy grail"--

8 [Laughter]

9 Before I finish, I would like to also
10 recognize the fantastic efforts of Dr. Sally Loewke
11 who has done an outstanding job as our acting
12 division director for so many months. Thanks.

13 [Applause]

14 DR. CHESNEY: Dr. Cummins?

15 DR. CUMMINS: I just wanted to take a
16 moment to thank everyone for their participation.
17 For the panelists who came for the last two days,
18 your talks were wonderful and the help many of you
19 gave us in planning this meeting was just
20 invaluable. I want to especially thank our peds
21 advisory committee who I don't think could have had
22 a broader set of issues to tackle in three days
23 than suicide risk among depressed pediatric
24 patients who were treated with the SSRIs to drug
25 testing for pediatric cardiac imaging. It has been

1 quite a set of issues at this meeting and I want to
2 really commend you all for taking your task
3 seriously, doing your homework and sharing with us
4 all of your wisdom and insights. We really value
5 all that you shared with us. Thank you.

6 DR. CHESNEY: Let me thank the FDA on all
7 of our behalf. We were just commenting in the van
8 this morning--a lot of things happen in the van--

9 [Laughter]

10 --everybody commented on what a superb job
11 you all are doing in preparing the materials for us
12 for the meeting. It makes our job really very
13 easy, and we thank you for having the opportunity
14 to look at a whole variety of issues in three days.
15 So, thank you.

16 DR. CUMMINS: If I could just make one
17 more comment to that, any feedback, positive or
18 negative, about the background materials is always
19 appreciated because, you know, Rosemary, Eddy and I
20 kind of overlook that and we try to balance not too
21 long but comprehensive and giving you the
22 background stuff you need, and if there is
23 something that is missing that you would like to
24 have, let us know.

25 DR. CHESNEY: Thank you, and be sure to

1 let Tom know if, for some reason, you are having
2 trouble getting to the airport.

3 [Whereupon, at 11:30 a.m., the proceedings
4 were adjourned.]

5 - - -