

1 Prematurity is by far the leading cause of
2 perinatal mortality in my area, as well. As a
3 practicing physician, this is quite frustrating to
4 know that there's no effective treatment that I can
5 offer to my patient.

6 As I look through literature, literature is
7 flooded with negative studies of things that we do
8 and offer to our patients, including tocolytics,
9 antibiotics, home uterine activity monitoring, and
10 cerclage. None of that seems to have any
11 efficacy when it comes to prematurity. All I could
12 offer is, as a clinician, maybe watchful eyes and
13 give steroids.

14 The aforementioned NIH study by Meis gave a
15 practicing physician like myself a glimpse of hope.
16 I was excited to see such well-designed studies
17 sponsored by NIH, conducted by our own network, with
18 a positive result for once. The protocol that they
19 used was simple and easy to follow, and it would be
20 very easy to apply in a busy clinical setting.

21 As a clinician, Gestiva will ensure at-risk
22 patients will receive a uniform and consistent drug

1 delivery, and protocol is easy to follow for our
2 patients.

3 Unfortunately, 17P is not widely available,
4 especially in rural settings. When the NIH trial
5 was first published in 2003, I was trying to find
6 17P in the local pharmacy and I was not able to do
7 so for many months. And compounding pharmacy is a
8 luxury in a lot of rural area.

9 So having Gestiva on the market approved by FDA
10 will ensure at-risk patients in all areas will have
11 access to this drug with proven safe records, and
12 the clinician can follow the high fidelity protocols
13 and feel confident that they're doing the right
14 thing for our patient. Thank you very much.

15 DR. DAVIDSON: Thank you.

16 MS. WATKINS: Our next presenter is Terry
17 Grossklaus.

18 MS. GROSSKLAUS: Good afternoon. Thank you. I
19 paid for this trip myself. I live in Idaho and we
20 do have family in Sunnyvale, but I don't think we
21 know anyone here today from Adeza, and we don't own
22 stock in Adeza.

1 I'm a graduate student at Gonzaga
2 University in Washington. I'd like to specifically
3 recommend that patients be warned to avoid all
4 alcohol consumption while they're pregnant and under
5 treatment with this drug. Next.

6 Let's learn some lessons from my previous use
7 of Delalutin. Next. I used Delalutin during three
8 of my pregnancies in the 1980s for treatment of a
9 different condition and during different gestation
10 weeks. Next. There's the product insert. Next.

11 The condition I was treated for suspected
12 corpus luteum insufficiency and the progesterone was
13 thought to supplement the endogenous production of
14 that hormone.

15 Next. The protocol that was used required a
16 combination of progesterone vaginal suppositories
17 and weekly injections. The protocol was for
18 gestation weeks five through nine or five through
19 12, and my obstetrician modified it to extend to 17
20 weeks or 18 weeks. It's a little bit different for
21 each pregnancy. Next slide. It was very
22 successful. We have three wonderful children who

1 are all in their 20s now, all full-term. Next.

2 The concerns I have -- actually, I was very
3 well-informed when I used this medication and I
4 appreciate that from my obstetrician.

5 Next. The -- what I would like to comment on
6 is a possible adverse interaction between alcohol
7 and 17P when it's used for this particular treatment
8 during those gestation weeks five through 18. Next.
9 My son had a congenital cardiac condition, primary
10 microcephaly, intrauterine growth retardation, that
11 I experienced.

12 I actually developed what I thought was
13 alcoholism during my pregnancy, but I do not have a
14 history of that, and nor do I drink now. So I just
15 had a drinking problem during my pregnancy. And
16 those of you that have a handout can see the -- I
17 have a graph of estimated ounces -- absolute ounces
18 of alcohol per week on the Y axis and then on the X
19 axis is gestation weeks.

20 Next. There's our son, and that was the
21 pregnancy that was effected. On the left, he's
22 about a year old and he's just a little bit

1 hypotonic and he was very delayed in his
2 development. On the right, he's six years old.

3 Next slide.

4 In 1991, when he was six years old, I decided
5 to conduct my own literature review on all these
6 topics: alcohol use during pregnancy, congenital
7 heart conditions, microcephaly, teratology,
8 intrauterine growth retardation, all of these
9 things, and I figured something out that made sense
10 to me for about eight months, and then I filed all
11 my literature away.

12 Next slide. The subjective experience I had is
13 that I was addicted by 15 to 17 weeks. I was never
14 intoxicated. In fact, when I went back and
15 calculated my approximate blood alcohol content, it
16 would've been about .02. I felt fetal growth
17 restriction.

18 The symptoms actually diminished when I
19 stopped my progesterone injections at 17 or 18
20 weeks, and then they accelerated, and then at 26
21 weeks, a compulsive drinking problem just completely
22 erupted. The sensation I had is that it was all my

1 fault for drinking in the third trimester. Next
2 slide.

3 A very over simplified explanation. Alcohol,
4 you know, is a two-tiered psychotropic drug. It's
5 actually ethanol and acetaldehyde. I think the
6 first portion of the chemical is metabolized, but
7 then the metabolism is stuck at the acetaldehyde
8 level. Next slide.

9 The acetaldehyde then accumulates in the
10 mother's brain, liver, and serum, and it can serve
11 as a teratogen, fetal growth inhibitor, disruptor of
12 steroid hormone biosynthesis, it's addicting, and
13 inhibits the fetal brain growth. So I think 17P is
14 actually what restricts the metabolism of the
15 acetaldehyde. Next.

16 I finally wrote my literature review up. It's
17 over 600 pages. I need a medical researcher to take
18 a look at it. I filed the MedWatch report with the
19 FDA and the drug company. It's incomplete. I made
20 some additions, and this, too, is incomplete. It's
21 -- becoming addicted during pregnancy is just a
22 phenomenal experience, and I'm not sure even this

1 captures everything. Next slide.

2 I think that a decision on this drug maybe
3 needs to be delayed until I can have someone review
4 this manuscript or at least have a very specific
5 warning to avoid alcohol while a woman is using 17P
6 during her pregnancy. This information needs to be
7 communicated ahead of time. If you refer to your
8 graph again --

9 MS. WATKINS: Ma'am, your allotted time has
10 expired.

11 DR. DAVIDSON: Thank you.

12 MS. WATKINS: Our next presenter is Jackie Duda.

13 MS. DUDA: Good afternoon. My name is Jackie
14 Duda. I'm a Sidelines volunteer, health writer,
15 and a mom who's experienced two high-risk
16 pregnancies. Sidelines National Support Network is
17 a 501(c)(3) nonprofit organization supporting women
18 with high-risk pregnancy and their families. In
19 the interest of disclosure, Sidelines does receive
20 private funding from various volunteers, patients,
21 private individuals, and industry.

22 I'm here to speak today on behalf of Candace

1 Hurley, Sidelines founder and director, in her
2 words. In 1991, Candace founded Sidelines National
3 Support Network after her own battle with
4 infertility, miscarriage, and high-risk pregnancy.
5 Eighteen years ago, she benefitted from the use of
6 progesterone during two successful pregnancies.

7 Fifteen years later, Sidelines is still
8 thriving, supporting thousands of moms around the
9 world, having served approximately 100,000 women
10 with education, support, and encouragement through a
11 vast network of 7,500 volunteers who were all at one
12 time high-risk moms themselves.

13 Sidelines takes an interest in treatments and
14 technologies that will help with the devastation of
15 pregnancy loss and preterm birth, because these are
16 the things we deal with first-hand. If you visit
17 our web site or read our magazine, you will see that
18 one of our goals is to educate moms about treatments
19 and medications used during pregnancy. We also
20 have the responsibility of training our volunteers
21 who support moms and speak nationally on behalf of
22 this organization.

1 We have been following the use and anticipated
2 approval of progesterone, as detailed in our 2005
3 publication of Left Sidelines, where we featured an
4 article about 17P, the history of progesterone, and
5 its use in the treatment of preterm labor.

6 As a representative of Sidelines and on behalf
7 of Candace and other high-risk moms, I would
8 encourage this panel for approval of this drug, but
9 as a generic, not as an exclusive drug as is
10 currently proposed. As you know, there are no
11 FDA-approved drugs for the treatment of preterm
12 labor, so all drugs are used off-label.

13 I do want to take this opportunity to express
14 our concerns about the approval of this drug to this
15 panel. Our understanding is that this drug is being
16 positioned as qualifying for orphan drug status, or
17 another form of approval that would grant one
18 company the exclusive rights to advertise,
19 manufacture, and distribute 17P for several years.

20 The concern here is that this will limit the
21 availability of this drug, as well as drive up the
22 price. Over the past 20 years, this drug has been

1 widely available and used in the treatment of
2 recurrent preterm labor as a reasonably-priced
3 compound within a market of free competition.

4 From a consumer point of view, it concerns us
5 that pregnant moms will be the ones to pay a
6 substantially higher price for something many
7 pharmacies have been providing to their physicians
8 for between \$7 and \$10 per dose. Allowing one
9 company using NIH research data from the public
10 domain to have full control over this product
11 will create a monopoly and most certainly drive up
12 the price for a group of people who need solutions
13 to this problem of preterm labor.

14 We urge this panel to approve this drug, but as
15 a generic drug without any exclusivity, so that
16 the under-served and often under-insured population
17 of pregnant moms will not be the ones to pay for the
18 high price of approval.

19 One loop hole in the Orphan Drug Act states
20 that this program is developed to encourage
21 companies to study off-label or new drugs for small
22 populations of under 200,000 people.

1 As the director and founder of Sidelines,
2 Candace would like to state for the record that the
3 problem of preterm labor and premature delivery is a
4 national crisis that according to national vital
5 statistics, affects half a million women each year,
6 more than double the number required to give a drug
7 the qualification of Orphan Drug status.

8 One in three pregnant women develop a
9 pregnancy complication, and of over four million
10 births in 2003, the rate of preterm births increased
11 to an astounding 12.3% of all births.

12 Another important concern is the impact an
13 exclusive approval may have on jeopardizing further
14 research into the safety aspects of this promising
15 drug. The American College of Obstetricians and
16 Gynecologists recommends further studies to
17 determine the long-term effects of multiple doses
18 and the potential for embryo toxicity on the
19 developing fetus. We strongly support the
20 completion of these studies.

21 Our main concern is for expectant families.
22 Sidelines, in coalition with the national March of

1 Dimes campaign, looks to help solve this puzzle and
2 reduce the rate of preterm babies. This first step
3 in the approval of this drug is one in the right
4 direction if it is as a generic, not in the proposed
5 form of an orphan drug or one that will grant
6 exclusivity to one entity and thereby restrict
7 availability, drive up price, and stifle further
8 research.

9 We thank you for your time and the opportunity
10 to speak on behalf of the families who will benefit
11 from this approval.

12 DR. DAVIDSON: Thank you.

13 MS. WATKINS: Our next presentation is a group
14 presentation from Howard University: Davene White,
15 Carrie Lewis, and Mikel Young.

16 MS. WHITE: Good afternoon. My name is Davene
17 White. Dr. Young and Dr. Lewis had an emergency at
18 Howard and weren't able to attend. I represent
19 Howard University. I am not aware of any problems
20 with my presentation. I have not had any contact
21 with this drug agent before.

22 I am a clinical instructor in the Department of

1 Pediatrics and Child Health at Howard University's
2 College of Medicine, and I direct our
3 family-centered public health services at Howard
4 University Hospital.

5 I am speaking to you as a result of my 30 years
6 of experience in reproductive services at Howard
7 University Hospital and as a neonatal nurse
8 practitioner, where I specialized in the care of
9 preterm infants and the support services for mothers
10 and families.

11 I have particular concerns about this
12 particular substance. Number one, pregnancy is a
13 life-altering event for women and families,
14 particularly when a previous outcome was less than
15 desirable. Pregnancy is also a period during which
16 women need and seek attention. I am interested in
17 the continued monitoring of the effects of 17-
18 hydroxyprogesterone and when it is no longer an
19 intervention and what will become of this routine
20 treatment -- what will become of it when it becomes
21 a routine treatment.

22 During this study, the women were given very

1 special attention and I know that that does have an
2 effect and can reduce preterm pregnancy, because
3 women need attention during pregnancy.

4 So I'm very concerned about the education and
5 training that was implemented for the study staff
6 and whether or not this will be replicated in the
7 OB/GYN community and other participants that would
8 be using this drug.

9 I'm also concerned about studies that may be
10 available to determine the effect of progesterone on
11 women who experience severe emotional or economic
12 stress, since that is a very significant factor that
13 we have identified at Howard.

14 We're also concerned about the extensive
15 issue of and painful injection sites and whether or
16 not additional investigation is needed to determine
17 methods that should become available to reduce this
18 discomfort and negative effects. We do know that
19 one issue that will deter women from treatment is
20 pain.

21 My greatest concern, because I am a pediatric
22 nurse, is the potential impact of 17-hydroxy on

1 developmental outcomes of children. As Dr. Wesley
2 elegantly presented, there is some concern about
3 communication, fine motor and problem-solving scores
4 of these infants.

5 Because these infants will no longer be
6 preterm, they will not be eligible for early
7 intervention services in states around the country,
8 so these families may not have these children
9 evaluated as early as would be available for a child
10 that was born premature.

11 We recognize that the benefit of reducing
12 prematurity is wonderful. We support any and all
13 efforts that will go to this cause. We do, however,
14 recommend that further study is required of this
15 medication and that the participants, persons who
16 use this medication should receive adequate
17 training. Thank you very much.

18 DR. DAVIDSON: Thank you.

19 MS. WATKINS: Our last open public hearing
20 speaker is Cynthia Pearson.

21 MS. PEARSON: Thank you. I'm Cynthia Pearson,
22 Executive Director of the National Women's Health

1 Network. We're an independent women's health
2 consumer group. We've been around for 30 years. We
3 take no money from industry. We weren't contacted
4 by the sponsor about this. We prepared our position
5 based on the open literature, the documents on the
6 FDA's web site yesterday, and the presentations this
7 morning.

8 And from all that, what we take is that we
9 understand the panel -- the committee has been
10 brought together today and asked to advise the FDA
11 on formal approval for a product, the use for which
12 has been accepted by the profession, at least in
13 main part, a few years ago.

14 So this meeting may be something of a formality
15 from the committee's position, or maybe you've even
16 gotten the message that this is your opportunity to
17 clean up kind of a mess outside, that women are
18 getting this product, but they're getting it from
19 who knows where, in what sort of dose, and is the
20 education really good.

21 And if you take this step forward, give the --
22 advise the FDA to give the seal of approval, then

1 women will get neat and tidy 17 progesterone from a
2 source that's inspected, that has good manufacturing
3 practices, and all will be well with the world.

4 However, out in the public, we don't take your
5 meeting today as a formality or a rubber stamp, nor,
6 I know, do you. Because I know many of you have
7 been on this committee for many years and struggled
8 through some pretty tough meetings and finally, your
9 advice is starting to be taken, albeit a little
10 belatedly.

11 But we appreciate the role you play, because
12 with you, the public gets its one and only chance to
13 have an open discussion and viewing of the real data
14 that underly the papers that are published which
15 lead to the committee recommendations and other
16 guidelines.

17 And what you've been asked to do by the FDA
18 today, or to advise them about what they should do,
19 is whether or not you should go against the typical
20 approach of the FDA and recommend approval of a new
21 product on one pivotal trial.

22 And the trial that was designed uses what, in

1 some sense, is a surrogate endpoint. It does not
2 have as its primary endpoint more babies alive. It
3 has as its primary endpoint more babies who make it
4 inside their mom's uterus for a longer time.

5 Now, that surrogate endpoint has meaning and
6 value in and of itself. The nurse who spoke earlier
7 described some really vivid and important ways, and
8 the moms who would speak about how important it is
9 for them to have their baby home with them as soon
10 as possible.

11 All of that leads to say that that surrogate
12 endpoint isn't like a cholesterol reading that has
13 no meaning in the life of people who experience it.
14 But when you look then at the data that shows some
15 interesting back and forth underneath that no net
16 benefit in live babies, you start to wonder, is the
17 surrogate endpoint important as it is in itself and
18 robust as it seems to be in this study, where it's
19 statistically significant on its own and it's
20 statistically significant and all in the same
21 direction when looked at in subgroups?

22 But when you look then at who's living and

1 who's dying, where were the deaths in this one
2 trial, it starts to seem a little worrisome that
3 there's an increased rate of miscarriage in women
4 who were randomized to the active intervention. It
5 also seems worrisome that that seems to appear in
6 other studies.

7 So although the data are encouraging and the
8 sponsor is to be tremendously complimented for doing
9 a follow-up study in babies, having data on kids
10 that are over two years old is wonderful. You're
11 meeting the demands and the requests and the prayers
12 of mothers, of consumer activists, and of the people
13 who remember DES.

14 And no sponsor should have to do a prospective
15 trial of children born -- do prospective follow-up
16 of children born in the pivotal trial all the way
17 out to puberty, but boy, it sure would be nice to
18 have those data.

19 One piece of advice we'd like to make to the
20 committee is to consider asking that the sponsor go
21 back to some of the existing observational data sets
22 where kids were followed or checked into at around

1 age 11 and update them. Now, we know that's an
2 effort and it's an expensive effort, but it can be
3 done. So that's one thing we'd like to know, what
4 happens to kids after puberty.

5 The other thing we'd like to know is really
6 more about this apparent increase in miscarriage.
7 So overall, I think our comments to the committee
8 are for you to act very cautiously, to consider a
9 recommendation of delay, even though that seems to
10 fly in the face of common practice and the results
11 of the trial, and give us all the time that it seems
12 like we're going to need, the extra time to get the
13 answers to these important questions. Thank you.

14 DR. DAVIDSON: Thank you. Is that the end of
15 the list?

16 MS. WATKINS: Yes.

17 DR. DAVIDSON: Okay. The committee can go back
18 to work. One of the committee members, Dr. Gillen.
19 Do you want to do it from there? It's your choice.

20 DR. GILLEN: Before the committee started open
21 discussion, I thought as the only statistician named
22 on the committee, I wanted to present a couple of

1 views of how some in the statistical community view
2 using a single confirmatory trial and the role of
3 probability in that versus two independent trials,
4 and state some corrections -- or adjustments,
5 anyway, as I should say -- to the statistics that
6 has been presented to this time just quickly.

7 It's probably more formal than it needs to be,
8 but I'm going to quote some numbers, so I just
9 thought it would be a little easier if they were up
10 on the screen here.

11 So again, we've heard already that typical
12 criteria for approval requires the submission of
13 two independent well-controlled clinical trials as
14 substantial evidence for effectiveness. Of course,
15 from a statistician's point of view, our goal is to
16 quantify uncertainty in samples in order to make
17 inference and to generalize to a larger population.
18 That's what we're trying to do with these trials, in
19 particular.

20 So obviously, our primary reason for requiring
21 this consistent results on two independent trial is
22 really to broaden the generalize-ability of our

1 observed results, be it through clinical centers,
2 different clinical centers, an array of them,
3 different training that may take place over time or
4 learning experiences of those involved in the trial,
5 and also, different patient pools and possibly
6 cohort effects.

7 One of the things that we focus on often for at
8 least one evidence or one criteria of evidence in a
9 trial obviously is the P value, and so we've seen a
10 lot of them presented today. Sorry about presenting
11 some more to you, but I'm going to need to.

12 Just to define it again, it's the probability
13 of observing our results as are more extreme than
14 those actually observed if the no hypothesis were
15 true; in this case, our no hypothesis being equal
16 rates in the two treatment arms. We've all heard
17 the magic .05 for a two-sided test or a standard for
18 a single trial that has a one-sided P value, it
19 would be .025; cut that in half.

20 So the way some in the statistical community
21 view a single trial as posing for two independent
22 trials is to say, well, if we were to do two

1 independent trials and we were to achieve our level
2 .025 on both of those trials, then the probabilities
3 would just multiply together. So one single
4 criteria of evidence might be .000625, would be your
5 new type one error level. Okay?

6 So this has been proposed, and there is some
7 precedence to this being used at times. I'm not
8 speaking for the FDA here, but this is a criteria
9 that has been proposed in a single trial. So again,
10 this corresponds to a threshold for two independent
11 level .025 trials.

12 So the reason I kind of wanted to present this
13 is because this is the way I'm thinking about things
14 from a statistical perspective at times as I'm
15 reading through the report, and if I'm going to talk
16 about P values, I wanted to note, and I brought up
17 earlier, that there were some interim analyses that
18 were going on in the study.

19 Now, the committee should be aware that there
20 are some adjustments that can be made -- taken into
21 account, at least -- with having those interim
22 analyses there. So I reformed them so that we can

1 view those P values, as well, and you can take them
2 into consideration as you will.

3 So the sponsor reported in this study, for
4 their 37-week endpoint, their primary endpoint,
5 observed proportions of .371 in the active arm and
6 .549 in the placebo arm, so we had a difference of
7 minus 17.8%, and the reported 95% confidence
8 interval being minus 28% to 7%, with a corresponding
9 P value of .0003.

10 In reading the FDA's report, they did note that
11 there was an interim analysis that was done. In
12 fact, there were two interim analysis and the final
13 analysis. They used an O'Brien-Fleming rule,
14 two-sided again, with level .05, so splitting that
15 between the two sides, .025 on each arm.

16 And we have our adjusted results presented by
17 the FDA's report of, again, 17.8% difference in
18 favor of active control, and our adjusted confidence
19 interval, which again didn't change. But I went
20 ahead and adjusted the P values because we actually
21 never got to observe adjusted P values that take
22 into account the interim analyses, and so I thought

1 it would be at least useful to see what those
2 looked like and take that into consideration.

3 So my assumption is not having the full
4 protocol at hand, but just the description given in
5 the text, was that if we used our two-sided level
6 .025 -- our level .05 O'Brien-Fleming boundary, the
7 one that was used in the trial, I assumed three
8 equally spaced analyses. I was informed today,
9 actually, that it was 15.2% and 70% (phonetic) of
10 the final samples size which was used.

11 That would make a very slight difference in
12 the calculations that I'm using, very slight. But
13 for -- just so you know, I'm assuming three
14 equally-spaced analyses. And then again, our final
15 sample size is 310 and 153, which is what we
16 observed in the trial, and then a baseline event
17 rate of .549.

18 So our adjusted P value -- and this was quoted
19 earlier, actually, -- is .0035. This is using the
20 sample mean ordering, so there are many ways that
21 you can adjust P values given interim analyses, but
22 this is what we have. So .0035 is actually with the

1 adjustment for the interim analyses.

2 It turns out that when you're performing group
3 sequential tests, where you can stop early, in fact,
4 your observed estimates can be slightly biased.

5 It's usually biased away from the null, so there's
6 some attenuation that takes place. So if we adjust
7 for that bias in the difference proportions, it's
8 truly 16.5%, using a bias-adjusted estimate.

9 Again, just for completeness so that you have
10 this, if we talked about adjusting for the
11 interim analyses on the 35-week, 32-week, and
12 28-week endpoints, we can again see some adjustments
13 in terms of the bias towards the null, attenuation
14 towards the null, in some of these estimates,
15 getting lower and lower as we go down. The
16 adjusted P values, again, are slightly higher than
17 those that were reported in the initial analysis, so
18 just take that into consideration, as well.

19 Just a final note. Again, I wanted to present
20 these because they're things that I'm looking at and
21 I thought it should -- it would be nice for the
22 rest of the committee to see. My own personal

1 belief is that P values really only represent one
2 criteria for evidence.

3 We need to consider also obviously clinical
4 significance of observed point estimates. That, of
5 course, goes into our questions of the observed rate
6 and the preterm risk (phonetic) in the placebo arms,
7 and we might think about other things, as well.
8 Since we've got these divisions up by different
9 gestational time periods, we could think about mean
10 time to birth, as well. So these have been
11 presented in some of the other analyses, but haven't
12 been talked about so far today.

13 And then obviously, we need to consider
14 generalize-ability of our findings, safety profile,
15 and the urgency of clinical need. But I just wanted
16 to present those P values for you so that you had
17 them at your disposal. Thanks.

18 DR. DAVIDSON: Okay, thank you. Dr. Hickok, you
19 may feel compelled to respond to that presentation.

20 DR. HICKOK: Thank you very much, Dr. Davidson.
21 Could I move this computer off the top of the
22 desktop here, if you don't mind? First, I think I'd

1 like to invite Dr. Anita Das to address a couple of
2 these statistical questions that were raised in the
3 last presentation. Dr. Das?

4 DR. DAS: Yes. Regarding the adjustment for the
5 interim analysis, the primary endpoint of preterm
6 delivery at less than 37 weeks was the outcome that
7 was monitored by the data and safety monitoring
8 committee. The outcomes of less than 35, less than
9 32, and less than 30 were not monitored by the data
10 and safety monitoring committee. In fact, the less
11 than 32 outcome and the less than 30 outcomes were
12 not even in the study protocol.

13 So our position is that these outcomes do not
14 need to be adjusted for the interim analysis look.
15 The only ones that would need to be adjusted would
16 be the one for the primary endpoint. As we have
17 stated, is that the alpha level for that comparison
18 would be .035 using a .05 original alpha level.

19 But regardless of that, if you look at the
20 outcomes of less than 35 and less than 32, that you
21 could do an adjustment for these based on multiple
22 testing procedures, and considering that these are

1 very highly correlated endpoints, an appropriate
2 adjustment might be something as a Hochberg method,
3 a step-down type of method.

4 If you do that type of adjustment, even given a
5 .035 as your alpha level, the outcomes of less than
6 32 and less than 35 would remain statistically
7 significant with adjusted P values of .027 for both.

8 With that said, I would also like to agree with
9 the panel statistician that you just can't just look
10 at the P values when you're determining significance
11 of these endpoints. It's the generalize-ability,
12 it's the consistency that you're seeing across of
13 all of our subgroups. It's the consistency that
14 you're seeing with the neonatal outcomes, also
15 showing benefit. So these all have to be taken in
16 together when determining if there is a benefit.

17 DR. DAVIDSON: Okay, thank you. We can go --
18 unless you have some special introductory remarks,
19 we can go back to questions.

20 DR. HICKOK: Thank you, Dr. Davidson. I don't,
21 but I'm pleased to entertain more questions.

22 DR. DAVIDSON: Okay. If the interest persists,

1 on our list here, we have Dr. Viscardi.

2 DR. VISCARDI: My only question was related to,
3 again, this difference between the rates of --
4 higher than expected rate of preterm delivery in the
5 control group. One of the analyses that wasn't
6 discussed earlier, I believe, was looking at the
7 actual indication for preterm delivery.

8 As Dr. Romero eloquently presented at the
9 beginning of the day, there actually are some
10 subgroups, and particularly indicated delivery,
11 preterm labor versus preterm rupture of the
12 membranes, and I think there were some differences
13 between the groups, as far as the type of preterm
14 delivery.

15 DR. HICKOK: If we go back to the efficacy
16 analysis from our core presentation, we provided you
17 with preterm birth rates less than 37 weeks, and I
18 believe on that same slide was less than 35. But in
19 addition, we have indicated preterm delivery rates
20 in the two groups, which we'll share with you in
21 just a second here.

22 Forgive me. I'm not getting exactly the data I

1 want up yet, but let me tell you when we do find
2 that exact number that's going to come up, we did
3 find a very similar and not statistically different
4 rate between the 17P and placebo groups in terms of
5 indicated preterm deliveries. And it's very
6 important, as you pointed out, to take a look at
7 that because if you have an imbalance of that, you
8 could result in bias towards one group or another by
9 your indicated preterm deliveries.

10 I apologize that we don't have this up on the
11 screen yet, but I'll give you those numbers very
12 shortly.

13 DR. VISCARDI: The other reason I bring that up
14 is that one of the things that really hasn't been
15 addressed, and again, Dr. Romero brought this up, is
16 a very important cause of preterm delivery, which is
17 intrauterine infection.

18 And again, trying to get some idea of what
19 might be mechanism, as I remember looking at that
20 data, there -- it was about the same rate of
21 indicated delivery between the two groups, but there
22 was a higher rate of preterm labor in the control

1 group, but no difference for the preterm premature
2 rupture of membranes. So it looked like the effect
3 was primarily in the preterm rupture group. Am I
4 remembering that correctly?

5 DR. HICKOK: Yes. Let's first look and address
6 your first question, if we can, about the indicated
7 preterm delivery rate in the two groups. As you can
8 see here, if you can see around the bottom of the
9 podium, the indicated preterm delivery at less than
10 37 weeks for the 17P group was 8.1%, as opposed to
11 9.8% for the placebo group. So this rate was very
12 similar and obviously not statistically significant,
13 and we didn't do any adjustments beyond that.

14 We do have rates, for example, that we can
15 share with you about rates of BV in each one of the
16 groups, which some people could say would be a
17 potential prognostic factor, and we would be glad to
18 share those data with you also, if you would like.

19 Right? Okay. I think if we can turn to
20 Slide 614, I believe. We have information about
21 bacterial vaginosis and trichomonas that was
22 collected at two different time periods on the case

1 report forms, first at baseline, by patient report
2 and by record review, and then during the study on
3 the case report form, that was for record of
4 antibiotic use that was taken at each visit, if it
5 was appropriate. This included not only the
6 antibiotic use, but also, the reason for the
7 administration of the antibiotic.

8 Secondly, there is information on clinical
9 chorioamnionitis, which was an outcome that was
10 collected at the time of labor and delivery, and
11 it can be found on the delivery summary case report
12 form.

13 I might add that in this study, as again, it
14 was a preterm birth prevention study examining the
15 influence of 17P, that infections were diagnosed by
16 the treating physicians based on their methods and
17 their customs at their own individual site. So, for
18 example, again, there wasn't routine collecting --
19 or routine testing of patients for bacterial
20 vaginitis in a standardized form throughout.

21 If we first look at the outcome of confirmed
22 clinical chorioamnionitis in the 17P versus the

1 placebo mothers, we see at the time of delivery,
2 this occurred in 3.3% of 17P mothers, 2.4% of
3 mothers in the placebo group. Again, a value that
4 was not significantly significant.

5 Turning to the incidence of BV, I said before
6 that we had information prior to randomization, and
7 prior to randomization, 13.2% of 17P mothers had
8 bacterial vaginosis reported, as opposed to 13.1 in
9 the placebo group. In the time period from
10 randomization through delivery, the total was 8.7 in
11 the 17P group and 5.2 in the placebo group. If you
12 express that as any time during pregnancy, it was
13 20.7% in the 17P group and 15.7 in the placebo
14 group.

15 One might wonder what antibiotics did women
16 receive during pregnancy and for what reasons, in
17 terms of vaginal infections. If we look here at
18 the patients with bacterial vaginosis, we see that
19 10% were treated with metronidazole in the 17P
20 group, as opposed to 5.2% in the placebo group.
21 There were low rates of vaginal administration of
22 metronidazole and again, any rate was 10.7% versus

1 5.9%. Again, this reflects I think clearly the
2 slightly higher rate of bacterial vaginosis in the
3 17P treated group.

4 The next logical question is how does this
5 reflect in terms of outcomes? We examined preterm
6 birth less than 37 weeks in mothers that did not
7 have bacterial vaginosis and those that did. Again,
8 in the mothers with no bacterial vaginosis, the
9 preterm delivery rate 35.8% in the 17P group and
10 51.9% in the placebo group. Again, in the 17P
11 group, this was 42.2% in the 17P group and 70.8% in
12 the placebo group.

13 This, in general, kind of reinforces what we've
14 seen of the epidemiology of bacterial vaginosis and
15 that it indeed is a risk factor for preterm
16 delivery. I think one of the panelists pointed out
17 earlier, however, that there really is no current
18 evidence at this time that treatment of bacterial
19 vaginosis, if it's identified during pregnancy, has
20 an impact on pregnancy outcome.

21 Nonetheless, we did another analysis and we
22 looked at bacterial vaginosis during pregnancy and

1 the outcome of that pregnancy, and these numbers are
2 fairly small because again, we just had 64 women
3 with BV in the 17P group and 24 in the placebo
4 group. But as you see here, there is low rates
5 of miscarriage, stillbirth. The rate was elevated
6 in the preterm -- for preterm PROM in the placebo
7 group, but low rates of neonatal sepsis, and then no
8 cases of cerebral palsy, as we determined from the
9 actual follow-up study.

10 DR. DAVIDSON: Dr. Burnett?

11 DR. BURNETT: You just answered some of my
12 questions with that last one, so I'll pass at this
13 moment.

14 DR. DAVIDSON: Okay. Dr. Merritt?

15 DR. MERRITT: Could you please go to your Slide
16 42, Dr. Hickok?

17 DR. HICKOK: I'm sorry, Slide 42, did you say?

18 DR. MERRITT: Please.

19 DR. HICKOK: Yes. Slide 42.

20 DR. MERRITT: I think we've dwelt on this
21 before, but could you attempt to justify again
22 for me the imbalance in your treatment versus

1 placebo population when it comes to risk factors?

2 DR. HICKOK: I'm sorry, I was having trouble
3 understanding you. To talk about the adjustment
4 that was performed in this? Is that what you --

5 DR. MERRITT: There's apparent risk factor
6 difference, and you were going to discuss something
7 about an adjustment, but I didn't catch that in the
8 subsequent discussion.

9 DR. HICKOK: I'm sorry. We did not do a formal
10 adjustment for these risk factors, but have chosen
11 to, instead, give you that qualitative assessment.
12 Again, there's a limit to the kind of adjustments
13 that can be done for this. But Dr. Das, would you
14 like to address this just briefly? It's more of
15 a statistical question.

16 DR. DAS: Yes, we did do an adjustment for the
17 number of previous preterm births, so we adjusted
18 the primary outcome of using the logistic
19 regression. The results remained highly
20 statistically significant. They had a P value, I
21 believe, of .001.

22 DR. MERRITT: So is that Slide 45, please?

1 DR. DAS: Yes. Slide 44, I believe. Here, I've
2 got it up on the screen for you. So it's the second
3 P value on the row, so for the intent to treat
4 analysis, the logistic regression adjustment
5 resulted in a P value of .001, and in the all
6 available data, it was adjusted to .0006.

7 DR. MERRITT: That's not what I am addressing.
8 My concern is that the placebo group had a larger
9 number of patients at risk in Slide 42, at greater
10 risk.

11 DR. DAS: Yes, that adjustment takes care of or
12 adjusts for the fact that there's an imbalance
13 between the placebo group and the active group
14 with the number of previous preterm deliveries. So
15 that's the standard adjustment for when there are
16 treatment imbalances on a prognostic factor.

17 DR. DAVIDSON: Okay, Dr. Wenstrom? Dr. Carson?
18 Oh. Dr. Lewis?

19 DR. LEWIS: All right. I would just like to
20 pick up briefly on a point raised by Dr. Carson
21 earlier on about the pharmacokinetic data in -- for
22 sort of rates -- absorption rates of this compound.

1 I wonder if you've looked at -- stratified your
2 results in any way according to the mother's BMI?
3 Because you have very few data on the
4 pharmacokinetics of this compound, period, let alone
5 adjusted for such a wide range of BMI as was
6 apparently reported in the 2003 study.

7 DR. DAVIDSON: Let me introduce another
8 variable. You know, the maternal blood volume
9 increases about 50% during pregnancy, and the larger
10 the woman is, the larger that volume increase. So
11 if you looking at the pharmacokinetics, it may be
12 very different than what it is in a non-pregnant
13 woman.

14 DR. HICKOK: Yes. Give me one second. We
15 did look at -- over the noon hour, we pulled out
16 information on body mass index, and I may have left
17 it on my chair right here. We did stratify by BMI
18 in terms of safety, but not efficacy, so we don't
19 have an answer for you in terms of efficacy. But
20 when we looked at safety outcomes, we did not see a
21 difference based on body mass index.

22 DR. DAVIDSON: Dr. Nelson?

1 DR. NELSON: Dr. Wesley raised the point about
2 gestational diabetes and preeclampsia being more
3 frequent in both studies in the treatment arm,
4 and I wondered if there's been any -- since -- or
5 one of the open hearing comments was -- written
6 comments, anyway -- was about caution with
7 carbohydrate metabolism. What I wonder is since
8 both of those conditions might have implications for
9 the mother's future health, whether there's anything
10 further known about those complications in pregnancy
11 in the two arms?

12 DR. HICKOK: Yes. Let me take both of those
13 issues separately, if I might, and first turn to the
14 rate of diabetes. What we observed in terms of the
15 rate of diabetes -- and I might add that this is
16 slightly different than the data that you have seen,
17 but it does not make the 17P group look better,
18 let's say, so I'm not trying to bias you towards a
19 better result.

20 Again, in women with no history of diabetes in
21 the Study 002, we found a rate of gestational
22 diabetes -- and again, this was described on the

1 labor and delivery form. There was a check box that
2 said does the mother have gestational diabetes?
3 That rate was 5.8% in the 17P group and 4.7% in the
4 placebo group.

5 If we look at this and then go to the 001
6 study, the prematurely terminated study, we see
7 some curious, curious numbers in this, in that we
8 see 9% in the 17P group, but none of the 52 women in
9 the placebo group were recorded who delivered as
10 having a history of gestational diabetes, which is
11 clearly lower than what we would believe should be
12 there.

13 So if we look at the integrated data, then,
14 between the two studies, we see that the rate of
15 gestational diabetes -- this is in women without
16 previous insulin-dependent diabetes, for example --
17 is 6.5% in the 17P group and 3.5% in the placebo
18 group.

19 So naturally, we asked ourselves the question
20 also, what could account for these kinds of
21 differences? So first, with the observed
22 differences, although they are different, again,

1 they weren't statistically significant in their
2 differences, but we went to the American Diabetes
3 Association, which compiles rates on this, and found
4 again that the standard rate that's quoted by the
5 American Diabetes Association is a 7% rate of
6 gestational diabetes during pregnancy.

7 We also looked into the literature, which you
8 know is quite voluminous in terms of non-pregnant
9 women with various progestins having various
10 different influences on the rate of type one -- or
11 the rate of type two diabetes, depending on the type
12 of progestin.

13 But I'd like to say just two points to this
14 first. There really isn't any information to date
15 on gestational diabetes during pregnancy -- well,
16 really, three points. The second point being that
17 the rates in this study were very similar to that of
18 the American Diabetes Association, so we don't think
19 that we're way offline. There is a differential
20 that's been seen, but again, not a large
21 differential.

22 The reproductive endocrinology people can

1 probably tell you also that although there can be
2 differences by progestins, and especially, the
3 progestin-only pills, on the rate of glucose
4 intolerance, in many cases, those observations that
5 come from the laboratory don't make a big difference
6 on clinical rates of type two diabetes.

7 DR. DAVIDSON: Dr. Steers?

8 DR. STEERS: I know I'm treading on thin ice as
9 a urologist, trying to comment on preterm delivery,
10 but I'll take a shot at this. On one hand, if I
11 was a patient with high risk, I'd be reassured by
12 the generalize-ability that's being argued in
13 addition to statistics for approval of this drug.

14 On the other hand, with regard to efficacy,
15 generalize- ability, in my view, is for a very
16 defined population, and we seem to have a
17 heterogeneous population, based on one clinical
18 trial that's being examined based on race,
19 vaginosis, birth weights, which leads me to think
20 that this drug is being proposed to work fairly
21 equally on all mechanisms which, in my view, would
22 be highly unlikely, that if you propose a shotgun

1 effect, I've not seen data with any of these
2 analyses that there's a subset, nor intent to define
3 a subset, where this drug would be indicated and it
4 leads, again, with the high-risk placebo group, how
5 you can say, this is working equally.

6 If it was just -- do we have data, for example,
7 on the miscarried fetuses, on the vascular
8 abnormalities of the placenta? Do you have any
9 other data that suggest either a mechanism of some
10 specificity with this agent, rather than it's
11 working equally in all groups and it's
12 generalizable with everybody? That isn't reassuring
13 to me as a mechanism of action, and --

14 DR. HICKOK: Thank you, Dr. Steers. Let me say
15 that, in terms of all different mechanisms, we are
16 first proposing that that mechanism being fairly
17 narrowly defined as those women who have had one or
18 more prior preterm births.

19 If we go back to Dr. Romero's talk this
20 morning, I think he described how there were a lot
21 of different mechanisms that go into -- whether it's
22 thrombosis, infection, hemorrhage, things like that.

1 We are proposing that this is a very narrow
2 indication for women with one or more prior pre-term
3 births.

4 I will, for example, also, if you'd like, talk
5 about -- a little bit about proposed mechanisms of
6 action, if that would more directly address your
7 question.

8 DR. STEERS: I guess I'm confused. Mechanism,
9 you're looking at a risk group where it's not an
10 independent mechanism, and I guess if there's --
11 these women continue to have preterm -- you're
12 always saying this is due to one mechanism, but
13 isn't it possible that the immunologic abnormality,
14 their socioeconomic, racial (inaudible),
15 environment, infection, put all these women in
16 different mechanisms; they just happened to have
17 expressed it as multiple preterm deliveries.

18 I mean, it just -- I just don't understand that
19 -- preterm delivery in that -- yes, that is just one
20 mechanism for that.

21 DR. HICKOK: Yes, there's a joke that when
22 somebody discovers the true mechanism of preterm

1 labor, they're going to win a Nobel Prize for it.

2 But your question is a good one, because a lot of
3 preterm deliveries are unknown as to what their
4 etiology are.

5 If you take other mechanisms, like women with
6 multiple pregnancies, it's presumed due to uterine
7 over-distension and stress. And for example, the
8 one study that we know on 17P that looked at women
9 with multiple pregnancies, the Harketene (phonetic)
10 and Sorrey (phonetic) study, 17P was not successful
11 in those women.

12 So we know that at least for that other
13 indication, with the data that we know right now,
14 that 17P may not be successful in that group, and
15 hence, Adeza will very narrow in our labeling to
16 limit this to a subset of women that, again, have
17 one or more prior preterm births.

18 DR. STEERS: Did I hear there's a study ongoing
19 with greater than two -- twin and triplet births, as
20 well, that's not being reported yet?

21 DR. HICKOK: There is an NICHD maternal-fetal
22 medicine network study ongoing with multiple

1 pregnancies, and we don't have any data on that
2 study to date from my knowledge today on that.

3 DR. DAVIDSON: Okay. Dr. Wesley?

4 DR. WESLEY: Yes. I just would -- something we
5 had begun addressing in our impromptu question and
6 answer session, the question about whether there is
7 any availability of meaningful long-term data? It
8 would seem as though with the 44-year experience
9 with Delalutin, that there would be some
10 information, although it may be difficult to
11 interpret.

12 However, Dr. Hickok had previously, in response
13 to Dr. Steers, said that there was some
14 information, long-term information from the
15 manufacturer. I don't know whether that consists of
16 some sort of voluntary registry or what form that
17 takes.

18 Could you please comment on the quantity and
19 the quality of that information? And then,
20 secondarily, has the FDA had an opportunity to
21 review that and are there any observations or
22 conclusions that can be drawn from that information?

1 DR. HICKOK: Yes. As I mentioned previously,
2 there is a long-term safety database that's managed
3 called the AERS and ADRs databases, and I'd like to
4 call on Dr. Dove to briefly discuss that. We have
5 obtained that database, and we'll -- I'm sorry. I'm
6 going to call on Dr. Meis, actually, to give a kind
7 of broader view of the safety issues. Not only has
8 he been the P.I. of the NICHD study, but Dr. Meis,
9 as you know, has also published information on
10 safety data, and he's going to share with us some
11 long-term safety data.

12 DR. MEIS: First, before we -- I address that,
13 we have examined the results of our study according
14 to BMI, and these -- treatment was effective against
15 broad ranges of BMI in the participants. A high BMI
16 was somewhat protective in the placebo group, but
17 the treatment did have efficacy across the broad
18 ranges of BMI.

19 I'd like to just talk about what information is
20 available about longer-term effects of treatment in
21 teenaged and older individuals. There are a few
22 studies that have been published, as it was

1 remarked, that Delalutin is a drug that has been
2 around for a long time.

3 I would just like to mention some of the
4 studies that have been published. A study by Kester
5 (phonetic) in 1984 examined a group of adolescent
6 males exposed in utero to Delalutin and performed a
7 battery of psychological tests on the patients and
8 on matched control subjects. The mean age of the
9 subjects was 15 years, and the two groups were
10 comparable in demographic and baseline
11 characteristics.

12 Prenatal exposure of a male to 17P had no
13 significant effect on type and direction of
14 aggression expressed, the need to conform to group
15 norms of social behavior, the gender identity,
16 interest in sports, games, and rough and tumble
17 play, visual spatial ability, interest in reading
18 and type of books selected, and selection of
19 television programs.

20 The only significant difference that Kester
21 found was that the males who had been treated
22 with 17P watched more television.

1 Dalton has published several studies. Dalton,
2 in the '50s, performed some trials of prophylactic
3 use of progesterone in prevention of pre-eclampsia,
4 which seems to us a strange concept, but at any
5 rate, she then had the opportunity to do follow-up
6 on the children who were in her trials.

7 They reported no case of masculinization of
8 the girls observed, and compared with controls, the
9 children exposed to progesterone in utero had
10 earlier attainment of standing and walking, greater
11 attainment of above average school grades at nine to
12 10, and later, she found that the children who were
13 exposed attained higher levels on national
14 examinations and were more likely to enter a
15 university.

16 Renish (phonetic) studied children aged five to
17 18 years exposed to progestins and estrogen in utero
18 and compared the subjects to their unexposed
19 siblings. There were a number of agents that they
20 were exposed to, but basically, the
21 progestin-exposed children had significant higher
22 scores for independence, individualism, and

1 self-sufficiency compared with their unexposed
2 siblings, and lower scores for insecurity.

3 The personality profile has been associated
4 with having a significant relationship with school
5 achievement and success. So at any rate, they
6 didn't really find any deleterious results in these
7 studies of the teenaged children.

8 DR. DAVIDSON: Okay. Dr. Tulman?

9 DR. TULMAN: Yes, thank you. I was wondering if
10 you could show us the -- I'm still troubled about
11 the high rate of prematurity in the control group.
12 Were there any differences by site?

13 DR. HICKOK: Let me address this, Dr. Das. We
14 don't have a slide prepared for you on this. We can
15 probably look this up fairly quickly for you on
16 prematurity rates by site. Oh, we do have -- I'm
17 sorry, we do have a slide.

18 DR. DAS: Yes, we -- I'm sorry. We have looked
19 at preterm less than 37 weeks by site, and
20 you'll see a relatively consistent treatment effect
21 across sites. Some of the sites with lower
22 enrollment won't have as stable estimates, and so

1 there may be some differences there.

2 We also did do a site by treatment interaction
3 analysis, and there was no significance on this
4 analysis, except for the top site, which is
5 Pittsburgh, where that was significant interaction,
6 but you'll see that the number of patients enrolled
7 there is not that high and would not be driving the
8 overall treatment effect.

9 DR. TULMAN: Could I ask a follow-up question on
10 that?

11 DR. HICKOK: Yes.

12 DR. TULMAN: Were there differences in the --
13 because it does -- there is quite a variation there.
14 Do you have data on the other management of the
15 patients who are at risk -- they all were at risk --
16 for premature delivery, in terms of other
17 interventions that were done during the pregnancy,
18 whether it was things such as cerclage or bedrest or
19 hospitalization or some such other things? Were
20 there differences in how they were managed?

21 DR. HICKOK: We do have information, for
22 example, that directly addresses your question on

1 the use of tocolytics and corticosteroids and would
2 that help you? First, we do have a limitation on
3 the information on tocolytic use because the way the
4 case report forms were created, we have information
5 only on tocolytic use prior to the birth
6 hospitalization; so, for example, as information on
7 tocolytic use, if a mother got admitted one or more
8 times and then discharged, but not for her ultimate
9 hospitalization that led to the birth.

10 I might add though, too, that this was
11 difficult to summarize because there were no
12 specific guidelines given to the site
13 investigators regarding tocolytic use, and just --
14 there's various opinions amongst the maternal-fetal
15 medicine unit centers regarding how you should use
16 that. For example, one site used no tocolytic
17 agents whatsoever, and they do that by policy at
18 that institution.

19 But in terms of giving you the rates of
20 tocolytic use between the 17P and the placebo group,
21 these are very similar at 12.9% in the 17P group and
22 11.8% in the placebo group.

1 If we can turn now, though, and talk about
2 corticosteroids -- that should be Slide 544 -- I can
3 give you more information on corticosteroid use.
4 Again, corticosteroids were -- that information was
5 taken at several times during the course of the
6 pregnancy, first at baseline, did you use
7 corticosteroids and for what reason, then weekly
8 during the prenatal visits, and then also, for
9 preterm labor admissions.

10 But once again, corticosteroid use was
11 collected only prior to the final birth
12 hospitalization.

13 Again, regarding the same comment that I used
14 about tocolytics, is that there wasn't any
15 guidelines given by the network on that, and people
16 did, just, I'm sure, as people do in the room here,
17 use corticosteroids in various different ways in
18 terms of when to stop administering it, what the
19 dose is, and things like that.

20 But if we actually turn to the corticosteroid
21 use during the 17P study itself, we can first look
22 at information on any corticosteroid use before

1 randomization, and in the 17P group, there were five
2 women, or 1.6%; in the placebo group, eight women,
3 or 5.2%.

4 If we look at that in terms of the type of
5 steroid that was used, we see that inhaled
6 corticosteroids accounted for the great proportion
7 of this 1.6 and -- or at least of the 5.2. The
8 great proportion in the placebo group was due to
9 inhaled corticosteroids, which were presumably
10 because of asthma.

11 So the difference in corticosteroid use between
12 the 17P and the placebo group was primarily due to
13 the use of -- the lower use of corticosteroids in
14 the 17P group and the higher use of corticosteroids
15 in the placebo is likely due to a high rate of
16 asthma. So in other words, of this difference that
17 we observe, it's most likely due primarily to a high
18 use of an inhaled corticosteroid use for asthma.

19 We didn't make an adjustment for this in the
20 analysis because recently, there's been two large
21 studies that have failed to identify asthma as a
22 prognostic risk factor for preterm birth. Another

1 network study by Dembrasky (phonetic) and another
2 study out of the epidemiology literature by Bracken
3 (phonetic) failed to identify asthma as a predictor
4 of preterm birth. Therefore, we felt justified not
5 to adjust for this in the analysis.

6 DR. DAVIDSON: Dr. Scott?

7 DR. SCOTT: I guess the efficacy really comes
8 down to are the two groups truly comparable, and
9 we've spent a lot of time on that and the statistics
10 and so on. But aside from that, I just wonder about
11 the biologic plausibility. 17- hydroxyprogesterone
12 is a pretty weak progestin, and the endocrinology of
13 pregnancy, of course, is very complicated, but the
14 last half of pregnancy, there are tremendous amounts
15 of hormones being produced by the placenta,
16 including progesterone.

17 So how do you -- what is the mechanism of
18 action? Why would it work to give a small amount --
19 250 milligrams of Delalutin, or 17-
20 hydroxyprogesterone IM, that diffuses into the
21 maternal circulation at a low rate, when you have
22 all these high levels of progesterone and other

1 hormones -- why would it prevent premature labor?

2 DR. HICKOK: Your point is a very good one, Dr.
3 Scott, as 20 or 30 years ago, the progesterone
4 supplementation theory was the predominant one. We
5 knew that progesterone levels fell preceding the
6 onset of parturition; hence, if we give
7 progesterone, we prevent -- we supplement with
8 progesterone and prevent preterm birth.

9 That clearly is not the case, as we know now,
10 and there are mechanisms of action that have been
11 proposed, and I'd like to ask Dr. Singh to again
12 give us brief presentation on some of the mechanisms
13 that have been proposed so far.

14 DR. DAVIDSON: Dr. Henderson?

15 DR. HENDERSON: I'd just like to explore -- we
16 talked a little bit earlier about using the
17 animal data, looking -- talking about the effect on
18 the neonate when -- after exposure. And looking at
19 the sexual function and how mature the offspring is,
20 could we talk a little bit about the animal data
21 again? How long did these animals live? I mean,
22 did they have a normal life after they were born?

1 Did they do all the normal things that they would be
2 expected to do as lab animals, or -- I mean, how can
3 we look at what happened to them after they were
4 exposed to this in utero?

5 DR. HICKOK: Yes. Mr. Chairman, I'm sorry to
6 ask the question, should we -- I felt like we didn't
7 complete the last answer on mechanism of action, but
8 I'd be pleased to go on to animals and sexual
9 function, if you feel that's most appropriate now.
10 I'm sorry, Dr. Davidson, at your preference, whether
11 you'd like me to finish up the question on mechanism
12 of action or to go on to animal studies and sexual
13 function.

14 DR. DAVIDSON: Which one would you rather do?

15 DR. SCOTT: I'd rather the answer to my
16 questions.

17 DR. HICKOK: Let's defer to Dr. Scott, then --
18 you're putting me on the spot here -- and have Dr.
19 Singh give us a very brief rundown of some of the
20 proposed mechanisms of action.

21 DR. SINGH: Actually, Dr. Hickok, since I'm
22 going to be answering both of those questions, it

1 doesn't really matter which order I take them in.

2 Okay, I'll start with mechanisms of action. Thank
3 you.

4 Several today have already discussed the
5 proposed mechanisms of action of progesterone, and
6 so forgive me for being repetitive here, but the
7 mechanism of action of 17HPC is unknown. Multiple
8 pathways are possible, if not likely.

9 The pharmacological activity of 17HPC is
10 similar to that of progesterone; however, their
11 mechanisms of action may be distinct. There are
12 proposed mechanisms of action of progesterone and
13 I'll summarize them briefly on the next slide.
14 They've been generally categorized into
15 non-genomic and genomic mechanisms.

16 So on this next slide, which briefly
17 summarizes these proposed mechanisms that are out in
18 the open literature, it's been shown that
19 progesterone modulates progesterone receptor
20 activity. It also reduces estrogen receptor
21 activity by either direct interaction with the
22 estrogen receptor or potentially proposed genomic

1 type mechanism.

2 Also, it's been shown to inhibit
3 oxytocin-induced uterine contractility, most likely
4 through inhibition of prostaglandin synthesis. It's
5 been shown to enhance tocolytic responses associated
6 with adrenergic receptor responses, and
7 specifically, the beta adrenergic preceptor.

8 Also, it's been shown to have local
9 anti-inflammatory effects that touch on some of the
10 mechanisms that were mentioned earlier today, such
11 as the -- perhaps the interference with NF kappa
12 beta, transcription of various genes that lead to
13 pro-inflammatory effects. Also, it's been shown to
14 inhibit myometrial gap junctions, and again,
15 leading to uterine quiescence.

16 So these, again, are the proposed mechanisms, a
17 summary of them that are out and available open
18 literature for progesterone. However, as I
19 mentioned in the beginning, 17HPC, there's very
20 little known on that. Recently, at the SGI
21 conference back in March of this year, it was
22 shown on two different abstracts a couple of in

1 vitro binding assays with 17HPC that kind of
2 bring to light a little bit of the mechanistic
3 activity of this compound in particular, and how it
4 may be different from progesterone itself.

5 First, Zaleznic (phonetic) and colleagues
6 presented that actually 17HPC is better at inducing
7 progesterone-responsive genes than progesterone
8 itself or 17 alpha-hydroxyprogesterone. Secondly,
9 Atardi (phonetic) and colleagues showed, in the same
10 conference, that the 17HPC actually exhibits
11 selectivity for the beta isoform of the
12 progesterone receptor, which is associated with
13 transcriptional activity, as opposed to the alpha
14 isoform, which is associated with repressor effects.

15 So that sort of brings to light some
16 selectivity and differences with respect to 17HPC
17 and how the activity might be different from
18 progesterone, even though they may be very similar,
19 in general.

20 DR. SCOTT: Are those in vivo studies or in
21 vitro studies?

22 DR. SINGH: No, those two that were presented,

1 these abstracts are in vitro receptor binding
2 studies.

3 DR. SCOTT: Do you have any hard data in the
4 actual patients? Any differences in anything; serum
5 levels or --

6 DR. SINGH: Dr. Meis will respond.

7 DR. Yes, Dr. Meis will address that, if we can,
8 Dr. Scott.

9 DR. MEIS: Dr. Scott, one of this is very
10 recent information which we intend to present at the
11 SMFM next year. We collected salivary samples
12 weekly on these women throughout their gestation,
13 and the early results from a serial sampling of a
14 group of women, both in the 17P and the placebo
15 group who delivered at term and who delivered
16 preterm, basically showed that the treatment did
17 not alter salivary levels of progesterone.

18 However, it did alter salivary levels of
19 estriol. It lowered salivary levels of estriol and
20 in fact, shifted the estrogen -- the progesterone
21 ratio. Now, we don't know what the mechanism of that
22 is, but it clearly had some effect.

1 DR. DAVIDSON: Satisfied, Dr. Scott?

2 DR. SCOTT: Yes.

3 DR. DAVIDSON: Dr. Carson?

4 DR. CARSON: Did any of your side effects -- I'm
5 glad that it had such low side effects --

6 DR. DAVIDSON: Just one he had two questions
7 to answer.

8 DR. HICKOK: Oh, Dr. Scott asked about -- I'm
9 sorry -- about sexual functions later on in life.
10 Now --

11 DR. HENDERSON: I asked -- we started when Dr.
12 Steers asked about sexual function, and as
13 adolescents, would you expect or have we noticed
14 that there was any change in puberty. Did fetuses
15 who were exposed to this, when they got to be
16 in puberty age, were they different? And we don't
17 have the answers to that.

18 So I was asking about the -- and you then
19 suggested looking at the animal studies. The
20 animals -- as the animals went into puberty, or
21 adolescence, what ever the phase would be comparable
22 -- were there -- one, was it any different, and then

1 two, their length of life, did -- throughout life,
2 were the animals any different after having been
3 exposed to the progesterone in utero?

4 DR. HICKOK: Yes. I'm sorry we got
5 interspersed questions, and Dr. Singh was ready to
6 address that question.

7 DR. SINGH: Yes. Unfortunately, I don't have a
8 study to cite for you because that was not actually
9 looked at in the broad range of animal data that is
10 out there and published on 17HPC. The studies that
11 were done only looked at the fetuses upon caesarean
12 section, upon removal from the mother. So they did
13 not look at -- apart from that one study that I
14 mentioned earlier in rats where an F-1 generation
15 was looked at, and the males actually exhibited a
16 suppression in spermatogenesis.

17 A follow-up study was done by the same team,
18 and it was felt that this might be due to
19 inhibition of testosterone production in those
20 males. And I can tell you that on that subject,
21 though, as far as -- there have been sort of
22 sex-specific differences to your question, as far as

1 what's been seen in the animal data.

2 There is no evidence whatsoever of verilization
3 due to the exposures to 17HPC. So in terms of
4 androgenic effects in females, there's nothing,
5 there's no activity there. However, the only signal
6 that there has been in all of the animal data that I
7 have seen is this one study. It was the follow-up
8 study in rats that showed an effect on
9 spermatogenesis.

10 DR. HICKOK: If I can perhaps turn this a little
11 bit to the molecular level to try to answer your
12 question, it may be helpful. I'd like to remind
13 everybody that the length of exposure to 17P is
14 fairly limited during the pregnancy time. But we
15 have Dr. Frank Stanczyk here, who is a progesterone
16 chemist, who I think could give us some very
17 interesting and worthwhile information on 17HPC as a
18 chemical entity and what its steroid hormone effects
19 are and what we might anticipate in that.

20 DR. STANCZYK: Frank Stanczyk, University of
21 Southern California in Los Angeles.

22 DR.HICKOK: Bare with us here as we get a slide

1 ready. We're pretty close

2 DR. STANCZYK: I'd like to point out that the
3 17HPC molecule is very different from the
4 progesterone molecule, and it's the caproic acid
5 side chain that makes it very different.

6 There is no evidence at all that 17HPC is
7 converted to 17-hydroxyprogesterone. That's what
8 would happen if you had hydrolysis of the caproic
9 acid group. Nor is there any evidence that it's
10 converted to progesterone. Both the 17-
11 hydroxyprogesterone and progesterone assays are
12 readily available. They've been around for many
13 years now, and there is not one study that has shown
14 the conversion of 17HPC to either of these
15 molecules, and this is using both radio-amino assay
16 methodology and mass spectrometry methodology.

17 Since 17-hydroxyprogesterone, and progesterone,
18 of course, are important precursors for the
19 formation of androgens, estrogens, and
20 corticosteroids, you don't have any conversion of
21 17HPC to these compounds.

22 DR. DAVIDSON: Thank you. Dr. Carson?

1 DR. CARSON: But does 17HPC displace those from
2 albumin or SHBG, to then make them more biologically
3 available?

4 DR. STANCZYK: 17HPC does not bind to SHBG, but
5 it would bind weakly to albumin. So it would be
6 just like all steroids. It would bind very loosely
7 and would be available to target cells and for
8 metabolism.

9 DR. CARSON: So it would make those -- the
10 endogenous steroids available then? You would have
11 -- it could --

12 DR. STANCZYK: The endogenous? Yes.

13 DR. CARSON: You could, in effect, increase your
14 endogenous bioavailable androgens, estrogens, and
15 progestins.

16 DR. STANCZYK: You mean by displacing --

17 DR. CARSON: By --

18 DR. STANCZYK: From albumin? Well, albumin is a
19 -- like a sponge. It carries all steroids. So it's
20 possible that you would because you get that
21 differentiation between, for example, the sulfates
22 and the glucuronites (phonetic), where the albumin

1 likes the sulfates a little better than the
2 glucaronites. So this is why you see mostly
3 glucaronites in urine, in addition to the faster
4 glomerular filtration rate. But albumin prefers the
5 sulfates, so -- a little bit, so --

6 DR. BUSTILLO: But that would also explain the
7 elevated salivary estrogen.

8 DR. STANCZYK: Yes, that, I don't know how to
9 explain. Of course, it wouldn't be by conversion to
10 estrogens, but it could be that some enzyme is
11 induced somehow, and I think that would be
12 interesting to find out how this occurs.

13 DR. DAVIDSON: Okay. Dr. Wenstrom?

14 DR. WENSTROM: I had a comment about an earlier
15 issue and that's the high rate of preterm delivery
16 in the placebo group, which still seems to still be
17 a concern for people around the table. I would
18 think it would be possible to figure out exactly
19 what that preterm delivery rate should have been
20 based on the women's previous preterm delivery,
21 using the data from Brian Mercer that I believe that
22 Dr. Romero presented earlier.

1 So, for example, a previous delivery between 24
2 and 28 weeks has, I think, a 50% recurrence risk.
3 If half the patients in this study had a preterm
4 delivery in that range, that would indicate a higher
5 risk of recurrence.

6 And so couldn't we go back and look at the
7 previous -- what proportion of women were in each
8 of those categories of gestational age at preterm
9 birth, and sort of use that to predict what the
10 preterm birth rate should have been in the placebo
11 group? Because I'm guessing if we did that, we'd
12 find out that it is pretty close to what we'd
13 expect, based on the fact that they were very early
14 -- many of the women had very early preterm births
15 in their previous pregnancies.

16 DR. HICKOK: Dr. Savitz, can you -- I believe
17 Dr. Wenstrom may be referring to maybe direct
18 standardization technique or something like that.
19 Would you comment to that, Dr. Savitz?

20 DR. SAVITZ: The sort of -- the general comment
21 is that when we took a look at that, the question
22 was whether -- and specifically comparing the rate

1 in the placebos in the 17P trial with some of the
2 previous maternal and fetal medicine network trials.
3 In other words, that's the comparison to make. And
4 we're not talking about -- we're not worried at this
5 point about the placebo arm versus the treatment
6 arm; we're worried about why is that baseline rate
7 so high?

8 That fact alone accounts for a fraction -- I
9 don't remember the exact figure, but it's not by
10 any means the complete explanation. It doesn't go
11 from 37 to 51% when you make that adjustment. It
12 goes up some in that direction.

13 I think -- I'm afraid that when you look at the
14 results across the centers and so on, I think what
15 we are probably getting is an accurate reflection of
16 the population served in the network centers. In
17 other words, this is the baseline risk in the
18 calendar years of the study, and again, one of the
19 reasons in this case was their recruitment that
20 seemed to more effectively or preferentially recruit
21 those with a more severe history of adverse outcome.

22 But I really think it's this combination of

1 medically indicated preterm deliveries, of course,
2 are going up fairly rapidly. If the demographic
3 constitution of the MFM centers changes over time --
4 and I know I've done work at North Carolina over 10
5 years. With nothing else changing, we would watch
6 the preterm rates go up. Nothing else changed, the
7 same institution and just over calendar time, not
8 accounted for by demographics.

9 So this combination of who you're recruiting,
10 clinician inclination, in terms of medically
11 indicated preterm delivery, and I think also just
12 the recruitment into the trial, all of those are
13 part of it. It is also part of it, the most severe
14 adverse outcome history, but not all of it.

15 DR. DAVIDSON: Dr. Bustillo?

16 DR. BUSTILLO: I had a question about this last
17 slide that was just handed again, which I think is
18 sort of an amplification of a previous slide that
19 was shown by Dr. Wesley, which was Slide 9, about
20 the graphs of the patients that were still
21 pregnant at certain gestational ages.

22 MS. WATKINS: For clarification, was that an

1 open public hearing statement submission?

2 DR. BUSTILLO: I'm sorry?

3 MS. WATKINS: For clarification purposes, the
4 slide you are referring to, is it an open public
5 hearing statement submission?

6 DR. BUSTILLO: No, I'm talking about Dr.
7 Wesley's presentation this morning with the two live
8 table analyses --

9 MS. WATKINS: Okay. Thank you.

10 DR. BUSTILLO: -- of the patients that are still
11 pregnant between 20 weeks and 24 weeks being much
12 lower in the treatment group versus the placebo
13 group. So I don't understand that, but my question
14 relevant to that actually is, how was it decided to
15 give drug prior to 20 weeks? Was there any data on
16 -- for the initial trial? Was there a reason that
17 we thought might be more efficacious starting it
18 earlier than 20 weeks, as opposed to 20 weeks?
19 Because the --

20 DR. HICKOK: Dr. Meis? I'm sorry. Dr. Meis,
21 would you comment on the rationale, as the principal
22 investigator?

1 DR. MEIS: It seemed that some of the trials
2 of progesterone which had not shown efficacious
3 started the drug rather late in gestation, and
4 we felt that the efficacy would -- may be enhanced
5 by starting it at an earlier time.

6 We wanted to wait until after 16 weeks to
7 reduce any possible teratogenic effects. We felt
8 that we might prejudice the outcome if we waited
9 until after 21 weeks, that it may not be as
10 effective after that time. The slide presented here
11 shows that the -- I'm sorry, this doesn't really
12 help. That's -- the study in Finland that studied
13 women with the twin gestation started their drug at
14 28 weeks, and it was totally ineffective, and we
15 thought that might be part of it.

16 DR. KAMMERMAN: Oh, excuse me. I just had a
17 comment on that. I actually did that analysis
18 for this dataset, and I stratified -- I looked at
19 women who started studies beyond 20 weeks, and the
20 two curves pretty much are identical and they
21 overlap.

22 It would appear that most of the effect is

1 coming from women who are started on study
2 drug prior to 20 weeks gestational age, so that
3 would be pretty much consistent with what you were
4 saying.

5 DR. DAVIDSON: Okay. Dr. Johnson?

6 DR. JOHNSON: Actually, don't sit down, Dr.
7 Meis. I was going to ask you another question.
8 Addressing back to my original question this
9 morning, when you looked at the Delalutin data, did
10 you find anything in regards to examining children
11 for genital abnormalities? Now, you talked about
12 the effect on their cognitive and behavioral
13 changes, but did you look at any effect on their
14 reproductive tracts?

15 DR. MEIS: There were no effects found on
16 their reproductive tracts. I didn't go into
17 that, but there was nothing there compared with
18 controls.

19 DR. JOHNSON: So they did do exams and compare
20 controls to the children that got the 17-
21 hydroxyprogesterone?

22 DR. HICKOK: Yes.

1 DR. JOHNSON: Thanks.

2 DR. HICKOK: And again, that was reinforced by
3 the three large trials that I showed you this
4 morning that looked specifically at 17HPC, exposed
5 infants with controls for the most part, and then
6 FDA's -- also the FDA assessment in 1999 on the
7 progestin class here that I showed you also.

8 Again, the FDA has done this periodically over
9 time in assessing risks of progestins being -- and
10 estrogens being given during pregnancy.

11 DR. DAVIDSON: Dr. Nelson, did you have a
12 question?

13 DR. NELSON: I was -- had been going to comment
14 on the issue that has been raised repeatedly about
15 the high rate of preterm birth in the control arm,
16 and the answer that was given was why there was
17 a high rate of preterm birth in all the entrants to
18 the study. I think the answer to why that's
19 different in the placebo and the active drug
20 recipients had to be -- just has to be the
21 randomization failed, and given -- and that
22 certainly can happen.

1 I think if we're going to do this study again,
2 one would lock randomize it at admission for number
3 of preterm births.

4 While I have the microphone, may I make one
5 other comment? That is that the justification for
6 studying an agent to prevent preterm birth has been
7 significantly for the prevention of long-term
8 disabilities, and we have been shown no evidence
9 whatever that that was achieved here. The one week
10 of benefit in gestational age was not in the data
11 we've seen on follow-up associated with any benefit
12 in any of the categories examined.

13 In fact, it doesn't rule out that there
14 could've been a sharp increase in cerebral palsy,
15 for example, in the children who received active
16 drug, because so few children were examined.

17 DR. DAVIDSON: Just to comment. Dr. Carson?

18 DR. CARSON: It's reassuring to see there
19 weren't very many side effects to the drug, and I'm
20 glad about that. But I wonder if you looked at any
21 of the side effects that did occur and see if they
22 were a predictor of preterm labor, particularly like

1 the local site reaction and the GI side effects.

2 DR. HICKOK: We looked at the timing of the
3 injection site reactions and found interestingly
4 that they were fairly unpredictable. They would
5 happen in some cases early on and in some cases
6 later on. But it wasn't really an indication that
7 it was a true allergic reaction, with somebody
8 receiving an injection and then later -- or
9 subsequently, getting a more severe reaction.

10 We don't -- I -- we looked at the relationship
11 between -- I believe we looked at the
12 relationship between onset of premature labor and
13 did not find a result, but I don't have those data
14 to give to you.

15 DR. CARSON: So you're saying that if they had a
16 reaction, they were not more likely to have preterm
17 labor? Or do you --

18 DR. HICKOK: I don't believe our -- we had such
19 a low rate of adverse reactions also --

20 DR. CARSON: I realize --

21 DR. HICKOK: -- that those -- now, those -- the
22 women -- and I don't have it to show you, but the

1 women that had injection site reactions, no, were
2 not more likely to have preterm delivery.

3 DR. CARSON: How about GI side effects?

4 DR. HICKOK: Gastrointestinal side effects?

5 DR. CARSON: Yes.

6 DR. HICKOK: We had very low rates of those
7 also, and that's generally confounded by the
8 pregnancy condition itself and when the -- and a lot
9 of gastrointestinal complications also.

10 Dr. Davison, could I address -- there's one
11 question of Dr. Nelson's -- she had a two-part
12 question -- that I did not get a chance to answer,
13 which was regarding pre-eclampsia, and then I think
14 she just raised another issue about the value of
15 prolonging pregnancy one week and what might that
16 result.

17 Because again, the follow-up study was designed
18 as a safety study. It wasn't designed as an
19 efficacy study to say that 17P babies did better
20 than placebo babies. It was really just looking for
21 safety signals up until five years of age. So I
22 wanted to make that point clear. But we do have

1 other data about the value of prolonging pregnancy.
2 And if I can, we have a neonatologist with us, Dr.
3 Michael O'Shea, that can speak to that issue, and
4 he's trained in public health and epidemiology also,
5 in addition to being a professor and a person who
6 cares for sick neonates.

7 DR. O'SHEA: I'm going to pull up a slide to try
8 to tie together a number of concepts that several
9 people have spoken about, and it relates to the
10 issue of the surrogate outcome measure. As Dr.
11 Nelson mentioned, there seemed to have been an
12 average prolongation of gestation. Excuse me just a
13 minute. Well, to give you some framework of --

14 DR. DAVIDSON: How long do you think this is
15 going to take?

16 DR. O'SHEA: One minute.

17 DR. DAVIDSON: Okay.

18 DR. O'SHEA: We can think in terms of the
19 sequela of prematurity as being very prevalent
20 short-term effects, such as an admission to the
21 neonatal intensive care unit. We can think in
22 terms of somewhat less prevalent, but more severe

1 problems as one of the -- several of the speakers
2 have spoken about; necrotizing enterocolitis, for
3 example.

4 Even less prevalent, but more important, would
5 be long-term effects like cerebral palsy. And most
6 important, but least prevalent, would be mortality.

7 I think the data that were provided to you from
8 the study show an effect on necrotizing
9 enterocolitis and NICU admission. In terms of the
10 latter two events, which are much less prevalent,
11 cerebral palsy and mortality, we would have to use
12 external data which indicate that there is a
13 gradient of risk that extends all the way from 23 to
14 37 weeks.

15 DR. DAVIDSON: Okay. Dr. Simhan, you have the
16 last shot at this.

17 DR. Simhan: Thanks. That's a big
18 responsibility. I have a caution regarding the
19 value of prolonging pregnancy in this setting of
20 what might be a pathological process. If infection
21 is, in fact, the etiology of preterm labor, preterm
22 PROM, that having the fetus remain in utero may, in

1 fact, have undesired long-term consequences, whether
2 those are neuron-inflammatory or otherwise.

3 However, with respect to these data, I was --
4 am I correct in being reassured that the
5 chorioamnionitis frequency in the 17P treated
6 population and the placebo treated population was in
7 fact similar?

8 DR. HICKOK: That's correct. We were -- it was
9 -- the rate of confirmed clinical
10 chorioamnionitis was very similar between the two
11 groups, and again, that also reassured us, because
12 as you know, you certainly don't want to prolong a
13 gestation where there's an active infection going.
14 But again, this rate was 3.3% in the 17P group,
15 2.4% in the placebo group, and investigators didn't
16 know which group women were in, so there shouldn't
17 be any biases introduced by that.

18 DR. DAVIDSON: Let's take -- I know it's
19 impossible, but let's do it. Let's take a 10-minute
20 break, and when we return, we will go over the list
21 of questions from the standpoint of making sure that
22 the committee has clarity about each one of these

1 questions before we go to the voting at the end of
2 the day, so that if we need to find out additional
3 information from the agency or et cetera so that
4 we're all on the same page when we get ready to
5 vote. Let's take a short break.

6 (Off the record at 3:05 p.m.)

7 (On the record at 3:15 p.m.)

8 DR. DAVIDSON: Okay. Let's reassemble, please.

9 Let's turn our attention to the page -- do you have
10 a -- in your folder a sheet of questions for
11 the Advisory Committee for Reproductive Health Drugs
12 that are numbered? Everyone has this sheet? Is
13 there anyone without a sheet? Okay.

14 This is not for voting; this is for clarity and
15 making sure we understand the questions. So why
16 don't we just go through these in order and see
17 whether or not any clarification is requested by
18 anyone? I have been advised that maybe I should
19 read the introductory paragraph that's at the top of
20 this page.

21 In general, the FDA requires an applicant for a
22 new drug product to submit two adequate and

1 well-controlled clinical trials as substantial
2 evidence of effectiveness. One of the circumstances
3 in which a single clinical trial may be used as
4 substantial evidence of effectiveness is a trial
5 that has demonstrated a clinically meaningful effect
6 on mortality, irreversible morbidity, or prevention
7 of a disease with a potentially serious outcome, and
8 confirmation of the result in a second trial would
9 be logistically impossible or ethically
10 unacceptable.

11 The applicant is seeking marketing approval for
12 17HP based primarily on: (1) the findings from a
13 single clinical trial and (2) a surrogate endpoint
14 for neonatal infant morbidity and mortality; i.e.,
15 reduction of the incidence of preterm birth at less
16 than 37 weeks gestation. Any questions or comments
17 about that?

18 Question 1-A. Is the primary endpoint for 17P
19 CT002 prevention of preterm birth prior to 37
20 weeks gestation an adequate surrogate for a
21 reduction in fetal and neonatal mortality or
22 morbidity? Understandable? Any questions about

1 that?

2 DR. VISCARDI: Actually, I guess I have a
3 comment. Again, as a neonatologist, I'm a little
4 concerned about that being a surrogate for fetal and
5 neonatal mortality and morbidity, because when you
6 actually look at the mortality data and the
7 morbidity data, both -- at least the short-term NICU
8 morbidity, there really were not any important
9 differences, yet there was a reduction in the
10 incidence of preterm birth less than 37 weeks.

11 But the more important outcome is how do those
12 pregnancies do, and I think that I'm not entirely
13 convinced that that is an appropriate surrogate.

14 DR. DAVIDSON: Let me get this. You
15 understand the question, but you are questioning its
16 appropriateness?

17 DR. VISCARDI: Well, the question is, is it an
18 adequate surrogate? And I would state that it is
19 not an adequate surrogate.

20 DR. DAVIDSON: Yes, we are now just
21 clarifying the question. All of those other things
22 may go into how you answer it --

1 DR. VISCARDI: Okay.

2 DR. DAVIDSON: -- but you do understand the
3 question?

4 DR. VISCARDI: I do understand the question. I
5 was --

6 DR. DAVIDSON: Okay.

7 PARTICIPANT: She was answering it for us.

8 DR. DAVIDSON: Yes.

9 PARTICIPANT: As a neonatologist, she
10 answered the question.

11 DR. VISCARDI: Jumped ahead there.

12 DR. DAVIDSON: Dr. Hankins?

13 DR. HANKINS: Is it and, or is it or? Fetal and
14 neonatal, or fetal or neonatal? I hate to be picky,
15 but which is it? The same thing is going to come up
16 in (inaudible).

17 DR. DAVIDSON: Okay. An adequate surrogate
18 for a reduction in fetal and neonatal mortality.
19 I'll ask the FDA. They put the and here. I can't
20 hear you.

21 DR. MONROE: Can you hear me?

22 DR. DAVIDSON: Yes.

1 DR. MONROE: Yes, we would prefer that to be an
2 and, because we're looking at the whole pregnancy as
3 a continuum. So if, for instance, you had a
4 negative impact on fetal outcomes, but you had a
5 gain on neonatal, and the outcome was zero, we
6 wouldn't consider that a benefit. So I think we
7 would like it to be fetal and neonatal as a
8 continuum. Is that hopefully clear?

9 DR. DAVIDSON: 1-B. If not, would prevention of
10 preterm birth prior to 35 weeks or prior to 32 weeks
11 gestation be an adequate surrogate? Any questions?
12 Like -- yes?

13 DR. JOHNSON: Yes. When answering that, would
14 it be -- if we need to answer that question, should
15 we state 35 or 32? I presume we should let you
16 know which of those two is acceptable.

17 DR. MONROE: Yes, we would like to know which of
18 those two, or if both are acceptable.

19 DR. DAVIDSON: Now, I have a list -- the Chair
20 would like a clarification. I have a list of yes,
21 no, or abstain as an answer to all of these
22 questions. You're telling me that there is another

1 option here in 1-B, that if one votes one way or the
2 other, they say both or 35 or 32 weeks?

3 DR. MONROE: I guess in retrospect, that should
4 be a B and a C, perhaps. We would like the
5 differentiation. That would helpful in our
6 deliberations.

7 DR. DAVIDSON: Okay. Any questions about that?
8 Question 2. Do the differences in the incidence of
9 preterm birth in Study -- I'm just -- 002 prior to
10 37 weeks in the vehicle control group, 55% compared
11 to those in the control arms of another
12 maternal-fetal medicine unit network trial,
13 approximately 37%, and (b) Study 1701, 36%,
14 evaluating similar high-risk populations, indicate
15 the need to replicate the Study 002 in a
16 confirmatory trial? Any questions about that?
17 Understandable and clear?

18 Question 3-A. Do the data reviewed by the
19 committee provide substantial evidence that 17PC
20 prevents preterm birth prior to 35 weeks or 32 weeks
21 gestation age? Do you want a specific week after
22 this question?

1 DR. MONROE: Yes. Once again, the
2 differentiation between 35 and 32 is important.

3 DR. DAVIDSON: Okay. Any question about that?
4 You answer with either both, or a differentiation
5 between these weeks of gestation.

6 Question 3-B. No, no, we're not voting. No.
7 I will ask you to vote, and your vote will be public
8 and we are -- we're just going through to make sure
9 when we do this when you're voting, that there is
10 understanding of the questions. If you leave the
11 starting blocks before the gun, it's a foul.

12 3-B. Do the data reviewed by the committee
13 provide substantial evidence that 17HPC reduces
14 fetal and neonatal mortality or morbidity? Any
15 question about that? Potential safety concerns and
16 adequacy of safety data, there was a numeric
17 increase in the percentage of second trimester
18 miscarriages, pregnancy loss prior to week 20 of
19 gestation, and stillbirths in the 17HPC group.

20 Overall, 11 of 306 subjects, 3.6% 17HPC group,
21 and two of 153 subjects, 1.3 in the vehicle or
22 control group, had a second trimester miscarriage or

1 stillbirth.

2 Question 4-A. Is further study needed to
3 evaluate the potential association of 17HPC with
4 increased risk of second trimester miscarriage and
5 stillbirth?

6 DR. WESTNEY: Sorry, I just had a question, and
7 I hate to subdivide things unnecessarily, but the
8 question is, when you're speaking about morbidity or
9 mortality, it's conceivable that you might say
10 there's a different threshold, depending on whether
11 you're talking about morbidity versus mortality.

12 DR. DAVIDSON: Would you say that over again?

13 DR. WESTNEY: I'm saying you may say, for
14 instance, for morbidity, that would be sufficient 35
15 weeks -- less than 35 weeks, and in mortality, you
16 may say that it's 32 weeks.

17 DR. DAVIDSON: Dr. Monroe, did you understand
18 that?

19 DR. WESTNEY: Or just group them together, but I
20 just want a clarification.

21 DR. MONROE: I understand the concept. Are you
22 referring to a specific question, and which subpart?

1 DR. WESTNEY: I'm sorry?

2 DR. MONROE: I understand the concept of your
3 question --

4 DR. WESTNEY: Right.

5 DR. MONROE: -- but are you referring to a
6 specific question, and --

7 DR. WESTNEY: Yes, either 1B or 3B. Where you
8 were asking for either 32 or 35 weeks, is it just
9 both together, morbidity and mortality, or one or
10 the other, or is there a specific week that you
11 should look at for mortality versus morbidity, if
12 that's different to you? And that maybe something
13 that's more critical to the people who are actually
14 MFM. I mean, we're all --

15 DR. MONROE: We were not really differentiating
16 between that. If you wish to comment, that would be
17 up to you. I guess you could discuss that during
18 your discussion about it.

19 DR. WESTNEY: Okay.

20 DR. DAVIDSON: Are you clear? Any other
21 questions? Speak now, or -- I'll read Question B,
22 anyway, although it's been discussed. If so, should

1 this information be obtained prior to approval for
2 marketing or post-approval? So that's kind of two
3 parts to that question. I guess you want specific
4 help in that regard?

5 DR. Simhan: So again, just to clarify, that's
6 -- if the three options are yes, no, or abstain,
7 there's actually two options there that -- so prior
8 to approval for marketing would be one option, and
9 then post-approval would be option two?

10 DR. DAVIDSON: Right, right. Any further
11 questions? I know some of you thought this was
12 unnecessary. Question 5. Are the overall safety
13 data obtained in studies 17PCT02 and 01 and
14 studies 17PFU long-term follow-up adequate and
15 sufficiently reassuring to support marketing
16 approval of 17HPC without the need for additional
17 pre-approval safety data? Any question about that?
18 No?

19 Post-approval clinical studies. Question 6-A.
20 If 17HPC were to be approved for marketing
21 without additional pre-approval clinical studies,
22 would you recommend that the applicant conduct a

1 post- approval clinical trials to investigate
2 further safety or effectiveness? Any question about
3 that and its options? Yes?

4 DR. TULMAN: There might be an overlap of
5 potential conflicting results that can lead to some
6 ambiguity here. For example, if we were to say that
7 we think we need some more -- if we were to say that
8 we don't believe that we need more second trimester
9 miscarriage and stillbirth info post-approval, but
10 we still might want post-approval studies for
11 long-term effects after the child is born alive.

12 So I think we could get into a situation of
13 having an -- of not being able to vote on what we
14 wanted to vote on because of the way it's phrased.
15 I'm not sure how to fix it, so --

16 DR. DAVIDSON: I -- okay, let me read 6-B and
17 see if that helps. If so, what would be the primary
18 objective of the trials? What unanswered questions
19 would this study investigate?

20 DR. TULMAN: Okay. So then you could -- okay.

21 DR. DAVIDSON: Does that help?

22 DR. TULMAN: Probably.

1 DR. DAVIDSON: I've been assured these questions
2 have been gone over carefully in the Agency, and if
3 there are internal issues to resolve, they will have
4 to resolve them. Yes, sir?

5 DR. MONROE: To perhaps reduce some of the
6 ambiguity and make voting easier, where you
7 correctly identified that we didn't fully
8 differentiate between weeks 35 and 32, would it be
9 helpful if, for Question 1-B, we make it a B, as far
10 as 35 weeks, and then call that C for 32, just
11 to keep track of bookkeeping.

12 So it would be -- for instance, 1-B would read,
13 "If not, would prevention of preterm birth prior to
14 (B) 35 weeks or prior to (C) 32 weeks," just for the
15 purposes of answering and keeping track of this
16 score?

17 DR. DAVIDSON: Wait a minute.

18 DR. MONROE: I'm going back to 1-B, where
19 you had identified --

20 DR. DAVIDSON: You're going back to 1-B?

21 DR. MONROE: Yes. I thought you had finished
22 everything, and I just wanted to clarify before you

1 go on to voting, to make that perhaps --

2 DR. DAVIDSON: Well, okay. Well, then go over
3 that again?

4 DR. MONROE: Yes. For Question 1-B, says, would
5 prevention of preterm birth prior to 35 weeks
6 or prior to 32 weeks gestation be an adequate
7 surrogate? Perhaps it would just be easier to call
8 that a B and a C, or I don't know how you will keep
9 track of the vote. I just --

10 DR. DAVIDSON: You want to make a C and put 35
11 weeks, B; 32 weeks, C?

12 DR. MONROE: yes. I think it would just allow
13 people to answer yes or no very simply. If you feel
14 that will further confound everybody, I'll defer to
15 your judgment. And then the same would apply to
16 Question 3, Dr. Davidson. A would have to be -- A
17 would apply up through 35 weeks, then B could apply
18 through 32 weeks, and then what is now B would
19 become a C. If that hasn't confused everybody, I'll
20 --

21 DR. DAVIDSON: So you want to make B, C?

22 DR. MONROE: Yes. And I think then it'll be

1 very easy to keep track of the votes.

2 DR. DAVIDSON: Okay.

3 DR. MONROE: All right.

4 DR. DAVIDSON: You're challenging our bookkeeper
5 here. A would be 35 weeks, Question 3-B would be 32
6 weeks, and C stands as it is, and --

7 DR. NELSON: To help us in answering that first
8 question, we all know that the risk per baby is much
9 greater in under 32-weekers. On the other hand,
10 there are a lot more babies in the less severely
11 preterm children. Is any information available
12 about attributable risks in those groups that would
13 help us answer that question; that is, how
14 much of the morbidity and mortality come from these
15 different niches, or is such data available?

16 DR. DAVIDSON: Well, I think, unless someone
17 wants to answer that, you'll have to go from
18 whatever available information that's been provided.

19 DR. HANKINS: Well, Karin asks a very
20 interesting question, and the NIH convened a
21 task force on the late preterm infant, and
22 that data is generally available --

1 DR. DAVIDSON: Would you speak a little closer
2 into the microphone?

3 DR. HANKINS: The question that Karin asked is
4 very, very important, and the NIH, within the last
5 few months, convened a task force on the late
6 preterm delivery. And it was alluded to earlier,
7 ACOG has a practice bulletin that's coming out. One
8 of the astounding things that would probably
9 surprise very people is there are more ventilator
10 days in America between 34 and 37 weeks than in all
11 the rest of the babies going into units.

12 Now, I'm in a tertiary care center and I'm
13 biased. I would've never believed that if I hadn't
14 seen the data that came from the pediatrics group,
15 etc. So the data is available, the task force met,
16 and I think that is important information, perhaps,
17 that people that are just giving input might need to
18 look at to give the best-informed input.

19 DR. HENDERSON: It's also available on the March
20 of Dimes web site. They do a very nice graph for
21 each gestational age and what the contribution
22 is to the preterm delivery population.

1 DR. DAVIDSON: Dr. Steers?

2 DR. STEERS: Yes, clarification for Question 6.

3 If you don't believe that the mechanism for any
4 concerned safety is a clinical trial, but let's say
5 a registry, are we allowed to kind of have that
6 trial registry, or is it strictly within the
7 confines that the FDA wants us to specify a clinical
8 trial, which may not actually answer or be
9 impractical?

10 DR. MONROE: We would like it answered in
11 the broader context, where -- a trial we would lump
12 under the general request to you, yes. I mean, a
13 registry could be considered a trial in the context
14 of the question.

15 DR. DAVIDSON: Dr. Monroe, did you have any
16 answer for Dr. Hankins and Dr. Nelson?

17 DR. MONROE: No, I don't have a specific answer.
18 I think if I understood their comments is that there
19 is new information that would be nice if
20 everybody, I guess, on the panel had access to, to
21 help them in their answering our questions, but I
22 think the reality of the moment is that everyone

1 will have to go with whatever information they have,
2 and I guess those individuals that have access to
3 that data, in terms of their response to the
4 questions, it's up to your prerogative, Dr.
5 Davidson, but frequently, an individual has the
6 opportunity to explain their vote, and perhaps in
7 that context, they might explain something that
8 which to some people, may not appear to be -- the
9 logical answer be based on some new information that
10 have privy to. Does that perhaps help?

11 DR. DAVIDSON: I am -- I have been advised -- I
12 don't know if this answers it -- that if you wanted
13 to make a comment or a statement at the time of your
14 vote, I guess that also will be registered on the --
15 so that may help.

16 I think I see a collective nod from the
17 Agency. So that -- if that provides any comfort
18 to yes or no and then making a statement about it,
19 it will be a part of the record that they will have
20 for review. Is that acceptable? Any other
21 questions? Are there any other questions? Oh, you
22 do? Okay.

1 Well, let's see if we can go through this and
2 keep all of the new Bs and Cs separated, so let's be
3 careful about that. So let's begin at Question 1.
4 I will not start with the same person on each
5 question, so that there will be no bias here, at
6 least as much as possible.

7 I think Dr. Hankins is the first voting member
8 on this side. Is that correct? We'll start with
9 you, Gary, with the first question.

10 DR. WATKINS: Just -- I'm sorry, just a reminder
11 to the committee members. Please identify yourself
12 prior to casting your vote so that the transcriber
13 is able to easily identify you.

14 DR. DAVIDSON: Is the -- I won't read this
15 question each time for each person, so we're going
16 on Question 1-A. Is the primary input for Study 02,
17 prevention of preterm birth prior to 37 weeks
18 gestation, an adequate surrogate for a reduction in
19 fetal and neonatal mortality or morbidity?

20 DR. HANKINS: Gary Hankins. No.

21 DR. DAVIDSON: Next?

22 DR. NELSON: Karin Nelson. No.

1 DR. DAVIDSON: Speak -- was that --

2 DR. NELSON: No.

3 DR. BURNETT: Arthur Burnett. No.

4 DR. BUSTILLO: Maria Bustillo. No.

5 DR. MERRITT: Diane Merritt. No.

6 DR. JOHNSON: Julia Johnson. Yes.

7 DR. DAVIDSON: Yes?

8 DR. JOHNSON: Yes.

9 DR. STEERS: William Steers. No.

10 DR. LIU: James Liu. No.

11 DR. Simhan: Hy Simhan. Yes.

12 DR. DAVIDSON: Yes?

13 DR. LEWIS: Vivian Lewis. No.

14 DR. DAVIDSON: I've been advised not to vote
15 until the end.

16 DR. WENSTROM: Katharine Wenstrom. Yes.

17 DR. HARRIS: Joseph Harris. No.

18 DR. GILLEN: Daniel Gillen. No.

19 DR. VISCARDI: Rose Viscardi. No.

20 DR. SCOTT: Jim Scott. Yes.

21 DR. HENDERSON: Cassandra Henderson. Yes.

22 DR. CARSON: Sandra Carson. No.