

1 me. I know that at our institution, more and
2 more bowel resections are being done
3 laparoscopically, and that has shortened up the
4 stay substantially. And I guess I'm wondering,
5 if you're looking for this narrower indication,
6 that is using this particular drug only in the
7 situation of the open laparotomy, is this going
8 to be relevant as practice evolves?

9 DR. BUCHMAN: Dr. Chang?

10 Dr. Talamini, go ahead.

11 DR. TALAMINI: Should I respond?

12 DR. BUCHMAN: Go ahead. But this is
13 going to be your one time to respond, so make it
14 a full one.

15 DR. TALAMINI: I think that the data's
16 pretty clear that right now the majority are
17 open surgery. I think over time, though, those
18 numbers will shift and it's an unanswered
19 question.

20 I would say in terms of my
21 answering of Question No. 2, the endpoints
22 that are key to me are GI-2 and Ready,

1 because GI-3, flatus, patients just can't
2 explain most of the time, and discharge order
3 depends on another human being in the chain.
4 So those are the data points that are
5 important. And I think that this is
6 significant based on those endpoints.

7 DR. BUCHMAN: Dr. Chang?

8 DR. CHANG: I would say I like what
9 Dr. Krist said about the mean being 12 hours and
10 at the 75th percentile, it's 24 hours. But I
11 would go by GI-2 because it is objective. I
12 don't feel like Ready or discharge
13 orders -- that's more subjective and it's based
14 on -- it could be variable. But obviously, the
15 results support the GI-2 endpoint, so I
16 definitely think this is efficacious.

17 DR. KRAMER: I'd like to say that I do
18 think that it's efficacious for -- I agree that
19 GI-2 makes sense, although it does bother me
20 that it looks like it was a post hoc decision
21 after the data was looked at, but it does make
22 sense. But I think the statement must specify

1 that this is in the context of opioid PCA.

2 DR. BUCHMAN: I'd have to say who am I
3 to question the surgeon's judgment when to send
4 their patient home, although I don't
5 infrequently do that.

6 If I was the patient at 4:00 a.m.,
7 and you're going to send me home, I'd beg you
8 to not wake me up first, and secondly, to
9 wait until 8:00 a.m. But given that there is
10 a feeling around the table from our surgeons
11 that 12 hours is clinically important for
12 ready for discharge, then I would have to say
13 I think that that is efficacious as well.

14 The problem with the written order
15 for discharge is it suffers from exactly the
16 same problems as actually going home, because
17 it's a red flag for insurance companies. If
18 I know a patient from out of state, for
19 example, is ready to go home on Friday and
20 they can't get picked up until Monday, I'm
21 not going to write that order. So it suffers
22 from exactly the same problems. So ready to

1 discharge is important. We're talking about
2 a benign condition, but if we can get the
3 patient out earlier and basically save
4 money -- that's the only thing we're talking
5 about here is potentially saving
6 money -- then I'm going to concur with my
7 surgical colleagues.

8 Dr. Hennessy?

9 MR. HENNESSY: Thanks. I would say
10 that the endpoint is clinically meaningful, but
11 only marginally so. It's right at the cusp.

12 DR. LINCOFF: As a non-GI specialist,
13 I would say that I think this endpoint is very
14 clinically meaningful from other conditions. A
15 day in the hospital or a half a day in the
16 hospital, I think is relevant, particularly a
17 day or a half a day of having an unpleasant
18 condition, like an NG tube or nausea. So from
19 that standpoint, I think that even a half a day
20 would be clinically relevant.

21 In terms of the endpoints, I think
22 that the GI-2 is the hardest endpoint, in

1 that it's most linked to an objective
2 finding. But I also believe that the DOW and
3 Ready are very important as well, in
4 particular because they're concordant with
5 the more mechanistic endpoint, and because
6 this is a blinded trial.

7 So for all the limitation -- these
8 are blinded trials. So for all the
9 limitations inherent in the physician's
10 decision of when he's going to discharge and
11 if he's got people wandering around at night
12 ready to kick people out into the cars or
13 not, but whatever these are, they apply to
14 both groups, and they model clinical
15 practice. So for the very question of
16 relevance, where GI-2 is science, DOW and
17 Ready are clinical relevance and relevance in
18 medical practice. And so I think they're all
19 meaningful. They all support each other.

20 And I think together it's a
21 very -- as much as I hate to use this
22 overused word -- robust findings, set of

1 findings, that there is efficacy for this
2 drug.

3 DR. BUCHMAN: Dr. Epstein?

4 DR. EPSTEIN: Yes, I agree with my
5 colleagues so far. And even just modeling
6 Dr. Talamini's hospital, the number of surgeries
7 he does, if you apply some numbers to this, it's
8 a very substantial clinical savings, cost
9 savings, time savings, that would outweigh any
10 cost of the medicine and its delivery.

11 DR. BUCHMAN: Dr. Talamini had his
12 chance, but he begs me for one more. We have
13 time, so go ahead, Dr. Talamini.

14 DR. TALAMINI: The only thing that I
15 would add to the differentiation that you're
16 bringing up, Dr. Buchman, is having personally
17 had a PCA after a very painful operation, it is
18 the Rolls Royce of pain control. And if this
19 does ameliorate or make that easier to use, I
20 think that's a consideration.

21 DR. BUCHMAN: We're actually now going
22 to vote on this as a committee. And the way the

1 voting procedure is going to go is I'm going to
2 read the question for the record, but then all
3 committee members who are going to vote yes, I'm
4 going to ask them to raise their hand. Now,
5 unfortunately, you're going to need to keep your
6 hand up in the air until Dr. Phan has recognized
7 that she has recorded your vote.

8 Separately, we will then -- I will
9 then ask for those that are voting no, and
10 finally, those who abstain. And remember to
11 keep your hand up until it's acknowledged.
12 Not quite the secret ballot that we're used
13 to.

14 So the question again is do you
15 consider the efficacy results from the
16 submitted POI studies to be clinically
17 meaningful? All those that say yes,
18 please -- I'm sorry, we have an interruption.

19 DR. KRAMER: Can I just ask a
20 clarification?

21 DR. BUCHMAN: Yes.

22 DR. KRAMER: Can we specify that

1 this -- since all the studies require PCA, that
2 this is the setting in which we're making the
3 statement?

4 DR. BUCHMAN: Well, I think you can
5 make a comment, but as far as voting goes, the
6 question stands as is. You can certainly
7 abstain if you feel that it's an incomplete
8 question.

9 Any comments from the agency?
10 Would they like to see that any differently?

11 DR. KORVICK: I agree with what you
12 just said.

13 DR. BUCHMAN: So all of those that
14 feel that the efficacy is clinically meaningful,
15 please raise your hand. Oh, please -- now that
16 you have your hand up, that was just an
17 exercise. Now you have to actually state your
18 name and say yes. And we're going to start with
19 Dr. Talamini.

20 DR. TALAMINI: Talamini, yes.

21 DR. EPSTEIN: Epstein, yes.

22 DR. BUCHMAN: And you can put your

1 arms down. The war's over after you've voted.
2 DR. LINCOFF: Lincoff, yes.
3 MR. HENNESSY: Hennessy, yes.
4 DR. BUCHMAN: Buchman, yes.
5 DR. CHANG: Chang, yes.
6 DR. BUCHMAN: Losing hands over here.
7 Put them down after you've been recorded.
8 MS. CORKERY-DeLUCA: DeLuca, yes.
9 DR. LEVINE: Levine, yes.
10 DR. PASRICHA: Pasricha, yes.
11 MR. PROSCHAN: Proschan, yes.
12 DR. KRAMER: Krist, yes.
13 DR. CULLEN: Cullen, yes.
14 DR. ROSING: Rosing, yes.
15 DR. BUCHMAN: All those that vote no,
16 that the efficacy has not been shown, please
17 raise your hand. All those who are abstaining?
18 Please state your name.
19 DR. RICHARDSON: Richardson,
20 abstention.
21 DR. KRAMER: Kramer, abstention.
22 DR. BUCHMAN: With that, we're going

1 to --

2 DR. PHAN: So we have 13 yes, no nos,
3 and 2 abstains.

4 DR. BUCHMAN: Thank you, Dr. Phan.
5 With that, we're going to move on to Question
6 No. 3, which is a non-voting question. The
7 question is: based on currently available data,
8 do you have concern for the use of alvimopan
9 12-milligram capsules in the short term, that is
10 seven days or 15 doses, for the patient
11 following a partial large or small bowel
12 resection with primary anastomosis with regard
13 to the following: Cardiovascular events,
14 neoplastic events, and/or bone fractures?

15 If you noticed I only call on
16 anybody, put them in the hot seat if it's a
17 voting question, so this is a free-for-all
18 here.

19 If you have a comment, please make
20 it. Dr. Hennessy?

21 MR. HENNESSY: So yes, I do have
22 concerns with regard to cardiovascular events.

1 I think that a meaningful signal for
2 cardiovascular events, and in particular MI, was
3 raised for other studies. I think that the
4 studies in postoperative ileus were too small to
5 address that. I think there's a potential
6 mechanism underlying the potential signal, and
7 that is mu-opioid agonism can reduce
8 arrhythmias, so blockage would reduce that
9 reduction of arrhythmias. Given the number of
10 patients that are likely to see this drug, I
11 don't think that that safety signal has been
12 adequately addressed.

13 DR. BUCHMAN: Dr. Proschan?

14 DR
15 . PROSCHAN: Yes, I also had

16 concerns. I was -- you know, for me, the two
17 big questions are, is 014 really different than
18 the others? And is the OBD different from POI?
19 And when I look at -- I did my own statistical
20 test to see if the results were different in 014
21 compared to the other trials, and I got
22 something that was statistically significant,
showing that there's a difference between 014

1 and the other OBD trials. Now, I don't know why
2 that is, so it's hard for me to dismiss GSK014,
3 because that's the one that had most of the MIs.

4 You're taking a trial that had more
5 of the information and trying to dismiss
6 that. I have a real problem with that. In
7 particular, you're estimating the odds ratio
8 better in that trial than you are in all of
9 the other trials in terms of variability.

10 The other thing that bothered me
11 was that it wasn't just MI. If you look in
12 014 in the briefing document, it looked like
13 it was arrhythmias, it looked like it was
14 other cardiac events. So that, to me,
15 suggests that this is not really just a
16 chance finding, those two factors.

17 As far as POI versus OBD, I did my
18 own statistical test and I did not get a
19 statistically significant difference in the
20 odds ratios for those two classes of trials.
21 And so that suggests that maybe the harm, if
22 you believe that there's harm, in OBD might

1 also apply to POI, and we just don't have
2 enough events to detect that. So I did have
3 those concerns.

4 DR. BUCHMAN: Dr. Talamini?

5 DR. TALAMINI: I would say that I have
6 concerns. I don't have concerns regarding bone
7 fractures. I don't think I have concerns about
8 the neoplastic events, because looking at each
9 individual case, they're all over the board, and
10 many of them really just make no sense to me in
11 terms of long-term use of the drug in that
12 study.

13 I do have concerns about
14 cardiovascular events, which I think are
15 somewhat allayed by the comments here today
16 that nobody can point to a short-term drug
17 like this creating a longer-term
18 cardiovascular event. So I have concerns,
19 but I think they've largely been addressed.

20 DR. BUCHMAN: Dr. Kramer?

21 DR. KRAMER: I do have concerns, in
22 particular about the cardiovascular events. And

1 it's not just short-term exposure causing
2 long-term effects, but I would say that the
3 follow-up in the short term was really
4 inadequate. Granted, at the time these studies
5 were done, it was not known that there was a
6 signal -- a signal would later show up in this
7 OBD population. But I think we have to keep in
8 mind that this was passive adverse event
9 reporting, and we know how doctors collect that
10 information. It's not an active solicitation of
11 cardiac events.

12 But furthermore, a very large
13 percentage of these patients were not
14 followed when they left the hospital, that
15 there's -- if I read the slide correctly, I
16 think it was 257 patients did not have any
17 further information. And that is not even
18 short-term follow-up. I mean, they could
19 have had an event at 10 days or 2 weeks. And
20 my understanding, even though the metabolite
21 is less potent than the parent drug, that the
22 metabolite would have been present past the

1 time these patients were observed, a large
2 percentage of the patients were observed. So
3 I don't think we have adequate information to
4 say that there's even no relatively
5 short-term problem in the POI population.

6 So I do have a concern, and I think
7 that given that this benefit -- it's really
8 striking. The FDA is not allowed to make
9 decisions based on financial information or
10 cost savings, but now our clinicians are
11 making those decisions based on saving
12 hospitals money.

13 But our patients are being asked to
14 take this drug, I suspect without, as
15 Dr. Krist said, I suspect without a lot of
16 informed consent about what the potential
17 downsides are. Everyone has acknowledged
18 that it's really for those patients who are
19 going to have a problem. But since we don't
20 know who they are, all the patients have to
21 take it. That's when you get into trouble
22 later on, retrospectively, if you do discover

1 the signal is real, that you have mud on your
2 face or egg on your face, however you want to
3 say it. So I have a concern.

4 DR. BUCHMAN: I had some concern as
5 well in terms of the long-term data. I don't
6 think we can ignore the long-term data, because
7 if we look at corticosteroids, for example,
8 well, you say seven days' worth of
9 corticosteroids, there's no increased risk of
10 bone fractures, but with cumulative use, there
11 certainly is. And it's the cumulative dose of
12 corticosteroids that have the greatest effect on
13 the risk of fracture.

14 So if we look at the long-term
15 data, the cumulative dose that those patients
16 have at a very small dose, but for a long
17 period of time, is very similar to the much
18 larger dose used for a very short period of
19 time. And indeed, it may be -- we don't know
20 this, but it may be the cumulative dose is
21 what's most important. Because many of these
22 patients that have an operation will be

1 re-operated on in the future, and do they get
2 the medication again or are they allowed it
3 once in a lifetime?

4 If we look at a Crohn's patient,
5 for example, within five years of having a
6 strictureplasty, they've got a 40 percent
7 risk of being back in an operation again.
8 Patients who -- an ideal obviously with IBD
9 patients, but patients who have had an IPAA,
10 within five years have a greater than
11 50 percent chance of being in an operation
12 again because of a bowel obstruction from
13 adhesions. And do they then get this
14 medication again? Patients who have had 30
15 abdominal surgeries, they get 30 weeks of
16 this medication, that may prove to be a
17 significant risk. We don't have the
18 information on that, obviously.

19 Dr. Pasricha?

20 DR. PASRICHA: I think everybody on
21 this panel has some degree of concern about the
22 cardiovascular risks. The question is what do

1 we do about them? And we have three options:
2 We either don't let this drug come on the market
3 or we do prospective trials, which you've
4 already heard are going to require tens of
5 thousands of patients and probably not answer
6 the question; or we put in place a very strict
7 risk management surveillance program, which are
8 really the three options that we have here. I
9 think a priori, we cannot necessarily come to
10 any conclusion about how severe the risk is
11 going to be based on the data we have.

12 DR. BUCHMAN: Dr. Rosing?

13 DR. ROSING: Yes. As a cardiologist,
14 I would come at this with a little different
15 approach.

16 First of all, I don't think there's
17 any evidence in the short-term study that
18 there was any cardiovascular risk at all.
19 And even though there's a
20 question -- Dr. Hennessy raised the question
21 of arrhythmias, this was a blinded study and
22 there were no arrhythmias. And just as

1 Dr. Lincoff couldn't think of any mechanisms
2 to cause long-term myocardial infarction, I
3 can't think of any reason once they're off
4 the drug that these people should be having
5 arrhythmias from a drug that's given over a
6 very short period of time. So we're really
7 talking about this concern about
8 cardiovascular problems on the basis of this
9 014 study, which seems to me to have a lot of
10 problems associated with it and doesn't make
11 a whole lot of sense from a cardiology
12 standpoint.

13 You raised the question,
14 Dr. Buchman, of the cumulative effect, but
15 even that breaks down, because once you get
16 out beyond 60 or 70 days, there was no
17 cumulative effect. That curve was perfectly
18 flat. So it seems to be an isolated effect
19 in a very brief period of time. There is
20 probably -- and it doesn't even reach
21 statistical significance apparently.

22 I think there's information we

1 don't have. I brought up the question of
2 other drugs, but I didn't bring the question
3 up a second time because I was convinced that
4 the problem is not the seven or the nine
5 events. The problem is the zero events, that
6 if you take a patient population with these
7 risk factors, including age, which the
8 average age was in the sixties, you'd be very
9 surprised over the course of a year, with an
10 intervention such as surgery and other
11 stresses, that you wouldn't come up with at
12 least one or two or more events.

13 So as a cardiologist, I think I'd
14 be less concerned and be willing to accept
15 the short term use of this drug.

16 DR. BUCHMAN: Dr. Krist?

17 DR. KRIST: I still feel the same way
18 I felt before when I had my little rant. And I
19 disagree some, in the sense that, to me, what's
20 different here is that it's not that it's
21 questionable as to whether there's risks long
22 term and beyond 14 days. I'll take it a step

1 further than what Dr. Kramer said. We need to
2 look at it past 14 days. There's no systematic
3 data collection beyond the short term use of the
4 medicine.

5 And even building on some of what
6 Jay said, I am concerned about, well, what
7 would it take to evaluate this? But if you
8 look at the Study 014, to at least see this
9 blip, it didn't take that many people to see
10 the blip. Now, it's not enough people to
11 reach statistical significance, but it's
12 enough to raise safety concerns, which I
13 think is different than looking at an
14 efficacy outcome.

15 DR. BUCHMAN: Dr. Lincoff?

16 DR. LINCOFF: So I guess our role here
17 is really to focus on the cardiovascular, "our"
18 being the cardiologists. And I'm trying to put
19 that in the context of what I would expect from
20 other therapies and be concerned about.

21 I really do think there is a
22 difference between long- and short-term

1 therapy. Cumulative effects have impact with
2 some types of therapies, and corticosteroids
3 are obviously an example of that, because the
4 effect on bone may be cumulative.

5 But if we think about mechanisms of
6 ischemic cardiovascular events, it's either
7 progression of atherosclerosis, plaque
8 instability, thrombosis, vasoconstriction.
9 And it's hard to postulate how a short-term
10 therapy would lead to a long-term risk.

11 Now, that only goes so far.
12 Obviously, theory and pathophysiology are
13 important up to a point, but in the end, you
14 have to go by what your empiric data is. And
15 so what we have here is empirically not a
16 hint of any signal in short term, albeit with
17 incomplete follow-up, but for what we have,
18 no imbalance, virtually no events in this
19 short-term follow-up.

20 And in long-term follow-up, in a
21 study that was one-third of the total
22 patients tested for this OBD indication,

1 albeit the longest study, one-third of the
2 patients showing what appeared to be a
3 numeric excess ended up being seven events.

4 Those events, that excess, if it
5 existed -- because it didn't in the
6 adjudicated, although that's with mixing of
7 the MI being mixed with less severe unstable
8 angina, et cetera. So if we just say we're
9 going to talk about MI and we're not going to
10 care about the others, even though they're
11 mechanistically similar so you would have
12 expected them all to trend in the same
13 direction, but if you say we're just going to
14 talk about MI, then what we're talking about
15 is in the first three to four months of this
16 large study, this study with one-third of all
17 the patients in the OBD, you had these excess
18 events.

19 In two-thirds of the patients in
20 the other studies whose follow-up range from
21 one to three months, that same period, that
22 three to four months, you didn't see any

1 excess. In fact, there was almost a
2 countervailing less -- numerically less than
3 the active drug arm.

4 So it's not to say it isn't real.
5 The reality is we don't know what we would
6 see if we duplicated this 14. But it's not a
7 strong signal. It's a signal that gives us a
8 lot of question of stability with one or two
9 events in either direction, with one or two
10 extra events in the placebo group that one
11 would have expected based upon the patient
12 population. And so it's a very weak piece of
13 evidence. And it's a piece of evidence that
14 I'd have trouble hanging my hat on even for
15 an approval of a long-term indication.

16 But certainly to then go back and
17 say I've got a very short-term indication for
18 which we have no signal at all and we can't
19 mechanistically calculate -- or we can't
20 mechanistically postulate why there should
21 be, I have a lot of trouble.

22 So the long and short is, for the

1 short-term indication that we're talking
2 about, even though the dose is much higher,
3 of course, I don't have a concern for
4 cardiovascular risk.

5 DR. BUCHMAN: Dr. Kramer?

6 DR. KRAMER: I'd like to shift the
7 conversation to something that Dr. Pasricha
8 raised, which is what are we to do about this?
9 I mean, we can talk all afternoon, and part of
10 the reason we're talking so much is because
11 there's a lot of missing information, and you
12 can only go so far with mechanistic discussions.
13 But the question is what are our options?

14 I think there are a couple of
15 options that maybe you didn't list that -- I
16 didn't see in the plans outlined by the
17 sponsor, if this drug were to be approved,
18 any suggestion that there even be a registry
19 of all patients that are taking this drug
20 with follow-up, or that there be any
21 observational studies in large health plan
22 databases or any -- you know, as this drug is

1 on the market, if we just depend on passive
2 reporting, we're going to be in the same
3 situation we're in right now in the future,
4 which is we will not have any information to
5 add to the database. So I'm disappointed
6 that there isn't some plan to actively
7 solicit cardiovascular safety in the long
8 term, and I'd like to see that laid out, I
9 would suggest.

10 DR. BUCHMAN: Dr. Proschan, did you
11 have a comment?

12 DR. PROSCHAN: I didn't have my hand
13 up, but now that you called on me, I will say
14 something.

15 DR. BUCHMAN: You stuck your light on.

16 DR. PROSCHAN: And that is that I
17 think the argument that there are not enough
18 placebo events, exactly the same argument was
19 made in the cardiac arrhythmia suppression
20 trial. It's not that these drugs are killing
21 people. It's those -- you know, placebo
22 patients aren't dying enough.

1 So I think that often happens in
2 clinical trials, that the placebo event rate
3 is lower than you thought it would be.

4 DR. BUCHMAN: I think that was worth
5 including you.

6 We're going to move on. Oh, was
7 there one other? Dr. Epstein?

8 DR. EPSTEIN: Yes, I just wanted to
9 say that the three things that were asked, the
10 cardiovascular events, I agree there was no
11 signal in the short-term study. And to be able
12 to do a follow-on study, that just statistically
13 based on the numbers, even that you saw in the
14 long-term OBDs, would be very impractical.

15 And I've often heard about these
16 registries and things at various panel
17 meetings, but that's a huge thing to require
18 for something with a very small signal. So I
19 don't necessarily follow along with that.

20 And again, the other thing we were
21 asked is neoplastic. I agree with everyone
22 else that there was a very scattered signal,

1 and again, not short term.

2 And the bone fractures, I don't
3 know, was the floor more slippery in
4 those -- no. But that didn't seem to have
5 any real signal. So I don't see anything in
6 the pooled data on the short-term studies
7 that would indicate that there's any
8 particular concern, particularly in regards
9 to the cardiovascular.

10 DR. BUCHMAN: We're going to move on.

11 Dr. Pasricha, last point and then
12 we're going to move on.

13 DR. PASRICHA: No, no, just for the
14 record, I want to clarify. On the bone
15 fractures thing, I think that was the only
16 signal that was actually statistically
17 significant, wasn't it? That is the only one
18 with a 95 percent confidence interval that did
19 not cross -- so actually, I think as far as the
20 long-term data is concerned that is -- if I
21 remember correctly, that is the most robust
22 signal that we had amongst the three. I just

1 don't think it translates into a seven-day
2 course of medication.

3 But I want to make sure that we
4 have the record straight on that. Is that
5 correct?

6 DR. KORVICK: Can you repeat your
7 question?

8 DR. PASRICHA: The clarification was
9 whether the fracture risk was statistically
10 significant. If I recall from Dr. Dannis'
11 presentation, it was. I just want to make sure
12 we have that on the record.

13 DR. BUCHMAN: I think the lower was
14 .99, which was still -- is my memory correct.
15 that it actually kind of approached 0 as well?

16 MS. CASTILLO: This is Sonia Castillo,
17 FDA. For Study 014, it was significant. For
18 the non-cancer and cancer population combined,
19 it was not. Let's see, for the combined cancer
20 and non-cancer population, confidence interval,
21 95 percent, for the relative risk was .6 to 2.3.
22 And for the Study 014, confidence interval was

1 1.1 to 10.4.

2 DR. BUCHMAN: Dr. Hennessy?

3 DR. HENNESSY: A very quick comment.

4 I think that the way to address a safety signal

5 is to do a study, even if it's difficult.

6 Saying that we wouldn't require one because it's

7 difficult essentially says that we're dismissing

8 the safety concern. I'm uncomfortable doing

9 that, particularly for a drug that is not

10 life-saving, but is dollar-saving.

11 DR. BUCHMAN: We're going to move on

12 to --

13 DR. KORVICK: We would be interested

14 if the chair would be willing to ask the members

15 to vote on the first bullet of whether or not

16 they think that there is an issue for the short

17 term use for cardiovascular.

18 DR. BUCHMAN: Absolutely. We can do

19 that as an official vote. So let's do that now,

20 and I'm going to read the question.

21 Based on currently available data,

22 do you have concerns for the use of alvimopan

1 12-milligram capsules in the short term, that
2 is seven days or 15 doses, for patients
3 following partial large or small bowel
4 resection surgery with primary anastomosis
5 with regard to the cardiovascular events,
6 neoplastic events, and/or bone fractures?

7 Just the cardiovascular?

8 DR. KORVICK: Please.

9 DR. BUCHMAN: Did you want three
10 separate votes or no?

11 DR. KORVICK: I think we've got a lot
12 of input on the other, but the first one seems
13 to be an issue.

14 DR. BUCHMAN: So just for
15 cardiovascular events. Can I have a show of
16 hands for all those that do have concern with
17 the cardiovascular risk profile?

18 Please keep your hands up and state
19 your name and then you can put it down.

20 Dr. Krist, do you want to start?

21 DR. KRIST: Krist, yes.

22 DR. PROSCHAN: Proschan, yes.

1 DR. PASRICHA: Pasricha, yes.

2 DR. RICHARDSON: Richardson, yes.

3 DR. CHANG: Chang, yes.

4 DR. KRAMER: Kramer, yes.

5 DR. BUCHMAN: Buchman, yes.

6 DR. HENNESSY: Hennessy, yes.

7 DR. BUCHMAN: All those that vote no?

8 Keep your hand up until you say your name and

9 your vote's recorded.

10 We'll start over here,

11 Dr. Talamini.

12 DR. TALAMINI: Talamini, no.

13 DR. EPSTEIN: Epstein, no.

14 DR. LINCOFF: Lincoff, no.

15 DR. LEVINE: Levine, no.

16 DR. CULLEN: Cullen, no.

17 DR. ROSING: Rosing, no.

18 DR. BUCHMAN: Any abstentions?

19 MS. CORKERY-DeLUCA: DeLuca,

20 abstained.

21 DR. BUCHMAN: The state of Florida is

22 calculating the vote.

1 DR. PHAN: We have eight yes, six no,
2 and one abstain.

3 DR. BUCHMAN: We're going to move on
4 to Question No. 4, which is a voting question.

5 Do we want to take a break? We
6 need a potty break, I guess.

7 Okay, let's take a break. I forgot
8 about that. I was so excited about how we
9 were moving along here. So let's take a
10 15-minute break -- actually 13 minutes. If
11 everybody could be back here at 3:15 sharp,
12 we'll move on to Question No. 4.

13 (Recess)

14 DR. BUCHMAN: If I could have
15 everybody's attention, please. There is one
16 comment that I want to clarify for the press.

17 First off, I am going to give a
18 brief chair summary of each of the questions
19 at the end of today. But for those from the
20 press that want to scam and not wait to see
21 if I have a surprise up my sleeve, in regard
22 to the vote that we had on Question No. 3, it

1 was not originally designed to be a voting
2 question. We changed that. But what we
3 voted on, as a committee, was only whether we
4 had concern about the cardiovascular risk
5 effects. We did not vote on whether we had
6 concern about neoplastic events or bone
7 fractures, although obviously those were
8 discussed.

9 We're going to move on to Question
10 No. 4, which is a voting question. Do you
11 believe the overall benefits of treatment
12 with alvimopan outweigh the potential risks
13 for short-term in-hospital use in patients
14 with partial large or small bowel resections
15 with primary anastomosis?

16 I'm going to start, actually, on
17 this side with Dr. Talamini, what comments
18 you have.

19 DR. TALAMINI: I want to just speak
20 for a moment to the potential benefits of a
21 strategy like this. Certainly the economic
22 argument is there, and it's easiest to fall into

1 and look to the economic argument because it's
2 easily quantified. But as a surgeon, I would
3 also say that lying in a hospital bed for 12 or
4 24 additional hours with a bloated belly and not
5 eating is not a healthy condition. It's much
6 harder to quantify what is not healthy about
7 that and measure it.

8 But I think most of us who take
9 care of patients on a daily basis know
10 empirically that that is not a healthy thing,
11 and that if you reduce that by some
12 percentage, you're improving the patient's
13 overall care. So I just wanted to get away
14 from this idea that the only thing sitting on
15 the benefit side is economic. I don't
16 believe that that's true.

17 DR. BUCHMAN: Dr. Epstein?

18 DR. EPSTEIN: As a treating clinician
19 who deals with a lot of patients with ileus, it
20 is a very unpleasant condition. And if you can
21 shorten that, I think, for the patient's
22 benefit, you've really made a great improvement

1 in their overall outcome of health. And whether
2 it's 12 hours or 24 hours, that's very
3 significant.

4 DR. BUCHMAN: Dr. Lincoff?

5 DR. LINCOFF: I agree with that, and I
6 want to emphasize that -- although we brought up
7 the financial issues, I don't think that that's
8 the key here at all. I mean, what we do in
9 medicine is to make people live longer or to
10 prevent unpleasant things in terms of make them
11 feel better, and this is the latter, and I think
12 it's very real.

13 DR. BUCHMAN: Dr. Hennessy?

14 MR. HENNESSY: While I'll agree that
15 there is a clinical benefit to the patient
16 rather than just to the hospital, and I'll admit
17 that I don't see patients, it seems to me from
18 looking at the numbers that the benefit can be
19 characterized as modest or even marginal. And
20 this is a drug that clearly doesn't save lives,
21 and for which there's a significant signal of a
22 cardiovascular risk from a randomized trial.

1 And in my mind, the benefit does not outweigh
2 the risk while that concern has not been
3 addressed.

4 DR. BUCHMAN: I would echo
5 Dr. Hennessy's comments in that we're looking at
6 a benign condition. And clearly, I think the
7 drug, as we discussed earlier in this session,
8 does have some efficacy and has physiologic
9 effect. It's not very great, but it is
10 statistically and perhaps marginally clinically
11 significant.

12 We're asked to make a risk-benefit
13 analysis here. We're dealing with a benign
14 condition with fairly marginal but clinically
15 significant effects of a drug. So therefore,
16 it really can't tolerate any potential for
17 significant side effects. And my concern is
18 that the denominator, that is the risk
19 potential, not necessarily the risk, but the
20 risk potential, does at a minimum slightly
21 outweigh the potential benefit for the
22 patients.

1 Dr. Kramer?

2 DR. KRAMER: I would love to see a
3 study that compared the effects of this drug in
4 PCA-controlled analgesia after a bowel resection
5 to an alternative pathway that was a postop
6 pathway that involved opioid-sparing techniques,
7 such as what occurred in the European study. I
8 don't think we've demonstrated that this should
9 be -- have a blanket indication for bowel
10 resection surgery; I think it should-- as I've
11 said many times before, bowel resection surgery
12 in the setting of PCA. And I echo the comments
13 of Dr. Buchman and Hennessy. I would not say
14 yes to this question based on my concerns about
15 risk, and the fact that it has been studied only
16 in the setting of PCA.

17 DR. BUCHMAN: Dr. Chang?

18 DR. CHANG: I think this is a really
19 tough question, but when I brought the cost
20 effective, that was just one example of
21 measuring clinical meaningfulness. I mean, I
22 take care of -- it's all about how they feel.

1 It's not really about that much more. But so I
2 obviously think that's very important.

3 I think this is tough because I do
4 have concerns, but I feel that the signal
5 really is more in the long-term data, and
6 it's a different patient population. So I
7 would feel more comfortable if there was some
8 monitoring of the patients that did get the
9 drug. I feel very uncomfortable just giving
10 it to anybody.

11 Just because you brought this up a
12 couple times, Judith, is that there is a
13 study on alvimopan in chronic constipation
14 with no opioids and it didn't show efficacy,
15 so I don't know how well it will help. And
16 this is a different patient population, even
17 if you didn't give opioids after a surgery,
18 but I'm not sure how efficacious the drug
19 would be if you're not on an opioid.

20 DR. BUCHMAN: Dr. Richardson?

21 DR. RICHARDSON: I guess I'm troubled,
22 as everyone else seems to be. Clearly, there

1 are some benefits to the various parties that
2 are involved in this. The sponsor, obviously.
3 The hospitals I think certainly can benefit from
4 this. I think if you look at the patients,
5 though, I think that benefit is much more
6 difficult to describe.

7 I was quite taken by the effects of
8 this with respect to use of PCA or not. I'm
9 particularly interested in the effects of
10 ketorolac in this group. Unfortunately, I
11 don't see anybody who is a generic maker of
12 ketorolac out there promoting that drug for
13 this indication, so that I don't think we'll
14 ever see that type of study find the light of
15 day.

16 I'm also troubled by the fact that
17 the number needed to treat -- if you combine
18 the GI-2 and GI-3, which I think
19 realistically, one probably should do because
20 I don't see that there's a great deal of
21 difference in those criteria, it seems to me
22 that the number needed to treat is probably

1 around 10 patients to see one patient
2 benefit. In medical oncology, at least, a
3 10 percent response rate would be regarded as
4 a failure. And I don't see that the overall
5 benefits are adequate for the patients.

6 I'm also troubled by the fact that
7 the RiskMAP doesn't include any sort of input
8 from the patients in this, but we'll wait
9 until we get to that.

10 DR. BUCHMAN: Ms. Corkery-DeLuca?

11 MS. CORKERY-DELUCA: JoEllen DeLuca.

12 As a patient that's had a lot of bowel surgery,
13 I'll tell you, every day out of the hospital is
14 a good day. And I protest mightily when I have
15 to go in. And if I'm your patient and you're
16 going to be doing an NG tube, you're going to be
17 in for the fight of your life.

18 I didn't feel that the
19 cardiovascular events -- to me, the GSK
20 seemed to be more of a risk than the Entereg.
21 The bone fractures, when you start picking up
22 with age, when we start looking at people

1 being age 65 and up, that to me was what made
2 me say I think I should abstain.

3 Because I am a patient, I don't
4 know enough, but bone fractures are something
5 that -- you're lying down, you're hitting 65,
6 you're hitting 70, you're hitting 75, and I
7 think that you're going to be much more
8 likely to stand up and fall and injure
9 yourself that way than perhaps even a
10 cardiovascular event.

11 The overall benefits, even reading
12 between the lines I think that some of the
13 questions have been answered. And looking
14 back towards an answer, at least in my mind,
15 looking back toward how hospitals will handle
16 this, I'm still not sure when gut surgery
17 moves from doing one large bowel resection to
18 another for another comorbidity factor,
19 whether we're -- who's going to handle that.

20 But as a patient, I think sometimes
21 we have to make strides when we can make
22 strides. And the overall risk, to me, made

1 me say maybe this is a time when we should
2 make a stride with a drug that is looking
3 small and then -- even if we have to revisit
4 it later. I mean, there is not anything else
5 like this.

6 And I'm not just looking from my
7 hospital's bottom line. They don't need
8 another 12 hours. And I've been in the
9 hospital for a weekend because the surgeon
10 didn't make it. He had too many things to do
11 and didn't make it on Friday before closing
12 time and the nurses were gone, so I had to
13 stay until Monday. So I think we can argue
14 the 12 hours or the 24 hours, but the reality
15 is, it's who -- which of the nurses got the
16 paperwork ready or not. So I think the 12
17 hours or the 24 is sufficient for most
18 general purposes. And my hospital is fairly
19 large, so it's not a matter of just being a
20 little community hospital.

21 So that's how I would feel. I
22 think that the risk for a patient, that a lot

1 of us that have been in the hospital a lot
2 for bowel resections, would say it's worth
3 it.

4 DR. BUCHMAN: Dr. Levine?

5 DR. LEVINE: I'm more on the fence
6 than ever, but I would say that, no question
7 about it, as Dr. Hennessy and others point out,
8 the hard data is marginal, modest, whatever you
9 want to call it. It's not very, very
10 significant. Again, I'm unimpressed, or
11 relatively unimpressed, that there's a dose
12 response data shown that's very significant
13 between 6 milligrams and 12 milligrams.

14 On the other hand, there's no
15 question, not only for the patient, but for
16 the physician and everyone else, it is a big
17 difference in seeing patients like this, if
18 they can get that tube out in 12 hours or 24
19 hours. And the patients feel better, it's
20 important, and I think we're going to have to
21 have very strict risk management control
22 here, but I definitely feel that probably the

1 patient outweighs it here than just the
2 cost-effectiveness. And I think for the
3 patient's sake, I would probably agree that
4 the benefits marginally overcome the
5 negatives.

6 DR. BUCHMAN: Dr. Pasricha?

7 DR. PASRICHA: I'd like to start by
8 reinforcing the concept that while the
9 discussion may have been a little heavy on the
10 health care costs of this drug, I don't think
11 that's what's driving the decision. I want to
12 make sure that at least that's on the record.

13 Dr. Buchman, you mentioned that
14 this is not a life-threatening condition, and
15 that is true. But as somebody who's made a
16 career of looking after patients who have
17 chronic nausea, I can tell you next to dying,
18 nausea is probably the most bothersome
19 symptom that patients have. And if you can
20 make a difference in that, it's a big
21 advance.

22 So I would just like to say that,

1 and in that context, I actually was a little
2 struck that the sponsor has not gone beyond
3 some very simple measures and not, for
4 instance, included any surrogate measures of
5 quality of life or global sense of helping in
6 their outcome. And I just -- maybe this is
7 the time to ask them whether they have any
8 data that actually looks beyond the objective
9 points, such as we saw with GI-2. But also
10 got a global sense from the patients if they
11 had any questions that might actually
12 reinforce what we're saying here.

13 DR. BUCHMAN: Dr. Proschan?

14 MR. PROSCHAN: I agree with the
15 comments that this is -- as far as the potential
16 harm, I mean, this is no slam dunk. I am
17 persuaded that the signal is real for OBD. Even
18 that's not a slam dunk, but I am persuaded that
19 that's real. I don't see a reason to throw out
20 014. And so I'm more persuaded than not that
21 that's real.

22 Now, the question then becomes is

1 POI -- is that similar? And the answer to
2 that is I just don't know. And that's what
3 bothers me is maybe that's right. Maybe you
4 have to be on this drug long term to feel any
5 harm, to have any problems. But I just don't
6 know that and I don't have strong evidence
7 that that's the case. I have some suggestion
8 that that's the case, but I don't have strong
9 evidence.

10 So for me, the benefit of reducing
11 by one day versus the potential for an MI or
12 something else is enough.

13 Maybe I'm just a 'fraidy cat, but
14 that's enough to make me think, no, I
15 wouldn't. I think the risks outweigh the
16 benefit.

17 DR. BUCHMAN: Dr. Krist?

18 DR. KRIST: I'll echo what some of the
19 others have said. And the way I think about it
20 with this question, we're asked to do a
21 benefit-to-risk analysis. And I think, if you
22 look on one level, quality of life-type

1 measures, clearly having a postoperative ileus,
2 having increased nausea and vomiting, having an
3 NG tube, are significant things.

4 And I think we've seen relatively
5 clear data suggesting that this medication
6 reduces those risks.

7 And we do see decreased nausea and
8 vomiting, in a sense, when you look at the
9 adverse events. And people are more likely
10 to stop placebo than the intervention drug
11 because of nausea and vomiting. And then if
12 you look at quality of life risks, like how
13 people feel and those types of side effects,
14 this medicine seems beneficial.

15 Where I get lost is looking at
16 major morbidity and mortality. And as
17 Dr. Hennessy has pointed out, in the studies,
18 we don't see reduction in mortality from the
19 medication. We don't see reduction of
20 thromboembolic disease or nosocomial
21 infection, and those significant things. It
22 could happen from a reduced hospital stay,

1 and that's where I think there's benefit.
2 But we don't see that in our studies. We
3 don't even see a signal of that. And to me,
4 the significant morbidity/mortality risks is
5 a black box and we can't answer that. And
6 because it's a black box, that makes me more
7 afraid overall about the benefit-to-risk
8 ratio.

9 DR. BUCHMAN: Dr. Cullen?

10 DR. CULLEN: As a surgeon, what a
11 patient complains about, there's really
12 basically three things postoperatively they
13 complain about: pain, which you can take care
14 with a PCA or something else; an NG tube, if
15 they have one, which is a miserable experience,
16 and their study shows that it reduces the
17 incidence of reinsertion; and then the
18 distention, they're not feeling very good
19 because they're distended, nausea, and vomiting.
20 And the study demonstrates that it's efficacious
21 in that respect. So I think the benefits of the
22 medication are there.

1 The stress of surgery is -- it's
2 not like running a marathon, but it is a
3 stressful situation on the cardiovascular
4 system and the pulmonary system. So you're
5 adding a medication to this already stressful
6 system and you're not seeing an increased
7 risk of cardiac events. So in the short
8 term, I understand everybody's concerns, but
9 I don't see the increased risk.

10 And then finally, my concern with
11 this drug is if it was approved in a
12 hospital, that my orthopedic surgery
13 colleagues would use it and my vascular
14 surgery colleagues would use it, and anybody
15 who had anything done would use it, where it
16 wasn't -- the studies didn't show an efficacy
17 in those type of operations. And that's a
18 concern I have in the back of my mind.

19 But those other two things I
20 mentioned, unless you've been a patient
21 sitting in a hospital with an NG tube, you
22 don't know how miserable that is.

1 DR. BUCHMAN: So it looks like the
2 surgeons and gastroenterologists are going to
3 have to duke it out in the parking garage after
4 the meeting.

5 Dr. Rosing, as a cardiologist, what
6 are your feelings in terms of the
7 risk-benefit analysis here?

8 DR. ROSING: I think the
9 gastroenterologists are also going to have to
10 battle the cardiologists, along with the
11 surgeons. I've heard from the patient advocate,
12 I've heard from some of the gastroenterologists,
13 and certainly both of the surgeons. I've read
14 the data and I think there is some benefit that
15 arrives from this drug beyond the economic
16 benefits. And I really don't see any risk from
17 the short-term studies at all. I do respect
18 some of my colleagues' concerns, though, and I
19 think it would be reasonable to ask the sponsor
20 to implement some form of long-term monitoring
21 for this drug.

22 DR. BUCHMAN: I would just add one

1 last comment before we come to a vote. There
2 was an interesting paper a couple of years ago
3 that looked at all the drugs ever approved by
4 the FDA. And as I recall, not the difference
5 between the effect of placebo and study drug,
6 but the benefit over placebo was actually only
7 20 percent. But if we look at NG tube
8 reinsertion in this study, the difference
9 was -- sure, the difference was 43 percent, but
10 the real difference was 11 percent versus
11 6 percent.

12 Let's put it in perspective. We're
13 looking at small numbers in terms of risk.
14 We're looking at small numbers in terms of
15 benefit.

16 So with that, I'm going to ask are
17 there any other comments from the committee,
18 any rebuttals or re-rebuttals?

19 Dr. Epstein?

20 DR. EPSTEIN: Just one comment. I'd
21 like to point out we've heard about ketorolac as
22 a opioid-sparing drug. And as a

1 gastroenterologist, if you want to talk about
2 risk, start putting a lot of people on ketorolac
3 and you'll see a lot of risk.

4 DR. BUCHMAN: Don't tell people that.
5 That's how we make money.

6 DR. EPSTEIN: And the other thing is,
7 just in terms of cardiac -- we've heard from
8 Duke, we've heard the adjudicated data, we've
9 heard from our cardiologists, we've seen no
10 signal in any of the combined short-term
11 studies. We're dealing with the fact that the
12 placebo happened to have a zero number, and so
13 we're dealing with a little bit of the tyranny
14 of small numbers here. And I think it's a leap
15 of faith to think that there's a big cardiac
16 risk in the short term. That's just my opinion,
17 based on the global cumulative data that we've
18 heard today.

19 DR. BUCHMAN: I'm going to go ahead
20 and read the question and then we're going to go
21 for our vote.

22 The question again from the agency

1 is, do you believe the overall benefits of
2 treatment with alvimopan outweigh the
3 potential risks for short-term in-hospital
4 use in patients following small or large
5 bowel resections with primary anastomosis?

6 All of those that feel that the
7 benefit outweighs the risk, please raise your
8 hand, and keep them up until you state your
9 name.

10 Let's start over here with
11 Dr. Rosing.

12 DR. ROSING: Rosing, yes.

13 DR. CULLEN: Cullen, yes.

14 DR. PASRICHA: Pasricha, yes.

15 DR. LEVINE: Levine, yes.

16 MS. CORKERY-DELUCA: DeLuca, yes.

17 DR. CHANG: Chang, yes.

18 DR. LINCOFF: Lincoff, yes.

19 DR. EPSTEIN: Epstein, yes.

20 DR. TALAMINI: Talamini, yes.

21 DR. BUCHMAN: All those that vote no,
22 state your name.

1 MR. HENNESSY: Hennessy, no.

2 DR. BUCHMAN: Buchman, no.

3 DR. KRAMER: Kramer, no.

4 DR. RICHARDSON: Richardson, no.

5 MR. PROSCHAN: Proschan, no.

6 DR. KRIST: Krist, no.

7 MS. PHAN: We have nine yes and six
8 no, no abstain.

9 DR. BUCHMAN: We're going to move on
10 to Question No. 5, which is also a voting
11 question. If alvimopan is approved for the POI
12 indication, do you believe Adolor Corporation's
13 proposed risk management plan is adequate to
14 address the potential risks?

15 Explain what features of the
16 proposal would be most desirable.

17 Dr. Rosing, let's start with you.

18 DR. ROSING: I think we can refocus on
19 the questions that have been raised about the
20 long-term effects, even though it's short term
21 use of this drug. And I think that the features
22 of the proposal that are not adequate would be

1 that I think there should be some form of
2 long-term monitoring for the three signals that
3 were identified in Study 014, namely
4 cardiovascular complications, fractures, and
5 neoplasia.

6 DR. BUCHMAN: Dr. Cullen?

7 DR. CULLEN: I agree with Dr. Rosing.
8 I think specifically the cardiovascular effect
9 should be monitored long term.

10 DR. BUCHMAN: And Dr. Proschan? All
11 right, Dr. Krist, I'm sorry I forgot you.

12 DR. KRIST: I don't think that the
13 risk management plan is adequate. We have a big
14 black box on long-term safety, and the plan
15 doesn't do anything to address that.

16 DR. BUCHMAN: Dr. Proschan?

17 MR. PROSCHAN: I don't have a good
18 sense of whether it would be adequate or not, so
19 I really don't know.

20 DR. BUCHMAN: Dr. Pasricha?

21 DR. PASRICHA: I'd like to see a
22 surveillance program for cardiovascular risk.

1 And secondly, I'd like to make sure that as far
2 as possible, we've put restriction on off-label
3 use for now. And that means perhaps more
4 narrowly define the target population that this
5 is really indicated.

6 DR. BUCHMAN: Dr. Levine?

7 DR. LEVINE: I definitely agree with
8 the latter point. I also feel that there should
9 be a much stricter approach in our past meetings
10 with an already approved drug disparity. We
11 noted that we used the touch phone. I think
12 something in that line is really necessary for
13 follow-up here. I think we have to be -- it
14 would answer the question for short term and
15 otherwise if we had a very strong type of risk
16 management program, which we didn't hear from
17 yet -- about from the sponsor.

18 DR. BUCHMAN: Ms. Corkery-DeLuca?

19 MS. CORKERY-DELUCA: I'm JoEllen
20 DeLuca. For the long-term risk, I would like to
21 see something more done about that. I think we
22 owe it to the people who look for what the FDA

1 approves and not approves to say that there are
2 risk factors. And for me, particularly, the
3 cardio and the osteo.

4 And I didn't know, how can we
5 monitor this? I don't know that. But that
6 is a question for me. And the off-label use,
7 it goes back again to my question about
8 letting the horse out of the barn. If it
9 goes then to bariatric or if it goes to then
10 to another use entirely that we're not
11 discussing today, who does that? Who is
12 going to monitor that? I don't know.

13 DR. BUCHMAN: Dr. Richardson?

14 DR. RICHARDSON: I think we need to
15 provide patients with a little more information
16 on this. The RiskMAP talked about getting some
17 sort of verbal consent from patients as they're
18 being wheeled into the OR, and I don't think
19 that's adequate. I think people have to have
20 some written information that they can digest,
21 say 24 hours before their procedure. I think
22 the idea of having some health care provider

1 walk up to them when 10 other people are asking
2 them to initial the site of their operation in
3 the preop area and -- oh, by the way, we want to
4 give you this drug. We're a little uncertain
5 about the cardiac risks on this, but trust us
6 and everything will be all right -- I don't
7 think that's an adequate way of addressing that.
8 I think patients have to have more information
9 and some input into this decision.

10 DR. BUCHMAN: Dr. Chang?

11 DR. CHANG: There's parts of this that
12 I like, that it is restricted to bowel resection
13 and they're making sure it's only for hospitals.
14 I think that they've put some things in here
15 that are very good. I guess I'll have to think
16 about the emergency surgeries. Sometimes you
17 can't always give the patient all that
18 information or they really don't care. But I do
19 think that not only just looking at long-term
20 monitoring, I think they should look at some
21 predictors if someone comes in, like baseline
22 characteristics of age or gender or

1 cardiovascular risk factors, and cancer or not
2 cancer.

3 I think there are some things that
4 may -- information they can get to figure out
5 who may have the greater benefit over risk
6 than others.

7 DR. BUCHMAN: Dr. Kramer?

8 DR. KRAMER: I think the proposed risk
9 management program is predicated on process
10 measures of assuring that it only be used in the
11 inpatient setting and not outpatient. I agree
12 with the comments that have been made that I
13 think we need to go beyond that and look at
14 clinical endpoints. As I've said many times, I
15 believe the indication should be specified that
16 it be given in the context of opioid PCA.

17 And I agree with the comments about
18 trying to more carefully prevent off-label
19 use. I'm concerned that once this is
20 available, that anybody doing surgery where
21 they think there's a chance of ileus might
22 prescribe it, and therefore, increasing the

1 population potentially at risk.

2 I agree with the idea of trying to
3 get consent. I realize this is challenging,
4 but I think that patients should be informed.
5 And I was concerned -- I heard a presentation
6 recently within the last year by a wholesaler
7 about what the impact of all these various
8 risk management programs is having on their
9 ability to function. They're an industry,
10 I've learned from this presentation, that
11 operates in a very slim margin of ability to
12 manage, and really, the main brunt of this
13 program is put on the wholesalers. So I
14 agree with the FDA's comments that it really
15 shouldn't be the wholesaler trying to sort
16 out who gets this drug, and that the sponsor
17 should take on some of that cost and
18 responsibility.

19 DR. BUCHMAN: Quite frankly, I think
20 that the RiskMAP proposed by the company was
21 done haphazardly, and it looks like very little
22 time was really put into it. It's very, very

1 short on specifics. Now, that can all easily be
2 corrected, but I am quite surprised that we've
3 come to the point of having a meeting here.
4 You've had this drug under development for seven
5 years. You've known about these risks, at least
6 since last November, that you didn't come up
7 with a more specific plan other than, well,
8 wholesalers will going to control this. The
9 Pittsburgh Pirates are not going to finish in
10 last place next year because they're going to
11 play better. You really need to have more
12 specifics. You need to define things. "Acute
13 care hospital" was mentioned only once.
14 Otherwise, it's always "hospital." Hospital has
15 various definitions, even including veterinary
16 hospitals.

17 So I think you need to supply
18 definitions. You need to have an algorithm,
19 a framework of exactly how this is going to
20 work, what are your check and balance
21 systems? I mean, really, I mean, you guys
22 can do a better job at this, putting this

1 together than we can as physicians, and I'm
2 just disappointed in what I saw.

3 MR. HENNESSY: Sean Hennessy. I think
4 that this drug needs additional study to
5 characterize its cardiovascular risks. I'm not
6 convinced that it needs a risk management action
7 plan. Reading from Dr. Weaver's Slide 8, when
8 should a RiskMAP be considered? When the risks
9 are serious and preventable. When safe and
10 effective use calls for specialized health care
11 skills or settings. When a RiskMAP encourages
12 appropriate use increase benefits relative to
13 risks. Products in a class of product with
14 similar risks that require a RiskMAP. I don't
15 think any of those criteria apply to this drug.

16 The drug is going to be used in
17 lots of patients, more so than can probably
18 be accommodated by the more stringent risk
19 management action plans that we've seen, like
20 clozapine and patient registries to prevent
21 pregnancies. So in my view, the risks need
22 to be characterized in the context of one of

1 more epidemiologic studies, but they aren't
2 typically part of risk management action
3 plans. And I don't think that a risk
4 management action plan will be effective for
5 reducing the risks unless there are
6 particular patient populations who can be
7 identified who have better or worse
8 risk-benefit balances. And in the absence of
9 a benefit of the RiskMAP, then it's just
10 added cost and added inconvenience.

11 DR. BUCHMAN: I just want to clarify
12 my response. The RiskMAP here primarily, as I
13 see it, is towards prevention of off-label use,
14 because the concern here was in the long-term
15 patients, again, the chronic opiate users. And
16 there needs to be a clear way in
17 which -- because it's very difficult to regulate
18 off-label use for anything. And this is going
19 to have to be a better attempt to keep it out of
20 the hands of the narcotic addicts, those on
21 methadone, patients in nursing homes, and all
22 these sorts of thing. So I just wanted to

1 clarify my remark.

2 Dr. Lincoff?

3 DR. LINCOFF: I think we need to be
4 realistic about the prospects of useful data
5 from follow-up long-term epidemiologic studies.
6 Such studies are notoriously limited in their
7 ability to look at treatment effects, and we've
8 got to be realistic. If we force a
9 10,000-patient registry of the next 10,000
10 patients on-label to get this drug, and we see
11 and event rate, we're going to have an event
12 rate. And we're going to have no idea if that
13 event rate is higher than it would be if
14 patients didn't get the drug.

15 And we're not going to be able to
16 look at risk factors for treatment effect.
17 We're going to be able to look at risk
18 factors for cardiovascular events, but we've
19 got better registries in existence right now
20 to do that. So if there's really that much
21 concern about what the long-term
22 cardiovascular events are as a consequence of

1 giving these drugs, then the drug shouldn't
2 be approved.

3 I personally don't believe that.
4 But I also don't believe that the resources
5 should be diverted toward elaborate
6 registries and epidemiologic studies that
7 aren't going to test causation. You can't
8 test causation with observational studies,
9 and that's really what we want to know. So I
10 think efforts should be directed instead
11 toward, as several people have said, trying
12 to make this drug used only as the label does
13 describe.

14 And I, too, am a physician, not a
15 pharmacist or a manufacturer who can best
16 design those systems, but I suspect they
17 probably can be designed, especially since we
18 are trying to make a wall between outpatient
19 and inpatient, which seems to me to be a
20 relatively discrete setting that's easier
21 than some of the more difficult drugs.

22 As for consent, I think consent is

1 important up to a point, but realize, we use
2 a lot of drugs without much in the way of
3 consent that carry much more in the way of
4 danger -- drugs for atrial fibrillation and
5 some antibiotics, et cetera.

6 Hospitals institute programs with
7 their pharmacies to require approval of
8 specialists, et cetera, before it's given.
9 But in reality, there are a lot of drugs that
10 have much more evidence of danger that we use
11 without elaborate methods of consent, et
12 cetera. So I think the main issue should be
13 to try to assure that these drugs are used
14 within the label.

15 DR. BUCHMAN: Dr. Epstein?

16 DR. EPSTEIN: I basically second what
17 Dr. Lincoff said. We have a very large number
18 of trial patients in the pooled data set from
19 the short term, and there was no increased
20 cardiovascular signal, and that is the intended
21 use. I think that the RiskMAP should include an
22 order that states -- basically from the

1 physician that states simply, for use in a
2 patient undergoing bowel resection, to limit it.

3 I think the biggest concern would
4 be, as mentioned by Dr. Cullen, that the
5 orthopedist or some other surgeons might want
6 to use the drug off-label. So I think that's
7 where we should focus the RiskMAP
8 specifically.

9 DR. BUCHMAN: Dr. Talamini?

10 DR. TALAMINI: I would make a couple
11 of points. I would say the risk management plan
12 is not adequate because it's currently just an
13 outline. And I would encourage the FDA to
14 predicate approval on that being filled out to
15 their satisfaction.

16 Having said that, I think the
17 consent issue would be extremely difficult,
18 for the same reasons that Dr. Lincoff already
19 outlined. I've got a hunch that the
20 preoperative antibiotics that we give are
21 probably more dangerous than this drug, and
22 we just don't have the means to ask consent

1 for every single drug that we give during
2 surgery.

3 I also know that the story of
4 post-approval studies is not an encouraging
5 one. So my suggestion would be to be very
6 focused there. And from a point of
7 ignorance, I might suggest looking into the
8 NSQIP database, which is becoming ever bigger
9 and more robust, as a potential means to try
10 to answer this question post-approval, if
11 it's approved.

12 DR. BUCHMAN: Dr. Kramer, you wanted
13 to clarify your comment?

14 DR. KRAMER: Dr. Hennessy's comments
15 made me realize I did want to clarify what I was
16 at least suggesting. I'm personally seeing the
17 RiskMAP as a method of limiting the use until we
18 have more information. And I would actually
19 agree that post-approval epidemiologic studies,
20 while not addressing causation, can identify
21 safety signals. And I think that in an era
22 where we're starting to put together distributed

1 safety networks, in the order of being able to
2 accumulate 50 million patient lives to look at
3 things, we have several pilot programs going on
4 right now across multiple collaborative centers
5 in this country, and I think we can get
6 information with a control group to try to
7 understand some of these safety signals. And I
8 don't think we should be ostriches just because
9 it's challenging. If there's any concern, we
10 should look. And if it's no concern, then it's
11 a waste of money, but --

12 DR. BUCHMAN: Okay, Dr. Korvick?

13 DR. KRIST: I just wanted to --

14 DR. BUCHMAN: Dr. Krist?

15 DR. KRIST: I just wanted to quickly
16 clarify my answer, too. That's the drawback of
17 going very early on in this. I mean, I agree
18 with both of these comments. I don't think a
19 RiskMAP is going to address this and we need
20 more research. I do worry -- and I wasn't going
21 to say anything until you started talking,
22 Dr. Kramer, I mean, I do worry about response

1 for follow-up for a short term use drug. I can
2 see just methodologically that people are going
3 to have low incentive to respond to having used
4 the drug for five days. So I mean, it depends
5 on the methodology used, if you use an existing
6 database or something. But that's some of the
7 fear that I have with some post-surveillance
8 trying to figure this out -- or post-approval
9 trying to figure it out.

10 DR. BUCHMAN: Dr. Korvick, with your
11 permission, I'm going to split this into two
12 different votes, with two different questions.
13 The first question being, is a RiskMAP
14 necessary? And the second question being,
15 whether the RiskMAP proposed by the Adolor
16 Corporation is adequate.

17 Is the agency in agreement with
18 that, or would you just like the single vote
19 as originally planned?

20 MR. PROSCHAN: Didn't we already vote?

21 DR. BUCHMAN: No, that was in another
22 life.

1 DR. KORVICK: I think we'd prefer to
2 go with the way that it's written.

3 DR. BUCHMAN: You heard the commander
4 in chief. We're going to go with one single
5 vote. And so that means that you're voting at
6 the same time as to, A, if you think a risk
7 management plan is necessary; and also whether
8 you think the risk management plan as proposed
9 is adequate.

10 So all those in favor that the risk
11 management plan is necessary, and as proposed
12 is adequate, please raise your hand.

13 DR. EPSTEIN: Point of order.

14 DR. BUCHMAN: Okay, go ahead.

15 DR. EPSTEIN: I'm sorry, Mr. Chairman,
16 can you read the question as written? Because
17 I'm confused about "is necessary" or "adequate."

18 DR. BUCHMAN: I'm going to reread the
19 question then and just going to delete the last
20 sentence. So if alvimopan is approved for the
21 POI indication, do you believe Adolor
22 Corporation's proposed risk management plan is

1 adequate to address the potential risk?

2 So we're not voting on whether you
3 think they need to have a plan, you're voting
4 on whether you think the plan that they have
5 proposed is adequate, just so that everybody
6 understands that. Okay?

7 DR. KORVICK: That's correct.

8 DR. BUCHMAN: So all those who think
9 it's adequate, please raise your hand, for a yes
10 vote.

11 All those that think it's
12 inadequate, for a no vote, please raise your
13 hands.

14 Please state your name.

15 Dr. Talamini, why don't you start?

16 DR. TALAMINI: Talamini, no.

17 DR. EPSTEIN: Epstein, no.

18 DR. LINCOFF: Lincoff, no.

19 MR. HENNESSY: Hennessy, no.

20 DR. BUCHMAN: Buchman, no.

21 DR. KRAMER: Kramer, no.

22 DR. CHANG: Chang, no.

1 DR. RICHARDSON: Richardson, no.

2 MS. CORKERY-DELUCA: DeLuca, no.

3 DR. LEVINE: Levine, no.

4 DR. PASRICHA: Pasricha, no.

5 DR. KRIST: Krist, no.

6 DR. CULLEN: Cullen, no.

7 DR. ROSING: Rosing, no.

8 DR. BUCHMAN: All those abstaining,
9 please raise your hand. State your name.

10 MR. PROSCHAN: Proschan, abstain.

11 DR. BUCHMAN: Are we going to announce
12 the vote here?

13 MS. PHAN: We have no yes, 14 no, and
14 1 abstain.

15 DR. BUCHMAN: We're going to move on
16 to the final question of the day. This is a
17 non-voting question. Based on currently
18 available data, how should safety monitoring be
19 enhanced for patients enrolled in future
20 short-term and long-term clinical trials with
21 alvimopan?

22 Dr. Lincoff?

1 DR. LINCOFF: That's easy. This is
2 the situation we all wish we were in, is knowing
3 the risks prospectively beforehand. I mean, I
4 think for short-term trials as well, for any
5 trial it's fairly clear that we want to
6 prospectively, not passively, but actively
7 gather cardiovascular endpoints, and cancer and
8 fractures, but particularly cardiovascular. By
9 accepted definitions to do that, not by adverse
10 event reporting, but by, at routine visits, a
11 follow-up to explicitly ask patients, and then
12 to fill in more detail as we typically do in
13 cardiovascular trials if a positive response, or
14 if there are triggers to suggest that there was
15 an event.

16 And for short-term studies, that
17 that follow-up be for at least 30 days after
18 the last administration of drug. And for
19 long-term studies, one could argue three to
20 six months, depending upon how long term
21 after the last administration of drug.

22 DR. BUCHMAN: Are you suggesting a

1 formal Phase IV trial?

2 DR. LINCOFF: To me, Phase IV -- the
3 definition of Phase IV varies from person to
4 person.

5 Some mean it to say drugs approved,
6 and so any trial you do from that point on is
7 Phase IV, even if it's randomized. And if
8 that's the case, then, yes.

9 But if we're talking about, for
10 example, another indication, the OBD
11 indication, is that Phase IV or is that
12 Phase III? Because it's a different
13 indication. I don't know. But I'm talking
14 about in a randomized trial format, any trial
15 that is ever done from this point forward.
16 And certainly none of us have seen the data
17 for OBD, but if one were to want to come
18 forward with an indication for the OBD, one
19 would probably want better data than exists
20 already, no matter how good the efficacy
21 signal is.

22 DR. BUCHMAN: Dr. Pasricha?

1 DR. PASRICHA: I just wanted to
2 clarify this because, I mean, are we talking
3 about studies required for approval or
4 post-approval studies? I'm not sure whether
5 this is linked to the previous question.

6 DR. KORVICK: I think it's now after
7 you've given your answers that you've given and
8 where we find ourselves today. We've had a
9 wide-ranging discussion on a lot of issues. So
10 this is your opportunity for each one of you, if
11 you feel, to register in what area you would
12 like to see what works. So it could be short
13 term, if you still think they need to do
14 something. It could be longer term, as someone
15 else said. So if you could just qualify what
16 you mean, and we'd find any advice helpful.

17 DR. BUCHMAN: And Dr. Lincoff has
18 suggested two very different mechanisms, one
19 being a Phase IV study on this particular
20 indication. Should this drug be approved in
21 this particular population? And the second
22 being, either in addition or instead of that,

1 for any future trials, Phase III or Phase II, in
2 other potential indications.

3 Dr. Talamini, you had a question?

4 DR. TALAMINI: I completely agree with
5 that. And I'm probably on thin ice here, but I
6 think consideration is doing -- expanding the
7 study to a group of patients that don't have
8 bowel resective surgery, but do require high
9 doses of narcotics postoperatively, and see what
10 the benefits and potential cardiovascular risks
11 might be in that population, where there may be
12 equal or even greater potential benefit.

13 MR. HENNESSY: I would recommend a
14 large randomized trial for cardiovascular safety
15 endpoints. That would probably be best
16 accomplished in a group at high risk for
17 cardiovascular outcomes, since the problem of
18 low numbers in the denominator won't be much of
19 an issue. Given the size of the potential
20 market, that should take relatively little time
21 to accumulate the number of patients.

22 DR. BUCHMAN: Dr. Lincoff?

1 DR. LINCOFF: I'd like to add to those
2 last two comments because I think they're
3 excellent for several reasons. First of all,
4 these are groups which there still remains
5 equipoise, because we don't have data. So the
6 problem with doing pure Phase IV in the same
7 populations, of course, everybody says, well, I
8 already know it works, so how can I ethically
9 randomize to a placebo? And you could say it's
10 on the basis of safety, but it's much harder.

11 But if you expand the indications
12 to other groups for whom there is logic that
13 the high-dose narcotics would -- there would
14 be a benefit, you then truly have equipoise
15 and you could be focusing, for example, on
16 vascular surgery or elderly patients
17 undergoing orthopedic surgery. So that would
18 be a very good trial from the standpoint of
19 the science, the potential indication for the
20 company, because of expanding it, and the
21 opportunity to prospectively -- still in a
22 short-term study, because I don't know if

1 you're ever going to pursue OBD -- but in the
2 short-term study, gain much more data that
3 can then be extrapolated backward in terms of
4 cardiovascular safety.

5 DR. BUCHMAN: Dr. Levine? Okay, then
6 just turn your mike off.

7 Ms. DeLuca?

8 MS. CORKERY-DELUCA: Are you saying,
9 Dr. Lincoff, the 30-day trial that you had
10 mentioned before, to follow up with the 30 days?
11 What is your time limit?

12 DR. LINCOFF: Yeah, I was thinking 30
13 days after the last drug administration.

14 MS. CORKERY-DELUCA: Would this be
15 paid from the cost of the drug as it enters the
16 market? How is this going to be paid for?

17 DR. LINCOFF: These would be paid for
18 by the sponsor, who stands to make a profit in
19 the future.

20 MS. CORKERY-DELUCA: That's what I'm
21 asking.

22 DR. BUCHMAN: Dr. Krist?

1 DR. KRIST: I was just saying, I'd be
2 able to do the study you were talking about,
3 randomizing people for the postoperative
4 indication on the PCAs with equipoise. Because
5 to me, there's still enough of a question
6 that -- and I as a patient would be willing to
7 be randomized for that. Because that's an
8 important question that effects the overall
9 risk-to-benefit ratio.

10 DR. BUCHMAN: Dr. Proschan?

11 MR. PROSCHAN: Proschan. Yeah, I
12 think the problem with doing a trial in people
13 who are at high cardiovascular risk is that if
14 you show that there is a problem, then that
15 doesn't answer the question for those who aren't
16 at high cardiovascular risk. Now, I know
17 Dr. Lincoff believes that it will not come out
18 that way and that may very well be true, but I'm
19 just saying if it does come out that way, then
20 there's still an open question for people who
21 aren't at high cardiovascular risk, is it fine?

22 DR. PASRICHA: And I'll have a very

1 hard time getting that study approved through an
2 RB using a drug for which a stated
3 contraindication is high-risk cardiac already
4 for your first approval. So I think you're
5 going to have to structure it in a way that gets
6 around -- assuming this is a post-approval
7 study.

8 DR. BUCHMAN: Dr. Lincoff?

9 DR. LINCOFF: First, I didn't know
10 that we were going to suggest that the
11 contraindication to the use of drug would be
12 high cardiovascular risk, because I don't know
13 that we've seen that. The cardiovascular risk
14 was not a prerequisite, or did I miss it in the
15 inclusion/exclusion criteria for entry into the
16 trial?

17 But that aside, I would think that
18 if you properly designed a trial with perhaps
19 stratification according to whether or not a
20 patient is at high risk and set a criteria,
21 but enroll both high- and low-risk, again the
22 issue is other surgeries. So there's the

1 payoff for the company and the motivation to
2 do it, is to expand the indication. Because
3 otherwise, there's no motivation. All they
4 can do is downside. If a drug's approved and
5 then they're going to do another study in the
6 same indication, then all they can do is
7 lose.

8 But if you have the potential for
9 expanding an indication and you have both
10 low- and high-risk patients, you get science,
11 you get safety data, and they potentially get
12 a reason to sponsor a study. So I think if
13 you -- I mean, it's not straightforward, but
14 if you think about it, you could probably
15 satisfy all the criteria for a good design of
16 another study and still get some information
17 that we need.

18 DR. BUCHMAN: Dr. Hennessy?

19 MR. HENNESSY: The flipside of that
20 is, if the drug is used extensively for
21 off-label purposes, then the company gets its
22 cake and eats it, too, because they don't have

1 to do the studies to show that it's safe and
2 effective in the other groups, but they get the
3 sales because of the off-label use, which, my
4 prediction is likely to happen.

5 DR. BUCHMAN: It looks like we're
6 going to finish early. So because we do have a
7 few extra minutes here I want to see if anybody
8 from the committee has any additional questions,
9 either for the sponsor or for the FDA, or just
10 some comments they want to make themselves.

11 If not, I'm going to give a brief
12 chair summary of the six questions that we
13 had.

14 The first question was a non-voting
15 question. For the assessment of efficacy of
16 clinical trials of postoperative ileus, GI-2
17 and GI-3 have been used to measure times for
18 recovery of upper and lower GI function.

19 What do you consider a minimum acceptable
20 treatment difference, as measured by GI-2,
21 GI-3, for alvimopan relative to placebo?

22 The committee felt that either a

1 12- or 24-hour difference was considered to
2 have clinical efficacy, and that GI-2 and
3 ready for discharge were the most important
4 endpoints.

5 This also included Question No. 2,
6 which was, do you consider the efficacy
7 results from the submitted POI studies to be
8 clinically meaningful?

9 So Question No. 3 was based on
10 currently available data. Do you have
11 concerns for the use of alvimopan
12 12-milligram capsules in the short term use,
13 that is the seven days or 15 doses, for
14 patients following partial large or small
15 resection surgery with primary anastomosis
16 with regard to the cardiovascular events,
17 neoplastic events, and bone fractures?

18 The committee felt that there was
19 some concern for the cardiovascular risks,
20 although these risks were not adequately
21 addressed. But certainly there was some
22 potential concern. The major concern was

1 that follow-up was inadequate. Cumulative
2 dose might be important, especially with
3 repeated doses, but we have no data to either
4 support or deny that.

5 Risk analysis for the most part was
6 based on a single long-term study, and there
7 appeared to be weak signals for these three
8 problems. Nevertheless, the cardiovascular,
9 neoplastic, and bone risks cannot be
10 discounted. And that if the drug was
11 approved, there was clear opinion on the
12 committee that some sort of process would
13 need to be put in effect to be able to
14 monitor these specific potential side
15 effects.

16 Question No. 4 was, do you believe
17 the overall benefits of treatment with
18 alvimopan outweigh the potential risks for
19 short-term in-hospital use in patients
20 following large or small bowel resections?

21 There was some concern with
22 efficacy as demonstrated in the trial,

1 especially if the patients were not on
2 opiate. Although the consensus of the
3 committee was that there were benefits, even
4 if these benefits were relatively marginal
5 and mostly financial.

6 There is a potential for risk.
7 There was some concern expressed in the
8 committee that these risks might be real,
9 although might not be applicable to short
10 term use.

11 It was fairly unanimous that there
12 was small benefit and small risk, although
13 the risk was not zero.

14 Question No. 5, if alvimopan is
15 approved for the POI indication, do you
16 believe Adolor Corporation's proposed risk
17 management plan is adequate to address the
18 potential risks?

19 The unanimous decision of the panel
20 was that the risk management plan was not
21 adequate at all. However, it was also
22 brought up as to whether a risk management

1 plan was even really necessary and whether,
2 if the drug was approved, such a plan should
3 be oriented towards more specific prevention
4 of off-label use.

5 And finally, Question No. 6, based
6 on currently available data, how should
7 safety monitoring be enhanced for patients
8 enrolled in future short-term and long-term
9 clinical studies of alvimopan?

10 It was the general consensus of the
11 committee that prospective longer term safety
12 monitoring studies for adverse events would
13 be necessary. These could take the form of
14 one of two mechanisms: either A, a Phase IV
15 type trial to monitor the risk-benefit
16 ratio -- or I should say, just the risks of
17 these specific and perhaps other potential
18 events in patients that end up receiving the
19 drug; or to implement a more thorough and
20 long-term follow-up in any future studies for
21 potential future indications.

22 So with that, I'm going to adjourn

1 our meeting. Thanks for coming.

2 (Whereupon, at approximately 4:09
3 p.m., the MEETING was adjourned.)

4 * * * * *

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

