

1 be a risk factor, but glucose lowering may
2 not be a benefit. Can you sort of rephrase
3 that question?

4 MS. FLEGAL: I was just thinking of
5 the analogy for obesity where it increases the
6 incidence of some conditions but they also
7 improve survival in some of those same
8 conditions. Whether there's sort of a
9 distinction like incidence and mortality don't
10 have exactly the same risk factors.

11 Is there any suggestion of that in
12 these data?

13 DR. GERSTEIN: Not that I know of.
14 But clearly, if the glucose numbers -- I don't
15 know of any data that would answer that
16 question.

17 DR. BURMAN: If I may, I'd like to ask
18 you a question.

19 DR. GERSTEIN: Sure.

20 DR. BURMAN: Do you think some of the
21 differences between the ACCORD and ADVANCE
22 related to the rapidity of the drop of the

1 glucose? Number one. And number two, do you
2 have any information regarding the increased
3 deaths in the patient from the ACCORD?

4 DR. GERSTEIN: The causes of the
5 increased death? Is that what you're -- yeah,
6 so within the ACCORD trial, we looked carefully
7 at the reasons for death, and essentially people
8 died for the same reasons that people die in the
9 general population. So you know, a lot of the
10 deaths were cardiovascular disease. And then
11 there were a whole bunch of other miscellaneous
12 deaths.

13 So in terms of the actual cause of
14 death, nothing sort of emerged as being a
15 particular cause.

16 And there was no sort of yellow
17 flag that said, ah ha, this is what happened.
18 And in terms of the reasons for the death,
19 the analyses to date have not identified
20 anything as being the particular reason why
21 there was this mortality signal.

22 And so other analyses are being

1 done. More papers are being published. And
2 in my mind, I think somebody else said it.
3 It's likely that we will never find a smoking
4 gun. It is something about the totality of
5 the intervention that seemed to have the
6 effect, in the same way that in the UKPDS and
7 some of the blood pressure trials, it's hard
8 to differentiate what is the component that
9 was the benefit, or was it the whole
10 strategy, per se, that did it.

11 In terms of the first question,
12 Ken, was the differences between the trials?
13 Is that --

14 DR. BURMAN: Yes, in terms of the
15 rapidity with which glucose and HbA1c dropped.

16 DR. GERSTEIN: That is a hypothesis.
17 So the ACCORD trial really did what we all
18 recommend that people do. So intensively
19 control people's Alcs in a safe way. At the
20 time, it turned out that there was this
21 mortality problem, but clearly, safety was
22 paramount in the way that it was designed. So

1 it was designed to minimize hypoglycemia, safely
2 lower Alc levels as rapidly as could safely be
3 done.

4 And it did it within the course
5 of -- a lot of it within the first four
6 months. ADVANCE took three years to lower
7 the Alc levels, and had a lesser difference
8 between groups -- about half the difference
9 between groups in ADVANCE compared to ACCORD.
10 And the difference -- the contrast was
11 maintained for a much shorter period of time.

12 So one could hypothesize that
13 perhaps some of the differences between the
14 trials relate to the speed of glucose
15 lowering. That's clearly a hypothesis. And
16 we'll never know at this point, but that was
17 a difference between the studies. VA has not
18 published their curves yet, so it's really
19 hard to comment on that.

20 DR. BURMAN: Thank you. Any other
21 questions? Dr. Parks?

22 DR. PARKS: Dr. Gerstein, you

1 mentioned that both ACCORD and ADVANCE are
2 glucose-lowering trials, and clearly looking at
3 differences between intensive and standard
4 control. But the other difference here, the
5 treatment regimens, do you want to comment on
6 the differences in the treatment regimens to
7 achieve the same goal?

8 DR. GERSTEIN: ADVANCE prespecified
9 that they have to put people on glipizide, which
10 is a drug similar to glipizide in terms of it's
11 a sulphonylurea-type drug. And after that,
12 people can add what they wanted to add to the
13 intensive group.

14 ACCORD clearly regulated both -- so
15 it was actually -- ADVANCE was (inaudible) as
16 usual care. ACCORD was prescribed care in
17 both groups. It was targeting the Alc with
18 less than 6 percent with a menu of drugs,
19 versus targeting Alc 7 to 7.9 percent with
20 the same menu of drugs.

21 So it was pre-specified what the
22 Alc was going to be in the standard group

1 versus letting it be what it would sort of
2 end up being in a usual care sort of
3 approach. And that's another difference
4 between the designs of the two groups. But I
5 don't think that there's anything particular
6 special about the glipizide per se. I mean,
7 it is a sulphonylurea and it seems -- one can
8 always find unique properties of any one
9 sulfonylurea. But I think it's just really
10 part of the approach that they used. But
11 again, one can speculate.

12 DR. BURMAN: Thank you. Any other
13 questions before we move on? Thank you very
14 much.

15 Let's move on to the next speaker.
16 Dr. Steven Nissen.

17 Thank you.

18 DR. NISSEN: Thank you very much.
19 First of all, it's really a privilege to be
20 here. And I want to thank the Agency for the
21 opportunity to participate.

22 As I think some of you know, I've

1 been critical of the Agency in recent years,
2 and it takes a little bit of courage to
3 invite a critic to come and talk about these
4 things. And so I applaud you for giving me
5 the opportunity to give you a perspective on
6 this entire area, one that obviously has
7 taken on increasing importance in the last
8 year or so.

9 So hopefully we'll have a slide
10 here. Very good.

11 I am really going to talk very
12 specifically about a change in regulatory
13 strategy.

14 And I'm going to outline what I
15 think is a rational approach towards approval
16 of these drugs. First, I wanted to show you
17 a disclosure slide -- although you've
18 obviously heard this already, please keep in
19 mind, however, that companies are directed to
20 pay any honoraria speaking or consulting fees
21 to charities, so that I do not receive income
22 or tax deductions from participating in

1 consulting or research with clinical trials.

2 So why are we here? The ACCORD
3 trial demonstrated that a drug regimen
4 designed to lower blood glucose is capable of
5 increasing mortality in diabetic patients.
6 That in and of itself I think is a clear
7 signal that we've got a potential problem
8 that we've got to find a way to address
9 through regulatory policy.

10 Secondly, multiple rosiglitazone
11 meta-analyses of CV outcome showed improved
12 glycemic control but an increase in
13 myocardial ischemic events. So we have two
14 events that suggest that if you lower blood
15 sugar, perhaps in the wrong way, you can
16 increase morbidity and you can increase
17 mortality.

18 It is also important to know that
19 many agents to treat diabetes have failed
20 during development, some due to
21 cardiotoxicity. Most of which you don't know
22 about because the studies that showed the

1 toxicity have never been published. And
2 lastly, no robust cardiovascular outcomes
3 data exist for any current diabetes
4 therapies.

5 The problem is insufficient
6 clinical trial data. In the absence of
7 randomized CV outcomes trials, we are left
8 with unsatisfactory methods to assess benefit
9 and risk. These include meta-analyses or
10 post hoc data dredging of randomized trials
11 not designed to determine the benefits or
12 risks of specific therapies.

13 And I thought this was particularly
14 evident at the recent ADA meeting with
15 attempts to determine the source of the
16 excess mortality in ACCORD. And there's just
17 no amount of torturing of the data that will
18 enable risk assessment when specific drug
19 usage was not randomized, as I will show you
20 a little bit later.

21 What we do know is that a strategy
22 in which the primary differences that were

1 observed were with these four agents. There
2 was a lot more use of repaglinide, a lot more
3 use of rosiglitazone, somewhat more use of
4 insulin, and more use of an alpha-glucosidase
5 inhibitor. Somehow or other, this regimen
6 resulted in an increase in mortality. And I
7 don't think that we'll ever be able to know
8 what it was about this strategy that led to
9 the increased mortality, no matter how far we
10 delve into the data.

11 So here we are, 50 years after the
12 initial introduction of anti-diabetic agents.
13 And although cardiovascular disease is the
14 cause of death in 75 percent of diabetics,
15 there exists no well-designed, adequately
16 powered, comparative effectiveness trials
17 evaluating macrovascular outcomes for
18 diabetes drugs.

19 Now, for those of you who may
20 disagree, let me point out to you this very
21 nice systematic review in the Annals of
22 Internal Medicine, which looked at

1 comparative effectiveness and safety of oral
2 medications for type 2 diabetes published
3 several years ago -- actually last year. And
4 this is the summary table from that analysis.
5 This is Level of Evidence: Comparative
6 Effectiveness Trials of Diabetes Drugs. All
7 cause mortality, level of evidence available
8 low to very low. CV disease mortality, low
9 to very low. Non-fatal MI or stroke, low to
10 very low. Peripheral vascular disease, low
11 to very low. And microvascular outcomes, low
12 to very low.

13 So we've heard a lot of data, and I
14 think it's very compelling, about lowering
15 blood sugar. But we have almost no
16 information about how to lower blood sugar.
17 And that absence of information has left us
18 in the current dilemma that we find ourselves
19 in. So we have a knowledge gap. And I think
20 that the absence of information on
21 macrovascular effects is unfortunate.

22 It's a consequence, however, of

1 current regulatory policy that emphasizes the
2 importance of glucose lowering (inaudible) as
3 a therapeutic goal. Now, I think we have
4 four or five major classes. I heard there
5 are 10 classes. I can't quite count that
6 high, so let me just say that there are
7 certainly many ways to lower blood sugar.

8 What we really need to know are
9 what agents improve health outcomes, and what
10 agents can we develop beyond current
11 therapies that will improve health outcomes.
12 We can lower blood sugar. If we have to, we
13 can give insulin. So we have lots of ways to
14 reduce blood sugar. What we really want to
15 do is find the right way to lower blood
16 sugar.

17 Now, it wouldn't be right if I
18 didn't poke a little bit at my endocrinology
19 colleagues, so please excuse this. But I
20 have to point out that this sort of approach
21 has led to a new disorder, which I've termed
22 glucose-centricity. Now, if that's a new

1 word for some of you, I went to the Webster's
2 Dictionary and I looked it up. And I found
3 this definition of glucose-centricity. It's
4 the irrational belief that lowering blood
5 sugar using virtually any pharmacological
6 means will produce a reliable reduction in
7 adverse outcomes. I think what we've learned
8 in the last year is that that's not correct.
9 And so we've got to move beyond a
10 glucose-centric approach.

11 There are major consequences of
12 using glucose as the primary driver of drug
13 approval. Preapproval studies focus on
14 demonstrating maximal glucose lowering
15 effects. Therefore, patients are selected
16 with relatively high HbA1c levels because
17 this enhances apparent efficacy, as I will
18 show you.

19 The higher you start, the bigger
20 the delta you see. And the purpose of many
21 of these studies is to attain bragging
22 rights. My drug lowers blood sugar more than

1 your drug does.

2 But that's not really what we want
3 to know. In fact, patients at high
4 cardiovascular risk are deliberately avoided.
5 Sponsors say why take a chance of an adverse
6 safety signal? So let's exclude these
7 high-risk patients from our clinical trials.
8 And that further compounds the problem,
9 because it means we're not going to have
10 enough events to actually see the signals we
11 need.

12 The other thing that happens is
13 that when safety signals arise, physicians
14 stampede to the newest diabetes therapies for
15 which we know the least about safety. And I
16 found it really interesting that after the
17 rosiglitazone concerns emerged, the fastest
18 growing diabetes class is a new class -- the
19 DPP-IV inhibitor, of which sitagliptin is the
20 first agent.

21 However, this agent has very
22 limited glucose-lowering efficacy, perhaps

1 about half the effective established
2 therapies, and virtually no long-term safety
3 data.

4 So what happens is, in the absence
5 of definitive information, people just flip
6 to the newest drug because that's the one
7 that's least likely to have anybody worried
8 about its outcome. I worry the most about
9 new drugs, not the least.

10 So here's the dilemma that I'm
11 going to try to propose an answer to. How do
12 we balance the need to bring new diabetes
13 agents to patients in a timely fashion? You
14 know, the information this morning and early
15 this afternoon is convincing. I know there's
16 a microvascular benefit. I know lowering
17 blood sugar is a good thing. But we also
18 need more robust outcome data to inform
19 physicians on how to use these drugs safely
20 and effectively.

21 We have -- you have to as a
22 panel -- I, unfortunately, don't get a chance

1 to vote, but you do -- to help the Agency
2 understand how do we balance these two
3 issues. Timely approval of new drugs versus
4 having adequate information.

5 And so I will propose to you then a
6 rational approach. And I'm going to tell you
7 that although there are many people that
8 would like this, requiring a large CV outcome
9 trial prior to approval is undesirable
10 because this approach would delay new
11 diabetes therapies by five to seven years at
12 the very least.

13 And so I'm going to propose what I
14 think is a reasonable compromise. And it has
15 two components: a pre-approval set of
16 clinical trials designed to rule out a high
17 level of CV risk; and secondly, a large
18 randomized outcomes trial that must be
19 underway at the time of approval.

20 And so this combination of an
21 appropriate pre-approval set of trials and a
22 large outcomes trial that's already enrolling

1 patients at the time of approval provides us,
2 I believe, with what we need in the long run.

3 Now, what about the pre-approval
4 development program? And again, this is a
5 balance between what's desirable and what's
6 feasible. I believe we need pre-approval
7 trials of sufficient size and duration to
8 rule out a hazard ratio of 2.0 for major
9 adverse cardiovascular events. I will show
10 you data for several other cut points for
11 that upper hazard ratio, but I think that
12 this might be a reasonable one. And 1.8
13 would also be reasonable, as I will show you
14 in a subsequent table.

15 This would require pre-specified
16 pooling of CV outcomes in all trials with
17 adjudication by an independent clinical end
18 points committee. That's not done now. What
19 we get is kind of haphazard adverse event
20 reporting in these trials, and that doesn't
21 give us the clarity that we need. And so I'm
22 suggesting that as part of the plan, we ought

1 to have the requirement that these events be
2 carefully adjudicated during the pre-approval
3 study. I think it would be useful to have at
4 least one study in patients at high CV risk,
5 perhaps 1,000 patients for one to two years.

6 Now, keep in mind that this is in
7 the context of what I showed you earlier.
8 That if we find the wrong way to lower blood
9 sugar, we can harm people. And we have to
10 rule out some level of harm prior to
11 approval.

12 So this table is pivotal. And some
13 of these data were data that Marv Konstam
14 asked for earlier. And I sort of smiled when
15 you asked the question because I knew I was
16 going to show you this slide. So here's just
17 a way of looking at this. If you have 50
18 events -- 50 cardiovascular events -- that
19 can rule out an upper confidence interval of
20 2.5, which if you have a point estimate
21 that's below 1.44 with 50 events, you end up
22 with an upper confidence interval of 2.5.

1 And assuming either a 2 or a 3 percent event
2 rate -- now, that requires studying higher
3 risk patients to get those kind of event
4 rates -- these are the numbers of patient
5 years required. If you require 87 events,
6 you can rule out an upper confidence interval
7 of 2.0, which means that the point estimate
8 needs to be below 1.31. And these are the
9 number of patients years you've got to
10 expose. At 122, 1.8 and 1.26. And then what
11 I think is probably too stringent, 256 events
12 will rule out 1.5 and a point estimate of
13 1.17.

14 But somewhere in here -- and the
15 challenge for this Committee and for the
16 Agency -- is where to set this point. We
17 have got to know that we've at least ruled
18 out some level of harm during the
19 pre-approval testing. Later in this
20 presentation, I'm going to apply this
21 standard to some previous development
22 programs, and I think you'll find the results

1 fairly interesting.

2 So here it is shown another way.

3 If you have 87 events to exclude an upper
4 confidence interval of 2.0 and they break
5 evenly between the active and control group,
6 that's the hazard ratio you get, and those
7 are the confidence intervals. If you have 48
8 events in the active arm and 39 in the
9 control arm, you've got a 1.23 hazard, and
10 you still stay below the upper confidence
11 interval of 2.0.

12 If the drug actually shows many
13 fewer events, you get .67, and clearly you've
14 ruled out the upper confidence interval. But
15 if you have this excess of events, you end up
16 with a point estimate of 1.56 and your upper
17 bound of the confidence interval exceeds 2.0.
18 And this agent would need more testing prior
19 to being an approval agent. So this is one
20 way to look at what kind of studies we might
21 need pre-approval.

22 What are the positives of doing

1 this? Well, it encourages sponsors to
2 include patients with higher level of
3 cardiovascular risk. Look, everybody. These
4 are the patients we're going to treat. I
5 mean, I have a coronary care unit where
6 50 percent of the patients in the CCU have
7 diabetes. Those are the patients that are
8 getting these drugs.

9 To do development programs where
10 you exclude all those high-risk patients
11 because you don't want to see any signals,
12 it's the wrong approach. And we've got to
13 correct that now in the pre-approval process.

14 It provides more reliable
15 pre-approval data by adjudicating
16 cardiovascular events for pool trials.

17 It's not that hard. You know, a
18 committee can do this with the number of
19 events involved. Not expensive. You just
20 need an independent group of people to look
21 at the events and decide whether they're real
22 or not real.

1 The negatives are that it would
2 modestly slow development programs, perhaps
3 delaying introduction of new diabetes
4 medications by 6 to 12 months. If you really
5 only need 87 events, you can get those number
6 of events in a reasonable length of time with
7 large enough trials in a high enough risk
8 group of patients.

9 Step two in the approval process is
10 an adequately powered cardiovascular outcomes
11 trial. And so assuming no evidence for
12 excess risk, an upper confidence interval of
13 less than 2.0, a new diabetes drug would be
14 approved based on glucose lowering efficacy
15 if an adequately powered ongoing CV outcomes
16 trial is underway already enrolling patients.
17 I'm going to tell you why I feel this is
18 necessary in a moment.

19 This outcome study should also
20 address any other ongoing safety
21 issues -- renal, fractures, skin toxicity,
22 et cetera. This policy is a compromise

1 designed to balance speedy approval with the
2 need to promptly obtain evidence of benefit
3 or risk.

4 Now, what might such a study look
5 like? I have deliberately not drilled down
6 further because Rob Califf is going to talk
7 about this. And I didn't want our two talks
8 to overlap. But just to give you a few
9 sample size considerations. If you're MACE
10 rate, let's say a five-year MACE rate is
11 anywhere from 11 to 17 percent, and you have
12 a punitive reduction in risk of 12 to
13 18 percent, then these are the sample sizes
14 per treatment group, approximately. And I'm
15 sure Tom Fleming can get you more detailed
16 numbers, or one of the other statisticians.
17 But this is the ballpark.

18 In cardiovascular medicine, we do
19 10,000, 15,000, 18,000 patient trials all the
20 time. These are not that daunting. And I
21 believe that Dr. Califf is going to tell you
22 how to do these on the cheap. How to make

1 them large, simple, and easy, and not having
2 to cost hundreds of millions of dollars, but
3 to be more reasonable in cost. If we could
4 get this launched prior to drug approval, we
5 would have a whole new era of solid data on
6 what to do with these drugs down the road.

7 Why do we need these trials
8 before -- to be started before approval? You
9 know, it's not pleasant to look at this
10 slide, but it is the reality. This is from
11 the FDA report to Congress on September 30,
12 2005. This is the number of drugs with a
13 Phase IV commitment and the number of
14 commitments completed. It's about a
15 14 percent completion rate. If it's
16 promised, it may not be delivered. And I'm
17 going to show you that it often isn't
18 delivered.

19 And so I believe the only way to
20 guarantee the medical community that we're
21 going to get the answer, but without delaying
22 the approval of new drugs is to have such a

1 study underway at the time of approval.

2 The study must be high in quality.

3 Now, I'm going to say I must compliment the

4 ACCORD investigators. Because, frankly, I

5 think they did a very good job of

6 controlling.

7 88 percent of the patients in

8 ACCORD were on statins, 76 percent on

9 aspirin, and 71 percent were on ACE

10 inhibitors. I cannot say the same thing for

11 the ADVANCE trial. Why is this important?

12 If you want to claim an incremental

13 benefit -- if it's on microvascular disease,

14 for example, on nephropathy as it was claimed

15 for the ADVANCE trial, you better have

16 patients on those therapies that are of

17 proven benefit. And I think it is not

18 acceptable to have 47 percent of high-risk

19 diabetic patients on statins in this day and

20 age. 56 percent on aspirin.

21 We don't actually know from the

22 trial report exactly how many were on ACE

1 inhibitors. They had similar levels of
2 macrovascular disease.

3 And so part of the standard around
4 these trials is they have to be good trials.
5 They have to be done properly with adequate
6 control of risk factors so that we can find
7 out what the drug does on a background of
8 reasonable, decent, medical therapy.

9 Now, those who cannot remember the
10 past are condemned to repeat it, as George
11 Santayana said. And so at the risk of
12 beating a dead horse, let me go back and look
13 at a few recent development programs and see
14 what would have happened had these kinds of
15 standards been in place.

16 Dual PPARs. Promising idea since
17 both hyperlipidemia and insulin resistance
18 appear to promote atherosclerosis in
19 diabetics. Pharmaceutical companies have
20 sought to develop dual alpha and gamma
21 agonists. As I hope many of you know, many
22 of these drugs have failed during

1 development. At least five that I'm aware
2 of. They have fibrate-like effects, raising
3 HDL and lowering triglycerides, and they have
4 TZD-like effects in proving insulin
5 sensitivity, and thereby lowering blood
6 sugar. So it makes perfectly good sense why
7 you would want to do this.

8 The first of these drugs to reach
9 approval process was muraglitazar.
10 September 8, 2005. Came to this Advisory
11 Board, or a predecessor of it, for approval.
12 This is the development program at the time.
13 It met the current standard for what would be
14 required of development. And here's what
15 they had.

16 They had studies of 24 to 104 weeks
17 again, mostly short-term. Several different
18 doses of muraglitazar. Some doses were
19 dropped during development. 23,704 patients.
20 They compared interestingly enough to
21 submaximal doses of pioglitazone.

22 Something that should bother

1 everybody here. If you're going to study a
2 drug against an active comparator, you'd sort
3 of like to study it against the optimal dose
4 of the comparator. They studied against 15
5 and 30 mgs of pioglitazone, which I don't
6 think is a very wise approach to development.
7 But that's what they did.

8 And so here is the development
9 program. And here's what happened. We saw
10 at that advisory panel very robust reductions
11 in HbA1c. And as I mentioned, if you study
12 patients with really high HbA1cs, you can
13 make a drug look really efficacious.

14 And so in this open label part of
15 the studies with a HbA1c of 10.7, they got a
16 whopping 2.62 percent reduction in HbA1c.
17 But that wasn't all. Triglycerides reduced
18 27 percent. HDL cholesterol went up
19 16 percent. And no effect on LDL
20 cholesterol. So a really favorable profile.

21 Now, there appeared in the database
22 to be a higher incidence of major adverse

1 cardiovascular events. And the sponsor
2 argued to the panel -- by the way, this is
3 actually direct from the sponsor's
4 slides -- that there was a lack of biological
5 plausibility for cardiovascular risk with
6 muraglitazar based upon the following:
7 Beneficial effects on cardiovascular risk. I
8 mean, how could a drug that lowers HbA1c by
9 that much -- raises HDL 16 percent, and
10 lowers triglycerides by 20 percent -- how
11 could it possibly have cardiovascular harm?

12 There was a broad diversity among
13 reported cardiovascular ones. It wasn't must
14 MI, or stroke, or death. It was all those
15 events that seemed to be in excess. And that
16 didn't seem to make any sense, right? I
17 mean, a drug shouldn't increase all those
18 things. There was no dose response signal.
19 The higher doses didn't look any worse.
20 Obviously, the power to make that
21 determination was very low. And that there
22 was no cardiovascular toxicity in the

1 nonclinical studies. And therefore, there's
2 no way that this drug could increase
3 cardiovascular risk.

4 So the panel voted 8 to 1 to
5 approve muraglitazar as monotherapy, and 7 to
6 1 to approve its use with metformin. They
7 voted against its use in combination with
8 sulfonylureas, because that study was one
9 where there was somewhat more evidence for
10 harm.

11 Six weeks later, we took that
12 database from the FDA Advisory Panel meeting
13 and we re-analyzed the data by pooling all
14 the available data. And this is what we
15 found. They were right. All the different
16 components -- they were all increased. All
17 cause mortality, the relative risk was 3.05;
18 CV death, 4.57; non-fatal MI, 2.1; fatal or
19 non-fatal stroke, fatal or non-fatal MI, and
20 the hazard ratio for adjudicated congestive
21 heart failure was 7.43.

22 If you then look at the

1 composite -- and I would point you to the one
2 right in the middle -- all cause mortality
3 plus non-fatal MI or stroke was 35 versus 9.
4 Now, remember that I said that I thought you
5 really needed 87 events. They had about half
6 that number here. About half the number of
7 events that would have been desirable. The
8 relative risk was 2.23 and the p-value was
9 .03. And so we recommended that this drug
10 not be approved based upon these signals.

11 The FDA in fact agreed. Issued an
12 approval letter requesting additional
13 cardiovascular safety data. And after
14 ongoing extension trials, confirmed the
15 cardiovascular hazard. All development of
16 the drug was halted. However, a risky agent
17 came close to approval.

18 And it's really -- I think, it was
19 a close call. A clear standard requiring an
20 upper confidence interval of less than 2.0
21 would have precluded even the necessity for a
22 cardiovascular advisory panel. When you've

1 got a signal of this intensity in the studies
2 prior to approval, I don't think you want
3 that to go forward without more safety data.

4 Let me give you a second example.
5 This is again the slippery slope of surrogate
6 endpoints and diabetes drug development.
7 Now, as you all know, ezetimibe was approved
8 to treat hyperlipidemia on the basis of
9 reduction in LDL-C averaging 16 to 18
10 percent. So what would we do? What should
11 we do with a diabetes drug that lowers blood
12 sugar by 1.1 percent but increases LDL-C by
13 16 to 18 percent?

14 In other words, if a 16 to
15 18 percent reduction in LDL-C is sufficient
16 to demonstrate benefit, what inference should
17 we draw when a drug increases LDL by a
18 comparable amount?

19 And this is the case of
20 rosiglitazone. Here is from Joy Mele, the
21 statistician, from her statistical analysis
22 of the rosiglitazone approval package in

1 1999. And what you see is there's a 13 to
2 24 percent increase in LDL-C, but even more
3 strikingly, when the LDL was below 130, it
4 ranged from 23 to 32 percent. So this is
5 statin magnitude LDL increases. This is from
6 the Advisory Board package in 1999. So the
7 people that had more normal LDLs are getting
8 these very large increases in LDL-C. And
9 Robert Misbin says patients treated manifest
10 undesirable change in weight and lipids. And
11 I agree with that.

12 Now, there's also then the
13 cardiovascular event data from that approval
14 package. And again, it's about the same
15 number of events that we saw in the
16 muraglitazar package: 36 with rosiglitazone,
17 10 with comparators. Here are the event
18 rates. Here's the relative risk, 1.8. And
19 here are the confidence intervals, from .9 to
20 3.6. So up to a 10 percent benefit and a
21 360 percent hazard.

22 The FDA reviewer says a

1 post-marketing study to evaluate long-term
2 safety of rosiglitazone should be a cry for
3 approval. Now, again, I would point out to
4 you that with a standard of an upper
5 confidence interval less than 2.0 there's no
6 way this drug would have moved beyond this
7 stage. It would have required additional
8 safety data prior to approval. But what was
9 done was they said, okay, well, let's
10 approval it, but let's require a large
11 outcomes trial post-approval.

12 And so the question is what
13 happened to that mandated safety study?
14 Well, it's called the adopt trial. But it
15 wasn't a safety study. It was a marketing
16 study designed to show greater durability of
17 glucose lowering with rosiglitazone.
18 Cardiovascular events were collected in
19 haphazard fashion. They weren't even
20 adjudicated. And because of the LDL raising
21 effect of rosiglitazone, it turns out that
22 more patients, $p < .01$ got statins in the group

1 that got rosiglitazones. And so they were
2 able to neutralize some of the LDL
3 disadvantage by giving more statins.

4 In spite of that, the hazard ratio
5 for myocardial infarction is 1.33, with a
6 95 percent confidence interval of .8 to 2.21.
7 This pre-approval signal never goes away.

8 And then a bunch of other marketing
9 studies were done. Short-term studies to
10 show glycemic reduction in various
11 populations. There's no well-designed
12 outcome trial to measure health outcomes. As
13 Tom Fleming showed you, the RECORD trial is
14 underpowered by a factor of about 3, even
15 compared to the event rates that they had
16 postulated. So it's got a 3 percent event
17 rate when 11 percent was postulated. By
18 2007, 42 trials had been completed with
19 14,237 patients.

20 And by the FDA analysis in July of
21 2007, the odds ratio for myocardial ischemia
22 is 1.4. With these confidence intervals of

1 1.1 to 1.8, the pre-approval signal never
2 goes away.

3 I would submit to you that what we
4 needed in 1999 was a standard like the one
5 that I'm proposing. I think it would have
6 protected us from this drug coming to market
7 with a signal that never went away. So if an
8 upper confidence had been required in 1999,
9 the drug would have never been approved
10 without more safety data. If a large,
11 well-powered outcomes trial had been mandated
12 in 1999, we would not have to wait until
13 2014, five years after approval, to
14 determine if this drug is safe or not.

15 This is the target date for the
16 ongoing trial that I believe is currently
17 being discussed between the Agency and the
18 maker of the drug.

19 Both of these approaches would have
20 protected in this case against making what I
21 think turns out now to have been a mistake.
22 I think we have to recognize that PPARs are,

1 in fact, a special case. At least 50 INDs
2 have been filed following the last approval
3 of a TZD. Nearly all terminated due to
4 toxicity. The toxicities observed in animals
5 are also evident clinically. Cardiac,
6 skeletal, muscle, renal, bone marrow. This
7 is from a presentation by Jeri El-Hage,
8 formerly of the FDA.

9 Most development programs were
10 terminated without any publication of the
11 toxicities encountered. This is the negative
12 publication bias problem that we don't know
13 why these drugs failed. All of these PPARs
14 activate different genes and must be
15 considered individually. This is not a drug
16 class. This is a series of individual agents
17 that do individual things.

18 Let me show you. This is a very
19 nice paper published in 2004 that looks at
20 pioglitazone, troglitazone, and
21 rosiglitazone. And some genes that are gene
22 expression is in common. But each of them

1 have genes that uniquely are activated or
2 suppressed by the individual agents. And
3 then what you'll see shortly in a publication
4 is it turns out that one of the genes
5 activated by rosiglitazone regulates a key
6 matrix and taliprotinase (?) that's involved
7 in plaque rupture. And this probably may
8 explain why there is an increased risk of
9 myocardial ischemic events. And it turns out
10 that this particular MMP is not involved in
11 stroke.

12 And so my prediction is in 2014, we
13 get the data. What you're going to see is
14 that there is a very substantial effect on
15 plaque rupture and coronary events, but not
16 necessarily on stroke. And it may relate to
17 one of these genes. But you have to realize
18 that these drugs all affect different genes,
19 and they all have to be looked at
20 individually.

21 So I believe that the goal of
22 merely lowering blood glucose levels is too

1 simplistic. We must reduce the
2 complications, including CV disease.

3 With respect to CV disease, it
4 appears important how you lower blood sugar,
5 as well as how much. I am not disagreeing
6 with anybody who said that hyperglycemia is a
7 really bad thing. But I am telling you that
8 we have to think about how we lower it to get
9 good answers.

10 Diabetes drugs, even in the same
11 class, may yield dramatically different CV
12 outcomes. Clinical outcomes trials comparing
13 alternative therapies are essential to
14 determine the optimal approach to prevent CV
15 morbidity and mortality.

16 I am then proposing two components
17 for diabetes drug development. Sufficient
18 pre-approval data to exclude an excess of
19 cardiovascular events -- an upper confidence
20 interval not to exceed 2.0 -- and a robust
21 post-approval outcome program to provide data
22 in a timely fashion. That means an ongoing

1 outcomes trial at the time of approval. If
2 we have those two things we can still get
3 drugs to patients in a speedy fashion. We're
4 not going to slow down innovation.

5 I don't want to slow down
6 innovation either, but I want to make sure
7 that the medical community -- that patients
8 and physicians get the information we need to
9 use the right drug in the right patient at
10 the right time. We've got to get off of this
11 glucose-centric approach and get onto an
12 approach that says let's figure out the way
13 to improve health outcomes, not just blood
14 sugar.

15 Thank you very much for your
16 attention.

17 Marvin? Oh, I'm sorry. I'm not
18 supposed to call on people. You are.

19 DR. BURMAN: That's okay. Thank you.

20 DR. PROSCHAN: You mentioned excluding
21 relative risk -- or hazard ratio of 2.0, but you
22 didn't say relative to what. I mean, if it's

1 compared to an active comparator, that active
2 comparator may have an increased risk compared
3 to placebo.

4 DR. NISSEN: Well, again, there's no
5 answer to that. And I think that because it's
6 very hard to do these trials with placebo
7 controls -- one has to then take agents that we
8 believe to be reasonable and drugs that are
9 widely used. And certainly, metformin is one of
10 them, a class of drugs that at the very least in
11 UKPDS looks at worst neutral, and maybe better
12 than neutral.

13 We can't solve all those questions.
14 But what we can do is at least know that a
15 new agent relative to what we have is not a
16 whole lot worse. And that makes a whole lot
17 of sense to me.

18 DR. BURMAN: Dr. Konstam.

19 DR. KONSTAM: So Steve, you started
20 out sounding very radical. Okay. Then you came
21 forward with I think a safety proposal that in
22 fact I think is fairly moderate. And then you

1 lost me. Okay. So where you lost me is that I
2 understand the safety part. And I think that
3 probably should be a lot of the focus of our
4 discussion in the day and a half to come. But
5 you acknowledge that hyperglycemia is bad. You
6 acknowledge the fact that treating hyperglycemia
7 seems to have a very clear-cut effect on
8 microvascular effects. That those are important
9 therapeutic targets. You know, and then you
10 seem to go on to propose nevertheless you want
11 to demonstrate cardiovascular efficacy.

12 So -- and in fact, in the
13 statistics that you proposed about your
14 follow on trial, you're really focused on
15 efficacy. So you sort of moved on that.

16 And I'm sort of confused about why
17 if you acknowledge that there is
18 efficacy -- clear-cut efficacy associated
19 with the glycemc effect -- why is it then
20 necessary to demonstrate cardiovascular
21 efficacy in a follow-on trial? And then the
22 specific question I would have about that is

1 you're not -- the control group is not going
2 to be untreated. So it's not like we're
3 leaving untreated diabetes versus your drug
4 treatment and then demonstrating
5 cardiovascular efficacy.

6 And so, in that case, I guess I
7 want to know what level of HbA1c wouldn't
8 tolerate in the control group, because that's
9 really the challenge that our experts have
10 posed to us in demonstrating cardiovascular
11 efficacy.

12 DR. NISSEN: Okay. Three things.
13 First of all, let me take the last one first.
14 I'm suggesting that people be targeted to the
15 same HbA1c. I think the year is over. I mean,
16 we've had a bunch of trials that have asked the
17 question is lower better. And the answer is for
18 microvascular disease, yes. For macrovascular
19 disease, no. And so I think what you actually
20 want to do is minimize the glycemic contrast
21 between the regimens.

22 Now, with respect to this question

1 of efficacy versus safety, we have lost -- as
2 somebody said, we have drugs to lower blood
3 sugar. You know, people are not dying out
4 there because we can't figure out how to
5 lower their blood sugar. You know, we know
6 how to lower blood sugar.

7 Between insulin and all these
8 classes of oral agents, what we are lacking
9 are agents that improve these macrovascular
10 outcomes. So we've got to move now the next
11 step. If we keep saying we don't need that
12 data -- we don't need to look at that -- then
13 we're never going to find out the answer.

14 And the last question is if you do
15 an efficacy trial, even if you don't win on
16 efficacy, you establish an upper boundary for
17 the hazard. In other words, if you do a head
18 to head trial of two different
19 strategies -- if you do a head to head trial
20 and you go for superiority and you don't get
21 superiority, but you have a big enough trial,
22 then when you're done you know something very

1 important about safety. And so my view is
2 that I didn't happen to show you the data
3 using it as a safety analysis, because I
4 think it's better to set the bar up here and
5 say, hey, you want to bring a new drug to
6 market? Show me that you can help more
7 patients with this drug than we can help with
8 metformin, sulfonylureas, acarbose, and
9 everything else that's out there. Show me
10 something new. And that will then give us
11 also the safety information.

12 DR. BURMAN: Thank you.

13 Other questions?

14 DR. NISSEN: Bob. Oh, sorry.

15 DR. BURMAN: That's okay. Please go
16 ahead.

17 DR. FRADKIN: Are you proposing an
18 upper confidence level of cardiotoxicity only
19 for diabetes drugs? Or why wouldn't this be
20 something that would be proposed for all drugs?
21 I mean, when you think about the first slide
22 that Dr. Gerstein showed where he showed all of

1 the conditions which are often treated with
2 medications which are at substantially higher
3 rates in patients with diabetes, and when the
4 latest data shows that 24 percent of people over
5 the age of 60 in the U.S. have diabetes and they
6 have even higher rates of arthritis, and
7 psychiatric disease, and incontinence, and
8 erectile dysfunction, and everything else that
9 people are being treated with drugs for -- why
10 would you have this as a particular requirement
11 for a diabetes drug versus any other drug that a
12 lot of diabetics are likely to get?

13 DR. NISSEN: Because we have priors.
14 To use a term that Bob Temple likes to use. So
15 I'll quote him and say that going all the way
16 back to the university group diabetes program,
17 the question of cardiovascular toxicity that is
18 increased risk. And we've got ACCORD. And we
19 have rosiglitazone. And we have muraglitazar.
20 You know.

21 And when you have that kind of
22 prior information that suggests that if you

1 pick the wrong strategy for lowering blood
2 sugar, you can increase morbid and mortal
3 events. And when you have a disorder that's
4 the cause of death in 75 percent of
5 diabetics, then you better know what the
6 effect of the drug is going to be on that
7 population. That's why it makes sense.

8 You know, if you want to ask the
9 question or sildenafil, for treatment of
10 erectile dysfunction it's a different
11 question entirely. Different population.
12 Different way of use. These are drugs to be
13 used chronically to treat a disorder that
14 ultimately is going to kill because of
15 cardiovascular morbidity and mortality.

16 DR. FRADKIN: Just to follow up.
17 Don't we have priors though also for psychiatric
18 disease, and arthritis, and a number of other
19 diseases that we have priors for? We heard
20 about erythropoietin. And these are all chronic
21 things, also.

22 DR. NISSEN: We do. And that's

1 exactly why we're doing the trial that Tom
2 Fleming described for you. We're studying three
3 different NSAIDs in 20,000 patients,
4 establishing the upper confidence interval for
5 cardiovascular hazard of 1.33. Because we have
6 priors on those drugs. And they're commonly
7 used in people that have cardiovascular
8 morbidity and mortality as a prevalent risk
9 factor. So you have to have a sensible
10 approach. You can't do this for every drug, but
11 you certainly can do them for those where you
12 have some evidence that you might be producing
13 harm.

14 DR. BURMAN: Dr. Temple.

15 DR. TEMPLE: This is sort of a
16 follow-up on Marv's question. In looking at
17 your slides I wasn't sure whether you were
18 really asking for a demonstration of benefit or
19 bringing the boundary lower than 2 for the
20 larger, long-term study. And it sounds from
21 your answer like that really is what you're
22 talking about. But if you're doing that, the

1 most effective way to do it is, once again, to
2 set an upper bound. That helps you pick the
3 numbers, figure out who to put in. So have you
4 thought about that? Would it be the same 1.33
5 that you're using in your NSAID study? Is that
6 good enough?

7 DR. NISSEN: Well, Bob, I didn't make
8 those calculations. But I agree with you that
9 that's certainly one approach to doing that.
10 You know, I think it has to be something that is
11 reasonable. Now, here's a way of looking at it.
12 We agonized over the 1.33, frankly, a lot. And
13 we actually required more than that 1.33. And
14 Tom didn't actually drill down as far as he
15 could have, but what we said is that not only
16 does the upper confidence level have to be less
17 than 1.33, but the point estimate has to be less
18 than 1.12. So if we got too many events, we
19 wouldn't meet our upper confidence interval but
20 still have an excess hazard.

21 And we said that we had to achieve
22 that both in the ITT population and in a

1 modified ITT population where people were
2 censored 30 days after stopping drug.

3 Now, you've been a proponent of the
4 fact that a safety study should look at both
5 analyses. So you have to meet four standards
6 in the precision trial to be declared
7 non-inferior. I think that's not an
8 unreasonable level of risk. And I think
9 that's very achievable. Because, remember
10 that the event rates even in the current era
11 in diabetics are significantly high, that if
12 you go to people like we do in the precision
13 trial, if anything, you're going to have
14 higher event rates. I think it probably can
15 be done in a study that might be in the range
16 of 10,000 to 15,000 patients for five years.
17 And we'll get answers. We'll get lots of
18 answers.

19 But the reason I couched it for
20 superiority is I want us to develop drugs to
21 reduce the morbid and mortal events that are
22 killing our patients in diabetes. I don't

1 think we should be satisfied with ruling out
2 some hazard -- ruling out that a drug
3 increases risk. We should be trying to draw
4 up drugs that decrease risk.

5 DR. TEMPLE: Just one statistical
6 point. We have said in ICH guidance and
7 elsewhere that if you go for non-inferiority and
8 win, that's okay. You still win.

9 DR. NISSEN: Yes. Okay, good.

10 DR. BURMAN: Thank you. I think
11 Dr. Veltri first.

12 DR. VELTRI: Steve, just so I
13 understand. In your pre-approval proposal
14 you're talking about, even in the absence of any
15 signal, whether it be preclinical, LDL, weight
16 gain, blood pressure, anything. Is that
17 correct?

18 DR. NISSEN: Yes, absolutely.

19 DR. VELTRI: Because to my knowledge
20 there's no -- where you have, let's say, a drug
21 that lowers improves glucose, hyperglycemia or
22 dysglycemia, where that would be the case. And

1 as you said, I think improving symptoms and
2 improving these microvascular events, which
3 could be quite debilitating, and perhaps to some
4 patients being on renal dialysis or being blind
5 is worse than dying suddenly, which is quick,
6 cheap, and painless.

7 I think it kind of just puts one
8 perspective into it. And I'd like your
9 comments on that.

10 And then in regards to the
11 post-approval, since you're advocating a
12 trial that would exclude harm, if you will,
13 to some degree -- your 2.0, wouldn't it be
14 better if indeed you think that your targeted
15 therapy is going to improve macrovascular
16 disease risk, that you would do that actually
17 where you could exclude harm earlier on in a
18 much larger appropriately powered efficacy
19 trial as well so you can design it so that
20 you can look at harm earlier on safety
21 concerns, whatever --

22 DR. NISSEN: Before approval?

1 DR. VELTRI: No, no. After approval.
2 In other words, if you have no signal and you do
3 believe that you are gaining some benefit to
4 patients based on glycemic control, that you're
5 kind of relegating further testing where there
6 may not be any biologic plausibility as you
7 would call it.

8 DR. NISSEN: It's interesting you
9 should mention that term because there's a
10 quote -- one of our fellows -- our cardiology
11 fellows have a bulletin board. And they put
12 quotes up there. And there's a quote from me
13 that's prominently displayed. Seriously now.
14 And the quote says, "the road to hell is paved
15 with biological plausibility." And what it
16 means is that -- and that's what happened with
17 muraglitazar. It wasn't biologically plausible
18 to anybody that a drug that raised HDL, and
19 lowered triglycerides, and lowered blood sugar,
20 could actually produce myocardial infarctions,
21 death, and stroke. But it did.

22 And I want to say one other thing.

1 You raised another spectre, and I want to
2 directly address this. If we require a
3 higher standard of evidence for drugs in this
4 arena, we are not going to cause people to go
5 blind and have to require dialysis. We have
6 10 classes of drugs to lower blood sugar. We
7 can lower blood sugar in people. We need
8 ways to lower blood sugar that reduce the
9 complications. We've got lots of ways to
10 lower blood sugar. So we're not going to
11 hurt anybody if we raise the bar here a bit.

12 DR. BURMAN: Thank you. Dr. Jenkins.

13 DR. JENKINS: I want to try to get a
14 little clarity in the post-approval study. As I
15 understand it you'd like for them to target a
16 benefit showing study, but failure to show
17 benefit, if they then excluded some predefined
18 upper boundary of the hazard, it sounds like you
19 would find that acceptable in moving the ball
20 forward. If that scenario does play out, you
21 have the signal pre-approval but it doesn't
22 exceed the upper boundary of 2, they do the

1 well-conducted study targeting benefit. They
2 don't show benefit, but they exclude some
3 predetermined acceptable -- or unacceptable
4 increased risk. What do you propose happens
5 then?

6 DR. NISSEN: From a regulatory point
7 of view, nothing. Because what you've done now
8 is you've given the medical community what we
9 need to know to make a rational decision. We've
10 done a big study. They know what the point
11 estimate is. They know what the upper
12 confidence interval is. You know, we know what
13 the drug does and what it doesn't do. And it
14 will find its appropriate place in the
15 armamentarium.

16 But what we have done is we've
17 given increasing confidence to the people
18 that prescribe these drugs on how to
19 prescribe them wisely. And that's what I'm
20 looking for. That's what I'm seeking.

21 DR. JENKINS: So just to be clear,
22 while you would like to see the new drugs

1 improve cardiovascular outcomes, you're willing
2 to accept the fact that they don't adversely
3 affect cardiovascular outcomes compared to
4 standard of care. You just want a good study.

5 DR. NISSEN: And the reason I'm
6 willing to do that is I'm accepting that having
7 choices -- having drugs in the armamentarium to
8 lower blood sugar, given the fact that high
9 blood sugar is a bad thing -- it does lead to
10 microvascular events -- but that's good. You
11 know, different patients will tolerate different
12 drugs. Drugs will have different side effect
13 profiles. You know, alpha glucosidase
14 inhibitors are not drugs you want to give if you
15 plan on riding on elevators.

16 And so there's lots and lots of
17 issues here related to the overall pattern of
18 adverse effects for a drug. But what I want
19 to do is I want to make sure we're not
20 sitting here 10 years after a drug is
21 approved when it's being used in hundreds of
22 thousands of people and just simply not

1 knowing, because that's not an acceptable
2 place to be in this day and age. Not when we
3 already have so many drugs out there.

4 DR. BURMAN: Dr. Fleming, did you have
5 a question?

6 DR. FLEMING: Steve, can you put up
7 that slide that does show the proposed 2.0, 1.8,
8 1.5?

9 While you're putting this up, I
10 share one of the concerns or questions that
11 Marv had asked a little bit ago about what
12 your ultimate evidence would need to be. But
13 then I think the screening assessment that
14 you talk about -- here it is right
15 here -- actually, I think has maybe a bit
16 more merit to it than what you have
17 particularly already formulated.

18 So specifically, a scenario that I
19 could see would be logical would be to say
20 the definitive trial would be this bottom
21 line. The rationale for that being the
22 definitive trial is you already have

1 substantial evidence of efficacy and
2 microvascular complications, true clinical
3 benefit. And the goal here, therefore, is to
4 rule out that that is offset in an
5 unacceptable manner by macrovascular
6 complications. And also for patients and
7 caregivers to be fully informed about what
8 the benefit-to-risk ratio would be.

9 So given that you have
10 substantial -- so, hypothetically, suppose
11 this agent has substantial evidence for
12 glucose controlled microvascular complication
13 risk reduction, then the argument might be
14 given that you could tolerate up to, let's
15 say, a 50 percent increase. You have to rule
16 out that you would have up to a 50 percent
17 increase in cardiovascular complications in
18 order for this to play out. Then, the
19 ultimate assessment would be a 256-event
20 assessment that could, as you would then
21 state it, be underway at the time of the
22 approval.

1 But what would need to be in hand
2 before the approval would be something that
3 would be -- let's say hypothetically a 122
4 event scenario -- 122 event trial. This
5 has -- and in fact, a positive result would
6 be any estimate that's no more than a 26
7 percent increase. And that has the property
8 that if, in fact, there is no access, you
9 have a 90 percent chance of getting a
10 positive result.

11 It has the other property -- you
12 said if there's an 80 percent increase, that
13 you only have a 2-1/2 percent chance of
14 getting that result. But even more to the
15 point, if you have a 50 percent increase, you
16 have only a 14 percent chance of getting that
17 result. So you're factoring out 6 out of 7
18 unacceptable agents with this screening
19 assessment.

20 So really what this would
21 be -- this trial would be a screening
22 assessment to rule out unacceptable safety

1 risks, ultimately confirmed by a confirmatory
2 assessment. And what you want from a
3 screening assessment is to have low false
4 negative error rates, and you're going to
5 have to give somewhat on the false positive
6 error rate. You're formulating it here as
7 you can only have a 2-1/2 percent false
8 positive area. Well, that's true against an
9 80 percent increase, but this design also has
10 the property that you have only one chance in
11 seven of getting an encouraging result when
12 you have a 50 percent relative increase.

13 And I think that's an added feature
14 to motivate the elegance of this that you
15 hadn't brought out. But it's all based on
16 the assumption that this would be the
17 confirmatory trial; i.e., you don't have to
18 show benefit against cardiovascular risks in
19 your confirmatory trial. You would only have
20 to rule out an unacceptable increase in the
21 context of knowing you have favorable
22 microvascular complication effects.

1 The advantage of this also is this
2 could be a somewhat longer-term trial than
3 this one, such that if you get a different
4 benefit-to-risk ratio over time, you're going
5 to be able to recognize that with this
6 confirmatory trial, which would only have to
7 be underway at the time of the approval.

8 DR. NISSEN: Tom, just to respond for
9 a second. I guess that that trial I would put
10 down here, and I would make it a 508 event trial
11 to rule out 1.33. In other words, what I'm
12 suggesting here is that that's not quite
13 stringent enough. And we really need to be a
14 little more precise. So I would be more
15 comfortable taking that to the next level.

16 DR. FLEMING: Well, and that's an
17 issue that can be discussed. The point is, the
18 study that would be randomized underway wouldn't
19 be a superiority trial. It would a trial ruling
20 out an unacceptable excess risk. And you might
21 say that's 1.33. Maybe it is. An argument for
22 why it could be 1.5 -- and this would have to be

1 well thought out -- would be an argument that
2 you're getting benefits that have been
3 established in other domains, such as
4 microvascular domains. And therefore, that
5 allows somewhat greater leniency or possible
6 increases in cardiovascular macrovascular
7 complications before it would be unacceptable.

8 But the bottom line is if that's
9 where you draw the line, then this is, in
10 fact, a screening trial. Not specifically
11 targeting 1.8, but targeting 1.5. Saying if
12 it's 1.5, you only have 1 chance in 6 of
13 getting an encouraging result to go on, or 1
14 chance in 7 if this is truly the case. But
15 you have a 90 percent chance of going on if
16 there's no excess. So it has a very
17 effective screening capability.

18 DR. BURMAN: Thank you, Dr. Fleming.
19 And I think we have to move on in the interest
20 of time.

21 There will be time for questions
22 later. Thank you very much, Dr. Nissen.

1 We're going to take a break now.

2 It'll be minutes. Let's reconvene at 3:35.

3 Panel members, please remember
4 there should be no discussion of the meeting
5 topic during the break.

6 (Recess)

7 DR. BURMAN: Why don't we get started
8 in about a minute or so? Please take your
9 seats.

10 Why don't we get started for the
11 last session of the afternoon? We're going
12 to end the lectures and discussions this
13 morning by Dr. Robert Califf, who is vice
14 chancellor for clinical research at Duke.

15 Thank you very much for coming.

16 DR. CALIFF: You guys looked really
17 tired towards the end of the last session, so
18 I'm going to -- what I'm going to try to do is
19 provoke -- at least to try to keep you awake
20 here -- being a little bit provocative as I talk
21 about the issues at least I've encountered in
22 trying to design some of these trials.

1 I also feel emboldened with you. I
2 spent the morning with the National Cancer
3 Institute with the problems they're having in
4 oncology clinical trials. So that's a pretty
5 tough crowd. You guys couldn't be any
6 rougher than they are, I'm sure. But many
7 interesting issues there.

8 When I think about this topic, this
9 is the time of year in Washington and further
10 south that we drink sweet tea, so I can't
11 help but think about the problem that you're
12 addressing here is unsweetening the blood.
13 And whether that's a good or bad thing is
14 really what we're here to talk about.

15 So I'm going to try to give a bit
16 of a conceptual framework. Talk about the
17 key tradeoffs.

18 In the midst of this, bring up some
19 issues about barriers to implementation. And
20 then finish with -- I guess I'll start and
21 finish with a comment on the status quo.

22 Hertzel and I were sitting there

1 saying that we agree with about 85 to
2 90 percent of what Dr. Nissen said. But as I
3 go into the issues and implementation of
4 clinical trials, let me just say I can't
5 imagine a situation, given what we know now
6 other than approval based on a screening
7 mechanism somewhat like what Dr. Nissen
8 described. And then pragmatic clinical
9 trials that really answer the question of
10 whether the net balance of risk and benefit,
11 not just for cardiovascular disease, but for
12 a really true whole body net benefit versus
13 risk is answered.

14 And as to the comment about should
15 this be for all drugs, my personal belief is
16 that chronically given drugs -- because of
17 what we now know about the biology of what
18 drugs do -- should all be studied if they're
19 going to be given to large populations
20 chronically with enough patients and enough
21 outcomes to truly measure the balance, the
22 benefit, and risk. And so that's sort of

1 where I am.

2 Now, I had the privilege a few
3 years back of being asked to work with Dave
4 DeMets, whom I admire greatly. Has also
5 worked a lot with Tom Fleming to sort of
6 think about what we've learned about
7 therapeutics of cardiovascular disease.
8 Here, we're talking about cardiovascular
9 outcome trials. And these are some truisms
10 that we came up with which are almost always
11 true. And I think can be verified to almost
12 always be true through any sort of systematic
13 or non-systematic look you want to take at
14 it. Many of these have been discussed
15 already this afternoon in the part of the
16 meeting that I've been able to listen to.
17 And I'll talk more about them as we go
18 through them.

19 These were published in
20 circulation. And they're all pertinent to
21 what one needs to think about in designing an
22 outcomes trial in cardiovascular disease.

1 So I'm now going to just -- by way
2 of background, I'm just going to give a
3 commentary that I hope will stimulate
4 discussion tomorrow as you get into
5 recommendation making mode about what's
6 behind all this. And I think what's really
7 behind the change that's needed in the way we
8 think about these clinical trials is that
9 we've learned a lot about therapeutics. What
10 we've learned causes cognitive dissidence
11 with what we'd like to believe or what we
12 wish was true.

13 And so we've continued to operate
14 in a mode for regulatory approval, labeling,
15 advertising, and prescribing, particularly in
16 the United States, based on what I call an
17 advertising mode. Which is take one concept
18 that you believe to be true, focus on that
19 concept, and sort of screen out cognitively
20 all the other dissident information that's
21 hard to assimilate and deal with.

22 So Steve's slide about TZD -- he

1 could take any class of drugs and show
2 exactly the same slide and get exactly the
3 same answer with regard to gene expression.

4 We now know whether we measure gene
5 expression -- the proteome or the
6 metabolome -- that drugs within the same
7 class cause different patterns of response in
8 whole organ physiology -- our whole body
9 physiology. And the reason for that is that
10 most of the targets the drugs are hitting are
11 in systems that we don't know about yet. So
12 on-target and off-target effects are
13 important, and systemic therapies affect many
14 targets at the same time.

15 Yet we behave as if looking at one
16 parameter gives us assurance that the net
17 balance of risk and benefit to the whole
18 individual can be measured by that one
19 target. And this is sort of repetitive of
20 Dr. Fleming's slide, but it's been a heyday
21 in the last couple of years, not just in
22 diabetes but in almost every area of

1 therapeutics. Someone brought up psychiatry
2 which may be the kingpin now where even a
3 drug that we've been using for years, like
4 intravenous Haldol now has a black box
5 warning about cardiovascular risk.

6 So all of these drugs affect
7 multiple systems. They all cause a balance
8 of benefit and risk often in systems that
9 were not intended. My favorite one by the
10 way with TCD is not cardiovascular. It's
11 bones. Something that was picked up by
12 looking at clinical trials.

13 Secondly, we know that the effects
14 of most therapies on humanly meaningful
15 outcomes are modest, so randomization is
16 essential with large sample sizes. And yet,
17 we still behave as if doctors can tell
18 whether chronic therapies are having a net
19 beneficial effect by their memories of their
20 own patients.

21 And, in fact, if you look at
22 yesterday's New York Times, you'll see a

1 discussion of at least one prominent
2 cardiologist advocating that the most
3 important thing we can do is to get rid of
4 the idea of evidence-based medicine, which I
5 thought was a very interesting concept.

6 And we also sometimes behave as if
7 looking at post-randomization database is
8 going to tell us about post-marketing
9 treatment effects. And I think there are
10 many reasons, most notably that most
11 treatment effects are modest -- that we
12 really can't do that in pretending that doing
13 multiple analyses of poorly controlled data
14 will give us the answer as a mistake.

15 Now, this is not a new concept.
16 Aspirin is probably a drug you recognize.
17 It's been along for a long time. And you
18 would probably agree that it has significant
19 cardiovascular benefit. And yet, if we look
20 at the direct-to-physician advertising that
21 existed in medical journals and then
22 direct-to-consumer advertising in the 1950s,

1 you'll notice at the bottom there are

2 aspirin -- this is FDA-approved

3 labeling -- does not affect the heart.

4 That is what we believed after

5 millions of people had been treated with

6 aspirin. It was only after proper trials

7 were done that we really were able to talk

8 about this. So this slide that had a

9 critical effect on my career from Salim Yusuf

10 just makes a point that we've got to measure

11 a lot of events to detect the kinds of

12 effects that for a dominant disease that is

13 the leading cause of death and disability in

14 the economically developed world -- those are

15 the kind of effects that we really need to

16 understand. Modest effects are critical.

17 This is not my mantra. This really emanated

18 from many others who have been preaching this

19 for a while.

20 Thirdly, we know that the effects

21 of therapies are context dependent. And one

22 of the big issues we were discussing in the

1 oncology meeting today is the question of
2 whether we're just so inept at doing clinical
3 trials in the U.S. now, we should just do all
4 of our trials in China and India where they
5 cost about a tenth as much to do and import
6 the results. Just like we get our shirts and
7 shoes made in China and India now.

8 But I think there is ample reason
9 to believe that is an inappropriate thing to
10 do for the American public. By the way, I do
11 think trials should be done in China and
12 India for obvious reasons. People in China
13 and India need good therapies, too. But we
14 also know their interactions with other
15 treatments. They're common and
16 unpredictable. The length of treatment is
17 important. You've had a very good discussion
18 about that already. And the clinical
19 environment matters.

20 And yet we behave -- and it's
21 frequently said -- that we're doing testing
22 of drugs for measurement of human benefit as

1 if we were in a laboratory over a short
2 period of time. We're controlling everything
3 instead of operating in the real world
4 environment is the right way to do it.

5 We also know that therapies cause a
6 mixture of benefit and harm often involving
7 different organ systems over different
8 periods of time. You've had a good
9 discussion about that. And yet we behave,
10 and still imply to the public, although the
11 direct language is not this way -- this is
12 still what the public often believes -- that
13 short-term studies done pre-approval can
14 actually provide assurance that a drug is
15 "safe and effective."

16 I think we know now that's simply
17 not the case. Because if you're going to
18 give the drug over a long period of time,
19 different things happen in different organ
20 systems over time that you just can't
21 anticipate.

22 We can -- I think as Steve said and

1 Dr. Fleming more elegantly and statistically
2 pointed out -- we can screen and reduce
3 uncertainty, but we can't assure the public
4 that drugs are safe and effective based on
5 small studies that don't measure integrated
6 balance of risk and benefit.

7 This slide from Curt Furberg, I
8 think, makes a point in terms even I can
9 understand. We've always got this mixture of
10 good things and bad things. Good things and
11 bad things happen in different ways to
12 different groups of people, and also happen
13 in different ways to different groups of
14 people over different periods of time.

15 There's an example that I wanted to
16 give of sometimes good things happen when you
17 measure long-term effects. And this is from
18 a trial recently reported in The New England
19 Journal that we coordinated looking at a
20 bisphosphonate to prevent fractures. And lo
21 and behold, it prevented fractures as
22 expected, but no one had done a trial that

1 lasted more than 24 months. The data
2 monitoring committee stopped the trial, not
3 just because fractures were prevented, but
4 because there was a 28 percent
5 reduction -- 25 percent reduction in overall
6 mortality -- total mortality in the trial.

7 We don't know why that happened,
8 and there are many theories. But the point I
9 want to make is this is not all about safety.
10 I would suggest that with chronically given
11 therapies, we will find a number like statins
12 and ACE inhibitors that the more we look at
13 them, the greater the benefit is that we
14 observe in the broader population of people.
15 But the bottom-line is we don't know unless
16 we look and empirically measure because
17 doctors' memories are not adequate to account
18 for all this complexity. And post-marketing,
19 uncontrolled studies can't possibly give us
20 the answers about modest effects.

21 Another great example, of course,
22 about varying effects over time comes from

1 hormone replacement therapy. And the point I
2 want to make here is sort of the inverse of
3 what we normally talk about. And that is if
4 one had looked in the first six months at
5 either HERS or other Women's Health
6 Initiative, you would have stopped for
7 terrible harm. HERS actually came together
8 over time in both studies, which is kind of
9 an interesting phenomenon.

10 I have no idea what it means, but
11 the point here is that the treatment effects
12 are not constant over time. This is
13 sometimes the case, and sometimes not the
14 case. We don't know until we look.

15 I put this slide in particularly
16 because I knew that Ruth Day was going to be
17 on the panel. And I want to pause here for a
18 minute and just make the point that these
19 disturbing things that we know about
20 therapeutics that don't fit the way we've
21 done things in the past cause us to want to
22 block them out and continue with the way

1 we've been doing things. And that, of
2 course, is as I've learned from our business
3 school, is really the key to advertising.
4 It's connecting things through a story or a
5 picture that make sense. And shielding out
6 all the other contradictory information that
7 might cause you to question what you're
8 doing.

9 But I hope this panel will really
10 question what's been done in the past, not
11 because there were bad people or there were
12 bad ideas in the past. We've just learned
13 that things can be different. As I'm going
14 to talk about as we design these trials, if
15 we cut out the ridiculous bureaucracy that we
16 now have in many of our trials, we can
17 actually do these trials and get the answers
18 that we need.

19 And then the final few. This gets
20 to the point I was making. Our current
21 methods of implementing trials are harmfully
22 and unnecessarily bureaucratic and expensive.

1 And so we behave in a manner that says we
2 can't change the cost of trials, so we just
3 have to find shortcuts, even if we're
4 accepting a large amount of uncertainty for
5 what these drugs do to people chronically.

6 And then we all have biases and
7 conflicts of interest that prevent one sure
8 answer. This is one of the more difficult
9 things I think about the whole enterprise of
10 clinical trials. Thousands of people put in
11 millions of human transactions. Someone
12 presses a button and you get a result. And
13 yet, there's so many decisions that are made
14 in designing a trial and even interpreting
15 what the analysis is in a trial that there's
16 not one sure answer.

17 So we behave as if companies can
18 conduct their own trials "hiring"
19 investigators without independent study
20 management and analysis of the results and
21 produce unbiased results. In fact, yesterday
22 we just had an encounter with a company that

1 wanted us to sign a contract that gave them
2 assurance that if the results of the trial
3 were negative, we wouldn't mention it for two
4 years. This is still going on. It's routine
5 in the clinical trials business. And I think
6 those that are thinking about the design of
7 trials need to consider these issues, too.

8 But I would also point out that
9 just because a trial is done by the NIH does
10 also not assure that it's without bias. This
11 is a human enterprise. We all have biases.
12 And in fact, I would argue in the design of
13 our trials, it's balancing the interests of
14 people with different biases that really
15 represents the key to a successful and well
16 done trial.

17 Now, more evidence that we've got a
18 problem. This is an old study from the
19 Lancet -- Reasons Why Clinical Trials Are Not
20 Published. Dr. Nissen has referred to it. I
21 think clinicaltrials.gov and the World Health
22 Organization are helping out now to make sure

1 that we do at least see the bottom-line on
2 trials. But if we actually get into the
3 details of why trials are not published, very
4 often it's for a negative result suppressed
5 by industry. But in our own analysis now,
6 we're finding equally as often in
7 investigator-initiated trials at our best
8 academic centers, it's because there was a
9 negative or unsatisfactory result from the
10 point of view of the bias of the
11 investigator.

12 So it gets to the main point. A
13 balance of interest in the design of these
14 trials is critical. And we have to recognize
15 now important this balance is. Because if we
16 don't have the balance as Dr. Ridker pointed
17 out in review in JAMA, it's likely we'll only
18 see trials that are designed to be positive
19 in the first place. And that's something we
20 definitely don't want to have.

21 But to blame the rest on all of us,
22 also in the design of trials, the

1 acknowledgment of what our conflicts are is
2 critical. You should know, if you don't,
3 that all of us, including all the speakers as
4 far as I know on this panel, routinely sign
5 contracts to participate in clinical trials
6 at sites that do not require that the results
7 be published. We published this in the New
8 England Journal. The good news is academic
9 centers are willing to give us what they put
10 in their contracts.

11 The bad news is none of them
12 require that there will be a publication from
13 the trial of the entire study results when
14 participating as a site.

15 So in the design of trials, we have
16 to think beyond just what's the question
17 being asked? What's the statistical
18 analysis? We also have to think about the
19 societal balance that will insure that the
20 results of the trial really get out in the
21 way it should. JAMA has been focused on
22 this. And we just did our own survey in my

1 field -- just to show you that I'm not
2 picking on diabetologists. We reviewed
3 coronary stent trials done in the year 2006.
4 This paper was rejected by multiple paper
5 medical journals, and you may see why in a
6 minute.

7 What we were doing was looking at
8 acknowledgement of conflicts of interest in
9 the reports of clinical trials about coronary
10 stents. What we found was that 83 percent of
11 the time in 2006 there was no acknowledgement
12 of a conflict. And equally as interesting to
13 me, when there was an acknowledgement and an
14 author had more than one trial reported in
15 the same year, the acknowledgements disagreed
16 the majority of the time.

17 So there is no consistency in the
18 way that we're dealing with this on the
19 academic side. This is not just an industry
20 problem.

21 Okay, so what is a balance of power
22 that can be had in an outcome based clinical

1 trial? It's one in which there is a sponsor
2 who does participate but doesn't control.
3 There is a steering committee that
4 participates but also doesn't control.
5 There's a balance of power in the way that
6 studies are done.

7 And now that these kinds of trials
8 that we're talking about here are going to be
9 global by their nature, it's very important
10 to have global participation of thought
11 leaders that represent different cultures,
12 different views of how things should be done.

13 You would think that in 2008 I
14 would not have to mention that there should
15 be an independent data monitoring committee.
16 I know that's an FDA rule, but this is
17 something that needs to be watched carefully.
18 It's not the case in every field that this is
19 being done even today.

20 Okay, so now what about
21 specifically designing the trials? And we're
22 in the midst of designing a few of these now,

1 so I'm going to confess the problems that
2 we're running into. This is not easy, and at
3 the end of a couple of hundred million dollar
4 experiment with 14,000 people, you hate to
5 find out that you did it wrong. So I don't
6 have answers; I have opinions.

7 So these are the five, what I call,
8 big ticket items. Trade off of generalized
9 ability and validity; looking at target
10 versus drug; looking at superiority versus
11 non-inferiority; trial conduct -- what I
12 think of as sensible of nonsensical; and what
13 I now refer to as regulatory disharmony.
14 There are also some important details. These
15 are not details to people who design
16 clinical, but they're sort of the second
17 order that are critical. And I'll review
18 each of these briefly.

19 So this is the simpleton's view of
20 one of the key issues in designing clinical
21 trials. We'd like the trial to be perfectly
22 valid, and the tendency if you stick to that

1 is to go to the lower right hand corner, get
2 as valid as you can, and do an experiment
3 which is very carefully controlled and
4 excludes many of the people that would be
5 getting the drug.

6 On the other hand, a registry will
7 give you something that includes the whole
8 population, but without the element of
9 randomization and some control you don't have
10 a valid study. So the goal is to get to the
11 upper right hand box as much as we can. And
12 I would argue that the pragmatic trials, as
13 it's now called, is really a way of finding
14 the best compromise between those two things.
15 And there is no single best answer. It
16 depends on what the drug is intended for; it
17 depends on who is really going to be using
18 the drug; and it depends on what people think
19 is going to happen when the horse is out of
20 the barn and the drug is on the market in
21 different countries.

22 So if we're focused on generalized

1 ability, I brought entry criteria. We allow
2 any concomitant therapy. We embrace
3 standards of care but avoid detail protocols,
4 and we do the opposite if we're focused on
5 validity. My opinion, as you might already
6 know, is that if we want to know about
7 outcomes in large populations that represent
8 people that are going to take the drug, we
9 really just need to stick to the common
10 ground -- proper consent, randomization,
11 measurement of whether people are taking the
12 drug which is still important, measurement of
13 the endpoints, and unbiased manner.

14 What really amazes me, and I think
15 it kills a lot of the creative thought about
16 this is how often industry SOPs and FDA
17 inspectors fail to distinguish what's
18 important from what's fundamentally
19 irrelevant to answer any question posed by
20 the trial. And this leads to hundreds of
21 millions of dollars of waste as I'll show you
22 that causes people to conclude that we just

1 can't do these trials.

2 What about target versus drug?

3 You've had a good discussion about this
4 already. I actually believe that we need to
5 do both. The NIH is about to embark on a
6 large target trial with hypertension to look
7 at the upper limit of taking it from 140 to
8 130. Very similar to what ACCORD is doing in
9 diabetes. And unfortunately, you can't
10 answer both questions in the same trial. You
11 just can't do it.

12 I agree with Dr. Nissen. Torturing
13 the data leads to a lot of interesting
14 thought, but it cannot answer the question.
15 And you just need to do two different kinds
16 of trials for two different reasons.

17 I'm not opposed to torturing data;
18 I enjoy it myself, but we shouldn't be using
19 it to make policy if we can avoid it.

20 So we need both kinds of trials.
21 We need therapeutic target trials to
22 understand whether, in general, it's

1 beneficial to drive a biomarker to a target.
2 And we need drug-specific trials to know
3 about this balance of risk and benefit.
4 Again, because the risks are very often not
5 in the target-specific arena. They're in a
6 whole different biology that we don't yet
7 understand.

8 In the end, and this is really
9 important, there is no magic bullet. We're
10 left with some uncertainty about this mix.
11 And so as people think about this, I would
12 urge you not to think about -- and I think
13 one thing I would say to Nissen and Fleming
14 maybe for future discussion -- depicting this
15 as a linear pathway to screening and decision
16 I think is a mistake. This is going to take
17 a "patchwork of trials" carefully thought out
18 that address somewhat different issues with
19 each trial.

20 Then we have the
21 superiority-non-inferiority construction.
22 You had a good discussion about this already.

1 It's hard to imagine that a treatment that
2 lowers sugar shouldn't decrease macrovascular
3 disease. But the superiority is going to be
4 a tougher and tougher hurdle as we find some
5 treatments that actually do reduce
6 macrovascular disease and are proven to do so
7 because then you can't exclude them from use
8 in the trials. And we'll get into the era of
9 comparative effectiveness, which is now a
10 mainstay of many other areas of medicine.

11 Noninferiority, though, has its
12 problems. I still have found no one,
13 including Tom Fleming, who can explain this
14 to ordinary clinicians in a way that they can
15 repeat it if they leave the room and come
16 back a half an hour later. It just doesn't
17 fit into the way we think about things, and
18 yet I think it's really important because I
19 do believe what was said already by several
20 people here. While we would all love to have
21 a treatment that reduced heart attacks,
22 strokes, deaths, and microvascular disease,

1 if we had a drug that really reduced
2 microvascular disease and didn't kill people,
3 that would be a very important thing to know.

4 So I think
5 superiority-non-inferiority is really a false
6 argument. The real question is what's the
7 estimated effect of the treatment on the net
8 balance of risk and benefit. A trial can
9 test for both if it's properly constructed.
10 And that's the way a lot of trials are
11 currently being constructed. The first test
12 being can you show that you're not hurting
13 people, and the second test being you proved
14 you're not hurting people -- can you show
15 that you're actually causing a benefit.

16 And so the real question is what's
17 the minimally important clinical difference
18 that should be excluded in non-inferiority
19 trials or exceeded in superiority trials.

20 And here I hate to sound like a radical
21 compared to Dr. Nissen. This may be the
22 first time in quite awhile, but we know from

1 20 years of intensive discussions, focus
2 groups, questions to patients, questions to
3 providers, that for a disease that affects
4 tens of millions of people all around the
5 world and is going to grow by more than
6 threefold over the next 15 years,
7 particularly in developing countries -- that
8 a 10 to 15 percent relative difference or a
9 1 percent absolute difference per year is
10 clinically important, and it will change the
11 way people treat patients.

12 And you can do this with a simple
13 thought exercise. If you have a treatment
14 taken by 5 million people and it increases
15 the risk of death by 1 percent per year, it
16 would kill 50,000 people a year. I would
17 argue that's a number we need to know about
18 and something that should be excluded if
19 possible in non-inferiority trials, even if
20 it made your neuropathy better or reduced
21 renal dysfunction.

22 At least then people could make an

1 informed decision about the tradeoffs that
2 they wanted to make.

3 Now, I learned -- I did a little
4 reading in preparing for this -- I learned
5 there's actually a huge debate about where
6 the quote came from. But I think this is
7 sort of the key to me about the whole thing.
8 A difference to be a difference must make a
9 difference. And if you want to read a
10 fascinating story of someone who spent a
11 couple of years at Hopkins training in
12 medicine and then did something entirely
13 different, read the story of Gertrude Stein.
14 I would recommend you look it up if you don't
15 know the whole story.

16 So then we get to the design of
17 sensible versus non-sensible clinical trials.
18 So the goals of a medical intervention if you
19 ask people would be I would want to do it if
20 it caused me to live longer, feel better,
21 avoid unpleasant events, and spend less
22 money -- or spend less money and keep all the

1 rest constant. Except for a few people who
2 need help, your average citizen is not
3 interested in spending money on medical care
4 if it's not going to be beneficial in a
5 tangible way. And so since surrogates work
6 for on-target and off-target effects
7 separately -- this is a discussion I've had
8 with Temple many times -- I don't argue that
9 blood pressure is a good surrogate for
10 stroke. But systolic blood pressure is not a
11 good surrogate for off-target effects of any
12 hypertensive drugs. And unless you know
13 both, you're sort of stuck. And off-target
14 effects we now know are ubiquitous thanks to
15 being able to measure large scale genomics
16 and proteomics.

17 I refer you to the Journal of
18 Clinical Trials, where there's a six-part
19 series on sensible clinical trials where we
20 got together academic, industry, FDA,
21 European regulators, and we did a bunch of
22 thought exercises about if you accepted the

1 premise that the public needs to know what
2 the long-term benefits and risks of drugs and
3 devices are -- and behavioral interventions,
4 by the way -- and you thought that the cost
5 of doing the studies was keeping people from
6 launching the studies that were needed, what
7 could you get rid of where you could still
8 get the same answers but spend a lot less
9 money. And I'll have you read the details
10 for yourself and see what you agree or
11 disagree with.

12 But fundamentally, this is the
13 bottom line. And I think people were in a
14 state of shock this morning. The entire
15 budget of the NCI cooperative clinical trials
16 is \$150 million. In outcome studies in
17 cardiovascular disease, as some people in
18 this room know quite well, people are
19 spending \$450 million a trial for a single
20 trial. So our whole government cancer
21 portfolio is a third of the cost of some
22 single trials being done in this field. And

1 yet, when we got people together and said
2 what could se stop doing and still get a
3 valid answer, we came out with numbers that
4 were at least a third as expensive. And if
5 we went to a radical extreme, we came out
6 with numbers that were about a tenth as
7 expensive.

8 So you've got to ask the question,
9 is it really worth \$450 million to make sure
10 that concomitant medications that are stopped
11 and start at multiple times during a six year
12 trial, or recorded every time they're stopped
13 and started, and every time a patient gets
14 nauseated some study coordinator at \$80,000 a
15 year has to record whether the patient was
16 nauseated and when it stopped and whether
17 they thought it was related to the drug. I
18 would argue that's really stupid, but that's
19 what's happening. And it's really putting an
20 impediment to launching these trials and
21 answering the questions.

22 So I refer you back to Janet

1 Woodcock, who I admire quite a bit, who gave
2 a talk at the BIMO (?) meeting just last
3 year, and reiterated what happened five years
4 ago at an Institute of Medicine meeting about
5 the quality of clinical trials. So the
6 definition of a high quality clinical trial
7 with regard to the data is one in which the
8 data is good enough that the decision
9 wouldn't change if completely accurate data
10 were used.

11 And if there's one thing I want to
12 implore you to do in designing these trials,
13 it's get rid of the junk that doesn't help
14 you answer the questions that the study is
15 designed to answer. Save the money and do
16 two or three times as many trials, at least.

17 And that gets us into regulatory
18 disharmony. So which makes more sense?
19 Doing a separate trial in every country or
20 conducting global trials? I would argue it's
21 obvious conducting global trials makes more
22 sense. But how can we do what makes sense if

1 regulatory requirements are different in
2 every country? There's a perception, which I
3 think is true, that this is going the wrong
4 direction in the last few years.

5 What do I mean by the wrong
6 direction? Let's look a little bit at what's
7 at stake here. These are slides from Bob
8 O'Neill at the FDA from internal analyses.
9 These were presented at a Pharma meeting. I
10 had his permission to promulgate these
11 widely, although to my knowledge this has
12 still not been published in a medical
13 journal.

14 This is looking at cardiovascular
15 trials that are housed within the FDA. In
16 looking at regions of the world as a factor
17 in treatment effect, particularly with an
18 interest in the U.S. You can see there are a
19 number of trials. And on average, these were
20 all beneficial trials. These are trials
21 where the treatment effect was in the right
22 direction. However, on average the treatment

1 effect was less in the United States than
2 outside the United States. And when taken as
3 a sum, this is actually a significant
4 difference.

5 So that if you look at
6 U.S.-non-U.S. as a stratifier, there's an
7 interaction between treatment effect and
8 whether the patient was enrolled in the
9 United States. And this has come out in some
10 individual trials. This is a fairly famous
11 one in cardiovascular disease where the trial
12 overall was dramatically positive. The study
13 was stopped for benefit. You'll notice that
14 there are many zeros in front of the first
15 number and the p-value, but when the subgroup
16 U.S.-non-U.S. was looked at, there's a
17 slightly less than neutral effect in the U.S.
18 and a grammatically positive effect outside
19 of the U.S.

20 So getting in sync and
21 understanding that there may be regional
22 differences, we don't know what all this

1 means. I'm not giving answers here. I'm
2 just saying if you're looking for simple
3 answers, you're probably not going to find
4 them now.

5 So here's what we face as we try to
6 do this. Given differences of opinion by
7 regulators in different countries, the
8 sponsor has to either reduce the number of
9 countries -- that is eliminate the ones that
10 are demanding useless bureaucracy -- or
11 revert to the most expensive common
12 denominator.

13 This leads to what I think of as a
14 very vicious cycle. And it goes like this.
15 If the trials are too expensive, we can't do
16 them. Therefore, we'll just have to accept
17 or ignore uncertainty in order to enable
18 development of new drugs. And I think this
19 is a very dangerous way for us to go
20 societally given what we know now about
21 chronic therapeutics.

22 What we need is a virtuous cycle of

1 developing common methods to reduce
2 uncertainty. And it's not enough to do this
3 just within the United States. It has to be
4 done on a global basis if it's going to work.

5 All right, so now to the details
6 quickly. This is a list of what we commonly
7 argue about as we're designing these kinds of
8 trials. The enrollment criteria -- very
9 important set of issues here. If we take
10 patients early in the disease -- and I think
11 Marvin was alluding to this in one of his
12 questions -- maybe we have more of a chance
13 to modify the disease. And the
14 diabetologists commonly hold out for this
15 approach. But the event rates are low so it
16 takes forever.

17 In the Navigator trial, Cleveland
18 Clinic is adjudicating events, I think we're
19 now in Year 7 of the trial. And it's an
20 endurance contest to see if we'll get to the
21 end. But we enroll patients with a low event
22 rate who don't have too much disease to start