

1 significantly impacts the lives of patients who  
2 deal with it as a lifelong health condition.

3 We're asking for full discussion of  
4 the fact that this is a new molecular entity,  
5 first-in-class, for use in psoriasis patients  
6 with no marketing history in any other  
7 indication. And with those benefits and  
8 risks that you've heard, that's the  
9 discussion that we would like to have from  
10 you, and we appreciate your comments as we  
11 move through the day.

12 And about the trials, we have no  
13 specific plan in mind for a pre-market  
14 randomized control trial. That was a  
15 delineation of the available options and what  
16 some of them might be, so we really do look  
17 forward to your discussion. I think we're  
18 all grappling with some really significant  
19 issues for patient safety and also for  
20 patient access.

21 DR. BIGBY: Dr. Shwayder?

22 DR. SHWAYDER: I have two questions

1 for the FDA. Not being a statistician, how long  
2 a follow-up do you need to assure us of the  
3 malignancy risk? In other words, what time  
4 period makes you feel comfortable based on past  
5 drugs and past post-marketing cancers in years  
6 or patient years? I mean, you get 20 years when  
7 we get 20 years, but Centocor wants to do this  
8 in 20 minutes.

9 DR. AVIGAN: Again, I will try to just  
10 answer this in a more conceptual way. I think  
11 the important concept is that different  
12 malignancies actually have different biological  
13 behaviors, so it's hard to give a sort of one  
14 size shoe fits all answer, and that's why when  
15 you lump all malignancies together as one thing,  
16 you're actually mixing together different  
17 biological behaviors.

18 Another important point is that  
19 different patient groups, different  
20 indications of use, different demographic  
21 groups, may have important differences in  
22 susceptibility to certain types of

1 malignancies. And we learned that lesson, I  
2 think, in the case of the pediatric Crohn's  
3 patient population, which I mentioned, where  
4 it seems to be -- with all the cases that  
5 have been reported so far, that heavy skewing  
6 towards that group of that rare malignancy.

7           So the answer, in a way, is that we  
8 don't have a perfect method where we can at  
9 some exact time point cap risk across all  
10 malignancies, and we have to, therefore, use  
11 our judicious sense about what is acceptable  
12 in the arena of uncertainty, and bring that  
13 into the calculation of when we say for a  
14 certain indication, with the uncertainty that  
15 we're dealing with, we move forward with  
16 some -- we move forward and we approve and we  
17 indicate the drug for that use.

18           And so I think in the end, what we  
19 are trying to do today is just basically  
20 frame the level of uncertainty that we're  
21 dealing with -- I think, number one -- pose  
22 to the committee the difficulties of

1     precisely measuring risk, and asking from the  
2     committee their sense of how to deal with the  
3     large benefit/risk question, taking into  
4     account this uncertainty.

5             DR. SHWAYDER: I wouldn't shy away  
6     from registries. Any practicing dermatologist  
7     has had to struggle with I Pledge for Accutane,  
8     which is a complete pain in the neck and  
9     probably twice as bad as giving someone  
10    thalidomide, yet we all managed to muddle  
11    through it. And if a registry is what will help  
12    us answer the question, then I would certainly  
13    leave it on the table.

14            DR. BIGBY: I have two questions,  
15    and I promise the rest of the panel that I am  
16    not jumping the queue. My first question is  
17    for Dr. Ahmad. You mentioned that this  
18    biologic is being brought to the table with a  
19    much smaller size in duration compared to the  
20    other biologics. Could you give us a sense  
21    of how long follow-up, and how large were the  
22    studies of the other biologics when they came

1 for approval for treatment for psoriasis?

2 DR. AHMAD: That's a good question. I  
3 think personally, I'm not aware of the details  
4 of the other biologics of when they were  
5 approved. But I am aware that unlike other  
6 biologics, this biologic is being considered for  
7 approval for psoriasis with no prior marketing  
8 history. And I think that itself leads me and  
9 some of us to believe that there may be a need  
10 to conduct additional studies, long-term  
11 clinical trials -- in perhaps other disease  
12 categories -- before it's approved.

13 DR. WALKER: I think we can put up  
14 slide 33 from the backup slides from Dr. Carr.

15 DR. BIGBY: To save time while  
16 you're finding that slide, I have a question  
17 for Dr. Walker. Is there such a thing as a  
18 provisional approval based on delivery of a  
19 promised post-marketing surveillance study?

20 DR. WALKER: No.

21 DR. BIGBY: Again. Until that  
22 slide comes up, Dr. Heckbert --

1 DR. HECKBERT: This is the slide I was  
2 going to ask about, the one they're trying to  
3 get up, slide 33 in Dr. Carr's presentation. My  
4 question was, you had a column there showing  
5 assessment of long-term safety for some of the  
6 other biologic agents that are approved for  
7 psoriasis, and my question was is that -- yeah,  
8 the right-most column --

9 DR. CARR: Yes.

10 DR. HECKBERT: Is that at the time of  
11 approval for psoriasis or is that now?

12 DR. CARR: No, that's at the time of  
13 approval. That's what the applicant's committed  
14 to do.

15 DR. HECKBERT: Oh, that's what they  
16 committed to do? That's not the information we  
17 had at the time it was approved for psoriasis.  
18 And that's what we still don't have.

19 DR. CARR: The last column reflects  
20 what the applicant's committed to do at the time  
21 of approval, of the product for approval for  
22 psoriasis. The first two products, alefacept

1 and efalizumab, received initial approval for  
2 the indication of psoriasis. Etanercept,  
3 infliximab and adalimumab all had previous  
4 approvals for other indications prior to their  
5 approval for psoriasis. And the last column  
6 reflects what the applicants committed to do  
7 with their approval for psoriasis.

8 DR. HECKBERT: So those are  
9 commitments, but they're not what we have right  
10 now in hand? We don't have data on them.

11 DR. CARR: All of the studies, I would  
12 say, are underway.

13 DR. HECKBERT: Right, but we don't  
14 have the data on that number of people for that  
15 duration of follow-up.

16 DR. WALKER: That's correct.

17 DR. HECKBERT: Maybe each or any of  
18 those.

19 DR. WALKER: We don't have that amount  
20 of data at this time.

21 DR. KATZ: How many patients do we  
22 have from the 5,000?

1 DR. JONES: Can I make a revision to  
2 Dr. Ahmad's comment that of all the biologics  
3 approved for psoriasis, the first two, Amevive  
4 and Raptiva, were the first indication, and  
5 based on the SBA, as you know, the Amevive had  
6 only 756 patients with two courses of treatment,  
7 and for Raptiva, it only had 218 patients  
8 treated for one year. And recall Dr. Yeilding  
9 had mentioned also in FDA's briefing document,  
10 we had 1,285 patients treated for one year.

11 DR. BIGBY: No, no. But I think  
12 the issue was safety. Those drugs had large  
13 populations of other indications, but --

14 DR. JONES: No, no. Right. That's  
15 the point. The first two biologics approved for  
16 psoriasis had no other indication, that is  
17 NME (?) for the first indication for psoriasis.

18 MR. LEVIN: Did they have prior  
19 experience in other countries, though? Prior  
20 approval here?

21 DR. JONES: No. Amevive is still not  
22 approved anywhere.



1 MR. LEVIN: Okay.

2 DR. BIGBY: Was the other point  
3 that was relevant to this slide answered?  
4 No?

5 DR. STERN: No. I think the other  
6 point is what's the numerator over each of these  
7 for follow-up -- for enrollment and for  
8 follow-up for at least one year, given that  
9 these approval dates are fairly ancient? So  
10 that's, I think -- isn't that sort of your  
11 question, Bob?

12 DR. KATZ: Yes, 5,000 promised five  
13 years ago --

14 DR. STERN: Right. So what's the  
15 numerator?

16 DR. KATZ: How much follow-up do we  
17 have on that? According to the previous  
18 comments, we've only gotten follow-up on several  
19 hundred. Am I correct?

20 DR. WALKER: Right. It's less than  
21 the numbers that are listed as the initial  
22 requirement. That's correct.

1 DR. KATZ: But how many have we gotten  
2 since then?

3 MR. LEVIN: I don't think you have any  
4 patients that were treated with those drugs,  
5 because they don't work that well. That's why  
6 you don't have the database.

7 DR. KATZ: But some have.

8 MR. LEVIN: But not 5,000. Or maybe.  
9 I don't know.

10 DR. AVIGAN: Can I just make another  
11 point? And this is perhaps repeating. These  
12 are all voluntary programs, so none of these  
13 have this sort of TFAT (?) let's say a drug like  
14 Tysabri has, for the PML, which is a mandatory  
15 registry where we have -- we can cap risk  
16 because when we saw this extraordinary signal,  
17 we were looking for one thing. That was the  
18 example of the registry, looking for one thing  
19 and it was designed specifically where everybody  
20 who gets the drug with that particular  
21 indication will be enrolled and followed.

22 These are voluntary. And the

1 problem in a large picture sense has been the  
2 implementation. The implementation has been  
3 difficult, and perhaps the sponsor, since  
4 they've also been involved at least in one of  
5 those registries with infliximab, can share  
6 with us their experience about where those  
7 roadblocks are. This concept of assessment  
8 of long-term safety with these kinds of  
9 registries was really, as Dr. Siegel  
10 mentioned, to gain some experience looking  
11 for signals that perhaps we already had some  
12 sense of rather than sort of for brand-new  
13 things.

14 Let's hear what perhaps the sponsor  
15 might say about that.

16 DR. BIGBY: We'll hear from them in  
17 the discussion part.

18 Dr. Crawford?

19 DR. CRAWFORD: Thank you. My  
20 question's a bit of follow-up based on what  
21 Dr. Ahmad and Dr. Avigan have stated, and others  
22 in a different way. When we're looking at the

1 post-marketing options, some of the options, in  
2 assessing safety -- I think as a committee, we  
3 should at least kind of consider what's most  
4 optimal in terms of potential off-label uses.  
5 And when I say off-label uses, that would mean  
6 by indication, by dosage, and/or population such  
7 as pediatric populations.

8 DR. AVIGAN: I think that's a  
9 rhetorical question. In the sense that once a  
10 drug is approved, unless there's some very stern  
11 management program, typically physicians have  
12 the prerogative using these off-label, and using  
13 them in different ways creatively with different  
14 patients -- and so that over time, what could  
15 happen, unless there was some major concern that  
16 was articulated, there would be a creep of the  
17 way it was used and in which patient  
18 populations.

19 DR. CRAWFORD: Actually, it's not  
20 rhetorical. I guess I didn't ask it clearly.  
21 In terms of your experience with other  
22 post-marketing commitments, what has been most

1 optimal or least optimal?

2 DR. AHMAD: Is your question related  
3 to how much off-label use of these products can  
4 happen?

5 DR. CRAWFORD: No, it's related to how  
6 could we detect safety issues when the product  
7 was used off-label.

8 DR. IYASU: Let me just answer. This  
9 is Solomon.

10 DR. STRAHLMAN: Perhaps a way to  
11 answer that question is could the FDA comment on  
12 how well the Adverse Event Reporting System  
13 works, which would be of course oblivious to  
14 indication?

15 DR. AVIGAN: Yes, the Adverse Event  
16 Reports which we get are spontaneous reports.  
17 The quality of those reports is variable.  
18 Sometimes they will tell us why the patient was  
19 treated and give us details, clinical details,  
20 which would be very useful in  
21 assessment -- looking for signals perhaps across  
22 susceptibility characteristics, or clinical

1 scenarios or root causes or attribution. And  
2 sometimes, in the case, for example, of the  
3 pediatric Crohn's, that was an example of where  
4 we got some sense of patients getting an event  
5 even before it had been approved for that. So  
6 it happens, but it doesn't happen  
7 systematically.

8 DR. STRAHLMAN: I actually had a  
9 couple of other clarifying questions for FDA.

10 DR. BIGBY: I think you've kind of  
11 jumped the queue here.

12 DR. STRAHLMAN: I actually just had  
13 clarifying questions on the presentations.

14 DR. BIGBY: I know, but I think  
15 Dr. Drake is right before you.

16 DR. DRAKE: I have a question for  
17 Dr. Walker. And first, I want to tell the whole  
18 group from the FDA, it almost sounds like our  
19 questions are being too provocative, and that's  
20 certainly not my intent. I think you've done a  
21 very good job. I think the questions are  
22 equally applicable to the sponsors, because when

1 I was acting chair of that meeting -- I think it  
2 was the one in 2003 -- we had lots of promises  
3 from the sponsor, and that was a concern of the  
4 committee, that these questions be addressed.  
5 And I think the questions center around why  
6 isn't this happening. It's not anybody's fault,  
7 necessarily, it's more what can we do to make it  
8 happen.

9 So I hope that explains, but I  
10 guess, Dr. Walker, I wanted to ask you a  
11 specific question.

12 You have this risk-benefit group at  
13 the FDA. Have they looked at this? Have  
14 they been involved in this? I haven't seen  
15 any speakers from it, and I just wondered  
16 what your thoughts were on that.

17 DR. WALKER: This is a good  
18 opportunity for me to -- I believe you're  
19 probably referring to the Office of Surveillance  
20 and Epidemiology, which is -- first of all, I  
21 believe FDA, the entirety of the FDA, is a  
22 risk-benefit group, but specifically we have the

1 Office of Surveillance and Epidemiology who are  
2 a parallel office to the Office of New Drugs,  
3 and we have representatives from that group at  
4 the table. So is that the group you're --

5 DR. DRAKE: I apologize if I've missed  
6 hearing from them, but I guess my question is,  
7 how involved have they been in this, and are  
8 they --

9 DR. WALKER: Oh, extremely involved.  
10 Yes, I mean, between the Office of Surveillance  
11 and Epidemiology and the Office of New Drugs,  
12 both within FDA, I think we have a very good  
13 working relationship, and there's been and  
14 always will be involvement between these two  
15 groups in all of our applications.

16 DR. BIGBY: Just trying to keep on  
17 schedule. We're going to go to 12:15 and  
18 then break for lunch. The order that I have  
19 people -- and you can ask questions of anyone  
20 from this point -- are Dr. Strahlman,  
21 Dr. Ringel, Dr. Shwayder, Dr. Levin, and if  
22 there's time, Dr. Majumder.



1 DR. DRAKE: Mr. Chairman, point of  
2 clarification? Will there be an opportunity for  
3 us to ask questions of the sponsor later?

4 DR. BIGBY: Yes.

5 DR. DRAKE: Thank you.

6 DR. BIGBY: Dr. Strahlman?

7 DR. STRAHLMAN: Thank you. I just had  
8 one question for Dr. Ahmad. The sponsor  
9 presented in their presentation additional  
10 possible commitments for their post-marketing  
11 program which we hadn't seen prior to today, at  
12 least I hadn't seen them. Does the FDA have a  
13 view on -- because in your presentation, you  
14 mentioned that the conclusion that PSOLAR would  
15 be inadequate to address some of these issues,  
16 does the FDA have a view on these additional  
17 commitments?

18 DR. AHMAD: Good question,  
19 Dr. Strahlman. The fact of the matter is, we  
20 were never provided this additional information  
21 by the sponsor. We came to know actually -- we  
22 saw the slides only this morning. Thank you.

1 DR. STRAHLMAN: And my second question  
2 was, because this has come up several times as a  
3 point of clarification, and I don't know who  
4 would have this information, but is there some  
5 information that the committee could consider  
6 for the other biologics that are either approved  
7 for psoriasis or that are used for psoriasis in  
8 terms of what was available at approval, and  
9 despite the numbers on the right-hand column,  
10 what we actually know today? Is that  
11 information at least available for us to look  
12 at? Because one of the things you have asked us  
13 to do is make some comments.

14 Does anyone have that information  
15 at hand?

16 DR. WALKER: Can you clarify again  
17 what information you're asking about?

18 DR. STRAHLMAN: I guess what I'm  
19 thinking is that -- there were several questions  
20 about what we knew at the time a product was  
21 approved versus what was generally known about  
22 the product if it was approved elsewhere, and

1       then the last question that people asked was,  
2       based on the right-hand column there, that's a  
3       commitment, but what do we really know?

4               DR. WALKER: Right. Well, I can give  
5       you some numbers to match those columns. And  
6       basically, it's under half for most of the  
7       studies that were -- for which a commitment was  
8       made in terms of information that we have.

9               Obviously, that information is  
10       reviewed as it comes in in annual reports, et  
11       cetera, et cetera, and if there was  
12       information that arose from that that was of  
13       significance such that labeling should be  
14       changed, et cetera, that is usually the step  
15       the agency would take. So to date, we don't  
16       have information that would impact labeling,  
17       but obviously, we've heard about the utility  
18       of some of these studies.

19               Is that a useful answer?

20               DR. STRAHLMAN: It gives a context, I  
21       think, for the right-hand column. And just the  
22       last question is, is there information about how

1 many patients were exposed to other medications  
2 before approval was given that have been  
3 approved for psoriasis, in the other systemic  
4 therapies?

5 DR. WALKER: Right. Those would have  
6 been in the original applications. I don't have  
7 that data at my fingertips, but I bet the  
8 sponsor does.

9 DR. STRAHLMAN: So the question, I  
10 guess -- I'm sure they do. So my question to  
11 the chair --

12 DR. WALKER: I think we just heard  
13 some of it a few minutes ago.

14 DR. STRAHLMAN: No, but I think  
15 perhaps when we get -- just a suggestion would  
16 be if that information is available, we could  
17 look at it, it would help.

18 DR. BIGBY: Dr. Ringel?

19 DR. RINGEL: Yes, these are questions  
20 about the PSOLAR program. There are many  
21 reasons why enrollment in these programs may be  
22 low. Some of them have to do with patients,

1       some of them with physicians who may not be  
2       willing to participate.  There's all kinds of  
3       reasons, but since PSOLAR has been available and  
4       since it's begun for infliximab, does the  
5       sponsor have any idea what percentage of  
6       patients to whom that program was offered have  
7       actually enrolled?

8                 DR. BIGBY:  Yeah, yeah.

9                 DR. AHMAD:  As the sponsor is coming,  
10       I wanted to make a clarification.  We did  
11       receive the slides with the additional  
12       post-marketing activities that the sponsor plans  
13       to undertake, but we never received any details.

14                Thank you.

15                DR. KEENAN:  So with regard to the  
16       questions on PSOLAR, just to be clear with  
17       regard to the time of initiation.  There was a  
18       negotiation with the FDA, and the time when  
19       PSOLAR was agreed upon to be launched was July  
20       2007, and it has currently been running for 11  
21       months.

22                And with regard to the total number

1 of sites that will be offered, we intend to  
2 offer it to 450 sites around the world. The  
3 vast majority will be in the United States.  
4 Sites that are interested are ones that have  
5 been interested in finding out about the  
6 epidemiology of psoriasis. I don't have the  
7 number of sites that have turned us down with  
8 regard to their interest in PSOLAR, but I can  
9 provide that for you.

10 DR. RINGEL: Mostly, I'm interested in  
11 the sites that have agreed to participate and  
12 are participating. What percentage of patients  
13 agree to participate of those subgroups?

14 MR. KEENAN: We are allowed to track  
15 information for patients who provide consent.  
16 This is an endeavor for which individuals need  
17 to provide consent. When individuals are  
18 offered and they turn that down, that's not  
19 something that we're able to track.

20 DR. RINGEL: So we really have no idea  
21 what the bias in these studies is in that case,  
22 because we don't know the denominator again.

1           The second question is that as a  
2   backwater country doc, when I heard that this  
3   program is going to be offered at -- did I  
4   get it right, academic community centers? Is  
5   that what you said?

6           MR. KEENAN: There are two types of  
7   centers -- and the way that we look at it, we  
8   wanted to make sure this was -- to your point,  
9   able to enroll patients with a variety of  
10  different disease severity, both sites that are  
11  academic in orientation, usually  
12  university-based sites, as well as  
13  community-based sites would be invited to  
14  participate.

15           DR. RINGEL: I'm sorry, what's a  
16  community-based site?

17           MR. KEENAN: One that's not affiliated  
18  with a university.

19           DR. RINGEL: Is that a private doctor  
20  in Waterville, Maine?

21           MR. KEENAN: It could be.

22           DR. RINGEL: Thank you.

1                   MR. KEENAN: I went to Colby College  
2           in Waterville, Maine, so --

3                   DR. BIGBY: Dr. Shwayder.

4                   DR. SHWAYDER: I have several  
5           questions based on the weight which fascinates  
6           me. The preamble being, as a pediatrician, I do  
7           all my bases on mg/kg, so it's like the back of  
8           my hand, you do it every day for every drug. I  
9           don't know why they're shying away from doing  
10          things based on weight. So my first thought  
11          was, did they do any sort of total weight versus  
12          BMI on their patients? In other words, were  
13          they muscular people or were they just fat?

14                   So that's my first question. Does  
15          Centocor have any answers on that?

16                   DR. GUZZO: We're definitely not  
17          shying away from the weight issue. It would  
18          have been easier to just pick one dose, so we  
19          actually I think are in agreement with FDA that  
20          we need to look at that. What I can show you is  
21          we have looked at BMI. Can I have the slide up,  
22          please? And we've also looked as weight, as



1 we've showed you.

2                   When we look at BMI, we do see,  
3 again, in the lower weight group, in the 45mg  
4 dose, that as you increase BMI, you get  
5 somewhat lessening. But when you look at the  
6 weight versus BMI and you look at who  
7 contributes under BMI, it is actually the  
8 weight or size of the person that makes the  
9 biggest difference.

10                   So if you're a very short, obese  
11 person, the 45mg dose may be fine with you.  
12 You could be, however, a very tall 6'2"  
13 person and larger in size and not be  
14 overweight, and then need the higher dose.  
15 So weight in our analysis is the major  
16 determinant.

17                   DR. SHWAYDER: And I have follow-up  
18 question. Did anyone look at fatty livers? You  
19 partially answered this before about metabolism  
20 of a protein, because fat people tend to have  
21 fatty livers. Did that make any difference or  
22 did anybody even look at it?

1 DR. GUZZO: To my knowledge, we did  
2 not evaluate people with fatty livers.

3 DR. SHWAYDER: And are fat psoriatics  
4 mainly in the U.S.?

5 DR. GUZZO: Obesity is a common  
6 comorbidity with psoriasis. Our studies were  
7 conducted in the U.S., Canada, and Europe. In  
8 both the U.S. and Canada, there's significant  
9 obesity. In Europe, it's somewhat smaller, but  
10 you know, it's not unknown.

11 DR. SHWAYDER: And partly I ask this  
12 because I just came back from South Korea and  
13 after ten days there I didn't see a single  
14 person over 50 kilos. So if you're suddenly  
15 giving 90mgs to South Koreans, it might be like  
16 twice too much drug.

17 The other question I have, did you  
18 inadvertently or advertently give it to  
19 anyone who had viral hepatitis, and what  
20 happened?

21 DR. GUZZO: I'll have Dr. Yeilding  
22 address that. And for your question about South

1 Korea, we are only studying the 45mg dose. We  
2 did take note of their mean weight.

3 DR. YEILDING: Regarding your question  
4 about the advertent administration of  
5 ustekinumab to patients with hepatitis, we did  
6 not administer the drug to patients with known  
7 hepatitis B or C, so one of the eligibility  
8 criteria was that patients with known hepatitis  
9 B or C were excluded from the trial. We did not  
10 screen patients for hepatitis B or C, so we  
11 don't know whether there was any background  
12 hepatitis.

13 We did have one subject who  
14 contracted hepatitis B over the course of the  
15 study. In that subject, we discontinued  
16 treatment until the active hepatitis  
17 resolved, and then that patient resumed  
18 therapy and has had no other issues.

19 DR. SHWAYDER: One more question,  
20 Michael. Were the injections given sub-cu or  
21 IM?

22 DR. YEILDING: They were administered

1 subcutaneously.

2 DR. SHWAYDER: So that wouldn't make a  
3 difference in terms of body mass index, because  
4 almost everyone, you'd get it in the fat at that  
5 point, I presume. Okay. Thank you.

6 DR. BIGBY: Dr. Levin?

7 DR. LEVIN: So I'm concerned that  
8 we're sort of sitting here again with  
9 uncertainty -- being asked to make decisions in  
10 uncertainty. And perhaps relying on this magic  
11 bullet called a Phase 4 post-market study and  
12 then finding out that we have some commitments  
13 where people are trying to fulfill them in good  
14 faith but having difficulty in enrolling -- and  
15 if I understood you, Mark, that maybe the magic  
16 bullet -- maybe not -- is mandated versus  
17 voluntary; that we have evidence that getting  
18 more people enrolled occurs when it's a mandate,  
19 of course, than perhaps voluntary, but could you  
20 sort of drill down a little more.

21 Are we learning anything more from  
22 the studies that have reached the 4,000 or

1 5,000 or whatever the committed number of  
2 enrollees are than we are from the ones that  
3 haven't reached that arbitrary number yet?

4 So that's one question. Second  
5 question is post-FDA (?) and post, I think, a  
6 commitment of several hundred million dollars  
7 to the agency, I think we have from congress  
8 to do some of the things that are needed, can  
9 we look forward to an AERS program that's  
10 going to be more robust and sort of not 1 to  
11 10 percent, but maybe a higher percentage of  
12 reports and the opportunity to learn much  
13 more from AERS and MedWatch?

14 DR. AVIGAN: Again, I think that's an  
15 open question. I think that we clearly need to  
16 improve our monitoring for safety and  
17 surveillance, and improve the tools that we use  
18 and respect their limitations.

19 I think part of the problem here is  
20 the toolkit that we have -- and part of the  
21 problem is that there's a built-in lag effect  
22 of having to have a sufficient exposure

1 population to see what the effect is, which  
2 is intrinsic to what the problem is, and that  
3 wouldn't be solved by whatever tools you had  
4 or improved upon.

5           So let me speak to the second  
6 point, because I think that's where what  
7 we're dealing with today -- is where we see  
8 an animal signal, we see a biological  
9 plausibility, we have a limited exposure in  
10 the human population where we don't see much,  
11 and we have a proposed indication for a skin  
12 disease.

13           And so I think the large question  
14 here is -- you know, do we want a larger  
15 human exposure before we pass judgment on  
16 benefit/risk with regards to this particular  
17 indication? I think that's what the question  
18 is.

19           Now, where can we learn that  
20 information from? It could be really an  
21 assortment of data sources, including  
22 clinical trials, uses for other indications,

1 developing a larger safety database across  
2 clinical trials, as well as if the drug were  
3 to be marketed, that we could then be  
4 proactive in following up on spontaneous  
5 reports and observational studies perhaps.

6           So what I would envisage is that we  
7 would use more than one tool in risk  
8 assessment for malignancy. The question  
9 today is the question of approval for the  
10 first indication. So I think that with what  
11 you know today, and then how much uncertainty  
12 would you tolerate.

13           And then the second question, then,  
14 would be how would we learn, with a larger  
15 exposure over time, really where those risks  
16 are from empirical observation.

17           DR. BIGBY: We're going to break  
18 now, but before we do, I just think it would  
19 be very helpful if somebody addresses the  
20 data requests of Dr. Strahlman, so just  
21 before we break and I read this statement  
22 that I have to read before we break, would

1     you just restate what it was you were asking  
2     for?

3                   DR. STRAHLMAN:   What I was asking for  
4     is if there is information about the number of  
5     subjects that were treated at the time other  
6     systemic -- which would include biologic  
7     therapies -- were approved for psoriasis, for  
8     that specific indication.  And then for the  
9     products that had other indications or had been  
10    marketed elsewhere, what history was available.

11                   And then finally, and although I  
12    think Dr. Walker has already addressed it,  
13    based on the commitments that had already  
14    been outlined in terms of the observational  
15    studies, what we actually knew today if we  
16    knew that information or at least had a  
17    general idea.

18                   And again, to restate, the reason  
19    for the question is that the committee has  
20    been asked to -- the FDA has asked for some  
21    advice on how to frame that context, and  
22    since there seem to -- I wasn't clear on what



1 had been done for which drug. I thought it  
2 would be helpful.

3 DR. BIGBY: We'll now break for  
4 lunch. We will reconvene in this room in one  
5 hour, so that's at 1:15. Please take any  
6 personal belongings you want with you at this  
7 time. The ballroom will be secured by FDA  
8 staff during the lunch break. You will not  
9 be allowed back into the room until we  
10 reconvene.

11 Panel members, please remember  
12 there should be no discussion of the meeting  
13 during lunch among yourselves or with any  
14 members of the audience.

15 Thank you.

16 (Whereupon, at approximately  
17 12:15 p.m., a luncheon recess was  
18 taken.)

19  
20  
21  
22



1 information may include the sponsor's payment  
2 of your travel, lodging, or other expenses in  
3 connection with your attendance at the  
4 meeting. Likewise, FDA encourage you at the  
5 beginning of your statement to advise the  
6 committee if you do not have any such  
7 financial relationship. If you choose not to  
8 address this issue of financial relationship  
9 at the beginning of your statement, it will  
10 not preclude you from speaking.

11 The FDA and this committee place  
12 great importance in the open public hearing  
13 process. The insights and comments provided  
14 can help the agency and this committee in  
15 their consideration of the issues before  
16 them. That said, in many instances and for  
17 many topics, there will be a variety of  
18 opinions.

19 One of our goals today is for this  
20 open public hearing to be conducted in a fair  
21 and open way, where every participant is  
22 listened to carefully and treated with

1 dignity, courtesy, and respect.

2 Therefore, please speak only when  
3 recognized by the Chair. Thank you for your  
4 cooperation. I will also add that each of  
5 the speakers is limited to an eight-minute  
6 presentation.

7 MS. WAPLES: We have first coming up  
8 the OPH Speaker No. 2.

9 DR. DOUGHERTY: Hi. My name is  
10 Bernadette Dougherty and I have no financial  
11 affiliation with Centocor. However, my doctor  
12 has paid for my trip to come out today.

13 I am a psoriasis sufferer and I was  
14 self-diagnosed at about the age of 24. Now I  
15 say that I'm self-diagnosed because I have a  
16 family history of the disease, and my mother,  
17 brother, aunts have it. I had a small plaque  
18 behind my knee for over a year, and at the  
19 time, my father was undergoing a battle with  
20 cancer and the stress from that is what  
21 finally made my psoriasis just explode. And  
22 I have it on every part of my body.

1                   Over the years, I've used different  
2           types of treatments for this disease: creams,  
3           ointments, shampoos and soaps, pills, UV  
4           lighting, natural sunlight, tanning bed,  
5           lotions. I currently use a biologic and I  
6           did participate in the clinical study. I was  
7           in a Phase 2 study.

8                   What my routine would always be is  
9           if I had something special coming up and  
10          wanted my skin to look good, I would go ahead  
11          and apply all the topical ointments, creams,  
12          foam in my hair, all over my body. I would  
13          do that at night and just pray nobody would  
14          come to see me.

15                  The doctors always recommend you to  
16          do this twice a day. There's no way you can  
17          do that and get dressed and go to work. It  
18          didn't work for me, and I don't know any  
19          other sufferers that it works for.

20                  I currently am on a biologic that I  
21          self-inject every two weeks and actually I'm  
22          having some flare-ups right now, so I am

1 using ointments on top of my biologic.

2 Now, there are some negative  
3 impacts. The main one I think is the mental  
4 and emotional toll. I'm not going to die  
5 from it. My father had cancer, but you know  
6 what, it's just as debilitating. It is a  
7 disease. It's not a skin disease. It's a  
8 disease like everyone else has. It's this  
9 vicious circle. You waste your time, your  
10 treatment -- there's no cure for it.

11 It's the continuous questions from  
12 people who don't know, people that should  
13 know what the disease is and they don't. Is  
14 it contagious? How did you get it? I've had  
15 people in hospitals ask me that that should  
16 know -- old, young, blue collar, white  
17 collar. Nobody knows, because it's  
18 considered as not a big disease. Well, it is  
19 a disease if you have it.

20 There is some positive impacts. I  
21 guess you have to get a little creative, so  
22 I'm never alone. I speak of it as if it's a

1 second person with me. They're not happy  
2 today. They're a little better. I've had it  
3 for over 20 years, and so I have to take it  
4 for me. I just have to accept it because  
5 right now, there's no treatment, so I have to  
6 live with the best that's out there right  
7 now.

8 My involvement with IL-12 actually  
9 came when I was at one of the low points in  
10 my life with psoriasis. There were different  
11 times I would go into work with just handfuls  
12 of ointments and stuff, and I would just cry.  
13 And a friend actually heard an advertisement  
14 on the radio where a local doctor was looking  
15 for patients to participate in the IL-12  
16 clinical study. So I went in and visited  
17 Dr. Leonardi and his staff, and it was  
18 determined that I was a definite candidate  
19 for the study.

20 So I had my first dose in December  
21 of 2003, and the results -- actually, if  
22 you'll switch ahead one more so they can see

1       these pictures. This is my results. The one  
2       on the left, is what I looked like before I  
3       went in and had anything. I have all the  
4       little small ones, so the ointment and stuff  
5       to try to dab on each little one, there's no  
6       way it can happen. My entire body looked  
7       like that. That's just kind of like the  
8       little hip area. And what happened was I had  
9       one injection for four weeks in a row, and  
10      then I had one at week 12 and week 16.

11                 Now, what we found out afterwards  
12      is I only had one injection, and that was my  
13      very first injection. And that's what I  
14      looked like at week 12 still. And I believe  
15      my skin was still pretty good for a couple of  
16      weeks, maybe a month after that. It's just  
17      unbelievable.

18                 You know, I mean, I was running  
19      around the office and my family saying, look  
20      at my skin, check this out, and everything.

21                 So it was just a huge improvement  
22      for me. Now, I guess I'd have to say along



1 with this, there is kind of one major side  
2 effect -- and I'm being a little facetious  
3 here, but it cuts down on my free time,  
4 because now I'm not at home putting on all  
5 these different ointments and everything, I'm  
6 out doing things. I'm out spending more  
7 money because I'm happy again and stuff, so  
8 that's kind of some of that.

9 Now the positive impact is my  
10 beautiful skin. And it's given me the  
11 courage. I don't know if I mentioned, I am  
12 from a small community in southern Illinois,  
13 a little farming rural community. There is  
14 no way I would be in front of the FDA talking  
15 to you guys if I looked like I did before.  
16 So I mean, it's given me the opportunity to  
17 enjoy my life again, and for some people just  
18 to give them their life -- I mean, I visited  
19 with the Centocor's manufacturing plant in  
20 St. Louis a few years back, and I told their  
21 workers, they're probably saving lives.

22 If they're not saving lives, they

1 are definitely saving souls and spirits,  
2 because they've done that for me definitely,  
3 which is all part of why I'm here today.

4 This drug definitely needs to be  
5 passed. Other people who weren't involved in  
6 the clinical study need to have this chance  
7 to get this medicine. I know you guys are  
8 talking about maybe injecting once every 12  
9 weeks. That's unheard of with a psoriasis  
10 sufferer. If we could inject once every  
11 three months and go on, we'd be almost like  
12 human people. We wouldn't have to get all  
13 the questions as to what is that, is it  
14 contagious.

15 Again, it's not cancer, we're not  
16 going to die. So you know what, it gets  
17 pushed under the rug.

18 And then that's part of the vicious  
19 circle, too, because you feel bad that people  
20 aren't paying attention to you, but yet  
21 people are out there with serious disease.  
22 But you know what, it has the same toll on

1 us. I mean, I've read where suicide rates  
2 are higher with psoriasis patients, and it's  
3 all the mental thing, and it's all trying to  
4 explain to people what is going on.

5 So I told the Centocor people a few  
6 years ago that they were definitely my  
7 heroes, and I still believe it. I can't  
8 really talk enough about this. It's just  
9 wonderful, and I thank you very much for this  
10 opportunity. And I would just ask that you  
11 please vote for it, because it's my miracle  
12 drug and it can save people's lives.

13 Thank you.

14 DR. BIGBY: Thank you.

15 MS. WAPLES: Number 3.

16 MR. FARRINGTON: Good afternoon. My  
17 name is Dan Farrington. I'm a member of the  
18 National Psoriasis Foundation's Volunteer Board  
19 of Trustees. Every year, the Foundation  
20 receives financial support from thousands of  
21 individuals and approximately a dozen  
22 pharmaceutical companies that provide

1       unrestricted funding. Our corporate sponsors  
2       include Centocor as well as its competitors.

3               I'm pleased to be here today on  
4       behalf of the National Psoriasis Foundation  
5       and the community of millions the Foundation  
6       represents to testify in support of  
7       ustekinumab for the treatment of moderate to  
8       severe psoriasis. Although I am personally  
9       fortunate to have only a mild case of  
10       psoriasis, I'm involved in the psoriasis  
11       community for those less fortunate than I who  
12       are stricken with the extraordinary and  
13       debilitating burden that this disease can  
14       create.

15               I'm also here for my children, who  
16       likely have a genetic predisposition to the  
17       disease, as it runs in my wife's family as  
18       well as mine. It is critical that both  
19       today's patients as well as tomorrow's have  
20       available to them a wide range of treatment  
21       options.

22               As many as 7-1/2 million Americans

1 have psoriasis, and approximately 1-1/2  
2 million of them have moderate to severe  
3 disease. These members of our community live  
4 in frequent physical pain and can have  
5 trouble with the normal daily activities that  
6 most of us take for granted like going to  
7 work, lifting our children, playing in the  
8 park, or even just walking.

9           When thick, burning, cracking, and  
10 bleeding psoriasis plaques cover significant  
11 portions of one's body, even the smallest  
12 action can be painful. In addition to the  
13 obvious complications of the disease, recent  
14 studies have established that those of us  
15 with psoriasis are at increased risk for  
16 other serious diseases, including heart  
17 disease and diabetes.

18           In addition, up to 30 percent of  
19 psoriasis patients develop psoriatic  
20 arthritis, a painful arthritic condition that  
21 can impair functioning, disable and deform.  
22 The mental burden of the disease is such that

1 suicidal ideation is higher for those of us  
2 with psoriasis.

3           While the number of available  
4 treatments for psoriasis has grown over  
5 recent years, there is still a significant  
6 need for additional effective treatments.  
7 Psoriasis presents uniquely in every  
8 individual, and treatments that help one  
9 person may not help the next. In fact, an  
10 individual's psoriasis typically changes over  
11 time in severity, location on the body, and  
12 how it responds to treatment.

13           Psoriasis can be relentless and  
14 unpredictable. Through the work of the  
15 National Psoriasis Foundation, we hear of  
16 people in desperation who will try virtually  
17 any option that brings with it a ray of  
18 hope -- for example, drugs that are banned in  
19 the United States and therapies that have no  
20 proven efficacy.

21           Many patients are anxious to  
22 participate in clinical trials -- possible

1 risks and likely benefits have not been  
2 established.

3 Many patients cycle through  
4 accepted treatment options unsuccessfully or  
5 only temporarily successfully, and ultimately  
6 are left at the end of the treatment road  
7 with no alternatives. This is particularly  
8 critical for patients taking biologics, as  
9 the current biologics on the market target  
10 only two different mechanisms of action.

11 Unfortunately, it is common for  
12 these biologics to work for a time and then  
13 lose effectiveness. In fact, 30 percent of  
14 respondents in our surveys experienced  
15 difficulties with currently available  
16 biologic therapies, with lack of efficacy,  
17 loss of efficacy, and side effects being the  
18 top three reasons for difficulty.

19 Those same surveys found that  
20 one-third of psoriasis patients are very  
21 unsatisfied with their treatment options.  
22 Because ustekinumab is based on a novel

1 mechanism of action, the availability of this  
2 drug for the treatment of psoriasis would  
3 create another important option for people  
4 with difficult to manage disease. In  
5 addition, compliance is likely to be good due  
6 to the long-lived efficacy and the infrequent  
7 dosing of the drug.

8 Pain, disability, loss of  
9 productivity, low self-esteem, fear,  
10 psoriasis brings all that and more to the  
11 lives of people affected. That's why people  
12 with psoriasis are willing to take great risk  
13 and to go to great lengths to find treatments  
14 that work.

15 The National Psoriasis Foundation  
16 encourages patients to consult with their  
17 physicians to weigh the benefits of all  
18 systemic treatments, including ustekinumab,  
19 with the known and unknown risks. The  
20 Foundation supports plans that would enhance  
21 the understanding of the long-term risks and  
22 potentially mitigate them.



1                   On behalf of the National Psoriasis  
2           Foundation and the millions of people with  
3           psoriasis in the United States today, and  
4           those who have yet to but will develop the  
5           disease, we urge you to today strengthen and  
6           expand the treatment choices for psoriasis  
7           patients by supporting the approval of  
8           ustekinumab.

9                   Thank you.

10                  DR. BIGBY: Thank you.

11                  MS. WAPLES: Number 4.

12                  DR. MENTER: Dr. Bigby, members of the  
13           advisory committee, FDA members, patients,  
14           consultants and guests, my name is Alan Menter,  
15           and I am a practicing dermatologist in Dallas at  
16           Baylor University Medical Center, where I spend  
17           approximately 60 percent of my time involved in  
18           both clinical psoriasis treatment as well as  
19           research.

20                   I'm here today in my personal  
21           capacity representing the International  
22           Psoriasis Council, an international group of

1 leading scientists and dermatologists  
2 worldwide with an interest in science,  
3 research, and treatment of psoriasis. We  
4 represent 17 countries internationally. I  
5 currently serve as its president.

6           From a conflict of interest  
7 perspective, while I have certainly  
8 participated in clinical trials that you've  
9 heard about this morning for ustekinumab, and  
10 have been a consultant for Centocor as I have  
11 been for all the other biologic companies  
12 involved in psoriasis treatment and research,  
13 I personally have paid for my own airfare  
14 here today, and I do not own any stock in any  
15 companies, including Centocor.

16           So why am I here today? Why have I  
17 decided to personally come today? I have two  
18 brothers with psoriasis as well, so I've  
19 lived with psoriasis all my life. I remember  
20 vividly the days of methotrexate, 1971, when  
21 we first got approval -- and I was a young  
22 resident -- and how excited we all were to

1 get methotrexate.

2           In 1979, when Dr. Stern and his  
3 colleagues at Harvard gave us PUVA treatment.  
4 And then cyclosporine in the '80s and '90s,  
5 and then finally psoriasis joined the  
6 biologic era -- late, as compared to all  
7 other diseases, all other immune mediated  
8 diseases -- which psoriasis actually has far  
9 more patients than, including multiple  
10 sclerosis, rheumatoid arthritis, Crohn's  
11 disease, et cetera.

12           So what is it that makes this day  
13 unique for us? I think listening to the  
14 excellent presentations this morning, and  
15 particularly the excellent presentation by  
16 Laurie Graham on the mode of action of  
17 ustekinumab, I think we have to recognize  
18 that we have now the first drug that actually  
19 has a genetic, biological basis for treatment  
20 in psoriasis specifically.

21           In other words, we've heard a lot  
22 about IL-12, 23, we now have a very -- a gene

1 that is very specific for psoriasis that is  
2 directed at IL-12 and 23, which gives us a  
3 pathogenetic mechanism for treatment.

4           When I was here in 2003, along with  
5 Dr. Drake and others for alefacept, we had  
6 never heard of IL-17 or Th17 cells. These  
7 are now center stage in psoriasis, and this  
8 is the drug that addresses it. Does that  
9 mean that all other drugs that we've had  
10 before -- all the five biologic drugs and the  
11 three systemic drugs, the other eight drugs,  
12 are obsolete? Absolutely not.

13           I certainly treat patients today in  
14 our large clinic with all the other drugs,  
15 and have approximately in our clinic 800  
16 patients taking systemic therapy. I speak to  
17 my patients on a daily basis.

18           I think you've already eloquently  
19 heard from some of the patients the way they  
20 feel about the disease. Quality of life, as  
21 Dr. Kimball discussed, is a very, very  
22 important part of the process, and I think

1 anybody who negates the quality of life of  
2 this immune mediated systemic disease that  
3 has comorbidities on a par with the diseases  
4 I mentioned such as multiple sclerosis,  
5 rheumatoid arthritis, and Crohn's, has never  
6 seen a psoriasis patient or never lived with  
7 a psoriasis patient as we do on a daily  
8 basis.

9           So I've also considered the eight  
10 questions that have been posed to the  
11 advisory committee in the discussion this  
12 morning, and putting psoriasis registries  
13 into perspective, which I think is vitally  
14 important as you people make the informed  
15 decision about this drug, registries in  
16 dermatology are inherently difficult.

17           We've heard about the I Pledge  
18 registry, Dr. Leonardi, who's here today and  
19 myself actually are on the advisory committee  
20 for the PSOLAR Registry.

21           I just called our clinic at  
22 lunchtime today to find out in the six months

1       that we've been enrolling patients, we've  
2       heard it's taken 11 months to take PSOLAR  
3       underway, it took us about five or six months  
4       to get all the paperwork done. I think you  
5       posed a very eloquent question as to where  
6       are the numbers about these registries.

7                 We currently have 185 patients on  
8       infliximab. We have 36 patients enrolled in  
9       PSOLAR. It is extremely difficult. There's  
10      a number of patients who do -- refuse.  
11      There's patients I've known for 25 years  
12      saying, no, I don't want to do that. And  
13      they're there for three hours in our clinic,  
14      have all the time to do it.

15                Raptiva Registry that you've heard  
16      about as well, which was unique. Basically,  
17      we have approximately 100 patients taking  
18      Raptiva. We have 12 patients on the Raptiva  
19      Registry. That is not enough, and we are  
20      people who have an infrastructure in a clinic  
21      totally dedicated to psoriasis where we have  
22      nurses and staff who can help us.

1                   Practicing dermatologists  
2    unfortunately may not have the  
3    infrastructure, but yet I do believe  
4    registries are critical and important,  
5    particularly as we go through the  
6    ustekinumab.

7                   And the other important issue  
8    relating to numbers. Psoriasis still, after  
9    5-1/2 years of biologics, still only has  
10   55,000 patients taking biologics, which means  
11   less than 1 percent of the total U.S.  
12   community is taking a biologic drug as we  
13   speak. And the United States accounts for  
14   70 percent of the biologic use worldwide, so  
15   we are leading the world in biologics.

16                  We are under siege politically in  
17   the United States, and standing here near  
18   Washington, we are under siege politically,  
19   we are under siege economically. I don't  
20   want us to be under siege scientifically.

21                  Having traveled over the last few  
22   years -- few months, I might say, to Latin

1 America, to Asia, to Europe, our colleagues  
2 there look to us for leadership  
3 scientifically -- and in the psoriasis arena,  
4 I believe we have provided them with  
5 leadership over the last five years,  
6 witnessing the numbers of patients taking  
7 biologic therapy.

8           But yet, biologic therapy for  
9 psoriasis is still in its infancy. We are  
10 late to the game. We were early to the game  
11 with methotrexate -- 10, 15 years before  
12 rheumatologists ever used methotrexate, we  
13 had it approved for psoriasis. So please, I  
14 beg you, do not let psoriasis suffer, because  
15 we have 6 million patients out there, because  
16 we have expensive drugs -- psoriasis cannot  
17 be belittled in relationship to Crohn's,  
18 diabetes, rheumatoid arthritis, and other  
19 diseases of the immune system.

20           The quality of life of our patients  
21 are as adversely affected as in those  
22 patients as well.



1                   So finally, in my last minute, I do  
2 believe that we do have effective therapy for  
3 psoriasis. A great number of patients, as we  
4 heard, are still not currently taking therapy  
5 for psoriasis, and ustekinumab does have  
6 great promise.

7                   As Sir William Osler, the father of  
8 American medicine who spent a lot of his time  
9 here at Johns Hopkins, not too far away,  
10 said, "Listen to your patients. They will  
11 tell you." And as I proudly wear today my  
12 William Osler Society tie, I urge you to  
13 listen to patients and listen to the science,  
14 and hopefully, we can produce safe drugs that  
15 will be valuable to our patients for the long  
16 term.

17                   Thank you.

18                   DR. BIGBY: Thank you.

19                   DR. PARANZINO: It's tough to follow a  
20 giant like Dr. Menter. Now I know how that guy  
21 Rocco Mediate felt yesterday putting after Tiger  
22 Woods.

1           My name is Mike Paranzino. I'm the  
2           president of Psoriasis Cure Now, which is a  
3           patient advocacy group that I founded in 2005  
4           to advocate on behalf of the moderate to  
5           severe psoriasis patient population.

6           Psoriasis Cure Now has received  
7           unrestricted funding from Centocor as well as  
8           several of its competitors. We also receive  
9           hundreds of contributions annually from  
10          psoriasis patients and their families and  
11          friends. But I have a bigger conflict of  
12          interest which I want to disclose, and that  
13          is that for the last 20 years, I have had  
14          severe psoriasis. My brother has severe  
15          psoriasis. My mother has psoriasis. Two of  
16          my nieces who are in elementary school have  
17          psoriasis.

18          And I've made many friends in the  
19          last few years through Psoriasis Cure Now of  
20          people around the country. Most of them are  
21          e-mail friends who have devastating psoriasis  
22          that's negatively impacting their lives.

1                   So the decision you folks make  
2           today and that the FDA ultimately makes is  
3           likely to directly impact my life and that of  
4           my loved ones and friends.

5                   Psoriasis is a serious disease, and  
6           I feel that we have to go back to the basics  
7           and say that, because psoriasis has been  
8           traditionally defined through its moderate  
9           cases. And we're fortunate that two-thirds,  
10          maybe three-quarters of the cases aren't  
11          mild, but that has meant that the other  
12          proportion of us, maybe up to 2 million of  
13          us, have been sort of lost. No one would  
14          suggest that MS is mild just because the TV  
15          stars -- the guy, Montel Williams, is a huge  
16          star -- no one would define it that way.

17                   There are people with Asperger's  
18          Syndrome running companies and having  
19          wonderfully successful lives, but no one  
20          would suggest that autism spectrum disorder  
21          is not serious. But psoriasis has not been  
22          able to convey the devastation that it can

1       cause -- the patient community has not been  
2       able to do that yet. We have to keep working  
3       on it.

4                       Now, Drs. Kimball and Lebwohl made  
5       great progress towards that in their remarks  
6       earlier, but then as Dr. Thiers, if I'm  
7       pronouncing it right, highlighted, when a  
8       patient hears a non-life-threatening  
9       condition for which numerous therapies exist,  
10      I've got to tell you, it hits you the wrong  
11      way, and I wish that FDA as part of its  
12      presentation had included a segment conveying  
13      the seriousness of the disease. I'd like to  
14      hear that from my government and not just the  
15      people representing industry, so to speak,  
16      today. It would be wonderful to hear that  
17      from the FDA.

18                      I do want to take a minute and read  
19      a couple of excerpts from e-mails -- incoming  
20      e-mails that I just culled the other night  
21      from people that have written in the last 60  
22      days or so to Psoriasis Cure Now, because

1 they can't all be here -- and in two  
2 sentences, most of these people do a better  
3 job than I could do if I took the whole eight  
4 minutes.

5 A man wrote, "I've had psoriasis  
6 for 22 years. I'm tired and my family is  
7 suffering because of me. The only thing that  
8 has kept me from killing myself is my kids."

9 A woman wrote simply, "My life has  
10 been destroyed because of my psoriasis."

11 Another one says, "I'm 24 and fear  
12 that I will never find a girlfriend or wife  
13 because of finding my psoriasis too awful."

14 A man wrote, "I cry a lot. The  
15 pain that people go through is  
16 indescribable."

17 A woman wrote, "I'm 62 and have had  
18 psoriasis for six years. I struggle every  
19 day emotionally and mentally. At one point,  
20 I did not care if I died because I felt so  
21 nasty."

22 Another man writes, "I have had

1 psoriasis for nine years and it brings me  
2 tears whenever I see my skin. I always cry  
3 and ask God, why me? I didn't ask for fame  
4 or riches. All I want is to be normal like  
5 everyone else."

6 And e-mail after e-mail uses the  
7 word "normal," we find.

8 Another one. "I have had psoriasis  
9 for too many depressing years. I'm 43 and  
10 have had it since I was 17. It stopped me  
11 from being a Marine."

12 Another one. "I just want to feel  
13 normal."

14 Another one. "I usually do not go  
15 into public places because of this, and  
16 pretty much am a shut-in." Here's a  
17 36-year-old woman, self-described shut-in.  
18 "I just want to feel normal."

19 And I'll close with one because it  
20 conveys the panic and fury of someone when  
21 they're in a psoriasis flare, and I've been  
22 there and certainly you've seen your patients

1 in this spot. "Psoriasis is robbing me of my  
2 life. I can't sit or use the toilet without  
3 pain. My arms, legs, and back are getting  
4 crusty and cracking. I have it on my head so  
5 much that it never, ever stops itching. It  
6 is in my ears and progressing all over. It  
7 started in the bends of my body. It has  
8 become a creeping monster consuming me and I  
9 need help."

10 There's more, but you get the  
11 point. And what it conveys is even with five  
12 approved biologics -- oral systemics, UV  
13 light, topicals, we still have people in 2008  
14 in severe distress, and they need options,  
15 they need additional options.

16 Obviously, for whatever variety of  
17 reasons -- cost is an issue, insurance  
18 coverage is an issue, fear of the unknown, et  
19 cetera -- we're not reaching a lot of people.  
20 A couple of those e-mails came in the last  
21 week, so it's ongoing. And that is why I'm  
22 here, to urge you to support ustekinumab for

1 the treatment of moderate to severe  
2 psoriasis.

3 I think it hasn't been directly  
4 addressed. It's sort of been tangentially  
5 mentioned that approval and use in an actual  
6 clinical setting will help. It will speed  
7 probably a lot faster than a clinical trial  
8 setting. Actual patient years of actual  
9 patients with comorbidities in the real  
10 world, and if we could get the adverse event  
11 reporting system improved, that might take us  
12 a good distance toward getting the kind of  
13 answers we all want.

14 And believe me, I with a  
15 one-year-old, I would like to know the  
16 long-term implications of my psoriasis  
17 treatment, so I'm all for a vigorous  
18 post-approval system or systems,  
19 studies -- and again, if we need  
20 Congressional action on action event reports  
21 to strengthen it, let's hear it from this  
22 committee.



1                   We have media in the back. We have  
2                   the FDA here. Let's make it happen. We have  
3                   the National Psoriasis Foundation, best in  
4                   the business, we'll take it to the Hill.  
5                   Let's do what we need to do to improve the  
6                   system, but people are suffering today. I've  
7                   met a few people 0 for 5 in biologics, which  
8                   seems hard to believe. They're almost out of  
9                   options. People laugh at me. I have about  
10                  30 percent BSA right now. Why am I not  
11                  trying other options? I've been there when  
12                  I've been out of options when I was in the  
13                  hospital in 1990. I'm literally saving some  
14                  in case everything falls apart.

15                  So in closing -- by the way, as an  
16                  aside, I do support -- I believe we should  
17                  have a self-administration option. I watched  
18                  a nine-year-old in the playground the other  
19                  day do an insulin shot. I'm not even sure he  
20                  put his soccer ball down. Sub-cu is not  
21                  hard. I'm a needle chicken, and I can tell  
22                  you it's really not a problem.

1                   So in conclusion, if I can even  
2     find the card where I was going to  
3     conclude -- I'll conclude with this, which is  
4     whatever programs are put in place to address  
5     these efficacy and long-term safety questions  
6     and concerns, certainly this committee and  
7     the FDA and Centocor should work together and  
8     come up with a robust plan, and then Centocor  
9     has to fulfill the commitments it makes. And  
10    it's not enough to hide behind Johnson &  
11    Johnson or Centocor, these are individual  
12    commitments that some of you are going to  
13    make, and you have an individual  
14    responsibility to fulfill them for me and my  
15    brother and my nieces and all these people  
16    represented here.

17                   So thank you very much for the  
18    time.

19                   This debate is so exciting. I love  
20    the afternoon session. I've been to some of  
21    these before, and I'm grateful that you folks  
22    have committed your lives to helping

1 psoriasis patients.

2 DR. BIGBY: Thank you.

3 MS. CLEMENTS: Mr. Chairman, advisory  
4 committee, FDA, all guests and patients. I have  
5 no financial relationship with the sponsor or  
6 any pharmaceutical corporations, but the  
7 National Psoriasis Foundation did help me get  
8 here today.

9 My name is Ellen Clements, and I  
10 live in Rockland, Massachusetts. I'm 60  
11 years old. Why as a woman do I admit that?  
12 Because I was only diagnosed with psoriasis  
13 and psoriatic arthritis three years ago, but  
14 I've had it my whole life.

15 I was misdiagnosed as a child,  
16 because back then, there was little known by  
17 the general practitioners of the day. I had  
18 it on my elbows and I had it on my legs, but  
19 my parents were told that it was eczema. And  
20 then as a teenager, I started developing some  
21 infection, like in my navel, and the doctor  
22 said stop wearing your jeans so tight.

1                   And then as an adult, I developed  
2           severe plaque psoriasis on my head, but every  
3           doctor and even a dermatologist at the time told me  
4           that it was just severe dandruff.

5                   So many years passed with me trying  
6           to take care of it myself with just some  
7           over-the-counter stuff. But then everything  
8           changed. I went through a very, very  
9           stressful time at work. I was sent away for  
10          10 weeks on the road and during that 10 weeks  
11          of increased stress in my life, I had what  
12          you'd call a very severe flare. It came out.  
13          I had severe plaque all over my head. It  
14          came out all over my arms, my legs, in my  
15          ears, around my ears, and what wasn't talked  
16          about today very much, but it does attack  
17          every orifice of your body. And I started  
18          having peeling, cracking, bleeding, painful  
19          lesions.

20                   And at the end of that 10 weeks, I  
21          brought myself to a dermatologist who insisted take a  
22          culture or a biopsy of one of these lesions

1 on my body, and it was found that all this  
2 time, I had psoriasis.

3 So for the first several months, we  
4 used every lotion, potion, gel, cream,  
5 dandruff shampoo, and then medicated  
6 shampoos, steroid products -- and steroids  
7 scared me -- but nothing worked. So then I  
8 went on an oral therapy and UVB treatment  
9 three times a week for a year, and that, too,  
10 had some benefit, but it didn't really work  
11 very well. So I went on my first biologic,  
12 which took several months to get approved,  
13 and then when it did, it didn't work.

14 So at that point in time, it was  
15 decided that I should try the next level of  
16 biologic. But at that very point in time, a  
17 clinical trial became available, and I  
18 decided that maybe that's the way I should  
19 go.

20 Life has been difficult in so many  
21 different ways. The constant itching, pain,  
22 flaking, excessive layers of flakes that just

1 covered my home, my car, my office. It was  
2 all over my clothes. Professionally, it hurt  
3 my career. I was a senior vice president of  
4 a Fortune 500 company. All of the sudden I'm  
5 not around very much, I'm always at the  
6 hospital having treatments. And little by  
7 little, I saw myself being taken out of a  
8 highly visible position and sitting at a desk  
9 in an office where I wouldn't be seen so  
10 much. I decided to leave that company and I  
11 did, and I work for a very supportive company  
12 now, but I'm doing what I did 20 years ago,  
13 so that hurt in a lot of ways.

14 Now, I was only diagnosed three  
15 years ago, and at that time, I came to  
16 realize that two of my son's children had  
17 psoriasis, and all that time we thought they  
18 had eczema. So they have now been diagnosed  
19 and they're getting treatment, but the kids  
20 torment them. It's so sad that I can't help  
21 them in any way. They both play sports. And  
22 so the locker room has become a very bad

1 source of both embarrassment and also  
2 torment.

3 My daughter had a baby about a year  
4 ago. That's kind of what brought me here  
5 today. The fear in her eyes the day she came  
6 to me when that baby was three months old,  
7 because skin was peeling on her head, because  
8 she thought she was going to have what her  
9 mom had all these years. The fear in her  
10 eyes. I'll never forget.

11 The baby had cradle cap, which is  
12 common for babies, but the fear is still  
13 there, will she get it? My family has lived  
14 with me through these years and they've seen  
15 what it's done to me.

16 So I had two very clear things I  
17 needed to do. I needed to help. I'm  
18 participating in a Phase 3 clinical trial  
19 right now, which seems to be helping,  
20 thankfully. I've only had two shots so far.  
21 And I participate with the National Psoriasis  
22 Foundation and come to Capitol Hill each

1 year, and meet with legislators in an effort  
2 to find a cure.

3 I don't know where this journey's  
4 going to take me, but I know what I'm doing  
5 is important not just for me, but for my  
6 children, my grandchildren, your children,  
7 your grandchildren, the children's future.  
8 I'm reminded every day of the pain, the  
9 lesions, the humiliation, being excluded as a  
10 kid from being able to play in the pool.

11 So this disease really has to be  
12 stopped. We need more treatment options. So  
13 I'm here today to ask you to please consider  
14 that this isn't a terminal disease, but it  
15 kills a little piece of me and everybody else  
16 every day. So please help us by considering  
17 and approving what you're here to approve  
18 today.

19 Thank you.

20 DR. BIGBY: Thank you. The open  
21 public hearing portion of this meeting is now  
22 concluded, and we will no longer take



1        comments from the audience. The committee  
2        will now turn its attention to address the  
3        task at hand, the careful consideration of  
4        the data before the committee as well as the  
5        public comments.

6                    I just want to go back a little bit  
7        to pick up some questions and questioners  
8        that weren't covered this morning.

9                    First one would be Dr. Majumder.

10                   DR. MAJUMDER: I actually would have  
11        some questions for the presenters and the  
12        public, if that's permissible. One of you  
13        addressed the issue of self-administration, and  
14        I would just like to probe that a little  
15        further. I think the concern is not only that  
16        there might be issues with the actual injection,  
17        but that visiting the doctor's office on a  
18        regular basis -- say every 12 weeks, would be  
19        important for ensuring careful monitoring.

20                    At the same time, it may very well  
21        be burdensome to go into a doctor's office  
22        every 12 weeks, but I wondered if you'd

1 expand on your perspective on that particular  
2 issue -- looking at that piece of not just,  
3 you know, can you inject, but ensuring close  
4 monitoring.

5 MR. PARANZINO: I think in the reality  
6 of medical practice today -- for one, doctors  
7 continue to have an incentive to get the patient  
8 in once in a while because all those phone calls  
9 I do with my doc doesn't bring him a penny of  
10 revenue, so there's a natural incentive there,  
11 since we just talked about financial conflicts.

12 In addition, we can thank the trial  
13 lawyers -- there's malpractice reasons as  
14 well -- most derms are not going to write a  
15 three-year prescription and say, go take your  
16 12 shots, we'll see you in three years. So I  
17 think there's natural -- there's a natural  
18 control in not getting out of hand.

19 And also, the reality if you do  
20 make it four times a year and you have to go  
21 in, you fight, you set up the appointment,  
22 you go in, it's going to be a wave with your

1 doc, and you're going to see your nurse for a  
2 minute, get your shot, a little bit of small  
3 talk. I think we just -- we have to look at  
4 the real world of how it's likely to play  
5 out, and not just wouldn't it be nice if we  
6 all met with our doctor every 90 days.

7           Actually, I do meet with my doctor  
8 every 90 days, but I think that's rare.

9           DR. MAJUMDER: I actually had some  
10 questions carried over from the earlier  
11 discussion, if that's okay. I can address those  
12 now. One of them was for Dr. Jadhav.

13           I'm a layperson. I'm wondering if  
14 you could help me. The sponsor, I think it  
15 was slide 78, I don't know if you can pull it  
16 up -- but in terms of the two-step versus  
17 three-step, had presented some data that  
18 seemed to support two-step, or suggested that  
19 for the middle group, it really didn't make a  
20 difference according to their data.

21           And I wondered if you could comment  
22 on, if not the specific slide, just if you

1 recall that data suggesting that for that  
2 intermediate weight group, at least in their  
3 study, it didn't seem to make a difference to  
4 have a higher dose.

5 Is it possible to pull up a slide  
6 from the morning? It was 78.

7 DR. BIGBY: While they're finding  
8 the slide, did you have other questions?

9 DR. MAJUMDER: No, that's it.

10 DR. BIGBY: Do you have it? Rob,  
11 do you want to ask a question about the  
12 survival of the patient that has the genetic  
13 defect in p40?

14 DR. STERN: Yes. I was wondering if  
15 there was any data on survival in those people  
16 who are IL-12-deficient and in their relatives,  
17 and also the pattern of disease seen in them  
18 beyond the two infections that were talked  
19 about.

20 DR. JADHAV: Can I answer the previous  
21 question?

22 DR. BIGBY: Just hold on.

1 DR. JADHAV: No problem.

2 DR. ELLIOT: Thank you. I'm Michael  
3 Elliot from the Clinical Immunology Group at  
4 Centocor. There are various genetic defects  
5 that have been described in various parts of  
6 the -- shall we say interferon gamma  
7 pathway -- and the relevant defects with regard  
8 to our discussion today are the defects that  
9 occur in IL-12 p40 or in its receptor, and the  
10 specific clinical syndromes that these patients  
11 present with include disseminated BSG infection  
12 when they receive a BSG vaccine, regular  
13 mycobacterium tuberculosis, and environmental  
14 mycobacterial infections. As you're aware,  
15 we're all exposed to these environmental  
16 mycobacteria all the time through soil and water  
17 exposure.

18 The pattern is interesting in that  
19 these infections generally present during  
20 childhood. And from the data that I've seen,  
21 at least with regard to environmental  
22 mycobacteria, once the patient is identified

1 and treated, it's rare for these to recur and  
2 the patients survive very well.

3           There have been examples of  
4 patients who have presented with  
5 mycobacterium tuberculosis or TB or with a  
6 disseminated salmonella infection as well,  
7 and they can have a more serious outcome if  
8 they're not recognized and treated early, and  
9 there have been deaths reported amongst those  
10 patients.

11           But if you read the review papers,  
12 you'll see that the authors describe the  
13 phenotype in general as surprisingly limited  
14 and surprisingly mild compared with what we  
15 might have predicted from mouse studies.

16           Does that answer your question?

17           DR. STERN: Yes, thank you.

18           DR. JADHAV: Can I have the slide up?  
19 Okay. So let me rephrase the question. Also,  
20 let me know if I understand your question. Your  
21 question is, from the data shown by the  
22 sponsor -- which is my slide, the numbers are

1 similar so exactly similar slide, but it's a  
2 part of my backup slides.

3 So what was shown by the sponsor is  
4 less than 70kg on a 45 and 90, there's no  
5 difference, but 70 to 100 also, you don't see  
6 any difference.

7 However, the difference is seen in  
8 greater than 100. And your point, I think,  
9 is the data does not show any difference and  
10 the model does show a difference, so what I'm  
11 going to do is I'm going to show two database  
12 evidence why I think this particular graph  
13 could be misleading, and also I'm going to  
14 offer an explanation why model does what it  
15 does.

16 So what I did is -- as you know,  
17 this data came from a 12-week time point from  
18 a 45mg and a 90mg treated patients. But we  
19 also know that there is one more group,  
20 placebo patients was switched over to 45mg  
21 and 90mg, so if you consider that at week 24,  
22 which accounts to week 12 -- I included in

1 the data just side by side comparisons. Now  
2 what happens is the 70mg group, 50 patients  
3 per round -- in 90mg, there's an additional  
4 150 patients, now it shows difference.

5 The question you should be asking  
6 is why. And let me put it in perspective of  
7 how much of a difference we are talking here,  
8 about 6 to 8 percent. Anybody who has done a  
9 mortality/morbidity study that has a small  
10 difference would know that the comparison on  
11 the left as well as right does not have  
12 enough power to detect the difference.

13 That's the first database evidence.  
14 And the second database evidence is -- if I  
15 can use sponsor's slide, please, slide  
16 No. 73 -- yeah, so the slide No. 73 is again  
17 PASI 75 response at week 28 for 45mg and  
18 90mg-treated patients. If you look at now,  
19 these are divided into three subgroups, which  
20 I'm pointing at 70, 80 and 100, what you see  
21 is 45 and 90, there is a definite difference  
22 at each point.



1                   Why did this happen?

2                   There's another point to the power.

3                   It is not just the sample size, it's the  
4                   duration of the study. So if you go later  
5                   than 28 weeks, you're able to see the  
6                   differences. So 90mg does offer more benefit  
7                   even to 70 to 100 -- that's my  
8                   conclusion -- than 45mg.

9                   I'll get -- these are my two  
10                  database evidence which I think should be  
11                  considered. And I'll go back to my models  
12                  that I showed you to tell why the model does  
13                  what it does, because -- see, in the model,  
14                  it does not really regress with respect to  
15                  weight; it brings in concentrations into the  
16                  picture.

17                  And with respect to concentrations,  
18                  we have seen that there is this continuous  
19                  relationship, so the weight is implied, not a  
20                  part of the model per se. So model operates  
21                  under -- if I can use a loose  
22                  term -- infinite (?) sample of

1 assumption -- so if you were to design the  
2 last study (?) to detect those differences, I  
3 am convinced that you will see differences in  
4 70 to 100.

5 Does that answer your question?

6 Thank you.

7 DR. BIGBY: Rob.

8 DR. STERN: I have sort of a related  
9 question. If you look at those graphs, you  
10 wonder whether we're really dosing small people  
11 at 45mg at what is a reasonable minimal  
12 effective dose -- just as we may be overdosing  
13 in maintenance, are we perhaps overdosing part  
14 of the population and not having really  
15 established the minimum effective, and therefore  
16 the safest dose?

17 DR. JADHAV: I agree. After you asked  
18 the question in the first round, I thought about  
19 it. And so far, I would say we don't have a lot  
20 of data to conclude even if the lower doses  
21 would be beneficial or would maintain. There is  
22 some data, because if you look far out to

1 week 28, the lower concentration subgroup does  
2 do a little worse than the high concentration.  
3 So partly, there is data to suggest that you do  
4 need high concentration even later on, but I'm  
5 not sure post-week 40 or so if those differences  
6 will still play out, but we don't have data to  
7 support that.

8 DR. STERN: I think mine is a more  
9 general question. If you look at how we use  
10 old-fashioned systemic agents -- higher doses of  
11 methotrexate work better, but they're more  
12 toxic, and there's always a tradeoff between  
13 response, whether it's percent response or  
14 percentage response and dose, and when I look at  
15 your curves where light people had the very,  
16 very high response rates, you just wonder, are  
17 we optimally dosing with respect to risk versus  
18 benefit?

19 DR. JADHAV: I don't have a comment.  
20 I guess that's the exact discussion, I'm saying.

21 DR. BIGBY: Thank you.

22 DR. JADHAV: Thank you very much.

1 DR. BIGBY: I think that we should  
2 forge ahead here and start to address the  
3 questions.

4 DR. JONES: Mr. Chairman, can we --

5 DR. BIGBY: Yes. You mean about  
6 the -- yes. Correct. Yes, please.

7 DR. KRUEGER: Hi. For those of you  
8 who don't know me, I'm Jim Krueger. I'm a  
9 dermatologist. I'm a professor at Rockefeller  
10 University. And actually, my lab has been  
11 responsible for much of the information about  
12 the inflammatory basis of psoriasis, the  
13 cytokines that are involved, and the  
14 inflammatory pathways.

15 I am a little bit concerned about  
16 the way the discussion has gone to frame this  
17 particular antibody. And so I need to make a  
18 general comment about this, and I would ask  
19 that the slides that I brought along with me  
20 could be brought up. So if I can have the  
21 slide up.

22 So one of the things that we have

1       been trying to do in psoriasis is to identify  
2       the critical pathways --

3               DR. BIGBY:  You were asked to  
4       address the question about the --

5               DR. KRUEGER:  This is --

6               DR. BIGBY:  No, no.  The question  
7       was about what the other biologics -- what  
8       data was presented in terms of their numbers  
9       and length of follow-up.  We're not going to  
10      have another lecture.

11              DR. KRUEGER:  May I make 15 seconds of  
12      comment?  No, you're denying me that?  I will  
13      take the slide -- the last slide in this.

14              I was present at both of the  
15      advisory committees that met to consider  
16      alefacept and efalizumab.  Both of these were  
17      new immune inhibitors that were brought into  
18      the treatment of psoriasis, and for which  
19      psoriasis had been the only major test  
20      indication in humans for these.

21              Alefacept was the first drug  
22      approved for psoriasis, and it is a fusion

1 protein that binds to CD2, and therefore has  
2 depleting effects on memory T-cells. So  
3 there are -- were some concerns about immune  
4 suppression -- in fact, it demonstrated  
5 immune suppression mechanism, and as you  
6 heard, a malignancy signal that occurred in a  
7 non-human primate.

8 At the time the drug was approved,  
9 there had been 756 patients that were treated  
10 with two courses of this drug. The way this  
11 drug is given is a 12-week weekly infusion,  
12 followed by 12 weeks off drug in order to  
13 allow lymphocyte recovery. And so the two  
14 cycles of treatment is approximately 48  
15 weeks, or about one year of treatment.

16 So at that time, there was safety  
17 data on 756 patients, and some slightly  
18 larger number of patients that had been  
19 treated with a single course of this fusion  
20 protein.

21 Efalizumab was brought into  
22 psoriasis as an immune-mediated disease, and

1 at the time of approval, there had been quite  
2 a few patients -- more than a thousand that  
3 were exposed to a short course of  
4 treatment -- but only 218 patients on whom  
5 there were safety data for one year of time.  
6 And so these are two examples of drugs where  
7 the first indication in man is psoriasis, but  
8 there is not prior safety data, and where the  
9 decisions were made on smaller numbers of  
10 patients than you have here.

11 DR. BIGBY: Thank you. I should  
12 mention to the members on the panel that the  
13 Agency this year would like for us to have a  
14 simultaneous vote as opposed to going around  
15 the table and decide who's voting yes or no.  
16 So that at the point that I call for a vote,  
17 we'll sort of vote with a show of hands.  
18 This does not preclude a prior discussion of  
19 each question, which we will commence now  
20 with question one.

21 So the first question is, has the  
22 applicant provided sufficient information to

1 demonstrate efficacy of ustekinumab in the  
2 treatment of plaque psoriasis? And the floor  
3 is open to comments.

4 I was about to say I really like  
5 this, we can go on and vote, but --

6 DR. HECKBERT: My apologies,  
7 Dr. Bigby. So comments and questions, I assume?

8 DR. BIGBY: Yeah.

9 DR. HECKBERT: You can hear me now?  
10 Yeah, I'm not a practicing dermatologist, but I  
11 would ask some of the practicing dermatologists  
12 in the group -- my concern would be that if I  
13 were a practicing dermatologist, I wouldn't know  
14 how to conduct the long-term therapy with this  
15 drug. We have information about the first 12 to  
16 48 weeks, but what to do -- this disease goes on  
17 for years and years, as we've heard eloquently  
18 from people in the public. Will physicians know  
19 how to dose it over the long-term?

20 And then I also have concerns about  
21 a lack of information about immunogenicity.  
22 We've heard about some of the other biologics



1     losing their effectiveness over time. We  
2     really -- from what we've heard today, we  
3     don't have good information about the  
4     immunogenicity, and I would wonder, as a  
5     physician who wanted to treat patients, what  
6     can I tell the patient about what's likely to  
7     happen in terms of them becoming resistant to  
8     this drug over time?

9             DR. KATZ: In answer to your question,  
10    the way we usually dose -- not having experience  
11    with this drug -- whether it be methotrexate,  
12    Embrel, whatever, is the patient does well and  
13    we gradually decrease the dose, either the  
14    interval or the dose. That's how it's generally  
15    done. The same thing with topical.

16            DR. HECKBERT: Do you think that  
17    dermatologists will know what to do here, or  
18    would it be helpful for your average  
19    dermatologist to have some guidance from the  
20    sponsor on this over the long-term?

21            DR. KATZ: The sponsor has shown us  
22    that if you stop the drug, it gradually

1 decreases their effectiveness. It's not a cure.  
2 So you would automatically intrinsically know if  
3 the patient is clear, you don't want to keep  
4 giving the same drugs.

5 As far as the immune effects, that,  
6 we can discuss separately with safety, I  
7 think.

8 So that's a separate question.

9 DR. BIGBY: This issue is actually  
10 one that dermatologists live with all the  
11 time, because I mean, most of the studies of  
12 almost all the things we used are based on  
13 short-term studies. There are very few sort  
14 of chronic studies of anything, especially  
15 these disorders like psoriasis, atopic  
16 dermatitis, so this is a reality for every  
17 other comparative drug and it's just a  
18 reality of practice.

19 I think that that will be figured  
20 out in clinical practice, and I -- I mean, I  
21 think that that's how basically we live in  
22 practice.

1                   So other comments?

2                   DR. THIERS: Well, yeah. I'll just  
3 echo what Michael said. It's kind of like  
4 dosing diabetes. I mean, there's no set dosing  
5 regimen for diabetes. You kind of play it by  
6 ear, depending on how the patient responds.

7                   DR. BIGBY: So if there's no  
8 objection, I'd like to just see by show of  
9 hands how many think in the affirmative that  
10 the sponsor has demonstrated efficacy of the  
11 drug in the treatment of plaque psoriasis.

12                   So if you say yes to this question,  
13 raise your hand.

14                   Now, just for my information, the  
15 voting starts here and ends with Dr.  
16 Shwayder; is that correct? I mean, these are  
17 the only people that can vote, though, right?

18                   DR. WALKER: Yes. That's correct.

19                   DR. BIGBY: So now we have to go  
20 around, and for each of you that raised your  
21 hand, just make a comment about why you voted  
22 in the -- so you have to identify yourself

1 and say why you voted in the affirmative.

2 DR. SHWAYDER: I thought the data  
3 convincingly showed that it worked.

4 DR. RINGEL: There seems to be a  
5 statistically significant difference from  
6 placebo, and there seems to be a clinically  
7 significant difference.

8 DR. HECKBERT: Yes, I felt the data  
9 showed efficacy.

10 DR. DRAKE: I think the data showed  
11 efficacy.

12 DR. CRAWFORD: Clear efficacy was  
13 demonstrated in the placebo trials. Less clear  
14 are the comparisons that were made with the  
15 existing (inaudible) because of the different  
16 way of looking at it, but it's clearly  
17 efficacious.

18 MR. LEVIN: Did you want names, or  
19 not?

20 DR. BIGBY: Yes, we need to know  
21 your name. Yes.

22 So I guess we'll start over.

1 DR. SHWAYDER: Tor Shwayder. I  
2 thought the data showed efficacy.

3 DR. RINGEL: This seems very silly.  
4 Eileen Ringel. I thought it was a statistically  
5 significant difference, and that statistically  
6 significant difference was also clinically  
7 significant.

8 DR. HECKBERT: Susan Heckbert. I  
9 think the data showed efficacy.

10 DR. DRAKE: Lynn Drake. Showed  
11 efficacy.

12 DR. CRAWFORD: Stephanie Crawford.  
13 Efficacy demonstrated -- less clear in  
14 comparison with the existing therapies of the  
15 advantages.

16 MR. LEVIN: Arthur Levin. Data  
17 demonstrated efficacy.

18 DR. THIERS: Bruce Theirs. The data  
19 demonstrated efficacy.

20 DR. BIGBY: Michael Bigby. I was  
21 admonished that I'm supposed to give the  
22 total. There were 11 yes votes. And if you

1 look -- if you compare the efficacy in terms  
2 of PASI 75 and PASI 90 data for this drug,  
3 it's quite striking how well it works  
4 compared to other things that we have  
5 available.

6 DR. MAJUMDER: Mary Majumder. Data  
7 demonstrated efficacy.

8 DR. STERN: Rob Stern. Clearly  
9 effective in the population studied. Still big  
10 questions about whether it does very much -- or  
11 how long it works for in a chronic disease and  
12 what optimal dosing is, particularly long term.

13 DR. KATZ: Robert Katz.  
14 Unquestionable efficacy.

15 DR. BIGBY: Addressing the same  
16 question, all those that would vote no on  
17 this question, raise your hand. So there  
18 were no no votes.

19 So we move on to the second  
20 question. And again, it is, the applicant  
21 has proposed dosing every 12 weeks. Has the  
22 applicant provided sufficient information to

1 support this dosing schedule?

2 The floor is open for discussion.

3 DR. CRAWFORD: Thank you,  
4 Mr. Chairman. A point of clarification, please.  
5 Would this still be an initial dose then a  
6 four-week dose, then after that, every 12 weeks?

7 DR. BIGBY: Yes. I think you raise  
8 an important issue, and I think the FDA's  
9 going to have to address this, because at  
10 least for the questions that are in front of  
11 us, they don't want us to fix the wording of  
12 the questions,

13 So Dr. Walker, you're going to have  
14 to tell us what you want to do, because the  
15 study actually in the initial treatment  
16 period, was every four weeks. So what do you  
17 mean by this question?

18 DR. WALKER: Right. The clarification  
19 is consistent with the initial dosing and then  
20 the Q-12 weeks. Because there was Q-12 weeks,  
21 there was some eight-week dosing, et cetera, et  
22 cetera.

1 DR. SHWAYDER: I have a comment.

2 DR. BIGBY: Hold on a second.

3 If you want us to vote with this  
4 question, it's going to have to be clearer  
5 what it is that we're --

6 DR. WALKER: If you answered yes to  
7 this question, it would assume that after the  
8 initial loading doses, or the two doses, that it  
9 was every 12 weeks as opposed to every eight  
10 weeks, or 12 and then 8, et cetera. I think  
11 it's fairly straightforward.

12 DR. BIGBY: So the question really  
13 is about the maintenance dose then --

14 DR. WALKER: That's correct.

15 DR. BIGBY: Giving it every 12  
16 weeks. Does that answer your question?

17 DR. CRAWFORD: I guess I have to ask a  
18 point of clarification. We're talking about  
19 dosing. Which dosing?

20 DR. BIGBY: I think it's just -- I  
21 think at this point, we're just dealing with  
22 the interval for maintenance, and the amount



1 is to be discussed.

2 DR. DRAKE: Thank you.

3 DR. SHWAYDER: My comment is the  
4 following: that yes, they showed the Q-12 week  
5 for a good part of their study work. I'm always  
6 very leery of putting into hard writing  
7 something the doctor must do, because we'll  
8 probably find some single nucleotide  
9 polymorphisms that need every two weeks and some  
10 that need ever 52 weeks, and I don't want, at  
11 some point in the future, our hands being tied  
12 that we have to do it every 12 weeks because  
13 that's just the way their initial study did it.

14 DR. BIGBY: But is there any drug  
15 like that?

16 DR. SHWAYDER: It's more that it  
17 should be worded in such a way that it gives the  
18 physician -- when the final wording comes out, I  
19 would like it worded in such a way that it's  
20 recommended rather than mandatory.

21 DR. BIGBY: Other comments?

22 DR. KATZ: Yes. Concerning that, to

1 support what Tor said, we vary that with  
2 methotrexate as well. I mean, give it every  
3 week, patient's doing well, do it every two  
4 weeks. So that's commonly done.

5 DR. STERN: I guess I interpreted the  
6 question differently. It's really, is the  
7 evidence they presented sufficient to support a  
8 12-week interval, which is different than what  
9 might be the guidelines in clinical practice.

10 DR. WALKER: That's very clear and  
11 very reasonable.

12 DR. BIGBY: So I think we'll put  
13 this one to the vote. So all those that  
14 would vote yes on question two, please raise  
15 your hand.

16 We have 11 yes votes? Okay. And  
17 people who vote no on this question? And  
18 were there any abstentions? So there are 11  
19 yes votes.

20 For variety, we'll go  
21 counterclockwise.

22 Dr. Katz?

1 DR. KATZ: Do you want just to state  
2 the vote?

3 DR. BIGBY: I guess you have to say  
4 your name again.

5 DR. KATZ: I think I remember that.  
6 Robert Katz. Yes. The variation of the dose  
7 will come in the next question.

8 DR. STERN: The other Robert just took  
9 my answer away. Yes for interval. I'm not sure  
10 about minimal effective dose for this.

11 DR. MAJUMDER: Mary Majumder. Yes.

12 DR. BIGBY: Michael Bigby. Yes.

13 DR. THIERS: Bruce Thiers. Yes.

14 MR. LEVIN: Arthur Levin. Yes.

15 DR. CRAWFORD: Stephanie Crawford.  
16 Yes. It seemed pretty consistent with all the  
17 data that showed the 12-week mark, that curve  
18 started going down.

19 DR. DRAKE: Lynn Drake. Yes.

20 DR. HECKBERT: Susan Heckbert. Yes,  
21 they did show it for the period of time that  
22 they studied it. Yes.

1 DR. RINGEL: Eileen Ringel. Yes. I  
2 think the data did support it. I hope that  
3 people read the package and start to see that  
4 some incomplete responders needed eight-week  
5 dosing.

6 DR. SHWAYDER: Tor Shwayder. Yes.  
7 And I agree with what Dr. Ringel just said.

8 DR. BIGBY: The third question is,  
9 please discuss the alternative weight-based  
10 dosing paradigms. Which dosing regimen do  
11 you recommend? Obviously, this is not a yes  
12 or no one.

13 The floor is open.

14 DR. RINGEL: For me, I think we have  
15 to decide something first. We have to decide if  
16 this drug is going to be given by patients or  
17 administered in the doctor's office, because if  
18 it's administered by patients, that might be  
19 difficult. In a doctor's office, I see no  
20 reason why you couldn't use continuous mg/kg  
21 dosing. But I think that's something we need to  
22 decide on first.