

U.S. FOOD AND DRUG ADMINISTRATION

and

INFECTIOUS DISEASES SOCIETY OF AMERICA

+ + + + +

ISSUES IN THE DESIGN AND CONDUCT OF CLINICAL  
TRIALS OF ANTIBACTERIAL DRUGS IN THE  
TREATMENT OF COMMUNITY-ACQUIRED PNEUMONIA

+ + + + +

WORKSHOP

+ + + + +

THURSDAY,  
JANUARY 17, 2008

+ + + + +

The workshop convened at 8:00 a.m.  
in the Kennedy Ballroom of the Crowne Plaza  
Hotel, 8777 Georgia Avenue, Silver Spring,  
Maryland.

CO-CHAIRS:

THOMAS R. FLEMING, PhD, Professor of  
Biostatistics, University of Washington  
EDWARD COX, MD, MPH, Director, Office of  
Antimicrobial Products, Office of New Drugs,  
CDER, FDA

DAVID GILBERT, MD, Chief of Infectious  
Diseases and Director of Earle A. Chiles  
Research Institute, Providence Portland  
Medical Center and Professor of  
Medicine, Oregon Health and Science  
University

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## RAPPORTEUR:

BRAD SPELLBERG, MD, FIDSA, Assistant Professor  
of Medicine, Geffen School of Medicine  
at UCLA, Division of Infectious  
Diseases, Harbor-UCLA Medical Center

## PANELISTS:

JOHN POWERS, III, MD, FIDSA, Science  
Applications International Corporation in  
support of the Collaborative Clinical  
Research Branch, NIAID, NIH, and  
University of Maryland School of  
Medicine, and George Washington School  
of Medicine and Health Sciences

FREDERICK NOLTE, PhD, D(ABMM), F(AAM),  
Professor of Pathology and Laboratory  
Medicine, and Director of Clinical  
Laboratories, Medical University of  
South Carolina, Charleston

MICHAEL NIEDERMAN, MD, Chairman, Department of  
Medicine, Winthrop-University Hospital  
Professor of Medicine, and Vice-Chairman

MICHAEL FINE, MD, MSc, Director, Center for  
Health Equity Research and Promotion, VA  
Pittsburgh Healthcare System

TIMOTHY F. MURPHY, MD, UB Distinguished  
Professor, Departments of Medicine and  
Microbiology & Chief of Infectious  
Diseases, University at Buffalo, State  
University of New York

KAREN HIGGINS, ScD, Statistical Team Leader,  
Division of Biometrics IV, CDER, FDA

THOMAS M. FILE, JR, MD, MSc, MACP, FIDSA,  
FCCP, Professor, Internal Medicine and Head,  
Infectious Disease Service, Northeastern  
Ohio Universities College of Medicine,  
and Chief, Infectious Disease Service,  
Summa Health System

ROGER M. ECHOLS, MD, Chief Medical Officer,  
Replidyne, Inc.

BRUCE PSATY, MD, PhD, Professor, Medicine,  
Epidemiology, and Health Services,  
Cardiovascular Health Research Unit,  
University of Washington

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

GEORGE H. TALBOT, MD, President, Talbot  
Advisors, LLC

OTHER PARTICIPANTS:

ROBERT TEMPLE, MD, Associate Director for  
Medical Policy, FDA

ROBERT O'NEILL, MD, FDA

JOHN S. BRADLEY, MD, FIDSA

KATHERINE A. LAESSIG, MD, Deputy Director,  
Division of Anti-Infective and Ophthalmology  
Drug Products, CDER, FDA

DENNIS DIXON, PhD, NIH

TATIANA OUSSOVA, MD, MPH, Medical Officer,  
Division of Anti-Infective and Ophthalmology  
Drug Products, CDER, FDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## TABLE OF CONTENTS

	PAGE
Welcome by co-sponsors .....	5
How can current and emerging..... science improve clinical trials of antibacterials designed to determine safety and efficacy in the treatment of community-acquired pneumonia?	19
Q&A Panel.....	56
Molecular diagnostics to detect..... viral and bacterial pathogens (Frederick Nolte, PhD, D(ABMM), F(AAM)	99
Q&A Panel.....	118
Prospects for procalcitonin as a..... new biomarker (Michael Niederman, MD)	131
Q&A Panel.....	158
How severe is the pneumonia: PORT scores .....	161
(Michael Fine, MD, Msc)	
What criteria should be addressed..... to do a credible non-inferiority trial and why is this important? (Thomas Fleming, PhD)	178
Q&A Panel.....	206
Clinical endpoints of therapy..... to include patient-recorded observations (David Gilbert, MD)	230
Q&A Panel.....	247

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS  
(Afternoon Session)

ITEM	PAGE
Does literature document a treatment..... effect relative to placebo? TIM F. MURPHY	271
Overview of recent CAP trials, non-..... inferiority trial design and endpoints. KAREN HIGGINS	301
What are potential designs for a..... superiority trial for mild CAP? TOM FILE	325
The perspective of industry..... ROGER ECHOLS	363
Questions & Answers..... PANEL	390
Issues in evaluating..... drug safety in CAP BRUCE PSATY	421
Evaluation of drug safety in CAP..... TATIANA OUSSOVA	438
Industry experience and..... importance in monitoring safety GEORGE TALBOT	447
Questions & Answers/Discussion.....	469
Adjourn	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P-R-O-C-E-E-D-I-N-G-S

(8:01 a.m.)

DR. GILBERT: I believe it's time to get started. The co-convenors are very anxious that we remain on time today in order to cover the subject. We have a lot of meaty presentations, and we want to be sure there's plenty of time for discussion, so we're going to try to strict -- to stay strictly to the time schedule as listed.

I don't think we have to emphasize to this audience the importance of community-acquired pneumonia as a major cause of morbidity and mortality. We have less than perfect therapies for pneumococcal pneumonia, necrotizing pneumonia from community-acquired MRSA and multi-drug resistant gram-negative bacilli, so clearly there is a need to ensure ongoing discovery and development of antibacterials for community-acquired pneumonia.

It's long been a dream, at least my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 personal dream, to get colleagues from  
2 industry, academia, and the FDA together in  
3 the same room to collectively create solutions  
4 for a mutual problem, and here we are, and so  
5 I'm most excited about what's going to  
6 transpire over the next couple of days.

7 I think all of us want a regulatory  
8 system that efficiently evaluates new drugs in  
9 a fair, balanced, and clinically relevant  
10 manner so that we can ensure licensure of safe  
11 and effective drugs that will meet the medical  
12 needs of patients and their physicians.

13 Obviously, a lot of people to give  
14 thanks to. This meeting came together very  
15 quickly thanks to Ed Cox and colleagues at the  
16 FDA. I want to thank them for their  
17 leadership, financial support, and  
18 forbearance, especially with the neophyte that  
19 I am dealing with the bureaucracy and not  
20 knowing what I was doing half the time. Ed  
21 has been very helpful.

22 Industry colleagues deserve thanks

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for participating and providing funding for  
2 publication of the proceedings of this  
3 meeting. The Clinical Infectious Disease has  
4 agreed to publish the proceedings. We want to  
5 thank the IDSA, who continues to recognize and  
6 support the need to facilitate the discovery,  
7 development, and licensure of new  
8 antibacterials.

9 So why is this such a challenge?  
10 Well, I think the operative word has been  
11 uncertainty, uncertainty in diagnosis -- only  
12 in a small percentage of the patients with  
13 current technology do we know the etiology of  
14 what we're treating -- uncertainty as to those  
15 endpoints that document a treatment effect,  
16 uncertainty as to the trial design that  
17 represents the gold standard.

18 Fortunately, with the faculty, the  
19 presenters that we have, I think we can  
20 address all of these issues, and the hope is  
21 that within the next two days we'll have a  
22 greater mutual understanding and hopefully

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 less uncertainty.

2 So a few housekeeping items.  
3 Strict adherence to scheduled speaking times,  
4 and to expedite that, the introduction of the  
5 speakers and the panelists, although they're  
6 all wonderful and world renowned, is going to  
7 be very, very brief. Copies of all their  
8 slides are included in the packet that you  
9 received.

10 In addition, if the audience wants  
11 to address questions, we have the microphones,  
12 of course, but also feel free to write out  
13 your questions on a notepad and pass them to  
14 the front. In addition, obviously, there will  
15 be time for interaction at breaks and during  
16 lunch.

17 We also changed the order a bit, so  
18 the program will have the safety section  
19 before the panel, so the panel will be the  
20 last hour of the day, and I want to warn the  
21 panelists, everybody in the horseshoe here,  
22 that we will ask you to distill within three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minutes your thoughts about all of the  
2 presentations that you are hearing during the  
3 day today. The idea is to get out everybody's  
4 thoughts and not miss any of the accumulated  
5 knowledge in the room.

6 And with that, I think I will turn  
7 this over to Ed Cox from the FDA and Tom  
8 Fleming.

9 DR. FLEMING: I'd like to add my  
10 welcome and to begin by thanking also my co-  
11 chairs, Ed Cox and Dave Gilbert, and with this  
12 mountain scenario here, I don't think Dave  
13 pointed out that this is the view from Ed in  
14 Washington, D.C., looking to the west, and  
15 there is Dave Gilbert there hiding behind Mt.  
16 Hood in Oregon, and the purple is me hiding  
17 behind Mt. Ranier in Washington State. So we  
18 are delighted to be here, to have all of you  
19 here.

20 There are many significant  
21 questions that we're going to be addressing  
22 here, and among the challenges that we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be facing as we look at optimal ways  
2 to design and conduct trials in CAP will be  
3 the issue of defining the disease and the  
4 indication, defining eligible subjects for a  
5 CAP trial, but, importantly, understanding the  
6 optimal way to design and conduct trials to  
7 provide reliable evidence, not just about the  
8 efficacy of an intervention but also about its  
9 safety to be able to empower us to understand  
10 the benefit-to-risk profile.

11 A couple of issues that will be  
12 significantly important. One of them is, as  
13 is the case in any trial, are what are the  
14 endpoints. What are the best endpoints to be  
15 using, particularly in a definitive trial or a  
16 registrational trial.

17 We certainly have many key  
18 endpoints that are radiological,  
19 microbiological, and other laboratory  
20 endpoints, and these measures are certainly  
21 very important for defining the disease,  
22 defining the population, assessing prognosis,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understanding what might be the optimal group  
2 of patients to be studied in a trial, but  
3 ultimately, as we're looking at a definitive  
4 study, we need to address what it is that a  
5 patient most cares about.

6 Patients take therapies to provide  
7 or to obtain tangible benefit. Measures,  
8 therefore, that unequivocally reflect tangible  
9 benefit are clinical efficacy measures such as  
10 reduction in mortality risk, resolution of  
11 symptoms such as shortness of breath or a  
12 cough and prevention of clinical complications  
13 such as other infections, meningitis, et  
14 cetera.

15 Well, these measures on proof of  
16 concept are correlated with these clinical  
17 efficacy measures and hence do provide proof  
18 of concept, and yet correlation is not enough  
19 to be able to say that an effect on a  
20 microbiological measure will reliably indicate  
21 whether we obtain such tangible benefit,  
22 partly because there are many mechanisms of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 action beyond, for example, a microbiological.

2           There are immuno-suppressive  
3 issues. There are many other issues that  
4 influence outcome, and an intervention could  
5 have many effects beyond those that are  
6 intended, and so the ultimate question is, as  
7 we look to obtaining reliable evidence of  
8 clinical benefit, are these the measures that  
9 we need to use, and if not, what is the  
10 scientific justification for using other  
11 measures?

12           Another set of challenges will be  
13 what's the control regimen as we're looking at  
14 studying a new antimicrobial intervention.  
15 Can we or should we be using placebo controls,  
16 or should we be using active controls?

17           And if we're using an active  
18 control, does it need to be a superiority  
19 trial, or could a non-inferiority trial be  
20 done? And a critical challenge in doing a  
21 non-inferiority trial is understanding what  
22 would be a scientifically valid margin, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then quality of study conduct issues also  
2 impact reliability of trials, issues that  
3 relate to enrollment, adherence, and  
4 retention.

5 So there are many challenges that  
6 we face. There are many significant  
7 questions. To be really useful, for this  
8 conference to be really useful to the  
9 scientific community and to the regulatory  
10 community, the objective of this workshop  
11 should be more than just providing opinions  
12 about what the answers are to these questions.

13 The objective really should be to put forward  
14 the scientific insights that will be critical  
15 to providing the enlightenment about what are  
16 these and defending what are the proper  
17 answers to these questions.

18 Ed.

19 DR. COX: Thank you, Tom. Good  
20 morning, and welcome, everybody. We're very  
21 pleased to have so many folks here today, both  
22 speakers, panelists, and also the audience in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 attendance, and I just wanted to make a few  
2 comments to add sort of an additional  
3 perspective to a lot of what's already been  
4 said and why this is so challenging.

5 And as I think about it, and I  
6 think back to, you know, the discovery and the  
7 initial clinical use of antibacterial drugs, I  
8 mean, it happened many years ago. No question  
9 it was a major advance. It led, you know, to  
10 a situation where you were able to effectively  
11 treat infections and prevent, you know, real  
12 morbidity and mortality.

13 You know, this led to the  
14 incorporation of antibacterial therapy really  
15 into clinical practice, but it was really  
16 before we had more sophisticated clinical  
17 trial designs and an understanding of clinical  
18 effects and other ways of looking at clinical  
19 trials and information such that we could have  
20 quantitative interpretation of the information  
21 such that, you know, the information that we  
22 need today, where we're really trying to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand the quantitative effect of  
2 antibacterial therapies in community-acquired  
3 pneumonia, and you'll notice this as we look  
4 at some of the data that we have, what we'll  
5 be presenting.

6 Mary Singer will be presenting some  
7 of the data from the historical studies of  
8 community-acquired pneumonia, and there are  
9 some real challenges looking at that  
10 information and how that correlates to what it  
11 is that we're studying today.

12 And, of course, it's very important  
13 that we understand treatment effect in  
14 community-acquired pneumonia trials because  
15 this allows us to design ethical, safe, and  
16 informative clinical trials, something we all  
17 want.

18 And then just a comment or two  
19 about the workshop. The workshop really is an  
20 opportunity for us to hear data and viewpoints  
21 really on this topic of community-acquired  
22 pneumonia and clinical trial design in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 community-acquired pneumonia from a number of  
2 different folks.

3 It's a good opportunity for us to  
4 discuss the available science and to develop  
5 thoughts on what we know and what we don't  
6 know about community-acquired pneumonia and  
7 treatment effect, and as I've mentioned,  
8 understanding treatment effect is critical to  
9 our designing safe and informative clinical  
10 trials.

11 We really look forward to all the  
12 discussions, and I think a very rich  
13 discussion will take place over the next  
14 couple of days as we work through this  
15 information.

16 I wanted to also mention some of  
17 the differences in a workshop and an advisory  
18 committee. While we'll be talking, you know,  
19 over the next couple of days to develop the  
20 science and to hear various different  
21 viewpoints and such on community-acquired  
22 pneumonia, it really is an advisory committee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is the more formal venue for regulatory  
2 advice that we can consider in Agency decision  
3 making.

4 And some of you may have noticed it  
5 posted publicly yesterday, and it's publishing  
6 in today's *Federal Register*. There is an  
7 announcement about a community-acquired  
8 pneumonia clinical trial design advisory  
9 committee that will take place on April 1 and  
10 2, so that will follow and provide the more  
11 formal opportunity for regulatory advice.

12 And then just a couple of  
13 housekeeping issues. We do for speakers have  
14 a form that we're going to ask if speakers are  
15 willing and consent to sign. We plan to post  
16 the slides for the workshop on a website, so  
17 that's available out at the table.

18 And also so that folks know, we  
19 will be recording the session, both with a  
20 transcriptionist and then also will be making  
21 an audio recording and a webcast, so just that  
22 folks are aware of that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And then lastly I would also share  
2 Tom and Dave's thanks to everybody. I want to  
3 thank folks at the IDSA. I want to thank my  
4 co-chairs, Dave and Tom, for all their input  
5 and hard work throughout the time that we've  
6 been planning this and for their participation  
7 today.

8           I want to thank all the speakers  
9 and panelists for joining us here today.  
10 There's been a number of FDA staff to work  
11 tirelessly to try and pull this together in  
12 relatively short order, and to them I thank  
13 them very much for all their work and all the  
14 other folks who made this possible, and with  
15 that I'll close and turn it over to Dave.  
16 Thank you.

17           DR. FLEMING: Thanks, Ed. So we've  
18 asked -- to begin the workshop we've asked  
19 John Powers to give an opening presentation on  
20 how current and emerging science can improve  
21 clinical trials of antibacterials designed to  
22 determine safety and efficacy in the treatment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of community-acquired pneumonia, so I'd like  
2 to then begin by welcoming and introducing  
3 John Powers from NIH and from the University  
4 of Maryland School of Medicine and George  
5 Washington University School of Medicine.

6 John?

7 DR. POWERS: Thanks, Tom. So in the  
8 interest of time here I'm going to address a  
9 number of topics, and one of the things I'd  
10 like to address today is that this is far more  
11 than about just non-inferiority trials and how  
12 to pick a margin.

13 There is a number of things that go  
14 into appropriate trial design, and what I'm  
15 going to try to do in this talk is just touch  
16 upon them briefly. I've put a lot of  
17 information in these slides, mostly as  
18 placeholders, since these slides are going to  
19 be put up on the website later, and I'm just  
20 going to really touch upon these things.

21 I am a consultant for a number of  
22 companies, and we were asked to put

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disclosures up. I'll let you read that for  
2 yourself.

3 I'd like to go through four points  
4 very quickly. How did we get to the point  
5 where we are today and take off from what Ed  
6 was saying about historical evidence. Where  
7 do we want to go with clinical trials?

8 What are the scientific standards  
9 for evaluating safety and effectiveness, which  
10 luckily also happen to be the regulatory  
11 standards, as well? And how can we do better  
12 to address these issues?

13 It's very interesting that, as Ed  
14 points out, a lot of this evidence in use of  
15 antibiotics comes before the era of  
16 appropriate clinical trials, yet it was the  
17 study of infectious diseases that led those  
18 changes in adopting methodology in clinical  
19 trials.

20 ID trials were the first to use  
21 concurrent control groups, the first to use a  
22 placebo group, the first to use blinded

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assessments of outcomes, the first to use a  
2 rudimentary randomization method of  
3 alternation, and the first to use a random  
4 sequence of numbers, just like we do today.

5           And I put all this information on  
6 the slide so you can read it, but it's  
7 interesting to me that we come from a very  
8 rich history in infectious diseases, but one  
9 of the issues is that even at the time that  
10 those advances were introduced, they were  
11 vigorously opposed by some clinicians.  
12 Randomization was opposed as something that  
13 inhibited the doctor's ability to make the  
14 choice for the patient.

15           So what that really ends up doing  
16 is confusing two very important concepts of  
17 clinical practice and clinical research. In  
18 the Belmont Report, which was published as  
19 part of the National Research Act in 1979, the  
20 very first part of that report makes a clear  
21 distinction between clinical practice, which  
22 are interventions that we choose to help an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual, versus clinical research, which is  
2 an activity designed to test a hypothesis in  
3 groups of people to develop generalizable  
4 knowledge. Therefore, a clinical trial that's  
5 not appropriately designed cannot develop  
6 generalizable knowledge.

7           So what's happened, as Ed already  
8 pointed out, is that unconfirmed data becomes  
9 a part of treatment guidelines, and I found it  
10 very interesting that people always refer to  
11 treatment guidelines when they talk about  
12 clinical trials.

13           Treatment guidelines are about  
14 clinical practice, not about clinical  
15 research, but then what happens is that any  
16 claim about a study which attempts to confirm  
17 those data is considered unethical, because  
18 the treatment guidelines may mention it.

19           However, when you think about it  
20 the other way, we actually have an ethical  
21 obligation to confirm those hypotheses to  
22 evaluate whether we're actually doing more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 harm than good for people, and John Ioannidis  
2 wrote a paper in *PLoS Medicine* two years ago,  
3 which actually claimed that most research  
4 findings are false, because for many  
5 scientific fields, claimed research findings  
6 may often be simply accurate measures of  
7 prevailing biases. In other words, we're  
8 studying what we think we know, rather than  
9 trying to answer the questions that remain  
10 unclear.

11 So in ID, there have been several  
12 assumptions whose validity is actually kind of  
13 questionable, which is what we're here to talk  
14 about today. One is that there is large  
15 treatment effects with antibiotics across all  
16 diseases, regardless of populations and  
17 severity of illness, and one of the big keys,  
18 obviously, that we're going to talk a lot  
19 about is misunderstandings about the goals and  
20 design of non-inferiority trials as a basis  
21 for evidence.

22 The other issue has been the thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that we do in clinical practice a lot, and  
2 that is our reliance on in vitro testing to  
3 make appropriate choices for patients, but a  
4 clinical trial is actually designed to try to  
5 figure out whether the hypothesis that we get  
6 about in vitro activity actually translates  
7 into meaningful benefits for patients.

8 The other issue as we talk about  
9 these things today is that reassessment of  
10 data and quantifiable analysis are an integral  
11 part of science, so it's always good to go  
12 back and say, "Is what we're doing really  
13 appropriate? Is what we know, or what we  
14 think we know, really what we know based upon  
15 the evidence?"

16 So I'm actually going to skip over  
17 a lot of this stuff and just get to what we  
18 really need to do is come up with appropriate  
19 measures of effectiveness from adequate and  
20 well controlled trials, safety, which is based  
21 on a different standard of all methods  
22 reasonably applicable to show the drug is safe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 under the conditions of use, and that means  
2 that safety is related to how the drug is  
3 actually used.

4 A drug may be safe for one use and  
5 not used for another. And then finally we  
6 want to try to balance those two together to  
7 evaluate the overall safety and effectiveness  
8 together.

9 Before we get on to talking about  
10 specific points, I wanted to mention that FDA  
11 usually, but not always, requires two studies  
12 to confirm findings, and confirmation is a  
13 part of science. Confirmation, though, is  
14 really not the same as replication, as it says  
15 in FDA's guidance on providing evidence of  
16 effectiveness in human drugs and biological  
17 products.

18 That means there is an opportunity  
19 here. It means that since, if we have to look  
20 at two trials in community-acquired pneumonia,  
21 we can actually ask two different questions in  
22 those trials.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           For instance, we can look at one  
2 trial, which actually helps us pick the  
3 correct dose, and if done in the correct way,  
4 that trial can be one of the adequate and well  
5 controlled trials to support approval and can  
6 tell us something about appropriate dosing of  
7 the drug, which can then be used in a Phase 3  
8 trial.

9           The other reason I bring this up is  
10 that when you read publications, often you see  
11 these two trials pooled together into a single  
12 trial, and it's important to remember that  
13 that still has the strength of evidence of  
14 only a single trial, and we still need to talk  
15 about where is the confirmation for that, as  
16 well.

17           So let's then go through these  
18 seven points. These are the seven points  
19 which are listed in FDA's regulations for an  
20 adequate and well controlled trial, but these  
21 are also -- and based on appropriate  
22 scientific criteria for how one would devise

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence about the effect of a drug, and I'll  
2 let you read these for your own, since we're  
3 going to go through these one at a time.

4           So the first is that the trial  
5 needs to have a clear objective. Well, one of  
6 the things about objective is what are you  
7 actually studying. In clinical practice, we  
8 often operate on the theory of empirical  
9 therapy. We don't know what a person has, and  
10 we're going to give them a drug until we  
11 figure it out later.

12           In a clinical trial, that can  
13 actually be very problematic if you're not  
14 studying what you think you're studying. The  
15 other issue is that we want to be studying  
16 actually diseases that are of a similar  
17 pathophysiology and a natural history.

18           So, for instance, we would not pool  
19 all trials to get -- all studies together in  
20 Staph. aureus or in Streptococcus pneumoniae,  
21 because what would happen is we'd be  
22 underpowered for each of the individual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diseases. So if we did a trial in Strep.  
2 pneumo. disease, and there were a hundred  
3 people with pneumonia and two of them with  
4 meningitis, we obviously wouldn't be able to  
5 say a whole lot about meningitis, and the  
6 natural history of those diseases is  
7 different.

8 In this setting, what we're talking  
9 about is how do we relate typical and atypical  
10 pneumonias, and we'll talk some about that  
11 today, but it seems that the typical  
12 pneumonias of Strep. pneumo. and H. flu differ  
13 from most Mycoplasma and Chlamydia, but maybe  
14 Legionella leans much more towards being like  
15 the typical pneumonias in terms of its  
16 severity.

17 The issue that we're going to talk  
18 about a lot is obviously what is your goal in  
19 terms of do you want to show that a new drug  
20 is similar to an older one, or do you want to  
21 show that it's better? If we're developing  
22 drugs because the older drugs are no longer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effective because of resistance, it seems  
2 illogical to want to show that a new drug is  
3 similar to something you say doesn't work  
4 anymore.

5 So there are settings where  
6 obviously we're going to have to be talking  
7 about superiority, but we still want to see if  
8 a new drug is similar to an older drug for  
9 non-resistant infections, so we've got to  
10 address how to do that in an appropriate way.

11 If there is one thing that you  
12 should take out of this whole meeting, it's  
13 that non-inferiority trials do not tell you  
14 that a drug is as good as or equivalent to an  
15 older drug unless you actually show  
16 statistical superiority of the new drug to the  
17 old drug.

18 That is absolutely key, and I was  
19 just sort of scanning through the trials on  
20 pneumonia, and virtually every one of them  
21 uses these words "as effective as" or  
22 "equivalent" in their conclusions, but really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what a non-inferiority trial tries to do is  
2 rule out an amount by which a test drug is  
3 less effective than a control, and as Dr.  
4 Temple told me, it's a not too much inferior  
5 trial is the way to think about this.

6 All these trials rely on historical  
7 evidence. Therefore, they have the same  
8 biases as historically controlled trials. Can  
9 we use the data from the past to today? And  
10 the big issue is that protection from biases  
11 is less helpful in the setting of a non-  
12 inferiority trial.

13 The things that protect you in a  
14 superiority trial such as enrolling people  
15 that have viral illness that are going to get  
16 better anyway, they would result in a negative  
17 conclusion in a superiority trial but actually  
18 make two drugs look more similar in a  
19 superiority trial without really telling you  
20 anything about whether the drug is effective  
21 or not in pneumonia.

22 If the data is not available to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quantify the effect of the control under the  
2 conditions of the current trial, then a non-  
3 inferiority trial can't distinguish an  
4 effective from an ineffective drug, and you're  
5 going to hear a lot more about that.

6           The data from the early 1900s show  
7 that the use of antimicrobials in pneumonia is  
8 based on large treatment effects and  
9 decreasing all-cause mortality in severely ill  
10 older populations. I scanned this in, and  
11 it's grainy and terrible on purpose, because  
12 this shows you how old the data is that we're  
13 relying upon.

14           In fact, you can't even read those  
15 last two columns because it's so grainy, but  
16 what it does show is that from 1897 to 1905,  
17 the mortality rate was about 25 percent  
18 overall, and from 1922 to 1931, it was about  
19 19 percent.

20           That's pretty close to the PORT  
21 studies, 27 percent in severe pneumonia, but  
22 what you see is that by age group, it differs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 dramatically, that it goes from 5.2 percent in  
2 people between the ages of ten and 20, all the  
3 way up to -- that's actually 68.6 percent and  
4 63 percent.

5           The other thing to notice is that  
6 depending upon where you study -- these are  
7 two places in England -- the rates do vary  
8 somewhat, so it's interesting to notice that,  
9 and this is the case today. Actually, the  
10 study from 1997 that the PORT group did shows  
11 pretty similar findings to this today, but  
12 this was very interesting I read in one of  
13 these articles.

14           It said the commonest form attacks  
15 those under 40 years of age. The period of  
16 life most favorable for the spontaneous  
17 recovery corresponds to the incidence of the  
18 type that's most amenable to serum therapy.  
19 It may therefore be difficult to determine in  
20 a serum-treated case what factor actually  
21 saves life.

22           So even in 1935, they realized that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you study a population that gets better  
2 spontaneously, it's awfully difficult to  
3 figure out the effect of an intervention. In  
4 this case, it was serum therapy. So the issue  
5 here is that there is little evidence to  
6 quantify the effect of antimicrobials in  
7 pneumonia for less severe disease or disease  
8 caused by Mycoplasma and Chlamydia.

9 There is also little evidence of  
10 effect of antimicrobials on endpoints other  
11 than all-cause mortality, and Josh Metlay  
12 actually did a very good review of all the  
13 different kinds of outcomes in pneumonia and  
14 actually concludes that we don't have any  
15 evidence for anything other than mortality.

16 So there are other trial designs  
17 instead of non-inferiority that we should  
18 hopefully talk about today. There's dose  
19 response trials, which have been used  
20 recently. For instance, linezolid used this  
21 when studying vancomycin-resistant  
22 enterococcal infections.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           There       are       placebo-controlled  
2       trials, which undoubtedly someone will mention  
3       today are unethical, but the question is if  
4       you don't know the effect of the control drug  
5       in that setting, then it's entirely ethical to  
6       do    placebo-controlled    trials,    and    the  
7       Declaration of Helsinki already points that  
8       out.

9           The other issue is you can do  
10       superiority to an active control, but you have  
11       to ask the question of what we're doing in  
12       this setting is we're exposing people to two  
13       experimental agents if we don't know what the  
14       effect of the older drug is and we don't know  
15       what the effect of the new drug is, and it's  
16       also an inefficient way to study something  
17       because the sample sizes for such trials need  
18       to be much larger than a more efficient  
19       placebo-controlled trial.

20           If you think those things are not  
21       clinically relevant, there's always the option  
22       of a three-arm trial that compares old drug to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 new drug to placebo to be able to answer a  
2 clinically relevant question and also answer a  
3 treatment-effect question, as well.

4           There is also, as Dave Gilbert  
5 brought up, the issue of selection of subjects  
6 with a disease, and there's two questions  
7 hidden within this. One is how do you  
8 diagnose the disease syndrome of pneumonia,  
9 and two is how do you actually figure out what  
10 the microbiology is?

11           So for the disease syndrome, we  
12 have signs and symptoms, chest radiography,  
13 and the question is how does CT compare with  
14 chest x-ray. Where I work at NIH, nobody gets  
15 a chest x-ray. They get scanned from the  
16 minute they walk in the door from the top of  
17 their head to the bottom of their toes, and  
18 you find something this big on the CT on their  
19 chest, and it's unclear.

20           What does that mean in terms of is  
21 that really pneumonia or not? We'll talk a  
22 lot about biomarkers of inflammation and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 microbiology, as well, and can some of these  
2 help increase the specificity of the  
3 diagnosis.

4           What do we do with subjects that  
5 have negative microbiological tests? That's  
6 actually up to half of people with community-  
7 acquired pneumonia, but does it matter? Is  
8 the clinical presentation of cough, fever, et  
9 cetera with a chest x-ray infiltrate specific  
10 enough for the disease syndrome that it  
11 doesn't matter what the microbiology is?

12           Some data actually indicates that  
13 up to a third of people who have negative  
14 cultures actually have pneumococcal disease,  
15 anyway. This is one study from Spain that I  
16 quoted here where they did CT-directed  
17 biopsies of people's lungs and actually did  
18 PCR for pneumococcus. Questionable whether  
19 you can do PCR on pleural fluid, et cetera,  
20 but that's what they actually showed was a  
21 third of those people had evidence of  
22 pneumococcal infections.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Signs and symptoms by themselves  
2           are non-specific to decide whether somebody  
3           has pneumonia, but they're still necessary to  
4           start off with to try to select the people who  
5           have -- who should get a chest x-ray, et  
6           cetera, and I quoted here a bunch of decision  
7           rules for pneumonia, all of which start off  
8           with signs and symptoms, even though no  
9           combination of signs and symptoms by itself is  
10          predictive of a person having pneumonia.

11           So how would biomarkers help us?  
12          Well, the issue with a lot of these biomarkers  
13          like procalcitonin is they were -- the  
14          reference standard for all of these studies is  
15          a chest x-ray. So they're actually being used  
16          to try to select people who should or should  
17          not get a chest x-ray, but in clinical trials,  
18          everybody gets a chest x-ray, so how is it  
19          going to help us in the setting of clinical  
20          trials remains an open question.

21           One of the ways to actually look at  
22          this is rather than sensitivity and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specificity, to look at likelihood ratios. I  
2 think these things are very interesting,  
3 because what you start with is a pre-test  
4 probability on the left based on the person's  
5 signs and symptoms. You then evaluate how  
6 much does this test help me in improving my  
7 post-test probability of diagnosis.

8 One of the most expensive things in  
9 ID clinical trials is all the microbiology  
10 that we do. If adding more tests is just  
11 going to increase the expense but isn't going  
12 to help us make a more specific diagnosis,  
13 then we have to question whether this is  
14 something that's really going to help us in  
15 clinical trials at all.

16 The fourth criteria is baseline  
17 comparability. How do we evaluate that people  
18 are baseline comparable? Well, that's what  
19 randomization is for, and it allows equal  
20 probability of distribution of severity of  
21 illness in each group, but clinical trials are  
22 looking at an average effect across the entire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group, so it's possible that if we pool severe  
2 and mild illness and the treatment effects are  
3 different, we're diluting out the treatment  
4 effects.

5           The other thing that I have heard  
6 said often is things like, "Well, I don't have  
7 to worry about clinician decision-making as an  
8 endpoint, because it's a randomized trial."  
9 Randomization only handles things that occur  
10 at baseline or before. They don't randomize,  
11 and we all know clinical decision-making is  
12 not random.

13           We make decisions for a reason, but  
14 luckily in pneumonia we do have appropriately  
15 validated severity classifications. The  
16 Patient Outcome Research Team or the Pneumonia  
17 Severity Index or the fine criteria, all the  
18 same thing with three different names, compare  
19 baseline variables to clinical outcomes of  
20 mortality, independent of the treatment  
21 administered, and would allow us to stratify  
22 subjects at baseline. Stratifying subjects

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 would decrease variability, which would  
2 increase the efficiency of trials and allow a  
3 smaller sample size by not diluting out the  
4 treatment effects.

5 The next issue is minimizing bias.

6 A lot of these trials are double-blinded, but  
7 the issue is if the microbiology isn't  
8 blinded, they you may be able to figure out  
9 actually what the person is on.

10 So the other issue is that since  
11 culture results really aren't available for 24  
12 to 48 hours, how do they help you, anyway,  
13 because I'm going to show you some evidence  
14 that says most people are better or on their  
15 way to getting better in 24 to 48 hours, so  
16 you can evaluate the clinical outcomes in  
17 those people.

18 The second thing is if we're going  
19 to evaluate what resistance in vitro actually  
20 means, we've got to blind the microbiology,  
21 because we have to compare it to a blinded  
22 assessment of how the patient is actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing clinically to help us better determine  
2 what resistance means, and the big issue now  
3 is what does resistance in streptococcus  
4 pneumoniae mean? There is a lot of debate as  
5 to whether what we called resistant in the  
6 past really results in worse clinical outcomes  
7 for patients.

8 The other issue in this regard is  
9 the issue of concomitant medications.  
10 Daptomycin was studied in the trial that's  
11 going to be published very soon where they did  
12 a post-hoc subgroup analysis that showed that  
13 people that got even one dose of antibiotic  
14 prior to enrollment had a much better success  
15 rate than those who didn't.

16 There is also the issue of  
17 concomitant medications on therapy. If you  
18 happen to be unlucky enough to be studying a  
19 new cephalosporin today for pneumonia, some  
20 people will demand that you add a second drug  
21 to it. Otherwise, it's unethical to do so.

22 All of this is based upon

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 observational data. How would you figure out  
2 the effect of your cephalosporin if the person  
3 is getting a macrolide, as well, that overlaps  
4 in spectrum? Very difficult for you to figure  
5 that out, yet the evidence for combination  
6 therapy decreasing pneumonia is based on  
7 observational studies.

8 Two meta analyses in the last few  
9 years actually say there isn't a treatment  
10 effect when you pull all the evidence  
11 together, and this study by Paul is very  
12 interesting. What he did is he did propensity  
13 scoring on the people who got combination  
14 therapy versus the people who didn't.

15 It turns out that the people who  
16 get combination therapy are on average less  
17 sick and younger, and we already showed you  
18 the data from the 1930s on what happens with  
19 younger people. Why? Because people who are  
20 less sick is a clue to us as clinicians of a  
21 typical pneumonia, which is mycoplasma  
22 chlamydia, which are less severe.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So it could be that getting  
2 combination therapy is only a marker for less  
3 severe disease. Therefore, the reason why  
4 those people do better is really related to  
5 who they are, rather than the interventions  
6 that they receive, but this is a real problem  
7 if you're trying to develop a new therapy  
8 that's not a quinolone today, because this  
9 issue of combination therapy becomes  
10 problematic.

11           Endpoints is a big issue in this  
12 regard, so the clinical -- as Tom Fleming  
13 already discussed with you, clinical endpoints  
14 are direct measures of patient benefit. They  
15 would be things like mortality, is the  
16 functioning better, non-fatal clinical events  
17 like can we prevent someone from developing  
18 empyema or resolution of symptoms.

19           Surrogate variables, on the other  
20 hand, are defined in the glossary of the  
21 International Conference on Harmonization E9  
22 guidance as indirect measures of clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefit. ICH-E9 actually points out that you  
2 should use these when it's not feasible or  
3 practical to measure clinical outcomes, but in  
4 this disease, we can actually measure clinical  
5 outcomes in the space of a couple of days, so  
6 have to ask the question why do we need  
7 surrogate variables in an illness that has  
8 such a short time course?

9           The other issue is in these trials  
10 most subjects don't have microbiological data  
11 baseline that's positive, over half of them,  
12 and then when you go back to them after they  
13 feel better, even more of them you can't get  
14 follow-up cultures from.

15           They're not coughing anymore. They  
16 didn't have baseline positive blood cultures  
17 to start with, so it's not informative. So  
18 microbiology really doesn't help us a whole  
19 lot.

20           The other issue is that these  
21 trials have used a categorization of presumed  
22 eradication, and it seems rather unscientific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to presume anything in a clinical trial. You  
2 either measure it, or you don't, and it's  
3 based on the fact that if a person is better,  
4 their organism must be gone, and, actually, we  
5 don't know that, so we're presuming something  
6 that we don't know, and, actually, that makes  
7 these analyses actually even less helpful.

8 The other issue is combining  
9 biomarkers like body temperature, heart rate,  
10 blood pressure, O2 saturation. It doesn't  
11 turn them into clinical endpoints, nor does it  
12 increase their validity, and I bring this up  
13 because there is an article in JAMA on time to  
14 stability, which evaluates the number of  
15 endpoints, all of which are biomarkers except  
16 change in mental status and ability to eat  
17 were the two things that were actually  
18 clinical endpoints as a part of that.

19 It would be very helpful to develop  
20 well defined clinical outcome criteria, which  
21 is independent of clinician judgment. This is  
22 an article by Archie Cochrane in 1951, where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 he took seven clinicians, Clinician A, B, C,  
2 and he pulled three of them together in D. He  
3 asked them to interview 300 coal miners. Same  
4 300 miners each person interviewed.

5           Actually, they randomized them,  
6 took random groups, and they gave each of the  
7 clinicians, and they allowed the clinicians to  
8 ask these subjects, patients, about coughs,  
9 sputum, chest tightness, pain, dyspnea.  
10 Didn't tell the clinicians how to ask the  
11 questions.

12           Just ask them about these things,  
13 and you don't need to do statistics on these  
14 numbers to see the variability between what  
15 one clinician found and the other clinician  
16 found, right. So this gives you some pause  
17 about clinician reported outcomes if we're  
18 going to talk a lot about patient reported  
19 outcomes.

20           So Doctor A has got a report of 20  
21 percent of people coughing, while Doctor C  
22 doubled that. Forty percent of the people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were coughing, so there's a wide variability  
2 if clinicians are left to their own devices  
3 and just allowed to ask questions in a non-  
4 structured way.

5 So the other issue is that the  
6 current endpoints in these trials are based on  
7 "enough improvement as judged by the clinician  
8 so that no further antimicrobial therapy is  
9 required." So there's a couple of issues with  
10 this.

11 One is improvement is not a  
12 dichotomous measure. Some people can improve  
13 a little. Some people can improve a lot, and  
14 actually the FDA guidance from 1992 on  
15 antimicrobial development actually cautions  
16 against using an end point that includes  
17 improvement.

18 This actually also is not a direct  
19 measure of patient benefit. What are we  
20 measuring here? We're measuring clinician  
21 decision-making, someone's judgment that the  
22 patient doesn't need any more drug, or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 subject in the trial doesn't need any more  
2 drug, and we've never really evaluated what's  
3 the inter and intra observer variability of  
4 that decision-making process.

5 So what would be nice would be to  
6 develop patient-reported outcome measures in  
7 symptomatic diseases, which would allow us  
8 more valid and reliable measures, and,  
9 actually, in pneumonia there already is one.

10 Donna Lamping has one that was  
11 published in *Chest* in 2002. Obviously, FDA  
12 would need to review that primary data on how  
13 that was developed, but at least she has it  
14 laid out as how they interviewed patients.  
15 They interviewed clinicians, they got all the  
16 information, and they actually did a  
17 structured evaluation of that PRO instrument.

18 The other issue is when do we  
19 measure these outcomes, and how do we measure  
20 them? Time-to-event analyses may be actually  
21 more informative, because they may increase  
22 our power to detect differences. When we look

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at a fixed time point, we're looking at one  
2 point in time per patient.

3 If we look at a time-to-event  
4 analysis, we're gathering all the information  
5 up that point, which actually can decrease the  
6 variability, decrease the sample size, and  
7 give us more precision. The problem with this  
8 is we're going to need to measure early enough  
9 so that we'll actually be able to detect when  
10 the change actually happens.

11 This study by Torres used the  
12 Lamping PRO, but they only measured people at  
13 baseline, day three to five, and test of cure  
14 only. Well, when I show you some other data  
15 tomorrow, I'll actually show you some curves  
16 from a 1945 study by Max Finland and  
17 colleagues that shows the vast majority of  
18 people are better in two days from pneumonia.

19 So if that's the truth, then  
20 measuring a PRO only at day three to five is  
21 going to be too far out to detect any  
22 differences, anyway, so we're going to need to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 measure serial measurements, actually on a  
2 very short time period. That can't be done by  
3 having a clinician interview the patient every  
4 six hours. It's just not feasible.

5 It can be done, though, if you use  
6 a PRO, which is on a palm hand-held or a  
7 patient diary that the person can answer at a  
8 specific time point, and the technology is  
9 such now that these things will actually ring  
10 and buzz and jump off the table and remind you  
11 when to fill these things out.

12 So the other issue is we need to  
13 keep in mind that if we can't see a response  
14 on a time-to-event analysis, it's unlikely  
15 that a fixed time point analysis is going to  
16 show us anything, and after reviewing some of  
17 this data from, you know, the early 1900s,  
18 what's pretty clear is that if you look at  
19 days 17 to 21, when a lot of current trials  
20 do, there's no difference to detect at that  
21 point, anyway, because most of the people have  
22 recovered if they're going to or not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The last issue I want to touch on  
2           is appropriate analysis. One of the issues in  
3           these trials is the idea of per protocol  
4           analyses versus intent-to-treat analyses, and  
5           other people are going to address that, so I'm  
6           not going to get into that, but there is one  
7           thing that's pretty clear, no matter which one  
8           you choose, and that is that the things that  
9           we use to exclude people from the per protocol  
10          analysis, we really have to start questioning  
11          their validity.

12           One of the things is people haven't  
13          received enough therapy. Usually if the  
14          person hasn't received at least three days of  
15          therapy, they're considered unevaluable.

16           When you go back and look at Max  
17          Finland's data and realize that that's where  
18          all the treatment effect is, and that's where  
19          all the events are, it doesn't make a whole  
20          lot of sense to be excluding people who don't  
21          take three days of drug, because if you're  
22          going to fail, that's when people fail, most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commonly.

2           So the other issue is it also  
3 doesn't make sense from a clinical trials and  
4 statistical point of view to be excluding  
5 people post-randomization, especially if they  
6 die. If somebody dies on day two or three,  
7 that's important to know, and it also ignores  
8 the fact that they may be dying because the  
9 drug is doing harm, and mortality is the one  
10 thing that really crosses the line between  
11 safety and effectiveness that we want to look  
12 at closely.

13           So we need to evaluate both intent-  
14 to-treat, modified intent-to-treat, per  
15 protocol analyses, and look at all of these  
16 things to get a clearer picture of what's  
17 going on, and this is even more problematic in  
18 non-inferiority trials, which others are going  
19 to address.

20           We also need to address this issue  
21 of appropriate adjustments for multiple  
22 comparisons when we're looking at secondary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoints and subgroup analyses, and I'm going  
2 to address that tomorrow, as well as  
3 gatekeeper or hierarchical testing of  
4 hypotheses so that we can test multiple  
5 hypotheses without having to increase the  
6 sample size.

7           So like in the era of the first  
8 trial in infectious diseases, we have to look  
9 at these challenges as a real opportunity to  
10 answer clinically relevant questions. When  
11 Fibinger first did that trial on diphtheria  
12 toxin -- by the way, which the end point was  
13 all-cause mortality -- he did it because a  
14 previous guy in France named Roux had done a  
15 trial which actually showed huge effect of  
16 diphtheria toxin, but he had no control, no  
17 randomization, no nothing.

18           So what he did was he actually did  
19 a concurrent control with people that he  
20 injected with a non-diphtheria toxin, but it  
21 happened that that wintertime, nobody got  
22 diphtheria, so he had a negative trial result,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but he was smart enough to realize that,  
2 "Well, I have to do this again, because I got  
3 a negative result."

4 So the truth of that was you can  
5 learn a lot from negative results, too, as  
6 well, but the other issue is Fibinger was the  
7 guy who realized that just because the guy in  
8 France did his trial one way, he wasn't going  
9 to be bound by that precedent and that we can  
10 learn lessons from the past to actually help  
11 us design trials better in the future.

12 The other issue here is we need to  
13 address all seven of those criteria for  
14 effectiveness, as well as appropriate safety  
15 evaluations. This is not just about picking a  
16 margin and leaving everything else about these  
17 trials the same. There is a number of things  
18 that we want to look at.

19 If we can make these trials better,  
20 we can reach multiple goals. We can get  
21 clinically relevant answers that will help  
22 clinical practice and make decisions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We can aid regulators make better  
2 decisions about the safety and effectiveness  
3 of these drugs, and by making some of these  
4 changes, we can increase the efficiency of  
5 clinical trials for drug sponsors and allow  
6 them to get where they want to go in a more  
7 efficient and faster way while still helping  
8 patients along the way.

9           So even though it looks like we  
10 have to cross this big desert to get where  
11 we're going, hopefully there is a nice, shiny  
12 star at the end here that we can actually get  
13 something that's better for everybody.

14           Thank you.

15           DR. FLEMING: Thank you very much,  
16 John. You set a great standard for the  
17 speakers here. You provided some excellent  
18 insights, and you did so coming in two minutes  
19 early.

20           We do have time for Q&A and look  
21 for questions for John, comments.

22           DR. GILBERT: John, can I ask -- I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 know you're going to amplify on this subject  
2 tomorrow, and the question deals with subsets,  
3 and as we move forward with our diagnostic  
4 ability, it seems like we'll be able to get  
5 some of the noise out of the system, in  
6 particular viral versus bacterial disease.

7 So how does that influence some of  
8 these entry criteria and evaluation issues  
9 that you presented to us?

10 DR. POWERS: I'm going to talk about  
11 that tomorrow in a little more detail. It  
12 actually does two things. One is it may  
13 increase the efficiency of the trial in terms  
14 of being able to focus your treatment effect  
15 on the people who might benefit the most.

16 So if you have a drug for  
17 methicillin-resistant Staph aureus, and you  
18 really believe it's superior to an older drug,  
19 you can now do a superiority trial in those  
20 people by focusing on them, and FDA just  
21 approved last week a new rapid test for  
22 detecting Staph aureus in the blood. The flip

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 side of that is, though, you may have to  
2 screen more people to get those folks.

3 So there is a flip side to that,  
4 but I think it will greatly help, and if we  
5 can then actually translate those out into  
6 clinical practice, as well, we'll be able to  
7 focus on who actually most benefits from the  
8 drugs and not use inappropriate therapy, which  
9 drives resistance in the first place.

10 Bob?

11 DR. TEMPLE: If you do something  
12 like that, how do you know what margin to use?  
13 You don't -- your historical data didn't  
14 select the population that way. How do you  
15 translate?

16 DR. POWERS: Right. I don't think  
17 you can do a non-inferiority trial for that.  
18 What I'm talking about is suppose you want to  
19 say, "My drug is more effective than  
20 vancomycin in the treatment of pneumonia due  
21 to Staph aureus." Then you could actually  
22 design a superiority trial to test that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypothesis and more efficiently select the  
2 people. I think doing a non-inferiority trial  
3 is going to be very tricky if you don't have  
4 the historical evidence, just like for any  
5 other non-inferiority trial.

6 Ed?

7 DR. COX: John, thanks for your  
8 presentation. You mentioned the Lamping PRO  
9 and looking at shorter term outcomes, I mean,  
10 in essence within hours of starting therapy,  
11 and I'm just curious if you have any thoughts  
12 on, you know, if somebody were to approach it  
13 using a shorter term PRO tool, looking at that  
14 very early time frame, if there are other  
15 things, too, that one might want to look at,  
16 you know, in later time frames, also, thinking  
17 about potential complications that may not  
18 develop until later points in time and some of  
19 the limitations thereof.

20 DR. POWERS: I'm going to show that  
21 tomorrow. There is actually data from a guy  
22 named Cecil, you know, the Cecil textbook, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 same guy, and when I show you the numbers,  
2 even in the untreated period, the number of  
3 complications is very low.

4 So, for instance, empyema occurs in  
5 about 6.5 percent of people, so if you wanted  
6 to show a difference on those things and say,  
7 "My antibiotic prevents emypema better than  
8 somebody else's," the sample size for that  
9 would have to be extraordinarily large.

10 So I'm going to talk about it  
11 tomorrow when we talk about putting things  
12 together in a composite end point. You may  
13 want to make that one part of the composite,  
14 because it is an important clinical outcome,  
15 but it won't be driving the overall outcome,  
16 because there's just too few events to be able  
17 to measure that much.

18 DR. FLEMING: Okay, other comments,  
19 questions? By the way, I should note that  
20 anyone in the audience who has questions or  
21 comments, I think we have a live mic there.  
22 Please feel free to use it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. REX: John Rex from -- it is  
2 definitely on now. Good morning. John Rex  
3 from AstraZeneca. Thank you, John. It was a  
4 fun talk.

5 On one of your slides you make the  
6 observation talking about similarity versus  
7 superiority. If an older drug is no longer  
8 effective due to resistance, it seems logical  
9 to show superiority of newer drugs.

10 While I agree with you in  
11 principle, that comment overlooks a real time  
12 line issue in terms of bringing forward new  
13 drugs. Part of what we do in the industry is  
14 say to ourselves, "Well, I see a title wave  
15 coming of resistant gram-negatives," and the  
16 time from gleam in discovery scientist's eye  
17 to drug exiting Phase 3, you know, it's ten  
18 years or so. It's a long time, and even when  
19 I get sort of close to the end of that, you  
20 know, the rates of resistance may still only  
21 be 10 or 15 percent, so it's actually quite  
22 hard to do what you have pointed to here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Most of what we're going to be  
2 studying will be drugs, I'm sorry, bugs that  
3 are still susceptible to the old agents, but  
4 we're trying to get out ahead of a coming  
5 problem with resistance. So I'm just asking  
6 you to talk about reconciling those two  
7 issues, because it's hard to do.

8           DR. POWERS: Right. I'm going to  
9 talk about it in a lot more detail tomorrow  
10 when we talk about endpoints and how to  
11 measure them, and I don't want to -- I stayed  
12 on time, and I want to stay on time, so all  
13 I'm going to say is that --

14           DR. FLEMING: John, just -- this is  
15 really a question that would be helpful if you  
16 gave some initial sense. I mean, it's a great  
17 example. So you have an effective antibiotic,  
18 antimicrobial. Eventually, there are issues  
19 of resistance.

20           There is an interest in having a  
21 more global set of options, alternatives, so  
22 if you do a non-inferiority trial against that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 existing antimicrobial while it's still  
2 effective, the issue is that would establish  
3 whether it's effective, but your key question  
4 is you're also motivating the ability to have  
5 an alternative when that standard agent itself  
6 now has resistance.

7 So does this, in fact, give you --  
8 does this approach give you the knowledge that  
9 you now have another therapy that will be  
10 effective in the resistant patients of the  
11 future to the standard intervention.

12 DR. POWERS: Right. So this comes  
13 back to, actually, what you can claim, and  
14 this is more of a question to ask FDA folks,  
15 because I don't work there anymore, but the  
16 issue is supposed you wanted to get a claim  
17 for vancomycin resistant Staph aureus. There  
18 are, what, nine people that have had that?

19 So at this point in time, it would  
20 be very challenging, if not impossible, to do  
21 a trial for that, but it would also be very  
22 challenging to give someone a claim for that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because FDA has to base those analyses on what  
2 actually happens to human beings in clinical  
3 outcomes.

4           There seems to be what we need is a  
5 mechanism that somewhere down the line that we  
6 can go back and take older drugs and actually  
7 study them to see what their effects are in  
8 these settings, and I'll give you the example  
9 of clindamycin.       When clindamycin was  
10 approved, MRSA was like a distant thing to  
11 think about, but now NIH is going back and  
12 doing a study of clindamycin and trimethoprim  
13 sulfa, in fact, compared to placebo in skin  
14 infections to see whether they have an effect  
15 or not.

16           The question is can there be some  
17 mechanism by which drug sponsors can take  
18 their drug back, study a new indication, and  
19 make that somehow palatable for them.   That  
20 goes beyond this discussion, but I think it's  
21 very hard to say you could get an approval  
22 from FDA for something that might happen in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 the future. That puts them in a pretty sticky  
2 spot. We'll talk about it tomorrow, because  
3 I'm going to bring up how to look at this in a  
4 more detailed way when we talk about outcomes.

5 DR. FLEMING: But just to probe on  
6 this just for a moment further, so you find an  
7 experimental antibiotic that you compare to  
8 vancomycin in the setting where the idea is  
9 eventually, when it's much more frequent that  
10 you would have vancomycin-resistant Staph  
11 aureus, you want something to use in that  
12 setting.

13 The question is if you do the non-  
14 inferiority trial of your new antibiotic  
15 against vancomycin in people who aren't  
16 resistant, how do you know that when you have  
17 vancomycin-resistant Staph aureus that this  
18 new experimental antibiotic will be effective  
19 in those patients? How do we know that  
20 without doing a superiority trial in patients  
21 who are vancomycin-resistance Staph aureus?

22 DR. REX: That's one -- I was going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to say to John you didn't quite answer the  
2 question I was putting to you, and you pointed  
3 at one half that wasn't quite pointed out, and  
4 the other half of it is that we're spending a  
5 lot of time talking about superiority versus  
6 non-inferiority.

7 I think it's important to recognize  
8 that it may really be technically impossible  
9 for me to do. I can't find the vancomycin-  
10 resistant Staph aureus out there, so I can't  
11 actually prove the superiority, and not only  
12 that. You don't want me to wait.

13 You want me to go on and get the  
14 drug studied on the market available such that  
15 when you actually need it, and for some other  
16 reason it's actually done, and so I'm not  
17 coming to say, "How do I get a label for  
18 vancomycin-resistant Staph aureus?" I'm  
19 saying, "How do I get the drug -- you know, do  
20 I have to do a superiority study when I can't  
21 actually do one?" which is kind of what your  
22 sentence implied to me.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. POWERS: Right. That's not what  
2 I was implying, and, again, I've got a whole  
3 section of five slides on this tomorrow, but  
4 the idea is that you could study your drug  
5 against vancomycin in an appropriately  
6 designed non-inferiority trial and claim that  
7 you had similar effect to vancomycin in  
8 disease X due to Staph aureus.

9 What becomes problematic is to say,  
10 "In the future, my drug will be superior to  
11 vancomycin for the treatment of vancomycin-  
12 resistant Staph aureus." Those are two  
13 different statements.

14 You are not barred from saying, "My  
15 drug is effective in Disease X due to Staph  
16 aureus." It's the resistance part of it that  
17 becomes more problematic, and, again, I'm not  
18 doing justice to this, because I'm speeding  
19 through it, trying to get -- Bob, you want to  
20 --

21 DR. TEMPLE: Well, don't -- you  
22 know, I'm not the -- I'm not an ID person, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this is probably stupid, but if you  
2 encountered in your -- I mean, if you had a  
3 drug that was effective in pneumonia,  
4 community-acquired pneumonia, you don't test  
5 it against every conceivable organism, do you?

6 DR. POWERS: No.

7 DR. TEMPLE: I mean, you use your in  
8 vitro methods to guide you to a degree, so if  
9 you had established through the presumably  
10 non-inferiority mechanism that the drug works,  
11 this new potentially useful drug that's good  
12 in resistance, I don't -- wouldn't -- would we  
13 ask for a documentation that it works in the  
14 resistant organism all the time or not?

15 DR. POWERS: I think the question is  
16 really --

17 DR. TEMPLE: What you need to know  
18 is that it works in pneumonia.

19 DR. POWERS: Right. Exactly.  
20 That's what I'm getting at is that you need to  
21 know the broader question first. The real  
22 tricky part becomes you're relying on a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 historical assessment that the in vitro test  
2 actually predicts failure, and the example I'm  
3 going to go through tomorrow is exactly this  
4 one.

5 Pneumococcal resistance in  
6 pneumonia -- we had called any organism that  
7 had an MIC greater than two was resistant.  
8 Now looking back through that, it appears that  
9 organisms with MICs of two and four, that the  
10 success rates are actually quite similar to  
11 the people who have MICs of .6 and below.

12 So we had, in essence, incorrectly  
13 defined resistance, and that's because we  
14 often based resistance on case series, not on  
15 actual randomized clinical trials, and that's  
16 difficult to do. I'm not saying that that's  
17 an easy thing to accomplish, but it relies on  
18 a historical assessment that the old drug is  
19 ineffective, and that's the question.

20 So, for instance, let's look at  
21 skin infections in MRSA. AAC published  
22 something in December that actually showed a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial in skin abscesses that the success rate  
2 for people with just lancing the abscess was  
3 90 percent.

4 And now the control drug was  
5 cephalexin, which you wouldn't expect to have  
6 much activity against MRSA, but the fact that  
7 90 percent of people got better, and the drug  
8 couldn't show superiority, even in a resistant  
9 pathogen, starts to say, you know, maybe  
10 resistance is different at different sites in  
11 the body, too. That might not be the same for  
12 pneumonia as it is for a skin abscess. So it  
13 really gets down to the definition of what  
14 resistance is, as well, and I think that's a  
15 whole other kettle of fish.

16 DR. GILBERT: John, again, you'll  
17 probably address some of this tomorrow, but  
18 back to the patient reported observations,  
19 just to fine tune that a little bit, isn't  
20 that tough to do in severe disease when one of  
21 the criteria is the patient is confused, et  
22 cetera, et cetera?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. POWERS: Right.

2 DR. GILBERT: The treatment effect  
3 is early, as you pointed out, so I'm going to  
4 address, actually, the patient-reported  
5 observations in a couple hours, and I'm pretty  
6 excited about it, but I'm not terribly excited  
7 about it in severe disease.

8 DR. POWERS: But in severe disease,  
9 the end point is really all-cause mortality,  
10 so what I'm going to go through tomorrow is  
11 various pieces of the end point and how you  
12 pick and choose among them, depending upon the  
13 disease.

14 So patient-reported outcomes can be  
15 helpful in people that are awake and talking  
16 who have less severe disease, or they can be a  
17 component of a bigger end point, so not  
18 everybody that gets -- in fact, very few of  
19 the people that get admitted to the hospital  
20 with pneumonia end up in the ICU and are  
21 confused. The majority of people that get  
22 hospitalized end up on a regular ward floor,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so maybe a PRO could be a supportive outcome  
2 in those people, as well.

3 So in one case, it can be the  
4 primary outcome, in another case, it can be a  
5 supportive outcome, and in the third case, you  
6 wouldn't use it at all, because in obtunded  
7 people it wouldn't be useful. So this gets to  
8 actually using the right end point for the  
9 right patient population, which you're going  
10 to talk about, too, coming up soon.

11 DR. SPELLBERG: John, really  
12 quickly, I almost hesitate to ask this, but I  
13 think it's the elephant in the room, and I  
14 think one of the most important things we need  
15 to decide is the issue of placebo control, so  
16 I'm going to start the ball rolling by asking  
17 you do you think that there -- clearly you're  
18 correct that if you don't know if your  
19 comparator is active, it is by definition not  
20 unethical to do a placebo-controlled study,  
21 but there is a complexity in that all these  
22 patients have primary care physicians, and if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 those primary care physicians won't allow  
2 those patients to be enrolled in a study  
3 because they believe that the comparator  
4 should work, how do you address that? I mean,  
5 how does that come into play?

6 DR. POWERS: Right. I think -- I'll  
7 expand it even one further, and if the IRB  
8 believes that, as well.

9 DR. SPELLBERG: Exactly, yes.

10 DR. POWERS: So I think the first  
11 thing is that I think this is also -- it's on  
12 us, part of this, is to explain to people what  
13 the data actually is. When I was at FDA, we  
14 got a response from an IRB that said, "We're  
15 not approving this trial in AECB, because we  
16 don't think it's ethical."

17 What they sent back was the  
18 abstract of a review article, so I would point  
19 to those people to the Belmont Report, where  
20 the beneficence part of it says it is  
21 incumbent upon you before you do a clinical  
22 trial to go back and actually review all of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the evidence, not a review article, actually  
2 the evidence.

3 The second thing is I would say  
4 there are other trial designs besides placebo  
5 control that can be used in this setting. I  
6 think we way under use those response trials.

7 The reason why people are opposed to those is  
8 they say, "Well, I'm not randomizing somebody  
9 to a group that's less effective."

10 What's a non-inferiority trial?  
11 You're randomizing somebody to something that  
12 might be less effective, but it's actually the  
13 belief that non-inferiority trials show the  
14 two drugs are equal, which actually make  
15 people argue against those response trials,  
16 and actually, those response trials would help  
17 us validate some of the stuff we said about  
18 pharmacodynamic analyses, as well, and close  
19 the loop on that to see whether actually  
20 pharmacodynamics can actually predict better  
21 clinical outcomes.

22 We keep saying that increased

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1     potency in the test tube means something for  
2     patients, but we haven't shown it yet, and  
3     actually dose response trials would actually  
4     allow us to be able to test that hypothesis  
5     and provide some evidence for it. So we don't  
6     always have to do placebo.

7             DR. FLEMING: So let's move ahead to  
8     a couple quick thoughts. Bob O'Neill I see in  
9     the back. Bob, we've got a seat for you right  
10    up here in the front, and just one real quick  
11    follow-up thought to Bob Temple's question.

12            Certainly it is the case that if I  
13    do a non-inferiority trial against an  
14    effective antimicrobial, and there isn't  
15    resistance, then that is evidence for benefit.

16    The issue comes in that often we argue we can  
17    have a more lenient non-inferiority margin,  
18    because this new therapy will give us an  
19    alternative when there is resistance, and  
20    therein lies the complex issue, because you  
21    don't know that that agent will, in fact, be  
22    effective once resistance occurs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So what we'd like to do is make a  
2 transition to Dave.

3           DR. GILBERT: So when the convenors  
4 were trying to figure out how to address these  
5 complicated issues, we decided to present two  
6 theoretical patients. The one for today is  
7 the patient with modest severity community-  
8 acquired pneumonia, and then tomorrow we'll  
9 move on to the patient that has more severe  
10 disease.

11           The patient with modest represents  
12 80 percent of all of the patients with  
13 community-acquired pneumonia. The patients  
14 with severe disease are 20 percent, and  
15 obviously they're usually in the hospital.  
16 The patient with modest disease is usually  
17 treated as an outpatient, and the point is to  
18 get us thinking about the clinical setting  
19 that we're dealing with.

20           So this is not a real patient, of  
21 course. This is a 35-year-old male resident  
22 of Boston -- I'm not picking on Boston -- who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presents with fever and a cough. The patient  
2 was well until three days earlier, when he  
3 suffered the onset of nasal stuffiness, a mild  
4 sore throat, and a cough productive of only  
5 small amounts of clear secretions.

6 He visited his physician, motivated  
7 by a fever of 38.3 degrees. By the time he  
8 got to the physician, he had some purulent  
9 secretions and spasms of coughing.

10 It's March. ID doctors always want  
11 the epidemiology. He lives in the city.  
12 There is no problems with his home. There are  
13 no obvious risk factors for Legionella is the  
14 point of that.

15 His wife is well, but his 11-year-  
16 old child is recovering from a nagging cough  
17 that lasted ten to 14 days. All four children  
18 have been fully immunized. Of course, we have  
19 a pet parakeet, but the parakeet is well for  
20 the last five years.

21 There is no recent travel, but the  
22 patient does smoke a pack per day and has done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so since age 15 and admits that, especially  
2 during the winter months, when he wakes up he  
3 has to clear out his lungs and produces a few  
4 teaspoons of purulent sputum.

5 The rest of the history database is  
6 pretty negative, no pertinent past medical  
7 history. Patient is on no prescription  
8 medications, has no allergies, does smoke, and  
9 uses alcohol in moderation.

10 Exam confirms that the patient is  
11 febrile, a little tachycardic. Blood pressure  
12 is okay, maybe a very slight increase in the  
13 respiratory rate, but the oxygen saturation on  
14 room air is satisfactory.

15 There is some hyperemia of the  
16 nasal mucosa and erythema of the oral pharynx,  
17 no adenopathy. There are crackles heard at  
18 the right lung base, and the patient has a  
19 spasm of coughing during the exam and produces  
20 a very small plug of purulent secretion.

21 So, to get to the lab work,  
22 hemoglobin hematocrit are fine. White count

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is slightly increased with maybe a few  
2 increased in immature polymorphonuclear  
3 leukocytes. Platelets are fine. Chemistry  
4 screen and urinalysis are normal.

5           Somebody's going to say, "Well,  
6 gee, my doctor doesn't do a multi-chemistry  
7 screen," but for purpose of this hypothetical  
8 patient, I threw that in, but, of course, the  
9 chest x-ray shows bilateral lower lobe  
10 infiltrates that was a bit asymmetrical, more  
11 pronounced on the right than on the left.

12           So if we apply the Pneumonia  
13 Severity Index, this is a Class 1. If you  
14 prefer the CURB-65 prediction score, it also  
15 gets a score of 1, making the patient a  
16 candidate for outpatient therapy.

17           So the doctor knew that. He  
18 ordered no micro biologic tests whatsoever and  
19 empirically prescribed a respiratory  
20 fluoroquinolone.

21           Against medical advice, the patient  
22 continued to smoke. The fever resolved over

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three days. The cough gradually returned to  
2 his baseline pattern over the subsequent seven  
3 to 10 days.

4 So that raises lots of questions.  
5 Is the patient a candidate, or would the  
6 patient be a candidate for a placebo-  
7 controlled or a delayed treatment or an active  
8 control trial? We've just discussed some of  
9 the issues that are involved about that, and  
10 statistically would this be a superiority or  
11 non-inferiority trial?

12 What severity of illness is  
13 appropriate for inclusion in an outpatient  
14 treatment trial, the severity of illness  
15 determined by which scoring system? Is there  
16 any substantive difference between the various  
17 prognostic severity systems that we've been  
18 using?

19 Which diagnostic tests? Now this  
20 is in a trial setting. Which diagnostic test  
21 makes sense for virus, for typical bacteria,  
22 for atypical bacteria? And the next couple of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 speakers are going to directly address the  
2 viral etiology, and tomorrow we'll hear more  
3 about modern testing to detect typical  
4 bacteria.

5 What's the most appropriate and  
6 valid clinical endpoints? And around 10:30 or  
7 11:00 this morning, we're going to begin to  
8 discuss that.

9 And how do you blind the treatment  
10 arms in the various methods of blinding?  
11 Which makes the most sense, and which has the  
12 most powerful impact on interpretation of the  
13 results of clinical trials?

14 And then, obviously, the flip side.  
15 How do we monitor adverse drug effects,  
16 especially in the patients who are treated in  
17 an outpatient setting, rather than being under  
18 our direct observation in the hospital?

19 So that's our hypothetical patient  
20 this morning. Are there any questions on the  
21 patient population? Tom?

22 DR. FILE: Well, this isn't on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient population, but just for  
2 clarification, although it won't make a  
3 difference in site of care, there is a slight  
4 difference in the outcome, at least based on  
5 the study by Kim, et al concerning the CURB-  
6 65, but wouldn't this be a CURB-65 score of  
7 zero, unless he's confused?

8 DR. GILBERT: Yes, it would. I was  
9 trying to get the patient to -- my  
10 hypothetical patient -- between a zero and a  
11 one.

12 DR. FILE: Okay.

13 DR. GILBERT: And it probably would  
14 --

15 DR. FILE: It's not going to make a  
16 difference of site of care, but there is a  
17 little difference in outcome when you look at  
18 the -- at least mortality --

19 DR. GILBERT: Yes.

20 DR. FILE: -- because there is  
21 virtually no mortality if it's zero. There's  
22 a little bit for one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GILBERT: Yes, we're still --  
2 you'd agree that we're still at a mortality  
3 rate of under five percent at the worst sort  
4 of setting.

5 Yes, George?

6 DR. TALBOT: I think there are a  
7 number of interesting issues that your  
8 scenarios raise, and I think it goes back a  
9 bit to what John Powers was talking about in  
10 terms of the difference between clinical  
11 practice and clinical research.

12 So, for example, I think the  
13 clinical scenarios, both of them, reflect the  
14 way a clinician would think, and that's  
15 useful, and that's the way the real world  
16 works. Now for clinical research, though,  
17 there are some issues hidden in there that I  
18 think should be defined and discussed up  
19 front.

20 For example, what really are the  
21 important components of severity? Is it PORT  
22 alone? Is it PORT plus some other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 characteristics? Your scenario suggests that  
2 requiring hospitalization is another  
3 component of severity, and I think we need to  
4 question whether that's true or not for  
5 clinical trial purposes.

6 I think that the requiring  
7 hospitalization, again, makes intuitive sense  
8 for a clinician, but how do you define that  
9 objectively? It could be socioeconomic  
10 factors, but that may not play into severity,  
11 so, although I believe that requiring  
12 hospitalization has a pragmatic aspect, there  
13 needs to be more clarity about whether that  
14 plays in on top of PORT.

15 So one key question in these  
16 scenarios is how do we define severity not for  
17 clinical practice but for clinical trial  
18 purposes? And that, of course, extends then  
19 to the question of how do we define where an  
20 NI approach is appropriate, and if so, what  
21 the NI margins should be.

22 The other assumption that I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is in some of the scenarios is this  
2 distinction of mild, moderate, and severe, and  
3 I think we need to discuss that in more  
4 detail, too, and how it relates to PORT or any  
5 other characteristics of severity that one  
6 could define.

7 My impression is that mild,  
8 moderate, severe has really been sort of a  
9 labeling thing. Severe is defined variably,  
10 but labeling and clinical practice, again, are  
11 different from clinical trials, so let's be  
12 explicit about what we mean by mild, moderate,  
13 and severe before we go on with the discussion  
14 and make a lot of base assumptions about these  
15 severity questions.

16 DR. GILBERT: Well, I'll ask Ed to  
17 comment and then whoever else he wishes to  
18 comment from the Agency. I thought the Agency  
19 at this point, and I may be off base, divides  
20 community-acquired pneumonia into two  
21 categories, mild/moderate on the one hand and  
22 severe on the other and hence our scenarios

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and this -- well, I'll just be quiet there.  
2 So, Ed, what do you think about George's  
3 comments?

4 DR. COX: Sure, yes. To Dave's  
5 point, you know, typically the labels that are  
6 out there for drugs for community-acquired  
7 pneumonia, oral drugs, are typically labeled  
8 for mild to moderate pneumonia to reflect the  
9 population in which they were studied.

10 You know, a drug that's available  
11 in an IV formulation, and many are available  
12 both in IV and PO, typically the indication  
13 would just say community-acquired pneumonia,  
14 and there wouldn't be any limitations on the  
15 mild to moderate.

16 And I think, George, too, you're  
17 also getting to the issue of, you know,  
18 severity of illness and what's the best way to  
19 index that so that we have a feel for  
20 prognosis, because I guess the question that  
21 I'm thinking about, and we'll hear more about  
22 this soon, is, you know, the patient that Dave

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just presented, you know, he's asking the  
2 provocative question of what type of trial  
3 design, you know, would be possible for such a  
4 patient, and I guess I'm starting to think,  
5 you know, are there things that would help us  
6 to further identify, you know, what the  
7 prognosis in this patient would be.

8 I mean, can we quickly identify a  
9 patient who's, you know, likely to have a good  
10 enough outcome, and it's got to be very high,  
11 given, you know, that community-acquired  
12 pneumonia can progress such that, you know,  
13 you would be willing to consider either a  
14 treatment delay or something like that, and  
15 that's a rhetorical question.

16 You know, is that a possibility?  
17 You know, could you do a rapid test to rule  
18 out Legionella? Could you do a rapid test to  
19 rule out strep pneumo? Could you -- you know,  
20 is that possible? And I guess we'll hear more  
21 about rapid testing and can we sort of further  
22 define this patient's severity and, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 his risk for a bad outcome.

2 DR. TALBOT: Well, just to follow up  
3 on that, I agree, and I think you mentioned  
4 that mild, moderate and severe are sort of  
5 label issues, so the thing that needs to be  
6 defined for me and for this discussion is,  
7 well, is it really appropriate to lump mild  
8 and moderate, and how, in fact, do you define  
9 that?

10 Maybe, if we're going to talk about  
11 superiority design, placebo control design,  
12 maybe it's mild, and then maybe moderate and  
13 severe are fine for non-inferiority. So let's  
14 not get locked into mild/moderate versus  
15 severe.

16 The other question is, okay, if it  
17 is mild, how do we define that objectively  
18 without the potential confounders imposed by  
19 terms like "requiring hospitalization"? So  
20 mild should be PORT 1, maybe, plus not a  
21 socioeconomic decision to hospitalize but some  
22 other objective pathophysiologic parameter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that makes that PORT 1 patient, you know, at  
2 lower risk or at higher risk, because PORT --  
3 and Dr. Fine can comment on this. There is  
4 variability in PORT in terms of which class  
5 you're in, so it's useful to have additional  
6 factors to define severity, but they again  
7 should be evidence-based.

8 So my plea is let's not start out  
9 assuming it's mild plus moderate versus  
10 severe, and let's not start out thinking that  
11 we can define objectively requiring  
12 hospitalization. I would rather that these  
13 scenarios have said PORT 1, not having other  
14 pathophysiologic characteristics, versus PORT  
15 2, 3, 4 with A, B, and C.

16 DR. GILBERT: Go ahead.

17 DR. COX: Your comment is a fair  
18 one, George, and I think that's, you know, why  
19 we're here today. I mean, let's talk about  
20 what is severity, and let's try and, you know,  
21 think about, you know, the best way to define  
22 that so that we can design clinical trials

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that will be most informative and clinical  
2 trials that will be safe, and, no, I agree.

3 DR. GILBERT: Are there other  
4 comments? Yes, please.

5 DR. EISENSTEIN: Good morning.  
6 Barry Eisenstein from Cubist, a comment and a  
7 question. The comment is that although there  
8 have been discussions about dose, I haven't  
9 heard anything about duration of therapy, and  
10 John Powers has previously talked about the  
11 selection of drug resistance as a "side  
12 effect," and given that longer duration  
13 therapy, particularly when not needed, may  
14 actually produce increased resistance, one  
15 could actually be dealing with a safety issue  
16 on the other side, so I'd like to know what  
17 sort of thoughts there are about incorporating  
18 duration as well as dose.

19 And then the other question that my  
20 colleague Bill Martone has asked me to raise,  
21 going along with George's comments about mild  
22 versus moderate, if one is able to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approval for a trial based on a moderate cap,  
2 does that enable the manufacturer to also  
3 claim efficacy in mild infection?

4 I know that may sound a little bit  
5 backwards, but perhaps if mild is going to get  
6 better on its own anyway and needs a placebo-  
7 controlled trial to show superiority and you  
8 don't have that, but you do have efficacy in  
9 moderate, how do you then enable the sales  
10 force to talk about mild pneumonia?

11 DR. GILBERT: Well, on the first  
12 point, which was duration, obviously that  
13 would be protocol-defined, and it's a valid  
14 and very important point. Hopefully, we'll  
15 have further discussion on that as we proceed,  
16 and then I'll have Ed respond about if you get  
17 a label for moderate can you therefore claim  
18 efficacy for mild.

19 DR. COX: You know, I think, Barry,  
20 you know, some of your comments in your  
21 question, I mean, I think really get at the  
22 key issue here. You know, generally, I mean,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you work, and you work in a severe  
2 condition, that provides, you know, really  
3 good evidence that the drug works, and it  
4 becomes helpful and supportive information to  
5 help you when you're looking at less severe  
6 disease, but I think you've also in your  
7 question anticipated one of the issues here,  
8 which is, you know, can you say it works in  
9 the less severe disease, and that's dependent  
10 upon knowing that, in fact, there is an effect  
11 there.

12 So while it is, you know, very  
13 helpful to have clear evidence of efficacy,  
14 you know, implicit in your question was is  
15 there a treatment effect in that mild disease,  
16 because, you know, it starts to, you know, get  
17 at a very sort of difficult issue of, you  
18 know, are we extrapolating down to something  
19 where, you know, it's unclear that there is an  
20 effect.

21 But, you know, that said, you know,  
22 clearly if you showed an effect in moderate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disease, well, then that would be an important  
2 thing, and that would be very helpful, because  
3 that would be a population where you could, we  
4 would hope, and that's what we're here all  
5 talking about today, show a treatment effect,  
6 and if you showed your drug to be safe and  
7 effective in moderate community-acquired  
8 pneumonia, you'd have something.

9 DR. FLEMING: I think this question  
10 here brings out one of the key aspects in  
11 follow-up to George's appropriate comment  
12 about how do we subdivide, and we could be  
13 looking at mild disease separate from moderate  
14 disease, separate from severe disease.

15 I've always argued that if you do a  
16 registrational or a scientific trial in a  
17 given setting, let's say a moderate disease,  
18 the label ought to reflect what it is that you  
19 studied. If you didn't study mild disease, if  
20 you didn't study severe disease, you're  
21 extrapolating without scientific clinical data  
22 to allow that extrapolation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So therein lies a difficulty of  
2 subdividing in too fine a way. If we  
3 subdivide out the milds from the moderates,  
4 then we're requiring separate studies in those  
5 settings in order to be able to label the  
6 product in those two settings.

7           It seemed to me that it was logical  
8 to separate out severe from mild to moderate,  
9 because in the severe setting we're really  
10 looking at plausibility or already established  
11 effects on endpoints of irreversible morbidity  
12 or mortality, and so it makes sense to study  
13 in that context where the mild to moderate  
14 have been pooled to enable for a more  
15 practical approach without having to look at a  
16 specific sub-trial in each of those two  
17 settings.

18           One quick thought. The speakers  
19 should all be at the table. John Powers  
20 should be at the table, as should other  
21 speakers from the session today.

22           DR. TALBOT: Yes, if I could just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment on that, the mild, moderate, severe is  
2 really important, not only scientifically but  
3 operationally. Severe, when I think about  
4 that, is CAP patient requiring ICU care.

5 If you look at clinical trials  
6 recently, there are very few of those patients  
7 included, so if you separate out severe, and  
8 it's the patient needing ventilation in ICU, I  
9 actually don't know whether such a study will  
10 be done. If you then lump mild and moderate  
11 versus severe, you're lumping at least PORT 1  
12 and maybe PORT 2 with PORT 3 and 4, but we  
13 already are thinking maybe PORT 1, anyway,  
14 shouldn't be an NI approach.

15 So to me the moderate group a  
16 priori is of interest for a potential non-  
17 inferiority design, because we can agree that  
18 most likely I think that there's a treatment  
19 effect, but if you're lumping them for  
20 labeling and scientific thought processes with  
21 mild, it just becomes confusing.

22 So, I mean, I would like to come up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with, actually, a tripartite grouping here,  
2 partly for logistical considerations, because  
3 I think it's going to be very difficult to do  
4 a study just in severe alone, and lumping  
5 severe with moderate, if by moderate you mean  
6 PORT 3 and 4 plus whatever, is also a problem,  
7 because the PORT 5s or the severe ICU patients  
8 are again a very small part of the population,  
9 and further to John Powers' point, you won't  
10 really be able to tell anything about them,  
11 anyway, so you might as well study PORT 3 and  
12 4 as moderate, for example, or PORT 2, 3, and  
13 4 as moderate. So, I mean, I think it's  
14 inextricably linked science and what's going  
15 to be feasible from a clinical trial  
16 perspective.

17 DR. SPELLBERG: Ed, can I just go  
18 back to Barry's question? I just want to make  
19 sure that I understood your answer.

20 Am I correct that your answer was  
21 that if you do a trial with just moderate  
22 patients, you could not assume that you would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 also get a label for mild? Is that a correct  
2 way -- is that a correct interpretation or --

3 DR. COX: Right. I think you're  
4 asking me to answer with certainty when I  
5 think there is a lack of certainty. I think  
6 what we're here -- one of the things we're  
7 going to talk about today is the issue of, you  
8 know, what do we know about treatment effect  
9 in this milder population, and I think that's  
10 one of the issues that we're here all  
11 discussing.

12 So, you know, if there is a basis,  
13 if there is a reason to use that information  
14 for more, you know, severe disease as being  
15 supportive, well then, sure, that's helpful,  
16 but if you have evidence staring you in the  
17 face that, you know, starts to raise real  
18 questions about that, then I think you have to  
19 ask yourself the question of what is it, you  
20 know, are you doing.

21 DR. SPELLBERG: So it's a may or may  
22 not. I'm not -- I wasn't trying to pin you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 down.

2 DR. COX: Yes, you know, that's  
3 fair.

4 DR. GILBERT: You were trying to pin  
5 him down, but it's okay. Okay, we've got to  
6 keep on schedule, but Rich, if you have one  
7 quick question.

8 DR. WUNDERINK: Just one quick  
9 comment to follow up on that as one of the  
10 token critical care people here. When we say  
11 severe community-acquired pneumonia, that  
12 means somebody who's come into the Intensive  
13 Care Unit, and the PORT score really does not  
14 reflect that completely, so you have this  
15 overlap of PORT 5s being discharged and PORT  
16 1s being admitted to the ICU.

17 And I think there is a very real  
18 and practical consideration of looking at  
19 patients who are treated as an outpatient,  
20 patients hospitalized outside the ICU, and  
21 patients looking at the ICU, and I would  
22 actually make a plea that we need to study the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 ICU patients, because that's where the  
2 mortality is.

3 That's where the significant long-  
4 term outcome issues are, and we know nothing  
5 right now. There is one study that I know of  
6 that allowed patients admitted to the ICU, and  
7 so I think that's a huge hole and is one of  
8 the really important things that may change  
9 the mortality of community-acquired pneumonia.

10 DR. GILBERT: We couldn't agree with  
11 you more, and the organizers had actually  
12 three scenarios. The third scenario was the  
13 severe patient in the ICU, and then when we  
14 outlined the program, there simply wasn't time  
15 to do it justice, so you're lobbying for  
16 another workshop, which will come up in a  
17 little bit.

18 So when you use the microphone, and  
19 I cut Rich off, please identify who you are  
20 and so forth so that on the recording of the  
21 sessions we have the speaker identified.

22 It's my pleasure now to introduce

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Rick Nolte from South Carolina, and his  
2 presentation heralds back to the days when all  
3 of us were stuck with the patient with  
4 pharyngitis, and we didn't know if it was  
5 viral or bacterial until we got the rapid  
6 strep test, and that certainly changed  
7 clinical practice, and I think that sets the  
8 stage for Rick's presentation. Dr. Nolte.

9 Here's a pointer for you.

10 DR. NOLTE: Do I need it? Thank  
11 you.

12 DR. GILBERT: If you need it.

13 DR. NOLTE: Appreciate it. Good  
14 morning, everyone. I want to thank the  
15 conveners for inviting me. Just like we have  
16 a token critical care guy here, I'm your token  
17 clinical microbiologist. I am currently  
18 Director of Clinical Laboratories at the  
19 Medical University of South Carolina in  
20 Charleston.

21 What I want to do with the half-  
22 hour or so given to me today is review

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 molecular diagnostic approaches for detection  
2 of common bacterial and viral agents of  
3 community-acquired pneumonia, discuss in a  
4 general way the relative strengths and  
5 limitations of these approaches relative to  
6 the conventional methods, the dizzying array  
7 of methods that are used, culture, antigen  
8 detection, and serology, and then hopefully  
9 provide some evidence or at least demonstrate  
10 to you with a couple of examples of how these  
11 molecular methods may better define those  
12 subjects eligible for community-acquired  
13 pneumonia trials.

14 I took -- the next three slides  
15 just go through the usual suspects in  
16 community-acquired pneumonia. This is taken  
17 from the current IDSA ATS consensus CAP  
18 guidelines. Basically, as we all know, strep  
19 pneumoniae, mycoplasma, haemophilus influenza,  
20 chlamydomphila, and the respiratory viruses are  
21 the most causes of CAP in patients destined to  
22 be treated as outpatients.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           As you move into the inpatient but  
2 the non-ICU setting, many of the same players  
3 come up, but then we add things like  
4 Legionella and aspiration pneumonia to the  
5 list of common etiologies, and then finally,  
6 as our critical care colleague wanted to talk  
7 about, those patients with community-acquired  
8 pneumonia that move to the ICU. Again, the  
9 cast of characters changes a little bit.  
10 We're adding gram-negative enteric bacilli  
11 probably to that list.

12           What I'm not going to talk about  
13 today are the vast array of testing strategies  
14 that have been devised for looking for those  
15 more uncommon causes of community-acquired  
16 pneumonia, but molecular methods do figure  
17 prominently, I think, with those agents, as  
18 well. That's just in the interest of time.

19           Basically, we've already, I think,  
20 covered this to some extent, the specific  
21 etiologic diagnosis. In most patients, the  
22 causative agent is unknown. It's been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimated as much as 98 percent of outpatients  
2 and in 50 percent of inpatients. Even in  
3 studies where every effort is made to  
4 determine the etiology, the success rate is  
5 often at about 50 percent.

6 Why is that? I think a lot of it  
7 has to do with the limitations of the sort of  
8 traditional approaches to diagnosis, and then  
9 some of it is probably due to unrecognized or  
10 underappreciated pathogens that perhaps in the  
11 underappreciated category weren't sought.

12 So what is some of the promise, I  
13 guess, in terms of molecular diagnostics? And  
14 by molecular diagnostics I'm really talking  
15 about nucleic acid-based diagnostics,  
16 especially nucleic acid amplification methods.

17 They do offer the promise of increased  
18 sensitivity and more rapid results than the  
19 traditional approaches for most pathogens.

20 This is certainly true for the  
21 respiratory viruses. It's certainly true for  
22 Legionella and hemophilia, and also they offer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the opportunity to provide a clue to the  
2 etiology even in those patients that had prior  
3 exposure to antibiotics. These methods are  
4 currently the best alternative for pathogens  
5 that are difficult or impractical to culture  
6 like mycoplasma pneumoniae and C. pneumoniae.

7 When you start moving to the common  
8 bacterial agents, it may be that quantitative  
9 methods rather than qualitative detection are  
10 required to do the best job of separating  
11 those patients who may be colonized from those  
12 patients who are infected, and certainly with  
13 streptococcus pneumoniae, haemophilus  
14 influenzae, and gram-negative bacilli, those  
15 would be important concerns.

16 So we're talking about a  
17 combination of qualitative methods for those  
18 pathogens for which there is no normal carrier  
19 state and then perhaps quantitative methods to  
20 better define those patients that have  
21 infections with organisms that can also be  
22 colonizers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           What are some of the concerns, at  
2           least from my perspective?       There is a  
3           reasonably large number of agents that would  
4           have to be sought to cover the waterfront,  
5           and, considering this, parallel testing is  
6           probably going to be impractical, so basically  
7           where I think the field is moving is in terms  
8           of multiplex analysis is a key in terms of  
9           enhancing diagnostic yield, and there are a  
10          number of approaches from a technical  
11          standpoint to that problem.

12           Multiplex PCR using either  
13          conventional or real-time methods, you can  
14          probably get as many as two to ten targets.  
15          One of the really sort of exciting approaches  
16          to this multiplex analysis is the so-called  
17          liquid micro arrays.       This is a Luminex  
18          platform. We'll talk a little bit about that.

19           You can probably do up to 50, maybe  
20          as many as 80 different targets in a single  
21          PCR reaction.    I mean, this is a remarkable  
22          advance, and this is technology that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 already in clinical labs, and I'll talk about  
2 one application that is FDA cleared on this  
3 platform.

4 And then the sort of Holy Grail, I  
5 guess, in terms of multiplexing would be the  
6 sort of solid micro arrays. There are a  
7 number of papers, research publications, that  
8 talk about using random, prime, or PCR in  
9 extensive oligonucleotide arrays to really  
10 categorize. You can cast your net as wide as  
11 you want, and you could envision a chip in the  
12 future that would cover all known respiratory  
13 pathogens.

14 I want to talk about two examples,  
15 first starting with this paper published by  
16 Morozumi in the *Journal of Clinical*  
17 *Microbiology* in 2006, where they essentially  
18 developed six real-time PCR assays with  
19 molecular beacon probes for some of the usual  
20 suspects in terms of bacterial causes of  
21 community-acquired pneumonia, and they threw  
22 in streptococcus pyogenes for reasons that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 were not clear to me.

2 But anyway, the assay analysis time  
3 was only two hours. They compared it to  
4 serology, sort of the conventional approach  
5 for mycoplasma and chlamydia, and cultures for  
6 the other agents. What they demonstrated was  
7 a high sensitivity and specificity relative to  
8 the comparators for all organisms that they  
9 tested.

10 This is a real-time PCR method, and  
11 the beauty of real-time PCR is that it's  
12 inherently quantitative, that you really get  
13 quantitation without any real extra effort in  
14 that the cycle threshold in a real-time PCR  
15 reaction is inversely proportional to the  
16 starting number of target molecules, and what  
17 this graph shows is that there is a fairly  
18 good correlation between the semi-quantitative  
19 culture results and the cycle threshold in the  
20 PCR reaction, and this happens to be for  
21 streptococcus pneumoniae.

22 And also what's interesting in --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 my animation apparently isn't working, but  
2 basically -- I'll do it the old fashioned way  
3 if I can figure out how to turn on the  
4 pointer.

5 In this group here, the culture  
6 negative/PCR positive group, all of these -- I  
7 think there are seven or eight patients here.

8 All of these patients had prior antibiotic  
9 exposure, so basically it extends the ability  
10 to detect the pathogen even in those patients  
11 that were culture negative and probably  
12 culture negative because of the prior  
13 antibiotic exposure.

14 Oh, there it is. Here we go. Same  
15 thing for haemophilus influenzae, a very good  
16 correlation between the cycle threshold and  
17 the semi-quantitation of culture results, and  
18 again, those patients with prior antibiotic  
19 exposure were all of the patients that had  
20 positive PCRs and negative culture results.

21 Okay, let's move on to respiratory  
22 virus detection, because I think this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really where the molecular diagnostic approach  
2 has significantly increased our diagnostic  
3 capabilities, just basically a little bit of  
4 review.

5           You know, what are the approaches  
6 that have been used? Serology, obviously we  
7 understand the problems with that. It's a  
8 retrospective diagnosis.

9           Rapid antigen detection, there are  
10 a variety of approaches that have been taken,  
11 but for most viruses, they have poor  
12 sensitivity and some problems in specificity,  
13 as well, with the exception, perhaps, of  
14 respiratory syncytial virus.

15           The culture approach, conventional  
16 cultures are too slow to have any real  
17 clinical impact. There have been tremendous  
18 advances in terms of quick or rapid cultures,  
19 so-called shell vial techniques, but we  
20 realize that some important respiratory  
21 viruses do not grow in cultures, so that's not  
22 really an option.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Nucleic acid amplification tests  
2 really have emerged as the new gold standard  
3 in this area. They provide rapid results and  
4 excellent sensitivity, and there are a variety  
5 of approaches that have been taken from single  
6 target assays to multiplex assays with two to  
7 seven targets per reaction to massively  
8 multiplexed analysis, which includes ten to 20  
9 viral targets.

10           One system that we've had some  
11 experience with is made by a company called  
12 EraGen. This is essentially a three-hour  
13 process that detects 17 different respiratory  
14 viruses in a single sample. It employs some  
15 proprietary technology by EraGen and also uses  
16 as common platform the Luminex Xmap platform  
17 for the readout. There are no washes or  
18 transfer, and this really can be a high  
19 throughput system.

20           Basically, the technology is laid  
21 out on this slide here. It's a reverse  
22 transcription step, because most of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 viruses that we're seeking are RNA viruses  
2 followed by PCR, and then a step called  
3 target-specific extension, where more target  
4 is made along with this capture probe that is  
5 attached to the primer that's used in this  
6 step, and then there is a complimentary  
7 sequence on these polystyrene beads that  
8 captures the specific PCR product.

9 The key to the detection is this  
10 Luminex Xmap technology, which involves a  
11 series in this case of 100 color-coded beads.

12 Each one is individually addressable, and on  
13 those beads you can link specific  
14 oligoneucleotide capture probes, and the whole  
15 process is read by a dual laser flow  
16 cytometer, one that identifies the specific  
17 bead, the other that reads any signal that  
18 might be associated with it.

19 Basically, what the panel looks  
20 like in this iteration, there were 17  
21 different viruses, human metapneumovirus,  
22 influenza A and B, parainfluenza virus 1, 2,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 3, and 4, respiratory syncytial virus types A  
2 and B, the respiratory adenoviruses belonging  
3 to the groups B, C, and E, human rhinoviruses,  
4 three coronaviruses, OC43, NL63, and 229E, and  
5 the appropriate sort of internal positive  
6 controls.

7 As I mentioned, this is a high  
8 throughput system. This shows the output for  
9 the influenza A virus assay. I think there  
10 were something like 180 samples examined in  
11 this run. What we have here are the results  
12 for the influenza A portion of the assay. You  
13 can see they are all well separated from the  
14 threshold values down here. These are all the  
15 negative samples.

16 These are the internal positive  
17 controls, if you will, an RNA-positive control  
18 and a DNA-positive control, and for the most  
19 part all of these are successful. There are a  
20 few RNA-positive control failures here, so the  
21 test has the right kind of controls to give  
22 you confidence in negative results.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Basically, so what we went through,  
2           essentially a methods comparison where we  
3           looked at 354 specimens primarily -- all from  
4           adult patients, primarily from hospitalized  
5           patients, and many of these specimens were  
6           lower respiratory tract specimens.

7           Many of them were BALs, and these  
8           are looking at the viruses that were in common  
9           between the culture-based method, the R mix  
10          method, and the molecular method, the PLx  
11          method, and you can see with the exception of  
12          influenza A there were comparable yields for  
13          the other viruses on this panel, but you see  
14          the dramatic increase in the number of  
15          positive samples for influenza A.

16          Moving on to the viruses that  
17          aren't normally sought by culture and that we  
18          didn't have a culture backup for, you can see  
19          that there is significant -- we found nine  
20          patients with human metapneumovirus, 15 with  
21          rhino virus, and three patients with these  
22          coronaviruses, NL63 and OC43. So looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the overall increase in diagnostic yield, it  
2 sent from 22 percent by culture-based methods  
3 up to 33 percent with the molecular method.

4 Also, an approach like this also  
5 gives you the opportunity to identify those  
6 patients with mixed viral infections, and in  
7 this particular situation we had two patients,  
8 one with a coronavirus and a rhinovirus and  
9 the other with the human metapneumovirus and a  
10 rhinovirus.

11 There are several different  
12 manufacturers who are approaching the problem  
13 on this Luminex platform. TmBiosciences is  
14 another company that is partnered with Luminex  
15 in producing these kinds of panels. This  
16 shows you their test menu, and basically this  
17 is technology that was first described by Jim  
18 Mahoney in the *Journal of Clinical*  
19 *Microbiology* article listed here.

20 The good news here is this is the -  
21 - this assay the first of the year was cleared  
22 by the FDA. It's the first example of a test

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with this kind of power to be cleared by the  
2 FDA, and that's pretty good news, I think.

3           There is another approach, a very  
4 similar approach, based again on this Luminex  
5 platform that incorporates bacterial targets,  
6 as well as viral targets, and it's this kind  
7 of approach that is intriguing, I think, in  
8 terms of really expanding the diagnostic  
9 capability.

10           This company, Genaco, is partnered  
11 with Qiagen, and they have two panels, if you  
12 will, one and two. The first panel goes after  
13 DNA targets in terms of the PCR reaction and  
14 covers the usual suspects in terms of  
15 community-acquired pneumonia, the bacterial  
16 pathogens, and adds adenovirus in there,  
17 because it's a DNA virus rather than an RNA  
18 virus, and then the second panel covers the  
19 usual suspects, if you will, in terms of  
20 respiratory viruses, the RNA viruses.

21           Part of the problem is that there  
22 are precious few FDA-cleared diagnostics,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 molecular diagnostics for respiratory  
2 pathogens. Certainly Mycobacterium  
3 tuberculosis is covered.

4 Recently there has been a  
5 molecular-based assay for Legionella  
6 pneumophila approved by the FDA. The  
7 respiratory virus panel. The Luminex panel  
8 produced by TmBiosciences recently received  
9 FDA clearance, as I mentioned, and also there  
10 is a real-time PCR produced by a company  
11 called Prodesa that covers the three viruses  
12 listed here, influenza A, influenza B, and  
13 RSV.

14 From this brief overview, what can  
15 we conclude? Molecular diagnostics, I think,  
16 do have the potential to better define  
17 subjects eligible for these kinds of trials by  
18 improving the diagnostic yield and decreasing  
19 the time required to identify etiologic  
20 agents.

21 This analysis can be completed in a  
22 matter of hours rather than a matter of days,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particularly if you're focused on  
2 antibacterial agents and quickly identifying  
3 those patients with viral infections that  
4 might not be appropriate for your clinical  
5 trial.

6 The lack of FDA-cleared diagnostics  
7 for the common bacterial pathogens is a  
8 serious limitation. This presents problems in  
9 terms of whatever assays might be developed  
10 for use in such trials, would sort of lack the  
11 standardization. Also there is this issue of  
12 availability without FDA-cleared diagnostics.

13 So one of the things that I think  
14 is important as we think about new trials for  
15 community-acquired pneumonia is perhaps there  
16 should be consideration given to the  
17 development of companion diagnostics along  
18 with the drugs, because right now you can't go  
19 to the shelf and pull off a set of reagents  
20 that are going to do what might be required to  
21 get the biggest bang from your buck.

22 Also, the problem -- it's not on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here, but part of the problem is transitioning  
2 from the sort of culture-based methods to  
3 molecular diagnostics methods. You're still  
4 probably going to have to capture an organism  
5 to determine its in vitro susceptibility.

6 You can approach that from a  
7 molecular standpoint, but that gets hopelessly  
8 complicated in terms of the number of -- well,  
9 in terms of the -- our lack of understanding  
10 in many cases of the genetics of bacterial  
11 resistance, particularly with new agents and,  
12 you know, covering the waterfront in terms of  
13 all of the agents that you might consider as  
14 an etiology and making sure that you  
15 completely cover all of the possible  
16 antibiotic resistance mechanisms to those  
17 drugs. So I think that brings me to the end.

18 DR. GILBERT: Thank you very much,  
19 Rick.

20 DR. NOLTE: Thank you.

21 DR. GILBERT: I suspect there will  
22 be many questions and comments. I'll just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 start out. So I know someone is going to ask  
2 you about colonization versus invasive  
3 disease. So you showed some quantitative  
4 results with the real-time that related to  
5 prior antibiotics, et cetera, but quantitation  
6 might also help us, would it not, with respect  
7 to colonization versus invasive disease?

8 DR. NOLTE: Absolutely, and I think  
9 that's -- I know Dr. Klugman is going to talk  
10 specifically a little more about the  
11 pneumococcus in the quantitative PCR story,  
12 but basically it's, you know, it's like  
13 quantitative cultures. It's going to help you  
14 to some extent define the bacterial burden and  
15 perhaps give you another marker in terms of  
16 treatment response and watching the quantities  
17 of those organisms decline early in the  
18 treatment process.

19 DR. GILBERT: And then the Luminex  
20 platform, I guess that's the one that's FDA-  
21 approved, at least for the 12 pathogens. What  
22 does it take to get approved? I mean, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were the patient populations that were  
2 studied, or was this just all in vitro studies  
3 that led to the approval?

4 DR. NOLTE: Yes, they're methods  
5 comparisons and comparisons to conventional  
6 technology that was in use, basically culture-  
7 based methods. It wasn't -- it's -- you know,  
8 diagnostics and drugs take different paths  
9 through the FDA, and that's one of the things  
10 that I think there's an opportunity here to do  
11 some good, because there's an awful lot of  
12 talk about companion diagnostics for other  
13 types of drugs, for cancer drugs, all the talk  
14 about pharmacogenomics and characterizing  
15 people's cytochrome P450 genotype as a drug  
16 goes through the FDA and having those tests  
17 migrate with the drug through the FDA, and I  
18 think here is another opportunity, because  
19 clinical laboratories really don't provide --

20 I mean, the technology that's  
21 available is really we all recognize the  
22 limitations of it. There is an opportunity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 here with some of the newer technology to  
2 migrate these diagnostics along with the trial  
3 of new drugs.

4 DR. GILBERT: Just to be clear,  
5 we've got two other questions. None of these  
6 tests have been vetted clinically. I mean  
7 there's no correlation that's part of the  
8 approval process with the clinical disease.

9 DR. NOLTE: Typically what happens  
10 in the trial of a diagnostics like this is  
11 samples are submitted to the clinical  
12 laboratory for, you know, for whatever reason,  
13 suspected, you know, in this case suspected  
14 viral, you know, respiratory viral infection,  
15 and then there is patient information  
16 collected, but there is no -- the test is  
17 approved for detection.

18 DR. GILBERT: Got it.

19 DR. NOLTE: Okay.

20 DR. GILBERT: Okay, I think Dr.  
21 Psaty was next.

22 DR. PSATY: Yes, I think the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       diagnostics provide an interesting opportunity  
2       here.  Let's say we designed a clinical trial  
3       that included the diagnostic as an eligibility  
4       criterion.  The proper generalization of that  
5       trial to clinical practice would be then to  
6       apply that same diagnostic and use the  
7       antibiotic as it was used in the trial, and so  
8       are you envisioning at all that the  
9       indications for the drugs will include  
10      potentially the use of the diagnostic test?

11                 DR. GILBERT: Well, Ed?

12                 DR. NOLTE: Is that a question for  
13      me or the panel?

14                 DR. GILBERT: I think that's a  
15      question for the Agency.

16                 DR. COX: Yes, there are instances  
17      where, you know, use of the test is integral.

18                 DR. GILBERT: Can we get Ed's mic to  
19      work here?

20                 DR. COX: Sorry.  I'll pull it a  
21      little closer.  There are instances that arise  
22      that, you know, where the use of the test is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 integral to understanding the risk and benefit  
2 of the product.

3           You know, there may also be other  
4 instances where, you know, you're using the  
5 diagnostic for the purposes of, you know,  
6 enriching the clinical trial, but, you know,  
7 you may not necessarily need to have the  
8 diagnostic in order to affect the risk  
9 benefit.

10           I mean, there may be other reasons  
11 why, you know, other scientific reasons that  
12 you'd be willing to generalize beyond just  
13 specifically that population where, you know,  
14 that had the test in the clinical trial, but  
15 you raise a good point, and, you know, how  
16 these tests can be used, you know, best and  
17 really the feasibility of their use for, you  
18 know, design in clinical trials.

19           DR. TEMPLE: Yes, well, it depends a  
20 little bit on how desperately you don't want  
21 to give it to somebody who doesn't need it.  
22 There are some examples in oncology.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           For example, the drug Herceptin is  
2 recommended only for people who have the  
3 appropriate receptor on their breast tumor,  
4 and one of the things that drove that  
5 determination was how toxic Herceptin is. It  
6 causes heart failure. There are other drugs  
7 that could be similarly directed where we've  
8 not been as insistent, and I think that's part  
9 of it.

10           I just want to make one  
11 observation, which is that while trying to  
12 identify the people with the relevant disease  
13 as early as possible so you don't expose them  
14 to a drug that can't benefit them is good,  
15 from the point of view of interpreting the  
16 trial, dropping them out later is okay, too.

17           It's a baseline characteristic.  
18 Antibiotic trials always drop people who don't  
19 have the right organism. I mean, it's been  
20 done for 50 years that way or 20 years, 30  
21 years, so that would be an interpretable  
22 trial, too.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   It's a baseline characteristic.  
2           You could if you diagnosed them appropriately  
3           and blindly and everything like that, drop  
4           them out later and make the end point the  
5           people who do have a bacterial disease.

6                   DR. GILBERT: It would impact sample  
7           size rather dramatically.

8                   DR. TEMPLE: Absolutely. I mean,  
9           you'd still -- it would be better to exclude  
10          them. I'm just saying if you can't get people  
11          to do it, you can still have an interpretable  
12          trial.

13                  DR. FLEMING: But you're just to  
14          follow up --

15                  DR. TEMPLE: Including -- but  
16          including them without finding out what they  
17          have is just a way to always win in a non-  
18          inferiority study, so you do have to find out  
19          who has the -- who doesn't have the right  
20          disease some time.

21                  DR. FLEMING: But, Bob, you've got  
22          to follow up on what you had said, and that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you drop them out, you've got to be  
2 confident that the people that are the  
3 unintended people are in fact not getting  
4 harm, and in severe sepsis trials for years --

5 Let's say if you're looking at  
6 gram-negative sepsis, and you in fact then  
7 want to do a subsequent analysis on those that  
8 are found gram-negative, the complimentary  
9 group you in fact need to be ensured you're  
10 not providing any harm to, because they in  
11 fact have received the intervention at all.

12 DR. TEMPLE: Absolutely, and the  
13 safety analysis includes everybody randomized,  
14 not just the ones you decided to study  
15 effectiveness in, but you could have your  
16 primary end point being the people who have  
17 the disease. In fact, in a non-inferiority  
18 trial that's absolutely crucial. Otherwise,  
19 you'll always win.

20 DR. GILBERT: Dr. O'Neill.

21 DR. O'NEILL: I just wanted to put a  
22 plug in for the CDRH folks who are likely not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here. There is a guidance on the co-  
2 development of diagnostics in drugs in the  
3 works, and it's probably been in the works for  
4 two years.

5 It's sort of -- in a sort of --on  
6 hold, because there are some differences of  
7 opinion on the level of standards that you  
8 need for a diagnostic, and it was interesting  
9 to hear that one is approved. It would be  
10 nice just to have a discussion of the evidence  
11 behind why that was approved and why the other  
12 guys aren't approved.

13 I think there is a history of  
14 convenience sample testing, which doesn't rise  
15 to the level of evidence here. A lot of the  
16 problems that the CDRH folks have is they want  
17 legitimate studies, not unlike we want control  
18 clinical trials that allow you to establish  
19 the sensitivity and the specificity and the  
20 positive predictive value of these particular  
21 tests in different populations where the  
22 prevalence is different or whether the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 phenotypes are different in terms of the way  
2 you come.

3           So they need that described well,  
4 so anybody who is interested in this game who  
5 wants to package sort of diagnostic along with  
6 the drug, he needs to be thinking holistically  
7 in terms of how do you design and get two-for-  
8 one. How do you design your clinical trials,  
9 and how do you get the other information on  
10 the diagnostic?

11           People aren't thinking that way  
12 right now, and this discussion is going on in  
13 the biomarker world, not in this world, but  
14 the biomarker world, with saying "Come up with  
15 some magic biomarker, and enrich -- and if  
16 you're lucky enough to enrich your clinical  
17 trial population, and then you go and put your  
18 money on that biomarker positive subgroup if  
19 that -- if treatment effect is demonstrated  
20 there, well, you're home free."

21           The issue is how do you  
22 operationally implement that if you go out and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 sort of allow people to be entered on the  
2 basis of that? I've been thinking about this  
3 in a number of other ways.

4 If you look at the recent publicity  
5 on the Gail model for the use of tamoxifen,  
6 the Gail model was something that's actually  
7 in the label of tamoxifen, and it was actually  
8 used for the entrance criteria into tamoxifen,  
9 and it essentially was a -- it's a logistic  
10 regression model that plugs in three or four  
11 co-variates that a woman has and sort of says  
12 if your probability of breast cancer in five  
13 years is less than, let's say, eight percent,  
14 you're eligible or you're not, so there's sort  
15 of a yes or no kind of a thing.

16 And that model can be viewed as a  
17 diagnostic test in that sense, and there was  
18 evidence that it really underestimated  
19 substantially the probability for black women,  
20 and so they're reratcheting that, because  
21 there's a lot of implications for getting that  
22 wrong.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The reason why I'm telling you this  
2 story is because CDRH worries about that, and  
3 they're worried about the sensitivity and  
4 specificity and the positive predictive value,  
5 so you're going to have to marry both of these  
6 ideas if you want to get some home runs here,  
7 and I don't think that conversation has really  
8 gone on enough. I don't think there is enough  
9 clinical trial drug development strategies  
10 that marry both the design for the diagnostic  
11 as well as the design for the trial.

12           DR. NOLTE: There are a couple. I  
13 mean, I actually serve on CDRH advisory panel,  
14 the microbiology devices panel, and, you know,  
15 I go to meetings, and I hear a lot of talk  
16 about companion diagnostics.

17           I don't hear about it so much in  
18 the infectious disease arena, and, I mean, in  
19 addition to the problems that you have with  
20 FDA, parts of FDA working together, I mean,  
21 most of the companies are in the diagnostic  
22 business. They're not in the drug business,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so you're now talking about, you know,  
2 partnering, making alliances between different  
3 companies that may not have the same  
4 interests, but I think it's a marvelous  
5 opportunity.

6 I mean, there are a couple of  
7 examples of it in the infectious disease  
8 world, and I think, you know, the development  
9 of antiretrovirals and the co-development of  
10 viral load tests for HIV by Roche and for HCV,  
11 as well, those -- a lot of information was  
12 gained about the diagnostic from the clinical  
13 trial of the antivirals, and I think, you  
14 know, you can, as you said, you can mine that  
15 same data set and get information that you  
16 need for the drug trial, as well as the  
17 diagnostic trial, if you put it together  
18 right.

19 DR. GILBERT: Thank you. So, now we  
20 want to address one example of a surrogate  
21 marker, if you will, and prospects for  
22 calcitonin as a new biomarker. We've asked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Michael Niederman, who is Chairman of the  
2 Department of Medicine at State University of  
3 New York, to help us review where we stand  
4 there, and I hope, if I --

5 DR. NIEDERMAN: If you click on  
6 that, it'll go on.

7 DR. GILBERT: Yes, but I've got to  
8 get my clicker in the right place. Thank you,  
9 Michael.

10 DR. NIEDERMAN: Well, thank you.  
11 It's been a very interesting discussion, and I  
12 thank all of the organizers for giving me an  
13 opportunity to be here today.

14 I don't claim to be an expert in  
15 biomarkers, and I've had a good time looking  
16 at this literature, and I'll try to synthesize  
17 for you what I've learned in reading this  
18 literature and how this material might be  
19 incorporated into the thinking about designing  
20 a CAP trial.

21 My major interest is in CAP itself  
22 and in the approach to diagnosis and therapy,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but I do think that the role that biomarkers  
2 could play in trial design is very important,  
3 and, as I said, at the end I'll try to give  
4 you a synthesis of where I see this literature  
5 potentially helping us.

6 So why should we even think about  
7 biological markers? We heard from Dr. Powers  
8 about we have clinical endpoints. Why not  
9 simply use them for entry and for evaluating  
10 the outcome in clinical trials? And clearly  
11 there are many issues with the use of clinical  
12 parameters.

13 Clinical features depend on the  
14 host response to infection. I don't think we  
15 focused on that tremendously today, but the  
16 host response can vary by organism. It can  
17 vary in relation to prior therapy and  
18 certainly can vary in relation to the host,  
19 and I don't think that we've really considered  
20 that in a lot of our discussion today.

21 The issue of age came up, but  
22 comorbidity, genetic polymorphism, and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immune response all can lead to variable  
2 clinical presentations, which we then  
3 translate into severity measurements. The  
4 severity of the illness itself can certainly  
5 affect the clinical presentations.

6 Certainly, as we heard in the  
7 discussion between bacterial and viral  
8 infections, clinical information isn't  
9 specific for infection in general or for  
10 specific etiologies, and many of the clinical  
11 features that we relied on, for example, the  
12 chest radiograph, may give us information  
13 that's much too late in the course of the  
14 disease to truly identify all the patients.  
15 We've all dealt with patients who have  
16 initially negative chest radiographs who  
17 indeed have community-acquired pneumonia.

18 A number of biologic markers have  
19 been looked at for pneumonia. The pro-  
20 inflammatory cytokines have been primarily  
21 research biomarkers, TNF alpha, IL-1, and IL-  
22 6, but I think it's important to understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when we talk about procalcitonin that these  
2 pro-inflammatory cytokines can actually  
3 stimulate acute phase reactants like  
4 procalcitonin. Anti-inflammatory cytokines,  
5 again, probably not very useful for the  
6 purposes that we're talking about today.

7 The acute phase reactants that have  
8 been studied extensively are C-reactive  
9 protein and procalcitonin, which I'll talk  
10 about in a moment. S-TREM, which is a member  
11 of the immunoglobulin superfamily, has had a  
12 little bit of study.

13 It probably is not practical,  
14 either, as I'll show you in a moment, for the  
15 purposes that we're looking at today, and  
16 there are a variety of other biomarkers that  
17 have been looked at that I won't focus on in  
18 the discussion.

19 The interest in S-TREM I think  
20 became widely known after this *New England*  
21 *Journal* study several years ago. TREM is the  
22 triggering receptor expressed on myeloid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cells, which is up regulated by the presence  
2 of bacteria and fungi, and this immunoglobulin  
3 is shed by the membranes of activated  
4 phagocytes in the soluble form, then is  
5 present in body fluids.

6 The study in the New England  
7 Journal measured S-TREM in bronchoalveolar  
8 lavage fluid of patients suspected of having  
9 pneumonia. They included patients with more  
10 severe CAP, then a later associated pneumonia  
11 or no pneumonia, and their validation of the  
12 presence of pneumonia was to look at a  
13 clinical pulmonary infection score and  
14 quantitative cultures of bronchoalveolar  
15 lavage.

16 In the major finding is shown here,  
17 then in the BAL fluid, patients with CAP,  
18 patients with VAP had much higher S-TREM  
19 levels in bronchoalveolar lavage than patients  
20 without pneumonia. This is a potentially  
21 rapid test. It did correlate with a  
22 bacteriologic gold standard diagnosis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Problem for the purposes that we're  
2 talking about today is that this was only  
3 validated in mechanically ventilated patients,  
4 and it required a bronchoscopy with a  
5 bronchoalveolar lavage to get a sample, and in  
6 more severe pneumonia it was clear that it was  
7 valuable. It's less clear to me from reading  
8 this study if we could use this in milder  
9 patients and earlier forms of pneumonia.

10           C-reactive protein has been studied  
11 in a number of settings. I'm only going to  
12 highlight a couple here. This was an  
13 emergency department-based study, 168 patients  
14 with acute cough. Twenty ultimately had  
15 pneumonia. The others had diagnoses of  
16 bronchitis, asthma, and upper respiratory  
17 illness, and with a cutoff number that they  
18 chose, they had a 70 percent sensitivity and a  
19 90 percent specificity for CAP.

20           They did not relate it to illness  
21 severity, and interestingly, this study did  
22 find that if you combine a biomarker with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinical parameters, you could improve some of  
2 the diagnostic ability.

3 Another study of C-reactive protein  
4 looked at 200 patients with CAP, and in  
5 general the patients with CAP had a  
6 substantially higher CRP than healthy controls  
7 or patients with respiratory tract infections  
8 that were not CAP. There was some  
9 relationship to etiology in that higher levels  
10 were seen with pneumococcus and Legionella and  
11 lower levels with viruses and atypicals, and I  
12 think that this could be potentially very  
13 important in trial design.

14 We certainly would like to identify  
15 patients who can potentially benefit from  
16 antibiotics versus those who cannot, and in  
17 general there was also a correlation with  
18 severity of illness, comorbidity, and need for  
19 admission. So this was a promising biomarker,  
20 but as you'll see in some of the more recent  
21 studies, this is probably not quite as  
22 valuable as some of the data in procalcitonin.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   When I first heard about  
2 procalcitonin, it didn't make a lot of sense  
3 to me as to why this was a biomarker of  
4 infection, because in medical school, when I  
5 had learned about procalcitonin, I learned  
6 about the constitutive production by the  
7 thyroid, but I think if you're interested in  
8 this topic, there's a very good current review  
9 that explains some of the science behind  
10 procalcitonin pointing out that there are  
11 three potential sources of procalcitonin in  
12 the body, the constitutive production by the  
13 thyroid, the parenchymal tissue, particularly  
14 in the liver, whereas this can be an acute  
15 phase reactant that comes on relatively  
16 quickly and stays for as long as a week after  
17 stimulation in the setting of acute infection,  
18 and then a more situational production by  
19 monocytes.

20                   Procalcitonin has been referred to  
21 as a hormokine, meaning it can be either  
22 hormonally expressed in the neuroendocrine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cells or a cytokine-like release in response  
2 to microbial toxins or a host response, and I  
3 think one of the appeals of procalcitonin is  
4 that levels can be increased dramatically.

5 They can be produced by the liver  
6 primarily in this parenchymal form. It can be  
7 produced by monocytes but not nearly to the  
8 extent that it's produced by parenchymal  
9 cells.

10 Levels can rise 100,000 fold above  
11 normal in the setting of infection, and the  
12 stimulus for procalcitonin release can be  
13 microbial toxins, which, again, makes it  
14 somewhat specific for bacterial infection, but  
15 also importantly the host response itself  
16 stimulates PCT release, and the viral host  
17 response actually inhibits PCT release.

18 So for the kinds of issues that  
19 we're looking at today, at least theoretically  
20 PCT could be very important, because it does  
21 respond to the presence of bacteria. It  
22 responds to the inflammatory response itself,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it's down-regulated in the presence of  
2 viral infection.

3 In reading about this, it's clear  
4 that there are a variety of different assays,  
5 and if we were going to try to apply this to  
6 clinical trials, we have to pay attention to  
7 which assay we're actually using. The Kryptor  
8 assay is the one that in the literature  
9 appears to be the most accurate.

10 Having said that, very few people  
11 have studied the Kryptor assay, and this group  
12 from Switzerland, which has done most of the  
13 work, has done their work with the Kryptor  
14 assay. It has not been in the hands of many  
15 others other than these individuals, and so  
16 when I tell you the conclusion that they have  
17 that the Kryptor assay is better than the  
18 other approach, the LUMI test, for example,  
19 that's based on the fact that they've used  
20 this assay in their studies and have gotten  
21 better results than other people who have used  
22 other assays in their studies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           It's a sheep-based polyclonal  
2 anticalcitonin antibody. It can detect levels  
3 as low as three-fold greater than normal, and  
4 it's got a very low sensitivity in terms of  
5 detecting very low levels of procalcitonin.

6           Results can come in an hour or  
7 less, and the group from Switzerland has  
8 studied the use of procalcitonin using the  
9 Kryptor assay, and what's been most impressive  
10 about their studies is that they've actually  
11 not correlated it just with microbiologic data  
12 like we saw, for example, with the PCR type  
13 testing, but really they've correlated it with  
14 clinical management, and they've used it for  
15 the purpose of antimicrobial stewardship in  
16 patients with suspected respiratory tract  
17 infections.

18           They've used it in community-  
19 acquired pneumonia to reduce the number of  
20 patients who actually have radiographic  
21 infiltrates and not give antibiotics to  
22 certain ones who either have viral infection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or non-infectious causes of their lung  
2 infiltrates.

3 The issue of duration was raised.  
4 PCT has been used to determine the duration of  
5 therapy in community-acquired pneumonia, and  
6 it may also be a prognostic as well as  
7 diagnostic marker, but it's unclear if it's  
8 quite as good prognostically as  
9 diagnostically.

10 This is the type of approach that  
11 the Swiss have used when they've set up their  
12 studies. They've defined four different  
13 levels, and we were talking about mild,  
14 moderate, severe, et cetera.

15 This is a different approach using  
16 a biomarker less than .1 micrograms, .1 to  
17 .25, .25 to .5, and greater than .5, and if  
18 they've received no antibiotics, or, I'm  
19 sorry, if the PCT, rather, is in this low  
20 range, they don't recommend giving  
21 antibiotics. If it's in the high range, they  
22 do recommend giving antibiotics.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And I think, quite to their credit,  
2           when they've designed these studies they've  
3           had opt-outs for the clinicians, so if you  
4           have a very low PCT, and the recommendation  
5           would be to not give antibiotics, if there was  
6           respiratory or hemodynamic instability or if  
7           the score was less than .1, if the patient  
8           fell into very high PSI or CURB scores where  
9           if it was .1 to .25, again, they give criteria  
10          for overriding the order not to give  
11          antibiotics.

12           On the other hand, when the levels  
13          are very high, they recommend reevaluating the  
14          PCT after several days of therapy, day three,  
15          five, and seven, and with these cutoffs, those  
16          then become the cutoffs for stopping  
17          antibiotics. So if you started at, say, above  
18          .5, but you feel down to .1 on day three, they  
19          would recommend stopping antibiotics.

20           For outpatients, they recommend  
21          using PCT levels serially to guide duration of  
22          therapy for up to seven days but certainly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 often less than seven days, and if the levels  
2 start very high, rather than using these as  
3 the cutoffs, they recommend to use only 80 --  
4 dropping to 80 to 90 percent of the peak value  
5 would be enough to allow discontinuation of  
6 antibiotics.

7 In the review article, they  
8 highlighted three studies. I don't know if  
9 you can read that, but I'm going to go through  
10 two of the relevant studies for pneumonia.  
11 They had very nice acronyms for them.

12 The two pneumonia studies are the  
13 ProRESP study, which is an ED-based study that  
14 included a variety of patients with pneumonia  
15 and other diagnoses, and in the setting of  
16 upper respiratory illness mixed with  
17 pneumonia, all presenters with respiratory  
18 infection to an emergency department. The use  
19 of PCT led to a 44 percent reduction in the  
20 use of antibiotics.

21 ProCAP study included patients only  
22 with radiographic community-acquired

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pneumonia, and even in that setting, they  
2 withheld antibiotics in 14 percent of the  
3 patients using a procalcitonin guidance, and  
4 they substantially reduced the duration of  
5 therapy from 13 days down to six days using  
6 procalcitonin guidance.

7           The middle study I'm not going to  
8 talk about. It was a COPD study and an  
9 exacerbation of COPD. They also used  
10 procalcitonin to guide the decision-making  
11 about use of antibiotics.

12           The ProRESP study was a prospective  
13 cluster randomized single blinded, meaning  
14 that the investigators did know the results of  
15 the procalcitonin. In 243 patients with lower  
16 respiratory tract illness, half got standard  
17 therapy, half had the procalcitonin guidance  
18 based on the parameters that I showed you, and  
19 what you can see here, if you look at the  
20 standard group in gray, the procalcitonin  
21 group in the darker bars, there was a slight  
22 reduction in the usage of antibiotics in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients with established community-acquired  
2 pneumonia.

3 The majority of the benefit  
4 occurred in patients with bronchitis and other  
5 respiratory diagnoses. So a lot of the  
6 withholding of antibiotics that occurred in  
7 this study didn't really occur in community-  
8 acquired pneumonia, although there was some  
9 withholding of antibiotics in CAP patients.

10 Again, the PCT group got 44 percent  
11 less antibiotics than the control group. They  
12 had a shorter duration of therapy. This was  
13 not as dramatic as in the CAP study.

14 It was 10.9 versus 12.8 days, and  
15 they only could find one bacteriologically  
16 positive community-acquired pneumonia patient  
17 who by PCT guidance was not given antibiotics,  
18 and still, in the absence of antibiotics with  
19 positive bacteriology and positive radiology,  
20 that patient recovered without antibiotic  
21 therapy.

22 When patients had community-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acquired pneumonia as a reference range, the  
2 PCT on average was between 3.9 and 4.6, and  
3 oftentimes it's much higher than that, but  
4 again, consider that number in relation to the  
5 cutoffs for the decision of using antibiotics.

6 The ProCAP study was, I think, a  
7 much more impressive study. It was 300  
8 patients with radiographic community-acquired  
9 pneumonia, again randomized to PCT-guided  
10 decision-making about antibiotics versus  
11 standard care, again, the same algorithm and  
12 the same cutoffs for whether or not  
13 antibiotics get used.

14 They measured PCT on admission. If  
15 they weren't sure about the withholding of  
16 antibiotics, they could withhold and then  
17 repeat six to 24 hours later so that there was  
18 a chance to treat in situations of  
19 uncertainty, and then they repeated it on days  
20 four, six, and eight.

21 Twenty-eight percent of the PCT  
22 study -- twenty-eight percent, rather, of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population had a PCT value less than that 0.25  
2 cutoff. In other words, if you followed the  
3 algorithm strictly, 28 percent should not have  
4 received antibiotics.

5 In the end, 15 percent with  
6 radiographic pneumonia in the PCT group had  
7 antibiotics withheld, and I think that that's  
8 still a very impressive number, given that  
9 most of these patients were admitted to the  
10 hospital. Ninety-seven percent were actually  
11 in the hospital with radiographic pneumonia.

12 They reduced the duration of  
13 therapy from 12 to five days. That's what's  
14 illustrated in the graphic here, and, again, I  
15 think that this shows you the potential to use  
16 this in clinical practice.

17 Subsequently, they combined the  
18 ProRESP and the ProCAP study to look  
19 exclusively at the patients who had community-  
20 acquired pneumonia in both studies, and that  
21 represented a group of 373 patients who had  
22 radiographic community-acquired pneumonia.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Within that group with radiographic  
2 community-acquired pneumonia, 20 percent -- 20  
3 patients, rather, had non-infectious  
4 diagnoses. They had radiographic pneumonia  
5 but, in fact, had other diseases that led to  
6 the radiographic abnormalities, and 24 had no  
7 -- had other diagnoses, particularly viral  
8 infection, and what they then did is look at  
9 the ability of the procalcitonin level in  
10 combination with a highly sensitive C-reactive  
11 protein assay and clinical features including  
12 the radiograph to predict the presence of  
13 pneumonia, and they found, again, with looking  
14 at the area under these curves that the  
15 procalcitonin added with highly sensitive C-  
16 reactive protein and clinical evaluation was  
17 the most sensitive model possible for  
18 detecting radiographic and clinical pneumonia  
19 and distinguishing those patients with  
20 pneumonia from the 44 patients who had  
21 radiographic infiltrates but did not, in fact,  
22 have bacterial pneumonia.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           They're in the process now of  
2 designing a large multi-center trial, which is  
3 outlined here. This trial has not been done.

4       The reference is here for those who are  
5 interested, but they have designed a  
6 prospective randomized open intervention in  
7 over 1,000 patients in six Swiss hospitals,  
8 and they're going to use again the same  
9 approach of PCT guidance and randomization by  
10 center and type of respiratory infection to  
11 use as their primary end point treatment  
12 failure at 30 days and again the decision-  
13 making to be guided by procalcitonin.  
14 Secondary endpoints in this proposed study are  
15 antibiotic exposure, rate of hospitalization,  
16 cost-effectiveness, and time to clinical  
17 stability.

18           One of the issues that we would  
19 consider is the ability to use procalcitonin  
20 to separate bacterial from atypical pathogens.

21       It's a little bit harder to get good data on  
22 this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This is one study that I came  
2 across of only 30 patients with community-  
3 acquired pneumonia. Ten had documented  
4 atypical pathogen infection. There is a typo  
5 in your handout. That's 20, not 30, bacterial  
6 infections, including three that were  
7 bacteremic.

8                   They used the other PCT assay, the  
9 LUMI assay, and they found that the PCT was  
10 substantially higher for the bacterial  
11 pathogens. In this testing, 7.6 versus 0.8  
12 for the atypical pathogens.

13                   It becomes a little problematic  
14 with these numbers, because the mean value of  
15 0.8 is still above that threshold of 0.5, so  
16 you might end up treating atypical pathogens,  
17 but this might be a potential way to get  
18 around the discussion we've already heard  
19 about do you have to include atypical pathogen  
20 coverage in a CAP trial. Maybe you could use  
21 cutoffs like this to address that issue.

22                   On the other hand, in their trial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 C-reactive protein and clinical parameters  
2 were not helpful in separating out bacterial  
3 from atypical pathogens from one another.

4           There is the potential to use C-  
5 reactive protein -- I'm sorry, procalcitonin  
6 serially to predict prognosis in community-  
7 acquired pneumonia, and although this is the  
8 population that Rich was talking about, severe  
9 pneumonia, I think it raises an important  
10 concept.

11           For patients admitted to the ICU  
12 with severe community-acquired pneumonia, if  
13 you looked at PCT levels on day one and then  
14 subsequently on day three, patients who  
15 survived compared to those who died started  
16 with a lower PCT level, and it dropped by day  
17 three. Patients who died started with a  
18 higher PCT level, and it rose by day three.

19           And so serial measurements in  
20 procalcitonin may have some value, not only  
21 for stopping therapy, but some prognostic  
22 value, and there have been a number of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, this just being one of them, that  
2 have correlated initial measurements of  
3 procalcitonin with the PSI score and with  
4 other outcomes in community-acquired  
5 pneumonia.

6 This study had 185 CAP patients who  
7 had PCT measured during the first day. Most  
8 were inpatient, a few outpatient, but you can  
9 see that patients in PSI Classes I and II had  
10 a significantly lower PCT than patients in PSI  
11 Classes III through V, and the development of  
12 complications throughout the course of their  
13 stay was also associated with a higher PCT  
14 level.

15 So a low PCT level, whether it  
16 indicates viral infection, other diagnoses  
17 than CAP, or even a bacterial infection, still  
18 correlates with a very low frequency of  
19 complications.

20 So, to conclude, I think PCT is  
21 probably the most promising biomarker to at  
22 least define the need for the use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibiotics in lower respiratory tract  
2 infections, including CAP. It seems to be  
3 very valuable for separating out bacterial  
4 from viral CAP, but it does appear from what I  
5 can read that the Kryptor assay is probably  
6 more valuable, and in that regard it probably  
7 needs more validation by multiple  
8 investigators. Most of these studies have not  
9 been done in the United States, haven't been  
10 done with our thought processes and our  
11 management of patients.

12 If you use PCT with high  
13 sensitivity C-reactive protein, in the one  
14 study it could enhance the value of clinical  
15 features for predicting radiographic CAP, and  
16 it can also identify patients with the worst  
17 prognosis in CAP. Higher values correlate  
18 with a higher PSI score, and serial  
19 measurements, as I showed you, may have some  
20 prognostic value.

21 So the last slide is a speculation  
22 about how we might use this information in CAP

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials, and we might, for example, if we were  
2 going to be measuring this PCT regularly, omit  
3 anybody with radiographic CAP and a PCT value  
4 that's low. That could be less than .1 or  
5 possibly less than .25, provided they don't  
6 have any of those other criteria for which  
7 we'd want to treat them in a trial, human  
8 dynamic instability, desaturation, or higher  
9 prognostic scoring groups.

10 By omitting these patients, again,  
11 you address an important issue that's already  
12 come up today. You take out of your  
13 antibiotic trial patients who get no benefit  
14 from antibiotic therapy.

15 If you were hellbent on doing a  
16 placebo-controlled trial, I guess you could do  
17 a placebo-controlled trial safely in this  
18 population, probably to prove that antibiotics  
19 aren't necessary, but I don't think -- I know  
20 clinically what I would do with that type of  
21 data.

22 In the outpatient with CAP, if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to design a superiority trial, then  
2 potentially you would take people with the  
3 highest PCT values, pick that group of .5 or  
4 higher, since at least that's the group that  
5 has the greatest risk of a poor outcome, and  
6 you might see discriminating value if you were  
7 trying to design a superiority trial.

8 For a non-inferiority trial, PCT  
9 level of .25 or greater could be an entry  
10 criteria, because at least you could be sure  
11 these are patients who might benefit from  
12 antibiotics, but by including patients with  
13 all degrees of likelihood of complications, to  
14 me this population would be more appropriate  
15 for a non-inferiority trial, and again, if  
16 you're looking in clinical trials, serial  
17 measurements of PCT that drop could be another  
18 surrogate marker, particularly in a  
19 superiority trial.

20 If you wanted to, again, discern a  
21 difference between two different therapies,  
22 potentially having one therapy lead to a more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rapid drop in PCT is a legitimate surrogate  
2 marker and may be more sensitive than some of  
3 the clinical markers that we're currently  
4 using. Thank you.

5 DR. GILBERT: There's one approved  
6 methodology in the United States. Is that the  
7 Kryptor method, or is that the other method?

8 DR. NIEDERMAN: I think it's the  
9 other method. I don't think the Kryptor is  
10 approved yet.

11 DR. GILBERT: Dr. File?

12 DR. FILE: Thanks, Mike, for that  
13 very nice review, comprehensive review. As we  
14 know, some of our antimicrobial agents have  
15 immunomodulatory effects, and is there data  
16 on, for example, what effect the macrolides  
17 have in vitro, for example, on the production  
18 from either parenchymal cells or monocytes of  
19 PCT, because if we wanted to use this as a  
20 marker of response, we'd have to take that  
21 into account?

22 DR. NIEDERMAN: Yes, I don't know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that data. There may be, and I don't know.  
2 If there's somebody else who knows that data,  
3 please feel free to chime in, but I did not  
4 see that, other than the relationship of  
5 inflammatory.

6 In other words, I could imagine  
7 what is known is the effect of other  
8 inflammatory mediators to up and down  
9 regulate, so to the extent that an antibiotic  
10 would initiate a specific immune response,  
11 that could indirectly affect it, but I don't  
12 know the specifics of an antibiotic  
13 correlation, specific antibiotic rather than  
14 the general effect of therapy.

15 DR. GILBERT: Yes, Dr. Psaty?

16 DR. PSATY: Very nice presentation.

17 I really appreciate that. In your last  
18 slide, you indicated that -- thank you -- that  
19 there is no benefit of antibiotic therapy in  
20 this group. How solid is that information, or  
21 is that a legitimate area for study with  
22 placebo-controlled trials?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NIEDERMAN: Well, you've seen  
2 the data, and you can decide how solid it is.

3 I think, having read these studies, I would  
4 be very comfortable not using an antibiotic in  
5 a patient who had a PCT level less than .1  
6 with none of these findings, even if they had  
7 a radiographic infiltrate.

8 I would have no trouble doing a  
9 placebo-controlled trial in that study, in  
10 that setting, but I'm not sure what I'd be  
11 proving, because I think that we have pretty  
12 good likelihood that those patients either  
13 have a viral infection or don't have bacterial  
14 infection that's going to lead to  
15 complications. I think it's a little hazier  
16 between the .1 and the .25, but I do think  
17 that those are the data that exist right now.

18 DR. GILBERT: Okay, I have to take  
19 the Chairman's prerogative here. I know  
20 there's lots of other questions, and we'll ask  
21 Michael to stay at the podium to answer them,  
22 but I think we need our full physiologic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 break, so we'll start promptly again at 10:35.

2 Thank you all.

3 (Whereupon, the foregoing matter  
4 went off the record at 10:24 a.m. and resumed  
5 at 10:37 a.m.)

6 DR. FLEMING: I think it's time to  
7 reconvene. If we could all come back, grab  
8 our seats, we're very pleased to have Michael  
9 Fine to talk to us about how severe pneumonia  
10 is assessed through the PORT scores, and  
11 Michael is coming to us here from the  
12 Pittsburgh Health Care System.

13 DR. FINE: Again, thank you very  
14 much for the opportunity to talk at this  
15 symposium today. The title for my talk is  
16 "The Pneumonia Severity Index: A Decade After  
17 Development."

18 So I'd like to try to address  
19 several questions in the next 15 minutes.  
20 First, what is the Pneumonia Severity Index?  
21 And we'll call that the PSI. Ten years ago,  
22 what motivated the development of the PSI, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do those motivations still exist today? And I  
2 believe they do.

3           How was the PSI derived and  
4 validated? What is the effectiveness and  
5 safety of the PSI in guiding clinical  
6 practice, which was one of the major  
7 motivating factors behind its development?  
8 And what are some other applications, caveats,  
9 and limitations of the PSI?

10           One of the things that I will not  
11 do today due to the restriction in time is to  
12 do comparisons of the PSI to other severity  
13 adjustment models for pneumonia, though there  
14 is a literature on that topic.

15           So what is the PSI? It's a  
16 prediction rule for prognosis of community-  
17 acquired pneumonia, which we'll call CAP,  
18 that's based on 20 variables that are  
19 routinely available at the time of patient  
20 presentation. In addition, it's also a  
21 decision aid that stratifies patients into  
22 five risk classes, identifying a low-risk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subset that can safely be treated in the  
2 outpatient setting.

3           Since its derivation and  
4 validation, the PSI has been cited in over  
5 1,300 publications, according to Google  
6 Scholar, and has emerged as the reference  
7 standard for risk stratification for CAP.  
8 Just to put that in perspective, the 2000 IDSA  
9 guidelines for pneumonia, which have also been  
10 very widely cited, have been cited in  
11 approximately 1,000 scientific publications.

12           So what was the original motivation  
13 for developing the PSI? Decision aids are  
14 most useful when clinical decision-making is  
15 complex, clinical stakes are high, and  
16 opportunities for cost savings exist without  
17 compromising quality of care for patients, and  
18 these were the exact circumstances for the  
19 PSI.

20           As you all know, pneumonia has a  
21 high mortality. It's the sixth or seventh  
22 leading cause of death combined with influenza

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the country, and it has a wide range of  
2 mortality, from less than one percent for  
3 outpatients to greater than 30 percent for ICU  
4 patients, but how do we determine which  
5 patients have a one percent mortality from  
6 those that have a 30 percent mortality when  
7 they present to physicians' offices?

8 In addition, Jack Wenberg and  
9 others showed that there was wide variation in  
10 admission rates for patients with similar  
11 severity of illness at the time of  
12 presentation, suggesting that if physicians  
13 did not objectively quantify risk but used a  
14 lot of subjective decision-making in making  
15 key decisions about who should come into the  
16 hospital versus who can safely be treated at  
17 home.

18 We also did a number of studies  
19 that showed that physicians actually  
20 overestimate the risk of death for low-risk  
21 patients with pneumonia, and such  
22 overestimation actually led to hospitalization

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of low-risk patients.

2 And, finally, data that our group  
3 generated, as well as Mike Niederman and  
4 others, there is an extremely differential in  
5 the cost of inpatient versus outpatient care.

6 The ratio is about 20 to one, and in 1994  
7 dollars, the estimates were about \$7,000 for  
8 the cost of a typical treatment or a typical  
9 episode of inpatient pneumonia versus \$350 to  
10 treat a patient as an outpatient.

11 In addition, there were prior  
12 prognostic models at that time, but they had  
13 limitations, and I'm not going to go over all  
14 the limitations, but they're shown on this  
15 slide.

16 So the PSI was developed by the  
17 Pneumonia Patient Outcomes Research Team,  
18 which was a research team and research project  
19 that was funded by the Agency for Healthcare  
20 Policy and Research. It was one of numerous  
21 PORT studies that focused on common diseases,  
22 diseases where there was wide variation in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 practice patterns, had significant morbidity,  
2 mortality, and cost of patient care.

3 The purpose of the development of  
4 the PSI was to develop a clinically applicable  
5 prediction rule for short-term mortality in  
6 patients with CAP. We hypothesized that low-  
7 risk patients can be identified at the time of  
8 presentation using readily available clinical  
9 information.

10 The derivation of the PSI was  
11 performed as part of a retrospective cohort of  
12 pneumonia patients from the 1989 MedisGroup's  
13 Comparative Hospital Database. These patients  
14 came from 73 hospitals and 23 states. The  
15 patients were 14,199 patients with a principal  
16 diagnosis of pneumonia who were adults defined  
17 as age 18 or greater.

18 We considered as predictor  
19 variables 20 variables that were independently  
20 associated with mortality and a prior  
21 pneumonia specific severity model that our  
22 group had developed, and our primary outcome

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the derivation was hospital mortality  
2 within 30 days.

3 We had the luxury of validating the  
4 PSI in two independent cohorts. The first was  
5 a second MedisGroup's cohort, this time  
6 consisting of 38,000 patients with pneumonia  
7 that were admitted to 187 Pennsylvania  
8 hospitals during 1991.

9 Again, this second validation  
10 cohort was restricted to inpatients, and the  
11 outcome measure was hospital-based mortality.

12 In addition, we had the multi-center PORT  
13 Cohort Study that we could use to validate the  
14 PSI.

15 These patients were 2,287 that were  
16 prospectively enrolled between 1991 and 1994  
17 from five medical centers in three cities.  
18 There are two medical centers in Pittsburgh,  
19 two in Boston, and one in Halifax, Nova  
20 Scotia.

21 In contrast to the MedisGroups  
22 validation, this validation cohort included

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 both inpatients and outpatients, and we had  
2 the ability to extend our outcomes assessment  
3 to 30-day mortality outside the hospital, as  
4 well as to assess a variety of other  
5 clinically relevant adverse health outcomes.

6 In contrast to previous prognostic  
7 models that existed at the time, the PSI was  
8 developed as a two step rule. In Step 1, we  
9 identified very low risk patients using  
10 demographic data that came from -- as well as  
11 clinical data that came from the history and  
12 physical examination alone.

13 In Step 2, we took the remaining  
14 patients who were not identified in Step 1,  
15 and we assessed risk using the Step 1  
16 variables plus a limited set of laboratory and  
17 radiographic data that are routinely available  
18 at the time of presentation.

19 So this slide shows the 11  
20 variables that were independently associated  
21 with mortality in Step 1 of our rule. They  
22 included a single demographic factor, age

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 greater than 50, five comorbid illnesses,  
2 including neoplastic disease, heart failure,  
3 chronic renal and liver disease, and cerebral  
4 vascular disease, four vital sign  
5 abnormalities, including tachycardia, systolic  
6 hypotension, tachypnea, defined as a  
7 respiratory rate of greater than 30, either  
8 hypo or hyperthermia, and the existence of  
9 altered mental status.

10 So these 11 variables can be  
11 distilled into a simple three-question  
12 algorithm. Is the patient over 50 years of  
13 age? If no, did they have any of the relevant  
14 five comorbid illnesses? If no, did they have  
15 any of the relevant history and physical  
16 examination abnormalities, i.e. vital sign  
17 abnormalities or altered mental status?

18 If the answer was no to all three  
19 questions, they would automatically be  
20 assigned to Risk Class I of the PSI. If the  
21 answer was yes to any of these three  
22 questions, proceed to Step 2, which is to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quantify risk using the additional laboratory  
2 and radiographic factors.

3           This slide shows the seven  
4 radiographic and laboratory factors that again  
5 were independently associated with mortality  
6 in Step 2. They included acidemia, defined as  
7 a pH of less than 7.35, elevated BUN,  
8 hyponatremia, hyperglycemia, anemia,  
9 hypoxemia, defined as a PO2 of less than 60  
10 millimeters of mercury or an O2 saturation of  
11 less than 90 percent based on pulse oximetry,  
12 and the existence of a plural effusion on the  
13 baseline radiograph.

14           We had a logistic regression model,  
15 and we used the beta weights in the logistic  
16 regression model quantify the association  
17 between each of these factors and the  
18 likelihood of death at 30 days, and what this  
19 slide shows is that the points that were  
20 assigned to each of these independent factors  
21 associated with mortality.

22           So to quantify a risk score for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 given patient, you take the age in years for  
2 males or the age in year minus ten for  
3 females, because males had a slightly worse  
4 prognosis, and then you serially add points  
5 for each of the factors that are present at  
6 the time of presentation.

7 This slide shows the relationship  
8 between risk class and the proportion of  
9 patients who were dying in the derivation  
10 cohort and the two validation cohorts, and I'd  
11 like to just walk you through this slide. Is  
12 the pointer available? Thank you.

13 Risk Class I are the patients who  
14 are defined by the three-question algorithm  
15 alone. Patients in Risk Class II had less  
16 than 70 points, and Risk Class III, 71 through  
17 90 points, and patients in general in Risk  
18 Classes I through III are considered low risk.

19 What you can see is when you look  
20 at each of these risk classes that there was  
21 no significant difference in mortality across  
22 risk classes, suggesting that the mortality

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we derived in the initial MedisGroups was  
2 well validated in two independent populations.

3 One point I'd like to make is that the  
4 cumulative mortality in patients in Risk  
5 Classes I, II, and III in the PORT cohort was  
6 less than one percent.

7 One of the things that we were able  
8 to do in the PORT cohort is to simulate the  
9 effectiveness of using this rule to guide the  
10 initial hospitalization decision. We asked,  
11 "What if all non-hypoxemic patients in Risk  
12 Classes I and II were treated as outpatients,  
13 and those in Risk Classes III were treated  
14 with only brief inpatient observation?"

15 In this simulation, this strategy  
16 would have resulted in a 26 percent reduction  
17 in inpatient care, and an additional 13  
18 percent of inpatients would have had a brief  
19 rather than traditional inpatient stay.

20 There have been a number of  
21 standards that have been developed to assess  
22 prediction models, and I won't go through each

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of these, but I'd like to summarize by saying  
2 that the PSI met virtually all standards for  
3 prediction rules of prognosis with the  
4 exception of really not assessing the impact  
5 on patient care.

6           Since the publication of the PSI,  
7 there have been five studies that have  
8 assessed the impact of the PSI on guiding the  
9 initial site of treatment for patients with  
10 CAP.       Two were cluster randomized  
11 effectiveness trials.       There was one  
12 randomized efficacy trial, one pre-, post-  
13 quasi-experimental trial, and one  
14 observational-controlled trials.

15           These studies enrolled close to  
16 4,000 low-risk patients at 60 sites in four  
17 countries. Four studies concluded that use of  
18 the PSI increased the proportion of low-risk  
19 patients treated in the outpatient setting  
20 without compromising patient safety. The  
21 fifth trial that was done -- was the  
22 randomized efficacy study that was really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 designed as a no-difference trial.

2 For interest in time, I'm going to  
3 skip over the next two slides. So what about  
4 the methodologic rigor of the PSI as a  
5 decision aid? Brandon Reilly published an  
6 article in the *Annals of Internal Medicine*  
7 that went over four levels of evidence to  
8 judge how well a decision aid performs,  
9 ranging from derivation to broad validation to  
10 narrow impact analysis to a broad impact  
11 analysis.

12 So what's been done for the PSI?  
13 In terms of derivation, we've identified 20  
14 independent predictors in 14,000 patients at  
15 73 sites. It was broadly validated in the  
16 initial publication in over 40,000 patients  
17 from 180 sites.

18 There was a narrow impact analysis  
19 done in our simulation using the PORT data,  
20 and since that time, in terms of a broad  
21 impact analysis, it's had its effectiveness  
22 demonstrated in nearly 4,000 patients at 60

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sites in over four trials.

2 So what are some of the caveats and  
3 limitations of using the PSI to guide a site  
4 of treatment? These are important to  
5 recognize. First, it includes a large number  
6 of predictor variables that complicates its  
7 use, and the dichotomous nature of the  
8 predictors may oversimplify decision-making.

9 The second, it does not include  
10 rare medical complications or conditions that  
11 are associated with prognosis and does not  
12 consider frailty or psychosocial factors that  
13 clearly have an important role in making  
14 decisions like which patients should be  
15 treated in the outpatient setting versus in  
16 the hospital.

17 It applies only to non-  
18 immunocompromised adults, excluding children,  
19 pregnant women, immunocompromised including  
20 these who are HIV positive, and patients with  
21 hospital-acquired pneumonia.

22 And, finally, it's important --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when using this as a decision aid to help  
2 guide the initial site of treatment decision,  
3 it's very important to recognize that it's  
4 intended to supplement, not to override  
5 physician judgment.

6 So what are some other applications  
7 of the PSI? In addition to being used as a  
8 decision aid to guide the initial site of  
9 treatment decision, it can help physicians  
10 quantify prognosis for communication to  
11 patients and their families.

12 It can be used to adjust severity  
13 of illness in comparative effectiveness  
14 studies and in therapeutic drug trials such as  
15 the ones we're discussing at this conference,  
16 and it can be used to calculate observed  
17 versus expected mortality at the medical  
18 provider and hospital levels for quality  
19 improvement and quality assurance programs.

20 So what are some of the summary  
21 points? Over the past decade, the PSI has  
22 evolved from a prediction rule for prognosis,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 specifically a prediction rule for mortality,  
2 to a decision aid for the initial site of  
3 treatment for patients with CAP. The PSI  
4 meets all methodologic standards for such  
5 instruments.

6 Implementation of the PSI in the  
7 emergency department safely increases the  
8 proportion of patients treated in the  
9 outpatient setting. Due to its methodologic  
10 rigor, accuracy as a prediction rule, and  
11 effectiveness as a decision aid, the PSI has  
12 become the reference standard for risk  
13 stratification for CAP.

14 There are citations for those of  
15 you who are interested that are attached to  
16 these slides, so thank you very much for your  
17 time.

18 DR. GILBERT: So, I think what we'll  
19 do, Mike, is take questions for both you and  
20 Tom after Dr. Fleming's presentation, if  
21 that's all right.

22 DR. FINE: Perfect. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COX: Now I'd like to introduce  
2 Tom Fleming, one of our co-chairs and also  
3 Professor of Biostatistics at the University  
4 of Washington, and Tom will be talking about  
5 what criteria should be addressed to do a  
6 credible non-inferiority trial and why is this  
7 clinically important. It's a very important  
8 topic to our discussions today, and thank you,  
9 Tom, for joining us and speaking on this  
10 topic.

11 DR. FLEMING: Great. Thank you, Ed.  
12 Well, as Ed has pointed out, we've already  
13 had a lot of introductory comments about non-  
14 inferiority. There's going to be a lot more  
15 discussion about it.

16 What I'd like to try to do is just  
17 take a little bit of time here to provide some  
18 additional insights into the complexity, into  
19 the criteria that need to be addressed when  
20 thinking about how to do a valid non-  
21 inferiority analysis.

22 So we have many standard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interventions that exist in many settings that  
2 already provide benefit, and yet there is  
3 interest in new therapies, experimental  
4 therapies, and in some cases because we think  
5 they may provide other properties beyond just  
6 enhancing efficacy.

7 So invasive aspergillosis  
8 voriconazole may well provide a better side  
9 effect profile than amphotericin B. In CAP, a  
10 new quinolone could be -- could have a better  
11 convenience of administration profile compared  
12 to penicillin, and a mother-to-child  
13 transmission of HIV, while intensive expensive  
14 interventions can reduce mother-to-child  
15 transmission, they're impractical or  
16 unaffordable where we need them most, in  
17 developing countries.

18 Can we use therapies that are much  
19 more cost-effective to be able to achieve  
20 broader benefit? Well, if these experimental  
21 interventions, in fact, are non-inferior in  
22 efficacy to the standards, then these profiles

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make them a very attractive alternative option  
2 for patients.

3 So let's take the penicillin new  
4 quinolone example. A non-inferiority trial  
5 has the obvious appeal that allows us to see  
6 head-to-head how this new quinolone is going  
7 to compare to penicillin, but we also want to  
8 know from this study is the new quinolone  
9 effective.

10 Well, we don't have a placebo in  
11 the non-inferiority trial, yet we can get this  
12 insight indirectly by comparing the new  
13 quinolone to penicillin, as long as we have  
14 quality data on how the standard compares to  
15 placebo, and as a result, herein lies the  
16 challenge and complexity with non-inferiority  
17 is having that evidence about the standard.

18 And so Bob O'Neill, Bob Temple, and  
19 others in developing the ICH guidelines have  
20 noted that, for penicillin or any active  
21 comparator to have appropriate efficacy, it  
22 needs to be efficacy that's clearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 established and quantified, and where the  
2 efficacy of that active comparator from those  
3 historical studies is relevant to the setting  
4 of the non-inferiority trial, or in my  
5 simplified terms, if we're going to use  
6 penicillin as the active comparator, its  
7 benefit needs to be substantial, precisely  
8 estimated -- ideally from previous randomized  
9 trials -- where those estimates are relevant  
10 to the setting of the non-inferiority trial  
11 against the new quinolone.

12 So why is this issue -- this is  
13 called the constancy assumption, and this is  
14 the downfall of so many non-inferiority  
15 trials. Why is this so critical?

16 Well, suppose you were comparing a  
17 new experimental intervention against  
18 vancomycin, and suppose the result looks  
19 similar. The issue is, is your new therapy  
20 similar effective to vancomycin or similar  
21 ineffective.

22 You say, "Well, Tom, it's similar

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effective." How do you know that? Well,  
2 because we have historical data that shows  
3 vancomycin was effective in pristine patients,  
4 but now we're doing this comparison, let's  
5 say, in VRE patients.

6 Well, it may well be that  
7 vancomycin was effective historically, but the  
8 critical issue is, in the non-inferiority  
9 trial in VRE patients, if vancomycin has much  
10 less or little effect, then being the same as  
11 something minimally effective is minimally  
12 effective.

13 Well, why is it that if we have  
14 historical trials about penicillin, for  
15 example, that show it's effective, why do we  
16 have to worry about whether penicillin in the  
17 non-inferiority trial might be less effective?  
18 What are some of the factors that could  
19 influence that?

20 Well, maybe in this non-inferiority  
21 trial we have less responsive patients to  
22 penicillin, or maybe in the non-inferiority

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial in modern days we have enhanced levels  
2 of care that, in fact, attenuate what the  
3 effect of the standard penicillin would be, or  
4 possibly in the non-inferiority trial there is  
5 lower adherence to the standard penicillin, or  
6 the endpoints could be different.

7           And so the reason this is important  
8 is that if the standard therapy truly is much  
9 less effective than what it was seen in a  
10 historical context, then an ineffective  
11 experimental will look dissimilar, will look  
12 non-inferior, and yet, in fact, it is  
13 ineffective, but you would be falsely  
14 concluding it was similar effective if you  
15 were assuming constancy assumption held when  
16 it doesn't.

17           So let's give a little more insight  
18 about how we proceed in all non-inferiority  
19 trials but certainly in non-inferiority trials  
20 in community-acquired pneumonia. The essence  
21 is, we need to define a margin, and we get a  
22 relative risk or relative effect of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 experimental against the standard, and we have  
2 to rule out that the experimental can be worse  
3 than the standard by more than a margin.

4 Well, the devil is in the details.

5 How do you get that margin? What's a valid  
6 margin? So let's illustrate this again.  
7 Let's say in pneumococcal pneumonia, where the  
8 standard is penicillin, new quinolone is the  
9 experimental, and let's say the endpoint is  
10 failure.

11 Failure here, we could define in  
12 many ways, depending on whether it's mild,  
13 moderate, severe, but it might be a composite  
14 of death, of persistent symptoms or  
15 breakthrough infections or worsening of  
16 symptoms.

17 So let's suppose that penicillin  
18 has a 20 percent failure rate, and let's  
19 suppose the new quinolone has a slightly  
20 higher failure rate of 25 percent, and with  
21 150 patients per arm, two standard errors is  
22 plus or minus ten percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           And so basically, if we're plotting  
2 here on this graph, what is the failure  
3 probability on experimental, the new  
4 quinolone, against penicillin? We hope we're  
5 over here. We hope we're at minus 15, minus  
6 ten, where there is a lower rate on the  
7 experimental, yet in this setting we had an  
8 estimate of a five percent higher rate with a  
9 confidence interval that said it could be up  
10 to 15 percent higher.

11           Well, is that upper limit  
12 sufficiently low that we can say that this new  
13 quinolone is effective or is adequately  
14 effective? What's the margin? We certainly  
15 would like to rule out that it's meaningfully  
16 worse.

17           Well, one of the aspects in  
18 defining the margin is, where is placebo?  
19 Where do we put placebo to know whether or not  
20 this confidence interval allows us to conclude  
21 we're better than a placebo? So we need  
22 evidence on the effect of the standard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 therapy.

2 So suppose we have historical data,  
3 historical trials that show that placebo  
4 actually has a much higher failure rate, a 50  
5 percent failure rate compared to penicillin's  
6 20. So we're estimating it to be 30 percent  
7 higher plus or minus ten percent.

8 So now we can put where placebo is  
9 on this graph. Here is placebo, 30 percent  
10 higher in its failure rate compared to  
11 standard, but we don't know that for sure.  
12 That's a point estimate. We have imprecision  
13 in that.

14 Adjusting for the imprecision in  
15 that and adjusting for the uncertainty, the  
16 constancy assumption, one traditional approach  
17 is to say we're going to put placebo here  
18 where the lower limit is, so we're only  
19 confident or reasonably confident that placebo  
20 has a 20 percent higher failure rate than the  
21 standard, than penicillin.

22 Well, you might say, "Okay, this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 great, Fleming, because we can rule out that  
2 the new quinolone is more than 15 percent, so  
3 we can establish efficacy." Well, part of the  
4 problem is, if you have an effective standard  
5 like penicillin, why is it enough to find  
6 something new that's better than nothing?

7 A tradition that's emerged is you  
8 want to at least be able to preserve some  
9 fraction of what it is that the effective  
10 standard provides, and a frequent margin,  
11 then, is based on preserving at least half the  
12 effect of the standard, and that would then  
13 yield a margin of ten percent.

14 And so essentially what we would  
15 need to have is a confidence interval that  
16 rules out that we're more than ten percent  
17 worse in mortality to be reasonably confident  
18 that we're preserving at least half the effect  
19 of penicillin.

20 But there's another consideration  
21 that should come into account in this margin,  
22 and that is, is a patient comfortable that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your agent could be losing ten percent, and if  
2 this were mortality, would you, in fact,  
3 achieve or be willing to take a ten percent  
4 higher mortality rate for a more convenient  
5 administration? Now the temptation to say,  
6 "Sure, we want this big margin," but I always  
7 say, "Turn it around. Turn it around."

8 Suppose you had a 30 percent  
9 mortality, and you could reduce that mortality  
10 to 20 percent. Would you be off to the FDA  
11 filing to get an approval for superiority  
12 because clinically you've reduced the death  
13 rate by one-third? You bet you would, and so  
14 if it's clinically meaningful to provide a ten  
15 percent improvement in the failure rate, why  
16 is it clinically acceptable to allow more than  
17 or up to a ten percent loss?

18 So bottom line is clinical  
19 relevance also enters into the definition of  
20 the margin. So the reduction in efficacy that  
21 we're allowing needs to take into  
22 consideration these other issues, and these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to be pretty powerful to allow for a  
2 meaningful reduction in efficacy.

3 In essence, this margin needs to be  
4 sufficiently conservative that you can  
5 reasonably conclude that this agent is  
6 preserving at least half the effect of the  
7 active comparator that, in effect, is  
8 effective, and that we're not allowing for a  
9 clinically meaningful worsening of outcome.

10 John Powers already raised the  
11 question what is the conclusion if we do this  
12 non-inferiority trial, and it's positive --  
13 you rule out the margin -- what's the  
14 conclusion? That the new quinolone is at  
15 least as good as penicillin, that it's not  
16 worse than penicillin? These are what you  
17 see. This is what is commonly stated as the  
18 conclusion.

19 Well, let's suppose you actually  
20 did a bigger non-inferiority trial, and in  
21 that non-inferiority trial, once again, the  
22 quinolone is five percent worse than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 penicillin, but now with its bigger size, it's  
2 plus or minus three percent for two standard  
3 errors.

4 So what does this graph now show?  
5 This graph now shows that the new quinolone  
6 still is five percent worse by estimate, but  
7 we know it's not more than eight percent  
8 worse. Therefore, we have established non-  
9 inferiority, but oh, by the way, it is two  
10 percent worse, so it is inferior.

11 Okay, this analysis establishes  
12 that the new quinolone is non-inferior to  
13 placebo while proving it's inferior. I'm  
14 perfectly comfortable with that. It's true.

15 How can you be comfortable with  
16 that paradox? You're comfortable with it  
17 because non-inferiority doesn't establish that  
18 the new quinolone is not worse than. It  
19 establishes that it's not unacceptably worse  
20 than.

21 You can be worse without being  
22 unacceptably worse, but it means this margin

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 does need to rule out all differences that  
2 would be unacceptable, so if you want to take  
3 a big margin to do a small trial, how in the  
4 world can you argue that anything less than 20  
5 percent or 15 percent is not clinically  
6 meaningful?

7           So the bottom line is no, these  
8 aren't the conclusions of non-inferiority.  
9 Marketing people won't want to hear this, but  
10 if you establish non-inferiority, what you can  
11 legitimately say is the new quinolone is not  
12 meaningfully worse than penicillin. That is  
13 what you have established with non-  
14 inferiority.

15           Quality also matters, quality of  
16 the trial conduct, and once again, if we go to  
17 the ICH guidelines, any trial needs to have  
18 high quality. A non-inferiority trial has an  
19 even higher bar. Why is that? Noise in a  
20 trial, missing data, non-adherence, poor  
21 quality conduct leads to lesser detection of  
22 differences.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           In a superiority trial, well, you  
2           can say, "If I showed an effect a fortiori, I  
3           would have shown even more of an effect if I  
4           had a clean trial," but in a non-inferiority  
5           trial, if there really is inferiority, you're  
6           going to miss it because of poor quality, and  
7           so, as ICH says, it's critical in a non-  
8           inferiority trial to have high levels of  
9           adherence, high levels of retention, et  
10          cetera.

11           We've already talked about this  
12          issue of capturing all of the outcomes. As  
13          ICH says, it's especially important to  
14          minimize loss-to-follow-up and missing data,  
15          as Bob Temple has already pointed out, but if  
16          you have an absence of the targeted microbial,  
17          you're not going to benefit those patients,  
18          and so there is the temptation to pull those  
19          out, but if only half of your patients are  
20          found to have the targeted microbe, and you  
21          leave those half out, what you have to  
22          recognize in benefit-to-risk is benefit-to-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 risk isn't fully defined by benefit-to-risk in  
2 the targeted half, because you also have all  
3 the other half that carried that risk.

4 I think it's very poorly advised to  
5 do a trial where substantial fractions of  
6 people will be identified later to not have  
7 the targeted microbial, but these kinds of  
8 missingness also are frequent and highly  
9 problematic. Leaving patients out of the  
10 analysis because they had adverse events, they  
11 didn't take the therapy, they didn't perceive  
12 benefit destroys the integrity of  
13 randomization.

14 Now, all right, so Fleming, you say  
15 you need a rigorous margin in order to be able  
16 to assess efficacy, but isn't it true that if  
17 you use a rigorous margin, you're going to  
18 have to have an obnoxiously large sample size?

19 Fact or myth?

20 So let's continue to look at this  
21 situation where the standard, let's say, has a  
22 20 percent failure rate. What I'm plotting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 along this axis is the experimental minus the  
2 standard failure rate.

3 If, in fact, you allowed a margin  
4 that allowed up to a ten percent increase in  
5 this failure rate, where you only had a 20  
6 percent failure rate in the control, you're  
7 having to argue that a relative 50 percent  
8 increase in the failure rate is okay before it  
9 matters to patients.

10 A 20 percent margin basically says  
11 a 25 percent, 50 percent, and 75 percent  
12 relative increase in failure rate is okay.  
13 You just can't have a doubling in the failure  
14 rate. So does it, in fact, meet clinical  
15 common sense that a margin that high could be  
16 defensible?

17 Well, so let's look at why a  
18 rigorous margin doesn't necessarily require a  
19 huge sample size. So again what I'm plotting  
20 along this axis here is the failure rate on  
21 experimental versus standard, so we'd love to  
22 be out here at minus 12, where the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 experimental is reducing the failure rate from  
2 20 percent to eight percent. It's 12 percent  
3 better.

4 If you're doing a superiority  
5 trial, then essentially, you need to have a  
6 point estimate sufficiently negative and a  
7 confidence interval ruling out equality to  
8 establish superiority. If, in fact, your  
9 experimental is 12 percent better than the  
10 standard in the failure rate, then with 340  
11 patients you have 90 percent probability of a  
12 positive result, 90 percent power to rule out  
13 equality.

14 Well, that's great, no  
15 controversies, but lots of antimicrobials  
16 aren't that good, and if we held the bar to  
17 being that good, we may have a hard time  
18 finding new interventions.

19 So the idea is let's look at non-  
20 inferiority, and let's be lenient here. Let's  
21 let a non-inferiority margin be 15 percent,  
22 and essentially then a positive trial would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a point estimate, a confidence interval, that  
2 would rule out your 15 percent worse. Well,  
3 if the experimental truly is the same as  
4 standard, then with 300 patients you have 90  
5 percent power to rule out your 15 percent  
6 worse.

7 The problem here is even if you're  
8 ten percent worse, you've got a substantial  
9 probability of ruling out your 15 percent  
10 worse, so with such a lenient approach, you  
11 have a substantial probability of approving  
12 agents that are a lot -- clinically, a lot  
13 worse.

14 All right, so we fix that by using  
15 a ten percent margin. Now, if you truly are  
16 the same, experimental and standard are truly  
17 the same, now with 672 patients you have 90  
18 percent power to rule out the margin. You're  
19 ten percent worse, but you say, "Aha, Fleming,  
20 I told you. See, you used the rigorous  
21 margin. Now you have to have a really big  
22 sample size. Now it's 672."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The problem with this logic is, why  
2 is it that if we don't think we're a whole lot  
3 better so we can prove superiority, that the  
4 only other thing we could be is the same?  
5 Isn't there such a thing as being a little  
6 better?

7           What if you're three percent  
8 better? You're not going to be enough better  
9 to show superiority in a practical trial, but  
10 if you were three percent better, couldn't you  
11 rule out a rigorous margin with a reasonable  
12 sample size? And you're right. You're  
13 absolutely right.

14           If you're three percent better, if  
15 the experimental has just a three percent  
16 better failure rate than standard, then with a  
17 more attractive sample size you do have 90  
18 percent power to rule out a ten percent  
19 margin. You even have 70 percent power if  
20 you're the same.

21           So rigorous margins don't make it  
22 difficult to establish benefit of agents that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are just even a little bit better. Yes, it  
2 makes it a little more difficult to establish  
3 benefit of agents that are the same, but there  
4 is not as much downside to public health by  
5 having a little bit harder time to establish  
6 benefit of agents that are just the same.

7 Now, question. What are these  
8 green asterisks? What are the green  
9 asterisks? The green asterisks are the least  
10 favorable estimates that would allow you to  
11 get a positive conclusion.

12 So you have to be estimated to be  
13 seven percent better to show superiority. You  
14 could be -- you have to be at worse two  
15 percent worse to get non-inferiority here.

16 The problem with this lenient  
17 margin, you could be estimated to be six  
18 percent worse. That's a 30 percent relative  
19 increase in failure rate, would be enough for  
20 non-inferiority, when you're using such a  
21 lenient margin. Using those lenient margins  
22 that give you the ability, even when your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimate says you're a fair amount worse to  
2 get an approval, leads to bio-creep.

3 What's bio-creep? Well, you don't  
4 just do non-inferiority once. If you now do  
5 non-inferiority, then non-inferiority and non-  
6 inferiority and then non-inferiority, how long  
7 before I have no clue what I have? Okay,  
8 that's not hypothetical.

9 When I was serving on the Anti-  
10 Infective Drugs Advisory Committee in the 2002  
11 February meeting, I brought up this example  
12 that was presented to the Antiviral Committee  
13 in 2001 that was looking at voriconazole for  
14 empiric antifungal therapy of febrile  
15 neutropenic patients, and we had a series of  
16 non-inferiority trials.

17 First it was amphotericin B, then  
18 ambisome to amphotericin in non-inferiority  
19 then vorciconazole to ambisome in non-  
20 inferiority. The endpoint was this composite  
21 failure endpoint. Death, breakthrough fungal  
22 infections, persistent fever. Any of those,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you were a failure.

2           Okay. Well, first of all, these  
3 were uncontrolled, small trials. This did, at  
4 least, have a point estimate that was similar,  
5 but the voriconazole estimate was about six-  
6 and-a-half, seven percent worse, and by the  
7 way, the failure rate in ambisome here was  
8 different from here. It used a different  
9 endpoint.

10           Well, this actually showed that you  
11 had a significantly higher failure rate on  
12 voriconazole, but the upper limit was 12  
13 percent, so if we used a 15 percent margin,  
14 we're okay; right? Well, the Antiviral  
15 Advisory Committee said, "No. No, stop."

16           I have no clue from this data what  
17 voriconazole really is doing, but if they had  
18 approved it, what would your fourth generation  
19 antifungal compare to? I'd use voriconazole  
20 for my active comparator, and let's use the 15  
21 percent margin again. How long before a  
22 placebo isn't highly likely to succeed in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 scenario?

2 So many of us would say in the  
3 anti-infective setting when we do non-  
4 inferiority trial on non-inferiority trial in  
5 acute otitis media and acute bacterial  
6 sinusitis and acute exacerbation of chronic  
7 bronchitis, what do we know? What do we  
8 really know about efficacy of those  
9 interventions?

10 So some summary comments. A  
11 successful non-inferiority trial does not lead  
12 to the conclusion that you are as effective as  
13 the standard. It simply allows you to say  
14 you're not unacceptably worse. Okay,  
15 therefore it becomes critical to define what  
16 is the smallest difference that is  
17 unacceptably worse.

18 Therefore, margins should not be  
19 based solely on a statistical calculation.  
20 Margins should not be based on, "Well, let's  
21 see. If we have an 80 percent cure rate, we  
22 want a 300-person trial. What's the margin we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could rule out with 90 percent power? Twenty  
2 percent. Aha, that's my margin."

3 No, that's not how we define a  
4 margin. First of all, the margin should be  
5 smaller than differences in efficacy that  
6 patients and care givers would consider  
7 clinically relevant, but furthermore, the  
8 margin isn't just an issue that is based on  
9 clinical judgment.

10 You need scientific data to also  
11 establish that you truly are ruling out  
12 placebo and even more so that you're  
13 preserving half the effect of an effective  
14 active comparator. Bio-creep can be avoided  
15 with rigorous margins, and rigorous margins  
16 don't lead to huge sample sizes for  
17 interventions that would be at least  
18 moderately better.

19 Really quickly, non-inferiority  
20 trials on surrogate endpoints I often call my  
21 worst nightmare. Non-inferiority trials are  
22 already bad enough, but you're trying to come

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up -- let's say it's a clinical endpoint of  
2 mortality.

3 At least we have a shot at defining  
4 what's the loss in mortality that we care  
5 about. Tell me how much loss in an  
6 antimicrobial effect we have to have to  
7 translate to that much loss in mortality: an  
8 incredibly uncertain, complicated issue.

9 Non-inferiority trials share many  
10 of the dangers of historically controlled  
11 trials, and as a result, they should be  
12 avoided if at all possible. It is an  
13 extremely unfavorable way to try to establish  
14 efficacy.

15 Any way that you could do  
16 superiority would be far superior in terms of  
17 understanding the effect, and, in fact, a  
18 recent article in *Lancet* goes one step  
19 further. This article that just came out in  
20 *Lancet* said non-inferiority is unethical.

21 The argument that the authors are  
22 giving here is, if you're comparing an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effective standard, and you do a randomization  
2 where you have a half a chance to get that,  
3 and you have a half a chance to get another  
4 agent that, in fact, we hope is as good as,  
5 but could be clinically meaningfully worse,  
6 why is it to your advantage to be randomized  
7 to an agent that you hope is as good as, but  
8 could be clinically meaningfully worse?

9           It does point out that if you're  
10 going to do that, that other agent sure better  
11 have some other really tangible good things  
12 that will motivate the patient to be  
13 randomized to something that isn't more  
14 efficacious and could be meaningfully worse in  
15 its efficacy.

16           You need, though, to have  
17 substantial magnitude for your active  
18 comparator, precisely estimated ideally in  
19 randomized trials, where those estimates are  
20 relevant to the setting of the non-inferiority  
21 trial, a condition that is frequently not  
22 present, if not highly likely, not present.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So the bottom line is, again going  
2 back to the wisdom of the ICH guidelines, the  
3 determination of a margin in non-inferiority  
4 trial is based on both statistical reasoning  
5 and clinical judgment and should reflect the  
6 uncertainties in the evidence on which the  
7 choice is made and should be suitably  
8 conservative.

9           So if you don't have an agent as an  
10 active comparator that's highly effective,  
11 precisely known in randomized trials, where  
12 those estimates are relevant to the setting of  
13 the non-inferiority trial, you're not going to  
14 be able to come up with a non-trivial margin,  
15 and if you don't come up with a non-trivial  
16 margin, then practical common sense would say  
17 if you want to know whether or not you are  
18 providing benefit, we need superiority trials,  
19 not just placebo trials. That's one example,  
20 but add-on trials or other superiority trials,  
21 if we want a reliable estimate of benefit to  
22 risk.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dave, back to you.

2 DR. COX: Thanks, Tom. And questions  
3 for Tom?

4 DR. GILBERT: Tom and Mike both, Dr.  
5 Fine.

6 DR. COX: Michael Fine, too, and  
7 Bob.

8 DR. GILBERT: Michael should be  
9 here, too.

10 DR. TEMPLE: If you know the effect  
11 of an intervention is quite large, and you  
12 choose which -- so that your non-inferiority  
13 margin to show any effect would be pretty big,  
14 but you choose on clinical grounds a much  
15 smaller margin, then I think the need to be  
16 very precise about estimating the real effect  
17 of the active control is diminished.

18 So, for example, in some form of  
19 pneumonia -- I've got another question to  
20 follow that -- if you know it's somewhere  
21 between 30 percent and 60 percent effective,  
22 you don't really have to know which it is if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your margin is ten. So that seems worth  
2 remembering.

3 In at least some antibiotic  
4 settings, you know, urinary tract infections,  
5 things like that, my impression, not knowing  
6 anything about it, is that the non-inferior --  
7 the clinically derived margin is much smaller  
8 than the actual effect of the drug, so that  
9 seems worth remembering.

10 My second question goes to Dr.  
11 Fine, and that is, some of the problems that  
12 Tom described are based on not knowing who the  
13 population is now compared to who the  
14 population used to be.

15 So what I'm wondering is if,  
16 whether you could use that scale to assure  
17 that at least -- it's ugly to say this -- at  
18 least some people in your trial will die, and  
19 then that would be confirmed, because they  
20 died despite good treatment, if I understand  
21 your findings.

22 Maybe a criterion for CAP is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population sick enough so that even with  
2 optimal treatment, a reasonable number of --  
3 sorry, it's ugly to say it -- some of them  
4 died, because that's the way it is. Maybe  
5 that provides assurance that you have a  
6 population that resembles the population in  
7 which you determined these drugs were  
8 effective.

9 So that -- do you understand my  
10 question?

11 DR. FLEMING: Yes.

12 DR. TEMPLE: I mean, if you use one  
13 through three, how are you going to know how  
14 to compare it with the past? You just won't,  
15 but if you have a nine or ten or 12 or 15  
16 percent mortality, that does suggest it's a  
17 little like the populations where you gained  
18 the impression that antibiotics work, and it  
19 might be reassuring, so that almost sets an  
20 ugly standard for us to insist that the  
21 population studied have some mortality.

22 DR. FINE: So I'm not exactly sure I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 totally understand your question, but I think  
2 that one of the things that the PSI does very  
3 well is accurately risk-stratify. So you can  
4 say with a fair amount of confidence that if  
5 you're focusing on Risk Classes I and II or  
6 even I, II, and III that choosing mortality as  
7 an outcome measure would probably be a very  
8 difficult outcome measure to have for a trial,  
9 because to show, you know, differences on an  
10 expected mortality of one percent, from one  
11 percent to two percent, are going to take  
12 thousands and thousands of patients.

13 So I think that you can certainly  
14 use it to define the population and then to  
15 use that population to decide what is the  
16 appropriate outcome measure to be looking at  
17 for antibiotic trials.

18 DR. TEMPLE: I had something  
19 slightly different. One is to maybe choose  
20 people by that standard on who to put into the  
21 trial, but you then would be testing your  
22 assumption by insisting that, nasty as this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sounds, the outcome include a mortality rate.

2 I mean, if nobody in the trials died, then  
3 you didn't put the population into the trials  
4 where you got your impression that antibiotics  
5 work.

6 DR. FLEMING: So --

7 DR. TEMPLE: You would insist --

8 DR. FLEMING: Right.

9 DR. TEMPLE: -- that the population  
10 is --

11 DR. FLEMING: So I think your  
12 question is clear, and I think the issue in  
13 answering your question is, distinguish  
14 between a prognostic factor, a covariate  
15 that's a predictor versus an effect modifier.

16 What Michael's work, impressive  
17 work, I'd say, has done is it's shown in a  
18 validated way that we have the ability to  
19 identify predictors. We have the ability to  
20 assess prognosis, and that's relevant, as you  
21 say, because you want to get a population at  
22 sufficient risk that you're going to see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events that you're trying to assess.

2 But what these analyses don't do is  
3 they don't tell us what the effect modifiers  
4 are, so you're going to be able to use the  
5 PORT score to define which patients are at  
6 higher risk for mortality, but that's a  
7 different question than telling me in which  
8 populations is penicillin going to have a big  
9 effect on mortality, and it's not the case  
10 that, let's say hypothetically, if males have  
11 a higher death rate than females, it doesn't  
12 follow that treatment effect in males is  
13 higher than treatment effect in females.  
14 That's the issue I really want to know if I'm  
15 going to change this margin.

16 You're correct, Bob. If I change  
17 the population of patients that are in my non-  
18 inferiority trial than in my historical trials  
19 of penicillin, it might be that those  
20 historical trials of penicillin were full of  
21 patients that were really sensitive to and  
22 benefitted by penicillin, and if I now go to a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 new population where this is an effect  
2 modifier, i.e. a population now where patients  
3 are less benefitted by penicillin, then I have  
4 to worry that this margin is even too  
5 conservative, because standard placebo  
6 actually may lie right here, and that was the  
7 example I gave with VRE.

8 Vancomycin might have a really big  
9 effect on the cure rate, but in VRE patients  
10 it may have a trivial effect on the cure rate.

11 The PORT score is going to tell us what are  
12 the prognostic factors for cure rate. It's  
13 not going to tell us what are the effect  
14 modifiers for penicillin's effect on cure  
15 rate.

16 DR. TEMPLE: That's true, but if in  
17 the past studies from which you derived your  
18 estimate of the effect of penicillin, there  
19 was a mortality reduction from 50 percent to  
20 30 percent --

21 DR. FLEMING: Yes.

22 DR. TEMPLE: What you know is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 despite effective therapy, there was still a  
2 mortality in the population that's relevant on  
3 which you based it.

4 DR. FLEMING: Yes.

5 DR. TEMPLE: That to me means there  
6 should still be a mortality in the new trials.

7 It shouldn't go to zero, because that raises  
8 the question of whether they were at risk at  
9 all.

10 DR. FLEMING: Yes, of course, there  
11 are many different things that can change, and  
12 how many of those that we know and we can put  
13 into our model I always say is the tip of the  
14 iceberg. The bottom line is --

15 DR. TEMPLE: These are recent  
16 studies, though. Under current treatment  
17 paradigms, there still is a mortality side.

18 DR. FLEMING: And the more recent  
19 and more relevant these studies are to the  
20 context of the non-inferiority trial, the more  
21 confident I'm going to be about where placebo  
22 lies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TEMPLE: But that's the trouble.  
2 The placebo-controlled trials of pneumonia  
3 are old. We know that.

4 DR. FLEMING: That's the trouble,  
5 yes.

6 DR. TEMPLE: But what I'm still  
7 asking is, if you pick a population which on  
8 treatment still has a mortality, aren't you at  
9 least moderately reassured that the past data  
10 are relevant to the population you put in your  
11 trial? That's what I'm asking.

12 DR. FLEMING: I would argue more,  
13 Bob, I'm moderately reassured to the extent  
14 that I can argue that the patient  
15 characteristics are similar, the supportive  
16 care is similar, the adherence to the active  
17 comparator is similar, and the definition of  
18 the endpoint is similar, and if all those are  
19 similar, then I'm going to have a greater  
20 confidence that the estimate of the active  
21 comparator effect from the historical trials  
22 will apply, and to be truthful in this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 setting, it's a real stretch to argue that  
2 many of those factors are true.

3 DR. COX: Thanks. Bob?

4 DR. O'NEILL: Yes, I have a couple  
5 of questions related to -- probably -- the  
6 implications of these presentations on study  
7 design. Tom makes the point or several points  
8 that, first of all, the non-inferiority design  
9 relative to other choices, superiority in  
10 particular, in many ways is a second-class  
11 citizen.

12 You should not do that design if  
13 you have another choice, because there are too  
14 many risks in doing it and coming up with a  
15 conclusion that you think you have an  
16 effective product when you really don't.

17 And there is a real distinction  
18 here, even in the literature, between use of  
19 this design when you already have two known  
20 effective agents, and all you want to know is  
21 whether these two agents are reasonably like  
22 each other.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The conclusions from that design  
2           are different from the conclusions of what  
3           we're talking about here, which is, one of  
4           these is ineffective, and if you make the  
5           wrong decision, you've really made a wrong  
6           decision. You have essentially allowed an  
7           ineffective agent to be on the market, so  
8           that's why this design, just from its basic  
9           principles, is, you've really got to get  
10          everything right at the design stage.

11          The point I'm going towards here  
12          is, to my way of thinking, one of the major  
13          problems with this design in the anti-  
14          infective area is the inability to identify  
15          people who are going to benefit at the  
16          entrance criteria level.

17          So you get a mixture of people who  
18          are either -- have to be thrown out after the  
19          fact because they don't have the bug, and  
20          that's not a good idea. That's bad practice.

21          If you don't have to do that, it would be a  
22          good idea not to do it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           So we've heard some ways of being  
2           able to "enrich the population" by screening  
3           early and not being in that game, and we've  
4           heard that from the Swiss work that's going  
5           on, and we've also heard it from your --  
6           essentially -- prediction tool.

7           So I guess my question would be how  
8           do you see both of these, both the Swiss  
9           presentation and what's been going on there in  
10          terms of the interesting thing is you get the  
11          same outcome if you treat for five days or  
12          four days. We heard a comment from the floor  
13          saying there is very little data on these  
14          studies being done in, I guess, hospitalized  
15          or ICU patients.

16          Your categorization essentially has  
17          a lot to do with whether you put somebody in  
18          the hospital or whether you don't put them in  
19          the hospital, whether you treat them  
20          outpatient or inpatient.

21          This has a lot to do with what the  
22          future designs are in terms of maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stratifying on that, maybe taking some of the  
2 Swiss thinking into play, and actually  
3 designing superiority trials which show a  
4 difference. Tie them into the John Powers  
5 idea of a dose, two different doses.

6 So I think there is opportunities  
7 here to, at the very least, change the  
8 enrichment strategies for all these trials so  
9 you don't get in the game of diluting your  
10 signal, because you've got a mixture of  
11 populations, some of whom don't have bacteria  
12 but have a virus, and secondly, some of whom  
13 are resistant, and some of whom aren't, but  
14 you don't know, and is there a way --

15 And all of those things, mixture  
16 populations who have differential response,  
17 are really bad for non-inferiority trials,  
18 because it's a source of noise that drives you  
19 to the null, and that's where the problem is,  
20 and the only solution is to upsize the trials,  
21 and nobody wants to do that, and that's not  
22 even satisfactory.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I guess my question is, to both  
2 of you, how do you see, with your presentation  
3 and with the -- what Dr. Niederman's  
4 presentation about what's going on in the  
5 Swiss clinical trial thinking, to actually  
6 dramatically change the entrance criteria to  
7 trials as to what the current practice is,  
8 because I think that alone is a huge benefit,  
9 that alone, from current strategies.

10           DR. FINE: Let me take a crack at  
11 this first. I think that I can probably  
12 comment less on the calcitonin literature, and  
13 I'll let Dr. Niederman make comments on that.

14           With regard to using the Pneumonia  
15 Severity Index or some objective measure of  
16 pneumonia severity in defining clinical  
17 trials, I think that it can be used to define  
18 homogeneous subsets of patients that share  
19 similar risk for a given outcome, and the  
20 outcome of interest in the PSI is mortality,  
21 so inasmuch as those individuals who are  
22 interested in developing clinical trials for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibiotics want to define severity based on  
2 risk of mortality, I think it could do a very  
3 good job in achieving that goal.

4           Although tempting -- it's tempting  
5 to use site of treatment, I think that there  
6 are some inherent flaws in using site of  
7 treatment as a proxy for severity of illness,  
8 because there is so much variability in  
9 physician decision-making with regard to site  
10 of treatment, not only for the home-versus-  
11 hospital decision, but Dr. Wunderink brought  
12 up ICU patients.

13           There is also a fair amount of  
14 variability from hospital to hospital and  
15 provider to provider and medical system to  
16 medical system of who gets into the ICU and  
17 who doesn't get into the ICU, or is it -- are  
18 they patients who have frank respiratory  
19 failure and sepsis and hypotension, or are  
20 they placed there because the hospital has a  
21 shortage of nurses, and they can't get  
22 adequate observation on the floor?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So it's a little bit of a long-  
2           winded answer, but I think that the PSI has  
3           the greatest potential to make a contribution  
4           if those interested in defining criteria are  
5           interested in defining severity of illness  
6           based on objective stratification of risk of  
7           mortality.

8           DR. O'NEILL: The reason for my  
9           question is that it only -- if this was a  
10          cardiovascular trial, and the idea would be  
11          you enter higher risk patients, because the  
12          probability event is higher.

13          So that's really the strategy that  
14          you're talking about, but that doesn't solve  
15          the other antibiotic, anti-infective problem  
16          which is entering people who don't have the  
17          infection, so you've got the other piece of it  
18          that you've got a mixture population.

19          DR. FLEMING: So let me try to  
20          respond again, because you're right, and this  
21          is a distinction that's often missed. When we  
22          define the enrichment population, one approach

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we take, because this is where we have  
2 the data, is who are the patients at higher  
3 risk for the endpoint of interest, because any  
4 data that exists in this setting is  
5 informative about that question.

6 What is far less informative,  
7 though, or what's far more difficult to  
8 determine isn't who are the patients that are  
9 more likely to have an event. Who are the  
10 patients most likely to benefit from the  
11 therapy?

12 Bob Temple gave an example in  
13 advanced breast cancer of Herceptin.  
14 Basically, understanding the mechanism of  
15 Herceptin stated that you want to use people  
16 that had high levels of HR2 over expression.

17 Therefore, we enhance the  
18 sensitivity, not because people with high  
19 levels of HR2 over expression were more likely  
20 to die, but because those are the people  
21 likely to benefit from the mechanism of action  
22 of the intervention. So we need much more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than just who is the prognostically high risk  
2 category. We need the insight about mechanism  
3 of action.

4 There is a whole lot of discussion  
5 about that out there nowadays looking at  
6 targeted therapies. It's really the main  
7 message in oncology. It's a great idea.

8 It's incredibly difficult to  
9 understand all of the intended and unintended  
10 mechanisms of intervention to be able to  
11 really reliably understand, in advance, who  
12 are those people most likely to benefit, but  
13 if you can, that's how you get an enriched  
14 study, but then your label is correspondingly  
15 restricted.

16 So with BiDil in heart failure,  
17 when they did a registrational study in blacks  
18 alone, because that was their enriched  
19 population, their label is blacks alone, so if  
20 you do think you have an ability to enrich,  
21 and you do a very targeted population, you're  
22 only assessing benefit to risk, then, in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population.

2 DR. COX: Dr. Niederman and then Dr.  
3 Echols.

4 DR. NIEDERMAN: Let me just amplify  
5 this last point, because I think it is really  
6 important to understand. You're trying to  
7 enrich patients who are going to benefit from  
8 an antibiotic trial, and I think that that's  
9 been stated so many times as a  
10 misunderstanding here, that PSI --

11 I think Michael said it well. It's  
12 a predictor of mortality. It is by no means a  
13 measure of the ability to respond to  
14 antibiotics, and it's by no means necessarily  
15 a predictor of the severity of the pneumonia  
16 itself, and the way you've got to understand  
17 this is, if you're a 75-year-old male, and  
18 you've got a history of prostate cancer,  
19 tomorrow when you wake up feeling great,  
20 you're PSI IV, and it's got nothing to do with  
21 whether you're going to respond to an  
22 antibiotic in a clinical trial.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           It just means that if you get a  
2 pneumonia, you might die from it, and that's a  
3 very different statement. So I think you have  
4 to understand the distinction.

5           I'm not trying to push or not push  
6 the issue of procalcitonin, but at least when  
7 those trials were designed, they were looking  
8 at a relevant endpoint. They were looking at  
9 the benefit of antibiotic therapy and the  
10 withholding of antibiotic therapy, and that's  
11 why, conceptually, that can enrich the  
12 population in a very different way than you  
13 could enrich the population by using PSI  
14 scoring.

15           DR. FINE: I guess, just as a  
16 follow-up comment, the PSI score is really  
17 predicated on having the diagnosis of  
18 pneumonia already established, so it's really  
19 not going to be helpful in terms of saying who  
20 has the disease entity of interest versus who  
21 doesn't, who would be even eligible for an  
22 antibiotic trial.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COX: Thank you. Dr. Echols?

2 DR. ECHOLS: Yes, thank you.

3 Actually, my thoughts were a little bit along  
4 the way Michael is going, but first, you know,  
5 my concern is this sort of emphasis on  
6 mortality as an endpoint, and just to go back  
7 to where we were at eight o'clock this  
8 morning, describing the discussion and mild to  
9 moderate CAP, which constitutes 80 percent of  
10 treatment courses for CAP and diagnoses for  
11 CAP, mortality as an endpoint is really not  
12 feasible, and we can't take a drug that's  
13 necessarily approved for severe infection and  
14 then translate it as we discussed earlier,  
15 that it'll also work for mild to moderate.

16 So the other point is that the PORT  
17 scores, looking at mortality, and I -- this is  
18 more of a question than anything else, but was  
19 there effort made to determine whether that  
20 was attributable versus associated mortality?

21 Because, as I've conducted clinical trials  
22 and had many deaths, and I have to write up a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detailed precis and review of every single  
2 death in every trial, and what I've been  
3 impressed by is, particularly with patients  
4 that die in oral therapy drugs, that the  
5 mortality has nothing to do with the infection  
6 being treated, that the mortality is related  
7 to underlying cardiovascular disease or  
8 thromboembolic disease or CNS disease, and to  
9 even consider mortality, even in severe  
10 pneumonia as directly related somehow to the  
11 treatment and the drug-bug interaction and the  
12 treatment of an infection, I don't see the  
13 data that really supports that mortality would  
14 be attributable to the infection.

15 DR. FINE: So that gets at the issue  
16 of all-cause mortality versus disease-specific  
17 mortality, and one of the things that we did  
18 as part of the original pneumonia PORT cohort  
19 study is we took a look at each and every one  
20 of the causes of death, went into the chart,  
21 traced out of all the circumstances that  
22 intervened between the time of patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation and death to try to assign  
2 whether the death was pneumonia-related or  
3 whether the death was not pneumonia-related,  
4 and I can't recall the exact numbers, but only  
5 about half of the deaths were specifically  
6 pneumonia-related, so you're right. About at  
7 least half of the deaths are related to  
8 underlying comorbidity that had nothing to do  
9 with the actual infection based on a strict  
10 cohort review.

11 DR. ECHOLS: And that was the cohort  
12 that just had hospitalized patients, or did  
13 that include --

14 DR. FINE: No, that was inpatients  
15 and outpatients.

16 DR. ECHOLS: That was the -- okay.

17 DR. FINE: But there were only seven  
18 patients in the 944 outpatients that died, so  
19 most of those patients ended up being treated  
20 in the hospital.

21 So there are good data looking at  
22 what the causes of death are. As I recall,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was no good correlation between severity  
2 of illness and whether they died from their  
3 pneumonia versus a comorbidity.

4 DR. ECHOLS: Okay. Thanks.

5 DR. FLEMING: And just a 30-second  
6 follow-up comment. Maybe this is obvious,  
7 hopefully. Whether you're talking about  
8 severe CAP, where mortality might be an  
9 endpoint, or whether you're talking about mild  
10 or moderate CAP, where resolution of symptoms  
11 would be an endpoint, these principles that we  
12 talked about are the same in those two  
13 settings.

14 Now, whether they're satisfied  
15 could differ. We may be able to justify the  
16 principles as being valid for mortality and  
17 severe but not for resolution in mild to  
18 moderate, but the point is the principles are  
19 the same, independent of the disease setting  
20 or the endpoint used.

21 DR. COX: Thank you very much for  
22 the comments and questions. Now I'd like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 move on and invite Dr. David Gilbert to  
2 present. Dr. Gilbert is Professor of Medicine  
3 and Chief of Infectious Diseases at Providence  
4 Portland Medical Center, and he'll be talking  
5 to us today about clinical endpoints of  
6 therapy to include patient-recorded  
7 observations. Dr. Gilbert.

8 DR. GILBERT: So the original  
9 program listed Jack Edwards as giving this  
10 presentation on clinical endpoints for mild to  
11 moderate CAP. Dr. Edwards sends his  
12 apologies. He's got a health problem, and so  
13 at the last minute, relatively the last  
14 minute, he asked me to substitute for him.  
15 I'll try to do the presentation justice.

16 These are my bad habits, if you  
17 will. The plan is to briefly present a  
18 historical perspective. As you've heard from  
19 others, we have to base a lot of our judgments  
20 on the work of our pioneers in the early days  
21 of infectious disease, and then discuss  
22 current suggested approaches to quantifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoints or outcomes. I'll go back and forth  
2 on that terminology, and I've had help from a  
3 lot of colleagues in the presentation.

4           So from the historical perspective,  
5 I was attracted by this paper by Petersdorf,  
6 Cluff, Hoeprich, and others in the Bulletin of  
7 the Johns Hopkins Hospital in 1957. Drs.  
8 Petersdorf and Cluff and Hoeprich were sort of  
9 the pillars of the foundation of infectious  
10 disease as a specialty, and they conducted a  
11 prospective randomized double-blind trial of  
12 what we would consider low-dose penicillin  
13 today and intramuscular every 12 hours for  
14 seven days or until afebrile for 48 hours, and  
15 then you either got aspirin or a placebo  
16 tablet. Didn't say if it looked exactly like  
17 aspirin, but a placebo tablet.

18           The interesting thing is that  
19 patient symptoms were evaluated independently  
20 by two MDs who were blinded to the therapy,  
21 and John Powers showed some of the difficulty  
22 in reproducibility of various MDs eliciting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 symptoms, and those of you that knew Dr.  
2 Petersdorf and his personality, I would have  
3 loved to have watched him collect this data  
4 from a patient.

5 Dr. Petersdorf was always in a  
6 hurry, and I suspect he took about ten seconds  
7 to assess symptoms in the area of general  
8 symptoms, appetite, cough, and pleuritic pain  
9 and then had sort of the beginning of a Likert  
10 scale grading the severity of those patient  
11 symptoms.

12 And, of course, this relates to  
13 some of our modern concepts of patient-  
14 reported observations, and then they reported  
15 these results as a percent of symptom,  
16 becoming asymptomatic over a five-day period,  
17 and obviously there was no difference  
18 detectable between the aspirin and the  
19 placebo, but the effects became evident fairly  
20 quickly.

21 Now this doesn't apply directly to  
22 our mild outpatients. These were inpatients,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 and yet they were able -- at least the authors  
2 thought they were able to get reasonable data  
3 from inpatients who obviously were feeling  
4 quite ill.

5           The temp curve, on the other hand,  
6 looks like modern art, so they didn't do too  
7 well putting this into a time-to-response  
8 parameter, and some of the more modern  
9 studies, which I'll get to momentarily, have  
10 done just that.

11           So what's happened in more modern  
12 times is this patient-reported observation as  
13 an endpoint or an outcome has utilized the  
14 techniques of colleagues in sociology and  
15 psychology, psychometrics, the branch of  
16 psychology that designs, administers, and  
17 interprets quantitative tests used for the  
18 measurement of psychological variables and  
19 then have adapted those techniques to a  
20 clinical setting and then another phrase that  
21 I wasn't as familiar with, clinometric, which  
22 is similar, but it's assessing symptoms,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 signs, and laboratory results by scales,  
2 indices, and other quantitative instruments.

3 Of course, if you then go to that  
4 literature, you get into a lot of terminology  
5 that we clinicians, even academic clinicians,  
6 are not terribly familiar with, but obviously  
7 terribly relevant.

8 Reliability. Whatever questions  
9 you ask have to be stable over time,  
10 reproducible between different observers, have  
11 to be valid to the extent to which the  
12 endpoint measures what is intended.

13 Responsiveness, detection of the  
14 complications that we want to know if the  
15 complications are present, and acceptability  
16 to all of the users, and there is a nice  
17 review of all of these in much greater detail  
18 than I have time to present in this *Lancet*  
19 *Infectious Disease Review* article in 2003.

20 So we've heard a lot of discussion about  
21 endpoints, so I'm going to go now to some of  
22 the classical endpoints and then come back to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the patient-reported observations.

2 As you're heard about eight times  
3 now, most patients with mild to moderate  
4 community-acquired pneumonia don't die, so  
5 that's not very good for an endpoint, and Dr.  
6 Fine described those numbers.

7 The mortality of -- one other paper  
8 I found interesting from the *Annals of*  
9 *Emergency Medicine*, the mortality of  
10 outpatient CAP very low, as already mentioned,  
11 but if those patients subsequently developed a  
12 complication and had to be admitted, then  
13 there was a tenfold increase in the mortality  
14 rate. So, obviously, you'd need a huge sample  
15 size so mortality is an insensitive endpoint  
16 or outcome measure.

17 For patients that get into the  
18 hospital, not directly applicable to our  
19 outpatient patients, the length of stay is  
20 often quoted, but, gee, that's influenced by  
21 clinician's practice style, the need or  
22 pressure on hospital beds and the efficiency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of discharge planning.

2           There is variations in length of  
3 stay between a hospital without validation --  
4 without variations in outcomes, so the length  
5 of stay hasn't correlated with variations in  
6 outcomes.     There is a phrase, time-to-  
7 clinical-stability, but that again isn't  
8 applicable to outpatient therapy.

9           I'm very envious of our colleagues  
10 in the viral field, because they have to deal  
11 with clearer endpoints in that they can  
12 measure the viral load and follow the viral  
13 load very easily for HIV, Hepatitis B, and  
14 Hepatitis C, and we have the CD4 counts as  
15 accepted surrogate markers and so forth.  
16 Maybe we're edging that direction, as you  
17 heard from Dr. Nolte's presentation, but we're  
18 clearly not there yet.

19           In terms of the micro biologic  
20 response, we certainly want to try to find out  
21 the microbiologic etiology, especially if  
22 there is bacteremic patients, endocarditis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients, and so forth, but those sorts of  
2 issues don't seem applicable to outpatients.

3 We've already heard that the  
4 current methods aren't terribly good at  
5 detecting etiology, but there is hope on the  
6 horizon. So the term microbial eradication or  
7 presumptive microbiologic eradication makes no  
8 sense, as Dr. Powers brought up.

9 We need the chest x-ray to enroll  
10 the patient, so it's the gold standard for  
11 diagnosis. It doesn't seem very useful as an  
12 outcome measure. Even though there is sparse  
13 data on outpatient community-acquired  
14 pneumonia in terms of the x-ray, there is good  
15 data on inpatients.

16 So one study, 288 patients with  
17 severe community-acquired pneumonia, by day  
18 seven, 25 percent of the patients had an  
19 improved chest x-ray, 25 percent, but 56  
20 percent were clinically improved. I know I'm  
21 not supposed to say improved. I'm just  
22 quoting the authors. By day 28, 53 percent of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the patients had improved chest x-ray, so, far  
2 less than 100 percent, but close to 80 percent  
3 were clinically cured by day 28.

4 So it's not a useful or a practical  
5 endpoint, which gets us back then to patient-  
6 based outcomes. So the idea is to capture the  
7 features of outcomes that are of importance to  
8 patients.

9 A lot of these are subjective  
10 symptoms that can only be assessed by the  
11 patient. We apply these tools of  
12 psychometrics, as I described earlier, using  
13 numerical scales.

14 So the questionnaire, the questions  
15 that are asked, are not things that you can  
16 create on the back of an envelope chatting  
17 with colleagues. They have to be documented  
18 as reliable, valid, responsive, and actually  
19 several other criteria.

20 So the best example, and it may be  
21 to date, and others will correct me. The only  
22 example is a questionnaire instrument that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed at the School of Public Health in  
2 London, a community-acquired pneumonia symptom  
3 questionnaire, and it was developed for use  
4 as part of an endpoint assessment in a  
5 prospective randomized double-blind study that  
6 compared oral moxifloxacin to either oral  
7 amoxicillin or clarithromycin over a 14-day  
8 period.

9 Sixty-four centers in 13 different  
10 countries, 556 outpatients, and the  
11 questionnaire, and this I found very  
12 impressive, was developed in English but then  
13 was translated and successfully utilized in 12  
14 other languages.

15 So the interviews were conducted by  
16 phone or face-to-face and completely  
17 standardized. Literally every single word  
18 that the interviewer asked was scripted, if  
19 you will, at three time points, and this was  
20 critiqued by John Powers earlier, at study  
21 entry day three to five, during therapy and at  
22 the end of therapy, and so in hindsight we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would have liked to have seen more front-ended  
2 assessments of the endpoints.

3 They utilized 18 community-acquired  
4 pneumonia-related symptoms, cough, sputum  
5 production, dyspnea, chest pain, using a six-  
6 point Likert scale, and I'll get to the scale  
7 in just a moment.

8 All of the items were tested for  
9 the psychometric criteria. Acceptability,  
10 reliability, validity, and responsiveness were  
11 the major criteria that were utilized.

12 And I just scanned in the top half  
13 of the scale. It goes down to 18 items, and  
14 as it says at the top, "Read to each patient.

15 Read each item to the patients and circle the  
16 number that corresponds to how the patient has  
17 been bothered by the symptom in the past 24  
18 hours." So Likert scale from zero to five, so  
19 five times 18, so 90 would be the maximum  
20 point score, if you will, if you circled the  
21 five on every single item.

22 And then this questionnaire was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 applied in this study, and these are the  
2 results. So 233 patients, 244 patients  
3 standard therapy and a very high success rate.  
4 Ninety-three percent, I think, was the  
5 lowest.

6 Up to 99 percent of the individuals  
7 enrolled in the study completed the interview  
8 process, and it's not surprising the scores  
9 were nowhere near 90, because these are  
10 outpatient mild to moderate pneumonia, and the  
11 good news is that the scores lowered during  
12 therapy, which most of the -- all of the  
13 patients are getting better and that the  
14 standard deviations are reasonably small.

15 So what has been the use of these  
16 patient-reported observations in clinical  
17 trials? Well, I showed you the one study  
18 where it was validated. In the next slide or  
19 two I'll talk about a Gati. versus clarithro  
20 study that was reported in AAC in 2006.

21 It was similar, but they used a  
22 different questionnaire instrument. It wasn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the same instrument, and I'll ask -- I should  
2 have asked in advance, but I'll ask Ed and  
3 colleagues from the Agency if a patient-  
4 reported observation endpoint data set has  
5 been part of any new drug application to date.

6 This is the results of that  
7 gatifloxacin versus control study using a  
8 different instrument and the average symptom  
9 score on various days, and they did do a Day  
10 Two response and a Day Five response, so as we  
11 were discussing earlier, they did measure the  
12 early time points using a slightly different  
13 questionnaire.

14 So the FDA has seen the potential  
15 value of this, and on February 2 of last year  
16 the -- well, two years ago now -- the FDA  
17 published a draft guidance for industry  
18 patient-reported outcome measures use in  
19 medical product development to support  
20 labeling claims, and then a symposium was held  
21 at the Mayo Clinic. The results of that  
22 symposium were just published in *Value in*

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 *Health* in 2007 in Supplement 2, which I think  
2 just became available, so the symposium was  
3 six to 12 months ago, I suppose.

4           So another -- so patient-reported  
5 observations are clearly an evolving,  
6 important endpoint. Other endpoints are time-  
7 to-event, well known examples, time to  
8 normalization of baseline elevations in  
9 temperature, time to normalization of the  
10 white count and the differential white count.

11           My little parenthetical comment  
12 here is that we've had trouble at our  
13 institution and similar problems at other  
14 institutions with the automated analyzers that  
15 do the CBCs, and most clinicians and, I think,  
16 clinical trial investigators are unaware that  
17 those instruments are set to detect a band  
18 count of between 18 and 20 percent.

19           So you can have patients that have  
20 a significant bandemia, or what most people  
21 would consider significant, 15 percent, say,  
22 and you would never know it unless you were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing routine manual differentials.

2 This is back to the Gati. versus  
3 clarithro, just to show the confusion that can  
4 occur. This was time-to-event, and all of  
5 this (sic) are the subjective symptoms and the  
6 only time-to-event objective response that I'm  
7 referring to on this whole long list is fever.

8 This was another trial, moxi versus  
9 amox/clav, where they did show the cumulative  
10 percent of patients in whom fever had  
11 resolved, and my issue with the paper, I like  
12 the fact that at two, three, four days  
13 cumulative percent fever is resolved.

14 It seems like a reasonable  
15 endpoint. They didn't really describe what  
16 the definition was of fever being gone, so I  
17 don't know below which cutoff point that  
18 criteria was -- what criteria was utilized.

19 And then this is the more  
20 traditional endpoints, especially in  
21 hospitalized patients, returning to stability  
22 or normality of things like the oxygen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saturation, the heart rate, blood pressure,  
2 respiratory rate, and that part is obviously  
3 for maybe tomorrow's discussion in terms of  
4 severe pneumonia, and these are the  
5 outpatients, and the question is, would we be  
6 able to capture that data in outpatients.

7           How reliable would the patient  
8 population be? I don't think folks who are  
9 involved in clinical trials would want nurses  
10 going to patients' homes three or four times a  
11 day to take their temperature, so how reliable  
12 would the measurements being conducted by the  
13 patient be?

14           We also want endpoints that  
15 document a clinical failure and/or drug  
16 adverse effects, and we're going to hear after  
17 lunch about adverse effects, so I won't dwell  
18 on that, but we also need objective evidence  
19 of failure.

20           We've already heard about  
21 regression of the infectious process manifest  
22 clinically by patient-reported observations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that don't improve or obvious evidence of  
2 microbial invasion, and I just put up as  
3 examples empyema, bacteremia, meningitis.

4 The micro biologic endpoints we've  
5 already said are not of value as far as  
6 success or cure, but they certainly become  
7 important at documenting clinical failure of  
8 therapy.

9 So useful success endpoints for  
10 mild to moderate community-acquired pneumonia  
11 trials. Patient-reported observations are a  
12 valid, reproducible, and meaningful outcome  
13 measurement tool that seems to deserve  
14 increased utilization. If carefully  
15 implemented to ensure reliability, time to  
16 resolution of fever and pertinent laboratory  
17 results seems reasonable, and we heard some  
18 examples of that earlier today.

19 Things that don't seem useful as  
20 successful endpoints are mortality, the  
21 radiographic response. Microbiologic response  
22 for cure may be valuable for failure and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "return to usual activities," since that is so  
2 highly variable.

3 Failure endpoints. Trial design  
4 should be able to detect failure of therapy,  
5 as well as success and have the ability to  
6 detect adverse events, microbiologic data,  
7 again, documenting clinical failure.

8 So it's crucial that valid clinical  
9 endpoints support claims of efficacy of new  
10 anti-infectives. The use of patient-reported  
11 observation should improve endpoint data.

12 Improvements in rapid specific  
13 identification of the microbial etiology of  
14 community-acquired pneumonia will increase the  
15 likelihood that observed clinical responses  
16 represent a treatment effect. Thank you.

17 DR. COX: Thanks, Dave, and we'll  
18 take questions for Dr. Gilbert, and maybe I'll  
19 start. I think maybe you had a question for  
20 me in your presentation, and I'll try and  
21 respond to it a little bit.

22 DR. GILBERT: Please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COX: Then we'll go to Dr.  
2 Powers. Your question was about the use of  
3 PRO instruments and NDAs that have been  
4 approved based on that, and certainly in other  
5 therapeutic areas PRO instruments have been  
6 used.

7 In the anti-infective area, I mean,  
8 a lot has relied on physician global  
9 assessments. The physician may ask the  
10 patient questions on a variety of different  
11 symptoms and make some assessment of how the  
12 patient is responding, but in general PRO  
13 instruments have not been something that we've  
14 seen much of.

15 DR. GILBERT: So it's true that, to  
16 date, you have not looked at a new drug  
17 application that uses PROs as part of the  
18 database?

19 DR. COX: Well, a PRO instrument.

20 DR. GILBERT: How do you evaluate  
21 colds and stuff.

22 DR. COX: Well, that's -- well, for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 influenza, that's true, yes. In the label for  
2 influenza there is a --

3 DR. GILBERT: See, those virologists  
4 have all the advantages.

5 DR. COX: Yes, sorry about that.  
6 It's hard to index all these drugs, but  
7 although formal PRO instruments have not been  
8 used, people are looking at patient symptoms.

9 It's just that, typically, it's the  
10 physician asking the patient what their  
11 symptoms are like, and then the physician  
12 records their impression of what the patient  
13 is reporting to them, so there's the  
14 intermediary in their impression.

15 Dr. Powers?

16 DR. POWERS: Dave, I wanted to  
17 address the issue that you brought up of are  
18 there other patient-reported outcome  
19 instruments in community-acquired pneumonia.  
20 There are, but the CAP-Sym one that you  
21 presented has one huge strength that the other  
22 ones don't, and that is that they actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interviewed patients in focus groups to get  
2 their impression of what they thought was  
3 important, as well.

4           So when you want to put one of  
5 these together, it really entails doing three  
6 things, getting the patient's point of view,  
7 getting the clinician's point of view, and  
8 doing a literature search on what are the  
9 appropriate elements to include, and that one  
10 actually does.

11           The other thing is there is  
12 actually a scaled-down version of this that  
13 only asks 12 questions, too, so it would be  
14 really nice to look at whether the scaled-down  
15 version operates well, too, so we could ask  
16 people fewer questions, but there is one thing  
17 it doesn't do, and I wanted to ask Bob and Bob  
18 to comment on this. It's how much of a change  
19 in that instrument is clinically meaningful,  
20 and that's the one thing that's missing.

21           I know Bob and I did something on  
22 this before at DIA about evaluating the entire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distribution versus responder criteria, and so  
2 when you're developing one of these for use in  
3 a Phase 3 trial, it's very helpful to test  
4 drive it earlier on in earlier phases to try  
5 to figure out how you're going to analyze the  
6 results.

7           So what was presented in the Torres  
8 trial is, okay, it goes down from 34 to 20.  
9 Well, what does that mean for people? And  
10 that's the one piece of information that we're  
11 lacking here.

12           DR. COX: And I'll ask Dr. Temple to  
13 respond.

14           DR. TEMPLE: Well, our guidance  
15 urges people to learn what the minimum  
16 important difference is, and you do that among  
17 other ways by asking the same patients that  
18 you developed the scale with. How much  
19 difference seems to matter to you? And that's  
20 what you do.

21           Just, by the way, there are lots of  
22 places. There is a scale for heart failure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 symptoms called Living With Heart Failures  
2 developed by NIH. That appears in the  
3 labeling. Arthritis drugs typically use them.

4 I mean, any pain scale is a patient-reported  
5 outcome. Who else knows about the pain?

6 So they're coming all over the  
7 place, and, as John suggests, one of the  
8 concerns is they're so sensitive maybe you can  
9 pick up differences that are utterly trivial,  
10 although finding anything is something, you  
11 know, in some ways.

12 DR. O'NEILL: On that, I think,  
13 John's right, probably. One of the things  
14 that struck me, and I would -- not knowing how  
15 they validated this instrument, I was curious  
16 in this particular situation; if you had a  
17 series of focus groups or test groups or  
18 whatever, one of which was -- really had CAP  
19 and one of which really didn't. You knew the  
20 true state of nature, and you asked them the  
21 same questions, and you validated this, this  
22 instrument.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           It doesn't weed out who has got it  
2           and who doesn't have it. It answers another  
3           question. It sort of says, "Symptomatically,  
4           do you respond to treatment in some sense?"  
5           So it would be interesting to see the  
6           disconnect between the patient response where,  
7           if you have a mixture -- and that goes back to  
8           my original question that I was talking about  
9           earlier.

10           The problem here is that these  
11           trials enter two types of patients, those that  
12           do and those that don't have the infection,  
13           but you count them all up at the end of the  
14           day, and your trial is going to rise or fall  
15           on what percentage mixture you have, and if  
16           you want to go to the throw-out game, which  
17           isn't such a great idea, and that's what I was  
18           getting at, the sensitivity and specificity of  
19           the classification, but where I'm coming at is  
20           if the PRO is applied to the mixture  
21           population, would the folks who do and do not  
22           have actually CAP in the population respond in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a similar way?

2 And you could actually do that at  
3 the validation stage, so I was curious whether  
4 they did that, and following on what Bob  
5 Temple said is you can ask these people the  
6 same thing, is what is the minimal difference  
7 where they can discriminate benefit versus  
8 non-benefit.

9 So how do you go about using this,  
10 this focus group, to get the distribution  
11 among that crowd as to what you would feel  
12 personally that would be a meaningful benefit  
13 from when you began and when you ended? And I  
14 think that part of that philosophy is in the  
15 guidance, so to say, "You need to be doing  
16 that up front," and it may not be one number.

17 It's probably a distribution that  
18 you need to get a sense of a representative  
19 population in terms of what people value as a  
20 meaningful change in whatever the scoring  
21 mechanism is.

22 DR. GILBERT: In the Lamping study,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and John Powers will correct me if I'm wrong,  
2 they did not validate the instrument in well  
3 people. They only looked at sick people, but  
4 John participated in this symposium at the  
5 Mayo Clinic where they did address such  
6 issues, and I don't know if they focused in on  
7 community-acquired pneumonia or not, but they  
8 did talk about the need for the very point  
9 that you're describing, that well people  
10 versus sick people should be part of the  
11 validation.

12 DR. O'NEILL: They all feel sick.  
13 They're not really well. They all feel sick.

14 It's just that the clinical diagnosis is such  
15 that you enter them in, but do they or don't  
16 they have the bug, and that's what I was  
17 trying to get at, a sense of whether those two  
18 --

19 DR. GILBERT: But I thought your  
20 question --

21 DR. O'NEILL: -- classes of folks  
22 respond differently.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GILBERT: I thought your  
2 question was what are the subjective symptoms  
3 that pneumonia patients consider important,  
4 and so you would want to have a panel of well  
5 people to ask them what should the symptoms be  
6 in our questionnaire instrument. I thought  
7 that was your -- part of your question.

8 DR. COX: Dr. Fine?

9 DR. FINE: So, I have two questions  
10 and a comment. One is you mentioned a lot of  
11 patient report surveys that had to do with  
12 pneumonia-specific symptoms, but there is a  
13 whole literature out there on quality of life  
14 instruments such as the Medical Outcome Study  
15 Short Form 36 and Short Form 12 that allow us  
16 to have population-based estimates of quality  
17 of life, and do you see any role for those in  
18 assessing outcomes?

19 And then a comment is that one way,  
20 in addition to focus groups, to validate the  
21 importance of a given delta in symptom  
22 reporting would be to see how they correlate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 with population norms and things like these  
2 generic quality of life instruments. That  
3 would be one approach.

4 The other approach would be to use  
5 standard decision analytic techniques where  
6 you do time tradeoffs or willingness to pay or  
7 other forms of utility assessment to actually  
8 get a sense from patients how much a change of  
9 ten points in a symptom scale, how much  
10 meaning that actually has.

11 DR. GILBERT: I didn't delve into  
12 the quality of life instrument. Obviously,  
13 it's not as focused on pneumonia, and so I  
14 didn't think it was as relevant. With the  
15 time constraints, I didn't delve into that.

16 So I know that in the Torres study  
17 that it used this validated instrument. They  
18 did compare the quality of life instrument  
19 with their questionnaire, and they got smaller  
20 standard deviations with their questionnaire  
21 than with the standard quality of life  
22 questionnaire.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think Rich is next, actually.

2 DR. WUNDERINK: Rich Wunderink from  
3 Northwestern. I just want to make a comment.

4 I think that this is probably valuable, but  
5 it goes back to one of the things that Tom  
6 said earlier about using the PCT as an  
7 endpoint, and that is, antibiotics have other  
8 effects besides whether they're killing the  
9 bugs, and you may have both a beneficial  
10 effect, say, with some of the anti-  
11 inflammatory properties of a macrolide or an  
12 adverse effect, say, some of the dysphoria  
13 that quinolone patients may experience that  
14 will adversely affect whether this score  
15 really is determining are they responding to  
16 an antibiotic.

17 Now, the point of all of this is  
18 making patients feel better, and, you know,  
19 the issue may be we trade pulmonary side  
20 effects from the pneumonia and increasing drug  
21 effects, and that's why I'd echo what John  
22 said, that we probably need to have these done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on a regular basis, at least daily if not a  
2 couple of times a day, because you may  
3 actually see a shift in the symptoms that  
4 actually get -- points as they make that  
5 transition, and so it's going to be a little  
6 bit more complex than just a composite score.

7 DR. GILBERT: Well, it's interesting  
8 that you brought up the quinolone confusion  
9 point. I didn't show all 18 questions, but  
10 about four of them were mental status  
11 questions, so in your -- and confusion is on  
12 that scale.

13 So in your example, the patient  
14 would be getting better on 12 of the symptoms  
15 that are listed on the questionnaire, but the  
16 confusion questions would be scored. The  
17 confusion score would be staying higher, even  
18 increasing, so it still might work.

19 DR. COX: Okay, Dr. Bradley?

20 DR. BRADLEY: John Bradley from  
21 Children's Hospital in San Diego. I am the  
22 pediatrician up here on the panel, and coming

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into these discussions, having spent four  
2 years on the FDA's Anti-infective Advisory  
3 Committee, I'm acutely aware of how important  
4 the FDA's guidances are in drug development,  
5 and as it was mentioned earlier, it's the  
6 clinical scenarios that we see that drive the  
7 need for drugs.

8 Clinical science, the trial designs  
9 need to be scientifically valid so that we can  
10 see which drugs work, but at the end of the  
11 day, all of these complexities that we're  
12 talking about, and clearly within adults there  
13 is complexities that the PORT scores have  
14 documented in pediatrics.

15 A six-month-old is different than a  
16 two-year-old is different than a five-year-  
17 old, and in order to do all of these clinical  
18 trials for all of these subgroups -- and we  
19 knew this was complicated coming into this  
20 meeting, and the complexities are just  
21 exponentially increasing.

22 At the end of the day, it's Ed's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 job to come out with a guidance for everybody  
2 for community-acquired pneumonia, and that's a  
3 virtually impossible task, but the scientific  
4 design absolutely has to be impeccable.

5 Dr. O'Neill was saying, you know,  
6 he doesn't want non-inferiority. He wants  
7 superiority trial designs, but the points that  
8 Dr. Fleming made about value to drugs that may  
9 not be all in getting you a patient cure with  
10 no fever at four days are very important, and  
11 in pediatrics, taste of an antibiotic that's  
12 given by mouth is a huge issue. A horrible  
13 drug which is poorly tolerated is of no use to  
14 us at all.

15 So all of these complexities  
16 clearly come back and reflect on the clinical  
17 trial design and the regulatory design. The  
18 regulatory design impacts drug development,  
19 and the drug companies in here are going to be  
20 looking at the regulatory issues before they  
21 actually jump in and develop new drugs.

22 So I am here to try and say that as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm listening to all of this, it's the  
2 compromise that we're not going to get the  
3 perfect scientific studies, but we need the  
4 drugs that I'm going to be enrolling patients  
5 in studies, and for a dose-ranging study where  
6 there is going to be failures, I have to talk  
7 a mother into saying, "We're starting out with  
8 the low dose. We're doing this so some of  
9 these children who I am treating can fail.  
10 Please sign on the dotted line so I can treat  
11 your child."

12 That's very difficult for me,  
13 placebo-controlled study, yet I know we need  
14 to know what the placebo -- what the benefit  
15 of the drug is in order to correctly evaluate  
16 whether the toxicity of the drug is balanced  
17 with the clinical benefit.

18 So what I'm doing is expressing a  
19 need for compromise, not that each of these  
20 points isn't critical, but at the end of the  
21 day, we're going to all have to come together  
22 to something that's acceptable is not perfect.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GILBERT: John, I'm glad you  
2 brought up "the end of the day." So at the  
3 end of the day today, we are going to have a  
4 panel discussion, and we're going to start at  
5 this end with Dr. Niederman and go around the  
6 table and give you each three minutes to  
7 answer the questions that are on the end of  
8 today's program so that all such sentiments as  
9 you so eloquently just expressed will come  
10 out. So be forewarned; you will be called  
11 upon. Dr. Psaty.

12 DR. PSATY: Bruce Psaty from  
13 Seattle. I don't normally read antibiotic  
14 trials, but I read a large number of them in  
15 preparation for this meeting, and the standard  
16 outcome is an investigator-determined  
17 resolution of symptoms, and it would be  
18 actually very useful to know how some of these  
19 outcomes map to those, and what look like the  
20 thresholds for the determination of cure.  
21 That would be another way to look at it.

22 It would also help to move the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials back so we could have indirect  
2 comparisons with the previous trials. If  
3 we're talking about doing non-inferiority  
4 designs, and we have to map backwards in time,  
5 it would be very helpful to know how to do  
6 that.

7 The additional difficulty is, it's  
8 a continuous outcome, rather than a failure  
9 time model, so the statistics are a bit  
10 different, and you can have missing data on  
11 these, and some of it can be informative  
12 missing. What do you do when someone dies,  
13 and how do you handle these scales in the face  
14 of death or missing data?

15 DR. GILBERT: Actually, many of  
16 those issues are in that supplement of Value  
17 in Health that I made reference to, how to  
18 deal with missing data, how to deal with the  
19 patient that has an unexpected adverse effect  
20 and so forth.

21 I think Ed has a very important  
22 lunch announcement.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. COX: Yes, I was going to do one  
2 last question here from Dr. Fleming, and then  
3 we'll get to the lunch announcement, because  
4 we do want to be on time, so one last question  
5 from Dr. Fleming.

6 DR. FLEMING: Okay, it's as much a  
7 comment as a question, which I'm partly  
8 reluctant to give, because I'm actually a  
9 great fan of PROs, and this sounds more like  
10 bringing out the concerns, but there has been  
11 a great interest in PROs across disease areas.

12 The oncology area has been studying  
13 this intensively for a long time, and  
14 essentially what approvals are based on now  
15 would be survival and disease-related symptoms  
16 like pain or, in prostate cancer, skeletal-  
17 related events like fractures, pain, or spinal  
18 compression, and the challenge in being able  
19 to get the richness of other elements into the  
20 PROs has been a common experience seen across  
21 all disease areas.

22 First of all, you have to have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       blinded trial, and you have to be assured that  
2       the patients, when they're giving their  
3       assessments, the blind, the integrity of the  
4       blind is maintained.       Secondly, there is  
5       inherently a lot of difficulty in avoiding  
6       missing data, and the missing data here is  
7       informative missingness.

8               Thirdly, it's a multiplicity of  
9       components,       and it's difficult to  
10       statistically understand multiplicity, so what  
11       do we do? We look at a composite. Well, as  
12       soon as you look at a composite,  
13       interpretability becomes a lot harder.

14               And so, for example, with  
15       ximelagatran in knee replacement, they had a  
16       composite endpoint that looked wonderful, and  
17       when you looked at it, 90 percent of the  
18       events showed a great effect on asymptomatic  
19       distal DVT, and nobody knew what that meant,  
20       but when you looked at the important events,  
21       death, stroke, MI, major bleeds, pulmonary  
22       embolism, it went in the wrong direction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So when you have many different  
2 components in a composite, if they're apples  
3 and oranges in terms of what their importance  
4 is, it's difficult to say what's a clinically  
5 meaningful change, because if the clinically  
6 meaningful change is based on something like  
7 sputum versus something like what would be  
8 breathlessness or something that's much more  
9 important to the patient, that's going to make  
10 it harder to define what's clinically  
11 meaningful, so when we do these composites,  
12 you need likes with likes in terms of  
13 comparable importance in order to be able to  
14 interpret this.

15           So I hate to end with the concerns,  
16 because I'm truly enchanted with the idea of  
17 trying to advance PROs. Why? Because they  
18 are specific to what it is that the patient  
19 really experiences, and that's what we should  
20 be looking at.

21           DR. GILBERT: But can't you group  
22 the PRO items so you can --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: You can.

2 DR. GILBERT: You can group them --

3 DR. FLEMING: You can.

4 DR. GILBERT: -- into the highly  
5 specific symptomatology, the pneumonia, and  
6 then we'll have a group for adverse effects,  
7 et cetera.

8 DR. FLEMING: Yes.

9 DR. TEMPLE: One of the pieces of  
10 experience that we've had is that patient-  
11 reported outcomes that are developed for a  
12 particular disease work a lot better than some  
13 of the quality of life things, the SF36 or  
14 whatever it's up to now, and they've been very  
15 successful in asthma, where they are very well  
16 targeted and developed, this Living with Heart  
17 Failure. There are a number in arthritis that  
18 are similar, and those are very good.

19 The general quality of life things  
20 really don't work out, because most of the --  
21 most of your psychiatric state and your  
22 ability to interact with your neighbors just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 isn't affected by this stuff, so the targeted  
2 ones work much better.

3 DR. COX: Thank you. So we're at  
4 12:15, and just to let folks know, I've heard  
5 it's snowing outside, so there is lunch that's  
6 available in the lobby level in the River City  
7 Grille, and it sounds like what they're going  
8 to have set up is going to be a lunch buffet  
9 at \$12.95, so that may be one of the more  
10 convenient options for lunch today.

11 There are other restaurants in the  
12 area, but it's a short walk, and if it -- I  
13 haven't looked out there to see what the snow  
14 is like. The option downstairs might be the  
15 best way to keep dry and warm.

16 DR. GILBERT: But the haunting  
17 reality is 45 minutes; right?

18 MR. COX: Yes, it's only 45 minutes.  
19 We'll be back starting promptly at 1:00.

20 (Whereupon, the foregoing matter went  
21 off the record at 12:20 p.m.)

22 DR. GILBERT: In order to give Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Murphy his full measure of time, I think we  
2 really must get started.

3 Tim is kind enough to travel here  
4 from Buffalo, where he's a, I believe,  
5 distinguished Professor of Medicine and  
6 Microbiology and Chief of Infectious Diseases.

7 Tim, thank you for joining us.

8 DR. MURPHY: Thanks, Dave. When I  
9 looked out the window and saw the snow, it  
10 made me feel like I was at home, except we  
11 measure our snow in feet.

12 All right. So, my mission here in  
13 the next 25 minutes or so is to answer the  
14 question on the slide there, "Does the  
15 literature document a treatment effect  
16 relative to placebo in community acquired  
17 pneumonia?"

18 So, it's a nice opportunity to do a  
19 review of the literature, the old literature,  
20 the new literature and give you a  
21 comprehensive and scholarly answer to that  
22 question, which is no, okay. There are no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 placebo controlled trials of community  
2 acquired pneumonia.

3 So, I rephrased the question in the  
4 spirit of this meeting and discussion. So, I  
5 think what we would like to know is, are  
6 antibiotics effective in community acquired  
7 pneumonia and then, in terms of thinking about  
8 whether placebo groups are rational and  
9 reasonable, what is the etiology of mild to  
10 moderate community acquired pneumonia and  
11 specifically, what are the relative roles of  
12 the so-called typical bacteria, atypical  
13 bacteria and viruses.

14 Then finally, I'll address the  
15 question, should placebo controlled trials be  
16 performed in mild to moderate community  
17 acquired pneumonia?

18 So, are antibiotics effective in  
19 pneumococcal pneumonia? This is a graph  
20 actually, that's reproduced in modern  
21 software, I suppose, from the classic study of  
22 Austrian and Gold in the Annals of Internal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Medicine in 1964.

2           So, the green bars are treated with  
3 penicillin and then the gold bars come from  
4 another classic study from 1937 in the pre-  
5 antibiotic area, and you see by sero-type and  
6 you see all types, but penicillin is  
7 dramatically effective in bacteremic  
8 pneumococcal pneumonia. That's not  
9 necessarily mild community acquired pneumonia,  
10 but I'm going to continue to address that  
11 point.

12           So, penicillin is effective for  
13 pneumococcal pneumonia and Dr. Austrian and  
14 Gold made an interesting statement in the  
15 discussion, which is pertinent to our  
16 discussion here, which is, it is questionable  
17 that a more effective anti-pneumococcal drug  
18 than penicillin can be developed.

19           In 1964, there was no penicillin  
20 resistance, but their point really was that  
21 very low concentrations of penicillin are  
22 highly bactericidal for the pneumococcus and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 from that standpoint, they speculated, we're  
2 not going to do much better.

3 So, what is the etiology of mild to  
4 moderate community acquired pneumonia and what  
5 are the relative roles, as we've used this  
6 morning? Typical bacteria are generally  
7 Streptococcus pneumoniae and Haemophilus  
8 influenzae and the atypicals are Chlamydia  
9 pneumoniae, Mycoplasma pneumoniae and  
10 Legionella is an atypical, but really behaves  
11 more like pneumococcal and Haemophilus  
12 influenzae pneumonia.

13 So, if you look at the slide here,  
14 you'll see the usual diagnostic criteria in  
15 many, many studies. So, I looked at it, as we  
16 probably all did, a lot of these comparative  
17 trials, that have been published in community  
18 acquired pneumonia and for so-called typical  
19 bacteria, a positive blood culture, which is,  
20 in mild pneumonia, is a very low sensitivity,  
21 maybe one percent positive, and then a  
22 positive sputum culture of an adequate sputum

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sample, which is usually described as greater  
2 than 25 neutrophils per high powered field and  
3 less than 10 squamous cells in a Gram stain.  
4 That's presumptive diagnosis.

5 Atypical bacteria, the usual way in  
6 many, many studies is to look at a four-fold  
7 rise in anti-body titer, so this is Chlamydia  
8 and Mycoplasma, or an elevated single level in  
9 a single sample.

10 The problem with these, as I'm  
11 going to point out, is that if you look at the  
12 typical bacteria, we're only looking at less  
13 than half of patients for a possible etiology,  
14 whereas for the atypicals, we're looking at  
15 almost everyone, because you can get blood  
16 samples.

17 So, let me -- so, what I did then  
18 is looked at all of those -- or many of those,  
19 my big pile of mild pneumonia comparative  
20 trials, to try and make -- I was going to make  
21 a big table to show how many sputum samples  
22 were assessed in each one of those studies,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I'll tell you, you can't tell.

2 In the vast majority of these  
3 studies, you absolutely cannot tell. They'll  
4 tell you how many pathogens are isolated, but  
5 almost never, how many sputum samples were  
6 assessed.

7 I found two studies, and these are  
8 actually good studies, relatively speaking, in  
9 terms of trying to reach a diagnosis. The one  
10 on the left is Falguera and colleagues in  
11 Archives of Internal Medicine and this one is  
12 Rosen and colleagues in CID.

13 So, they used sort of -- this is  
14 their criteria for a positive sputum blood or  
15 pleural fluid culture in this study, and it  
16 turns out that 27 percent of their patients  
17 produced a sputum sample that meet the  
18 criteria and nine percent of pleural fluid.  
19 So, there is a lot of overlap there, but let's  
20 say, a third of patients then, were evaluated  
21 for pneumococcal or H-flu pneumonia, and this  
22 study actually did blood culture, sputum,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pleural fluid, and they did some transthoracic  
2 cultures, and you'll see, with lots of  
3 overlap, perhaps half of their patients were  
4 evaluated for typical pathogens.

5 In the atypical, they both used  
6 serologic. This one also used a PCR of a  
7 throat swab. So, both of them evaluated about  
8 80 percent or so of patients for atypical  
9 pathogens.

10 So, if every culture here is  
11 positive for the pneumococcus, then one-third  
12 of these patients would have pneumococcal  
13 pneumonia. Then add in the antibiotic, the  
14 antibiotic that was given before.

15 So, in this study, they didn't tell  
16 us how many people got antibiotics, but it was  
17 not an exclusion criterion. So, you could  
18 knock this down by an unknown percentage,  
19 because we know probably one dose of  
20 antibiotic will render a sputum culture  
21 negative for pneumococcus or H-flu. Maybe not  
22 one dose, but it doesn't take much. These

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guys told us 27 percent received antibiotics.

2 So, we can drop this 50 percent down another  
3 27 percent.

4 The bottom line is, we are very  
5 much -- well, before I say we're under-  
6 estimated, let me just say, we are not  
7 adequately testing people for pneumococcal and  
8 H-flu and Moraxella pneumonia in our studies  
9 of community acquired pneumonia. So, the  
10 usual diagnostic approach in studies of CAP  
11 under-estimate the proportion of typical  
12 bacteria.

13 So, let me show you three studies  
14 that went the next step, very nice studies  
15 that looked harder, shall we say, for typical  
16 bacteria.

17 One was by Gutierrez and colleagues  
18 in CID in 2003 and they looked at 493 patients  
19 who had community acquired pneumonia by good  
20 criteria, new infiltrate on the chest x-ray,  
21 and they attempted to determine the etiology  
22 in pneumonia and they really looked at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pneumococcal urinary antigen and in  
2 particular, studied pneumonia of unknown  
3 etiology.

4 So, they used the usual criteria  
5 that I just described to you. Here is the  
6 pneumonia severity index. Three-quarters were  
7 PSI one, two and three. They identified an  
8 etiology in 40 percent. That's probably  
9 average, based on these kinds of studies, and  
10 they studied urinary antigen for pneumococcus,  
11 in particular, in the ones -- well, in  
12 everybody, actually.

13 So, you see the results here and  
14 what I'll tell you then, without going through  
15 this in detail, they calculated a 70 percent  
16 sensitivity and a 90 percent specificity for  
17 urinary pneumococcal antigen. In fact, if you  
18 look, Pseudomonas and other Gram negatives, I  
19 would question whether those are really  
20 etiologic. They probably have a higher  
21 specificity than 90 percent.

22 So, that's their sensitivity and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specificity, and they looked at the 300  
2 patients that had pneumonia of unknown  
3 etiology and 23 percent of them were positive  
4 in urinary pneumococcal antigen.

5 So, a proportion of patients who  
6 have pneumonia of unknown etiology, that  
7 pneumonia is caused by the pneumococcus, would  
8 be the conclusion that I would reach.

9 Second study by Ruiz-Gonzalez and  
10 colleagues in the American Journal of  
11 Medicine, they did microbiology a study of  
12 lung aspirates, of trans-thoracic aspirates in  
13 109 consecutive patients with community  
14 acquired pneumonia over a 15 month period.

15 They used serology to make a  
16 diagnosis with atypical pathogens. Their  
17 patients, we don't know pneumonia severity  
18 indexes, but the mean age was 51. They said  
19 44 percent have underlying illnesses, meaning  
20 what is it, 56 percent don't. Twenty-nine  
21 percent were treated as outpatients, but we  
22 don't know the criteria they used and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group had a low mortality. Very  
2 interestingly, 43 percent received antibiotics  
3 before the procedure.

4 So, on their assays of these trans-  
5 thoracic aspirates, which they did on 109  
6 consecutive patients, except people who had  
7 contraindications and those who refused, they  
8 did a bacterial culture, a selective culture  
9 for Legionella, they did capsular antigen  
10 detection, for the pneumococcus and for H-flu  
11 Type B, which is really not a very common  
12 cause of community acquired pneumonia, it's  
13 mostly non-encapsulated and non-typable H-flu,  
14 though they found a handful, and they did PCR  
15 on the pneumococcus and they did PCR for these  
16 three atypical agents, and here's what they  
17 found.

18 So, actually they looked and out of  
19 their 109 patients, by conventional testing,  
20 they made a diagnosis in 54, Mycoplasma  
21 pneumoniae, Chlamydia pneumonia, pneumococcus,  
22 and with the trans-thoracic aspirates then,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 the distribution changes dramatically.  
2 Pneumococcus is the most common. They made a  
3 diagnosis in 90 of 109, followed by  
4 Mycoplasma, Chlamydia and then Haemophilus  
5 influenzae enters into the top five pathogens.

6 So, 33 percent of the patients  
7 without an etiological diagnosis by  
8 conventional means had pneumococcal infection  
9 detected by one of those methods, in a trans-  
10 thoracic aspirate and I would argue, it still  
11 underestimates the proportion of typical  
12 pathogens.

13 Forty-three percent received  
14 antibiotics. The PCR probably was not too  
15 much affected by antibiotics, although it may  
16 have been. PCR was only done for the  
17 pneumococcus, not for H-flu, not for Moraxella  
18 and not for other pathogens, and antigen  
19 detection was only done for Type-B H-flu,  
20 which is not a particularly common cause of  
21 community acquired pneumonia.

22 Then the third study that I'd like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to show you is perhaps the best in a way, in  
2 terms of taking a good look for typical  
3 pathogens and this is Lim and colleagues,  
4 published in Thorax in 2001. So, they made a  
5 big effort to look for bacteria.

6 Positive blood culture, pleural  
7 fluid culture, positive sputum culture. They  
8 did counter-immuno-electrophoresis for  
9 Streptococcus pneumoniae on sputum samples,  
10 looking for capsular polysaccharide. They did  
11 serology on the pneumococcus. They looked for  
12 a three-fold rise in antibody titer to three  
13 pneumococcal antigens, C-polysaccharide,  
14 pneumolysin and pneumococcal surface protein  
15 A, PSAA, and they looked for a three-fold rise  
16 in antibody titer to H-flu and Moraxella  
17 catarrhalis, using a laboratory strain, which  
18 I applaud their effort, but a lot of these  
19 immune responses are strain specific. So,  
20 unless you use the patient's own strain,  
21 you're going to miss a lot of immune  
22 responses. But they actually did find a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couple in that group. The used the usual  
2 criteria for atypical bacteria.

3 So, what did they find? They  
4 found, in fact, that typical, this is -- I'll  
5 show you the bugs in a minute, typical is  
6 twice as much as the atypicals, when you look  
7 hard for pneumococcus in particular and  
8 Haemophilus influenzae, followed by atypical,  
9 followed by viral, followed by no pathogen.

10 These are the bacteria, far and  
11 away, Streptococcus pneumonia, Haemophilus  
12 influenzae, Moraxella catarrhalis and then  
13 they found some Staph aureus and Gram  
14 negatives.

15 So, that's three studies, actually,  
16 that look harder for typical bacteria, by  
17 pneumococcal urinary antigen, by trans-  
18 thoracic aspirates with PCR and then, by  
19 serology and antigen detection and each one of  
20 them shows a substantially larger proportion  
21 of people with typical bacteria pneumonia, in  
22 particular, pneumococcal pneumonia than is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1     apparent when we look at studies of community  
2     acquired pneumonia.

3             This last study, the Lim Study,  
4     very interesting also, looked at the value of  
5     the diagnostic test. So, I'll make one point  
6     here, and that's -- they looked at it with  
7     prior antibiotics and no prior antibiotics.

8             So, three patients' blood culture,  
9     look at the sole mean of diagnosis, urinary  
10    antigen. The asterisks means, interestingly,  
11    that they had more samples positive,  
12    statistically significant in prior antibiotic  
13    -- no prior antibiotic, compared to  
14    antibiotics, urinary antigen, in particular.  
15    The only one that was unaffected by antibiotic  
16    was the serology.

17            This was a penicillin resistant  
18    pneumococcus. So, we know that prior  
19    antibiotics are going to really have a  
20    dramatic effect on cultures and counter-  
21    immuno-electrophoresis,                    interestingly,  
22    probably by reducing the titer of bacteria was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also different in the antibiotic versus no  
2 antibiotic group.

3           Again, many of the trials that we  
4 see, that look for diagnostic -- look at -- do  
5 diagnostic studies, people have received prior  
6 antibiotics. It's important to keep this in  
7 mind.

8           So, what about viruses? I showed  
9 those three studies with typical bacteria.  
10 What about virus as a cause of community  
11 acquired pneumonia?

12           We heard Dr. Nolte's excellent  
13 discussion this morning about the molecular  
14 diagnostics for viruses and that is the  
15 future, in terms of sorting out viral etiology  
16 of respiratory tract infections.

17           The problem we have now in 2008 is  
18 the interpretation of the results, and let me  
19 point out a number of limitations where I  
20 don't think we can make an intelligent  
21 statement about how much viruses are causing  
22 community acquired pneumonia.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   So, when you look in studies who  
2 look for viruses, it's generally a sample  
3 recovered at the time of the pneumonia, either  
4 sometimes a sputum sample, usually it's a  
5 nasopharyngeal swab or a throat swab, single  
6 result.

7                   So, if we look at trials of --  
8 studies of COPD, where you do people when  
9 they're sick and when they're well, several  
10 studies from several groups show that you can  
11 find viral RNA in up to 15 percent of  
12 clinically stable patients. These are good  
13 studies with good controls. The viral RNA is  
14 there. That's not the question. The problem  
15 is, the virus is probably not doing anything.  
16 It's not making the patient sick.

17                   Interesting study from Dr. Macek  
18 and Jim Hogg and colleagues, in the Canadian  
19 Respiratory Journal in 1999. They looked at  
20 20 lungs post-mortem, people who died of  
21 asthma and actually, some of other causes, and  
22 did PCR with good controls, looking for nine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 common respiratory viruses. Nineteen of these  
2 20 lungs had viral RNA. Fourteen to 20 of  
3 them had two or more viruses in the lungs.  
4 Point being that their speculation is, the  
5 lungs are a reservoir for common viruses.

6 In bacteria, we would describe this  
7 as colonization. I mean, the viruses are  
8 there, but they're not causing disease. So,  
9 we need to be very careful about how we  
10 interpret positive viral RNA in respiratory  
11 tract samples in people with community  
12 acquired pneumonia.

13 I told you the first two. We know  
14 in studies of COPD, the people sero-convert  
15 and they have asymptomatic viral infections  
16 all the time. Most of the sampling is done on  
17 nasopharyngeal and throat samples, and so, we  
18 know viruses cause upper respiratory  
19 infection. We don't know how often they get  
20 into lower respiratory tracts. So, I think we  
21 need to be critical, in terms of evaluating  
22 the samples that are being studied.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Then, we all speculate and think  
2           and say, though the data are hard to come by,  
3           that preceding viral infections pre-disposed  
4           to bacterial pneumonia, I think that's  
5           probably very likely true, but when you look  
6           for actual hard data, that's tough to come by.

7           So, a viral PCR on an upper airway sample is  
8           not going to be able to make that distinction  
9           as well.

10           So, my conclusion actually is that  
11           currently, there is little convincing evidence  
12           that viruses cause a substantial proportion of  
13           community acquired pneumonia in adults. I was  
14           careful in my wording. I didn't say it's not  
15           causing it, but I don't think there's very  
16           good evidence at this point right now.

17           So, what is the etiology of mild to  
18           moderate community acquired pneumonia and what  
19           are the relative roles of typical and atypical  
20           bacteria? Most studies underestimate the  
21           proportion of typical bacteria because of  
22           limitations in diagnostic studies, as I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 pointed out and I would conclude that bacteria  
2 are the predominant cause of mild to moderate  
3 community acquired pneumonia.

4 In particular, pneumococcus and  
5 Haemophilus influenzae, I would estimate,  
6 cause well over half, perhaps up to 75 percent  
7 of the community acquired pneumonia, based on  
8 the data that I showed you.

9 So, should we be performing placebo  
10 controlled trials in mild to moderate  
11 community acquired pneumonia? I think that  
12 was sort of the question that I was asked in  
13 so many words.

14 Let me make a point here and it's -  
15 - in our discussions this morning even,  
16 sometimes we are lumping together  
17 exacerbations of COPD with community acquired  
18 pneumonia. Those are very different diseases  
19 with a different pathogenesis and different  
20 distributions of pathogens.

21 The third most common cause of --  
22 bacterial cause of exacerbation in COPD is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pneumococcus. Viruses undoubtedly play an  
2 important role in exacerbations of COPD.

3 So, this community acquired  
4 pneumonia is a very separate disease from  
5 acute exacerbations of COPD. I've actually  
6 worked hard to try and facilitate performing  
7 placebo controlled trials in exacerbations of  
8 COPD.

9 I'm going to make the argument that  
10 we should not be performing placebo controlled  
11 trials for community acquired pneumonia, mild,  
12 moderate, severe, any severity. One, because  
13 the predominant cause is a pneumococcus, and  
14 we have effective therapy for the  
15 pneumococcus.

16 Number two, there is the potential  
17 for adverse outcomes. Even look at the  
18 pneumonia severity indexes, there is a small  
19 mortality associated with mild community  
20 acquired pneumonia and all of us who take care  
21 of patients with pneumonia see the occasional  
22 patient that doesn't follow the rules. Some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of them get sick. So, it's difficult to  
2 withhold effective therapy, in a disease where  
3 the estimate is 50 to 75 percent are caused by  
4 a treatable bacterium.

5 As the discussions have revealed  
6 this morning, faster recovery and return to  
7 baseline are clinically important outcomes.  
8 Mortality is not a meaningful endpoint, in  
9 mild to moderate community acquired pneumonia.

10 I think the patient reported outcomes are  
11 going to be the way to go, rigorously done.

12 If a person gets better and then  
13 goes back to work in two weeks, compared to  
14 one week with antibiotic, that's a significant  
15 endpoint. That's not a good endpoint. It's  
16 with the more rigorous endpoints we're talking  
17 about, in patient reported outcomes, and from  
18 a pragmatic and a practical standpoint, the  
19 reality is, many physicians and many  
20 investigators would balk at placebo controlled  
21 trials for community acquired pneumonia. It  
22 will be difficult to enroll patients in such

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials.

2           So, my conclusion/opinion is, where  
3 we are right now in 2008, with our ability --  
4 or shall we say, our inability to make an  
5 etiologic diagnosis of community acquired  
6 pneumonia, my view is that we should not  
7 include a placebo group in community acquired  
8 pneumonia for any severity of pneumonia.  
9 Thank you.

10           DR. GILBERT: Thank you, Tim. One  
11 quick question, the direct lung stick studies  
12 -- and I'm thinking Spain and Japan, I haven't  
13 reviewed that literature recently, but they  
14 also found, to me, a striking percentage of  
15 rhinovirus, and you think that's just  
16 colonization?

17           DR. MURPHY: Yes, it's hard to know.  
18 There's a very interesting and evolving, a  
19 nice rhinovirus literature that -- it's sort  
20 of ironic.

21           In COPD, I think we know more about  
22 what rhinovirus is doing, compared to in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 community acquired pneumonia.

2 Rhinovirus, we think of as an upper  
3 respiratory pathogen. In COPD, it definitely  
4 gets into the lower airways and enters  
5 respiratory epithelial cells.

6 In community acquired pneumonia, I  
7 don't think we know that yet, and so, I think  
8 the jury is out, actually, in terms of  
9 understanding what that means. Though when  
10 you get it out of lung aspirates, I think you  
11 have to pay attention to it. That's different  
12 from a nasopharyngeal or a throat swab.

13 DR. GILBERT: We have time for maybe  
14 one question. Yes, Rick?

15 DR. NOLTE: In the COPD study, you  
16 said the viral normal pleural was what? Which  
17 viruses?

18 DR. MURPHY: So, the whole -- so, it  
19 was rhinovirus, para-influenzae virus. There  
20 is actually a fair bit of RSV in some studies  
21 and there is some controversy about that.  
22 Some people think that -- some folks with COPD

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are chronically infected with RSV. Other  
2 groups don't find that. Coronavirus is the  
3 other one, the main viruses.

4 DR. NOLTE: The other thing that's  
5 really becoming -- I think it's going to be  
6 interesting, as these new tools are developed  
7 to allow us to cast that wider net is  
8 opportunity to see mixed infections grow sort  
9 of, exponentially, not only mixed viral  
10 infections, but the contribution that perhaps,  
11 the bacteria and the virus make together, in  
12 terms of the presentation of the disease.

13 DR. MURPHY: I absolutely agree. I  
14 think that's one of the areas of great  
15 fruitful investigation, is looking at the  
16 interaction of viruses and the bacteria and I  
17 think that some bacteria cause infection only  
18 when there's a preceding virus and there is  
19 probably vice-versa, particularly in COPD,  
20 people who are chronically colonized in the  
21 airways by bacteria, there are a lot of  
22 proposed mechanisms for why those folks are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more susceptible to viral infections.

2 So, I think looking at the  
3 interaction of viruses and bacteria in the  
4 pathogenesis of respiratory tract infection is  
5 a key area to study.

6 DR. GILBERT: Roger is going to ask  
7 you a question and he promises to be brief.

8 DR. ECHOLS: Tim, based on your  
9 review, would you try to characterize the  
10 different severities of pneumonia by different  
11 etiologies or that they are of similar  
12 etiology?

13 DR. MURPHY: Yes, good question. I  
14 looked at that, and you know, it's one of the  
15 questions that I wanted to address. There's  
16 not a good answer to it, based on firm data,  
17 but it does look like certainly, that younger  
18 people have more atypical pathogens, compared  
19 to older people, and atypical, Mycoplasma and  
20 Chlamydia, probably may cause a less -- likely  
21 cause a less severe pneumonia than the typical  
22 bacteria, okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But in several studies, when they  
2 looked -- in fact, one of them that I showed  
3 the distribution of pneumococcus among all the  
4 PSI types was identical. Other studies show  
5 there is less pneumococcus, but those studies  
6 are limited by the things that I said, mainly  
7 because we're only looking at maybe a third of  
8 them for even the presence of the  
9 pneumococcus.

10           DR. COX: Dr. Murphy, one more  
11 question if I might. It's a difficult one and  
12 it gets to the issue of, if placebo controlled  
13 trials are not really something we can  
14 consider for patients, regardless of severity,  
15 what would -- do you have any insights or  
16 suggestions, what the control group might be  
17 or what the design might be in those  
18 populations? It's something we've struggled  
19 with and I'm just curious what your thoughts  
20 are.

21           DR. MURPHY: It's a tough one and --  
22 sure, and the non-inferiority margin as well,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 sure. I mean, again, it's out of my area of  
2 expertise, but I'm in a room full of experts  
3 and it would seem to me that a well designed,  
4 rigorously performed, non-inferiority trials  
5 with the standard of therapy, looking at  
6 patient reported outcomes would be a rational  
7 way to approach antibiotic trials for new  
8 antibiotics in community acquired pneumonia.

9 There are lots of different  
10 potential nuances, okay, and the discussion  
11 has been also, so, could we eliminate people  
12 with PSI-1, for example, or eliminate the mild  
13 pneumonia and just look at a sub-set of people  
14 with community acquired pneumonia?

15 Again, that would be my simple-  
16 minded answer. There are a lot of  
17 limitations to it, clearly.

18 DR. GILBERT: Two people have  
19 promised to be short. All right, Tom, short.

20 DR. FLEMING: My understanding of  
21 the basis for this conclusion -- although I  
22 wish we had a lot more time to probe on that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was that we -- basically, we know that we can  
2 treat pneumococcus. That actually doesn't  
3 mean that we can't do a superiority trial.

4 If you think that we would be using  
5 a standard of care that would be effective for  
6 pneumococcus, you'd still be able to do a --  
7 I'll call that agent-A, A plus B, against A,  
8 which technically is a type of placebo  
9 controlled trial. It's an add-on trial.

10 So, I didn't interpret this to  
11 mean, you had to do non-inferiority. I  
12 interpreted this to mean, you believe that  
13 there are effective agents for pneumococcus,  
14 and we don't want to deprive patients of  
15 access to those in the trial.

16 DR. MURPHY: Agreed, absolutely. I  
17 think it would be possible to do superiority  
18 trials with patient reported outcomes.

19 DR. GILBERT: Dr. Rex.

20 DR. REX: My question is just for  
21 clarification. Your survey, you concluded  
22 there were no data for CAP, for placebo

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controlled, for any level of severity or just  
2 for mild/moderate?

3 DR. MURPHY: I believe for any level  
4 of severity. I didn't find any placebo  
5 controlled trials for community acquired  
6 pneumonia.

7 DR. REX: That's what I wanted to  
8 hear you say. There are no placebo controlled  
9 trials that you can find, that are meaningful  
10 for community acquired pneumonia?

11 DR. MURPHY: Correct.

12 DR. REX: That's the bottom line.

13 DR. MURPHY: Maybe others have found  
14 it.

15 DR. MUSER: Could I add something  
16 to that? I don't mean to be argumentative,  
17 but in the J. Burns Amberson Lecture that Dr.  
18 Finland gave in 1979, he did comment on the  
19 placebo controlled trials and the amazing  
20 thing is, he showed very little difference in  
21 the outcome, the placebo controlled versus  
22 penicillin treated, which blew me away.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I absolutely agree with you. I  
2 don't think -- you had there -- the physicians  
3 are reluctant. I, as a patient, would be  
4 damned if I'd have signed the consent form for  
5 a placebo controlled trial.

6 I do want to point out that the  
7 Swedes recommend for mild to moderate  
8 community acquired pneumonia, the  
9 recommendation is penicillin, to support Dr.  
10 Murphy's point.

11 DR. GILBERT: Okay, we must move on.  
12 Ed?

13 DR. COX: Okay, great, and John,  
14 we'll come back to you for the first question  
15 afterwards. Next speaker is Karen Higgins.  
16 She's from FDA. She's a Statistical Team  
17 Leader in the Division of Special Pathogen and  
18 Transplant Products, and Karen will be talking  
19 to us about statistical issues and endpoint  
20 selection and non-inferiority trial design  
21 from an FDA perspective. Karen.

22 DR. HIGGINS: Hi. The title of my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talk has changed a little bit from the agenda,  
2 but it's the "Overview of Recent CAP Trials,  
3 Non-inferiority Trial Design and Endpoints."  
4 I was told that you'd be fairly interested in  
5 getting a summary of what we've seen at the  
6 FDA for non-inferiority trials for CAP.

7 My outline, I'm going to go over  
8 some of the issues with non-inferiority trials  
9 that we can -- that we think about at the FDA.

10 I'll go over it briefly, since Dr. Fleming  
11 gave such a nice presentation, then review  
12 recent adult CAP trials and the bulk of my  
13 talk will be on oral-only studies. Of course,  
14 they would be the more mild to moderate CAP.  
15 I'll go over their study design and the  
16 results that we saw. I'll then briefly review  
17 the IV to oral studies and end with a summary.

18 Note that of my review, I've  
19 attempted to mask the studies, so that none  
20 could really be identified and I collected  
21 this information from FDA reviews, study  
22 reports, data submitted and data collection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was not the same from NDA to NDA. So, some  
2 information was not readily available or  
3 available at all. So, therefore, please take  
4 it as an overall summary of these studies.

5 So, the goal of a non-inferiority  
6 trial is to show the efficacy of a new drug or  
7 a test drug and we do this by showing that the  
8 new drug is similar enough or in general, not  
9 too much worse than the control, in a well-  
10 designed and conducted trial.

11 And so, what's needed to do this?  
12 Two very important things. The first is to  
13 have information on the efficacy of the  
14 control drug. This is based on historical  
15 information, past placebo controlled studies,  
16 preferably, and this is what's needed to  
17 justify a non-inferiority margin, and I'll go  
18 into much more detail of that point on the  
19 next slide.

20 The second very important point is  
21 to know that the study had assay sensitivity,  
22 that is, if there was a difference between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 treatment and control, the study could have  
2 demonstrated that, and a study should be  
3 conducted as closely as possible to the study  
4 used to define your non-inferiority margin.

5 This allows one to be confident  
6 that in a particular situation, the control  
7 drug has efficacy and that there was ability  
8 of the study to differentiate between  
9 treatments.

10 But assay sensitivity is regarding  
11 the entire conduct of the study, from study  
12 design, definition of diagnosis, definition of  
13 endpoints and patient population, but also  
14 more elusive information, such as, was a study  
15 blind maintained, was there good follow up of  
16 patients and minimal missing data, were  
17 diagnoses made accurately and was the correct  
18 randomized therapy given?

19 The study should be as cleanly  
20 conducted as possible and this is often a very  
21 difficult thing to measure, especially for FDA  
22 reviewers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The reason this point is especially  
2 important in non-inferiority trials compared  
3 to superiority trials is that a messy trial  
4 will show two treatment arms to be more  
5 similar than they actually are. This will  
6 lead to more difficult time showing  
7 superiority in a superiority trial, but will  
8 lead to more easy time, showing non-  
9 inferiority in a non-inferiority trial. Where  
10 superiority trials have built-in quality  
11 control, non-inferiority trials do not.

12           So, to determine a valid non-  
13 inferiority margin, we need to know how much  
14 more effective the control is, relative to  
15 placebo, and I'll refer to that as a treatment  
16 effect.

17           My little plot here shows the  
18 difference in cure rates. So, as opposed to  
19 Dr. Fleming's talk, where he looked at failure  
20 rates, I'm kind of flipping it and looking at  
21 cure rates.

22           So, you can think of the blue here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 as representing plausible values for the  
2 difference between a placebo and control drug,  
3 where the diamond, say, would be the point  
4 estimate and the line would be the 95 percent  
5 confidence interval.

6 Margins higher than this blue line  
7 can be justified. So, in this case, a  
8 negative 15 percent margin could be justified,  
9 based on data. However, smaller margins also  
10 would be justified and may be valid,  
11 considering clinical judgment.

12 The green here represents plausible  
13 values for the difference between the test  
14 drug and the control and in this situation,  
15 this study would have shown non-inferiority if  
16 the margin was at say, negative 10 percent.  
17 There's no overlap between the green and the  
18 blue.

19 This is where problems arise, where  
20 we actually have an overlap between the  
21 plausible values of the difference between the  
22 control drug and placebo and the difference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between the test drug and control. So, in  
2 this situation, we'd need to define a smaller  
3 non-inferiority margin.

4 Having information from placebo  
5 controlled trials of the active control is  
6 certainly ideal to justify non-inferiority  
7 margins. Of course, we don't have that for  
8 CAP, but other historical information is  
9 available that could also be used to help  
10 justify the margins. So, those are some  
11 general non-inferiority issues to keep in mind  
12 as I go over these studies.

13 As I stated earlier, I'll review  
14 recent, oral-only studies for CAP. I looked  
15 at only comparative studies that were  
16 conducted within the last eight years.

17 There were a total of seven  
18 studies. They ranged from approximately 300  
19 to 500 randomized subjects. The control  
20 varied, but included clarithromycin,  
21 amoxicillin-clavulanate, levofloxacin and they  
22 all closely followed the 1998 guidance for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAP.

2 All seven studies were randomized,  
3 double-blind trials, designed to show similar  
4 effectiveness to the approved product, so they  
5 were all non-inferiority studies. In general,  
6 the diagnosis of CAP was based on the presence  
7 of a new infiltrate on the chest x-ray, and at  
8 least two of the following signs and symptoms,  
9 cough, sputum production, auscultatory  
10 findings, dyspnea or tachypnea, fever,  
11 elevated white blood cell, hypoxemia and note  
12 the inclusion/exclusion did vary from study to  
13 study.

14 Some of the studies limited  
15 enrollment to patients of fine class, less  
16 than or equal to two or less than or equal to  
17 three, and microbiologic evaluation was  
18 performed on each patient, though isolation of  
19 a pathogen was not required for overall  
20 evaluability.

21 Patients were assessed for outcome  
22 at the test of cure visit, which for most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, occurred seven to 21 days after  
2 completion of therapy. However, patients were  
3 typically seen in most of these studies, prior  
4 to that time point as well, and an earlier  
5 failure would be carried forward to this test  
6 of cure visit.

7 Clinical outcome was defined as a  
8 primary end point, where clinical cure is  
9 defined as complete resolution or improvement  
10 of all signs and symptoms of pneumonia and  
11 improvement or lack of progression of all  
12 abnormalities on chest radio-graphs, such that  
13 no additional antibacterial therapy is  
14 required.

15 The draft guidance clearly defined  
16 cure -- clearly defined failure and there was  
17 a room for improvement of signs and symptoms  
18 and in all these studies, they were included  
19 as a clinical care.

20 Micro-response was also defined,  
21 with eradication being absence of the original  
22 pathogen from the test of cure culture,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presumed eradication, clinical cure without a  
2 specimen for culture, persistence, presence of  
3 the original pathogen in the test of cure  
4 culture and presumed persistence, clinical  
5 failure without culture of a specimen.

6           The following four analysis  
7 populations were often defined in the protocol  
8 or discussed in the FDA reviews. They were the  
9 intent to treat, which included all randomized  
10 subjects. The per protocol, also called the  
11 clinically evaluable, which included all ITT  
12 subjects without any major protocol  
13 violations, MITT, which was called the  
14 Modified or Microbiological ITT, which  
15 included all ITT subjects with a treat -- pre-  
16 treatment pathogen isolated and micro-  
17 evaluable, which was the MITT subjects without  
18 any major protocol violations.

19           Since not all subjects were  
20 required or expected in these studies, to have  
21 pre-treatment pathogen isolated, analyses  
22 using these last two populations were usually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considered sensitivity analyses only.

2           Regarding which population should  
3 be considered primary, many believe that the  
4 per protocol population is the most relevant  
5 for non-inferiority studies because it removes  
6 subjects who would otherwise cloud the ability  
7 to see a treatment effect, if one actually  
8 existed.

9           For example, if some subjects in  
10 each arm didn't receive a minimally effective  
11 dose of therapy, including these subjects into  
12 the analysis may have the effect of making the  
13 two treatment arms look more similar than they  
14 actually are, thereby, making the ITT  
15 population a less conservative population,  
16 compared to the per protocol.

17           However, many others, including  
18 myself, are uncomfortable with the per  
19 protocol population alone as primary, because  
20 this population excludes subjects after  
21 randomization for reasons that may be drug  
22 related, and therefore, you may end up with a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       biased population.

2                   A    population    where    the    two  
3    treatment   arms   may   not   be   similar   at   base  
4    line,   potentially   losing   much   of   the   benefit  
5    of   randomization.

6                   So,   for   non-inferiority   trials,  
7    there   are   drawbacks   with   both   populations,  
8    which   is   why   we   often   consider   both   of   them  
9    equally   important   in   the   analysis.

10                   So,   the   primary   analysis   of   these  
11    studies   to   assess   non-inferiority   was   to  
12    construct   a   two-sided   95   percent   confidence  
13    interval   for   the   difference   in   cure   rates,  
14    test   drug   minus   control,   for   both   the   ITT   and  
15    the   per   protocol   populations.   To   conclude  
16    non-inferiority,   the   lower   bound   of   both  
17    confidence   intervals   would   need   to   be   larger  
18    than   negative   10   or   negative   15   percent.

19                   So,   where   did   the   10   or   15   percent  
20    come   from?   For   these   studies,   the   margin   was  
21    typically   agreed   upon   by   FDA   and   its  
22    acceptability   was   determined   mainly   from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinical judgment. In general, this is how  
2 margins were selected for CAP prior to 2006.

3 From 2006 on, all sponsors for all  
4 indications were asked to provide data driven  
5 justifications for their non-inferiority  
6 margins.

7 This graph shows the percentage of ITT  
8 subjects who were excluded from the per  
9 protocol data set, for the seven studies  
10 reviewed. Note, the varying percentages range  
11 from under 10 percent to about 20 percent.  
12 These differences could be due to differences  
13 in how strictly investigators followed  
14 protocols, differences in patient populations  
15 across studies or the use of stricter criteria  
16 for entry into a per protocol population.

17 Reasons for exclusion varied  
18 slightly from study to study, but the  
19 following are some of the reasons used,  
20 insufficient signs and symptoms or x-ray at  
21 base line, withdrawal or loss of subjects,  
22 adverse events leading to discontinuation,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 inadequate dosing, test of cure visit outside  
2 of the predefined window, indeterminate  
3 clinical outcome, use of concomitant  
4 antimicrobials not for failure and deaths not  
5 due to CAP.

6 It's a different version than I  
7 think you all have in your hand-out. This is  
8 an earlier version. So, these are the  
9 regions, where recent oral CAP studies were  
10 conducted. In five -- seven -- five of the  
11 seven studies included some subjects from the  
12 United States, however, U.S. subjects make up  
13 over 50 percent of the population in only two  
14 studies.

15 Many of the subjects were enrolled  
16 from Europe, which in this graph, includes  
17 East and West Europe and Russia, and it's in  
18 green. South America in turquoise and Canada  
19 in blue, also enrolled many subjects.

20 The number of countries per study  
21 ranged from three to 14 countries and the  
22 number of sites ranged from 40 to 80 sites per

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study.

2           These are the ages of subjects.  
3 Ages of subjects ranged from 18 to 98 years  
4 old. The mean age was 46 and the median was  
5 45, and the green here represents this middle  
6 50 percent of the population and it ranged  
7 from 35 to 55 year olds.

8           This graph shows the fine scores  
9 for subjects enrolled into these studies. The  
10 y-axis gives a percent of subjects. Remember,  
11 these subjects were all oral-only -- these  
12 studies were all oral-only CAP and many were  
13 limited to certain scores of less than or  
14 equal to two or less than or equal to three.  
15 As a result and as would be expected, most  
16 subjects fall into one and two.

17           The percent of subjects in three or  
18 higher varied from study to study, but range  
19 from approximately five to 10 percent and note  
20 that for some of these studies, the scores  
21 needed to be calculated, based on the  
22 available data. So, they may be incomplete or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reported slightly lower than the actual score.

2 This slide is to give a sense of  
3 the signs and symptoms seen at base line. As  
4 mentioned earlier, some amount of signs and  
5 symptoms were necessary for inclusion into  
6 this study. I've highlighted in turquoise an  
7 outlier, when one existed.

8 Almost all patients and all studies  
9 had cough or sputum production. Note that  
10 many of the studies required cough and sputum  
11 production for entry. A smaller percentage of  
12 studies had fever. Ninety-eight percent is an  
13 outlier and a requirement for that particular  
14 study.

15 The percentage of subjects with  
16 chills ranged from less than two percent to 69  
17 percent, but again, the 69 percent is an  
18 outlier and most were about six percent or  
19 lower.

20 Shortness of breath ranged from 18  
21 to 100 percent or anything in between for  
22 these studies. Chest pain, 41 percent to 76

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent of subjects, multilobe involvement was  
2 there for 16 to 25 percent of subjects. Note  
3 that all subjects had to have a certain amount  
4 of x-ray findings, and bacteremia was rare,  
5 ranging from zero to eight percent, with Strep  
6 pneumo bacteremia being at zero to two percent  
7 of subjects.

8 This slide shows the percent of all  
9 randomized subjects with a pathogen at  
10 baseline. All patients should have been  
11 screened for a pathogen at entry, but as  
12 stated earlier, isolation of a pathogen was  
13 not required for overall evaluability. The  
14 percent of subjects with a pathogen varied  
15 from approximately 45 percent to 75 percent.  
16 Note that this is the population that makes up  
17 the population of subjects included in the  
18 MITT population.

19 In pink is a proportion of subjects  
20 with Streptococcus pneumoniae, anywhere from  
21 approximately six to 20 percent of subjects  
22 had Strep pneumo isolated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This slide gives more details about  
2 the types of pathogens that were seen at  
3 baseline. The x-axis represents the actual  
4 number of patients with a particular pathogen,  
5 rather than the percentage, since subjects may  
6 have had more than one pathogen.

7                   The thing to notice is just a great  
8 variety across the studies in the numbers and  
9 types of pathogens seen. Note the pink bar  
10 contains *Streptococcus pneumoniae*. Other  
11 common organisms are *Mycoplasma* in green,  
12 *Chlamydia* in yellow and H-flu in orange.

13                  So, that was all the baseline  
14 information to give you in general, who was  
15 enrolled in the studies. The next slides will  
16 go over the results.

17                  This figure reports a clinical  
18 response at the test of cure visit for the ITT  
19 population. The ITT population considers all  
20 missing data as failures. That's a primary  
21 analysis. Those sensitivity analyses were  
22 conducted using different ways of imputation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As you can see, all showed very high success  
2 rates, all greater than 80 percent.

3 Here's the results for the per  
4 protocol population. Again, all very high,  
5 very similar, all greater than 90 percent.

6 Here is the comparative results for  
7 the primary analysis, clinical response, in  
8 both the intent to treat in per protocol.  
9 Each study is shown here twice. The green is  
10 the point estimate for the difference for the  
11 intent to treat. The pink is for the per  
12 protocol and the bars are the 95 percent  
13 confidence intervals.

14 You'll notice that the ITT in the  
15 per protocol analyses track very closely and  
16 notice that there's no clear pattern with  
17 which the ITT or the per protocol would lead  
18 to a larger point estimate or a higher lower  
19 bound. So, it's not clear ahead of time,  
20 which would be a more conservative analysis.

21 All of these studies would have  
22 shown non-inferiority with a 15 percent margin

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and all but two at the 10 percent margin.

2           Micro-biological response is often  
3 considered an important end point and here are  
4 the results for the micro-response in the MITT  
5 population. The largest problem that we found  
6 with the micro-response is that it so closely  
7 follows the clinical response, due to the lack  
8 of ability to culture patients at the test of  
9 cure visit. It doesn't add a whole lot of  
10 information.

11           In all of these studies, the vast  
12 majority of micro-biological responses were  
13 presumed eradication or in the case of  
14 failures, presumed persisted and all based on  
15 the clinical response.

16           Finally, let's look at the rate of  
17 death. As would be expected from mild to  
18 moderate CAP, the rates are very low and are  
19 only about zero to two subjects per treatment  
20 arm. Death is an outcome used in most of  
21 historical information on CAP. It is,  
22 however, due to advances in medical care, not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really a plausible end point to use with the  
2 present studies, due to the additional  
3 measures taken when someone is failing  
4 therapy, making the rate of death in current  
5 studies non-comparable really, to the rate of  
6 deaths seen in the historical studies.

7 The next two slides will give just  
8 a brief summary of the IV to oral CAP studies  
9 that we've seen at the FDA. These studies  
10 were similarly designed as the oral studies.  
11 Some, however, were not blinded.

12 A requirement for the IV studies  
13 were that patients be newly hospitalized  
14 within 24 hours prior to enrollment. End  
15 points and definition of analysis populations  
16 and the primary analyses were all the same as  
17 for oral, and the size of the studies ranged  
18 from about 300 to 700 subjects.

19 Briefly, the results were that  
20 subjects were older than with the oral  
21 studies. The mean age was 56 years, with the  
22 middle 50 percent of the population ranging

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 from approximately 40 to 70 years. The scores  
2 were higher, signifying a more severe disease.

3 Twenty percent of subjects had scores of  
4 three, 20 percent had scores of four, less  
5 than five percent had scores of five and the  
6 remaining 55 percent had scores of one and  
7 two.

8 The percent of subjects with a  
9 baseline pathogen isolated was 30 to 55  
10 percent, slightly lower than the percentage  
11 seen with oral, and the types of pathogens  
12 really varied greatly from study to study.  
13 Approximately 20 percent had Streptococcus  
14 pneumoniae.

15 Eight to 10 percent had bacteremia  
16 at baseline, with four to nine percent Strep  
17 pneumo bacteremia. These rates are higher  
18 with the oral studies -- higher than with the  
19 oral studies, and clinical response rates were  
20 high, approximately 80 percent for ITT, 90  
21 percent for per protocol. The rates of death  
22 were low, approximately two to four percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, all of these studies that I  
2 reviewed here were accepted at the time as  
3 valid, non-inferiority trials, and by  
4 accepted, I mean, either the protocol was  
5 reviewed and a general acceptance of the non-  
6 inferiority margin was given or the study led  
7 to an approval of an indication for CAP. So,  
8 what's the problem?

9           Well, the problem is, the Code of  
10 Federal Regulations states that similarity of  
11 the test drug and active control can mean that  
12 either that both drugs were effective or that  
13 neither was effective and that the analysis of  
14 the study really should explain why the drugs  
15 be considered effective in the study, for  
16 example, by reference to results in previous  
17 placebo controlled studies of the active  
18 control drug.

19           Last year, the Office of Anti-  
20 microbial Products tried to gather data for a  
21 justification for a margin for CAP. We  
22 reviewed historical data, past studies,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information on the use of adequate versus  
2 inadequate therapy and Dr. Mary Singer will  
3 discuss tomorrow what we reviewed in order to  
4 try to justify a margin.

5 We look forward to your discussion  
6 regarding what we can learn from this  
7 information, especially from mild to moderate  
8 CAP, which is particularly challenging.

9 In summary, all studies that we  
10 have seen use non-inferiority trial design.  
11 The scores were mainly one and two for oral  
12 and one through four for IV. The rates of  
13 subjects with pathogens were 45 to 75 percent  
14 for oral and 30 to 55 percent for IV, and  
15 Strep pneumo ranged from 10 to 20 percent.

16 Low proportion of patients had  
17 bacteremia. There was a high clinical  
18 response rate and low mortality rates.  
19 However, currently, it remains uncertain if  
20 sufficient data exists to justify non-  
21 inferiority margin, especially for mild to  
22 moderate CAP, and again, we look forward to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the group's discussion today and tomorrow.

2 DR. COX: Thank you, Karen. Thanks  
3 for a very nice summary. We'll hold questions  
4 until after Dr. File's talk. Thank you.

5 DR. GILBERT: We're pleased to have  
6 Dr. File as our next speaker. Tom has a rich  
7 experience in performing clinical trials and  
8 being involved with clinical trials for  
9 community acquired pneumonia.

10 He is currently head of Infectious  
11 Disease and Professor of Internal Medicine at  
12 Northeastern Ohio University College of  
13 Medicine.

14 DR. FILE: Thank you, Dave. It's  
15 certainly a pleasure to be here and I welcome  
16 the opportunity to participate in this  
17 workshop.

18 I've been finding it extremely  
19 interesting and as you said, David, I've had a  
20 lot of experience as investigator in a lot of  
21 these clinical trials, not so much as a  
22 statistician evaluating the design, however,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and so, I have found -- and here's my  
2 disclosures, as you can see, but I've found my  
3 task, which is to answer these two questions,  
4 therefore, very challenging because I do want  
5 to also disclose that I am not an expert in  
6 statistics or mathematics.

7 As a matter of fact, when you start  
8 talking about non-inferiority margin or Delta,  
9 to me, one of the more important aspects about  
10 the Delta is that it's Greek and that's  
11 important to me, because my wife is Greek.  
12 So, she finds that very important and I must  
13 admit, it is somewhat Greek to me.

14 But at any rate, this is what I'm  
15 going to try to address and at least, give my  
16 personal perspective on these issues, which  
17 has already been, obviously to a certain  
18 extent, discussed and Dr. Higgins actually, in  
19 her final slide, talked about that there's  
20 question at all if there's data to be able to  
21 justify a non-inferiority margin. So, I'm not  
22 even sure how I'm going to be able to address

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that.

2 But at any rate, let me just make  
3 some comments. They are generalized comments  
4 and then we'll go directly to these questions.

5 As we've already mentioned, the  
6 majority of community acquired pneumonia  
7 patients are treated as outpatients. They do  
8 have mild pneumonia and it's a very common  
9 infection and indeed, most care givers, just  
10 primary care physicians, consider themselves  
11 expert -- or at least, they know how to treat  
12 their patients with mild pneumonia.

13 But having said that, as we said in  
14 our initial two guidelines for community  
15 acquired pneumonia, despite extensive studies,  
16 there are very few conditions in medicine that  
17 are so controversial, in terms of management  
18 and Dr. Cox, I think you said in your initial  
19 preliminary remarks that the use of anti-  
20 microbials actually proceeded this concept of  
21 randomized clinical trials, and as Dr. Read  
22 also said in a prior, sort of evidence-based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review of community acquired pneumonia, that  
2 the hypothesis that anti-microbials are a  
3 necessary component for the management of CAP,  
4 has therefore, never been rigorously tested  
5 and I think we've already established that,  
6 because of the lack of placebo controlled  
7 trials and this is particularly the case in  
8 mild pneumonia.

9           However, he does go on and say that  
10 at least observations do suggest that there is  
11 some benefit to anti-microbial therapy in  
12 patients who have pneumonia, and indeed, I  
13 found this published last year by the British  
14 Medical Journal Evidence Based Statement in  
15 their handbook, where in their conclusions,  
16 they felt that antibiotics in outpatient  
17 settings, compared to no antibiotics, were  
18 beneficial.

19           Now, they acknowledge that this is  
20 based on consensus, but they quote that we  
21 found no randomized clinical trials comparing  
22 antibiotics with placebo or no treatment, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 such trials are likely to be considered  
2 unethical. I know we discussed this and  
3 there's some controversy here.

4 Then they conclude that there is  
5 consensus that antibiotics are beneficial for  
6 patients with community acquired pneumonia.

7 Now, we discussed some of these  
8 controversies, as far as the utility of  
9 diagnostic test. How do we actually  
10 differentiate true mild walking pneumonia from  
11 an infection which is 10 times more common,  
12 which is acute viral bronchitis, and that, I  
13 think, is a major issue when we're talking  
14 about true patients who have pneumonia,  
15 because that clinical scenario that Dave  
16 brought this morning, in a patient who has  
17 pneumonia, a positive x-ray, positive fever,  
18 other conditions there, I really think -- I  
19 mean, it would be really interesting to poll  
20 the clinicians here, but I think it would be  
21 very unlikely that we would not want to treat  
22 that patient, even though that patient has a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Fine Class 1 curb 65 score zero.

2 Another question that has been  
3 brought up is, what about the utility to treat  
4 a typical pathogen? So, I want to address  
5 that a little bit. There is a concern of  
6 over-use of antibiotics, such as  
7 fluoroquinolones, particularly and  
8 particularly now, in a group of patients who  
9 may be at risk for tuberculosis, and then  
10 there's this concept of mild versus moderate  
11 to severe. I think George brought that up in  
12 a discussion earlier this morning.

13 Actually, I view pneumonia, if it's  
14 truly pneumonia, whether it's mild, moderate  
15 or severe, as a spectrum of the same  
16 infection. It's almost like when I talk to  
17 patients who have come to me and say, "Dr.  
18 File, do I have HIV or do I have AIDS?" I  
19 say, "It's the same infection. It's just a  
20 spectrum of the same infection," and I think  
21 mild pneumonia can become moderate and can  
22 become severe.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, the considerations that we need  
2 to evaluate are what is the benefit of  
3 antibiotic therapy, and one way to look at it  
4 is versus placebo. We've already established,  
5 we have very limited data there. There is  
6 some studies that I did find that I want to  
7 review with you, however.

8           So, another way to look at it is,  
9 what about effective therapy versus  
10 ineffective therapy or inactive therapy? But  
11 then that looks at this concept of resistance,  
12 and as we've already heard this morning, the  
13 clinical relevance of resistance is not well  
14 established.

15           I think there is some data. I say,  
16 strong and that maybe be too strong of a  
17 statement. I think there is some data which  
18 is mostly observational and retrospective that  
19 macrolide resistance can be associated with  
20 failure.

21           Evidence is lacking for beta-  
22 lactams, at least if you use effective beta-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lactams that it's associated with failure, and  
2 there's minimal evidence at all that there's  
3 fluoroquinolone resistance associated with  
4 failure, at least if you use the appropriate  
5 doses and the appropriate fluoroquinolone.

6 Then we have to consider what's the  
7 consequence of failure? I mean, we've already  
8 heard, this is a mild infection. Nobody dies  
9 of this -- or maybe less than one percent.  
10 So, we have to look at other end points. But  
11 I think there are other clinically relevant  
12 end points, as I'm going to try to show during  
13 this presentation.

14 As far as the end points, it would  
15 be nice if we had very objective end points,  
16 rather than just clinical impressions by the  
17 primary investigator. I'll harken back to  
18 what many have said, that for example, with  
19 HIV, we can look at for example, log-drop at  
20 24 weeks in the viral load or what is the  
21 increase in the CD4 count, because these do  
22 have correlation with good clinical outcomes,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with these surrogate markers.

2 Then there's the point that we  
3 brought up earlier also as far as, what are we  
4 doing with antibiotics? Well, obviously, we  
5 want to eradicate a pathogen or effect a  
6 pathogen. But we also know, you can eradicate  
7 the pathogen, but the patient still dies.

8 So, in that case, we haven't shown  
9 necessarily that the antibiotic is no good,  
10 but the patient still dies because of other  
11 effects, and then there's the immuno-  
12 modulatory effect of antibiotics that was also  
13 brought up, that may confound the ability to  
14 assess the patient.

15 Tim already brought up the concept  
16 of what are the most likely pathogens and the  
17 one that we're most concerned about and Mike  
18 Fine did a nice study over 10 years ago,  
19 showing that the greatest morbidity and the  
20 greatest mortality is with the pneumococcal  
21 pneumonia and if you look at ambulatory  
22 patients, pneumococcus is number one and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is what Tim showed, and I will acknowledge, if  
2 you look at some studies that look at Fine  
3 Class 1 patients, Mycoplasma may be number  
4 one, but that may be a reflection of the  
5 methodology of the study, as Tim already  
6 mentioned.

7 One way to look at the consequences  
8 of patients who have mild pneumonia is the  
9 failures and I'm just showing you two  
10 relatively recent studies here. The first is  
11 from Paul Iannini and Jerry Schentag's group,  
12 that -- who did a retrospect of multi-center  
13 analysis of 122 patients who were admitted  
14 with community acquired pneumonia, because  
15 they failed outpatient therapy with a  
16 macrolide and to me, this is very compelling  
17 data because as you would expect, these  
18 patients were more likely to have resistant  
19 strains because they were on a macrolide when  
20 they came in the hospital during failure. But  
21 look, 52 percent of these patients were  
22 bacteremic and there was a mortality rate of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about six percent, which is significantly  
2 higher than you would expect patients who  
3 would be treated in the outpatient setting.

4 Then the second study is a study  
5 from Don Low's group in Toronto, who  
6 established a theoretical model, based on  
7 linking resistance prevalence with outcomes,  
8 and so I have to acknowledge that this is a  
9 theoretical model, but it's based on an  
10 epidemiologic concept of risk difference and  
11 what they felt was, that if you had macrolide  
12 resistance rate of pneumococcus at 25 percent,  
13 which basically is what it is in North  
14 America, that you have an increased rate of  
15 death by using at least a macrolide of 1.2  
16 percent, which is essentially double, as I  
17 understand it, what the base line would be.

18 Increased rate of bacteremia, 1.6  
19 percent, increased rate for prolonged course,  
20 as much as up to six percent, if you look at  
21 the confidence intervals there.

22 But then we have the confounding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue of failure that we've already mentioned,  
2 may not reflect the inability of the  
3 antibiotic to do what we want it to do, which  
4 is to eradicate the pathogen or at least,  
5 inhibit the pathogen.

6 If you look at the table four here,  
7 which is the bottom of this slide, it looks at  
8 a study from Tom Marrie's group where they  
9 looked at ambulatory patients who {quote}  
10 "failed therapy."

11 Now, their definition of failed  
12 therapy as an out patient was that they  
13 required admission to the hospital, but I want  
14 to point out that the most common reason for  
15 failure, when they really looked at this, was  
16 worsening of the co-morbid illness. It was not  
17 necessarily what they considered even clinical  
18 failure of the pneumonia itself.

19 So, what are potential designs for  
20 a superiority trial for a mild CAP? I do  
21 believe that if it's truly community acquired  
22 pneumonia, such as the patient scenario that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dave mentioned earlier, that we should not be  
2 doing placebo controlled trials in that  
3 particular patient because that patient can go  
4 -- in fact, that patient, I'm sure, has a  
5 degree of chronic obstructive pulmonary  
6 disease, with a 40 pack year history of  
7 smoking.

8 But at any rate, because I think  
9 there is a potential for poor outcome and as  
10 Tim said, we do have good therapy for these  
11 patients.

12 The real problem is differentiating  
13 patients who truly have pneumonia from other  
14 respiratory infections, which do not warrant  
15 anti-microbial therapy.

16 I also believe that use of  
17 appropriate active controls predicts that  
18 superior results are going to be highly  
19 unlikely because right now, we've got the  
20 patients -- as we've already shown, at least  
21 for the per protocol population, where the  
22 results are well into the 90's, 90 percent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 rate, at least for per protocol.

2 But there may be some other  
3 potential ways to do this. It's interesting,  
4 if you look at the North America approach  
5 versus European approach, at least for mild  
6 pneumonia, we do have different  
7 recommendations for empiric therapy. We  
8 recommend treating the atypical pathogens.

9 If you look at the British Thoracic  
10 Society Guidelines, they do not. They  
11 recommend using aminopenicillins to treat --  
12 or to target the pneumococcus, and in fact,  
13 this is just review of our guidelines -- the  
14 consensus guidelines from IDSA and ATS, and  
15 the rationale here is, we do stratify patients  
16 according to relative risk factors and what we  
17 consider to be risks for resistance, but the  
18 point -- the rationale here is that we are  
19 targeting both pneumococcus and the atypical  
20 pathogens.

21 Now, I think the relevance of the  
22 atypical pathogens have, to a limited extent,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       been discussed here and I know John Barlett is  
2       going to discuss them tomorrow.  These studies  
3       have already -- these two meta-analyses have  
4       already been shown a couple of times at this  
5       workshop.  But I think there's a lot of  
6       limitations to these two meta-analyses.

7                       First of all, they both look at the  
8       same study, so it's not surprising that  
9       they're going to have the same results.  One  
10      looks at 24 studies.  One looks at 20 studies  
11      and they both come to the conclusion that  
12      there's no advantage for the -- treating the  
13      atypical -- or using an atypical regimen and  
14      no difference in mortality.

15                      Well, there's certainly not going  
16      to be a difference in mortality, because these  
17      patients have mild pneumonia and we've already  
18      established that mild pneumonia and mortality  
19      is not going to be a sensitive indicator.

20                      But the point I want to make as  
21      well is that most of these look at test to  
22      cure outcomes, which were like, seven to 10

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 days after the study drug has been completed,  
2 and that's sort of what Dr. Higgins said in  
3 the evaluation of her studies.

4 So, you're talking about -- and if  
5 they're going to give a seven to 10 day  
6 regimen, you're talking about three weeks  
7 after the patient presents to you, and a lot  
8 of these, as we know, infections are going to  
9 be self-limited by that time. It's  
10 conceivable that there could be a difference  
11 in more rapid resolution of the illness that  
12 we were unable to detect because of the  
13 methodology of these particular studies and  
14 indeed, the authors of the second study  
15 suggested -- in fact, this is the last two  
16 sentences of the paper, "Studies designed  
17 specifically to evaluate the necessity of  
18 atypical coverage are needed. The optimal  
19 design would be randomized, controlled trial  
20 comparing the same beta-lactam in both arms  
21 with and without an agent against atypical  
22 pathogens."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If you go back in the past, there  
2 actually are a couple of studies that have  
3 looked at the utility of effective therapy for  
4 some of these atypical -- well, I shouldn't  
5 say some, only one. That's Mycoplasma, and  
6 this is actually a well-designed trial,  
7 double-blind, placebo controlled trial done 50  
8 years ago, mostly in South Carolina and that  
9 was a very homogeneous group of patients.  
10 There were all Military recruits. They were  
11 young.

12           So, I suspect, they were all port  
13 one. I think the average age was 18 or 19,  
14 but they actually treated 300 patients -- and  
15 this gets into the design issue, I guess, and  
16 then looked carefully, at least on the basis  
17 of available diagnostic methods and 109 of  
18 them had, as the sole pathogen that they were  
19 able to identify, Mycoplasma pneumoniae,  
20 because there was an outbreak of Mycoplasma  
21 pneumoniae at this Military base.

22           And so, if you excluded the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients, the other 200 that did not have  
2 Mycoplasma pneumoniae -- so, we're only  
3 looking at those patients who had documented  
4 Mycoplasma pneumoniae and they treated them  
5 either with tetracycline -- this is  
6 tetracycline treated versus placebo, and they  
7 actually used capsules that looked very  
8 similar, it was well controlled. They used  
9 IBM cards for their data in this analysis.  
10 They obviously didn't have laptops, and they  
11 found a significant difference in the rate of  
12 -- or the amount of the disease -- or at least  
13 the time to resolution of a lot of these  
14 clinical factors, which I think, can be  
15 clinically relevant to the patient.

16 Now, 10 years later, there was  
17 another study. This was also in Military  
18 personnel. So, young people, where they  
19 compared erythromycin, tetracycline and then,  
20 in the paper, it doesn't say -- it's either  
21 penicillin or no anti-microbial therapy, and  
22 unfortunately, they don't indicate how many of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 each, but never the less, it was penicillin or  
2 no anti-microbial therapy. These patients did  
3 worse or they had a more prolonged illness  
4 than patients who received erythromycin or  
5 tetracycline.

6 So, I think there is some data, if  
7 you look at other end points, other than  
8 mortality or long-term end points of seven to  
9 14 or how many days after a study drug, that  
10 there can be a benefit to antibiotics in these  
11 mild infections that I think, were port class  
12 1.

13 And so, in a review paper that we  
14 wrote with many of the people in the room  
15 here, we said, "Well, maybe we need to do a  
16 large randomized controlled trial, to evaluate  
17 the difference in outcomes of the  
18 recommendations by North America and the  
19 European guidelines."

20 Now, in my initial slide -- and I  
21 think it's what's in your hand-out, I said  
22 macrolide versus amoxicillin. But I sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like the design that the Shefert study  
2 recommended at the end of their paper, "Well,  
3 everybody gets amoxicillin," because then you  
4 don't have to worry about pneumococcus. If  
5 you use appropriate doses of amoxicillin,  
6 you're going to cover the pneumococcus, even  
7 {quote} "drug resisted pneumococcus."

8 But then, half get placebo and half  
9 get macrolide. So, then you're -- the only  
10 issue there, however, is what is going to be  
11 the potential effect of the immuno-modulatory  
12 effect of the macrolide. But what you're  
13 going to have to do is, instead of monitoring  
14 response of 14 to 28 days, look at perhaps  
15 patient response outcomes, as we've already  
16 discussed, and do them on day one, day two,  
17 day three, day four, and see if there's a more  
18 rapid resolution of illness and we need to  
19 have accurate microbiologic tests.

20 I think we can do that. It's going  
21 to be expensive, but we need to use probably  
22 nucleic acid types of tests to evaluate this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Now, as far as maybe studying new  
2 agents, certainly, double-blind, we need to do  
3 whatever we can to truly identify patients who  
4 have pneumonia and differentiate them from  
5 patients who have acute viral bronchitis. We  
6 need to distinguish what severity of illness  
7 we're going to be entering into the trial and  
8 it's probably the one's and the two's, where  
9 we really need this information, because we  
10 got more and more data about three's and  
11 four's, or the CURB-65, zero's and one's.

12                   Because of purposes of time, I'm  
13 not going to go into to all of this, but I was  
14 really intrigued with what Michael Niederman  
15 said earlier, about perhaps using the  
16 procalcitonin or some other biologic marker to  
17 be able to help differentiate patients who  
18 truly were on antibiotics versus perhaps,  
19 those that were not.

20                   I think we need better patient  
21 assessments, such as we've already talked  
22 about, as far as patient scoring systems.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 We've already talked about the micro-biologic  
2 assessment. I really think the more we can  
3 define what the etiology is, then the more we  
4 can explain the outcomes or the results of  
5 studies, and we need to look -- instead of the  
6 standard end points here, we need to look at  
7 rapidity of resolution in morbidity, the  
8 patient-based outcome assessments that we've  
9 already mentioned and perhaps, as Mike  
10 Niederman said, the utility of what happens  
11 with biologic markers, such as procalcitonin.

12 With that, I'd like to maybe  
13 discuss a couple of studies that were actually  
14 designed as non-inferiority, but in which  
15 superior results, indeed, were defined and I  
16 want to correlate that with more mild  
17 pneumonia.

18 So, here is three studies and some  
19 of these have already been mentioned earlier,  
20 fluoroquinolone versus beta-lactam, plus or  
21 minus, macrolide. This is our study, where we  
22 showed this is a significant difference in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 favor of levofloxacin, although this is not  
2 double-blind. This was double-blind and this  
3 was already mentioned.

4 Then this study, if you look at the  
5 standard test to cure, which was seven to 10  
6 days after the study drug, there was no  
7 difference, but in that particular study, they  
8 did find that there was more rapid  
9 defervescence of fever and symptoms in the  
10 patients who received moxifloxacin and I only  
11 point that out because they did use a patient  
12 oriented system to evaluate the patients.

13 But this is -- our study, looking  
14 at levofloxacin versus ceftriaxone or  
15 cefuroxime plus or minus erythromycin, and the  
16 reason I'm bringing this up is that slightly  
17 over 50 percent of our patients were only  
18 treated with oral therapy, and so, that means  
19 they got cefuroxime, never did get  
20 ceftriaxone.

21 Now, if you look at overall, as I  
22 said, it's 96 versus 90 percent. I sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at the failures here, not the success  
2 rates. But if you look at the patients who  
3 only received oral therapy -- so, less severe  
4 disease, and of course, this study was done  
5 before Michael Fine designed his PSI, so we  
6 don't have that, but as a surrogate, we can  
7 only look at the patients who had oral therapy  
8 only, that 95 percent of the patients were a  
9 success in the levofloxacin arm versus 88  
10 percent here and that was statistically  
11 significant.

12 It's also interesting to note -- I  
13 was able to find out and I appreciate input  
14 from Susan Nicholson and Alan Fisher and Janet  
15 Peterson, from J&J and Ortho McNeil, who gave  
16 me this additional data, 11 of these 12  
17 patients who failed did not receive macrolide.

18 So, that may be important in that result as  
19 well.

20 Because of time, I'm not going to  
21 go over these other studies. This is just the  
22 study that I mentioned, looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       moxifloxacin versus ceftriaxone plus or minus  
2       erythromycin, where they did show, in patient  
3       graded symptoms in a diary, that there was  
4       more rapid defervescence in one arm versus the  
5       other, I think, suggesting that this is a way  
6       to go, to evaluate patients as far as speed to  
7       recovery.

8                 Dave already mentioned this study,  
9       so I'm not going to comment on that.

10                Finally, in trying to prepare for  
11       this, I had to do my own self-statistics 101  
12       course. I wish I would have talked to Tom  
13       Fleming, because what he said this morning put  
14       it much more clearly than I was even  
15       considering this morning.

16                But just so we're on the same page,  
17       which is actually the first page, obviously,  
18       for me -- so, here's the second question here,  
19       but in non-inferiority clinical trial, using  
20       an active -- and compared to the concept, is  
21       to show that the effectiveness of the new drug  
22       compared to the active control was no less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than a predefined amount, and that's the  
2 margin of non-inferiority, which I like to  
3 refer to as Delta, and using 95 percent  
4 confidence intervals -- or limits, but we've  
5 already heard all of that.

6 So, what do we need to consider?  
7 Well, what is the risk associated with  
8 treatment failure, considering the severity of  
9 the disease? Well, quite honestly, in these  
10 port one's and port two's, if you're looking  
11 at mortality, there is no risk.

12 But there may be a difference in  
13 speed of resolution, patient benefit, as far  
14 as getting back to work or feeling better,  
15 resolution of fever. These can be important  
16 to the patient and clinically relevant.

17 What's the historical cure rate of  
18 the comparative? Well, we have that. We have  
19 all kinds of studies. There's well over 100  
20 randomized clinical trials looking at  
21 different regimens in mild community acquired  
22 pneumonia, but none of them, except those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other two that I showed you, which were 40 or  
2 50 years old, looked at {quote} "inactive arm"  
3 or a placebo arm.

4 And so, we have to look at the  
5 advantages and disadvantages of the drugs, or  
6 at least the comparatives that's already been  
7 brought out, that if we can have a drug, maybe  
8 that has less adverse events, more convenient  
9 dosing or adds options, which may decrease the  
10 selective pressure of resistance to other  
11 agents, that can be helpful. In fact, that  
12 reminds me -- and I forgot to mention it, when  
13 I showed the slide of our IDSA/ATS  
14 recommendations for empiric therapy for  
15 outpatients, I had in brackets, telithromycin  
16 because in our initial draft that was just  
17 about ready to go to CID, we had telithromycin  
18 as an option as well and we all felt, "Well,  
19 this is nice, because this will give us an  
20 additional option, perhaps, we'll reduce the  
21 {quote} `over-use' of fluoroquinolones."

22 Well, we had to sort of remove that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because a year ago, as you know, this agency  
2 was evaluating the risk benefit of  
3 telithromycin and so, that was sort of  
4 downgraded from the -- how we mentioned it  
5 within our guidelines.

6 And so, and this has already been  
7 mentioned, the International Conference on  
8 Harmonization, E10, that non-inferior design  
9 is appropriate and reliable only when the  
10 historical estimate of a drug effect size can  
11 be well supported by reference to results of  
12 previous studies.

13 Well, we've got all kinds of  
14 studies, but they're all with a control drug  
15 and we've already heard, well, does that mean  
16 they're both effective or they're both  
17 ineffective? I don't know, quite honestly and  
18 I'm not even showing this just to read it.  
19 I'm just showing -- and this is actually from  
20 Lionel Mandell's Canadian guidelines, where at  
21 the time, they reviewed a lot of the studies,  
22 which was very nice.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But as I said, there now are over  
2 100 trials that I was able to find literature,  
3 in patients who received oral therapy for  
4 community acquired pneumonia in these {quote}  
5 "controlled trials."

6           So, we have all kinds of studies  
7 and at least in the most recent ones that I've  
8 shown, very similar to what Dr. Higgins said,  
9 is that the outcome is well over 90 percent in  
10 these trials, and so, if you're going to ask  
11 me, what should be the margin -- or the non-  
12 inferiority margin, then I would probably say  
13 well, probably around 10 percent. But that's  
14 totally from a novice, amateur statement.

15           I think, as Dr. Higgins already  
16 mentioned, there's the concern of what  
17 population you're going to use, whether it's  
18 per protocol population or ITT, and I think,  
19 you know, maybe what we need to do is just  
20 have -- I like this concept of maybe doing a  
21 superiority trial, but not necessarily  
22 reaching superiority, but if you reach where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 the -- the lower end of the confidence  
2 interval is maybe minus two or minus three or  
3 whatever, but -- and you tried to do a  
4 superiority trial, but you achieved that, to  
5 me, that would show that I would feel  
6 comfortable using that drug, if I felt  
7 comfortable using the comparative drug.

8 So, with that, I'll conclude my  
9 remarks and thank you very much for your  
10 attention.

11 DR. GILBERT: Thank you, Tom and  
12 thank you for getting us back close to on  
13 schedule. We do have time for a couple of  
14 questions. Tim?

15 DR. MURPHY: Tom, you mentioned  
16 distinguishing community acquired pneumonia  
17 from acute bronchitis and acute bronchitis, we  
18 all know, is caused almost entirely by virus  
19 and antibiotics are likely to have no effect,  
20 and that probably accounts for much of the  
21 antibiotic misuse.

22 Doesn't a chest x-ray reliably

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 distinguish -- wouldn't that be considered the  
2 gold standard to -- if someone has an  
3 infiltrate -- a new infiltrate on chest x-ray,  
4 that's community acquired pneumonia. Whereas,  
5 bronchitis has a negative chest x-ray.

6 DR. FILE: Well, no, that's true, I  
7 absolutely agree that that is a differential  
8 characteristic. However, I've done a lot of  
9 these trials. I can tell you, about 25  
10 percent of the patients -- well, now it's  
11 different because we have packs. We can look  
12 at the old x-rays.

13 But five years ago, when we entered  
14 patients into trials, based on {quote} "a new  
15 infiltrate," when we looked back at the old  
16 infiltrate, this was not new. This was like  
17 an old scar or whatever.

18 So, there's that issue about over-  
19 calling radiographic CAP versus radiographic  
20 abnormality. You can have a shadow on an x-  
21 ray and as you know, there can be a tremendous  
22 difference in the interpretation of that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular shadow. Is this old scarring or  
2 whatever?

3 We found that, as I said,  
4 anecdotally, we had to drop about 20 percent  
5 of our patients, when we back and looked at  
6 the old x-ray, because these truly were not  
7 new.

8 Then there's the issue -- although  
9 it's not at all been studied in mild  
10 pneumonia, it's been more evaluated in  
11 patients requiring admission to the hospital,  
12 but there are those patients who -- of the x-  
13 ray is not sensitive enough, if you do a CT  
14 scan, you might find an abnormality, and we're  
15 not going to certainly measure that.

16 But I think -- and I didn't have  
17 time to go into this, but certainly, in the  
18 ideal design, as I said, you've got to do  
19 whatever you can to identify patients who more  
20 than likely have bacterial pneumonia that are  
21 going to warrant antibiotics, so you can see a  
22 benefit. They should have evidence of air

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 space disease on x-ray, I mean, as best as you  
2 can interpret.

3 But I think we need other pieces of  
4 information that may be helpful in  
5 differentiating patients as well, such as may  
6 be the procalcitonin or some other marker.

7 DR. GILBERT: Okay, I lost track of  
8 who is next.

9 DR. NOEL: My name is Gary Noel and  
10 I currently work at Johnson & Johnson. My  
11 question is actually for Dr. Higgins, and I  
12 don't see her up here on the dais, so I hope  
13 she hasn't left the room. But there are  
14 plenty of other statisticians here.

15 The concept that seems to be  
16 critical -- one of the concepts that seems to  
17 be critical in thinking about a non-  
18 inferiority trial is assay sensitivity and you  
19 talked about that, and it's talked about  
20 really in qualitative terms, rather than  
21 quantitative terms.

22 My question is really focused on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your review of these CAP studies and you  
2 pointed out that one of the assessments that  
3 goes into assay sensitivity is how -- again, a  
4 very qualitative term, messy the trial was.

5 On slide 10, I think it was, you  
6 listed some of the things that I would, as a  
7 clinical researcher, sort of point to as being  
8 messy in the trial.

9 In your review of these trials, are  
10 you saying that these trials were conducted in  
11 a not-messy manner or a messy enough manner  
12 that was acceptable? How can we, who are not  
13 only designing the trials, but also executing  
14 them, feel confident at the end of the day,  
15 that we've conducted a trial that has a high  
16 enough assay sensitivity to meet these  
17 criteria for non-inferiority?

18 DR. HIGGINS: That's a good  
19 question. It's really hard. It's hard for us  
20 to figure out what level. Certainly, one  
21 thing we rely strongly on is DSI inspections  
22 of study sites. So, that tells us a lot about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how the study was conducted.

2           You know, we look at the amount of  
3 missing data. We look at the inclusion  
4 criteria and were they closely followed by all  
5 the investigators? But it's --

6           DR. NOEL: Is that a point at which  
7 you say, "You've crossed the line here. The  
8 study is no longer sufficiently sensitive --  
9 has sufficient assay sensitivity?"

10           DR. HIGGINS: Dr. Temple, do you  
11 want to --

12           DR. TEMPLE: Well, it's only partly  
13 messy. Part of it is very clean. To  
14 establish assay sensitivity, you need three  
15 things.

16           The first of them isn't messy at  
17 all. It's called in ICH-E10, HESDE,  
18 Historical Evidence of Sensitivity of Drug  
19 Effects. That is, you've got to know that the  
20 treatment you're comparing it to beats placebo  
21 regularly, and you get a number from that and  
22 from that, other things being equal, you say,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "Well, I think the effect in my new trial  
2 might be the same as the old trial."

3 Now, it gets messy, because now,  
4 you have to get into the constancy assumption.

5 You have to somehow, conclude that this  
6 perfectly good effect you saw in the past  
7 persists. That's very hard. That's highly  
8 judgmental.

9 But what I just heard from Dr. File  
10 is that we're not anywhere close to that. We  
11 don't have HESDE yet and that makes it clean  
12 as a whistle. There's no basis for setting a  
13 margin, if you don't know what the effect size  
14 is.

15 I like the terms Delta 1 and Delta  
16 2. Delta 1 is the entire effect of the drug  
17 that you believe it has -- the control drug,  
18 that you believe it has in this study, and  
19 that's based on the past. That's the entire  
20 effect.

21 Your non-inferiority margin can  
22 never be more than that, because if it's more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than that, then you've lost all the effect.

2 But in -- people are inclined to  
3 add to make it more difficult than that, by  
4 imposing a clinical judgment, by saying,  
5 "Well, I don't want to lose all of the effect.  
6 I want to lose half of it, a third of it,  
7 only a little bit of it." That's M2, the  
8 clinical judgment, and that, you pull out of  
9 the air or wherever you find judgments.

10 So, it's only partly messy. The  
11 first part isn't messy. The first part is,  
12 what are the data, and what everybody keeps  
13 saying is, "There isn't any data," at least  
14 not in mild to moderate. There might be for  
15 more severe ailments, which is a different  
16 question.

17 DR. GILBERT: Robert, I'm sorry, Dr.  
18 Shlaes was next, and then we're going to have  
19 to take a quick 15 minute bathroom break, in  
20 order to give Roger his full time. David?

21 DR. SHLAES: David Shlaes from Anti-  
22 Infectives Consulting, and I'm just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 reflecting, once more, on the relevance of  
2 PSI, so the severity index, two trials, and I  
3 just want to point out and make sure we're all  
4 on the same page with this, is that  
5 essentially, all those patients who fall into  
6 PSI Class 1 are treated.

7 So, the mortality numbers that  
8 we're seeing are all in treated patients.  
9 Probably none of those patients are not -- or  
10 at least not intentionally not treated.

11 So, those are all treated patients,  
12 I would presume.

13 DR. GILBERT: Historically, I think  
14 we agree with you. We didn't have PSI  
15 earlier, when some patients were in treatment.

16 All right. So, Dr. Echols is going  
17 to lead off, and we're going to start promptly  
18 in 15 minutes. Thank you all very much.

19 (Whereupon, the foregoing matter  
20 recessed at 2:33 p.m. and resumed at 2:43  
21 p.m.)

22 DR. GILBERT: So, the whole idea of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this workshop was to get all the ideas out on  
2 the table, and that certainly includes our  
3 colleagues in industries. So, the next  
4 presentation is by Roger Echols, Chief Medical  
5 Officer at Replidyne, Clinical Trial Design  
6 for mild to moderate community acquired  
7 pneumonia, and this is a reality check.

8 DR. ECHOLS: Thanks, David. Thank  
9 you very much and I really want to thank all  
10 the organizers of this meeting for really  
11 both, putting the meeting together, which I  
12 think is really critically important to get  
13 some of the diversity of ideas out on the  
14 table, but also to allow an industry  
15 perspective, and I'm very pleased to try to  
16 provide that.

17 Some of this may be a little  
18 redundant, but I hope it's complementary and  
19 not boring to you. But just from, again, our  
20 perspective, where did we get -- how did we  
21 get to where we are?

22 So, we have not only the recent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guidance from October 2007 on non-inferiority  
2 margins, but we have other historical issues  
3 about non-inferiority.

4 But the non-inferiority guidance of  
5 October 2007 really made two points. One,  
6 that it's not possible to define a non-  
7 inferiority margin for active control in non-  
8 inferiority studies in acute bacterial  
9 sinusitis, AECB, or acute otitis media.

10 But the second point and the one  
11 which we're addressing at this meeting is how  
12 to determine an NI margin for other  
13 indications, to -- in the words of the  
14 guidelines and ICH, is to ensure that there's  
15 adequate scientific rationale for the effect  
16 size of the active control and the proposed  
17 non-inferiority margin. That's what we'd like  
18 to try to get to.

19 The FDA non-inferiority guidance  
20 refers sponsors to the ICH E-10 document for  
21 further guidance. It is important to  
22 understand that the ICH E-10 is a general

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guidance for industry and not specific to  
2 anti-bacterial drugs used to treat acute  
3 infectious diseases.

4 It is clear from the E-10 document  
5 that for demonstration of efficacy,  
6 superiority trials, either placebo or active  
7 controlled, are preferred and that non-  
8 inferiority studies are problematic due to the  
9 difficulty in determining the non-inferiority  
10 margin.

11 There is a need to demonstrate the  
12 benefit of active control over no treatment,  
13 referred to as M1, before one can determine  
14 the actual NI margin or M2.

15 This process should be based on  
16 statistical reasoning and clinical judgment,  
17 although it's not clear where statistical  
18 reasoning ends and clinical judgment is  
19 allowed to contribute.

20 The E-10 stresses that historical  
21 basis for M1 should be determined from  
22 clinical trials where the patient populations,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the outcomes measured, and the concomitant  
2 therapies should be similar to the proposed NI  
3 studies.

4 Finally, there is a concern about  
5 approving new drugs which may be less  
6 effective than the control drug, even if only  
7 by a small margin and the possibility of bio-  
8 creep, if this process is iterative.

9 Notwithstanding the statistical  
10 reasoning that places such inherent value on  
11 superiority trials, I think it's important to  
12 share with you some real world experience  
13 regarding placebo controlled superiority  
14 trials in indications such as AECEB and ABS.

15 Bayer has been conducting a placebo  
16 controlled trial in acute bacterial sinusitis  
17 in North America, which is now in its fourth  
18 winter respiratory season. Their goal is to  
19 get 117 micro-biologically evaluable patients.

20  
21 Our own placebo controlled trial in  
22 AECEB has been enrolling subjects for more than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two years. As difficult as patient enrollment  
2 has been at the site level, we've been sobered  
3 by the resistance to placebo controlled trials  
4 by international ethics committees and  
5 ministries of health. These organizations,  
6 which function under the same ICH guidelines  
7 as the FDA, have a far different view on the  
8 need for superiority trials.

9 The most common reason for  
10 rejection is the fact that the placebo  
11 controlled trials contradict established  
12 treatment guidelines for the indication being  
13 studied.

14 In addition, some European  
15 countries, while accepting the rationale of  
16 establishing definitive efficacy versus  
17 placebo, never the less, find a study without  
18 an active control of no value and hence,  
19 unethical.

20 This recent experience in  
21 infections far less severe or serious than CAP  
22 provide ample evidence against placebo

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controlled trials for even mild to moderate  
2 CAP.

3           What is mild to moderate CAP?  
4 While this two day workshop is divided into  
5 the discussion of mild to moderate CAP versus  
6 more severe CAP, there really is no good way  
7 to separate these two indications. There is  
8 little scientific evidence to suggest the  
9 microbial etiology is significantly different.

10           From a regulatory perspective, oral  
11 therapies are usually excluded from labeling  
12 for severe infections, although  
13 pharmacodynamic parameters would not support  
14 this distinction for drugs that are highly  
15 bioavailable.

16           One only needs to look at clinical  
17 practice in other countries, to realize that  
18 the use of parenteral versus oral therapy in  
19 non-ICU patients has more do with hospital  
20 reimbursement than medical science, and while  
21 scoring systems are predictive of overall  
22 mortality, they have more to do with age and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comorbidities than the actual severity of the  
2 acute episode of CAP.

3 Several years ago, I was directly  
4 involved in a large clinical program for an  
5 antibiotic which ultimately was not approved  
6 for marketing. This program included seven  
7 CAP trials conducted globally, which enrolled  
8 over 2,200 patients.

9 All trials characterize patients  
10 based on Fine Score, or PSI score. I still  
11 refer to it as Fine Class.

12 Two trials included only Fine Class  
13 1 and 2, treated orally, with orally  
14 administered drugs on an ambulatory basis.  
15 Two trials involved only hospitalized subjects  
16 initially treated with intravenous therapy,  
17 and the other trials were flexible with regard  
18 to location and route of administration.

19 Sixty-three percent of subjects had  
20 either a typical or an atypical pathogen  
21 identified. However, nearly 25 percent had  
22 mixed infections, usually a typical and an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 atypical pathogen.

2 In 2003, I analyzed these pooled  
3 data CAP studies -- from the CAP studies to  
4 determine whether there was any difference in  
5 the pathogens based on Fine Class. These data  
6 were presented at the annual IDSA meeting in  
7 San Diego in 2003.

8 What we found is that there was  
9 very little difference in the specific  
10 microbial etiology across Fine Classes. Strep  
11 pneumoniae was the most common typical  
12 pathogen for all groups, followed by  
13 Haemophilus influenzae.

14 Among the atypicals, only  
15 Mycoplasma pneumoniae appeared more frequently  
16 in Fine Class 1, relative to the other Fine  
17 Classes.

18 We concluded that the etiology of  
19 bacterial pathogens was not different across  
20 Fine Classes, and therefore the specific  
21 microbial cause of CAP is not the reason for  
22 differences in mortality observed in the Fine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Scores.

2 Recently, our group at Replidyne  
3 conducted a detailed review of various summary  
4 basis of approvals, available on the FDA  
5 website or through Freedom of Information. We  
6 selected CAP trials since the early 1990's  
7 where the disease was not severe and where a  
8 systematic search for both typical and  
9 atypical pathogens was prospectively  
10 conducted.

11 In a pool of 5,025 evaluable  
12 subjects, 55 percent had no microbial etiology  
13 identified. In the 45 percent who had an  
14 identified pathogen, about two-thirds were  
15 typical bacteria. Strep pneumoniae was the  
16 most common typical pathogen, followed by  
17 Haemophilus influenzae.

18 We also conducted a literature  
19 review over the past decade of epidemiology  
20 studies which included more than 7,400 well  
21 characterized subjects with mild to moderate  
22 CAP. Again, Strep pneumoniae was the most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 common typical pathogen and Mycoplasma  
2 pneumonia was the most common atypical  
3 pathogen.

4 Now, while the methodology of the  
5 patient definitions may differ between these  
6 various sources of information, the similarity  
7 of the results strongly support that -- the  
8 frequency and importance of Strep pneumoniae  
9 and other typical pathogens in mild to  
10 moderate CAP. Thus, is it appropriate to  
11 consider CAP as a continuum of disease of  
12 varying severity and not as a separate disease  
13 from severe CAP.

14 In order to conduct a  
15 scientifically rigorous non-inferiority trial  
16 in CAP, we need to establish the benefit of  
17 antimicrobial treatment versus no treatment.  
18 While this cannot be achieved through  
19 contemporary placebo controlled clinical  
20 trials, it is clear that specific  
21 antimicrobial chemotherapy, first demonstrated  
22 by the sulfonamides, had a profound impact on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient mortality due to Strep pneumoniae.

2 Evans and Gainsford reported on a  
3 reduction of mortality from 27 percent to  
4 eight percent in two cohorts of subjects with  
5 lobar pneumonia. Although the study was not  
6 randomized in a manner we would find  
7 acceptable today, it did have a  
8 contemporaneous and well-matched control  
9 group.

10 Following the sulfapyridine dosing  
11 recommendations of Evans, Flippin, et al.  
12 reported on a cohort of 100 cases of  
13 documented pneumococcal pneumonia admitted to  
14 several Philadelphia hospitals.

15 In addition to the four percent  
16 mortality rate, they reported in detail the  
17 dramatic clinical response observed in their  
18 patients. Fully 83 percent had a substantial  
19 drop in temperature in the first 48 hours.

20 While sulfapyridine chemotherapy  
21 and penicillin clearly had an impact on  
22 mortality, using mortality as an endpoint in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAP clinical trials for a new drug is not  
2 appropriate or feasible.

3 Can we ascertain the benefit of  
4 antimicrobial therapy on clinical response  
5 based on published historical data? Well,  
6 while Flippin described clinical response in a  
7 cohort of sulfapyridine treated subjects,  
8 there was no control group.

9 In examining the pre-serum and pre-  
10 antibiotic data, we discovered an amazing text  
11 by Bullowa which details the natural course of  
12 clinical resolution in 662 patients with  
13 serotyped pneumococcal pneumonia.

14 This cohort of survivors received  
15 neither the serum therapy nor anti-microbial  
16 therapy, and from this large data set, it is  
17 clear that spontaneous resolution does not  
18 occur rapidly.

19 Crisis, the term used to describe  
20 the dramatic drop in fever and clinical  
21 improvement, does not occur before 72 hours.  
22 It usually takes seven to nine days, and in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fully 10 percent of his patients, initial  
2 clinical resolution in survivors did not begin  
3 before two weeks.

4 Bullowa's observations were  
5 supported by Osler in his 1910 version of  
6 Principles and Practice of Medicine, and in  
7 contrast with the 1942 edition, written by  
8 Christian, where it is expected that a rapid  
9 clinical response would occur within 24 to 48  
10 hours following treatment with sulfapyridine.

11 What about clinical response in  
12 present day circumstances? Again, we looked  
13 at the many CAP trials conducted since the  
14 mid-1990s and focused on those subjects who  
15 were clinically or microbiologically  
16 evaluable. The data shown here includes more  
17 than 3,600 clinically evaluable and 1,180  
18 microbiologically evaluable subjects. Again,  
19 these data are FDA reviewed data.

20 In the subjects with mild to  
21 moderate CAP, the clinical response of cure or  
22 improvement was nearly to 92 percent, similar

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to what Dr. Higgins presented, and slightly  
2 higher for the microbiologically valuable  
3 population. It made no difference whether the  
4 subject's pathogen was a typical or an  
5 atypical organism. What is striking from  
6 these data is the consistency among trials.

7 While the clinical response results  
8 from the summary basis of approvals represent  
9 a dichotomus variable at a specific point in  
10 time post-treatment, others have looked at  
11 time to response as a continuous variable.

12 I've described the Bullowa data of  
13 spontaneous resolving cases of pneumococcal  
14 pneumonia. Petersdorf, in a study previously  
15 described by Dr. Gilbert, conducted a  
16 randomized controlled trial of penicillin plus  
17 aspirin or placebo to determine the added  
18 benefit of antipyretic therapy in pneumococcal  
19 pneumonia.

20 He designed a scoring system of  
21 clinical signs and symptoms which were  
22 monitored on a daily basis, and while he found

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no benefit of aspirin beyond the first 24  
2 hours, he did document the rapid improvement  
3 in signs and symptoms in patients treated with  
4 penicillin.

5 More recently, in separate studies,  
6 Halm and Menendez characterized the time to  
7 clinical stability in hospitalized patients  
8 with CAP, and while the median time of three  
9 to four days is relatively short, both of  
10 these trials lacked microbial diagnoses, in  
11 essence relying on clinical diagnosis for  
12 patient inclusion.

13 Finally, studies by Dean and  
14 Torres, also discussed earlier, have  
15 prospectively monitored time to response as  
16 part of comparative non-inferiority trials in  
17 mild to moderate CAP. While both trials used  
18 respiratory quinolone versus a macrolide or  
19 amoxicillin, despite the use of a validated  
20 patient oriented questionnaire, developed by  
21 Lamping and presented by Dr. Gilbert, these  
22 instruments were totally unable to distinguish

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 between two very different active treatments,  
2 as illustrated in the following slide. This  
3 is a representation of table five from the  
4 manuscript and was presented earlier by Dr.  
5 Gilbert.

6 The CAP 2000 study compared  
7 moxifloxacin versus standard of care, which  
8 included either clarithromycin or amoxicillin  
9 or a combination of both in a double-blinded  
10 trial in ambulatory CAP patients.

11 While knowing the time to response  
12 may be of interest to sponsors and clinicians,  
13 such an analysis is not suitable for  
14 regulatory approval in CAP, since there is no  
15 evidence it can distinguish superiority  
16 between active therapies and it would be even  
17 more difficult to justify a non-inferiority  
18 margin and establish a study sample size based  
19 on a time to response outcome.

20 What can we conclude from these  
21 clinical trials and other historical clinical  
22 data sets? First, that clinical response in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bacterial pneumonia treated with an  
2 appropriate antimicrobial drug is rapid,  
3 certainly when compared to spontaneous  
4 resolution in those subjects fortunate to  
5 survive pneumococcal pneumonia.

6 Subjects enrolled in clinical  
7 trials who have not improved clinically in 72  
8 hours are usually considered treatment  
9 failures and re-evaluated for alternative  
10 diagnoses, complications such as empyema, and  
11 the need for alternative antimicrobial  
12 treatment.

13 Second, there is little evidence to  
14 suggest that a time to response outcome  
15 variable would be better able to discriminate  
16 between two active treatments in CAP. This is  
17 not surprising, since we know that the  
18 clinical response has more to do with host  
19 factors and disease severity than the specific  
20 drug-bug interactions.

21 What are the prospects of achieving  
22 superiority in an active controlled trial in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mild to moderate CAP? The preponderance of  
2 data would suggest that this is unlikely to  
3 occur, even when stacking the deck, as in this  
4 study by Petitpretz, et. al., where the  
5 respiratory quinolone was compared to  
6 amoxicillin in a study designed to enroll  
7 subjects with penicillin non-susceptible  
8 *Streptococcus pneumoniae*.

9 Even with the added activity  
10 against atypical pathogens, looking at the  
11 sub-set -- and looking at the sub-set of PRSP,  
12 amoxicillin was not inferior to moxifloxacin.

13 There are at least five additional trials  
14 comparing a respiratory quinolone or a  
15 macrolide against amoxicillin, all of which  
16 failed to demonstrate superiority, which would  
17 be expected on the basis of in-vitro-  
18 susceptibility.

19 There is, however, a trial showing  
20 superiority of levofloxacin, when compared to  
21 a regimen of ceftriaxone followed by  
22 cefuroxime or cefuroxime alone. This was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussed in part by Dr. File. Actually, the  
2 publication, Tom is the lead author.

3 The subjects in this trial were  
4 largely defined as having mild to moderate  
5 CAP. More than half were treated entirely as  
6 out-patients, and this meant that half of the  
7 cephalosporin group received only cefuroxime.

8 The data here that I'm presenting  
9 is the medical reviewer of the FDA's data, not  
10 the data from the publication.

11 Based on the FDA medical reviewer's  
12 assessment, levofloxacin was superior to the  
13 cephalosporin regimen, for both the clinically  
14 evaluable, where the difference was 12  
15 percent, confidence intervals here, as well as  
16 the microbiologically population, where the  
17 difference was 16 percent, and you see the  
18 confidence intervals.

19 It is important to note that  
20 cefuroxime is not approved for CAP in the  
21 United States, and the dose used, 500  
22 milligrams twice a day, is one-third the dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommended in Europe for initial treatment of  
2 CAP.

3 Thus, while cefuroxime, as utilized  
4 in this study, may be considered sub-  
5 therapeutic, it is still likely better than  
6 placebo. This study is important because it  
7 demonstrates the clinical and microbiologic  
8 superiority of levofloxacin in a contemporary  
9 clinical trial, a study which was carefully  
10 reviewed by the FDA and which allowed a  
11 superiority claim in the package label for  
12 levofloxacin.

13 The observed differences of 12  
14 percent for the clinically evaluable  
15 population and 16 percent for the  
16 microbiologically evaluable population under  
17 estimates the real benefit of M1 of  
18 levofloxacin versus no treatment, given the  
19 likelihood that the cephalosporin regimen had  
20 some treatment effect. The study is  
21 contemporary and provides substantial  
22 microbiologic documentation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Although this study has not been  
2 reproduced, we do believe it provides one  
3 approach to justifying a non-inferiority  
4 margin in mild to moderate CAP. Specifically,  
5 it supports an NI margin of 10 percent for a  
6 clinically evaluable population and 15 percent  
7 for the microbiologically evaluable  
8 population.

9           Another approach at justifying the  
10 NI margin is a bit more convoluted but takes  
11 into account the historical Bullowa data for  
12 spontaneous clinical response in patients  
13 receiving no therapy for documented  
14 pneumococcal pneumonia. These data represent  
15 the best placebo group, where clinical  
16 response and not mortality was the outcome  
17 measured.

18           If we accept the premise that  
19 spontaneous clinical response does not occur  
20 within 72 hours, whereas a lack of clinical  
21 response in that same time frame would be  
22 considered treatment failure in the antibiotic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 era, then the benefit of antimicrobial  
2 treatment is quite large.

3 To define M1, we can use the  
4 observed clinical response for  
5 microbiologically evaluable subjects derived  
6 from recently approved drugs of 93.8 percent  
7 for mild to moderate CAP, and then take the  
8 lower boundary of that 95 percent confidence  
9 interval, which is 91.3.

10 We then multiply this times the  
11 proportion of enrolled subjects expected to  
12 have typical bacterial pathogens, here  
13 estimated at 35 percent. In other words,  
14 we're excluding the possibility of the  
15 antibiotic having any benefit in patients with  
16 either no diagnosis or atypical organisms, a  
17 very conservative estimate.

18 This determines the M1 of 31.9  
19 percent. To then determine the M1 margin --  
20 to then determine the non-inferiority margin  
21 for future CAP trials or the M2, we  
22 conservatively take 50 percent of M1 or 15.9

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent for the microbiologically evaluable  
2 population.

3           Given the fact that the Bullowa  
4 only include documented bacterial pneumonia,  
5 we cannot estimate the non-inferiority margin  
6 for clinically evaluable population.

7           Furthermore, while the strength of  
8 this estimate lies in its detailed  
9 documentation of the historical data, we must  
10 recognize that CAP is not caused only by the  
11 pneumococcus and that supportive medical care  
12 has improved greatly since the pre-antibiotic  
13 era.

14           I've presented data for both  
15 clinically evaluable and microbiologically  
16 evaluable subjects. The distinction is  
17 important since what population is primary in  
18 the study analysis will determine the study  
19 sample size. The FDA prefers, as Dr. Higgins  
20 pointed out, two or co-primary populations in  
21 their analysis of non-inferiority trials. In  
22 the past, these have been the clinically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       evaluable or per protocol and the ITT  
2       populations.

3               Currently, the FDA is requesting  
4       the CE population and the MITT, defined as ITT  
5       subjects with a microbial etiology as the co-  
6       primary populations.

7               Since the MITT population  
8       represents a much smaller subset of subjects,  
9       estimated here to be 30 to 35 percent for  
10      typical pathogens, than the CE population, a  
11      study previously sized to show non-inferiority  
12      of 10 percent with 484 subjects enrolled would  
13      now require nearly 1,200 subjects, should the  
14      same 10 percent non-inferiority margin be  
15      applied to the MITT population.

16              However, if the non-inferiority  
17      margin applied to the MITT population was 15  
18      percent, the sample size would be 556, a  
19      number much closer to the 10 percent margin  
20      for the CE population.

21              This brings us to our proposal for  
22      a non-inferiority trial in mild to moderate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAP, where the co-primary populations are the  
2 clinically evaluable and the MITT. However,  
3 the NI margins for the co-primary populations  
4 are different.

5           Based on the levofloxacin trial and  
6 -- versus ceftriaxone and oral cefuroxime, we  
7 feel a non-inferiority margin of 10 percent  
8 for the clinically evaluable population is  
9 justified.

10           Furthermore, based on both this  
11 levofloxacin study and the historical data in  
12 documented bacterial pneumonia, a non-  
13 inferiority margin of 15 percent for the ME or  
14 MITT population is justified.

15           With co-primary analysis, a sample  
16 size for one study would now be 618, up from  
17 556. Assuming two trials are required for  
18 approval, the total number of CAP subjects  
19 required would be 1,236.

20           While this would not -- while we  
21 would not suggest pooling these studies in  
22 order to add an additional hypothesis to test

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for, it is of interest to see that there is  
2 adequate power, more than 85 percent, to show  
3 a non-inferiority -- to show non-inferiority  
4 using a 10 percent NI margin for the pooled  
5 MITT or ME populations.

6 Let me summarize what I've tried to  
7 present, from an -- as an industry perspective  
8 on clinical trials in CAP. First, we think  
9 the evidence supports the fact that CAP  
10 represents a continuum of disease, not  
11 separate entities, dependent upon some  
12 distinction for patients able to be treated  
13 with oral antimicrobials.

14 Second, while recognizing the  
15 statistical reasoning for superiority trials,  
16 neither placebo controlled nor active  
17 controlled superiority trials in CAP, even  
18 mild to moderate CAP, are feasible. Looking  
19 for alternative outcomes, such as time to  
20 response, is not likely to alter that fact.

21 Third, that non-inferiority margins  
22 for mild to moderate CAP can be justified

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using clinical judgment and statistical  
2 reasoning. While there is a need to take some  
3 license with the preferred methods found in  
4 the ICH guidelines, these are, in fact,  
5 guidelines and not statutory requirements.

6 Fourthly, the question is not just  
7 the absolute non-inferiority margin, but what  
8 populations will be included in the primary  
9 analysis. The impact of this decision will  
10 greatly influence sample size and thus the  
11 feasibility of trials.

12 While CAP is not an important  
13 commercial objective, it is considered the  
14 anchor for other respiratory tract infections.

15 Finally, I'd like to stress the  
16 need for regulatory clarity and a definitive  
17 transparent decision on the questions of study  
18 design before us. Without regulatory clarity  
19 and an acceptable path forward, new investment  
20 in antimicrobial drugs will diminish.

21 I'd like to thank members of the  
22 Replidyne team, especially Glenn Tillotson and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Bob Tosiello for their contributions to this  
2 presentation. Thank you for your attention.

3 DR. GILBERT: Thank you so much. We  
4 are open for comments and questions. Yes,  
5 Daniel?

6 DR. MUSHER: Could I ask you to show  
7 the figure in which there was a -- measuring  
8 certain sign and symptoms, I don't know which  
9 they were in pneumonia and one patient -- one  
10 set of patients, it was either the quinolone  
11 or maybe the one with the amoxicillin and  
12 clavulanic acid, and you showed that the  
13 improvement was very consistent in the two  
14 groups and from that, you said that you didn't  
15 think this kind of a study was a valid study.

16 I guess my conclusion would be, I  
17 think it's a very valid study and these two  
18 drugs happen to do approximately equivalently.

19 DR. ECHOLS: I wasn't -- what I was  
20 trying to say is that the sensitivity of this  
21 barometer, this patient oriented  
22 questionnaire, is not going to distinguish

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between two active treatments.

2 DR. MUSHER: But it might, if there  
3 weren't -- if they weren't such good  
4 treatments, it might, no?

5 DR. ECHOLS: Then you're --

6 DR. MUSHER: I'm missing the point.

7 DR. ECHOLS: Well, the point is, is  
8 that to try to do superiority trials in active  
9 control trials, even if you tried to use a  
10 different outcome measure, you're not going to  
11 succeed.

12 DR. MUSHER: And the reason you  
13 wouldn't is because these two drug regimens  
14 are so -- really fine, you're not going to get  
15 one superior?

16 DR. ECHOLS: If you really use -- if  
17 you use a truly inferior drug regimen, the  
18 studies --

19 DR. MUSHER: It'll pick that up?

20 DR. ECHOLS: -- will not be  
21 conducted. They'll be considered placebo.  
22 They won't fit with guidelines.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MUSHER: Okay, I guess my way of  
2 looking at it is, this is the kind of study  
3 that we should be doing, because I think it  
4 gives us pretty good insight into how good the  
5 drugs are.

6 DR. ECHOLS: I think the information  
7 --

8 DR. MUSHER: The 21 day outcome  
9 isn't so helpful.

10 DR. ECHOLS: The information gained  
11 from a PRO or a patient questionnaire is  
12 valuable. I'm not disputing that. But it's  
13 not going to be a tool that will allow a study  
14 that was otherwise a non-inferiority study to  
15 also become -- to all of a sudden become a  
16 superiority study.

17 DR. MUSHER: Okay, I'm sorry, I  
18 certainly --

19 DR. ECHOLS: That's the point I was  
20 trying to make. I'm sorry.

21 DR. GILBERT: Yes?

22 DR. TEMPLE: You may have said this,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I may have had a postprandial failure  
2 here. Your estimate of what the untreated  
3 response in, say, three days or something, was  
4 essentially zero.

5 DR. ECHOLS: Essentially zero for  
6 documented bacterial disease.

7 DR. TEMPLE: Okay. So, if that's  
8 the case, then any response is by three days  
9 or something, must be attributable to the drug  
10 and you have a rock solid control rate. But  
11 where does -- can you say again, where that  
12 view that nobody is better by three days comes  
13 from?

14 DR. ECHOLS: It comes from the  
15 Bullowa data. Actually, when I say nobody,  
16 it's a slight exaggeration.

17 DR. TEMPLE: Okay.

18 DR. ECHOLS: One-point-three  
19 percent, and this is after hospitalization, so  
20 we really don't know how they've been ill.  
21 But only 1.3 percent, and they took these 662  
22 cases and documented their clinical course on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 a day-by-day basis.

2 DR. TEMPLE: Okay. So your  
3 fundamental contention is that if you look  
4 early and look for early response, you have  
5 something where the spontaneous rate is  
6 essentially zero?

7 DR. ECHOLS: Yes.

8 DR. TEMPLE: It's sort of historical  
9 data, okay.

10 DR. ECHOLS: So, I'm not -- and this  
11 was a cohort of patients, all of whom  
12 survived. So this is not a mortality endpoint  
13 study. This is really as best, I think, you  
14 can find as a placebo group with a documented  
15 disease.

16 DR. TEMPLE: I think people will  
17 conceivably raise issues about whether, in the  
18 modern world, we would do better than that.  
19 But that is an impressively low number.

20 DR. O'NEILL: Yes, what I don't  
21 understand is, that's a length by a sampling  
22 problem. It's conditional on only those who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have survived. So, if you sort of had a time  
2 zero and sort of looked at three days from  
3 time zero, whatever that might be, you'd have  
4 a different answer here.

5 So, I'm not so sure it's as  
6 impressive as you're making it out. But it's  
7 1937. Maybe everybody ought to get a copy of  
8 the book.

9 DR. SPELLBERG: But just to clarify  
10 --

11 DR. ECHOLS: I've provided the  
12 chapter to the agency earlier this summer.

13 DR. SPELLBERG: But if you only look  
14 at survivors, that means the ones you're not  
15 looking at are the ones that died. So, the  
16 response rate should be worse --

17 DR. ECHOLS: They are.

18 DR. SPELLBERG: -- in that. So  
19 maybe I missed your point.

20 DR. ECHOLS: The point was try to  
21 construct --

22 DR. SPELLBERG: I wasn't -- sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I understand your point.

2 DR. ECHOLS: I was saying to Dr.  
3 O'Neill --

4 DR. O'NEILL: Yes, I'm trying to  
5 reconstruct what this would have looked like  
6 if you had a cohort of a treated and a non-  
7 treated that started from time zero, whatever  
8 that time zero was, and then looked at three  
9 days from time zero to see whether there was  
10 any response. That's essentially what I was -  
11 - and in my mind, conceptually thinking about  
12 the way this was, the time zero was in  
13 survivors, those who had passed through some  
14 time and then looking at time from -- where  
15 ever -- time zero, and I'm just not sure what  
16 time zero is.

17 DR. GILBERT: And right, we'll get  
18 to you in a second, and Roger, isn't this  
19 data, the Bullowa data, also consistent with  
20 the Gold and Austrian data, which was no  
21 pneumococcal disease, where treatment, whether  
22 it was serum treatment or placebo or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 penicillin, there was no effect on the first  
2 three days of their survivor sheet?

3 DR. ECHOLS: Yes, and that's part of  
4 the basis why we've often excluded people from  
5 trials, if they died in the first 24 or 48  
6 hours, because they were going to die no  
7 matter what.

8 But the key thing to remember with  
9 the Petersdorf, which is based on Max  
10 Finland's data and everything, those data were  
11 in bacteremic pneumococcal disease, and  
12 clearly the mortality rate was very  
13 substantial in that group.

14 DR. GILBERT: Yes, Dr. Rex?

15 DR. REX: John Rex again from  
16 AstraZeneca. Whacking your head into an  
17 immovable object is remarkably clarifying.  
18 Before your talk, we were listening today that  
19 there are no placebo controlled CAP data.  
20 Even when we do have data, they look at  
21 outcomes after several weeks, 10 days of  
22 therapy, 10 days to test of cure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The general background, medical  
2 care has changed. So Dr. Fleming's  
3 assumptions of constancy are completely  
4 unavailable to us. The occasional superiority  
5 in an active control might be just by chance,  
6 and yet we also believe that bacterial  
7 pneumonia is a real entity.

8           Then, you've -- there's a  
9 magnificent review. You have pointed at a  
10 pragmatic solution to an absolute box that  
11 we're backed in to, because the pristine  
12 science is very clear. I really enjoyed the  
13 talks this morning. It is really clear what  
14 the pretty science would look like.

15           But let me remind you of the  
16 question that I asked earlier today. It takes  
17 years for industry to create a new drug. We  
18 have to be able to see how to get from hither  
19 to yon. You cannot wait until the day you  
20 want the new drug to say you want it. You  
21 have to tell me about 10 years in advance. We  
22 have to have pragmatic solutions now that will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 allow us to develop drugs in a setting where  
2 resistance isn't everywhere. And so, I think  
3 what you propose is brilliant.

4 I wanted to add, I think, three  
5 things to your set of observations that  
6 support the pragmatic things you pointed at.  
7 The first one is, I want to remind everybody  
8 that we do have some other hints about  
9 activity.

10 While we may not always know how to  
11 measure resistance, for at least for the  
12 macrolides, when we see strong, erm and meth-  
13 based resistance together, resistance rates go  
14 up. So, whatever you want to say about that -  
15 - I'm sorry, failure rates go up, excuse me.

16 When we see true macrolides, strong  
17 macrolide resistance, the clinical failure  
18 rates go up, suggesting that without the  
19 resistance, the macrolides actually are doing  
20 something, and that feeds into Dr. Higgins's  
21 summary, where all the drugs looked about the  
22 same. The macrolides are doing something some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the time. So, I leave you to work them  
2 out.

3 We see sputum culture conversion,  
4 suggesting that we're doing something to  
5 microbiology. Now, John Powers has often  
6 reminded me that clearing the bug out of the  
7 sputum is not the same as curing the patient,  
8 but it's kind of in the right direction.

9 The other thing that we've not  
10 talked about at all is the fact that infection  
11 is blessed with the absolute best pre-clinical  
12 models of any disease area. Superb. We kill  
13 the bug in the test tube. We kill the bug in  
14 a mouse. We kill the bug in a mouse's lung.  
15 We kill the bug in a mouse's thigh. We kill  
16 the bug in George Drusano's hollow fibers. We  
17 demonstrate -- and we demonstrate with that,  
18 how much drug you've got to have for how long  
19 at the active site to do something to the bug.

20 So, you put all that together with  
21 your very pretty summary, and this business  
22 about crisis taking some days, that's embedded

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in all the literature of the 18<sup>th</sup> century.

2 Fascinating observation. That was  
3 a lovely talk. I just wanted to say that  
4 again, and I wanted to add my list of a few  
5 things that, again, support the notion that  
6 something really is going on with the current  
7 drugs.

8 DR. GILBERT: I think Tom has a  
9 comment for you, actually.

10 DR. FLEMING: Actually, it's more on  
11 the entire presentation. I think the key  
12 slides, if I'm following this, are slides 17  
13 and 18 -- and I'll just look very briefly with  
14 you at slide 17. I think you concluded that  
15 the margins that would follow from slides 17  
16 are 10 and 15 percent, and I would say, that's  
17 a real reach to conclude that based on single  
18 trials that would show something here and with  
19 lower limits of six to seven percent and no  
20 adjustment for preserving half the effect and  
21 with all the compelling arguments that we  
22 certainly, don't want to be giving up, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 can't do placebos. We surely don't want to be  
2 losing a substantial part of the effect of the  
3 active comparator.

4 But the real slide is slide 18, and  
5 I would -- there's a whole lot that needs to  
6 be better understood before this really leads  
7 to a strong argument for the margins.

8 The basis for this, as I'm  
9 understanding, is essentially the argument  
10 that there's 35 percent of the population in  
11 which you would have essentially no success,  
12 and, yet, we're getting 93 percent success  
13 overall.

14 And so, essentially, what we're  
15 concluding is that there must be at least an  
16 M1 or a delta of 32 percent, and are we --  
17 essentially, what you're trying to argue is  
18 that there is 35 percent of our population of  
19 these studies in which in the absence of these  
20 standard therapies, they all would have done  
21 essentially zero in terms of the response  
22 where we got 94 percent or 93.8 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ECHOLS: Or they would have  
2 spontaneously been cured, and again, these are  
3 post-treatment test of cure assessments --

4 DR. FLEMING: So, the 93.8 percent  
5 comes from what we were looking at in all the  
6 studies that Dr. Higgins showed, correct?

7 DR. ECHOLS: Dr. Higgins, in our  
8 analysis, which sort of came from this, this  
9 was our summary, we included more studies --

10 DR. FLEMING: Right.

11 DR. ECHOLS: -- because we went  
12 back to 1995 or so --

13 DR. FLEMING: And that's at what  
14 time period?

15 DR. ECHOLS: This is the typical  
16 test of cure, end of treatment, a week or so  
17 after treatment. So, it's --

18 DR. FLEMING: It's not three days.  
19 Now, that's only a partial issue, that it's  
20 not three days, because I think what you're  
21 claiming is, at three days, where it's 1.8  
22 percent, but by later on, I thought you said

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe it's only going to be up to 10 percent  
2 or so.

3 DR. ECHOLS: But at this point,  
4 again, Dr. Higgins iterated this, is that if  
5 someone is a failure by day three, that  
6 failure response is carried forward.

7 DR. FLEMING: So, what you're -- but  
8 basically, the bottom line that you're trying  
9 to argue here is that you're going to have a  
10 third of your population in the absence of  
11 these standard therapies that you're going to  
12 believe will have non-successes in the context  
13 of the non-inferiority trials that are being  
14 done today, and that's an incredibly strong  
15 assumption. It seems highly implausible as  
16 well that everything that we do changes a 60  
17 percent response rate and makes it almost  
18 identical, 91, 92, 93, et cetera.

19 When I look at the time to prevent  
20 analysis that you do, showing no difference,  
21 and I'm looking at Dr. Higgins's analyses that  
22 show everything looks almost exactly the same,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm really skeptical that that's a scenario  
2 where everything is doing relatively little,  
3 because if everything is having a huge effect,  
4 isn't there any suspicion that everything we  
5 do has exactly the same huge effect?

6 This is an -- before one would take  
7 this type of analysis as a basis to justify a  
8 15 percent margin, there's a whole lot more  
9 understanding that needs to be in hand as to  
10 the relevance of what you're assuming, i.e.,  
11 that you're assuming we can say reliably that  
12 a third of the patients in all of these trials  
13 would have been failures on this clinical cure  
14 rate assessment, had we not offered them this  
15 active intervention.

16 DR. ECHOLS: I think the third --  
17 the number, the third here, that I'm using, is  
18 really to be more conservative and not take  
19 any credit for clinical response in patients  
20 that had either typical -- excuse me, had  
21 atypical organisms or no organisms.

22 So, the third here represents only

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those -- that proportion of patients from  
2 these large databases and basically, confirmed  
3 by everybody else's review today, that about a  
4 third of the patients have -- or higher,  
5 because of our inability to diagnosis, a third  
6 of them have typical bacterial disease --

7 DR. FLEMING: Bottom line though,  
8 what you're saying, in order for this argument  
9 to fly, is that we can reliably believe that  
10 the patients that are in the studies that Dr.  
11 Higgins summarized, all of whom had 92, 94  
12 percent response rates in Bob Temple's per  
13 protocol analysis, would have had, to justify  
14 this margin and to get this M1, a response  
15 rate in the neighborhood of 60 percent in the  
16 absence of the use of that standard of care  
17 intervention.

18 DR. TEMPLE: I don't know how  
19 surprising that is, if you think you  
20 understand what bacteria are and how they  
21 respond to things that kill them.

22 If someone told me that for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 urinary tract infection, where I was only  
2 looking at organisms that were sensitive to  
3 the -- to the antimicrobial, that wouldn't  
4 surprise me particularly. It doesn't surprise  
5 me that things that they're sensitive to kill  
6 them at about the same rate, whenever you look  
7 at how killed they are.

8 DR. FLEMING: Well, presumably,  
9 we're looking at a clinical cure endpoint, not  
10 a microbiologic endpoint. We're looking at --

11 DR. TEMPLE: No, no, I know.

12 DR. FLEMING: -- clinical resolution  
13 of symptom, et cetera.

14 DR. TEMPLE: I understand, but I do  
15 have the belief that bacterial pneumonia has  
16 at least something to do with the growth of  
17 bacteria, and -- well, you know, I'm a  
18 Bayesian; I've got priors, and I'm embarrassed  
19 about it, you know.

20 DR. REX: Let me remind us all that  
21 the doses and the exposures that were chosen  
22 were chosen because it looked as if that ought

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to have an effect on the bacteria.

2 So, it's not -- these are not  
3 arbitrarily chosen regimens. They may not  
4 have all been worked out with the same lovely  
5 degree of pharmacodynamic work that we know  
6 how to do now. But they were chosen to get  
7 some kind of an exposure in the right organ at  
8 the right dose.

9 DR. TEMPLE: No, I totally agree,  
10 that's why I find it plausible compared to  
11 most other settings, because if you think of  
12 the human being as a big test tube, in which  
13 you're putting antibacterials in at a value  
14 that kills in the test tube, it ought to kill  
15 them there, unless there's some  
16 inaccessibility or something weird, and that's  
17 why you need to do trials.

18 So, I don't find it as totally  
19 astonishing as the consistency at first  
20 appears. Also, it's not perfectly consistent.  
21 It goes between 80 and 90 and stuff.

22 DR. FLEMING: Well, in fact, it's --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you look at the studies Dr. Higgins put  
2 forward, it is very strikingly consistent.  
3 There is, in fact, i.e., slide 17, is really a  
4 very uncommon scenario where you're going to  
5 see something even of the magnitude of 12  
6 percent.

7 So the issue is not is it plausible  
8 that these interventions are all having a  
9 microbiological effect. The issue is, if  
10 we're saying we believe that in fact, the true  
11 clinical response would have been only 60  
12 percent, what is implausible is that  
13 everything that you do gives the same clinical  
14 outcome of 90, to 92, to 93 percent, and in  
15 fact, the time to resolution is the same as  
16 well.

17 DR. TEMPLE: It would be good to go  
18 back and look -- I mean, if you found that in  
19 strep throat or in something relatively simple  
20 like a urinary tract infection, you would not  
21 -- unobstructed urinary tract infection, you  
22 wouldn't be that surprised because it's like a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 test tube.

2 DR. SPELLBERG: But it's also like  
3 pre-clinical data, and I think Dr. Rex made  
4 that very important point. The subtleties  
5 between the ability of different antibiotics  
6 to kill organisms -- and this is true whether  
7 you're talking bacterial or fungal, are --  
8 they are probably important to some degree,  
9 but over and over again, we've had inability  
10 to show differences, either microbiologically  
11 or clinically, whether we're using -- or  
12 static therapy.

13 So, I think pre-clinical data also  
14 supports the concept that if you kill  
15 bacteria, you tend to get similar clinical  
16 response rates or pre-clinical response rates.

17 DR. POWERS: So if it's all about  
18 killing the bug, why aren't more potent drugs  
19 superior to less potent drugs, clinically, on  
20 important clinical outcomes to patients?

21 DR. SPELLBERG: That's exactly -- I  
22 mean, there has to -- there's a threshold

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effect. There's a degree to which if you can  
2 inhibit growth of the bug, it doesn't matter  
3 whether you kill them three logs in 24 hours  
4 or two logs in -- there may be subtle  
5 differences, but it's very difficult to show.

6 DR. POWER: Right, and there's this  
7 little thing called the human immune system,  
8 which is also working very hard to kill the  
9 organisms as well, and the entire question is,  
10 does giving a drug, which may help your immune  
11 system get rid of the bugs, does it make the  
12 person get better faster or decrease  
13 mortality, and that's the whole question.

14 DR. TEMPLE: But John, the  
15 underlying premise here was that over a three  
16 day period -- I have no basis for knowing  
17 whether that's believable or not, but that's  
18 what we're told. Over a three day period with  
19 -- in people like this, you don't see much  
20 benefit.

21 Now, maybe in two weeks, the immune  
22 system will kick in and they'll get better and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all of that. But over three weeks, you don't.

2 The other thing, it seems to me  
3 worth remembering, is there are some data that  
4 say if you have a PPLO organism, you do better  
5 if you include a drug that actually goes to  
6 that organism. Well, that's some information  
7 about one kind of pneumonia, and I guess no  
8 one will let you do the trial in which you  
9 take people with the resistant organism and  
10 randomize them to the thing they're resistant  
11 to or to something they're not resistant to.

12 DR. POWERS: We don't know that at  
13 the start of the trial.

14 DR. TEMPLE: No, no, but what about  
15 people who, at some point, have proved  
16 resistance and are still doing badly at, say,  
17 four days? Would anybody let you do the test  
18 that would be informative, which is to  
19 randomize them to something that they're not  
20 resistant to or to randomize them back to the  
21 thing they failed on?

22 Well, probably no one will let you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do that, but that would answer the question,  
2 too, if anybody would.

3 DR. POWERS: I think that there is -  
4 - we've sort of taken this number of 1.3  
5 percent and run with it. Having reviewed all  
6 of this information as well, there are -- this  
7 sort of violates every principle of the  
8 constancy assumption, which is, I think, is  
9 what Tom was getting to.

10 It also doesn't address the  
11 question -- and Roger, let me get across that  
12 E-10 applies to antibiotics, just like it does  
13 to everything else. I think we've actually  
14 gotten to this point because we think somehow  
15 antimicrobials are different and none of this  
16 stuff applies. But it also -- E-10 talks  
17 about reliable and reproducible benefit.

18 Now, when you look across other  
19 things and other studies by Davies, and  
20 Bullowa has actually got a bacteremia study,  
21 and a bunch of other people that have looked  
22 at these things in the 1800's, it's hard to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reproduce that, actually.

2 So, the question that comes up is,  
3 you found one, where is the others that  
4 actually confirm this as well?

5 The other thing is that these  
6 trials all exclude people within the first  
7 three days who didn't get enough drug. So  
8 there is very little information on what  
9 happens to people in the first three days in  
10 modern trials.

11 So comparing the 91 percent success  
12 rate from a current trial to 1.3 percent  
13 success rate 70 years ago violates every part  
14 of the constancy assumption because we're  
15 comparing an endpoint that's out way beyond  
16 the end of therapy to a three day outcome, and  
17 every one of these trials says in the per  
18 protocol analysis, you have to get at least  
19 three days to be evaluable.

20 So, all those failures in the  
21 front, they're called indeterminate and taken  
22 out of those per protocol analyses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, before we get too far down the  
2 line saying how great an idea is, there's a  
3 lot of devils in the details here about how to  
4 actually analyze all of this.

5           DR. ECHOLS: I said there was --  
6 needed license in terms of interpreting the  
7 data --

8           DR. POWERS: Poetic or scientific?

9           DR. ECHOLS: Well, but the point is,  
10 I mean, ICH guidelines -- and you said earlier  
11 in your talk, it's -- because there is no  
12 evidence of treatment effect, therefore it is  
13 ethical to do a placebo controlled trial. I  
14 don't accept that either, because the little  
15 matter of what's safe for a patient and there  
16 are -- as I tried to point, even for  
17 sinusitis, which no one would suggest has a  
18 major morbidity outcome measure if you're not  
19 a treatment success immediately, but there is  
20 still -- patients have pain. Patients have  
21 other aspects, particularly when you throw in,  
22 "I want to stick a needle in your sinus, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm not going to give you any antibiotic,"  
2 that's why IRBs and ministries of health are  
3 rejecting, even these simple disease  
4 conditions and absolutely categorically, even  
5 when they know there's no evidence, proof that  
6 antibiotics work, they still consider it  
7 unethical to conduct the placebo controlled  
8 trials.

9 DR. GILBERT: Roger, you've  
10 definitely gotten our attention. Now, I've  
11 got patients that -- questioners on the floor  
12 have been very, very calm about waiting here.  
13 If you can ask real quick, your questions,  
14 we'll give you time.

15 MR. NUSRAT: I'm Roomi Nusrat from  
16 Sanofi Aventis, and for the record, I'm a  
17 physician trained in both and certified in  
18 infectious diseases and pulmonary diseases.  
19 So this is all close to my heart.

20 First of all, I was not going to  
21 say this, but, John, the MITT population  
22 includes patients in the -- that have not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 received the first treatment and as Karen can  
2 sometimes -- you know, at a later time, we can  
3 discuss this.

4 Those patients are included in her  
5 presentations, and I know our data from Sanofi  
6 Aventis, so --

7 DR. GILBERT: You and John will have  
8 to discuss that outside, and we really have to  
9 move on. So ask your question real quick.

10 MR. NUSRAT: So here is the  
11 question. Henry Masur is not here today. But  
12 he once said to me, as I was struggling with  
13 the current issues, that it is you in the  
14 industry that has to develop drugs. We don't.

15 So that's the starting premise. We  
16 have to work with specific guidelines, and we  
17 have to have reasonable outcome measures to  
18 target, and at the end of the day, we have to  
19 enroll patients, and I think Roger has  
20 articulated that perspective, and I think it's  
21 probably understood by most people in the  
22 room, that that challenge has to be -- that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 challenge has to overcome.

2 I don't know if anybody if -- when  
3 you were younger, read Fisher and Ury's book,  
4 "Getting to Yes." I think that the needs of  
5 the scientific community, all of you,  
6 including myself, is to do good science.

7 At the same time, us, the other  
8 side, the Darth Vaders, the industry, we need  
9 specific guidelines and what's not only  
10 suffering is the patients with mild sinus  
11 disease, is the patients with resistant  
12 tuberculosis, malaria, the patients in the  
13 intensive care unit.

14 I think that what we would like to  
15 ask you to do is, we have to come up with some  
16 interim guidelines, so that we can target --  
17 so that innovation can continue.

18 DR. GILBERT: We agree with you 100  
19 percent. That's why we're here. How long is  
20 your question, ma'am?

21 DR. KAMICKER: Mine is just a  
22 comment. I'm Barb Kamicker from Pfizer. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a microbiologist, and I'm addressing Dr.  
2 Fleming's comment about how good these  
3 antibiotics are.

4 I ran infectious disease models in  
5 rodents for 15 years, and believe me, you are  
6 not going to advance a compound unless it  
7 really looks good, whether it looks good  
8 against your non-infected control and whether  
9 it looks good against the comparator. It has  
10 to be at least as good against a comparator  
11 before it's going to advance.

12 So, I find nothing astonishing that  
13 -- about these state of the art compounds that  
14 look 93 percent efficacious.

15 DR. FLEMING: But that didn't  
16 address my issue though. Clearly, you're  
17 going to advance something that you see has  
18 potential benefit. We do that across diseases  
19 all the time, to see that everything -- to  
20 claim that everything that you're advancing  
21 has essentially the same benefit is quite  
22 implausible, but that's only part of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue.

2 Dr. Powers was bringing up the  
3 other issue. We're basing all of this issue  
4 on slide 18, and slide 18 is clearly taking  
5 serious liberties in terms of really having  
6 reliable comparative evidence, and it gets you  
7 to a margin of about 15 percent, although  
8 there are some re-calculations we could do as  
9 to whether it's even that.

10 But it really comes down to then is  
11 assessing the degree to which we can reliably  
12 say that that 35 percent in today's world, in  
13 today's trials, would have had essentially no  
14 response.

15 DR. GILBERT: Okay, we have to cut  
16 this off, although I'm hesitant to do so,  
17 because it's getting right to the guts of the  
18 issue.

19 We're going to go to the flip-slide  
20 now, which is equally important, which is  
21 safety, and I think Tom is going to introduce  
22 the next speaker.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: Thanks, Dave. So, as  
2 Dave says, a key aspect of benefit to risk is  
3 assessing safety, and we have a few  
4 presentations to bring us through some of  
5 these key issues and challenges in safety.

6 Our first speaker is Bruce Psaty,  
7 who is Professor of Medicine and Epidemiology  
8 and Health Services at University of  
9 Washington.

10 DR. PSATY: Thank you, Tom. Thank  
11 you to the organizers for inviting me here  
12 today. I have several disclosures, and I come  
13 with kind of a split past, a divided past.

14 I'm a general internist. I  
15 practice at the county hospital in Seattle,  
16 and I'm also a cardiovascular disease  
17 epidemiologist with experience and expertise  
18 in study design and drug safety, but I don't  
19 come with a history of having done studies in  
20 the setting of infectious diseases.

21 The general argument I'm going to  
22 make today is that high quality evaluations of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibiotics are essential to characterize the  
2 risk benefit profile and that inadequate  
3 evaluations, actually of either side, of  
4 efficacy or safety, compromise the knowledge  
5 base for physicians and for patients.

6 Now, as an internist, I was struck  
7 in looking at the early trials in pneumonia.  
8 It actually is a situation in which the  
9 historical controls work well for the  
10 septicemia with pneumococcus, 80 percent  
11 mortality. We had a nice slide on that  
12 earlier, and after the introduction of  
13 penicillin, it's down to about 20 percent.  
14 Not that much improvement for the serious  
15 septic patients since then.

16 In world historical terms, we've  
17 got what I would characterize as an epidemic  
18 of antibiotic use, and this is the result of  
19 physicians who trained, like I did, were  
20 trained to think about treating infections  
21 aggressively and to using antibiotics to kill  
22 the bug, as opposed to perhaps improving the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outcomes for the patients.

2 Not long ago, I think there were  
3 arguments against -- and there still are  
4 apparently, using placebo controls for acute  
5 bacterial sinusitis. But I have to say, in  
6 our clinic several weeks ago, we discussed the  
7 Williamson article looking at a placebo  
8 controlled trial in antibiotics, and that is  
9 actually a very important trial.

10 It's important because it will help  
11 eliminate the use of antibiotics where there's  
12 little or no benefit and where there's only  
13 risk, there's only risk, and that is very  
14 important.

15 I have to confess additionally that  
16 I sit on the events committee for the  
17 cardiovascular health study, cohort study for  
18 5,888 older adults, and I see how antibiotics  
19 are used in four different communities, and  
20 it's not unusual for a little old man to come  
21 in to the hospital and to have a funny looking  
22 chest x-ray and to be short of breath and you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get a little Lasix and a little antibiotic,  
2 and this is not necessarily optimal therapy.

3 As an epidemiologist, I really -- I  
4 was innocent and naive, I actually looked for  
5 the placebo controlled trials, to see what the  
6 anchor is, and I'm glad to see that there  
7 aren't any and I didn't fail to miss them.

8 I'm concerned about the un-  
9 interpretability occasionally of findings,  
10 where there aren't good anchors.

11 I looked at the community acquired  
12 pneumonia guidance from the FDA. I think I  
13 looked at the most recent version from July  
14 1998. As an epidemiologist, I was concerned  
15 about the failure to assist on ITT analysis.  
16 The use of evaluable patients, when you  
17 exclude those who stop therapy, who die  
18 because -- and the cause of death is  
19 attributed something other than pneumonia,  
20 this breaks the randomization and turns what  
21 is a high quality trial into, potentially, an  
22 observational study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           There is also a failure to insist  
2 on double-blinding, although I noticed in Dr.  
3 Higgins's talk that the standard apparently  
4 for the trials that are coming through is that  
5 they be double-blinded.

6           I've mentioned the issue with the  
7 non-inferiority design. There is no anchor,  
8 and then you need high quality data. People  
9 have mentioned earlier today that noisy data  
10 contribute to a finding of non-inferiority.

11           There is potentially a bias with  
12 using only the evaluable studies, and I looked  
13 at several meta-analyses, and in the meta-  
14 analysis by Salkind -- I think others have  
15 referred to this today, the intention to treat  
16 analysis had a different finding from the  
17 evaluable analysis and these are odds ratios  
18 in a meta-analysis for cure rates, and the  
19 bias can actually represent 10 to 30 percent  
20 of a typical non-inferiority margin in these  
21 trials.

22           There is also an open trial bias,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 and in a meta-analysis of the quinolones  
2 versus -- or macrolides versus the beta-  
3 lactams, they looked at studies where there  
4 was concealment of randomization versus where  
5 there was not, and here, there's a relative  
6 risk. It's been switched, in terms of the  
7 direction of effect, and the studies with  
8 unclear or inadequate randomization tended to  
9 show a higher, much larger benefit than those  
10 with adequate blinding, and the bias here  
11 represents probably 25 to 50 percent of  
12 typical non-inferiority margins.

13 And then I also wondered about  
14 whether placebo would be ethical. There's a  
15 review of clarithromycin, which remarks that  
16 the cure rates over the last 10 years with the  
17 drug have remained remarkably stable, even  
18 though resistance to the drug has increased  
19 from five percent to 25 percent or so, and it  
20 occurred to me that there might actually be an  
21 alternative explanation. In fact, maybe the  
22 drugs are not doing much, whether or not the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bug is resistant, and I pulled data out of the  
2 meta-analysis that has been referred to  
3 earlier, in which -- which Dr. File referred  
4 to earlier, and beta-lactams really are  
5 functionally placebos here in patients with  
6 Mycoplasma or Chlamydia, and at the point of  
7 the test of cure, there's really no  
8 difference.

9 Now, he did point to several  
10 studies where symptoms may have resolved  
11 sooner, but if there is an opportunity for a  
12 placebo controlled trial -- and placebo  
13 controlled trials are potentially important  
14 from the point of view of public health,  
15 because they tell us when we might not need to  
16 know. A placebo controlled trial tells you  
17 does a drug work. Is there any improvement?  
18 An active controlled trial tells you which is  
19 better.

20 Knowing whether the drug works can  
21 identify situations, from the point of view of  
22 public health, where it's not needed. If we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can come up with some of the PCR tests to  
2 detect pneumonias and types of pneumonia  
3 early, it would be very valuable to know if  
4 there are several types of pneumonias for  
5 which -- bacterial pneumonias for which we  
6 don't need treatment.

7 To step back for a minute, I worked  
8 on the IOM Drug Safety Committee, and we  
9 thought about drug safety not only in the pre-  
10 approval but the post-approval setting and  
11 thought about assessing safety throughout the  
12 life time of a drug.

13 There are many withdrawals that  
14 occur after drugs come on the market. In one  
15 review from '69 to 2002, about 75 drugs were  
16 removed from the market, 11 with special  
17 requirements that are effectively removed from  
18 the market. In another, for 584 new chemical  
19 entities, 45 received black box warnings, and  
20 16 were withdrawn.

21 So the information that we acquire  
22 about safety isn't always present, and in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fact, it looks like in about 10 percent of the  
2 drugs, we get significant new information  
3 after the drug is on the market, and this is  
4 part of the normal course, I think, of drug  
5 safety.

6 Many of you know this process  
7 better than I do. There is pre-clinical  
8 information to assess toxicity and for several  
9 of the antibiotics, this turned out to be  
10 quite valuable. For sparfloxacin, that's  
11 where the initial change in QT was detected.  
12 For telithromycin, there was liver toxicity  
13 noted in rats, as well as other animal  
14 species, and we have a series of studies to  
15 evaluate the drug for approval, and then in  
16 the post-marketing setting -- there were  
17 various studies, and an Adverse Event  
18 Reporting System that is especially weak for  
19 detecting adverse events, except for severe  
20 and rare ones that are completely unrelated to  
21 the indication for the drug.

22 So we don't have a very sensitive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 system for detecting adverse effects in the  
2 post-marketing setting.

3           There is considerable asymmetry in  
4 terms of safety and efficacy during the  
5 evaluation process. For the phase three  
6 trials, they are designed and powered  
7 properly, and approval is contingent on  
8 evidence about a non-inferiority margin, about  
9 an effect.

10           The safety evaluation is always  
11 more ad hoc than that, and the FDA guidance on  
12 the pre-market risk assessment is really quite  
13 good in pointing this out. The adverse event  
14 data are collected, and it really becomes a  
15 kind of diagnostic act to notice and define an  
16 emerging safety signal.

17           Based on adverse events alone,  
18 there were 25 drugs removed between 1978 and  
19 2003. A number of these were antibiotics, the  
20 quinolones figure heavily here, and for a  
21 variety of severe or potentially severe and  
22 serious adverse effects, hemolytic syndrome,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 long-QT arrhythmias, hepatotoxicity,  
2 phototoxicity and hypoglycemia as well.

3 Many of you know more about these  
4 drugs than I do. There are common side  
5 effects, the GI and CNS side effects. There  
6 are uncommon ones that are potentially  
7 serious, and if we're using these drugs for  
8 patients who are not likely to receive much  
9 benefit, the -- our tolerance for safety  
10 issues has to be less. We really need for the  
11 individual patient to be assured that the  
12 risks will not exceed the benefits for  
13 treatment.

14 This is a report of a study looking  
15 at the IC50 for the HERG potassium channel,  
16 which is the primary mechanism by which these  
17 drugs prolong QT, and there is a range of  
18 sensitivities with sparfloxacin, comparing its  
19 IC50 to the peak-plasma level, to having  
20 levels that are quite close to 10.  
21 Grepafloxacin with 16, moxifloxacin with 22,  
22 and there was a subsequent study looking at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the safety and efficacy of moxifloxacin versus  
2 levofloxacin, and it included 394 hospitalized  
3 patients greater than 65 years old with  
4 community acquired pneumonia. It excluded the  
5 severely ill, and there were only 71 percent  
6 who were evaluable.

7 The cure rates were comparable, 93  
8 percent for moxifloxacin, 88 percent for  
9 levofloxacin, with a 95 percent confidence  
10 interval for that difference of -2 to +12, and  
11 in the safety study, they concluded that  
12 cardiac rhythm safety was similar.

13 Well, the data from that study come  
14 from Morganroth's paper, in which there was a  
15 composite outcome about ventricular  
16 tachycardia, as well as sudden death, and this  
17 shows the counts of events and the relative  
18 risks, and we have a relative risk for the  
19 composite endpoint of 1.6. The 95 percent  
20 confidence interval goes from .3 to 3.5, and  
21 for death during treatment, there was a two-  
22 fold increase.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Now, admittedly, the confidence  
2 interval is quite wide. It's .5 to 8, and you  
3 know, I guess, in a very serious condition,  
4 where the mortality is high, one would be  
5 willing to tolerate a large increase where  
6 there was a clear benefit for this particular  
7 therapy, where the risk of death could be as  
8 high up as two, four, five, but in patients  
9 who are -- otherwise have mild conditions that  
10 may resolve on their own, we don't want to  
11 expose those patients to drugs that may have  
12 this sort of toxicity.

13                   I think I would not, myself,  
14 conclude that this study shows cardiac rhythm  
15 safety. I would conclude that this study  
16 actually gives you an estimate of what the  
17 effect size might be if you did a larger trial  
18 that you would want to detect.

19                   So this study is so small that it  
20 doesn't actually provide a lot of confidence  
21 about the cardiac safety of this drug, and it  
22 really confirms the potential signal for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 difference between moxifloxacin and  
2 levofloxacin.

3 The sponsor apparently felt they  
4 needed additional study, and they conducted a  
5 clinical experience study, and this was  
6 published in 2004. It's relatively recent.  
7 Eighteen-thousand patients received the drug  
8 for five to 10 days, and the indications  
9 included mild to moderate pneumonia.  
10 Astonishingly, the patients were all enrolled  
11 within about two and a half months, and they  
12 report 900 C- 297 cardiac events, they had  
13 ECGs on 122.

14 It turns out, this study had no  
15 control group and, I think, provides no useful  
16 information about the safety of this drug in  
17 clinical practice. This looks to me to be a  
18 seeding study of the sort that Bob Temple  
19 wrote about back in the New England Journal in  
20 1994.

21 For telithromycin, there was  
22 another safety study conducted in 24,000

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients. It was able to detect no difference  
2 in hepatic adverse effects. The data  
3 submitted included data that were suspect and  
4 fraudulent, and this large study was incapable  
5 of detecting liver -- adverse liver events.

6 On the other hand, our insensitive  
7 marker, the post-marketing AERS data,  
8 identified a rate of acute liver failure of  
9 167 per million person years, which was 10  
10 times the rate for levofloxacin.

11 Now, admittedly, this is a rare but  
12 serious risk, and it's not a risk that would  
13 be tolerable if the benefits for the drug are  
14 small to minimal.

15 From the point of view of public  
16 health, the use of antibiotics in situations  
17 that are not helping patients contributes to  
18 drug resistance. In the Netherlands,  
19 antibiotic use is about a third of that in  
20 France. Penicillin use is about 40 percent,  
21 and the rates of pen-resistant Strep pneumo  
22 are remarkably different, and, indeed, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cross-national correlation between rates of  
2 antibiotic use and drug resistance are  
3 extraordinarily high, .84.

4 So some concluding observations. I  
5 think there are opportunities for improving  
6 the study design. I tend to prefer ITT  
7 analyses. This actually provides us with  
8 denominators for risk and benefit within the  
9 trial, if they use the same people and the  
10 same numbers of people. Blinding looks like  
11 it's already being done.

12 I think that we have an obligation  
13 to provide the optimal therapy as comparator  
14 with -- when there are known benefits. I  
15 favor mortality as an outcome in severe  
16 community acquired pneumonia. I think that  
17 there needs to be an improvement in the safety  
18 evaluation, and this means in part -- I think  
19 the best opportunity is for safety, other than  
20 common adverse effects that you're likely to  
21 see and in fact, I see probably more  
22 antibiotic associated diarrhea in my clinic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than I do see pneumonia.

2 In order to do that, I think the  
3 safety evaluations need to identify signals,  
4 and I think the FDA is very good at this, and  
5 then follow them with high quality studies,  
6 not clinical experience studies, and not  
7 small, underpowered studies.

8 I think it's reasonable to consider  
9 DSMBs for many of these trials, and as a  
10 clinician, I would be reluctant -- I've seen  
11 patients with galloping strep pneumo  
12 infections. I'd be reluctant to randomize  
13 those patients to placebo, but there may be  
14 other conditions, including the Chlamydia and  
15 the Mycoplasma, where placebo trials have a  
16 role.

17 So, I thank you, and I'd take any  
18 questions or comments.

19 DR. FLEMING: I think we'll do the  
20 questions together. I think what we might do  
21 is do the questions together on the safety  
22 presentations. Ed?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COX: Next, I'd like to invite  
2 up Tatiana Oussova, who is a Medical Officer  
3 in the Division of Anti-Infective and  
4 Ophthalmology Products at CDER, FDA, and  
5 Tatiana is going to be talking about  
6 evaluation of drug safety in community  
7 acquired pneumonia.

8 DR. OUSSOVA: Thank you and good  
9 afternoon, everyone. In today's presentation,  
10 I'm going to concentrate on the pre-marketing  
11 assessment of drug safety in community  
12 acquired pneumonia, and this is just a brief  
13 overview on our approach to drug safety. This  
14 is my disclaimer. I hold no financial  
15 conflicts.

16 As a regulatory requirement for  
17 approval, a drug needs to demonstrate  
18 sufficient evidence of efficacy and safety,  
19 and as the Food, Drug and Cosmetic Act states,  
20 any new drug application should include all  
21 tests reasonably applicable to show the drug  
22 is safe and, this is important, on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposed labeling, and the results of such  
2 tests should show the drug is safe under such  
3 conditions.

4 Safety assessment of a drug begins  
5 at the very early stage in drug development  
6 and safety data are continuously evaluated  
7 throughout the stages. It starts with non-  
8 clinical data that identify target organs of  
9 toxicity and determine therapeutic dose safety  
10 margins for future clinical trials.

11 Then it comes data from phase I and  
12 II clinical trials that predict possible  
13 adverse events in phase three trials. It also  
14 allows for design safety assessment for phase  
15 III trials, that is to tailor safety  
16 monitoring to anticipate its specific adverse  
17 events in phase III trials.

18 However, due to limited exposure in  
19 phase I and II trials -- and we are talking  
20 about few hundreds of patients, serious  
21 adverse events are rarely identified.

22 When a new drug application is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submitted, the goal of its safety review is to  
2 critically examine the sponsor's contention  
3 that their drug is safe for its intended use,  
4 and what does it mean? It means that we  
5 assess whether the testing for safety was  
6 adequate. We determine how significant the  
7 identified adverse events were and how they  
8 would impact on drug approvability.

9 We describe the safety issues that  
10 should be included into product labeling, and  
11 we decide whether additional safety studies  
12 would be needed.

13 What are the data sources that are  
14 reviewed? It includes randomized controlled  
15 trials, open label trials, post-marketing  
16 experience, if there is such, and it could be  
17 foreign data if the drug is already marketed  
18 outside the United States or even if it's  
19 marketed in the United States for different  
20 indications.

21 It includes medical literature, and  
22 we would consider a safety profile of other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drugs in the same class, even if approved for  
2 other indications.

3 We take the following approach when  
4 we review the NDA safety data base. We would  
5 first characterize the population based on  
6 age, gender, underlying medical conditions,  
7 and other factors that may influence the  
8 outcome of the study.

9 We would characterize the dose and  
10 extent of exposure. We will identify adverse  
11 events and then assess the relationship  
12 between the drug and the adverse event, and we  
13 try to identify the risk factors for serious  
14 adverse events and for those adverse events  
15 that are common in general population, it is  
16 helpful to look at those events rate in a  
17 comparator arm.

18 What do we want to know about  
19 exposure? When we characterize the magnitude  
20 of exposure, we want to know whether there was  
21 an adequate exposure in terms of the number of  
22 patients and the duration of treatment at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 intended dose, and if the labeling recommends  
2 a dose range, we would like to know how many  
3 patients were exposed to the highest  
4 recommended dose. We also want to know were  
5 there any special populations, such as renally  
6 or hepatically impaired included into the  
7 study.

8 When it comes to assessing adverse  
9 events, the following are the most concerning  
10 to us, death, serious adverse events, and  
11 discontinuations due to adverse events. When  
12 we are looking into these adverse events, we  
13 always assess the causality, that is trying to  
14 answer what is the likelihood that the drug  
15 had caused those adverse events.

16 Other important parts of the safety  
17 review are common adverse events, laboratory  
18 data, vital signs data, ECG data, and safety  
19 in pregnant women and special populations,  
20 such as elderly or renally impaired.

21 These are specific safety issues  
22 that we usually address with antibiotics,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 liver toxicity, renal toxicity, allergy  
2 related toxicities, cardiac repolarization, or  
3 QT studies, however, those are not unique to  
4 CAP, and they are common across other drugs as  
5 well.

6           Despite the robustness of data  
7 submitted with a new drug application, there  
8 are inherited limitations to what we can learn  
9 from the NDA safety database, and there are  
10 several reasons for this. One is -- we always  
11 deal with a limited exposure and this is about  
12 just a few thousand patients included with the  
13 NDA safety database, and therefore, rare  
14 serious adverse events are not usually  
15 captured, and when I'm talking about this rare  
16 adverse events, I'm talking about adverse  
17 events that occur in order of one per 10,000  
18 or 100,000 patients.

19           However, observing no adverse  
20 events should not be interpreted if there are  
21 -- as there are no risks, and it simply could  
22 be not -- just unknown at the time of NDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review.

2 Other thing is that studies are not  
3 designed to address specific safety questions.

4 They are powered for efficacy, and they have  
5 no pre-specified safety endpoints.

6 Other thing is -- and this is  
7 particularly true for sick patients in  
8 intensive care settings, it is very difficult  
9 to ascertain serious adverse events in this  
10 sick population. Sometimes, adverse events  
11 are erroneously attributed to underlying  
12 disease or vice versa to the drug.

13 The NDA review results in either  
14 approval or non-approval of a drug. After we  
15 complete our review of efficacy and safety, we  
16 perform risk benefit assessment and we make a  
17 final decision, and if we have any questions  
18 about risk benefit assessment or specific  
19 safety concerns, we can always ask for input  
20 from an advisory committee.

21 When a drug gets approved, the  
22 results of the safety review are applied to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the product labeling, including patient  
2 education, education materials, and we also  
3 develop a surveillance plan to further  
4 evaluate non-serious risks and identify  
5 unknown potential risks.

6 Assessment of drug safety does not  
7 end after the NDA gets approved. The sponsor  
8 continues to monitor for adverse events, and  
9 they submit periodic safety updates and annual  
10 reports. There is also Adverse Events  
11 Reporting System or MedWatch, which is  
12 voluntary system where anyone can report  
13 adverse events associated with a particular  
14 drug.

15 As the result of post-marketing  
16 safety findings, the labeling changes and  
17 updates occur, and usually, they occur in  
18 adverse reaction section where we include  
19 post-marketing adverse events reports or  
20 warning section and with possible elevation to  
21 a box warning or medication guide.

22 This is basically the end, and to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conclude my talk, I just wanted to mentioned  
2 that this pre-marketing safety evaluation, as  
3 I just described, is not unique to CAP  
4 indication, and it's applicable to all drugs  
5 across all divisions of FDA.

6 DR. COX: Thanks, Tatiana. We'll  
7 continue to hold questions until after Dr.  
8 Talbot's presentation.

9 DR. GILBERT: So I'm pleased to  
10 introduce George Talbot, independent  
11 consultant to industry, also pleased that  
12 George has been an invaluable member of the  
13 task force of the Infectious Disease Society  
14 of America on the availability of  
15 antimicrobial agents. Thank you, George.

16 DR. TALBOT: Well, I have to say  
17 good evening, everybody. It's no longer good  
18 afternoon, it seems like, and thank you to  
19 Dave, Tom and Ed, for asking me to speak and  
20 also awarding me the coveted last speaker of  
21 the day award or position.

22 In fact, I noted, they had to give

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 me a page three to just fit my name on. So,  
2 hopefully it will be worthwhile your having  
3 waited.

4 So here is my assigned topic,  
5 again, thank you to Dave, industry experience  
6 and importance in monitoring safety.

7 Like some other speakers, I had  
8 some difficulty with the title, and I  
9 accordingly made some qualifications to the  
10 assigned title, and they are shown on this  
11 slide.

12 First of all, contrary to rumor or  
13 statements on the agenda, this presentation is  
14 not an industry perspective, because I don't  
15 really know exactly what industry is or what  
16 industry thinks about this topic. So I'm only  
17 presenting my thoughts on this and really not  
18 anybody else's.

19 I also knew that there were two  
20 speakers ahead of me this afternoon or this  
21 evening, and I hoped to have something left to  
22 say, other than "she said it." So I took a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 somewhat different tack, and I'm going to  
2 focus on some different topics and  
3 perspectives that hopefully will be useful to  
4 the audience. My goal is to stimulate  
5 discussion.

6 The discussion points are shown on  
7 this slide and I thought it would be  
8 interesting to discuss the non-safety facets  
9 of safety. That's what I've called them. We  
10 could probably come up with a better name, but  
11 that's what I could think of a few days ago.

12 Really, the point I'd like to make  
13 is that efficacy considerations in designing a  
14 clinical trial are just as much about patient  
15 safety as "safety" is. The two prior speakers  
16 spoke about safety in a classical sense. I'd  
17 like to leave you with a thought that efficacy  
18 components of study design and implementation  
19 are really about safety as well.

20 And so, I'll discuss where can we  
21 go wrong in this aspect and where can we  
22 thereby put our patients at risk, when they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 participate in clinical trials. I'd also like  
2 to spend a minute or two to discuss approaches  
3 to mitigating the safety risk of efficacy.

4 I will spend some time on some  
5 somewhat random thoughts on traditional safety  
6 issues, such as those discussed by the prior  
7 speakers, and then I have some conclusions.

8 My disclosures for the CAP workshop  
9 are shown on this slide. I was recently Chief  
10 Medical Officer at Cerexa. That ended in  
11 October and currently, I've resumed consulting  
12 to industry and the most relevant potential  
13 conflict, I should disclose, is that I still  
14 consult for Cerexa, which has an ongoing CAP  
15 program.

16 So, what are some of these non-  
17 safety facets of safety? Well, as I mentioned  
18 already, efficacy is really another facet of  
19 safety. This is not a surprise, but in fact,  
20 we often speak in an efficacy safety  
21 dichotomy, and so, my observation is -- and  
22 it's based on a broad experience over 15 or 20

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 years, is that when we're talking about  
2 efficacy, we're not necessarily always  
3 thinking so much about what the implications  
4 might be for the safety of patients  
5 participating in the study.

6 This happens because there's a  
7 press of other clinical development  
8 considerations. Monitoring efficacy during  
9 studies is time consuming, expensive and in  
10 particular, is constrained statistically, and  
11 I'll come back to some of these constraints a  
12 bit later, and I think it also relates,  
13 perhaps, to an overly narrow perspective about  
14 what constitutes safety in the clinical trial  
15 process.

16 Sometimes, unfortunately, there can  
17 be a tendency to forget that there is a  
18 patient at the end of each clinical trial  
19 protocol, not often, but it's something we  
20 need to continuously remind ourselves of.

21 So, what can go wrong from an  
22 efficacy perspective? Well, the first thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I've listed here is dose selection. The rest  
2 of my list includes dose selection, and then,  
3 I would change the pace, two other things.  
4 One is the choice of comparator, the choice of  
5 adjunctive anti-microbial therapy, plus the  
6 impact of prior anti-microbial therapy, not so  
7 much on the integrity or results of a study  
8 itself, but on what might happen when the  
9 compound gets into the market place.

10 I think another thing I'd like to  
11 highlight is sub-optimal adjunctive non-anti-  
12 microbial therapy.

13 So, what about dose selection  
14 rationale? We begin now -- and I think there  
15 are very good points made earlier about the  
16 sophistication of dose selection, but we  
17 usually deal with these usual suspects, as  
18 I've put there -- let's see if they're there.

19 So, we start with the in-vitro-  
20 data, we talk about or evaluate efficacy in  
21 animal pneumonia models, PK data, we use known  
22 PD relationships in plasma, do our modeling,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to some extent, evaluate lung penetration of  
2 the compound and consider the active site of  
3 the drug in the lung and we also integrate  
4 into this approach, the prior experience with  
5 the class, as well as phase two data, if they  
6 are available.

7 Now, what can go wrong? There are  
8 some unexpected events that can happen. First  
9 of all, in any study, and this is not so much  
10 just CAP, but it could be HAP or intra-  
11 abdominal infection. Sometimes, the spectrum  
12 of organisms can be different than that, that  
13 was anticipated.

14 We could mention -- I'll mention  
15 one in a moment, but if you picked your study  
16 drug because of its spectrum of activity for a  
17 certain group of organisms and you encounter a  
18 different spectrum of organisms, that's a  
19 problem.

20 Another event that could occur is  
21 that if the target pathogens are those that  
22 you expect, but their MIC's to your study drug

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are higher than you would expect. That's  
2 going to decrease the achievable PK/PD index,  
3 potentially with deleterious consequences.

4 Related to activation -- pardon me,  
5 to activity at the target site, is the  
6 possibility of drug inactivation at the target  
7 site, something that we don't necessarily  
8 think about much, or didn't, but certainly  
9 has, in at least one instance, proven to be a  
10 problem for the safety of patients  
11 participating in a study.

12 Unanticipated PK variability can be  
13 a problem and unanticipated drug/drug  
14 interactions could also be a problem.

15 So, let me give you some specific  
16 examples. Drug inactivation of the target  
17 site, daptomycin, which failed -- and I think  
18 Bob Arbeit mentioned this earlier, failed in  
19 its CAP program for very unexpected,  
20 unanticipatable event and that was  
21 inactivation by surfactant and I would mention  
22 that I would give kudos to Cubist for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 publishing those data in JID in 2005. They  
2 thoroughly explored the reason for this  
3 failure, and that's benefitted other companies  
4 who have followed in this field.

5           And here we see the explanation for  
6 that. This is from the abstract. What was  
7 interesting was that there was efficacy in two  
8 animal models, but not in a third, Strep  
9 pneumo and simple bronchial-alveolar  
10 pneumonia. That's the sort of signal that can  
11 really, in my experience, be difficult to pick  
12 up and move with, and in retrospect, it tied  
13 in neatly with what was being seen, but  
14 unfortunately in these studies, this effect  
15 did become evident and the hypothesis then was  
16 complete. So, we do need to consider that.

17           Since that experience with  
18 daptomycin and Cubist's discussion of it,  
19 sponsors have responded, and I think  
20 appropriately so. Pulmonary PK studies have  
21 been performed for novel compounds. I think  
22 that's particularly important when you're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dealing with novel compounds with no recent  
2 experience, as opposed to classes with a known  
3 effect, and we see it was done for  
4 tigecycline, telavancin, iclaprim and  
5oritavancin.

6 In addition, telavancin performed a  
7 surfactant interaction study, which I think  
8 also undoubtedly added a great deal of  
9 confidence to the data they had obtained from  
10 animal pneumonia models specific for their  
11 target pathogens, specifically MRSA, and so,  
12 there was really a consistent, and I think  
13 impressive attempt and effort to identify the  
14 potential safety risk of efficacy in their  
15 upcoming clinical studies.

16 Now, what about different organisms  
17 then anticipated? We usually think we know  
18 very well, what the spectrum of disease is,  
19 but one potential problem on the horizon is  
20 community associated MRSA and thinking about  
21 design of studies for CAP.

22 This bug is still rare, especially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in a clinical trial setting, as a cause of  
2 CAP, extremely rare. My personal opinion is  
3 that although these studies should apply  
4 relevant exclusion criteria to eliminate  
5 subjects at risk of MRSA, that it's not yet  
6 necessary to include MRSA coverage in trials  
7 of CAP. But we must certainly be vigilant, as  
8 to when MRSA coverage should become routine.

9 A related issue here is that if you  
10 have a known set of pathogens, but they have  
11 expected -- pardon me, MIC's higher than  
12 expected, that can also be a problem.

13 A third example I'll give you, and  
14 this will be the last, is unanticipated PK  
15 variability, resulting in sub-optimal  
16 exposure, and I have a couple of possible  
17 examples with apologies to George and Paul --  
18 that's not Paul McCartney and George Harrison.

19 That's George Drusano and Paul Ambrose, who  
20 have taught me a lot over the years.

21 A possible example could be that  
22 the target population you're studying in your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial, differs from that studied previously  
2 and this new population has a higher clearance  
3 or some other parameter that could result in  
4 decreased drug exposure. Same would apply for  
5 drug/drug interaction.

6 Now, a possible example of sub-  
7 optimal dosing, this was in HAP, but not CAP,  
8 was in the study of HAP reported by Wyeth, and  
9 again, kudos to them for publication where  
10 there was success in CAP, but a failure in  
11 that sub-set in HAP.

12 So, this, I think, shows that this  
13 is more than a theoretical concern and it  
14 happened despite the fact that Wyeth did vet  
15 their dose selection rationale extensively and  
16 in fact, had conducted a pulmonary PK study to  
17 assist in dose selection.

18 Moving from the dose selection  
19 rationale, I mention comparator in adjunctive  
20 therapy. There is the ICH guidance on  
21 comparator therapy, but it's critical for the  
22 safety of our patients not to include "straw-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 men", maybe it should be straw-people now,  
2 straw-people comparators. They have to be  
3 given an appropriate dose and dose frequency,  
4 which in fact, may have changed since the  
5 initial regulatory approval, as the  
6 epidemiology of bugs have changed. The  
7 comparator has to have an appropriate spectrum  
8 and an appropriate tolerability profile, so  
9 that you're really giving the patients a fair  
10 shake at an optimal outcome.

11 Adjunctive anti-microbial therapy  
12 is also problematic in some respects.  
13 Particularly, if the spectrum of the study  
14 drug is not broad enough for all likely  
15 pathogens, adjunctive therapy will be  
16 necessary. Optimal adjunctive therapy should  
17 be employed to ensure the best overall outcome  
18 for both treatment groups.

19 This may be more relevant in HAP,  
20 for example, but it can be true in CAP as well  
21 and it does highlight, I guess, one hazard of  
22 NI trials, which is if your adjunctive therapy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is not optimal, probably both treatment groups  
2 will have lower response rates, but it won't  
3 necessarily affect your finding of non-  
4 inferiority.

5 A specific conundrum in CAP was  
6 alluded to earlier, but it's illustrated on  
7 this slide. What if the spectrum study drug  
8 does not include atypical pathogens? How do  
9 we provide optimal therapy for patients  
10 without overlapping coverage, that confounds  
11 interpretation of efficacy?

12 Studies of cephalosporin therapy  
13 for CAP are really right there, especially in  
14 the U.S. It's difficult to enroll patients  
15 now, without adjunctive macrolide therapy.  
16 That's something that will be discussed  
17 tomorrow, but it obviously represents a hurdle  
18 for design of clinical trials and conduct of  
19 clinical trials and it's an important question  
20 that warrants further discussion.

21 Now, prior therapy can also have an  
22 impact on the safety aspect of efficacy. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was another dapto. experience, which again, I  
2 congratulate them for publishing, and what  
3 they showed is that prior effective therapy,  
4 in some cases less than 24 hours of prior  
5 antibiotics did have an effect on efficacy.

6 So, we knew that could happen.  
7 It's been published. The problem is that this  
8 aspect of safety for patients really becomes  
9 later -- apparent later, only post-marketing,  
10 when the drug may be used without the benefit  
11 of prior anti-microbial therapy.

12 The solution for clinical trial  
13 design, which is to avoid all prior anti-  
14 microbial use, poses major logistical  
15 consequences and difficulties and we clearly  
16 need some better approaches to this issue.

17 Now, what about adjunctive non-  
18 anti-microbial therapy? Clearly, for our  
19 patients, outcome can be compromised by  
20 inadequate adjunctive therapy, but this is  
21 more obvious for surgical diseases, such as  
22 complicated intra-abdominal infection. It's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 less obvious for non-surgical conditions.

2 What I would submit to you for your  
3 consideration is that for CAP, we do need to  
4 consider how to optimize adjunctive therapy  
5 and not ignore that, again, under the guise of  
6 the -- or the protection of a non-inferiority  
7 design.

8 So, we need to avoid poor pulmonary  
9 toilet, sub-optimal respiratory therapy  
10 support, inadequate mobilization of patients,  
11 if they are in the hospital and premature  
12 hospital discharge, among others.

13 So, how can we mitigate the safety  
14 risk posed by problems with design related to  
15 efficacy? First of all, rigorous attention to  
16 dose selection, prior to phase two. The dose  
17 selection should be thoroughly vetted with  
18 external people with expertise in that area,  
19 to make sure that there are no holes in your  
20 argument, and I also urge you to make use of  
21 the FDA end of phase two meeting, where a full  
22 dose selection rationale has to be articulated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and is a very useful exercise, and I've  
2 mentioned the importance of these last three  
3 bullets.

4 So, some final thoughts on this  
5 part of my talk. I think we have an  
6 obligation to consider efficacy as a safety  
7 issue that extends beyond the clinical trial  
8 period. It's imperative to reflect efficacy  
9 issues that impact patient safety in the  
10 product label, and I think an excellent  
11 example is again, what Cubist did, with  
12 relation to their labeling for their first  
13 approval. They included the words 'Cubicin is  
14 not indicated for the treatment of pneumonia'.  
15 Post-marketing risk minimization programs  
16 should consider this aspect of safety.

17 Now, a few slides and I'll be done,  
18 to hopefully keep us on schedule. Just a few  
19 comments on some selected traditional safety  
20 issues. The following four bullets highlight  
21 the points I'll make briefly, related to FDA  
22 guidance documents, internal safety assessment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 processes, DMC's mentioned by a previous  
2 speaker and an approach to infrequent events  
3 and possible signals.

4 I'd mention that FDA has  
5 articulated and published some excellent  
6 guidance documents, which are particularly  
7 useful for smaller companies who may just be  
8 starting in this area, related to adverse  
9 event reporting, development and use of risk  
10 minimization action plans and  
11 pharmacovigilance practices, among others.

12 Associated with this is the need to  
13 have clear internal safety assessment  
14 processes. I think this is less consideration  
15 for larger companies that have a long history,  
16 but for start-ups and new companies, it's  
17 critical to have an a priori defined safety  
18 assessment process that will ensure the safety  
19 of patients in the study or studies, and this  
20 is not just a question of meeting the  
21 regulatory literal requirements. It's not  
22 just reviewing SAE reports, and completing the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reporting.

2           What's really needed is an ongoing  
3 attention to the big picture of the emerging  
4 safety profile and I can't emphasize that  
5 enough.

6           Another piece of advice I'd give to  
7 companies in that situation is not to wait for  
8 a problem to appear to establish a process.  
9 You have to have a process identified a  
10 priori, so that potential signals can be  
11 evaluated promptly, using a multi-disciplinary  
12 approach and part of this is to be considering  
13 the advisability of seeking external expertise  
14 and the objectivity associated with that, at  
15 some point during your assessment of any  
16 changes or unanticipated findings in the AE  
17 profile.

18           Now, what about rare events and  
19 possible signals, also a point mentioned by a  
20 previous speaker. Easy to say, but in my  
21 experience, it's one of the most difficult  
22 aspects of responsible safety monitoring with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the patient in mind.

2 As we all know, early on, you have  
3 small numerators and denominators and in that  
4 setting, it's very difficult to remain free of  
5 bias, even when you acknowledge to yourself  
6 that you could be biased. That's one reason  
7 to use external expertise to help you with  
8 that and it's a reason to keep an open mind,  
9 so that you avoid constrained hypotheses, and  
10 one must also look at these cases' possible  
11 signals in extreme detail and consider a vast  
12 array of potential explanations, other than  
13 the baggage you bring with you a priori.

14 This guidance from FDA, again, is  
15 very useful in this regard and I would mention  
16 that a lot of the points in there are  
17 extremely worthwhile to keep in mind.

18 One of things I see is that people  
19 feel there are obstacles to use of DMC's and  
20 there are some, and I've listed them on this  
21 slide. It takes time, effort and considerable  
22 expense to establish DMC's, at a time when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 you're trying to move things along rapidly.

2           It can be difficult to find the  
3 right people to sit on them, who have the  
4 expertise and aren't part of your  
5 investigational staff or otherwise involved,  
6 competitors, etcetera.

7           There is concern about maintaining  
8 the integrity of your clinical trial. There's  
9 also the operational concern about getting  
10 data to the DMC in a timely fashion, so that  
11 relevant decisions can be made. If your  
12 complicated skin study is enrolling in nine  
13 months and you want a mid-point analysis, you  
14 may have your data about the time you're  
15 finishing enrollment.

16           There's also a general concern  
17 about loss of control, when one establishes a  
18 DMC and also, I think, not thinking of  
19 efficacy as a safety consideration.

20           Now, the advantages to use of  
21 DMC's, I think, are exactly parallel to the  
22 potential obstacles. One is that with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proper use of DMC's, you may, in the end, save  
2 time, effort and expense, in many ways. It's  
3 also better to find the right expertise to  
4 help you with your decision making sooner,  
5 rather than later.

6 I also believe that DMC's can  
7 actually be constructed, and the guidance  
8 makes this point, to ensure that the integrity  
9 of the trial is not only maintained, but  
10 perhaps improved.

11 The constitution of a DMC also  
12 ensures that you will be working hard to  
13 ensure timely access to data, so that relevant  
14 decisions can be made in the patient's best  
15 interest, and I think in some senses, DMC's  
16 actually give you improved control over your  
17 study, as opposed to the fear of loss of  
18 control.

19 Finally, consistent with my  
20 hypotheses in this discussion, I think that  
21 having a DMC, in selected situations, not all  
22 I don't think, but in the right situations,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 highlights efficacy as a primary safety  
2 consideration.

3 So, in conclusion I would say that  
4 efficacy must be considered a patient safety  
5 issue. I think the analytic approach that was  
6 described a few minutes ago, to evaluating  
7 your safety database, collecting the data,  
8 it's something we all know and it's obvious  
9 that it must be done, but we must also  
10 consider efficacy as a patient safety issue.

11 I think that steps can and should  
12 be taken during the planning and execution of  
13 clinical trials, to ensure that optimal  
14 efficacy is achieved and that it does not  
15 become an unexpected safety issue.

16 Finally, smaller companies must  
17 take time to develop a process, as I described  
18 a few minutes ago.

19 So, my final thoughts are, don't  
20 cut corners on efficacy risk minimization.  
21 Remember, there is a patient at the end of  
22 every clinical trial protocol, and overall,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 keeping this in mind is going to be not only  
2 in the patient's best interest, it's going to  
3 be in the best interest of your drug and your  
4 company. Thank you for your attention.

5 DR. GILBERT: Thank you, George. If  
6 you want to stay up there, we have time for  
7 maybe just one or two comments or questions on  
8 the safety presentation. Yes, Barry?

9 DR. EISENSTEIN: Just a brief  
10 comment. George, very nice overview. I'd  
11 just like to add something to the Arbeit data,  
12 with the lack of efficacy of daptomycin in  
13 CAP, and we're going to hear tomorrow, from  
14 Paul Ambrose, a little bit more about that  
15 data.

16 But to talk about two of those  
17 things, one was the prior effect of antibiotic  
18 therapy. That has a major effect, obviously,  
19 on being able to see the Cubicin effect versus  
20 the comparator and does raise, as you say, the  
21 major issue about how can you do these sort of  
22 studies in the United States.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But what's also interesting is that  
2 if you look at those who did not get prior  
3 effective therapy and then view Cubicin as  
4 presumably, no worse than placebo, you could  
5 set yourself a floor for a placebo comparison,  
6 because there is a clear-cut superiority  
7 signal that ceftriaxone has over Cubicin, so  
8 you at least, in contemporary time, have a  
9 therapeutic effect.

10           DR. TALBOT: That's a good point.  
11 Well, those data will be discussed further  
12 tomorrow. Yes, I was -- 65 percent versus 90  
13 percent or something, 75 versus 90, yes,  
14 right.

15           So, I think again, that does  
16 support a treatment effect in your patient  
17 population.

18           DR. GILBERT: Okay, Robert has a  
19 question.

20           DR. O'NEILL: Yes, I have a question  
21 to Dr. Psaty and to you. It relates to a  
22 conversation that we're going to have on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 design of studies and particularly, in the  
2 mild CAP area.

3 It relates to the issue of, one of  
4 the things you didn't put down, in terms of  
5 where can you go wrong, and I think it's a  
6 critical component of what's hard about this  
7 area, and it's misdiagnosis.

8 When you think you're treating the  
9 disease that you are, but you're not, and it's  
10 part of the inclusion in the current clinical  
11 trials -- and you made the point that if you  
12 can't benefit from the drug, but you share all  
13 the risk, that's a real problem.

14 So, if you have a drug that  
15 essentially has a serious risk profile and  
16 you're giving it in a mild condition and you  
17 essentially don't take the pains to make sure  
18 that the entrance criteria rules out those  
19 folks who aren't going to benefit, what's your  
20 comments on that and what are your thoughts,  
21 in terms of a fix, because that's where you  
22 are, in the mild area.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TALBOT: Should I start? Well,  
2 first of all, I agree totally with your point.

3 It hadn't occurred to me, as I was putting  
4 this together, but I think it's very germane.

5 Just as we have a "safety  
6 obligation" for patients who are enrolled, we  
7 have exactly what you say, we have an  
8 obligation to enroll patients who are  
9 appropriate to enroll and who could benefit  
10 from the treatment. So, I agree with you.

11 In terms of how to improve that, I  
12 think that there are two aspects. One is what  
13 was discussed earlier, about mechanisms to  
14 enrich trials for the pathogens that we want  
15 and need and that presumably cause disease,  
16 and the other is in the conduct of the trials,  
17 in terms of how those patients are managed.

18 DR. GILBERT: Thank you, George. In  
19 the interest of time and as George was saying,  
20 tongue in cheek, we're entering the cocktail  
21 hour. We do want to go around the table now,  
22 and I'll ask Ed and Tom, after I make a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment, as to what we would like our panel  
2 members to address, and we have three points  
3 written out, actually, in the program. I don't  
4 think I have to read them out loud.

5 In short, you've heard a lot of  
6 data and we -- I'm serving as the Assistant  
7 Rapporteur with Brad, and we just want to get  
8 everybody's viewpoints out on the table, with  
9 respect to design, superiority, placebo  
10 controlled versus non-inferiority and  
11 endpoints are really the two major points.  
12 But feel free to bring up anything else that's  
13 on your mind.

14 This is your chance to air whatever  
15 issues are pivotal in the construct of  
16 clinical trials for mild community acquired  
17 pneumonia. We'll have a similar session at  
18 the end of tomorrow, on the more severe  
19 hospitalized patient with community acquired  
20 pneumonia.

21 DR. ECHOLS: David, can I just get a  
22 clarification on the second bullet?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. GILBERT: Sure.

2 DR. ECHOLS: You say that -- how  
3 likely is it that superiority could be  
4 demonstrated in a controlled clinical trial.  
5 Are you referring to active control clinical  
6 trial, placebo control clinical trial?

7 DR. GILBERT: Either.

8 DR. ECHOLS: Okay.

9 DR. GILBERT: Depending on -

10 DR. ECHOLS: Okay. So, there are  
11 really two possible answers?

12 DR. GILBERT: Yes, sure, if you've  
13 got a blockbuster drug and think you can do it  
14 with an active control, that's great.

15 Tom or Ed, did you want to amplify  
16 my remarks?

17 DR. FLEMING: No, I agree with your  
18 statement. The essence here is to look at  
19 what would be the most reliable way to go  
20 forward, to understand benefit to risk, to  
21 understand adequate evidence of safety,  
22 adequate evidence of efficacy, which obviously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involves, what would be the right endpoint,  
2 what would be the nature of the design,  
3 superiority could be an approach, a non-  
4 inferiority could be approached, but how would  
5 you justify the non-inferiority margin?

6 The issue here isn't so much what  
7 the answer is. The issue is, what's the  
8 scientific reasoning? What's the  
9 justification for what the answer would be?

10 DR. GILBERT: And before Ed speaks,  
11 I'm hoping that our colleagues from the agency  
12 will, as much as the law allows, also speak to  
13 this subject.

14 DR. COX: You know, I think we've  
15 touched on the major issues. It is -- a lot  
16 of it hinges around what we know about  
17 treatment effect, what the appropriate design  
18 is, what the endpoints would be. To the  
19 extent that we can try and flush some of that  
20 out, that would be helpful.

21 DR. GILBERT: All right, we'll just  
22 start at this end. Rick, not a clinician, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any comments that you might have?

2 DR. NOLTE: I'm really underpowered  
3 to comment on the major points of this. But  
4 basically, I think one thing that's emerged,  
5 that I do feel comfortable speaking about, and  
6 it's in the area of diagnostics and improving  
7 our ability to identify those patients in  
8 clinical trials that could benefit from the  
9 drug, and the tools are there.

10 I mean, we've -- several speakers  
11 have touched on new approaches to diagnostics,  
12 better application of existing diagnostics,  
13 looking at other specimen types, other than  
14 sputum, those sorts of things. I think that's  
15 key in all of this.

16 The problem becomes, when you start  
17 talking about the newer technologies, the  
18 concept of companion diagnostics that we  
19 brought up. There's really -- although there  
20 are specific reagents and research-use-only  
21 reagents that are available to accomplish some  
22 of the things that we talked about today,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is not the clinical efficacy data on the  
2 diagnostic side that supports their use.

3 So, you really have to -- that is  
4 going to have to come through some sort of  
5 partnership between the pharmaceutical  
6 industry and the diagnostic industry.

7 DR. GILBERT: Very good and I also  
8 meant to say, we hope you'll stick to a two to  
9 three minute limit here on this part of it.  
10 Ed, you certainly did. Thank you very much.  
11 Tim?

12 DR. MURPHY: So, I have three things  
13 to say. The first thing is that antibiotics  
14 work for community acquired pneumonia. There  
15 is the Austrian and Gold data, that I think  
16 shows a dramatic effect for penicillin.

17 We know that pneumonia is caused by  
18 bacteria in the lung. We have anti-microbial  
19 agents that are very active in-vitro. We have  
20 anti-microbial agents that are very active in  
21 animals and I take care of patients, they come  
22 in, they are coughing, they have infiltrates

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on their chest x-ray, they have fevers. You  
2 give them antibiotics and they get better.

3 So, the question is not really, do  
4 antibiotics work or not. I think the key  
5 question is, how are we going to assess new  
6 agents, which we need in treating community  
7 acquired pneumonia?

8 The second point I would make is  
9 what I made at the end of my talk, is that I  
10 don't think placebo controlled trials are  
11 appropriate for community acquired pneumonia  
12 because I think the majority of community  
13 acquired pneumonia is caused by the  
14 pneumococcus.

15 We have effective therapy for  
16 pneumococcus and perhaps, most importantly,  
17 pragmatically, it's not going to be possible  
18 to enroll people in placebo controlled trials  
19 because IRB's are not going to allow and  
20 physicians are not going to want to do it.

21 It might be possible to take the  
22 very mildest community acquired pneumonia as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 select group and do it, but I think then we  
2 get into all the problems of dividing things  
3 up and not having meaningful results in that  
4 point.

5 So, the third thing I'd like is, so  
6 what do we need to do to address these issues,  
7 and I think for the long term, two key things,  
8 I my mind, would be better diagnostics.

9 Community acquired pneumonia is not  
10 one disease. It's multiple diseases.  
11 Pneumococcal pneumonia is different from  
12 Mycoplasma pneumonia, clearly. So, if we had  
13 better diagnostics, we could actually design  
14 better trials and get better answers.

15 The second is, I think we need  
16 validation of patient reported outcomes. I  
17 think that will allow us to better trials  
18 particularly for community -- mild community  
19 acquired pneumonia.

20 The immediate thing, what should we  
21 do, I think it's critical for us to figure out  
22 a way to come to a consensus to design a well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done, non-inferiority trials or well done  
2 superiority trials with patient reported  
3 outcomes. As a whole, that's why we're here,  
4 we need new antibiotics and we need to come to  
5 a consensus using the best science that we  
6 have, whether it's Roger's way or the Cubicin  
7 data. Get the best numbers we can, decide on  
8 a margin and proceed from there.

9 DR. GILBERT: Thank you. Tom?

10 DR. FILE: First of all, let me just  
11 thank everybody again, for allowing me to  
12 participate in this. I've really learned a  
13 lot and based on what Tom Fleming said this  
14 morning, I hope my comments are not  
15 meaningfully worse than those of others.

16 But at any rate, I'm going to use  
17 the scenario of the patient you presented  
18 earlier, and to answer the questions, in that  
19 patient, the 35 year old who clearly has air  
20 space disease on chest x-ray, who has fever,  
21 who has leukocytosis, who has underlying co-  
22 morbid condition, who has a family, who has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably got some illness, I mean, he's got  
2 all kinds of potential epidemiologic clues for  
3 either pneumococcus, haemophilus, mycoplasma,  
4 psittaci because of the parakeet, so he's got  
5 all kinds of potential clues there.

6 But I think that patient, it would  
7 be inappropriate to not treat that patient and  
8 use a placebo controlled trial. I think that  
9 patient clearly will benefit from anti-  
10 microbial therapy.

11 I think that it's unlikely that we  
12 can -- in superiority trials, if we use  
13 effective controls and using the standard  
14 types of outcome measurements that we've used  
15 in the past, we're ever going to see any  
16 difference, if we use the good effective  
17 controls, and I think what we need to do is  
18 evaluate some of these other outcome measures,  
19 whether they're biologic markers, such as pro-  
20 calcitonin, whether the patient -- response  
21 outcomes and looking at the speed of recovery  
22 or the time to event -- resolution of event, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 think that's what we need to do. Then looking  
2 at the pharmacodynamics to help predict as  
3 well.

4 In fact, I might use this, just to  
5 make a correction in my presentation. I think  
6 when I was reporting the response rates of  
7 that levofloxacin versus  
8 ceftriaxone/cefuroxime plus or minus  
9 erythromycin study and trying to just look at  
10 the patients who received oral therapy,  
11 thinking that that's sort of a surrogate for  
12 mild pneumonia versus pneumonia requiring  
13 intravenous therapy and acknowledging that  
14 about half the patients enrolled in that trial  
15 only received oral therapy, I said that the  
16 difference was -- I think 95 percent versus 88  
17 percent.

18 Actually, it was 96.4 percent for  
19 levofloxacin in the orally treated group  
20 versus 89.7 percent for cefuroxime, which was  
21 statistically significant, but it does bring  
22 up this point that it's almost like the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 daptomycin issue.

2           Subsequent studies, for example,  
3 from Victor Yu and Keith Klugman's group, when  
4 the looked at least at bacteremic pneumococcal  
5 pneumonia, showed that cefuroxime was  
6 pharmacodynamically -- well, it was clinically  
7 worse, and then they correlated it with the  
8 pharmacodynamics, showing that the drug,  
9 compared to at least ceftriaxone, does not  
10 have a good pharmacodynamic profile.

11           So, maybe there is another reason  
12 that helps explain that result that I just  
13 mentioned and I didn't have time, also, to  
14 present the 750 levo data versus 500 levo  
15 data, which showed that in the 750 arm, again,  
16 looking at the difference in pharmacodynamics,  
17 that the 750 actually showed quicker  
18 resolution of symptoms, at least if you look  
19 at fever, and then the third study, again  
20 looking at pharmacodynamics, that I presented  
21 from Jerry Schentag's group as well, that when  
22 they looked at the AUIC of the -- the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacodynamics -- the macrolide that was  
2 used for the pneumococcus, they could predict  
3 what patients that failed as well.

4           And so, my final comment is, I  
5 think we can strive, maybe, for superiority  
6 trials, but in these studies, accept a --  
7 maybe not reaching superiority, but if we  
8 reach a non-inferiority, lower limits -- bound  
9 of the 95 percent confidence interval, that's  
10 very acceptable, within 10 percent or  
11 whatever, that that would, to me, still be  
12 very acceptable.

13           DR. GILBERT: You're a great warm-up  
14 act for Dr. Ambrose, who tomorrow, will  
15 present the PK/PD data. Thank you, Tom.  
16 Robert?

17           DR. O'NEILL: Yes, I've been trying  
18 to integrate all of this great presentations  
19 that we've had, in terms of what can be  
20 helpful in terms of design, and the way I'm  
21 thinking about this is, it's been said that  
22 CAP is a continuum, and it's probably true.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But the struggle we're having is  
2 the problems that are especially therefore  
3 mild, because I think that mis-diagnosis is  
4 really more of a problem in the mild, than it  
5 is in the severe, and that comes with a number  
6 of issues, in terms of impacting the treatment  
7 effect.

8           Probably the treatment effect can  
9 be argued to be a smaller or more modest in  
10 that group, than it might be in a severe.

11           But I think that the solution to  
12 any new improvements in the design, whether  
13 it's a non-inferiority or a show of difference  
14 trial, is in better endpoints that take  
15 advantage of modern diagnostics, so that you  
16 have a more sensitive and specific outcome and  
17 it's -- that is responsive to therapy, coupled  
18 with better entrance criteria, which is also  
19 taking advantage of the diagnostics, in where  
20 you're essentially eliminating those folks who  
21 are going to get no benefit, but all the risk.

22           Then finally, I think thinking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about endpoints, that might start to take time  
2 to event and time to benefit into account  
3 earlier, rather than taking 21 day benefit,  
4 which essentially, if you've mis-diagnosed it,  
5 everybody is going to have a 90 percent  
6 improvement rate.

7 So, the whole Karen Higgins summary  
8 is the fact that if you are taking -- if you  
9 got mis-classification in a mixture population  
10 of folks who actually don't -- everybody is  
11 going to be better at 90 percent. So, that's  
12 a problem you're dealing with right now.

13 So, you're left with a situation  
14 of, are these equally effective or equally  
15 ineffective, because everybody was going to  
16 get better at 21 days. So, you've got to use  
17 some kind of endpoint that discriminates and  
18 it doesn't have to be a superiority trial  
19 against placebo, it has to be a discrimination  
20 trial against some other control or some other  
21 conditions of use.

22 DR. GILBERT: Thank you. Bruce?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PSATY: I want to make four  
2 points. First, I think that overall, to  
3 improve these trial designs, the improved  
4 diagnostics will go a long way to helping the  
5 trial design, so that there is a homogeneous  
6 patient group, with a specific condition that  
7 can be addressed, and then that actually  
8 should be implemented in clinical practice and  
9 used.

10 As a cardiovascular epidemiologist,  
11 I prefer ITT in superiority trials and I  
12 confess that bias. I'd like to see efficacy  
13 and safety treated comparably, and they are in  
14 ITT analysis, so that you can get a good risk  
15 benefit assessment there.

16 It's important to use the  
17 randomized trial as a way to identify adverse  
18 effects and not to rely on investigator  
19 associated decisions about whether it was  
20 related to the drug.

21 Insofar as it's possible, pre-  
22 specified safety endpoints, as they arise from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety signals, need to be identified and  
2 included in clinical trials, even if they're  
3 phase four trials, and when those phase four  
4 trials are done, they need to be done in a  
5 high quality way, so that we actually have, as  
6 a practicing general internist, the  
7 information we need to make use of these  
8 drugs.

9 In terms of the outcome, I'm okay  
10 with an outcome that involves clinical  
11 judgment. That's what we do for MI trials.  
12 In a sense, we have adjudication committees  
13 that decide whether the endpoint has occurred  
14 or not.

15 It's key that it's blinded, so  
16 that's a key methodologic issue. I'd like to  
17 see the patient outcomes incorporated. I  
18 think it's difficult because it's still, in my  
19 view, something of a research activity and we  
20 don't know what some of those patient outcomes  
21 mean, quite yet, and how they relate to the  
22 other outcomes we've used.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, part of the difficulty we have  
2 here is that there's some basic clinical  
3 epidemiologic research that needs to take  
4 place.

5           Time to resolution could be a -- I  
6 have one of these symptom questionnaires,  
7 could be an attractive outcome. I would like  
8 to see placebo controlled trials. I'm not  
9 sure they belong in the FDA and in the  
10 regulatory environment. It might that the NIH  
11 needs to carve out a section of the community  
12 acquired pneumonia trials and see if there's  
13 actually a benefit there and that's an  
14 important activity. If it is, then we don't  
15 talk about placebo controlled trials anymore.  
16 That becomes the standard of therapy.

17           So, if there is an area, I'm not  
18 sure it belongs here, between the regulators  
19 and industry, to make -- to come up with that  
20 estimate at this point in time. I think we're  
21 -- the antibiotics are out of the bag. It's  
22 like devices, they're out there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           And so, I'm not sure we're going to  
2           -- that this is the place to insist on placebo  
3           controlled trials, though as Tom Fleming has  
4           pointed out, we really need that information  
5           to make intelligent decisions about what the  
6           non-inferiority margin might reasonably be.  
7           Thank you.

8           DR. GILBERT: Dr. Temple?

9           DR. TEMPLE: There's a lot going on.

10          It seems -- many of the things that have been  
11          talked about, such as better diagnostics and  
12          use of patient reported outcomes and things,  
13          don't really help you in the non-inferiority  
14          setting because you don't have any better data  
15          on what the effect on those things is, than  
16          you have anything else.

17          So, they could help you do a  
18          superiority study, but I don't hear much in  
19          the way of superiority studies being proposed,  
20          unless we can do what Bruce wants to see, and  
21          actually use placebos. All the scuttle-butt I  
22          hear is that people are not going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 willing to do that. They're barely willing to  
2 do it where there's very little evidence of  
3 benefit, such as sinusitis. I can't imagine  
4 they're going to do it here.

5           So, the most important thing, it  
6 seems to me, if we feel we need studies in  
7 mild to moderate disease, and I'll come back  
8 to that, is to see if we really can -- for  
9 example, by looking at the three day period or  
10 something like that, identify an effective  
11 treatment that is clearly larger than what a  
12 no-treatment group would get and then we can  
13 do the trials and there's no problem.

14           As people said -- I guess, Bob  
15 said, you wait until 21 days, you probably  
16 have very substantial improvement, even if you  
17 didn't have any effect.

18           But in all of these things, we have  
19 to be able to say what the drug did. The fact  
20 that people would be satisfied with a  
21 difference of five percent is of no  
22 consequence at all. They are perfectly right,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they would be satisfied with a difference of  
2 five percent and that has nothing to do with  
3 whether the drug works.

4 Our problem is to find out whether  
5 the drug works. We don't care if the  
6 difference is too small to be of interest to  
7 practitioners. We've got to know it works,  
8 otherwise we can't approve it.

9 A question that I think ought to be  
10 considered is whether you actually need  
11 information on all severities of the disease,  
12 if you had solid data. If we knew for sure  
13 that in bad disease, we could define the  
14 effect size of treatment and we had rock solid  
15 data on very severe disease, do we actually  
16 have to have information on all stages of the  
17 disease?

18 For what it's worth, in  
19 hypertension, we label drugs as lowering the  
20 blood pressure. We don't particularly worry  
21 about how severe it is. That's the clinical  
22 decision people make based on JNC-7 or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       whatever.

2                       So, that's worth thinking about.  
3       But if you could do it, it would be certainly  
4       good and you'd like to know that you really  
5       were having an effect.

6                       Just one matter on the way we look  
7       at safety.     It's true, there's usually no  
8       prior hypothesis in safety. The way that it's  
9       dealt with, however, is that we believe  
10      everything.    So, we don't cross things out  
11      because multiplicity -- if you corrected the  
12      side effect data in trials for multiplicity,  
13      you'd never have a significant finding, but we  
14      don't do that.   We put it all on the label  
15      anyway, as if it's probably true, knowing  
16      perfectly well that some of the things we find  
17      probably are not true and are the result of  
18      multiple observations.

19                      When you have a hypothesis later,  
20      then as people said, you want to design a  
21      trial that really can do some good.

22                      So, the thing I heard here that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 most interested me is the possibility that if  
2 you look early, like at three or four days,  
3 you might in fact, have very good evidence  
4 that treatment is beneficial and if we all  
5 come to be satisfied with that, I think we  
6 have an easy resolution of this problem.

7 But not everybody agrees that  
8 that's a lock, yes. I don't think John Powers  
9 is convinced yet. But we need to look closely  
10 at that.

11 DR. COX: I'll just make a few  
12 comments. I think one of things we heard  
13 earlier in some of the question and answer  
14 period, was the issue of prognosis versus  
15 benefit, and I think this issue sort of  
16 intermingled with that of enrichment and are  
17 there things that could be done in the mild to  
18 moderate community acquired pneumonia  
19 population to further get a population where  
20 there might be more benefit.

21 I recognize in part that that's  
22 conceptual because the question is then, how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do you understand what the benefit is in that  
2 group, which is one of the challenges I think  
3 we're all struggling with.

4 With regards to superiority trials,  
5 I think we've heard a lot from a number of  
6 folks there and it does sound like it's an  
7 area where it would probably be fairly  
8 difficult for most anti-microbials to show  
9 superiority, unless there is development of  
10 newer endpoints or different timings of  
11 assessment, that may help to discriminate one  
12 drug from the other. But it does seem that  
13 it's -- the demonstration of superiority would  
14 be a real challenge.

15 Then beyond that, the comments on -  
16 - we heard some about looking earlier -- this  
17 gets to the issue of timing of assessment, and  
18 certainly, there's more to be done to look  
19 there, to see if there is the possibility that  
20 that might be an endpoint that may help us to  
21 further understand treatment effect.

22 Then, again, a point that's been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 made from the discussion so far, we've heard -  
2 - and understandably so, because it's  
3 difficult to understand which patient, even  
4 with mild to moderate disease, might progress.

5 The issue of a trial where patients were to  
6 get a placebo -- from the comments we've heard  
7 today, I think a number of folks have  
8 expressed some degree of concern over that  
9 because of the possibility that some of those  
10 folks might progress and that's an  
11 understandable consideration, and those are  
12 the comments I have. Thanks.

13 DR. FLEMING: Thank you. Just to  
14 begin, thanks to all for what has been an  
15 extremely informative day, lots of issues out.

16 What we wanted to do was to try to get all  
17 sides of the arguments out, and at least, we  
18 made an attempt that got us, at least, part  
19 way there.

20 My own sense here is what's --  
21 where to start here is, what is the endpoint?

22 What's the active comparator? What -- that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to have so much influence on the design,  
2 and we've heard the discussion about this from  
3 many people, Dave Gilbert, Karen Higgins, Tom  
4 File, are amongst those who have given a lot  
5 of specific insights about that.

6 What I've heard quite uniformly  
7 from the collective presentations are that a  
8 clinical measure, a measure that unequivocally  
9 reflects tangible benefit is one that's quite  
10 strongly supported.

11 Now, there's not a single proposal  
12 for what that would be, but the kinds of  
13 components or aspects of that, that I'm  
14 hearing are resolution of key symptoms,  
15 symptoms such as cough, shortness of breath,  
16 chest pain, returning to work, usual  
17 activities, and of course, issues like  
18 hospitalization mortality, but those are  
19 unlikely to occur in a mild setting.

20 Time to those events certainly  
21 provides a great enhanced sensitivity. If  
22 we're looking at a scenario where 90-odd

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 percent of people will have resolution, seven  
2 to 21 days after end of therapy, then  
3 certainly a time to event is going to give you  
4 an enhanced sensitivity.

5 There's a lot of exciting  
6 discussion about PRO's and I strongly am  
7 intrigued by that and supportive of that.

8 A key point here is what does it  
9 have to be then? What's the control arm, to  
10 show an effective intervention on one of those  
11 measures? Without question, the most reliable  
12 interpretable data would come from a study  
13 that would show superiority.

14 Can we do a non-inferiority trial?

15 I guess one point that needs to be made up  
16 front is, there's no such thing as a non-  
17 inferiority margin that would apply to all  
18 endpoints and all comparator arms. Each  
19 separate combination of comparator arm and  
20 endpoint needs to have a separate  
21 justification for a non-inferiority margin.

22 I've heard only one, it came from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Roger. It came from his interesting  
2 discussion today about one possible way of  
3 justifying a non-inferiority margin that would  
4 be data based.

5 It's an interesting argument, but  
6 one that I'd say is pretty fragile and does  
7 need to be explored. It is certainly worthy  
8 of exploration, does need to be explored in  
9 much greater depth. It seems to be based on  
10 the argument that if you've got 35 percent of  
11 your population here, that are the CAP with  
12 atypical pathogens, that these are people that  
13 would do very badly without anti-microbial and  
14 will do extremely well with anti-microbial.  
15 Is 35 percent the right fraction in our  
16 trials?

17 The argument that he was giving is  
18 the non-successes aren't necessarily all in  
19 that group, but if we put them all in that  
20 group and thirdly, if we allowed for the  
21 possibility that more than 10 percent of those  
22 people would, in fact, have a response in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 modern day age on a placebo, these are all  
2 issues that haven't been considered in that  
3 argument and would greatly erode what you  
4 would come up with, with the non-inferiority  
5 margin.

6 But just on this last point, how  
7 strong is the evidence that those patients  
8 that are CAP with typical pathogens would, in  
9 fact, be people in today's era and today's  
10 interventions and today's assessments, that  
11 would, in fact, be failures according to our  
12 endpoint? It's based on the Bullowa data from  
13 1937.

14 The patient selection issues are  
15 different. Patients are different then from  
16 what we're looking at now. The supportive  
17 care is clearly different then from what it is  
18 now. The definition of the endpoint is  
19 certainly not necessarily consistent then from  
20 now.

21 We're looking at test of cure,  
22 seven to 21 days post-treatment. Well, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was 21 days post-treatment in the Bullowa  
2 data, in terms of that long term outcome? Is  
3 it really going to be 10 percent or might it  
4 truly be something much more substantial?

5 So, when all of this is coming  
6 together, this is an issue that I think is  
7 worthy of further exploration, but there are  
8 an awful lot of issues that are fragile here,  
9 around what is, to my way of thinking, the  
10 only data that's been put forward to justify  
11 an non-inferiority margin on some endpoint, an  
12 endpoint that in fact, might not even be the  
13 one that many of us would in fact, view to be  
14 the most preferred endpoint.

15 So, bottom line is, the clinical  
16 endpoints that are being suggested here are  
17 intriguing. None of them, with the exception  
18 of one possible exception, has had anything  
19 put forward that would justify what a non-  
20 inferiority margin would be.

21 Clearly, we will have a much  
22 clearer sense of benefit with the superiority

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial. Going non-inferiority is, as is always  
2 the case, a treacherous way to try to  
3 understand whether you're getting true,  
4 favorable clinical -- true favorable benefit  
5 to risk.

6 DR. GILBERT: The Infectious Disease  
7 Society got into this because of our concerns,  
8 obviously, for approval of safe and  
9 efficacious drugs, and also, by the decreasing  
10 number of drugs that are in the pipeline.

11 Discussing this with colleagues at  
12 the FDA and the industry, the feedback was  
13 there was uncertainty and we've heard a whole  
14 day, trying to address that uncertainty, and  
15 I'm hoping that we're getting closer to  
16 reducing the levels of uncertainty, so as to  
17 continue or spark the interest of industry in  
18 developing new drugs.

19 So, one thing I've heard in the way  
20 of uncertainty today is, placebo controlled  
21 versus no placebo controlled, and as -- if I  
22 put on my clinician hat, I can certainly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand that. I can understand the anxiety  
2 about not having a drug on board that was  
3 active against the pneumococcus.

4 I cannot see any trial being  
5 approved, at least in our Institutional Review  
6 Board, unless you could at least cover that  
7 bonafide pathogen, and we heard from Tim, that  
8 we're probably underestimating how much mild  
9 community acquired pneumonia has pneumococcus  
10 included.

11 So, I think we need to get  
12 innovative, in terms of clinical trial design.

13 One way might be a placebo controlled trial  
14 with a rescue arm, if the patient is failing  
15 after two days or three days, whatever the  
16 appropriate time interval is, and then you can  
17 implement a drug that has activity against the  
18 pneumococcus.

19 I'm pretty comfortable in not  
20 having an active drug against mycoplasma and  
21 chlamydia, pneumonia. They cause morbidity,  
22 but they're not life threatening infections.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Another possibility, which I  
2 mentioned to Tom -- I have to point out that  
3 Tom and I have had some very spirited  
4 discussions in the weeks leading up to this  
5 event, is that nobody has suggested a three  
6 arm trial, and I'm sure industry will now  
7 shudder and throw something at me, but if you  
8 had one arm, which was penicillin or  
9 ampicillin versus placebo, another arm that  
10 was penicillin plus macrolide, and if you had  
11 a third arm, which was your new drug, you  
12 would sort of cover all the bases. We'd learn  
13 a hell of a lot.

14                   I mean, you'd have activity against  
15 the pneumococcus and no activity against the  
16 atypicals. In the second arm, you'd have  
17 activity against the atypical and the  
18 pneumococcus, even if the pneumococcus was  
19 resistant to the macrolide, and then you'd  
20 have whatever the study drug was.

21                   I'm not going to reiterate what  
22 everybody said about patient reported

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 observations, etcetera etcetera.

2 Safety comments, one of my dreams  
3 is that one of the drug companies or multiple  
4 drug companies would truly cooperate in a very  
5 prospective way with the diagnostic companies  
6 and I mean, that seems to me, to be a win/win  
7 situation for everybody, but in particular,  
8 the patient population.

9 Lastly, I think we've got to get  
10 more active looking for post-marketing adverse  
11 effects. Finally, I'm -- I don't want to get  
12 too emotional here, but I'm at -- I feel  
13 abhorred by the fact that we're still doing  
14 passive monitoring.

15 We have incredible electronic  
16 connectivity with the world. The  
17 pharmaceutical industry knows that every time  
18 I prescribe a drug, every time Dan Musher  
19 orders something they know it.

20 Why can't we have a sampling of  
21 users on -- a very focused sampling saying,  
22 "Have you observed any unusual adverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 effects," on a prospective basis, instead of  
2 waiting until some huge outbreak occurs of  
3 livers that don't work and so on and so on.

4 Then the last thing is resistance.  
5 The only thing George and the others didn't  
6 mention -- I guess they sort of mentioned it  
7 in passing. We ought to be looking for  
8 development of resistance during clinical  
9 trials, rather than after clinical trials,  
10 routinely, absolutely.

11 DR. SPELLBERG: Well, I also got  
12 interested in this and became involved through  
13 the AATF and my boss, Jack Edwards, has a  
14 thing about emphasizing that the antibiotic  
15 problem is no one's fault. When I say the  
16 problem, the fact that we're getting less and  
17 less of them. It's not anybody's fault.

18 The relative parties involved in  
19 this process are all looking after,  
20 appropriately, what they're suppose to be  
21 doing and unfortunately, the result is this  
22 societal conundrum, where we need to maintain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety and efficacy standards, but we do need  
2 to have new drugs. We desperately need to  
3 have new drugs.

4 So, I'll go back to a point that  
5 Dr. Bradley made earlier today, and I think  
6 that the statistical discussion has been  
7 phenomenal, and I'm somewhat awed by the  
8 brain-power on this side of the table over  
9 here, with respect to that, and these issues  
10 are critically important, of course, but I  
11 think we do need to find a balance between  
12 what's practical and achievable and what the  
13 statistical evidence will support in a trial.

14 I am encouraged that some of the  
15 ideas that Roger brought up might be promising  
16 and agree, they should be vetted more  
17 thoroughly, but maybe that's the direction we  
18 need to go.

19 DR. LAESSIG: Sure, I'll take a  
20 brief moment to comment. For those of you who  
21 don't know me, I'm Katie Laessig, the Deputy  
22 Director in the Division of Anti-Infective and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ophthalmology Products FDA, and it's been a  
2 fascinating day.

3 I have a few things to say, they  
4 are my opinions only, and I'm going to limit  
5 my comments to mild pneumonia.

6 At this point, I don't feel that  
7 we've adequately described the treatment  
8 effect in mild pneumonia. Therefore, either  
9 we have to somehow extrapolate from moderate  
10 to severe, which is the topic of tomorrow's  
11 discussion, or find the will to conduct  
12 placebo controlled trials.

13 I don't agree that they are  
14 necessarily unethical. I think a carefully  
15 conducted trial and carefully selected  
16 patients, with scrupulous monitoring -- and as  
17 Dr. Gilbert mentioned, perhaps an early escape  
18 might be possible.

19 I also feel that, you know, the  
20 assertion that it's unethical just because we  
21 believe that there is a treatment effect, even  
22 though we don't necessarily know what it is,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 does not hold a lot of water with me because  
2 you may be prescribing antibiotics for  
3 something for which patients are not  
4 benefiting and it is contributing to anti-  
5 bacterial resistance, and that's it.

6 DR. ECHOLS: Yes, thank you. I'm  
7 going to jump around just a little bit,  
8 because I don't want to be redundant. I just  
9 wanted to start by saying, industry, as much  
10 as it may be apparent otherwise, is not  
11 resistant to new ideas, is not resistant to  
12 new clinical trial designs.

13 The issue has to do with, what are  
14 you going to get at the end of the day?  
15 What's the risk of these new study designs?  
16 To jump from one pan to another, without  
17 knowing what's in between, I think, is still  
18 why industry is very conservative.

19 What I mean by that is, it's not so  
20 much that we don't want to have PRO's. We've  
21 incorporated PRO's into our sinusitis study,  
22 into our AEBC trial, we just don't know how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they're going to come out, and this was  
2 illustrated, I think, beautifully and these  
3 data were presented, we took the PRO for acute  
4 otitis media, that was developed by the group  
5 in Pittsburgh, Hoberman, et. al., and we  
6 implemented that PRO in our phase two trial.

7           However, our phase two trial  
8 introduced something else, and that was  
9 tympanocentesis, and the fact that you were  
10 sticking a needle in the ear of the kid at  
11 baseline, all of the PRO scores went `sssss',  
12 in the first eight hours.

13           So, it totally obliterated the  
14 value of the PRO because we introduced  
15 something that was required from a diagnostic  
16 point of view.

17           So, there is just a lot of  
18 variables that we don't understand. So, I'm  
19 all for introducing PRO's, but you can't make  
20 the jump a priori that this will be a good way  
21 of either demonstrating superiority or  
22 demonstrating -- even what the correlation is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with other outcome measures.

2 I will never be involved in a  
3 placebo controlled trial in CAP. And that's  
4 my experience and unless we can eliminate the  
5 possibility of pneumococci, I wouldn't do it,  
6 and if I eliminated the possibility of  
7 pneumococci, I wouldn't want to do it. So it  
8 makes no sense to me to try to show  
9 superiority over placebo. It's not just an  
10 ethical issue to do the study and design a  
11 highly selective group of patients, are not  
12 the type of patients I want to get labeling  
13 for. Makes no sense.

14 Again, I think the issues of  
15 validating PRO's, there are two steps in  
16 validating PRO's. One is all the construct  
17 validity and are the questions reproducible,  
18 and do people understand them and all the rest  
19 of that. But then you have to see how  
20 sensitive of a measure are they in clinical  
21 trials. And we don't have that information  
22 and so to try to design and say it's a more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensitive way of showing a difference between  
2 two active treatments. I think again, we  
3 can't assume that that's going to happen. We  
4 should be investing in our clinical trials, we  
5 should be incorporating new outcome measures.

6 But we can't take those until we know what  
7 they really show.

8 Finally, I think we really can make  
9 our clinical trials better. I've been  
10 involved in these things as an investigator,  
11 since 1979 and on the industry side, since  
12 1989.

13 An awful lot of our studies, I  
14 would say, are just awful, in terms of patient  
15 inclusion, what are we really looking at? I  
16 have no qualms. I'm not debating the fact  
17 that there's a lot of background noise and  
18 when you apply that in a non-inferiority  
19 setting, you don't know what you have at the  
20 end of the day.

21 But what I do think is that there  
22 is a treatment effect in typical bacterial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pneumonia, and I think what we should be doing  
2 -- our effort should be -- at least, if you  
3 want to develop a drug for the treatment of  
4 pneumococci or Haemophilus or Moraxella, we  
5 should be focusing on how you select patients  
6 that truly have bacterial pneumonia, and you  
7 can do that with improved clinical inclusion  
8 criteria. You know, require fever, require  
9 sputum.

10 Now, you're going to eliminate some  
11 patients, because not everybody has fever, not  
12 everybody is able to produce sputum, but  
13 you're more likely to get rid of some of the  
14 background noise if you're more restrictive in  
15 your inclusion criteria.

16 And then the better diagnostics, I  
17 think can help, but right now, they're not  
18 ready for inclusion/exclusion criteria. They  
19 might be good for post-hoc analyses, but  
20 they're not there for screening purposes with  
21 rapid turnaround, where patients are enrolled,  
22 particularly in mild to moderate pneumonia,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 and heaven forbid, you not start treatment  
2 within four hours of that patient hitting the  
3 emergency room, because then you'll get a  
4 demerit on your scores as a clinician and your  
5 hospital gets demerits as well.

6 DR. GILBERT: Thank you, Roger.  
7 George?

8 DR. TALBOT: Yes, I have comments in  
9 three areas. The first relates to our  
10 definition of severity, the second relates to  
11 the treatment effect and what we know about it  
12 and the third relates to answering your  
13 questions. So, I thought I'd leave that until  
14 last.

15 With regard to severity, I want to  
16 reiterate some of the points that I tried to  
17 make, perhaps not in a very articulate fashion  
18 at the beginning.

19 Our discussion of design and  
20 enrollment criteria has been framed, I think,  
21 in two different ways and this follows on what  
22 John Powers was talking about, and two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different perspectives regarding the patient  
2 populations that are suitable for study, to  
3 answer this question.

4           The first perspective is the  
5 clinical care approach and the words we see  
6 around that are requiring hospitalization.  
7 Things that we've mentioned are somewhat vague  
8 and subjective.

9           The second approach, which I think  
10 is needed if we're going to answer some of the  
11 questions we've asked here today, is the  
12 approach of what do we need for clinical  
13 research? I think we still need to be more  
14 precise and more accurate in our definition of  
15 severity, within what we know. The best  
16 example I could give is why are we continuing  
17 to lump mild and moderate. I'm not even sure  
18 that one person could say mild -- that a  
19 patient might be mild or moderate, who knows?

20           So I think we need better validated tools for  
21 defining severity before we do these studies.

22           One could say what about the PSI?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well that's a wonderful tool, but I was  
2 thinking what John Retts mentioned as well,  
3 this was derived to predict whether therapy  
4 could be given as an inpatient or an  
5 outpatient. So they all receive therapy. So,  
6 as a result, I don't think we really know what  
7 mortality would be or what morbidity would be  
8 in any of the PORT classes in 2008, for sure.

9 We can guess that one, two, and three might  
10 be pretty low in terms of mortality, but I  
11 don't know that the inflection point,  
12 untreated, in mortality, is the same as the  
13 inflection point treated, so in treated, the  
14 inflection point is after three. In  
15 untreated, it might be after two. And you  
16 also might progress from one to two to three.

17 So I have a great deal of concern about using  
18 the PSI score alone. So I think a potential  
19 solution there is to use, as we discussed  
20 today, the PSI plus some other characteristics  
21 and/or diagnostic tests that would give us a  
22 much more precise estimate of severity of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 baselines, so we know who's being enrolled.  
2 So maybe PSI plus frail plus PCT. So I think  
3 that that's essential if we're going to answer  
4 the question in a scientific way, especially  
5 if we're going to go into a potential  
6 superiority study.

7 Now, in terms of the treatment  
8 effect, I don't think I'm going to be sitting  
9 here tomorrow, so I'm going to get my two  
10 cents in. For severe typical pneumonia, I  
11 think that there is a treatment effect that's  
12 appreciable and supports a Delta of 10  
13 percent, and that's based on data from the  
14 pre-antibiotic era, the animal data we've  
15 referred to, the high mortality that's seen in  
16 "severe patients." I think that an NI  
17 approach in carefully conducted studies, is  
18 appropriate for those patients.

19 For mild disease, whatever we  
20 define that is, I would say that yes, there  
21 probably is a treatment effect, but it's  
22 really hard to tell what it is and I would say

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it's probably reflected primarily in time  
2 to resolution and so, if we're going to study  
3 it, we would want to see, okay, does it take  
4 three weeks to get better without antibiotics  
5 or versus two days with.

6 So, there, it's possible we could  
7 define an NI margin. It's possible that might  
8 work, but I'm uncertain on that, and then  
9 that's my intellectual honest truth. But we  
10 need an answer fast.

11 Finally, what about designs for  
12 mild CAP? Well, first of all, we have to be  
13 sure that it's mild, to begin with, and so,  
14 I'm willing -- since I'm not sure about an NI  
15 margin, to entertain the possibility of  
16 superiority trials, and that takes us down, do  
17 we use placebo or do we use active?

18 With our current state of  
19 knowledge, I have real reservations about  
20 using placebo-based superiority trials, even  
21 in mild, I think we need more information  
22 there and maybe take it step-wise. If you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 took a set in the mildest of CAP, the mildest  
2 of the mild, and as Dave mentioned, well there  
3 may be an early escape, in a hospital setting,  
4 might do that. But I don't know that that's  
5 Pharma that should do that. I think that  
6 might be NIH, for example.

7 So, I'm not ready to go to placebo  
8 in mild, except maybe in that very specific  
9 setting and maybe not by those around the  
10 table.

11 An alternate approach could be to  
12 do an active study in mild and maybe there,  
13 you do two things. You do active for three  
14 days versus five. You do active for two days  
15 versus five or seven. Some of those things  
16 have already been done, but that might give  
17 you a window on the importance of time, as  
18 we've discussed.

19 It might also be possible to not  
20 use an escape approach, but to do active at  
21 base line in one, and then active after 24  
22 hours in the control arm, or something like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, with the patient hospitalized for that  
2 first 24 hours.

3 So, I think we do, as Tom  
4 mentioned, need to think creatively about ways  
5 to answer that, but the people who do that  
6 study may not be in this room.

7 DR. GILBERT: Thank you, George.

8 DR. BRADLEY: Most of my comments  
9 are going to relate to pediatrics, but  
10 certainly, there's a lot overlap with adult  
11 considerations.

12 In society and by the FDA, children are  
13 considered a vulnerable population. So, how  
14 we view them is a bit different for clinical  
15 trials than adults are viewed.

16 Like others have said, I think  
17 entry criteria into these studies really need  
18 to be tighter for pathogens, and it's nice to  
19 know that there are new diagnostic techniques.

20 In children, it's reported that 90 percent of  
21 community associated pneumonia is viral, so  
22 it's even more critical with children to know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if you're really treating a bacterial pathogen  
2 or not, and we generally don't do lung taps in  
3 children. So, those sorts of invasive  
4 procedures are frowned on by IRB's. So, there  
5 has to be other ways that we can be creative  
6 to get a diagnosis.

7 In addition, you want to make sure  
8 that only those children who have the real  
9 disease get exposed to investigational drugs,  
10 to limit unnecessary toxicity to children.  
11 You don't want to be exposing children with a  
12 viral pneumonia to a potentially harmful  
13 antibiotic.

14 I believe somehow, that non-  
15 inferiority trial designs need to be the basis  
16 for drug approvals and I know that that's a  
17 difficult concept and we've talked around that  
18 a lot. I'm reluctant to use a placebo in a  
19 drug, in a trial that's looking for approval  
20 of a drug.

21 Certainly, there are places in the  
22 world, say, for otitis media, where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 antibiotics are not used initially and there  
2 may be places that the NIH can perform  
3 studies, where the ethics of not using an  
4 antibiotic for pro-calcitonin less than .25  
5 might actually be effective and the NIH has  
6 funded studies in Scandinavia that we use for  
7 drug approvals for pediatrics. So, that's a  
8 possibility, where you can get natural history  
9 information on mild, moderate and severe CAP,  
10 or at least mild, where it's ethically  
11 feasible.

12           The NIH would be a place, if you  
13 wanted to study that in the United States,  
14 that I think would be the more appropriate  
15 funding source and they, indeed, right now,  
16 are funding a study in children over two years  
17 old with otitis media in a placebo controlled  
18 trial in Pittsburgh.

19           So, the concept that we can get  
20 information from the NIH, as opposed to from  
21 the pharmaceutical industry, I think, is a  
22 very relevant one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I believe also, to focus on -- if  
2 we're doing non-inferiority, to focus on what  
3 the appropriate Delta is and there's been a  
4 lot of discussion on that, and to define what  
5 a meaningful benefit is and we've been through  
6 this argument in pediatrics, what an  
7 epidemiologist believes is a meaningful  
8 benefit will be different than the regulators,  
9 will be different than physicians taking care  
10 of patients, will be different than the  
11 parents of the children.

12 Is a half a day or a day  
13 improvement, in a natural history of a disease  
14 that's four or five days, enough for you? And  
15 the parents will all say yes, and  
16 epidemiologists will say no, so there's got to  
17 be some consensus of what society wants.

18 Also, we tended to use the adult  
19 Delta for pneumonia for pediatrics and I don't  
20 know that that's the right thing, because --  
21 kids are smaller, we need a smaller Delta?

22 (OTR comments)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BRADLEY: The five year old will  
2 probably have a -- more -- a faster  
3 spontaneous resolution of pneumococcal disease  
4 than a 30 or a 50 year old. I don't know  
5 this. It's biologically plausible. It needs  
6 to be tested. A six month old may take  
7 longer than a five year old. A two year old,  
8 where does the two year old fit in?

9 And to ask industry to do 2,000  
10 patient trials at a six month old, and a two  
11 year old, and a five year old just are not  
12 feasible. Maybe the NIH can fund that study.

13 There is also an interesting source  
14 of information to look at what an appropriate  
15 Delta is and what the natural history of  
16 untreated disease is, that may be in the FDA's  
17 database.

18 While I was on the Anti-Infective  
19 Drug Advisory Committee, we're certainly aware  
20 that antibiotic approvals come to the agency  
21 and all that information is highly  
22 confidential, kept at the agency and not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 public, until it's presented and not all the  
2 data is presented.

3           If the drug doesn't work, the  
4 company doesn't want to come in front of the  
5 public and say, "Our drug didn't work." Yet  
6 those data are likely to be at the FDA. So if  
7 there's a company that picked a wrong dose, so  
8 that there's no effect, that could be closer  
9 to a placebo effect. And in that trial we may  
10 actually have some information hidden that  
11 gives us more insight into what a placebo will  
12 do. And I know there's going to be issues of  
13 confidentiality and that the data will have to  
14 be put together in such a way that that drug's  
15 not named, but there's got to be an incredible  
16 amount of data within the agency that's not in  
17 the public domain that can actually help us  
18 figure out a Delta so that we can improve on  
19 mortality, as well as morbidity.

20           Finally, one other piece of  
21 information, we were part of a levofloxacin  
22 CAP protocol and of course, the fine criteria

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are for adults, so we put together a fine  
2 criteria -- a modified fine criteria for  
3 children, which of course, is not validated.  
4 But for children under five years of age, we  
5 had as a comparator, amox/clav and -- which  
6 has no ostensible activity against Mycoplasma,  
7 and in this era of pneumococcal vaccine for  
8 children, we had very few pneumococcal  
9 pneumonias. Most of our pneumonias, even in  
10 kids under five years of age, were Mycoplasma.

11 And if you look at the efficacy of amox/clav  
12 and levofloxacin, they were the same. And  
13 this was just published a few months ago. But  
14 this is in kids under five.

15 Now, is this relevant to the 18  
16 year old, the 30 year old, the 50 year old, I  
17 don't know, but it's very interesting  
18 information. Thanks.

19 DR. GILBERT: Thank you. John, last  
20 thoughts.

21 DR. POWERS: I'd like address some  
22 of these issues about putting together the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence for looking at whether a non-  
2 inferiority trial makes sense in this setting.

3 But I'd like to start off with two general  
4 points.

5 It often seems stated that we come  
6 back to this issue of trying to split out  
7 statistical and clinical issues, and in fact,  
8 appropriate clinical trial design is not  
9 merely a statistical issue. Statistics is a  
10 way of evaluating the precision of what you're  
11 actually looking at, and when I look through  
12 this pile of information from the old studies,  
13 there is very little statistics in this,  
14 actually. It's mostly case descriptions of  
15 what happened to people in the past.

16 The second issue is, we've talked  
17 about risk to patients and obviously, it's  
18 important to talk about risk of not giving a  
19 drug, but there's also the risk of giving a  
20 drug, when we're unclear of the effectiveness  
21 of that drug and we may all choose to believe  
22 something, but science isn't based upon

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 beliefs. It's based upon what that actual  
2 evidence is.

3 The third thing is that one of the  
4 other scientific tenets, and I think, Tom, you  
5 showed it in one of your slides. You had a  
6 single line for the effective placebo, but  
7 underneath it, you wrote 'meta-analysis of  
8 effects', and that means looking at the  
9 totality of the evidence that's out there, not  
10 picking perhaps, one of the studies that we  
11 like the best and evaluating that one.

12 So, I can pick up this one from  
13 Davies in 1935, where they say it's important  
14 to keep in mind, the probable benign course in  
15 a large proportion of the cases. The not  
16 infrequent early crisis, and the youth of the  
17 average patient, we based our impressions upon  
18 relief of symptoms, the fall of temperature,  
19 the day of crisis and the speed of resolution  
20 and the incidence of complications.

21 So, try to find out what the  
22 percentages are in this paper? You can't.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's impossible. So, looking at that, then  
2 what we're really doing in the absence of  
3 placebo controlled trials is, we're trying to  
4 evaluate what you can call historically  
5 controlled trials and relate them, in a  
6 historical way, to another historical  
7 controlled assessment, which is the non-  
8 inferiority trial today.

9 So, what does E-10 say about where  
10 can you use historically controlled data in  
11 the most rational place? It's one, when you  
12 have objective endpoints and you're very clear  
13 on what those effects are in a reliable and  
14 reproducible way.

15 So let's take Bullowa, who looks at  
16 sustained or substantial improvement, and how  
17 do we relate that to what we're measuring in  
18 today's trials? I would argue that we don't  
19 know what either one of them means. We don't  
20 know what Bullowa was using as an outcome  
21 assessment, neither are we clear today on what  
22 a clinician's judgment that the person doesn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 need any more drug means today.

2           So we also know there are several  
3 studies that actually look at, historical  
4 controls on average underestimate the effect  
5 of the control in the historical evidence. So  
6 we're widening that by the mere fact that it's  
7 historical, and Steve Snapinn has a really  
8 good article on what are some of the issues  
9 with our non-inferiority trials. All the data  
10 we saw that Karen Higgins presented, all comes  
11 from non-inferiority trials, where people may  
12 overestimate the effects of drugs because they  
13 know, even in a blinded trial, that everyone's  
14 getting an active intervention. Which may  
15 make them code, even borderline cases, say "Oh  
16 I know he's getting an active something, so he  
17 must be ok."

18           The other issue is that in our  
19 current trials, there is no requirement that  
20 someone be designated as a failure at day  
21 three at all. If you are designated as a  
22 failure at day three, you're carried forward.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But someone could get better on day five,  
2 six, or seven because most of our trials have  
3 10 to 14 days of treatment and still be coded  
4 as a success. Therefore, if we compare a  
5 point estimate of effect today to a point  
6 estimate of placebo in the past, we're  
7 comparing a longer duration for today's  
8 current trials to a three day assessment of  
9 placebo in the past. All of which makes this  
10 all very problematic to be able -- Do I wish  
11 there was evidence for this? Absolutely. I  
12 didn't spend time reading this pile trying to  
13 not find anything, we're actually trying to  
14 look for it. And I agree with Bob, I actually  
15 think that the most rational thing here is why  
16 don't you study severe disease where we know  
17 there's an effect, and then actually show that  
18 your drug is effective in that setting.

19 Roger brought up a really good  
20 point. We have this idea that everybody needs  
21 to get intravenous therapy for severe disease.

22 But in fact, we have very little evidence to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 support that assertion at all. And most of  
2 these drugs had great bio-availability where  
3 the oral drugs could be studied in that  
4 setting as well.

5 The other issue I want to talk to  
6 you on, on placebo controlled trials and  
7 superiority trials. Janet Wittes came to NIH  
8 a couple of weeks ago and she gave a talk on  
9 the womens' health initiative study that  
10 compared estrogen and progesterone to placebo.

11 She told me something I didn't know. And  
12 that is that the August Institute of Medicine  
13 called that trial unethical, overly expensive,  
14 and inefficient before it started, and they  
15 had to do a lot of wrangling to even get that  
16 trial off the ground. And that trial had  
17 something like 27,000 people in it. It was  
18 enormous, that trial. But Janet said  
19 something that was very interesting. She  
20 said, "You know when they asked me to be on  
21 the Data Monitoring Committee for this, I  
22 thought I already knew the answer too. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 only problem was, I knew the wrong answer."  
2 So the question is, if people believe from 30-  
3 some odd observational studies that hormone  
4 therapy had an effect in that setting. And  
5 what I get kind of discouraged about is some  
6 of the evidence I've showed you that in the  
7 past, it was ID trials that actually moved the  
8 whole science forward. And now I hear us  
9 insisting on belief instead of evidence and  
10 saying we can't do these.

11 So how can we do this? E-10 also  
12 talks about where in a more severe disease --  
13 what could you do in that setting that's a  
14 superiority trial and it talks about dose  
15 response trials as an option in that  
16 particular area. Paul Ambrose is going to  
17 show you some data tomorrow. And Paul I don't  
18 want to steal your thunder on this, but also  
19 it gets to the issue of when Paul is going to  
20 show this that if you extrapolate to what  
21 people who had exposures of zero in their  
22 bloodstream would be in a current trial, it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comes out about 75 percent. That's a far cry  
2 from 1.3 percent, isn't it?

3 So that actually makes you think,  
4 well how can we compare yesterday to today.  
5 Now that's an extrapolation where you're just  
6 drawing the line, so that's got its own issues  
7 as well.

8 But the other issue before we leave  
9 placebo controlled trials behind as well,  
10 there's the issue of, what is the cost of  
11 failure? I like Dave's idea of, if you're  
12 going to do a placebo controlled trial with an  
13 early escape, what would happen to people? We  
14 heard all morning that the mortality in people  
15 with mild disease is next to nothing. Right?

16 And that's not at a two day assessment  
17 either, that's further out. The Pneumonia  
18 Severity Index used 30 days as the mortality  
19 in that.

20 What would happen to people if you  
21 withheld therapy for two days? They'd cough  
22 for two days more? So, again, if we knew what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the effect was at two days, you wouldn't want  
2 to expose people to that harm. But in this  
3 setting, we wouldn't be exposing people to  
4 that much of a problem if we then just did  
5 that delayed therapy as well.

6 Last point is, I heard several  
7 times when I was at FDA, something that really  
8 bothered me about, well if it's a superiority  
9 trial, we don't have to worry about the other  
10 design issues of whether the person has the  
11 disease, etcetera, because it's a superiority  
12 trial, and the risk is on the sponsor if they  
13 don't do it very well. That's true, but the  
14 risk is also to the subjects who volunteer for  
15 the study, and if it's a superiority trial  
16 that tells us the wrong thing by a mis-  
17 classification bias or whatever, we then  
18 expose a lot of people.

19 Even bigger problem, if it does  
20 show an effect, how's the next non-inferiority  
21 trial going to be designed? Just like that  
22 trial. So we carry forward those errors and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       flaws in those trials.   So I think if we're  
2       going to do superiority trials, we have to  
3       make sure it's not just about a margin, we  
4       have to make sure those trials are done in a  
5       rigorous way.  I'll stop there.

6                   DR.  GILBERT:  Thank you, John.  
7       Well, we've been making suggestions about the  
8       NIH all day.       Dennis, you're our NIH  
9       representative.  Your thoughts.

10                   DR.  DIXON:  I have very little to  
11       add to the comments that have already been  
12       made.  I believe that the limitations of non-  
13       inferiority trials have been presented very  
14       thoroughly and in my view, convincingly.

15                   One of the issues that comes up --  
16       and the only thing that I'll offer a comment  
17       on at this point is that, in trying to specify  
18       a margin, if it is true that the standard is  
19       going to be a 95 percent complete response  
20       rate, what should -- then allowing a 10  
21       percent absolute margin, that looks to me more  
22       like a 200 percent increase in the failure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rate, from five percent to 15 percent.

2 So, I wonder if we shouldn't really  
3 be talking about relative changes in  
4 discussing the margin and then, the 10 percent  
5 wouldn't look like such a modest margin.

6 I think that I've learned a lot  
7 today. It's been very stimulating. This is  
8 an area in which there are challenges that I  
9 haven't encountered in working primarily over  
10 the last several years in HIV disease, with  
11 the problems in identifying exactly what the  
12 study population is, because you don't know  
13 exactly what the pathogens are for all the  
14 volunteers at the time of entry, nor is it so  
15 obvious what the study population you would  
16 like to use, in order to do the most efficient  
17 clinical trials.

18 These are all -- there's always a  
19 trade off in here. No matter how you design  
20 the trials, there is eventually going to be  
21 some extrapolation required, to go from the  
22 clinical research to the practice of medicine,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 because not every possible patient type will  
2 be represented in a clinical trial.

3 But there just needs to be a clear  
4 understanding, I think, of some of these  
5 trade-offs. How much do you want to build in  
6 a prospect of the need to extrapolate versus  
7 the limitations of trying to very narrowly  
8 define the patient population in any  
9 particular study.

10 DR. GILBERT: Thank you very much.  
11 I want to thank the panel and the audience.  
12 You've been patient. You've been involved.  
13 You haven't been hesitant to express your  
14 feelings, that's clear.

15 I can only promise you that  
16 tomorrow will be better. We'll start exactly  
17 at 8:00 a.m. because our goal is to get you  
18 out of here by 4:30 p.m. at the latest  
19 tomorrow. That means we have to start early.

20 We do have reservations downstairs,  
21 for those people that are staying in the  
22 hotel. I think we said we were going to be 25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or 30 people. That's a very flexible number.

2 We hope you'll join us. Very informal, no  
3 formal agenda. See you tomorrow.

4 (Whereupon, the foregoing matter  
5 concluded at 5:42 p.m.)

6

7

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701