

ajh

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIVIRAL DRUGS ADVISORY COMMITTEE MEETING

TOPIC: REGULATORY UPDATE FROM

THE DIVISION OF ANTIVIRAL DRUG PRODUCTS, CDER, FDA

OPEN SESSION

Tuesday, July 14, 1998

8:30 a.m.

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.

Washington, D.C. 20002

(202) 546-6666

Holiday Inn Bethesda
Versailles I and II
8120 Wisconsin Avenue
Bethesda, Maryland

PARTICIPANTS

Scott M. Hammer, M.D., Chairperson
Rhonda W. Stover, R.Ph., Executive Secretary

MEMBERS

Wafaa El-Sadr, M.D., M.P.H.
Judith Feinberg, M.D.
John D. Hamilton, M.D.
James J. Lipsky, M.D.
Henry Masur, M.D.
Roger J. Pomerantz, M.D.

GUEST CONSUMER REPRESENTATIVE

Joseph S. Bertino, Jr., Pharm.D.

FDA

Debra Birnkrant, M.D.
Therese Cvetkovich, M.D.
Heidi M. Jolson, M.D.
Andrea Masciale, Esq.
Diane Murphy, M.D.
Jeffrey Murray, M.D., M.P.H.
Toni Piazza-Hepp, Pharm.D.

C O N T E N T S

	<u>PAGE</u>
Call to Order: Scott Hammer, M.D.	4
Conflict of Interest Statement: Rhonda W. Stover, R.Ph.	5
Welcoming Remarks: Heidi Jolson, M.D., M.P.H.	6
Introductory Remarks: Debra Birnkrant, M.D.	7
FDA's Modernization Act: Andrea Masciale, Esq.	9
Pediatric Initiatives: Therese Cvetkovich, M.D.	27
Accelerated and Traditional Approval Mechanisms: Jeffrey Murray, M.D., M.P.H.	43
Post-marketing Surveillance of Antiretroviral Drugs: Toni Piazza-Hepp, Pharm.D.	79
Open Public Hearing	101

P R O C E E D I N G S

Call to Order

1
2
3 DR. HAMMER: This session this morning is going to
4 deal with a regulatory update from the Division of Antiviral
5 Products. To start, I would like to have the individuals
6 around the table introduce themselves for the record.

7 DR. MURPHY: I am Diane Murphy, Office Director
8 for Office of Drug Evaluation IV.

9 DR. JOLSON: I am Heidi Jolson, Division Director
10 for Antiviral Drug Products.

11 DR. BIRNKRANT: Debbie Birnkrant, Deputy Division
12 Director, Antiviral Drug Products.

13 MS. MASCIALE: Andrea Masciale from the Regulatory
14 Policy Staff of CDER.

15 DR. MURRAY: Jeff Murray, Medical Officer at
16 Antiviral Drug Products.

17 DR. LIPSKY: Jim Lipsky, Director, Clinical
18 Pharmacology, Mayo Clinic, Rochester, Minnesota.

19 DR. POMERANTZ: Roger Pomerantz. I am the Chief
20 of ID and Professor of Medicine at Thomas Jefferson
21 University in Philadelphia.

1 MS. STOVER: Rhonda Stover, FDA, Executive
2 Secretary for this committee.

3 DR. HAMMER: Scott Hammer from the Beth Israel
4 Deaconess Medical Center and Harvard Medical School in
5 Boston.

6 DR. EL-SADR: Wafaa El-Sadr, Harlem Hospital and
7 Columbia University, New York.

8 DR. FEINBERG: Judith Feinberg, University of
9 Cincinnati.

10 DR. HAMILTON: I am John Hamilton, Chief of the
11 Division of Infectious Diseases and International Health at
12 Duke University.

13 DR. HAMMER: Thank you. There will be a couple of
14 other members coming a bit late.

15 I would like to turn now to Rhonda Stover who will
16 read the conflict of interest statement

17 **Conflict of Interest Statement**

18 MS. STOVER: The following announcement addresses
19 the issue of conflict of interest with regard to this
20 meeting and is made a part of the record to preclude even
21 the appearance of such at this meeting.

1 members, and because of the success of these regular
2 updates, the Center has now requested that all committees,
3 all advisory committees receive some sort of annual updates.

4 At this time, we have interpreted that to include
5 regulatory updates because there have been so many changes
6 in the past year or so at FDA, and we wanted to make certain
7 that you, as committee members, were aware of some of the
8 new regulatory initiatives at the agency.

9 So, the purpose of this morning's session will be
10 to provide an update on some of these newer regulatory
11 initiatives both within the agency and also within the
12 Division of Antiviral Drug Products.

13 I think you will appreciate after this morning's
14 session that this is really a time of great regulatory
15 change in the agency, and this is really exciting for us at
16 FDA, and we look at this as really a very positive process,
17 because we believe that these regulatory initiatives will
18 both encourage innovation through their implementation and
19 also improve the overall drug approval and development
20 process.

21 As you will hear, several of these initiatives are

1 Modernization Act of 1997, and our first speaker is Ms.
2 Andrea Masciale of CDER's Regulatory Policy Staff, who will
3 provide an overview and comment specifically on fast track
4 designation, expanded access, dissemination of information
5 on unapproved uses of drug products, the evidence standard,
6 the Prescription Drug User Fee Act, Part 2, or PDUFA-2, and
7 issues related specifically to the advisory committee.

8 FDA's pediatric initiatives will be presented by
9 Dr. Therese Cvetkovich.

10 After our break, Dr. Murray will focus his
11 comments on requirements for accelerated and traditional
12 approval for new therapies to treat HIV. His comments will
13 address the use of HIV-RNA for the determination of
14 endpoints in clinical trials to support marketing
15 applications as a follow-up to our discussion with the
16 committee last year.

17 Dr. Toni Piazza-Hepp, of the Division of
18 Pharmacovigilance and Epidemiology, will conclude FDA's
19 presentation this morning with a discussion on post-
20 marketing surveillance of antiviral drug products. As an
21 example, she will present the findings from our adverse

1 event reporting system for the currently marketed protease
2 inhibitors.

3 This will be followed by an open public hearing.
4 Questions will be taken after each speaker concludes.

5 Without further ado, I would like to introduce Ms.
6 Andrea Masciale of the Regulatory Policy Staff.

7 **FDA's Modernization Act (FDAMA)**

8 MS. MASCIALE: Good morning. It is a pleasure to
9 be here this morning to talk with you about the Food and
10 Drug Administration Modernization Act of 1997.

11 [Slide.]

12 The Modernization Act incorporates the most
13 sweeping changes to the Federal Food, Drug, and Cosmetic Act
14 in 35 years. The original piece of legislation introduced
15 by Senator Kasselbaum evolved considerably over a three-year
16 process and eventually was passed by Congress and signed by
17 President Clinton on November 21, 1997.

18 The Modernization Act codifies many programs
19 already in existence in CDER and some FDA Reinventing
20 Government initiatives. The Modernization Act went into
21 effect in February 1998. FDA has made a public commitment

1 to implement the provisions of the Modernization Act in a
2 timely manner while also attending to other responsibilities
3 under the Food, Drug, and Cosmetic Act.

4 [Slide.]

5 Implementing the Modernization Act requires the
6 agency to issue over 100 documents. These documents include
7 regulations, guidance documents, various Federal Register
8 notices, and reports.

9 Where the statute specifically requires
10 rulemaking, the agency is issuing regulations. Regulations
11 are binding requirements that have the force of law, and
12 they are proposed and later finalized in the Federal
13 Register with adequate time for public comment in between
14 publications. When we have determined that new regulations
15 or changes in existing regulations are not necessary to
16 implement the Modernization Act, implementation usually
17 takes the form of a guidance document.

18 Guidance documents are developed under the
19 agency's Good Guidance Practices, and are informal agency
20 statements that are not binding on FDA or on the public.
21 They state the agency's current thinking on the subject of

1 the guidance, but other approaches may be used if they
2 satisfy applicable statutes, regulations, or both.

3 Each significant or Level 1 guidance document is
4 published on the CDER home page, and is the subject of a
5 Notice of Availability in the Federal Register, which
6 includes addresses for interested persons to obtain copies
7 of the document.

8 [Slide.]

9 For the balance of my talk, I am going to cover
10 some of the provisions of the Modernization Act that may be
11 of interest to this advisory committee. The reauthorization
12 of the Prescription Drug User Fee Act of 1992, dissemination
13 of information on off-label uses, fast track, the evidence
14 standard, expanded access, Phase IV studies, and sections
15 affecting advisory committees including scientific advisory
16 panels and dispute resolution.

17 Following my presentation, Dr. Cvetkovich will
18 discuss the provisions of the Modernization Act that deal
19 with studying drugs in the pediatric population.

20 [Slide.]

21 I would first like to address the reauthorization

1 of the Prescription Drug User Fee Act, which we call PDUFA.
2 As you recall, PDUFA was first passed in 1992 when, in
3 exchange for receiving user fees in connection with certain
4 human drug applications, FDA agreed to a five-year program
5 of annual performance goals for application review.

6 In November '97, as part of the Modernization Act,
7 PDUFA was reauthorized for five more years with performance
8 goals aimed at slightly reducing review times, but focusing
9 more on reducing drug development times.

10 PDUFA-2 provides for more money to achieve these
11 enhanced goals in terms of higher fees and a workload
12 adjustment. The PDUFA-2 performance goals are expected to
13 reduce review time slightly, and will be phased in over the
14 next five years. The goals are 10 months for standard
15 applications and efficacy supplements, down from 12 months,
16 and 4 months for manufacturing supplements, down from 6
17 months.

18 Priority application reviews are still set at 6
19 months. They did not changed under PDUFA-2.

20 [Slide.]

21 Other new performance goals are aimed at reducing

1 drug development times. These new goals include those from
2 meeting management, clinical holds, dispute resolution,
3 special protocol assessment and agreement, and paperless
4 receipt and processing of submissions.

5 [Slide.]

6 On June 8, FDA proposed rules implementing Section
7 401 of the Modernization Act and allowing greater
8 flexibility for manufacturers to disseminate information
9 from studies published in peer-reviewed scientific journals
10 about the safety, effectiveness, or benefits of off-label
11 uses for approved drugs. This information must be both
12 reliable and balanced, and can be disseminated for off-label
13 uses which have been or will be studies and submitted to FDA
14 for approval.

15 Under the Modernization Act and the proposed
16 regulations, this information may be provided to help care
17 practitioners, pharmacy benefit managers, health insurance
18 insurers, group health plans, and federal and state
19 agencies.

20 Dissemination of this information is tied to a
21 commitment on the part of the manufacturer to do the

1 necessary research on the new uses. Generally, in order to
2 disseminate this off-label information, the manufacturer
3 needs to have submitted a supplement to the agency for the
4 new use, or needs to submit time lines for completion of the
5 studies and submission of the supplement to the agency.
6 Firms or sponsors no longer would have to wait for FDA
7 approval of a supplemental application before disseminating
8 this information about unapproved uses of their products.

9 At least 60 days prior to dissemination, a
10 manufacturer would have to submit to FDA a copy of the
11 information to be disseminated and other data that is
12 specified in the proposed rule. If FDA determines that the
13 information is not objective or balanced, it can require the
14 manufacturer to include additional objective and
15 scientifically sound information or an objective statement
16 written by FDA about the safety or effectiveness of the new
17 use.

18 Manufacturers would have an ongoing responsibility
19 to provide FDA with additional information about the
20 disseminated new use, and the FDA could order the cessation
21 of the information if the additional information indicated

1 that the off-label use may not be effective or may pose a
2 significant risk to public health. FDA will reassess its
3 proposed rule in response to comments and should issue a
4 final rule before November 21st.

5 [Slide.]

6 Another provision of the Modernization Act that
7 may be of interest to you is the so-called fast track
8 provision or Section 112. This provision requires FDA to
9 develop procedures to facilitate the development and
10 expedite review of drugs that are intended for the treatment
11 of a serious or life-threatening condition and that
12 demonstrate the potential to address an unmet medical need.
13 This section of the statute essentially codifies our
14 accelerated approval regulations and gives the agency
15 authority to accept and begin review of portions of an
16 application before the entire NDA is submitted to the
17 agency. CDER has already received requests for a
18 designation as fast track products, and has made decisions
19 on those requests within the 60-day time frame established
20 in the statute. Currently, the agency is developing
21 guidance on how we will designate a fast track product and

1 how we will handle reviews of such products once designated.

2 As required by the Modernization Act, this guidance will be

3 issued by November 21st.

4 [Slide.]

5 An important step, on May 15th we issued a
6 guidance for industry entitled, "Providing Clinical Evidence

7 of Effectiveness for Human Drug and Biological Products."

8 In this guidance document, the agency articulates its

9 current thinking on the quantitative and qualitative

10 standards for demonstrating effectiveness of drugs in new

11 drug applications and supplemental applications.

12 A draft of this guidance document had been
13 previously published in draft for comment in March of 1997,
14 and had stated FDA's interpretation of the requirement for
15 substantial evidence under the Food, Drug, and Cosmetic Act.

16 In Section 115 of the Modernization Act, Congress
17 confirmed FDA's interpretation as stated in the draft
18 guidance by making it clear that the agency may consider
19 data from one adequate and well-controlled clinical
20 investigation and confirmatory evidence to constitute
21 substantial evidence if FDA determines that such data and

1 evidence are sufficient to establish effectiveness.

2 [Slide.]

3 The Modernization Act codifies several programs to
4 provide patient access to experimental therapies. Section
5 113 sets up a publicly accessible data bank of information
6 on clinical trials for drugs for serious or life-threatening
7 diseases and conditions.

8 The data base needs to be in a form that is
9 readily understandable to the public and will include the
10 purpose of the drug, the eligibility criteria for enrollment
11 in the trial, the location of the trial sites, and a point
12 of contact for enrolling in the trial.

13 A clinical trial will not be in the public
14 database if the disclosure of such information would
15 substantially interfere with the timely enrollment of
16 subjects in the investigation. This database is being
17 created by NIH in consultation with FDA.

18 Section 402 of the Modernization Act essentially
19 codifies FDA's current regulations in 21 CFR Part 312
20 regarding treatment INDs and emergency IND procedures, which
21 provide patient access to unapproved investigational drugs

1 for serious diseases.

2 [Slide.]

3 One of the provisions of the Modernization Act
4 that was of particular interest to consumer groups during
5 the development of the legislation was Section 130
6 concerning reports of post-marketing approval studies or
7 Phase IV studies. This provision requires FDA to develop
8 regulations requiring annual progress reports on the status
9 of Phase IV studies from sponsors who have entered into
10 agreements with the agency to conduct these post-marketing
11 studies.

12 In addition, FDA is to published a unified annual
13 report in the Federal Register on the status of the studies
14 and on the status of the agency's reviews of the studies.
15 The agency is currently developing these regulations and
16 procedures that are needed to implement this section of the
17 Act.

18 [Slide.]

19 Section 120 of the Modernization Act called
20 "Scientific Advisory Panels," includes provisions for CDER's
21 use of advisory committees, like your own, that provide

1 scientific advice and recommendations regarding the clinical
2 investigation of drugs and the approval for marketing of
3 drugs.

4 Under this section, new advisory committees are to
5 include representation of consumer patient interests,
6 representation of interests of the drug manufacturing
7 industry, and specialists with expertise in the particular
8 disease or condition for which the drug under consideration
9 is proposed to be indicated.

10 Under Section 120, FDA is to schedule advisory
11 committee meetings, so that any matter for consideration by
12 the committee may be reviewed within 60 calendar days of its
13 being ready for review.

14 Furthermore, the agency is to notify affected
15 persons of the agency's decisions on advisory committee
16 recommendations within 90 calendar days of the committee
17 recommendation.

18 Section 120 also contains provisions for new
19 conflict of interest considerations for members of advisory
20 committees and education and training for new advisory
21 committee members. The agency is about to issue a guidance

1 document explaining how it will implement this section of
2 the statute, which will supplement the policy and guidance
3 handbook for FDA advisory committees.

4 [Slide.]

5 FDA recently issues a direct final rule to
6 implement Section 404 of the Modernization Act by amending
7 the agency's procedural regulations to allow sponsors,
8 applicants, and manufacturers of drugs or devices to request
9 advisory committee review of scientific controversies. This
10 rule will become final on October 29th unless the agency
11 receives timely significant adverse comments.

12 Since sponsors and applicants of drug products
13 already have the option of requesting advisory committee
14 review of disputes under other CDER regulations, it is
15 unlikely that this provision will have any impact on CDER
16 advisory committees.

17 That concludes my prepared remarks. If you are
18 interested in following the agency's implementation of these
19 provisions, please check the CDER World Wide Web home page
20 for any new information as it becomes available.

21 Thank you. I will take any questions.

1 DR. FEINBERG: In the slide entitled "Advisory
2 Committees," what does it mean, the bottom part where it
3 says, "Affected persons will be notified of the FDA's
4 decision within 90 days"?

5 MS. MASCIALE: Affected persons would be the
6 sponsor or the applicant of the drug product, the persons
7 who would truly be affected by the FDA's decision on
8 whatever matter the advisory committee considered.

9 DR. HAMMER: I have a question concerning the
10 dissemination of information for off-label use. What type
11 of monitoring is in place or is there any guidance for what
12 monitoring should be in place by the agency for this
13 activity?

14 MS. MASCIALE: I can say that we have issued the
15 proposed rule and that the Commissioner's Office will
16 probably issue a guidance document explaining it further or
17 at least an internal policy document explaining it. Since
18 it is still in its proposed stage, I don't know that any
19 systems are already in place. They may be, I just don't
20 know of any.

21 DR. HAMMER: Thank you.

1 DR. HAMILTON: Could I follow up on that, please.
2 What is the level of detail that is required in
3 support of that kind of submission or that dissemination of
4 information?

5 MS. MASCIALE: Level of detail in the information
6 that can be submitted, I mean that can be disseminated?

7 DR. HAMILTON: The level of detail to support the
8 disseminated information.

9 MS. MASCIALE: I don't know. It has to be in a
10 peer-reviewed scientific journal, but I do not know what
11 beyond that. It might be spelled out in the proposed rule.

12 DR. JOLSON: Andrea, can I help you out with that
13 one?

14 MS. MASCIALE: Please.

15 DR. JOLSON: Remembering that none of this has
16 been finalized because it is just in the proposed rule right
17 now and has to go through rulemaking, what was proposed is
18 that sponsors could distribute scientifically sound peer-
19 reviewed publications providing that those publications
20 provided enough information about the methodology of the
21 study to support the conclusions that were being drawn.

1 That is what was proposed, and there were certain criteria
2 in the proposed rule regarding the detail of the methodology
3 that would have to be there that would allow a reader to
4 reasonably conclude that the study was scientifically sound
5 and the author's conclusions would be supported by the
6 methodology. That is what is currently proposed and is open
7 for public comment.

8 DR. EL-SADR: I have a question about another
9 representation of the advisory committee, interests of the
10 drug manufacturing industry. What is the intent?

11 MS. MASCIALE: What is the intent?

12 DR. EL-SADR: Yes.

13 MS. MASCIALE: The intent is to have another voice
14 on the committee.

15 DR. EL-SADR: That would be adding to current?

16 MS. MASCIALE: Yes, over time we will be adding to
17 current advisory committees, and it is basically a
18 representation on the advisory committee. Voting would be
19 an entirely separate issue.

20 DR. HAMMER: Dr. Hamilton.

21 DR. HAMILTON: I have a further question about

1 Phase IV studies. Are those reports or the results of Phase
2 IV post-marketing studies routinely passed through this
3 committee, one, and two, can this committee recommend that
4 post-marketing studies must be done or must they be done in
5 any case?

6 DR. JOLSON: We are going to discuss this a little
7 later on because the committee should be aware of this
8 distinction between post-marketing studies that are done
9 under Subpart H, which is for accelerated approval. Those
10 are the studies that are designed to provide confirmatory
11 evidence of clinical benefit -- and Jeff Murray is going to
12 be discussing that -- versus routine Phase IV commitments,
13 and those could be any type of post-marketing study that
14 needs to be done to provide further either dosing
15 information, information in a special population.

16 As Dr. Murray is going to discuss, those studies
17 are not absolute requirements. They are voluntary
18 agreements on the part of the sponsor. In the legislation,
19 my understanding is that it would include any Phase IV
20 study, but for the committee's purposes, it would be
21 important to distinguish your interest in the studies that

1 are done for traditional approval, that provide confirmation
2 of clinical benefit versus studies that are done which could
3 be either clinical or preclinical studies as part of
4 voluntary commitments on the part of the sponsor.

5 I don't know if that helps or if that is a point
6 of confusion.

7 MS. MASCIALE: I also should note that the agency
8 is still creating proposed regulations about trying to
9 implement this section, so when the proposed rule comes out,
10 it should give a lot more information, and it should really
11 clarify what studies we are talking about and what studies
12 will come under this section of the Act and which won't.

13 DR. JOLSON: I think part of the issue here is
14 that over the years, as drugs are approved, that have
15 regular traditional approval, there are often kind of a
16 laundry list of Phase IV commitments, and it really depends
17 on the drug and the issues and how complete the new drug
18 application was, and I think that someone, you know, finally
19 said, well, what is FDA doing to see that these commitments
20 are actually done and if they are done or if they checked
21 off somewhere, and what happens to this information, and it

1 would be good to have a way of tracking that.

2 I think that the legislation is an attempt to make
3 us systematically look at Phase IV commitments in terms of
4 what we are asking for, and to make certain that when we ask
5 for it, we are aware that the data comes in and that the
6 question has been answered and it translates into labeling
7 if that was the intent, because from the industry
8 perspective, there is a lot of effort that goes into
9 conducting these studies, and it is just a way of making
10 certain that the agency's followup is appropriate for them.

11 DR. HAMMER: Dr. Lipsky.

12 DR. LIPSKY: Related to the issue of the off-label
13 use, does that just include articles, or can, under that,
14 the industry do direct to consumer advertising?

15 MS. MASCIALE: The section of the statute is
16 pretty limited, and the proposed rule is, as well. It is to
17 the particular people that I mentioned before, people in the
18 health care industry, and it is articles from peer-reviewed
19 scientific journals. It is pretty limited.

20 DR. LIPSKY: But could you have an ad that there
21 is a new exciting development about, you know, product X,

1 contact your doctor?

2 MS. MASCIALE: No. You can't really say a
3 universal thing like that because, you know, marketing firms
4 can come up with some great ways of saying things, but, no,
5 there is no allowance for direct to consumer in the
6 provision.

7 DR. HAMMER: I may have missed this, but I have a
8 question about the evidence standard. You outlined the new
9 or the current evidence standard about one adequate and
10 well-controlled clinical trial with supportive evidence
11 being I guess the minimum requirement.

12 What was the previous evidence standard just for
13 comparison?

14 MS. MASCIALE: Well, the previous was the statute
15 said adequate and well-controlled trials.

16 DR. HAMMER: It was two for accelerated approval,
17 but this is for routine.

18 MS. MASCIALE: We didn't really change it. What
19 we did was when we interpreted it back in March of '97, we
20 realized that the evolution of drug development, you know,
21 there probably could be allowance for one with confirmatory

1 evidence, and then Congress came back and said yes.

2 DR. HAMMER: This may come up later, but does this
3 then have implications for the confirmatory trials related
4 to accelerated approval or should we defer that discussion?

5 DR. JOLSON: It really doesn't, at least right
6 now, this isn't part of the equation. I think you can
7 imagine that there are certain circumstances when one very
8 large study may provide compelling evidence for certain
9 indications in certain patient populations, and over time,
10 the agency has recognized that maybe you don't always have
11 to have two clinical studies.

12 I think that the two clinical studies came about
13 at the time when clinical studies weren't as large or as
14 multi-national and multi-center as they are now. So, in
15 light of that, there are certain product areas, for example,
16 in oral contraceptives where the interest is not in the
17 number of studies, but is in the number of patient exposures
18 and months of experience, and that could be captured perhaps
19 in one extremely large clinical study that presumably
20 involved many, many centers.

21 There are other areas where because either the

1 patient population is small or because the clinical studies
2 are just so large and so representative of the patient
3 population that one study is enough with additional support
4 of information, but it is really product specific and
5 indication specific in terms of where it is appropriate.

6 DR. HAMMER: Thank you.

7 DR. BIRNKRANT: Are there other questions for Ms.
8 Masciale on the Modernization Act?

9 DR. MURPHY: Scott, I just wanted to also add to
10 what Heidi was saying. I think the recognition of the fact
11 that the goal here is consistency and replication, and with
12 our multi-center trials, if one were to qualify a large
13 multi-center trial, there are certain criteria that one
14 would be looking at, which would be consistency within those
15 centers, and also the robustness of the data, et cetera,
16 before one would consider just that one trial.

17 DR. HAMMER: Thank you.

18 DR. BIRNKRANT: I think we may need to deviate
19 from our planned schedule this morning -- but maybe not.

20 Our next speaker will be Dr. Therese Cvetkovich
21 who, as she is getting ready, will provide an update for

1 pediatric initiatives. While she is getting ready, I would
2 just like to follow up with something Dr. Hamilton brought
3 up, about Phase IV commitments, not necessarily related to
4 the Modernization Act, but just in general, and that is,
5 when we do present an application to the committee, we are
6 seeking advice and at times we turn that advice into
7 requests for additional information from the pharmaceutical
8 sponsors in the form of a Phase IV study, so we clearly look
9 to you for that advice.

10 **Pediatric Initiatives**

11 DR. CVETKOVICH: I am very pleased to be able to
12 present to you today some of the very important pediatric
13 initiatives that are currently being undertaken by FDA.

14 [Slide.]

15 The absence of pediatric labeling information
16 poses significant risks for children. Some of these risks
17 include unexpected adverse events due to either specific
18 age-related causes or overdose caused by lack of dosing
19 information, undertreatment or dosing for the same reasons,
20 and importantly, lack of access due to physician hesitance
21 to prescribe medications in the face of insufficient safety

1 or dosing information.

2 [Slide.]

3 Lack of appropriate formulations may deny access
4 to younger children when a liquid formulation that they can
5 take is not available and may expose them to homemade
6 formulations whether created by a mother at home or in the
7 hospital, some of the formulations may have no information
8 on their bioavailability and safety.

9 [Slide.]

10 In response to the well-documented deficiencies in
11 pediatric use information and product labels, Congress
12 included in FDAMA, Section 111, pertaining to the pediatric
13 studies of drugs. Some of the important provisions of
14 Section 111 include market exclusivity for new drug. This
15 provision permits certain applications to obtain an
16 additional six months of exclusivity if, in accordance with
17 the requirements of the statute, the sponsor submits
18 information relating to the use of the drug in the pediatric
19 population.

20 A requirement that the FDA develop, prioritize,
21 and publish a list of approved drugs, called "The List," for

1 which additional pediatric information may be produce health
2 benefits in the pediatric population.

3 Approved drugs included on this list may qualify
4 for an additional six months of market exclusivity provided
5 that the sponsor submit pediatric use information in
6 accordance with the requirements of the statute.

7 Finally, issuance of a report to Congress no later
8 than January 1st, 2001, assessing the effectiveness of the
9 program in improving information about important pediatric
10 uses for approved drugs, the adequacy of the incentive, and
11 the economic impact of the program on taxpayers and
12 consumers.

13 [Slide.]

14 Some of the issues related to implementation of
15 Section 111 of FDAMA include the following: first of all,
16 its generation of "The List," preparation of a guidance for
17 industry on qualifying for pediatric exclusivity, some of
18 the activities of the Pediatric Formulations Working Group,
19 generation of a guidance document on the conduct of clinical
20 trials in pediatrics, and finally, the proposed pediatric
21 rule.

1 [Slide.]

2 "The List" was generated in consultation with
3 pediatric experts. It should be noted that approved drugs
4 include both prescription and over-the-counter drugs
5 approved under a new drug application.

6 The following criteria were used to develop "The
7 List." The drug product, if approved for use in the
8 pediatric population, would be a significant improvement
9 compared to marketed products, or the drug is widely used in
10 the pediatric population as measured by at least 50,000
11 prescription measurements per year, or the drug is in a
12 class or for an indication for which additional therapeutic
13 options are needed.

14 "The List" was published on May 20th, 1998, and
15 will be updated periodically.

16 [Slide.]

17 A Guidance for Industry on qualifying for
18 pediatric exclusivity has also been made available. Until
19 regulations are issued through notice and comment
20 rulemaking, this guidance has been made available to assist
21 industry and FDA in the interpretation of the exclusivity

1 provisions of Section 111 of FDAMA.

2 [Slide.]

3 A Pediatric Formulations Working Group was
4 established in 1995. Agency pediatricians, chemists, and
5 other important contributors have been active in working on
6 approaches to circumvent some of the chemistry and
7 manufacturing barriers to new formulation development.

8 An FDA workshop on this topic was held in May of
9 1998. Communication between clinicians and chemists,
10 government, industry, and academia was determined to be a
11 significant outcome of this meeting. This group is also
12 developing a guidance document that will address
13 pharmacokinetic studies in the pediatric population.

14 [Slide.]

15 A clinical trials guidance document is being
16 developed in conjunction with the American Academy of
17 Pediatrics. This document will serve as the basis for
18 Guidance to Industry on clinical trial designs for assessing
19 safety and efficacy of drugs in the pediatric population.

20 [Slide.]

21 Finally, the proposed pediatric rule was published

1 August 1997 to ensure that new drugs and biologic products
2 that are likely to be commonly used in children or that
3 represent a meaningful therapeutic benefit over existing
4 treatments for children contain adequate pediatric labeling
5 for the approved indications at the time of, or soon after,
6 approval.

7 This rule will apply to original applications of
8 drugs classified as new chemical entities and includes
9 antibiotics and new biologic products. Studies will be
10 required to assess safety and effectiveness in pediatric
11 patients only for the indications sought by the
12 manufacturer.

13 Adequate pediatric data would be required to be
14 submitted with the original NDA application unless FDA
15 grants a deferral or waiver of the requirement.

16 A significant majority of the 54 comments received
17 after publication of the proposed rule, received from
18 pediatricians, professional societies and specialty groups,
19 industry, parents, and patient groups favored the rule.

20 The proposed regulation is currently in the
21 rulemaking process. The additional six months of

1 exclusivity granted to products complying the proposed
2 requirement to obtain use and safety information, we hope
3 will provide an incentive for sponsors to comply with the
4 provisions of the pediatric rule.

5 In bringing these issues before you today, I feel
6 very confident that I am, in a sense, preaching to the
7 choir. This division and this advisory committee have
8 recognized from the beginning of the AIDS epidemic the
9 critical importance of the early development of drugs to
10 treat HIV-infected infants and children, and to prevent
11 neonatal transmission.

12 I believe also that sponsors have heard and
13 responded to our message, as well, in most cases. However,
14 as the future of antiviral drug development expands to the
15 treatment of viral disease, such as hepatitis B and C,
16 influenza, viral meningitis, and, who knows, the common
17 cold, issues related to pediatric drug development may
18 become more complex and, we hope, more frequent.

19 You may be asked to provide advice on these
20 issues. The pediatric community believes the risks of not
21 providing adequate use and safety information for important

1 medications are far greater than the potential risks
2 associated with the scientifically sound study of these
3 medications, and FDA agrees.

4 Thanks very much.

5 DR. HAMMER: Thank you.

6 DR. BIRNKRANT: Are there questions for Dr.
7 Cvetkovich on the pediatric initiatives?

8 DR. HAMMER: Dr. Hamilton.

9 DR. HAMILTON: Would drugs that might be used in
10 pregnant women be included in this category?

11 DR. CVETKOVICH: As far "The List" goes?

12 DR. HAMILTON: Yes.

13 DR. CVETKOVICH: No, pregnancy is not included.

14 DR. BIRNKRANT: Dr. Feinberg.

15 DR. FEINBERG: Of specific interest to this
16 committee, are there drugs that we have reviewed or are
17 going to review that are already on this list?

18 DR. CVETKOVICH: Each division received a list of
19 all the drugs under their purview and determined whether
20 there was a need for inclusion of the drug on the list, so
21 there certainly are drugs in our division which are included

1 on the list. If you are interested, we can get you a copy
2 of that.

3 DR. EL-SADR: How long is the list? How many
4 drugs are on the list?

5 DR. CVETKOVICH: Well, it is complicated in that,
6 in general, any drug with an indication that could be
7 included, that the adult indication could apply to children,
8 is in general included on the list, so as you can imagine,
9 this is quite a long list.

10 DR. EL-SADR: I thought you also had to fulfill
11 the criteria of 50,000 prescriptions or something.

12 DR. CVETKOVICH: The criteria are "or" instead of
13 "and," so if the drug would provide significant benefit or
14 the 50,000, so, yes, the list is quite comprehensive.

15 DR. MURPHY: There is only thing I wanted to make
16 sure you understood, there is the list and then there is the
17 priority list, and basically, the list is the Orange Book of
18 approved drugs for which there would be an indication that
19 children would need the therapy.

20 The priority list would be the one, I think, that
21 we could send out to you.

1 DR. BIRNKRANT: Dr. Lipsky.

2 DR. LIPSKY: I am just curious where the six-month
3 exclusivity extension came from. Was that some sort of
4 economic calculation?

5 DR. CVETKOVICH: This was mandated by Congress as
6 being perhaps a carrot to industry to conduct these studies,
7 and I think that it remains to be seen whether this is going
8 to be a wonderful carrot or how it will work exactly.

9 DR. LIPSKY: Is the feel that that is a tiny
10 carrot or a big carrot?

11 DR. CVETKOVICH: No, I think it was determined to
12 be reasonable.

13 DR. MURPHY: I think it is a big carrot, and let
14 me tell you why. First of all, it is in Congress' wisdom,
15 so we don't know all the calculations that went into that,
16 but the reason we think it is a big carrot is it doesn't
17 apply to just a specific formulation. It applies to the
18 active moiety, so if they do a pediatric formulation or do a
19 study in children, and they go through the process that has
20 been outlined in the guidance, and have submitted a written
21 request and they meet the requirements of the written

1 request, and they are granted exclusivity, it would be for
2 the entire active moiety.

3 So, if they have a blockbuster adult component,
4 and it has the exclusivity to be appended to it, then, they
5 get six more months of exclusivity on that adult product.

6 DR. LIPSKY: I realize that if you have a
7 blockbuster, that certainly would be a significant amount.
8 The question is if you don't have a blockbuster, I would
9 presume that industry will do a very cold economic analysis
10 on what they expect, you know, what would be, roughly 10
11 percent more exclusive, whatever, is that going to be worth
12 the money and the effort for, you know, pediatric clinical
13 trials.

14 DR. MURPHY: I don't want to put this out as a
15 fact, but I will tell you that I was impressed by the amount
16 of money that is made per month by extension of some of
17 these products, so I am sure it will be figured out, but
18 it's impressive

19 DR. HAMILTON: Speaking of exclusivity, this is
20 from a novice here, to what extent is it incumbent upon this
21 committee to recommend to the manufacturers of drugs the

1 inclusion of groups that perhaps they haven't included in
2 their original indication? Let's say you had a disease that
3 was easily studied in adults, but the prevalence of the
4 disease was a lot higher in children, and they presented an
5 indication for adults. Can we, in effect, hold them
6 hostage, do we want to, how does that work?

7 DR. MURPHY: We really can't hold them hostage,
8 but one of the things that is provided by the process as
9 presented here, is that we -- meaning the FDA -- can ask for
10 them to submit data to a certain age group, if you will.

11 I think that any time that the committee makes a
12 recommendation that an age group be evaluated, that the
13 agency would take that very seriously to try to put that
14 together as a request to the company.

15 DR. JOLSON: This kind of ties back with your
16 previous question about Phase IV commitments, and probably
17 based on the sort of guidance that we got from this
18 committee when an application was presented, we would
19 request that the sponsor make a voluntary Phase IV
20 commitment for further development or dose finding in a
21 particular patient population, whether it could be

1 pediatrics, it could be an elderly population, it could be
2 patients with renal failure or liver failure, or any patient
3 population that you think requires additional study, at that
4 time would be an appropriate time to make that a Phase IV
5 commitment at the time of approval.

6 DR. LIPSKY: I would just remind the committee
7 that sometime ago, parents of children with AIDS made a
8 pretty dramatic presentation in front of this committee, and
9 this committee then voted later that day that the committee
10 would ask for reasons -- I believe the vote was we would ask
11 for reasons, if data were not presented to the committee
12 about the use of a drug in children, why that wasn't, and
13 what would be the mitigating circumstances.

14 I believe we voted on that.

15 DR. HAMMER: We had a consensus of the group. It
16 wasn't an official vote for the record, but it was a strong
17 consensus of the group the desire to have pediatric data
18 presented at the time of accelerated approval applications
19 that came in front of this committee or good reasons why not
20 if such data were not available, you are correct.

21 DR. JOLSON: And I think that the Division has

1 clearly heard the message from this committee, and I think
2 that industry is aware, as well, and you should know that
3 throughout drug development, when we speak with sponsors, at
4 every opportunity we do remind them of the need to develop a
5 pediatric formulation or pediatric dosing information.

6 Occasionally, it is difficult because of the
7 particular drug product, it may be difficult to have a
8 satisfactory, well-tolerated formulation, but we clearly
9 have heard the message from the committee, and part of that
10 is because this committee has been so clear about the need
11 to do that, and do that early. We thought you would be
12 particularly interested to see that now regulation is kind
13 of catching up with the spirit of what your advice has been.

14 DR. MURPHY: I want to say that I think that is
15 really exactly what is happening here, and I think this
16 committee has provided advice which has been listened to and
17 has been acted upon, and if you look at antiviral drug
18 development, there was a time in which we were very
19 concerned why the committee made that statement, but I think
20 it is definitely improving, and I think that your activism
21 in this area has helped.

1 DR. BIRNKRANT: Other questions?

2 Thank you very much. It looks like we are ahead
3 of schedule this morning, so why don't we plan to take a
4 break at this point in time and reconvene about 9:45.

5 [Recess.]

6 DR. BIRNKRANT: We just wanted to clarify some of
7 the issues that were raised in the first portion of this
8 morning's meeting, related to pediatric initiatives and use
9 of drugs in pregnancy.

10 With that, I will turn it over to Dr. Jolson and
11 Dr. Murphy.

12 DR. JOLSON: Before we move on, it is probably
13 just worth, as Debbie mentioned, just clarifying these two
14 points. One has to do with the pediatric initiative and the
15 issue of a list that was published in the Federal Register.

16 I can't really speak for other divisions, but for
17 this particular division, essentially, all of the products
18 that you would be familiar with, the antiviral products,
19 would be on the list provided that there was an adult
20 indication that we thought would be reasonably relevant to
21 use in children and that there was a need for dosing

1 information in some pediatric age group.

2 So, for example, a drug that currently has
3 pediatric information, but perhaps doesn't have dosing
4 information from birth to age two, would have made that
5 list. So, I just wanted to mention that, so that you would
6 realize that really most of the products that you are
7 familiar with from this division would have been on that
8 list, and that there was no like higher selection process in
9 terms of prioritizing them.

10 We will be happy to actually provide you with the
11 list, but it is reasonably comprehensive if we thought that
12 the drug could potentially be used in children, but needed
13 more dosing information.

14 DR. HAMMER: Thank you.

15 DR. JOLSON: The second question that was raised,
16 which is really relevant, and I just didn't want to leave it
17 without just giving a brief mention about, in terms of what
18 is the agency doing and thinking about in terms of use
19 during pregnancy, and I think Dr. Hamilton may have raised
20 that question.

21 It is really important. I don't want to just

1 leave the question without letting you know that there is a
2 substantial amount of effort that is being devoted to making
3 certain that the pregnancy section of drug labels is updated
4 and provides more useful information.

5 Sandy Kweder, who is the Deputy Director for our
6 office, I asked her to comment on this because she is the
7 co-chair of the Pregnancy Labeling Task Force for the
8 agency. So, I am putting her on the spot.

9 DR. KWEDER: That's okay. I could do this in my
10 sleep.

11 The issue of pregnancy and actually labeling of
12 drugs for use in pregnancy is one that is very important in
13 the Center and the agency overall. There is, as Heidi
14 mentioned, an initiative that we call the Pregnancy Labeling
15 Task Force, that crosses all centers of the FDA, not just
16 drug, although most of the activity has initially been
17 focused on drugs.

18 It is separate from pediatrics, but I think it
19 indeed is a natural extension of concerns about that patient
20 population because of the numerous issues involved in the
21 administration of drugs to neonates and when is a baby a

1 baby.

2 But just to give you a thumbnail sketch of some of
3 the things that we are doing, and you will likely hear more
4 about in the future, this task force was initiated because
5 of persistent complaints, and probably appropriate
6 badgering, from many professional groups about the current
7 labeling system of pregnancy categories that you are all
8 probably at least a little bit familiar with, the A, B, C,
9 D, X.

10 I won't go into what those concerns were, but
11 basically, we held a public hearing last fall and had
12 extensive testimony, verbally and in writing, that leads us
13 to believe that the current system needs to be revamped
14 completely for a lot of different reasons.

15 Any of you who have had the experience of trying
16 to decide whether or not to prescribe for a pregnant woman
17 and try to use the current system, or the experience of
18 having a woman who is already pregnant and has been taking a
19 drug, and she is trying to decide what to do about that
20 pregnancy, has experienced an anguish of this doesn't help
21 me.

1 So, we are in the process -- and it is a very
2 difficult process -- of trying to rethink how to do that.
3 The reason that I mention that is that has been our primary
4 focus, but the task force doesn't see that as the only issue
5 surrounding pregnancy labeling. The real problem is lack of
6 data, and we hope that wherever we come out with labeling
7 along the way, we will raise the standard for data
8 collection, and I think some obvious areas that this
9 committee has dealt with in the past, particularly with
10 antiretroviral drugs, is pharmacokinetics in pregnancy and
11 dosing. Pregnant women do have illnesses, and they do
12 require drug treatment, and we think that it is appropriate
13 where women need to be treated when they are pregnant to
14 study the pharmacokinetics, so that the next patient who
15 needs that medication, that her physician has better dosing
16 information.

17 We are also in the process of developing a
18 guidance document for the industry on some considerations
19 for when and how to conduct pregnancy registry studies,
20 usually in the post-marketing phase. Some of that has been
21 done, as you know, with acyclovir in the past. There is the

1 antiviral pregnancy registry that is ongoing on, and a
2 registry is not a registry is not a registry. There are
3 many ways to do that, and we will be trying to provide some
4 guidance to the industry on what we think is important in
5 that area.

6 So, those are just a few of the things that we are
7 doing. You will hear more about that in the future, and I
8 wanted you to know that we think this is extremely
9 important, and a lot of the work that has been done in the
10 Antivirals Division really has begun to set a standard for
11 the rest of the Center that has not had to deal with this in
12 much detail.

13 I can answer any questions now or at the break.

14 DR. BIRNKRANT: Thank you very much.

15 Well, this morning we have heard about agencywide
16 initiatives that impact our job at the FDA, and at this
17 point in time, we will hear about initiatives that more
18 directly affect the Division of Antiviral Drug Products and
19 their work on a day-to-day basis.

20 Our first speaker is Dr. Jeff Murray, who will
21 provide an overview of regulatory procedures as they relate

1 to accelerated and traditional approval, and as I mentioned,
2 as a follow up to our meeting a year ago on this topic.

3 **Accelerated and Traditional Approval Mechanisms**

4 DR. MURRAY: Good morning.

5 [Slide.]

6 Topics of a regulatory nature that I will be
7 addressing are accelerated and traditional approval,
8 touching on post-marketing commitments, the use of HIV RNA
9 as an endpoint for drug approvals, and current
10 recommendations for trials assessing a new dosing schedule.

11 [Slide.]

12 First, I would like to review just some of the
13 accelerated approval regulations by quoting some passages
14 from the CFR, if you will.

15 [Slide.]

16 First, accelerated approval regulations state that
17 the regulations apply to drugs that, first, "treat serious
18 or life-threatening illnesses and provide meaningful
19 therapeutic benefit to patients over existing treatments."
20 Then, the regulations actually list some examples, and
21 examples which should be by no means intended to be

1 inclusive, but an "ability to treat patients unresponsive to
2 or intolerant of available therapy, or drugs that have
3 improved patient response over available therapy."

4 [Slide.]

5 Now, with accelerated approval in clinical trials,
6 you can use endpoints other than irreversible morbidity or
7 death, and that is good, you can use surrogate markers, but
8 in the past when you have done this, and shown your activity
9 with a surrogate marker, an accelerated approval has been
10 subject to the requirement that the applicant study the drug
11 further -- and this where your post-marketing commitments
12 come into play -- and to verify and describe its clinical
13 benefit, and I think this is kind of key because this is how
14 we are interpreting it, where there is uncertainty as to the
15 relation of the surrogate endpoint to the clinical benefit,
16 so to study it further when there is uncertainty for this
17 relationship.

18 [Slide.]

19 When you study it further, when the applicant is
20 studying the drug further, there are several types of post-
21 marketing commitments, actually two: accelerated approval

1 and Phase IV commitments, so just kind of comparing these
2 two.

3 The accelerated approval commitments are required.
4 These studies are required, they are binding with the agency
5 and the sponsor in contrast to Phase IV commitments, which
6 are a written agreement from the sponsor, and they are done
7 under good faith.

8 Got accelerated approval commitments, it is
9 confirmation of the efficacy, so where there was some
10 uncertainty in your endpoint from your study supporting
11 accelerated approval, you are supposed to confirm that
12 efficacy with your studies for traditional approval.

13 Usually, these studies were already or supposed to
14 be already underway by the time the NDA for accelerated
15 approval has been submitted. One of the reasons why the
16 accelerated approval commitments are binding is because
17 there is an expedited withdrawal process of the drug for
18 failing to meet these commitments, and there is no such
19 procedure for the Phase IV commitments.

20 Also, as far as the Phase IV commitments differ in
21 the type of studies as Dr. Jolson had mentioned, that are

1 done, whereas, accelerated approval, its confirmation of
2 efficacy in Phase IV commitments, it is to study unresolved
3 issues that might come up at the advisory committee like,
4 you know, drug interactions, mechanisms possibly for adverse
5 events, and studying various patient subgroups, patients
6 might have liver failure, renal failure.

7 [Slide.]

8 So, exactly a year ago, on July 14th and 15th of
9 last year, we had an advisory committee, and since then we
10 have had a slightly different approach for accelerated and
11 traditional approval based on that meeting.

12 [Slide.]

13 The title of that session one year ago was "Use of
14 HIV RNA as an Endpoint in Clinical Trials." The conclusions
15 that we gathered from that meeting--and I believe that we
16 had the consensus of the committee on this--is that, first
17 of all, HIV RNA, measures of viral load, plasma HIV RNA, is
18 a suitable endpoint for both accelerated and traditional
19 approval, and that clinical endpoint studies should remain
20 an option and maybe preferred under certain situations
21 possibly, and that CD4 changes and clinical endpoints should

1 be collected in all studies and should be consistent with
2 the HIV RNA changes.

3 Now, there are precedents for lab endpoints. We
4 approve drugs to lower cholesterol based on following
5 cholesterol and use glucose as an endpoint for looking at
6 antihyperglycemics. So, this is certainly nothing new.

7 [Slide.]

8 So, last year, on July 14th, we devoted a day to
9 showing the relationship between HIV RNA changes and
10 clinical benefit, and that was to convince ourself that
11 there was a little uncertainty between treatment-induced
12 changes in HIV RNA and clinical benefit, and where there is
13 a little uncertainty, then, this could reliably be used as
14 an endpoint as for other drugs, cholesterol-lowering agents,
15 for example.

16 How we did this is that there were many people
17 participating in this, different sponsors and different
18 groups participating this meeting, and we showed five
19 different analyses, some of them pooled studies, and
20 overall, in column 2, the number of patients overall for the
21 five analyses amounted to about over 5,000 patients

1 receiving different regimens, some of them protease
2 inhibitor regimens, some of them dual nucleoside regimens
3 perhaps not the way they are used today, but I think this
4 even makes a stronger argument that perhaps even in less
5 than optimal use, we are able to see this relationship, and
6 then across a range of CD4 counts, ranging from like a
7 median of CD4 counts from this study from 21 to over 200.

8 [Slide.]

9 In all of these analyses, we saw that greater
10 reductions in HIV RNA were associated with lower risks of
11 disease progression, and it was striking that this was shown
12 in a dose-response type manner.

13 We also saw from one analysis, I think one by
14 Pharmacia & Upjohn, that more sustained reductions in HIV
15 RNA were associated with lower risks of disease progression,
16 and we were quite happy that these conclusions are
17 consistent with biologic theory and current treatment
18 guidelines, and that, in theory, you know, if you can reduce
19 your HIV replication to practically nothing, you will have
20 little or no mutations developing, and the consequence of
21 that is less or no resistance and a more durable response,

1 and in the end, if you have a more durable response,
2 hopefully, greater clinical benefit.

3 So, I guess the question that we really weren't
4 able to answer exactly would be how much RNA reduction and
5 for how long, and I think at the end of the meeting, that
6 there was somewhat of a consensus that if you could follow
7 patients for at least 48 weeks or about a year, and you have
8 a durable HIV RNA suppression below the limit of
9 quantification when possible, that that would with
10 reasonable certainty confer some clinical benefit.

11 In a lot of these analyses, it was even shown that
12 lesser degrees of RNA reduction, perhaps around a half a
13 log, around actually the variability of the assay
14 measurements themselves actually could confer some clinical
15 benefit, but to reduce the uncertainty, we wanted to make
16 sure that we had a rigorous endpoint and a high enough
17 hurdle, so I think for traditional approval, what we have
18 now been telling sponsors is at least 48 weeks of a durable
19 HIV RNA benefit, and I think in this way we are going to be
20 safe and that likely to predict some clinical benefit.

21 [Slide.]

1 Just to compare and contrast the old and new
2 paradigm for traditional approval is that the old paradigm
3 was that clinical endpoint studies were required, it was the
4 only way to get the traditional approval, and they were
5 based, the clinical endpoints were the development of AIDS-
6 defining events and/or death, and AIDS-defining events could
7 include anywhere from 20 to 25 or more endpoints consisting
8 of opportunistic infections, and those were being added and
9 subtracted from protocols as deemed appropriate at times,
10 they weren't always exactly the same.

11 New paradigm is that clinical endpoints, of
12 course, are still an option, but also there is another
13 option and that is to show durable HIV RNA suppression,
14 durable, our definition at this time meaning at least 48
15 weeks of followup in the last patient enrolled in the trial.

16 We did kind of put out a proposed primary endpoint
17 at the last meeting, and we thought that a time to loss of
18 virologic response would be an endpoint that would be really
19 workable, doable in clinical trials, but we would also look
20 at the proportion below limit of quantification or limit of
21 detection or whatever it is at the time, and wanted to also

1 make sure that CD4 changes in clinical endpoints, as they
2 were collected in the studies, would be consistent with what
3 we are seeing going on with HIV RNA.

4 [Slide.]

5 So, what exactly is loss of virologic response
6 besides it being our primary endpoint? Well, I think this
7 can differ depending on the population. For a naive
8 population or population who haven't experienced the drugs
9 of interest, I think there has been enough data presented in
10 the last year, on July 15th, as well, to show that a large
11 majority of patients can suppress with a combination of
12 treatment their plasma HIV RNA to below limits of
13 quantification.

14 So, we thought that this was probably a good
15 hurdle for that population, however, we also realized that
16 other definitions may be appropriate for other populations,
17 maybe in patients who have had all the available marketed
18 regimens and are likely to be drug resistant, there might be
19 other populations, as well, that achieving levels below the
20 limit of quantification might be too high of a hurdle, so in
21 that case, loss of virologic response will have to be

1 defined, virologic response and loss of virologic response
2 will have to be defined, and we will accept reasonable
3 proposals for that depending on the population.

4 So, what is loss of virologic response? Well, we
5 view it as a rigorous study endpoint, and what it is not is
6 it is not necessarily the same as clinical or immunologic
7 failure, meaning that although somebody has achieved this
8 study endpoint, they might still be deriving benefit of the
9 drug, not meaning to say that complete viral suppression
10 means you have totally lost benefit from that drug.

11 So, it is also not necessarily the same as patient
12 management criteria in a protocol. Physicians, a patient
13 may choose to stay on a drug even after they have achieved
14 the rigorous study endpoint. The rigorous study endpoint is
15 to make sure that we were certain that we had a relationship
16 with eventual clinical benefit.

17 [Slide.]

18 Now, before we have the HIV RNA option for
19 traditional approval, as you are aware, clinical trials are
20 becoming quite difficult to conduct and sometimes enroll,
21 and with the onset of more and more potent combination

1 treatments, realized that there is a lower and lower number
2 of endpoints--and I think we have seen this all across the
3 country, that the frequency of opportunistic infections have
4 been going down--making it very hard to conduct long-term
5 clinical trials especially in the setting of routine
6 monitoring of HIV RNA and frequent treatment switches.

7 So, I think that last year we all realized that
8 HIV RNA endpoint could surely be practical and could solve
9 many of the clinical trial problems, dilemmas, that we were
10 facing.

11 Here is kind of a graphical example of how the
12 time to virologic failure endpoint works and some of the
13 advantages. At week zero in this hypothetical patient, you
14 have somebody starting with a baseline log HIV RNA, plasma
15 HIV RNA, of 5.2 or thereabouts, starts treatment, HIV RNA
16 drops actually to below the lower limit of quantification.
17 For this example, we are using 2.6 logs, that would be 400
18 copy number for Roche Amplicor, and then at about week 28,
19 the person is still below the limit of quantification, but
20 then comes above at week 32.

21 So, at week 32, once a measurement above the limit

1 of quantification is identified, a second one is obtained to
2 make sure that this is not lab variability, just to confirm
3 that actually there has been a rise in viral load, and at
4 this point, that is when the endpoint has reached, and the
5 beauty of this is that the endpoint is captured before
6 treatment switches.

7 I think this has a high degree of patient
8 acceptability, what I call statistician acceptability, tends
9 to reduce confusing missing data. Also, it coincides with
10 clinical management, that is really nice, and another
11 advantage of HIV RNA is that it does provide economy of
12 sample size. We are able to do trials of a more reasonable
13 size than we would have if we had to use only clinical
14 endpoints in the setting of potent combination treatment.

15 [Slide.]

16 One of the goals in kind of accepting a new
17 paradigm would be to make sure that an accelerated approval
18 process could be preserved, because we realize that there
19 still exists a need for more treatment options. Even though
20 we have good drugs, some people are burning through them
21 pretty fast.

1 Even though we are using HIV RNA endpoint, and it
2 is a pretty dynamic, rapid way of evaluating drug activity,
3 two, 48-week trials still might not be fast enough for some
4 promising drugs, so there still is an option for accelerated
5 approval based on shorter term HIV RNA data.

6 Shorter term HIV RNA data in combination regimens,
7 you have to realize that it is not always to discern and
8 isolate the effect of one drug in a combination over a short
9 period of time, so with shorter term data, there will be a
10 little bit more uncertainty with endpoint than longer term
11 data. So, that is why the shorter term data with HIV RNA
12 endpoint is under accelerated approval, and the longer term
13 data is considered confirmatory.

14 Now, sponsors are encouraged to study populations
15 in need, and when a drug gets approval, accelerated
16 approval, it would be nice to have information on how these
17 drugs work in the populations who need them the most.
18 However, we realize that it is also sometimes difficult to
19 do a clinical trial in populations who have exhausted all
20 other regimens, because it makes it very difficult then to
21 pick an adequate control arm, so what is your control arm.

1 So, we realized that the sponsor may not
2 necessarily be required to prove that a drug fulfills a
3 special niche within a specific clinical trial, that there
4 might be other information on the NDA package that would
5 help to decide that, like a convenient dosing regimen, its
6 overall safety profile. There are other considerations.
7 You have just been studying, you know, patients who have
8 failed or intolerant or resistant to all drugs, because that
9 clinical trial is not always easy to do.

10 [Slide.]

11 So, for accelerated approval, also using HIV RNA
12 as a primary endpoint, haven't abandoned CD4, it is still
13 very important, CD4 changes should be consistent, however,
14 we felt that it was easier to power a study based on one
15 clinical endpoint for doing your sample size calculations,
16 and it is easier to have one endpoint as primary.

17 The old method that we were using, usually, we
18 were comparing mean changes from baseline over time, used a
19 metric called DAVG, which was average changes over time,
20 however, due to the limitations of the assay, we found out
21 that mean changes were not that discerning, everybody was

1 kind of hitting the floor, so a new method that we have been
2 looking at is comparing proportion below a limit of
3 quantification at, let's say, like 24 weeks, and again
4 realizing that for some populations, proportion below LOQ
5 may not be feasible.

6 Then, the duration of data for accelerated
7 approval, sponsors have generally have submitting NDA
8 packages when they have crossed the 16-week mark, but by the
9 time the NDA or the drug of interest goes to the advisory
10 committee, or before approval, we would like 24 weeks of
11 data to look at, especially drugs, you know, studying
12 triple, quadruple combination regimens.

13 [Slide.]

14 The last topic, and it is kind of sort of related
15 to our new paradigm for accelerating traditional approval,
16 and that would be evaluation of new dosing schedules, let'
17 say going from a TID regimen to a BID regimen, or a BID
18 regimen to a QD regimen, and we realize the importance for
19 patient adherence and for actually perhaps, you know, long-
20 term antiviral effect, we realize the importance of getting
21 a compact, easy-to-take dosing regimens.

1 So, we would like to make it a simple process to
2 go from one new dosing schedule to another, but when you
3 look at it, you realize that there are difficulties, in
4 fact, it is difficult to make an argument based on PK alone
5 because we really don't have very much information on
6 pharmacokinetics and how they affect viral load for most of
7 the drugs, I don't really think for any of the drugs, so it
8 is difficult to make a PK argument, and when you are going
9 from a TID to a BID dosing regimen, you can probably be
10 pretty sure that Cmax, AUC, and trough, they are not going
11 to be the same, they are going to differ.

12 So, we decided that probably the best way would be
13 to show similarity in activity, and in that we now evaluate
14 drugs in the setting of combination therapy, showing
15 marginal differences between dosing schedules might be
16 difficult over a short period of time, and so we would like
17 commitment from sponsors to have 48 weeks of followup on
18 changes in dosing schedule type studies, as well, and that
19 we can make decisions based on 24 weeks if the data is
20 really compelling and, of course, we think there is a real
21 need and that it would offer therapeutic advantage to go to

1 the new dosing schedule.

2 [Slide.]

3 An example that we have used as a rationale for
4 48-week studies is Agouron Study 511 in which nelfinavir 750
5 mg three times a day versus a lower dose of nelfinavir 500
6 mg three times a day was studied in combination with AZT and
7 3TC.

8 At 16 weeks there was significant differences
9 between those two different doses. Now, these are two
10 different daily doses. You have got to remember in new
11 dosing schedules we would generally be giving the same daily
12 dose, but just at different times a day, so the differences
13 could even be smaller for those comparisons, but for two
14 different doses in combination therapy--because all the
15 drugs are pretty potent--no differences at 16 weeks except
16 for a subgroup. The subgroup was people starting with
17 baseline HIV RNA greater than 100,000.

18 However, at 40 weeks, there was a difference that
19 was I think pretty clearly recognized for the proportion of
20 patients who were below the limit of quantification, and the
21 difference was about 18 percent. It was a difference of 86

1 percent versus 68 percent.

2 [Slide.]

3 Here, you have the three treatment arms, the top
4 one being the 750 mg dose of nelfinavir and the one that
5 looks the same. You really do start to see an diversion or
6 a separation of the curves at 16 weeks, but at that time not
7 yet clinically significant, I mean statistically
8 significant, didn't really start getting statistically
9 significant until after 24 week and pretty clearly evident
10 at 40 weeks.

11 So, based on what we know about combination
12 therapy and the activity of drugs, we think we might be able
13 to make some decisions early if the data looks really good,
14 but we would like a commitment for longer term followup to
15 be submitted to us just to make sure that in the long run we
16 are not making a mistake and we are not kind of sliding
17 slowly, sliding back on the gains in drug potency that we
18 have made in the last several years.

19 With that, I will conclude my remarks, if there
20 are any questions.

21 DR. BIRNKRANT: Dr. Feinberg.

1 DR. FEINBERG: Jeff, what you have outlined in
2 terms of the standard for efficacy, you know, your whole
3 presentation has been in the context of HIV disease, but
4 what about some of the other antiviral indications that we
5 are looking at like hepatitis, for example, and where people
6 are also quantitating viral load?

7 DR. MURRAY: I wish I could comment more on that.
8 It would be nice if we could bring up to speed other viral
9 illnesses and perhaps someday we can use some of the same
10 models that we have used for HIV to help the progress with
11 the study of other viral illnesses.

12 Right now the assays for a lot of the other viral
13 illnesses may be hepatitis C or not, probably is as good as
14 the HIV RNA assays, and there is not as much clinical trial
15 evidence supporting a relationship between those changes and
16 clinical benefit, but it seems likely that for some of them,
17 these correlations will be identified and this paradigm
18 might fit for them, but right now we are reserving this kind
19 of paradigm for the antiretroviral drugs.

20 DR. FEINBERG: So, in essence, what you are saying
21 is that that is a desirable goal if the parallel data are

1 presented that the reduction in viral load, say, for
2 hepatitis B correlates with clinical -- you know, long-term
3 clinical benefit, then, we would move this paradigm into the
4 evaluation of the other diseases.

5 DR. MURRAY: Yes, it would probably make a very
6 interesting advisory committee in the future for you all to
7 give us some consensus on that issue.

8 DR. BIRNKRANT: Dr. Hammer.

9 DR. HAMMER: Let me back up a little bit to the
10 early phase of development and some of the early data that
11 one might look at, and we might see in presentation, and
12 that is, what is the agency's position on trying to tease
13 out the activity of single new agents as they come, both
14 agents or current classes and particularly agents of new
15 classes, for example, integrase inhibitors when they come
16 before us, as far as the periods of monotherapy that are
17 going into trials, whether they be 7 days, 14 days, 21 days,
18 as opposed to being up-front tested in combination regimens?
19 Is there a requirement to see individual activity--
20 obviously, it is preferable to see individual activity--but
21 what is the agency's position on this or is this a position?

1 DR. MURRAY: You are asking about earlier Phase I-
2 II monotherapy trials?

3 DR. HAMMER: Yes.

4 DR. MURRAY: I don't know that we have an official
5 position on this. There are clinical trials where
6 monotherapy is being studied early on for a brief period of
7 time, for two to three weeks. There is some evidence to
8 suggest that if you do it for that limited period of time,
9 that you don't jeopardize individual patients probably.

10 Of course, we don't have the absolute longest term
11 followup on those, but it looks like that it is probably
12 pretty safe to study drugs for two weeks without
13 jeopardizing patients to drug resistance too much, however,
14 this is a topic, I think, of interest. We have actually
15 been discussing this with the Division of AIDS, and realized
16 that there is a lot of anxiety about studying monotherapy
17 for any period of time. In fact, does a period of
18 monotherapy give you information that is evaluable in any
19 way? We think it does, but do we know that for certain?

20 That could be a topic of discussion in future,
21 perhaps in workshop and a future advisory committee, but we

1 haven't come to any firm conclusions, and we would certainly
2 like input on that.

3 DR. HAMMER: Thank you. One quick question about
4 the time to virologic failure endpoint, a statistical
5 quality question I guess. Obviously, the time to failure is
6 easy to calculate when someone goes below the limit of
7 quantification and the rebounds. In someone who never
8 responds, in your view, is that an immediate failure, or is
9 there some arbitrary point that is chosen statistically when
10 that person has failed?

11 DR. MURRAY: Well, it has to be well defined in
12 the protocol, and, you know, if a person is not going below
13 limit of quantification, you know, you have to allow a
14 sufficient amount of time for this to happen, but the
15 treatment guidelines have stated, at least the DHHS Kaiser
16 Family Foundation treatment guidelines state that if you are
17 not reducing a log, HIV RNA, in about four to eight weeks,
18 then, you might want to consider a treatment switch, so a
19 lot of protocols have incorporated that into their design,
20 and if they are switching at that point, then, those
21 individuals will be classified as a treatment failure.

1 We realize that it is somewhat arbitrary. The
2 important thing is, especially in, if open label, is that we
3 have very consistent, well-defined protocol designs where
4 the same thing is happening to all individual participants
5 in the study.

6 DR. HAMMER: Thank you.

7 DR. BIRNKRANT: Dr. Bertino, then, Dr. Pomerantz.

8 DR. BERTINO: On your last three slides, you kind
9 of touched on about new dosing schedules and kinetics. I
10 understand the pressure, political pressure and pressure
11 from the medical community and patient community to get
12 these agents out on the market.

13 It seems, though, that we are kind of ignoring
14 tailor-made regimens for patients and looking at kinetics
15 and dynamics incorporating them into these treatment
16 regimens, and with the goal of optimizing therapy, reducing
17 toxicity, and preventing viral resistance specifically for
18 HIV.

19 It's a complex study, but, for example, we don't
20 understand what happens to the pharmacokinetics of protease
21 inhibitors when you have a patient that starts out with a

1 high viral load, you knock it down, do their kinetics change
2 of whatever, because reduction in viral load, et cetera.

3 So, my question really is are you thinking about
4 incorporating these kind of things and using kinetic,
5 dynamic data in vitro, dynamic data from hollow fiber
6 models, et cetera, into the design of these accelerated
7 approval trials?

8 DR. MURRAY: We really haven't addressed that
9 specifically, and I agree with you, it would be very nice to
10 be able to monitor and tailor-make your regimen for patients
11 based on the levels that they are achieving because we do
12 know there is quite a bit of intersubject variability for
13 most of these drugs and there is changes that are occurring
14 as they are getting treated.

15 We would be very interested into looking to those
16 relationships. At this point I guess we just don't have
17 enough data and information to propose some guidance or
18 some, you know, our current thinking on that manner, but
19 that can certainly be topics for future discussion, perhaps
20 as workshops or further advisory committees.

21 DR. BIRNKRANT: Dr. Pomerantz.

1 DR. POMERANTZ: A statement and a question. I
2 think that slide is good to stay up there because it says
3 percent less than 1,200 RNA copies per ml, and I think that
4 sort of illustrates how there is a spectrum in how the field
5 looks at what limit of detection of success really is. With
6 one group--and I hope I am not overstating it--but my friend
7 Bob Coombs has written in the ID literature that we should
8 not forget that RNA is just a marker and that there are a
9 number of clinical endpoints, and then you have the group
10 like Doug Richmond and others of which I actually am a
11 member, I feel, who want it less than 50, less than 50,
12 better than less than 400 for sustained, and David Ho has
13 even gone so far as to say latency is really just small
14 areas of viral replication that are continuing to happen.

15 So, that being said, it is very clear I think in
16 certain patients that you want to get it as low as possible,
17 ablated to the limit of quantitation that you are able to
18 achieve, but there are in the real world, as you pointed
19 out, I think nicely, a group of patients that are not small,
20 that have gotten many different drug combinations, and are
21 now involved with, to use an oncological phrase, salvage,

1 and I have been impressed by the fact that in the
2 literature, there has been defined a group of patients that
3 get very little viral response RNA or it comes back to near
4 baseline after some initial period of some suppression, and
5 yet those people seem to be doing better than those that
6 were not treated both immunologically, both CD4 counts,
7 activation parameters on their CD4s and CD8s, so there is
8 this question of whether there is a change in the
9 pathogenesis of those viruses, that you now have a best-fit
10 virus for this environment, but that the viruses, the quasi-
11 species, are less pathogenic.

12 How are you going to dissect out those groups,
13 because they are going to become more and more important as
14 more and more people in the country get treated, how are you
15 going to dissect out the groups that don't get a big RNA
16 response, but are having an immune response possible through
17 the hypothetical change in best-fit pathogenesis model
18 virus?

19 DR. MURRAY: I guess I can say this is all work in
20 progress, you have to remember that so far we haven't gotten
21 an application under this new paradigm, so, you know, we

1 will probably have to plod along through some of this, and I
2 don't really have the answer to your question other than to
3 say that, you know, we are not dogmatic about achieving
4 limit of quantification for everybody, and we realize the
5 need to perhaps look at a combination of endpoints, look at
6 other endpoints, look at CD4 with HIV RNA changes, and, you
7 know, for special population groups, the data will have to
8 be presented and we will have to make our best decision
9 based on the scientific data at the time, so I guess it's
10 work in progress.

11 DR. POMERANTZ: I agree.

12 DR. MURRAY: Do you have any ideas on that, Roger

13 DR. POMERANTZ: Yes, that is reasonable. I think
14 that you can say up-front that for naive patients and
15 patients who haven't been really treated very aggressively,
16 you want to ablate viral replication, you want to get it
17 down below 50 if you can, and you want to look at what
18 percentage of people that can happen.

19 But when you start looking at the other ones, I
20 think you have to look carefully at immune parameters, maybe
21 substitutes, or even clinical endpoints, because you don't

1 want to turn back the clock, but there are a lot of people
2 that need salvage, that maybe we are able to affect the
3 viral qualitatively, if not quantitatively.

4 In those groups of patients, I would, as you were
5 saying, just keep your eye on not always saying what I think
6 is probably the best thing we can achieve, which is viral
7 ablation to the limit of detection, but you have to look in
8 those people back at some of the immune parameters, and you
9 may even have to factor back some clinical endpoints.

10 DR. MURRAY: I think that is entirely correct,
11 yes.

12 DR. BIRNKRANT: Dr. Masur.

13 DR. MASUR: Jeff, could you expand a little bit on
14 what drug absorption and drug interaction studies you would
15 require at the two levels of accelerated approval and final
16 approval?

17 DR. MURRAY: You mean would drug interaction
18 studies fall under--some fall under accelerated approval and
19 some fall under Phase IV commitments?

20 DR. MASUR: Well, for instance, under accelerated
21 approval, one of the problems is if one provided this

1 package, it is very difficult for a clinician to know how to
2 use it when one is using it in combination with a variety of
3 other drugs, so there are safety issues obviously.

4 Do you have some paradigm for what kind of studies
5 you would require as the minimum cut to fast track, one of
6 these drugs for accelerated approval?

7 DR. MURRAY: I don't think we have set minimum
8 standards in the form of a document saying that you must do,
9 you know, your choice of three out of the four following
10 drugs. I think we have generally taken it on a case-by-case
11 basis, and it really depends on how the drug is metabolized
12 and excreted.

13 Certainly, for P4503a inhibitors now, there is
14 laundry lists of drugs that need to be studied. Of course,
15 if the drug is going to be used in combination, if it is an
16 NNRTI, it is going to be used in combination for protease
17 inhibitor, we would like PK data on as many protease
18 inhibitor NNRTI combinations as can be done. I don't think
19 we set a minimum standard. I don't know if you want to
20 comment on that, Dr. Jolson.

21 DR. JOLSON: Whether it is accelerated approval or

1 traditional approval, the sponsor would still have the same
2 obligation to provide adequate information on the label for
3 the product to be used safely, and really the only thing
4 that distinguishes the traditional approval from the
5 accelerated approval is time. Right now it would be
6 duration of followup in surrogate marker studies.

7 But in terms of the other requirements for an
8 adequate safety database, and whatever drug interaction
9 information or pharmacokinetic information is necessary to
10 prescribe the drug safely would be required at the time of
11 the initial approval, which would be accelerated approval.

12 DR. MURRAY: A lot of these we are trying to get
13 done before the accelerated approval. Of course, if your
14 study is in combination, if your NNRTI is in combination
15 with a protease inhibitor, you had better have the PK data.

16 DR. BIRNKRANT: Dr. Feinberg.

17 DR. FEINBERG: Jeff, I want to follow up on two
18 other comments. Actually, when Scott talked about how to
19 look at these drugs for activity in an earlier phase, I
20 actually have also a concern about how we are going to
21 define viral load activity in Phase III, because there are

1 studies being designed where the new drug is added as a
2 single new agent to patients who have essentially met the
3 definition of virologic failure, which is that they have
4 measurable viral loads, usually above 5- or 10,000.

5 Even though the single new agent versus placebo
6 was usually for a limited time period, like four or eight
7 weeks, it still I think raises a lot of questions about the
8 appropriateness of that design.

9 You can see why it would be statistically
10 favorable because you get up to placebo control for that
11 limited period, and so the n's are smaller and you generate
12 the data faster, but I guess what I am asking you is that a
13 reasonable or acceptable design for Phase III.

14 DR. MURRAY: Well, adding onto your best current
15 therapy or your current therapy at the time, it used to be
16 kind of a convenient design, and I realize that it might not
17 necessarily be the best way to dose patients because
18 basically you are giving them sequential monotherapy.

19 I think probably the better design that we would
20 try to encourage would be adding your drug X plus two new,
21 let's say, NRTI's, or if you didn't have any NRTI's, maybe

1 recycled ones, so adding two drugs with your drug plus the
2 same two drugs plus another drug that is already approved,
3 that would probably be the preferable design rather than
4 just adding one at a time, is to make comparable changes in
5 the other drugs across both arms, trying to keep those
6 regimens as constant or nearly constant as possible,
7 realizing that that is difficult to do in the real world.

8 DR. FEINBERG: I guess I would say that I share
9 your anxiety about the appropriateness of that placebo-
10 controlled design as a Phase III, because I think it is
11 somewhat different if it's a limited period of time as a
12 Phase I.

13 To follow up on Roger's comment about other ways
14 of measuring benefit, the common clinical experience that
15 everybody has, of course, is that our hospitals are empty,
16 and yet, you know, in real life practice, nobody has a
17 clinic population where 80 or 85 percent of them are now
18 below the limit of quantitation.

19 So, there is clearly something, and we may be not
20 be measuring the right thing, but there is clearly something
21 that confers clinical benefit because people aren't sick.

1 There is sort of a missing piece there, and that may be most
2 important in patients who present in advance disease or with
3 the highest viral loads since those are the people who you
4 have a difficult time controlling just by looking at pure
5 virologic criteria, and I think it would be very important,
6 since this is a significant proportion of patients in the
7 real world, that, you know, other ways of evaluating them
8 beyond viral load reduction would be key, and I think may be
9 a very important part of a sponsor's package.

10 DR. MURRAY: Right. Again, I think the limit of
11 quantification was used as a high hurdle, and I think in
12 some of the Glaxo-Wellcome studies presented last year, that
13 they did find really very few individuals experiencing new
14 OIs when the viral load was kept below 5,000. In general,
15 you didn't have that many new OIs just even, you know, with
16 lower levels of virus that you don't necessarily have to
17 shut it off completely.

18 I think shutting off the virus completely is good
19 for responsible use of your drugs and trying to prevent
20 resistance, and trying to get durable response, but as
21 everybody has stated, it is not always possible.

1 DR. POMERANTZ: Just as a followup, I agree
2 obviously, I agree with Judy's point. We may be doing at
3 times, even though I am a person that always pushes people
4 in the clinics to try to ablate viral load in patients, we
5 may be doing some patients a disservice, because many times
6 you can get it down to 3,000, 5,000, and you are asked
7 should you leave it there or should you go on to more
8 experimental drugs, should you use hydroxyurea, and this
9 comes up a lot, so I don't think it is a moot point, it
10 happens routinely that if you hold to ablation, you can be
11 pushed to great degrees, and maybe we shouldn't be, and I
12 don't know that, but I think that your group is some of the
13 ones that can bring the different studies together to try to
14 get an answer to that.

15 DR. MURRAY: Right, and that is an interesting
16 example, hydroxyurea, which can maybe help lower HIV RNA
17 when used with maybe ddI and d4T, but also looks like it
18 could have adverse consequences on your CD4 count, and I
19 said before we want to make sure those changes are
20 consistent, so that is something that would be, if we had a
21 drug presented to the advisory committee, it would certainly

1 be a hard decision to make.

2 DR. POMERANTZ: That is a clear pyrrhic victory to
3 ablate viral replication when you also ablate the CD4 count,
4 and that is precisely what has happened with patients both
5 in our center and around the country.

6 DR. BIRNKRANT: Dr. Lipsky.

7 DR. LIPSKY: In reference to the concern about
8 drug interactions, do you see a role for in vitro testing of
9 human P450, et cetera? Does that have any interest?

10 DR. MURRAY: I am probably not the best person to
11 answer that. I don't know if we have biopharm people here.
12 But I think there is a lot of agency interest on doing as
13 much preclinical screening and trying to gather as much in
14 vitro data as possible, because I think they do guide
15 towards, you know, actually what human clinical studies need
16 to be done as far as drug interactions, but try to move in
17 that direction. Again, I am not the person probably to
18 answer that question.

19 I might say that if you come to an advisory
20 committee and there is an application presented where you
21 are still looking at some mean changes or you are still

1 looking at a design that doesn't quit fit this paradigm,
2 please be aware that a lot of trials have started a long
3 time ago, some of the trials that might come forward started
4 before last year's advisory committee, so we are still in a
5 transitional period, and we certainly haven't reached that
6 perfection point, probably never will.

7 DR. HAMMER: Dr. El-Sadr.

8 DR. EL-SADR: Thanks for the excellent
9 presentation. I guess at each of these advisory committee
10 meetings I always feel a little bit uncomfortable because we
11 start talking about one year followup as long-term studies,
12 it seems ironic, and 48 weeks is long-term followup, and
13 often we provide accelerated approval based on six months or
14 24 weeks, and then what happens is these drugs are really
15 used in a very different population from the population in
16 which they were studied.

17 The median CD4s that you showed, I think the
18 highest was 250.

19 DR. MURRAY: Yes, it was about 200 and some,
20 right.

21 DR. EL-SADR: My struggle is--and I think a lot of

1 clinicians' struggle is--what does it mean six months
2 separation of virus in terms of a patient who has much
3 higher CD4, who is much healthier, and who is going to need
4 to be on these drugs for eight, seven, 10 years.

5 So, I am a bit concerned about how we--I truly
6 applaud trying to get more drugs out there rapidly, but I am
7 very concerned that we are providing an incentive to study
8 them in a very specific population, in fairly naive
9 patients, fairly advanced or moderately advanced patients,
10 and also, often with a comparative arm that is inferior to
11 the study arm. There is a disincentive to looking really at
12 drug-drug parallel comparisons, which is what is needed out
13 there in clinical practice.

14 I am not sure what the answer is, but I am
15 thinking that there may be ways of looking more seriously at
16 including in your formula there, accelerated approval or
17 full approval, the side effect profile, as well as maybe
18 resistance. Certainly, people are going to stay on these
19 medications maybe for a long time, and maybe we should look
20 at the potential for development of resistance although, of
21 course, we will see that more the longer we follow the

1 patients, so I don't have the answer, but I feel
2 uncomfortable in extrapolating from six-month studies to
3 longer term outcomes in our patients that we see in the
4 clinic.

5 So, I think that was a comment rather than a
6 question, but it is something that I think we struggle with
7 in the committee.

8 DR. MURRAY: One thing I didn't say is that I
9 think our safety database will be the same as we have been
10 having in the past. I know it will be for accelerated
11 approval, I didn't put it up there, but ICIH guidelines
12 recommend for approval of a non-life-threatening drug, a
13 database around anywhere from 3- to 600 for six months, non-
14 life-threatening drug to treat for chronic use.

15 We have had around 5- or 600 most applications,
16 and that is what we are still requiring for accelerated
17 approval, so safety database is the same, and I think with
18 two, a lot of sponsors are doing two to four or five trials
19 sometimes, studying their drugs in different combinations,
20 trying to show the various uses.

21 I think that we will be getting safety databases

1 that are about of the same size that were used in the
2 clinical trials for drugs, I think some of the nucleosides
3 that have been approved in the past.

4 DR. EL-SADR: When you look at the time to first
5 failure, essentially, could you be losing important
6 information as to what happens afterward? I think part of
7 my decision in picking a drug is not only what happens with
8 this drug, but what am I going to do with that patient
9 further one, and many of these patients, once they fail on
10 the study, they go on to some other treatment, and it might
11 be just as critical to see their response to second choice.

12 DR. MURRAY: That is correct.

13 DR. EL-SADR: I think if we tried to get the
14 sponsors to look more at their virologic endpoints, not
15 clinical endpoints, but looking just beyond that first
16 event, which is I think very clinically relevant to the
17 patient and the clinician.

18 DR. MURRAY: That is true, and we do encourage
19 followup on all patients, and I think that will probably be
20 an excellent scenario in which to evaluate resistance once
21 we kind of get sorted out what some of these assays can do

1 technically.

2 DR. EL-SADR: I had a question, I mean one issue,
3 which is the dosing issue, because are you looking at--I
4 mean I have seen studies presented where there are like 50
5 patients on TID and 50 on BID, and 16 weeks or 24 weeks
6 Kaplan-Meier curve--are you looking for statistical
7 equivalence in those studies or are you looking that things
8 sort of look the same, because I don't know how to interpret
9 that.

10 DR. MURRAY: Either approach, equivalence approach
11 or a superiority approach, realizing that it might be harder
12 to show equivalence over the short term. You want to be
13 able to assess equivalence when there is an actual chance of
14 detecting a difference.

15 If you are looking too early, at eight weeks,
16 everything is probably going to look equivalent, so we know
17 that is probably not a good time point, so equivalence
18 trials are acceptable, superiority trials are acceptable.
19 It becomes kind of sticky point about how long and how many
20 patients to call it equivalent.

21 DR. EL-SADR: So, you are asking them for a sample

1 size that would demonstrate equivalence.

2 DR. MURRAY: Right. Our recommendations have been
3 to power up your study, to using a delta of anywhere from 10
4 better to 12 percent, to power your studies for that, and we
5 think that that will probably give us data that we will be
6 able to interpret when the study is done.

7 DR. EL-SADR: My last comment is I still don't
8 understand what you meant by sponsors encouraged to study
9 populations in need, but what did you mean by that?

10 DR. MURRAY: We certainly encourage trials in
11 patients who are advanced, have little treatment options,
12 have failed or are deemed to be resistant, however, if we
13 have a drug come forward that has only perhaps studied in
14 naive or limited, you know, prior drug experience, but it
15 looks like it has really good activity, and it's a
16 convenient dosing regimen, and there is a little safety
17 concerns, then, we think that that would, by the fact that
18 it had the other advantages, would fit the spirit of
19 accelerated approval, meaning that it had a meaningful
20 therapeutic benefit over other drugs.

21 Necessarily, you wouldn't have to show that, oh,

1 yes, we showed this drug was useful in patients who had
2 failed and were intolerant to everything, you don't
3 necessarily have to do that trial. You can make your case
4 for fulfilling the accelerated approval spirit based on
5 other evidence in your package.

6 DR. BIRNKRANT: Other questions on this topic?

7 DR. MURPHY: Let me see if I can synthesize what I
8 think I am hearing from the committee, is that with many of
9 the new regulatory approaches, we seem to be addressing and
10 meeting the need for access, and what I think we are hearing
11 is a question about the balance as far as when we go into
12 the requirements for the traditional approval or the
13 followup, that you are concerned about addressing the
14 adequacy of the followup, making sure some of these other
15 questions are answered.

16 Is that sort of what I am hearing?

17 DR. HAMMER: I think that there is certainly a
18 consensus among this committee that longer term followup
19 beyond the specific endpoint of these studies that
20 demonstrate benefit for the traditional approval based on an
21 RNA endpoint would be certainly preferable and sponsors

1 should be encouraged to do that.

2 I also think that many of the points that were
3 brought up in this discussion about longer term followup,
4 resistance, pathogenetic underpinnings for what we are doing
5 are the province of clinical trials groups working with
6 pharmaceutical sponsors and that no single pharmaceutical
7 sponsor with a drug is going to be able to answer some of
8 these questions. It is going to be ongoing cooperative
9 efforts to see what the longer term outcome over the next
10 five or 10 years is going to be with what we are doing,
11 which may be a good lead-in to the next topic.

12 DR. MURPHY: Thanks.

13 DR. BIRNKRANT: That is a very good point.
14 Actually, as we approve and review these products in an
15 expedited fashion, the post-marketing surveillance of the
16 adverse events seen with these drug products are extremely
17 important for the Division.

18 Our next speaker is Dr. Toni Piazza-Hepp, who will
19 address how we are dealing with post-marketing surveillance
20 of antiretroviral agents.

21 **Post-marketing Surveillance of Antiretroviral Drugs**

1 DR. PIAZZA-HEPP: I am with the Division of
2 Pharmacovigilance and Epidemiology, and we work closely with
3 the Antivirals Division to deal with post-marketing
4 surveillance of adverse events.

5 I am first going to give a general review of our
6 process, and then I will provide some specific examples of
7 issues that have been under recent evaluation.

8 [Slide.]

9 The reporting of adverse events by health
10 professionals in the U.S. is a voluntary process, and health
11 professionals can report these adverse events either
12 directly to the FDA or through the manufacturer. The
13 manufacturer, in turn, is required by regulation to report
14 any adverse events of which they are aware on to the FDA.

15 [Slide.]

16 Direct reports represent 10 to 15 percent of all
17 the reports we receive. The FDA has a MedWatch program
18 which was instituted in 1993, which has four goals that I
19 have listed on this slide.

20 Basically they are trying to increase the
21 awareness of, and the reporting of, drug and device-induced

1 disease, to make it easier for health professionals to do
2 this, and to provide also regular feedback to the health
3 care community regarding newly discovered safety
4 information.

5 [Slide.]

6 The manufacturer reports do represent the bulk of
7 the reports that we receive. Currently, the regulations
8 that govern this reporting is being rewritten, and this is
9 in order to achieve, for one, international harmonization.
10 There have been a lot of guidances and standards developed
11 under the International Council of Harmonization, and we are
12 trying to incorporate those into our rewrite of the regs.

13 Also, the new regs will stress getting information
14 to produce higher quality reports than we are receiving
15 currently with most of the focus on getting this type of
16 information on those reports with a serious outcome.

17 In addition, the electronic submission of reports
18 will be required under the new regulations.

19 [Slide.]

20 Spontaneous reporting systems do have some
21 limitations. Under-reporting is assumed, reporting biases

1 exist, and, of course, the exact denominator is not known.
2 So then spontaneous report numbers themselves cannot be used
3 to determine the incidence of an adverse event.

4 Also, like I mentioned, the information that we
5 received on the individual case reporting forms is often
6 incomplete.

7 [Slide.]

8 The advantage of a spontaneous reporting system is
9 it is a signal generation system, and it provides an early
10 detection method of events that were not seen in clinical
11 trials.

12 One or more reports that we receive can trigger a
13 further evaluation of a particular safety issue. Some other
14 things that might stimulate us to further pursue a safety
15 issue might be literature reports or outside inquiries,
16 something going on in another country. Basically, there is
17 no limit to the things that cause us to initially look into
18 an issue.

19 We then start looking for similar cases, and we
20 look, first of all, in our Adverse Event Reporting System,
21 which is our Adverse Event database. Also, we have access

1 to the WHO database and occasionally go to that, and then we
2 search the literature.

3 Once we do this, we look at the case series as a
4 whole and try to identify trends, possible risk factors, and
5 then assess, well, what does it look like as far as the
6 overall clinical significance of the safety issue.

7 [Slide.]

8 For certain selected adverse events, we can do an
9 epidemiologic analysis, and just a few of the things that we
10 participated in, one thing we can do is look at the
11 reporting rate utilizing the drug utilization data that we
12 have access to and then compare our reporting rate of an
13 event against the occurrence rate of that particular disease
14 or event in the population, and with a disease state such as
15 HIV, we would also attempt to further look to see in an HIV
16 population not exposed to a question what the expected
17 occurrence rate might be.

18 This is usually only useful if the reporting rate
19 is close to or exceeds the expected.

20 The FDA also funds cooperative agreements which
21 can mainly be described as epidemiologists in the health

1 care community with access to large health plan databases,
2 and our cooperative agreements are currently investigating
3 several protease inhibitor issues including hyperglycemia,
4 hypercholesterolemia, fat redistribution and cardiac events.

5 Also, our medical epidemiology staff works with
6 the Review Division to help coordinate possible further
7 study of these events either using the tools that are
8 available to the FDA or in cooperation with the
9 manufacturer.

10 [Slide.]

11 The regulatory action that is decided upon is in
12 the purview of the CDER Medical Review Division, and this
13 can be simply a labeling change, which is probably the most
14 common action. A "Dear Doctor" letter or "Dear Health
15 Professional" letters are reserved for the more clinically
16 significant types of events, otherwise, there would be
17 desensitization to such letters.

18 Sometimes it is decided that the most appropriate
19 action would be to restrict the use of a drug. The most
20 extreme is suspension of marketing, although we have seen a
21 few examples of that lately, and sometimes even if some of

1 the above actions take place, it is decided that the issue
2 also deserves further study to further clarify the nature of
3 the event.

4 [Slide.]

5 We try to achieve public dissemination of the
6 safety information, and one way is the "Dear Doctor"
7 letters. Also, our MedWatch program has an Internet home
8 page where the "Dear Doctor" letters are posted immediately,
9 and the labeling changes are summarized monthly by the
10 MedWatch personnel and posted.

11 There is also the MedWatch Partners program. What
12 this is, is over 120 organizations representing health
13 professionals and industry, and the partners work with the
14 MedWatch program to encourage their membership to report,
15 and also they receive the safety information which they can
16 then use in any way, shape, or form they wish to in their
17 newsletters or other ways to help inform their membership of
18 these new safety issues.

19 We occasionally publish our case series and other
20 information in medical journals, and also everything in our
21 adverse event database is available to the public through

1 Freedom of Information.

2 [Slide.]

3 So, some examples I wanted to present are three
4 selected adverse events regarding the protease inhibitors,
5 and the first being--

6 [Slide.]

7 --protease inhibitors and bleeding in patients
8 with hemophilia.

9 [Slide.]

10 In July of '96, a "Dear Healthcare Provider"
11 letter was sent, and this described 15 case reports of
12 spontaneous bleeding episodes in HIV-positive patients with
13 hemophilia receiving protease inhibitors.

14 At that time, all the cases were foreign, but we
15 still felt it was important to inform the U.S. healthcare
16 community regarding this event. There were 11 hematomas, 5
17 hemarthroses, no deaths. It was stated in the letter that
18 the causality was unclear, and the overriding message was
19 that hemophiliacs on protease inhibitors should be monitored
20 closely for spontaneous bleeding.

21 [Slide.]

1 This is what is in the current labeling, which
2 does reflect the information that we have to date. We just
3 highlighted a few of the salient points. Basically, it
4 states that we have received reports of spontaneous bleeding
5 in both hemophilia A and B, that in some patients additional
6 factor VIII was required, and that a causal relationship has
7 not been established.

8 [Slide.]

9 We do update these issues from time to time, and
10 we took another look at this issue in March of 1998. At
11 that point, we had 82 cases with the four drugs, 87 percent
12 were male. We now do have domestic cases, 62 percent of our
13 cases are domestic, and one of the interesting things is the
14 reporting pattern of this particular event.

15 We received the bulk of our reports in 1996 just
16 prior to, and several months after, the July 8, 1996 "Dear
17 Doctor" letter, and then in all of '97, we only received 9
18 reports, and as of March of '98, we had no reports reflected
19 in our database, although we have received a few since.

20 There is now one death in our series from
21 hemoptysis, and the median onset of this event was 22 days.

1 [Slide.]

2 This slide just gives you a listing of some of the
3 sites of bleeding, but basically, they were numerous, and I
4 have listed the sites that involved five or more reports.
5 The most frequent was joints following by skin, muscle. We
6 also had some reports of intracranial or cerebral bleeding,
7 and hematuria where there were no kidney stones present.

8 [Slide.]

9 I just made a note that in the literature, there
10 have only been two citations that I am aware of. They are
11 both foreign and they are both anecdotal, representing a
12 total of six case reports.

13 [Slide.]

14 The second issue I was going to address is
15 protease inhibitors and hyperglycemia.

16 [Slide.]

17 The Public Health Advisory was issued in June of
18 '97. At that time we had 83 cases, and they were described
19 as new onset diabetes, previous history of diabetes with
20 loss of control, and also hyperglycemia.

21 Five of those had diabetic ketoacidosis, and some

1 of those ketoacidosis cases have no history of diabetes.
2 Many of the cases were confounded by previous medical
3 conditions or drugs, and we had reports for all protease
4 inhibitors, and again the causal relationship was not
5 established.

6 [Slide.]

7 Again, we have highlighted the current labeling
8 for all the protease inhibitors. There is a section under
9 Warnings. I have highlighted a few of the salient points -
10 the fact that this is new onset diabetes, exacerbation of
11 pre-existing diabetes and hyperglycemia have been reported,
12 that initiation or dose adjustments of insulin or oral
13 hypoglycemic agents was required, and also in some cases,
14 diabetic ketoacidosis has occurred.

15 We don't know what the estimate of frequency is
16 and also the causal relationship has not been established.

17 [Slide.]

18 Five months post "Dear Doctor" letter, we had a
19 total of 230 cases, 26 of which were foreign, with the four
20 protease inhibitors, 77 percent of those were male, and the
21 mean age is 43. The mean onset to the event was 101 days.

1 Only 17 percent of 230 cases had a stated history of
2 diabetes, and another 13 percent had a family history of
3 diabetes.

4 [Slide.]

5 Some of the clinical characteristics of these
6 cases included hyperglycemia in 96, new onset diabetes in
7 94, and previous history of diabetes with loss of control in
8 40. Diabetic ketoacidosis occurred in 24 of those cases,
9 and only 3 of the 24 of the cases did contain information
10 that indicated they had a previous history of diabetes.

11 [Slide.]

12 The literature contains three citations, and again
13 they are all anecdotal case reports representing 13
14 patients, and none of those are diabetic ketoacidosis.

15 [Slide.]

16 The final issue that I will address is also the
17 most recent and active issue that we are currently
18 considering, and that is protease inhibitors and fat
19 redistribution.

20 [Slide.]

21 As of March of '98, we are aware of 64 case

1 reports captured in our database with the 4 protease
2 inhibitors, 66 percent of those are male, and the mean age
3 is 42. The mean onset was a bit longer than the
4 hyperglycemia events. The mean onset in this particular
5 situation was 5.6 months. Some of the major clinical
6 characteristics of those cases included buffalo hump in 15,
7 also central obesity. We had some cases with both
8 peripheral wasting and central obesity. Some reports simply
9 described as "Cushing's" or "Cushingoid," although in the
10 reports that provided such information, Cushing's disease
11 was ruled out by laboratory means.

12 Some had the buffalo hump with central obesity, so
13 we are seeing all sorts of things, and also some of these
14 patients also had a concurrent complaint of breast
15 enlargement.

16 We have some pictures to show you.

17 [Slide.]

18 This particular patient is a 58-year-old male, and
19 he had been receiving indinavir for four months when he
20 started developing a peripheral loss of subcutaneous fat and
21 also development of central obesity, and a buffalo hump.

1 This is the photograph of his buffalo hump, which he did
2 have it debulked surgically.

3 Now, this particular patient also had a history of
4 CHF and history of CAD, and four months after he had this
5 debulking, he did die of myocardial infarction. This is the
6 only death we have among the 64 patients.

7 [Slide.]

8 This is a 57-year-old female and also she
9 coincidentally had been on indinavir for four months, and
10 she developed both a central obesity and a buffalo hump.

11 Both of these patients had had a normal 24-hour
12 urine cortisol, and both of these patients also exhibit a
13 hypertriglyceridemia.

14 [Slide.]

15 As you all know, this issue has had a remarkably
16 high interest. It has been a notable topic at recent AIDS
17 meetings, both at the Fifth Conference on Retroviruses and
18 Opportunistic Infections in Chicago in February, and also at
19 the Twelfth World AIDS Conference in Geneva.

20 The literature has put out quite a bit since last
21 fall. Actually, this is even outdated already. I have

1 listed two anecdotal reports representing six total cases
2 and four small studies. In addition to this, Dr. Carr's
3 group in Australia published in the Lancet on June 20th a
4 proposed mechanism for this effect, and I have just been
5 informed this morning that Clinical Infectious Diseases
6 contains a few articles in July's issue, and I haven't had a
7 chance to look at those yet.

8 I have just listed some of the citations. If you
9 don't have those in your handout, I can supply those after
10 the meeting.

11 [Slide.]

12 The issues raised among these meetings and the
13 literature are various. One is what is the incidence in
14 protease inhibitor users. Also, is this a unique effect of
15 protease inhibitors or is it just something that has not
16 previously come to light and has always occurred with other
17 antiretroviral agents.

18 Also, what is the case definition, should we
19 classify buffalo hump the same as central obesity, and
20 should we lump those also with the cases where just an
21 isolated facial or peripheral lipodystrophy are occurring,

1 and are the mechanisms the same, and what are the possible
2 mechanisms.

3 In addition, are there any long-term sequelae.
4 This is currently unknown, and I think all would agree that
5 there is a need for further study.

6 [Slide.]

7 In summary, then, the evaluation of drug safety
8 doesn't end at approval, it is a continuous process, and the
9 Division of Pharmacovigilance and Epidemiology works closely
10 with the Division of Antiviral Drug Products to monitor the
11 safety of antiviral agents through report and case series
12 evaluation, through literature evaluation, and through
13 coordination of further study by the FDA and/or the
14 manufacturer.

15 DR. BIRNKRANT: Thank you very much.

16 Are there questions for Dr. Piazza-Hepp regarding
17 the post-marketing surveillance system? Dr. Bertino.

18 DR. BERTINO: Two questions. Having just had the
19 pleasure of filling out an adverse drug reaction form, is
20 the MedWatch form on your web site, you could just fill it
21 out on the web site, and E-mail it to you?

1 DR. PIAZZA-HEPP: I would like to say it should
2 have been by now, because they are piloting it. They have a
3 new method to report on the Internet, where you can just
4 fill out the information. You will get a copy of it, and
5 then that data gets beamed into our reporting system, and it
6 is submitted electronically to us.

7 They are piloting that program at several
8 hospitals right now and getting the bugs out of it before
9 they release it for general use, but that is definitely
10 coming real soon.

11 DR. BERTINO: If you have not used one of these
12 forms, I had a pharmaceutical rep present me with the form,
13 I filled it out, sent it to the company. They sent me back
14 another form that essentially asked for all the same
15 situation in a different form.

16 So, I wrote on it, "I already filled out all this
17 information and sent it back to the company," and I got a
18 letter saying thanks.

19 DR. PIAZZA-HEPP: In the new regulations, we are
20 trying to give the company some better guidelines on how to
21 conduct followup, because right now the regulations, quite

1 frankly, it is fairly general.

2 DR. BERTINO: Another question I have for you, and
3 this really is not so much in the realm of post-marketing
4 surveillance, but FDA has had its fingertips these huge NDA
5 databases, and we will get questions, for example, on our
6 consults about patients on X, Y, and Z, and they have got
7 this, their hair is falling out, they have got a fever, they
8 have got a bad taste in their mouth, whatever, can these
9 drugs cause this.

10 When you call the manufacturer, at least what in
11 my experience I have found is that their drug information
12 sections cannot access the NDA databases, and I can get this
13 information from the FDA under Freedom of Information if I
14 know what to ask for or what pages I am looking for, and
15 it's a dime a page or something like that.

16 But it would be very useful, because you have a
17 numerator and denominator in the NDA, in the studies leading
18 up to filing for approval, to be able to access that
19 information, and if FDA doesn't have the time or the
20 personnel to look at those things, it would be nice to make
21 them more easily available to scientists out in the public

1 domain to be able to do that.

2 I think a good example is, for example, with
3 quinolones, most of us who work in antiinfectives know that
4 women have about twice the CNS and twice the GI side effects
5 as men do at the same doses, but that is very poorly
6 documented in the literature. That would just be an
7 example. That would be an enormous benefit to be able to
8 access those NDAs.

9 DR. BIRNKRANT: The medical reviews and the other
10 reviews that comprise the total NDA review will be available
11 on the web site, the FDA's web site.

12 DR. PIAZZA-HEPP: Also, I just wanted to comment
13 that the safety information from the NDA is very carefully
14 reviewed, and hopefully, the right things go into the
15 labeling in the first place.

16 DR. BIRNKRANT: Dr. El-Sadr.

17 DR. EL-SADR: I am wondering if you went back to
18 the studies that we use to approve these protease inhibitors
19 and with the hindsight now, did you try to go back and see
20 how many episodes of hyperglycemia, if this was at all
21 described.

1 DR. PIAZZA-HEPP: Yes.

2 DR. EL-SADR: Is this all if it?

3 DR. PIAZZA-HEPP: That is something, not only with
4 the antiretroviral agents, but this is typical. Once it was
5 considered an unexpected event, comes up after the drug is
6 approved, it is pretty common that the Review Division takes
7 a look back again just to make sure they didn't miss
8 something, so that they take another look at the data they
9 reviewed in the first place just to make sure that there
10 wasn't something that was overlooked.

11 Oftentimes it is not something overlooked, it is
12 just that maybe the frequency of the event wasn't at, you
13 know, large enough to be able to be picked in clinical
14 trials, but that is something that has been done.

15 Jeff, did you want to comment further on that?

16 DR. MURRAY: Yes. I mean we always get very
17 nervous when we finds something. I mean I am always--that
18 is the first thing I do is look back and say, oh, my God,
19 what did I miss. But for hyperglycemia, for example, three
20 out of the four protease inhibitors in the NDA or in follow-
21 up supplements that the NDA had maybe one, not more than one

1 case of hyperglycemia, and when you get one case of
2 anything, you know, hyperglycemia or something that occurs
3 naturally in the population, you don't necessarily know what
4 to make of it.

5 Now, in hindsight, you can say, well, that case
6 might have been one, but there was not enough of a signal.

7 DR. HAMMER: This drives to the point of the
8 followup, I think, in many of these studies for which drugs
9 have been approved. For example, ACG320, we looked at it,
10 but, in fact, the medial followup was 38 weeks, and we saw
11 no difference in hyperglycemia or new diagnoses of diabetes
12 and reported that, but I think this, as well as
13 lipodystrophy are issues that were perhaps missed by the
14 short followup, but also I think the lipodystrophy, there
15 probably were cases that physicians just did not recognize.
16 It is clear the more you look for that, the more you see it.

17 DR. BIRNKRANT: Dr. Pomerantz.

18 DR. POMERANTZ: Obviously, the endocrine changes
19 and the fat distribution changes are fascinating with the
20 protease inhibitors. Some children have received protease
21 inhibitors. Has the FDA received any reports of what

1 protease inhibitors may have doing to the pediatric
2 population that has received it when it comes to endocrine
3 abnormalities?

4 DR. PIAZZA-HEPP: Well, a few of our 64 cases are
5 in children. A couple of them are described as either an
6 exacerbation of what was beginning to look like something
7 kind of cushingoid or more, just kind of--or Cushing's or
8 cushingoid type event.

9 As far as that being looked at prospectively in
10 studies, Jeff?

11 DR. MURRAY: Not looked at that prospectively yet
12 in pediatric studies.

13 DR. BIRNKRANT: Dr. Feinberg.

14 DR. FEINBERG: Just to underemphasize this issue
15 of the necessity for long-term followup once again, I will
16 report to you that anecdotally, we feel we are seeing more
17 and more cases of change in body habitus as you get into the
18 second year of therapy.

19 I know that what was just presented here said
20 something like five months is the average, and I think we
21 will only really know what all of this means, as well as

1 whether there are implications for cardiovascular health if
2 we provide long-term followup in an organized way, just not
3 adverse event report, but I mean studies that long-term
4 followup.

5 Since some of us offer treatment to
6 seroconverters, this is not a small, it is not even the
7 people with 500 or 600 T cells, but to seroconverters, this
8 is not an issue of small dimensions, because you really are
9 saying to people they are going to be treated for an
10 extraordinarily long time.

11 DR. BIRNKRANT: It is a very important issue, it
12 is under continuous review, and labeling will be updated,
13 and it is an evolving, ongoing, and continuous process. As
14 Toni said, once a drug is approved, the safety monitoring
15 doesn't stop, it continues.

16 DR. HAMMER: I would just emphasize one point in
17 the next to the last slide under Issues for the fat
18 redistribution syndrome, trying to come up with a practical
19 early case definition, knowing that it may be modified,
20 definition or definitions is very important, more important
21 in some areas than others, certainly very important in the

1 fat redistribution syndrome for which there is controversy
2 as to whether this is all part of the same thing or
3 different things, and we see some of the lead investigators
4 in the world arguing about the case definitions, the
5 incidence, and whether it is PI-specific or antiretroviral
6 therapy-specific.

7 So, case definitions where the agency can help
8 bring groups together to have working definitions for
9 clinicians and trialists would be, I think, very helpful.

10 DR. BIRNKRANT: We will follow through on that.

11 Dr. Lipsky.

12 DR. LIPSKY: In reference to the data where you
13 are getting the reports from, I think it is like 14 percent
14 from spontaneous reports, and the rest from industry. Do
15 you consider MedWatch a success or what can be done to make
16 it a better success?

17 DR. PIAZZA-HEPP: The MedWatch program encourages
18 since they have begun, we always accepted direct reports,
19 but since the MedWatch program has begun, they have tried to
20 emphasize the receipt of serious reports, and even though
21 their overall percentages haven't really increased as far as

1 percent of reports received directly, the number of serious
2 cases proportionally has increased. I mean we don't just
3 get a lot of non-serious rash cases with Bactrim like we
4 used to. The reports seem to be more significant than they
5 were.

6 Also, the quality of the reports is better, the
7 quality of direct reports. We seem to be getting more
8 complete information in the direct reports. Also, the
9 program emphasizes reporting, but they emphasize reporting
10 to either the FDA or the manufacturer. They just want
11 health care professionals to report, stating that yes, you
12 can report directly to the FDA, but you can also report to
13 the manufacturer.

14 So, it is hard to say, because of those factors,
15 you know, what the overall impact is. Believe me, the
16 MedWatch program would like to have seen it gone from 15
17 percent to 50 percent or whatever, but we still do encourage
18 reporting through the manufacturers, and I am sure the
19 manufacturers would be happy about that.

20 DR. MURPHY: I just wanted to follow up on her
21 comment. I think that one of the great things about

1 MedWatch is that the physician who fills the form out or the
2 health care providers is providing us more data than we
3 sometimes get otherwise, and one of our issues is that when
4 we get AEs sometimes from the sponsors, it will be two
5 lines, and they have a process for following up, but it is
6 usually sending a letter as their first step unless it's a
7 serious, a really highly serious event.

8 So, this facilitates the process, you can imagine,
9 if we get quality information right up in the first part of
10 the process.

11 DR. BIRNKRANT: Dr. El-Sadr.

12 DR. EL-SADR: I am just curious when we report to
13 the manufacturer, I mean often you call about an issue. I
14 mean are they--you call an 800 number and you speak to a
15 medical person, are they then required to generate something
16 to the FDA based on that conversation?

17 DR. PIAZZA-HEPP: Yes. If you indicate that--

18 DR. EL-SADR: You have to say I think it's an
19 adverse event or you can just have a conversation?

20 DR. PIAZZA-HEPP: That is actually a common route
21 that manufacturers get reports. You have health care

1 professionals calling in trying to get information from the
2 manufacturer because they have a patient on drug X, and they
3 have experienced a certain event, and you don't necessarily
4 think it is caused by drug X, but you are suspicious and you
5 want to know if they have any information. Well, that does
6 become an adverse event report, because you wouldn't have
7 called in the first place if you hadn't suspected at least
8 that there could be a possible relationship.

9 DR. BIRNKRANT: We are also in contact with
10 regulatory agencies throughout the world, as well, to keep
11 an eye on what is happening in other parts of the world, as
12 well.

13 Other questions for Dr. Piazza-Hepp?

14 I would like to thank everyone, both speakers and
15 panel, for an interesting morning session. The afternoon
16 session will begin at approximately 1 o'clock. Thank you
17 very much.

18 I am sorry. Open public hearing. Anyone signed
19 up?

20 **Open Public Hearing**

21 DR. HAMMER: There is no one signed up for the

1 open public hearing, but it is an opportunity if anyone
2 present wishes to make a statement, please come to the
3 microphone and identify yourself.

4 [No response.]

5 DR. HAMMER: Seeing no immediate rush to the
6 microphone, I will declare this session closed. Thank you.

7 [Whereupon, at 11:35 the open session was
8 adjourned.]

9

- - -