

1 in the world.

2 And since this inaugural event, we
3 treated more than 1,000 aviators, several of
4 whom I've had the chance and opportunity to
5 fly with. If I did not personally believe
6 that Laser Vision Correction was in their best
7 interest, I would not be treating anybody on
8 active duty, let alone an aviator. And I
9 would certainly not be advocating that it be
10 done in civilian communities.

11 I'd like to thank you for your
12 attention, and the opportunity to present
13 today.

14 DR. WEISS: Thank you very much,
15 Dr. Tanzer. I should point out, Dr. Tanzer is
16 an invited guest speaker for the FDA, but does
17 not work for the FDA.

18 Do any members of the panel have
19 any questions? Dr. McLeod.

20 DR. McLEOD: Dr. Tanzer, a point
21 has been made earlier today that patient
22 selection is key. I'd like to ask you, based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on your understanding of standard of care
2 established in the community for patient
3 selection, would you be able to identify any
4 specific areas that guides the military in
5 being able to obtain the results you
6 demonstrate?

7 DR. TANZER: We take our patients
8 through an exhaustive preoperative process,
9 including the testing that we provide for
10 them. But they come to us already pre-
11 screened by a cadre of co-managing
12 optometrists out in the fleet in the parent
13 commands that the patients do come from, so
14 right away, they've already been screened at
15 the local level, so to speak, so that they are
16 deemed to be -- as best as possible, they're
17 deemed to be a safe and appropriate Laser
18 Vision Correction candidate before they travel
19 to one of the 20 Laser Vision Correction
20 centers in the DOD.

21 Once they get there, again, we take
22 them through the standard battery of tests

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we all take all of our patients through
2 in terms of uncorrected and best corrected
3 visual acuity, manifest refraction,
4 cycloplegic refractions, topography, and other
5 imaging devices to make sure that they're an
6 effective candidate for Laser Vision
7 Correction. And then we culminate that in a
8 very extensive informed consent process.

9 To the extent that we're very
10 honest and up front with these patients,
11 especially our what I call high-value assets,
12 our aviators, divers, special operators, we
13 tell them that if their vision suffers because
14 of this procedure, they could lose that
15 function, they could lose that job.

16 DR. WEISS: Yes.

17 DR. McLEOD: Of particular interest
18 would be any particular areas that you might
19 think of, for example, pupil size or so that
20 you may feel the military has a particular
21 position on that may enlighten the civilian
22 population, as it were?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TANZER: You may know, we've
2 published on that. Dr. Schallhorn published in
3 2003 in the *Journal of Ophthalmology*, and I
4 was a co-author, the report that pupil size
5 cannot be used as a predictive factor of post-
6 operative quality of vision complaints. So,
7 whereas, we do measure pupil size in all of
8 our patients, we don't place any treatment
9 criteria based on that pupil size measurement.

10 DR. WEISS: Do you have any
11 statistics in terms of what percentage of
12 patients who would like LASIK are screened out
13 by the optometrists, and then of those who
14 come to you, what further percentage are
15 screened out?

16 DR. TANZER: I don't have a good
17 answer for your first question, in terms of
18 how many are screened out at the local level.

19 But we have an approximate 10 percent rate of
20 when patients do finally come to our DOD
21 centers, they aren't deemed a good LASIK
22 candidate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: Thank you. Yes, Paula.

2 DR. EDRINGTON: What are the
3 conditions for the ones you turn away, why?

4 DR. WEISS: Dr. Edrington, I just
5 asked Ms. Cofer to ask a question, and then
6 you will follow.

7 MS. COFER: Yes. I have a question
8 about the glare source you mentioned doing
9 contrast sensitivity testing. Would you
10 please explain to me, when you use a glare
11 source during contract sensitivity testing,
12 does that mean - and this is just to educate
13 me. I don't understand how that's done. Is
14 there a light source that's shined in the
15 patient's eyes during this testing? Is that
16 correct?

17 DR. TANZER: I didn't mention that
18 we tested contrast sensitivity with a glare
19 source. The study that I mentioned was the
20 night driving simulator study, with and
21 without a glare source. And the source of
22 that glare during that night driving simulator

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study was a light that simulated the glare
2 from headlights in a rearview mirror.

3 MS. COFER: And you're aware that
4 when a patient is facing an oncoming headlight
5 that their pupils constrict, and that blocks
6 out the spherical aberrations in the periphery
7 of the cornea. Is that correct?

8 DR. TANZER: That would be
9 physiologically correct, yes.

10 MS. COFER: Thank you.

11 DR. WEISS: Dr. Edrington.

12 DR. EDRINGTON: I just wanted to
13 ask about the 10 percent, when they come to
14 have the surgery that you do not perform the
15 surgery. What are the reasons?

16 DR. TANZER: Well, the reasons are
17 the standard reasons that we published,
18 whether it has to do with cornea physiology,
19 irregular topographies, the corneas being too
20 thin for a safe procedure, refractive
21 instability. Those would be four reasons
22 right off the bat that would make the patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perhaps not the best suitable candidate for
2 Laser Vision Correction.

3 DR. WEISS: Any other questions
4 from the panel? Seeing no other questions,
5 thank you very much.

6 DR. TANZER: Thank you.

7 DR. WEISS: We will now proceed to
8 discuss the panel questions from the FDA that
9 are before us. Dr. Lepri will project the
10 first question. Momentarily.

11 While we're waiting for it to be
12 projected, I can also read it out. The first
13 question is, "Please discuss any
14 recommendations you may have for modifications
15 to patient labeling of excimer lasers for
16 LASIK." So the question that I'd like the
17 panel to think about and contribute to at this
18 point is modifications for patient labeling of
19 excimer lasers for LASIK.

20 Perhaps we can go just around the
21 table, and I'll call on you. And if you have
22 any comments, please contribute them. If you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't, you can pass.

2 Dr. McLeod, do you have any
3 suggestions, or any thoughts from this
4 discussion on what could be done to clarify or
5 improve the patient labeling of excimer
6 lasers?

7 DR. McLEOD: Well, I think this may
8 be a difficult issue until we have better
9 data. But somehow, when we're able to
10 quantify or better express the issues that
11 psychological state and reasons for having
12 surgery come into play, perhaps some mention
13 should be made for patient consideration of
14 the potential for issues for people with a
15 background, if, indeed, we do generate data
16 that can support that.

17 DR. WEISS: Thank you. Dr. Musch.

18 DR. MUSCH: To follow-up on Dr.
19 McLeod's comment, labeling often reflects what
20 we know, and doesn't reflect what we don't
21 know. And I think there are many aspects of
22 risk related to LASIK that we have yet to find

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out. And I don't know if it's appropriate to
2 put in labeling, but it would be nice to have
3 a caveat statement about some risks yet to be
4 uncovered.

5 DR. WEISS: Dr. Heuer.

6 DR. HEUER: I'm not sure if this is
7 under labeling or under the website, but on
8 the website, the graph for dry eye seems to
9 suggest that by four weeks that's gone.

10 DR. WEISS: Dr. Eydelman.

11 DR. EYDELMAN: What we tried to
12 convey during our presentation is that this is
13 data that represents data collected as part of
14 a pre-market assessment for the LASIK devices,
15 i.e., it's data from safety -- cumulative data
16 from the labeling of all the LASIK devices.
17 And it is intended to present the general
18 patient, not the extremes of the population,
19 the average outcomes.

20 DR. WEISS: And I would ask if
21 perhaps we can hold that discussion to
22 the next question. Do you have any comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the labeling?

2 DR. HEUER: I'll pass on the
3 labeling.

4 DR. WEISS: Okay. Dr. Edrington.
5 Dr. Huang.

6 DR. HUANG: I do have a couple of
7 recommendation. As we know, dry eye is a
8 significant side effect of the LASIK surgery,
9 and I think patient labeling should emphasize
10 many aspects of the dry inducing events. And,
11 certainly, we have talk about autoimmune
12 diseases and various cornea pathology, but I
13 think there's one item is missing, is the
14 hormonal replacement therapy. Even though
15 there are some indication talking about
16 hormone fluctuation can affect surgical
17 outcome; however, there was no specific
18 indication, especially for the female
19 population, and so I think hormonal
20 replacement therapy probably should be
21 included in the patient labeling.

22 DR. WEISS: Dr. Eydelman.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EYDELMAN: Two comments.
2 First, to Dr. Huang's comment. The first
3 contraindication that's in your attachment
4 states, "If you have any of the following
5 situations or conditions you should not have
6 LASIK, because the risk is greater than the
7 benefit." And the first bullet is, "You are
8 pregnant or nursing, because these conditions
9 may cause temporary and unpredictable changes
10 in your cornea and the LASIK treatment may
11 importantly change the shape of your cornea."

12 DR. HUANG: But I'm talking about a
13 menopausal woman.

14 DR. EYDELMAN: Okay. I'll come
15 back to that, but I also wanted to address
16 something Dr. McLeod stated earlier. The
17 precautions start out by saying, "It is
18 unknown whether LASIK is safe and effective
19 for the following conditions." So we actually
20 provide a long list of things that we say we
21 don't have enough data for, so I don't know if
22 that is what you were trying to address.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: So I think in terms of
2 that, Steve, we could potentially have some
3 place to put something about psychologic
4 issues, so that at least it would be included,
5 even if we don't have the information. Yes?

6 DR. McLEOD: Just one follow-up on
7 the issue of collagen vascular disease.
8 Perhaps there really should be some revision
9 of that section that distinguishes between
10 collagen vascular disease associated with dry
11 eye, and other collagen vascular diseases,
12 since the most current data really do seem to
13 suggest that non-dry eye associated collagen
14 vascular disease is not necessarily associated
15 with difficulty with LASIK.

16 DR. WEISS: Dr. Huang, did you have
17 any other comments?

18 DR. HUANG: Yes. The other comment
19 is regarding the patient labeling. Most of
20 the patient labeling did not really
21 specifically indicate that there are
22 perspective excimer laser to be used for their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correction, so I think maybe we can -- maybe
2 it's in the physician labeling. It is on some
3 of the patient labeling information that I
4 have, that it did not specifically clarify to
5 the patient what type of laser correction can
6 be used.

7 DR. EYDELMAN: No, actually --

8 DR. WEISS: Dr. Eydelman.

9 DR. EYDELMAN: I'm sorry. I
10 believe every one of our patient labeling
11 states the indication, and the refractive
12 indication for which that device is approved
13 is part of the indication.

14 DR. HUANG: Perhaps, maybe some of
15 the prevailing patient information is
16 outdated, because there are several updates of
17 the software version. And then most of the
18 patient information provided by the excimer
19 companies usually just initial approval
20 indications.

21 DR. EYDELMAN: Dr. Eydelman, again.

22 Software updates will not affect indication.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If any change of an indication requires a
2 submission and a separate approval from the
3 FDA, and to that extent they -- all the
4 labeling would be modified to reflect that.

5 DR. WEISS: Dr. McLeod.

6 DR. McLEOD: Just one other point
7 on keratoconus. It probably would be
8 worthwhile to specifically identify a risk
9 associated with a family history of
10 keratoconus, or at least to prompt further in-
11 depth screening.

12 DR. WEISS: I think, also, we
13 should probably be adding "and other ectatic
14 disorders", if it's not listed, such as
15 pellucid.

16 One thing that I was wondering for
17 many of these questions that patients can view
18 the data, is if we could have a schematic of
19 what the symptom we're describing is, in
20 addition to just using the words. So we saw
21 some slides here of what a starburst looks
22 like, what the HALO's look like, what 20/25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vision, which is very, very poor, 20/25 vision
2 looks like. I don't know if this is possible
3 in patient labeling, or maybe it would only be
4 possible on the website, but to have some --
5 give a patient some diagrammatic idea of what
6 the words actually translate to in terms of
7 what they'd be looking at. Dr. Smith.

8 DR. SMITH: I don't have anything
9 for the patient labeling.

10 DR. WEISS: Ms. Cofer.

11 MS. COFER: I believe, based on the
12 latest scientific data, and I probably should
13 just, just for background information, when
14 LASIK was approved originally many years ago,
15 we didn't know a lot of the things that we do
16 know now. There have been thousands of
17 scientific studies about LASIK since its
18 approval by the FDA, and so there's a lot of
19 new information out there that's not
20 incorporated into the labeling. So I actually
21 have what you would call a laundry list of
22 things that I think would be appropriate in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the labeling, if I could go through those.

2 DR. WEISS: That's fine.

3 MS. COFER: We now know that future
4 cataract surgery is complicated by having had
5 corneal refractive surgery. And I believe
6 that's an issue that patients are not being
7 informed of before they go into LASIK, or any
8 form of corneal refractive surgery. We'll all
9 face cataracts sooner or later if we live long
10 enough, and I think that's something that
11 patients would like to know, that when they
12 reach the age that their natural lens becomes
13 cloudy and they need cataract surgery, that
14 they are going to have problems with their
15 cataract surgery because they've had LASIK.
16 And I believe that would be something that
17 should be in the labeling.

18 Do you want me just to continue?

19 DR. WEISS: Yes. I'm listening,
20 but I want to make sure we get everything
21 down.

22 MS. COFER: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: So, yes, please
2 continue.

3 MS. COFER: It's also clear now
4 that the change in the cornea after LASIK or
5 other corneal refractive surgeries causes a
6 problem with intraocular pressure
7 measurements, and that's something that
8 patients are not aware of. I don't even know
9 if most eye doctors or optometrists are aware
10 of it. Maybe they are, but it's certainly
11 something that could become a problem for
12 patients, especially a patient that is
13 beginning to develop ocular hypertension, and
14 possibly glaucoma. And patients do not know
15 that they need particular attention paid to
16 their optic nerve, and any signs of ocular
17 hypertension, so that should be in the
18 labeling.

19 And something that is fairly new in
20 the literature coming out of the Mayo Clinic,
21 is these reports of persistent decrease in
22 corneal keratocyte density. I know the long-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 term implications of that on the health of the
2 cornea seem to be unknown. Maybe that should
3 be listed as a labeling warning, that we do
4 see this long-term persistent increase in
5 corneal keratocyte deaths, and we don't know
6 what that will do to the health of the cornea,
7 and the function of the cornea long-term.

8 I don't think patients are being
9 informed that the LASIK flap heals only very
10 minimally. I believe the research out of
11 Emory showed that the flap itself heals to
12 only 2 percent of the original tensile
13 strength of normal cornea. There is a scar at
14 the margin that heals stronger, about 28
15 percent, but if that scar is broken through
16 trauma or surgical relift of the flap, the
17 LASIK flap easily lifts. It can be easily
18 lifted, many years or forever. And I think
19 patients are told that the LASIK flap heals,
20 they go on with their life. They're not
21 warned to wear protective eye wear, and I
22 think that's something that patients should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, that the flap heals only minimally after
2 LASIK.

3 Also, we know now, based on
4 literature, that creation of a corneal flap
5 and ablation of tissue into the anterior
6 portion of the cornea leaves the cornea much,
7 much weaker. And I'm talking about the
8 biomechanical strength of the cornea is much
9 weaker after LASIK than prior to LASIK. The
10 cornea has to withstand the intraocular
11 pressure of the eye, and this weakened state
12 of the cornea, which is a permanent state. It
13 doesn't recover biomechanical strength. This
14 permanent weakened state of the cornea could
15 pose problems for patients.

16 We've seen many, many case reports
17 of late onset ectasia occurring many months or
18 several years after seemingly successful
19 LASIK, and I believe patients should be warned
20 of that.

21 I think it's also unclear that
22 surgical correction of myopia will take away a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient's ability to see up close after the
2 age of 40 simply by removing their glasses. I
3 can talk from my personal experience. I was
4 told you'll need reading glasses after the age
5 of 40, whether you have LASIK or not. I now
6 know that I would not have needed reading
7 glasses after the age of 40. I could have
8 kept my myopia and just removed my glasses,
9 and I would have been able to see up close.
10 And I think that's misleading to tell patients
11 that they'll need reading glasses whether they
12 have LASIK or not. If they're myopic, if
13 they're nearsighted, they can remove their
14 glasses and see up close, and I think that
15 needs to be in the labeling.

16 I think the labeling should warn
17 patients about - and maybe it does now, I'm
18 not sure - about bilateral simultaneous LASIK
19 being a risk for vision loss in both eyes.

20 DR. WEISS: I believe that's in
21 there already. I wonder, since you have a
22 long laundry list, perhaps you could read the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 list. If you could maybe read the individual
2 items, and then if we need clarification, I
3 could ask you.

4 MS. COFER: The next one is that
5 I'm asking that there's something in the
6 labeling that communicates to patients that
7 loss of visual quality after LASIK is
8 frequent. It's not a rare event. It's a
9 common event. And I don't think patients are
10 expecting to loss visual quality after LASIK,
11 but that's what happens. And that's been
12 shown in clinical trials, including Wavefront
13 LASIK, is that there is a loss of visual
14 quality, which can be measured by wavefront
15 aberrometry.

16 DR. WEISS: That I might disagree
17 with you on, because I think then we're
18 getting into statistics. And then the
19 question is, how detailed do we want to be in
20 the patient labeling? And we may want to be
21 more detailed. However, the question is, do
22 we want to then list every single aspect? If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we speak to the presentation by Dr. Tanzer, it
2 appeared that it was overwhelming these people
3 were happy with the visual quality. So then
4 it gets somewhat open to discussion. And we
5 can open it up to the panel in terms of how
6 detailed does the patient labeling become.

7 We had heard a criticism in the
8 public session that already this is too
9 difficult for the average patient, and so it
10 may, if they even get it, get tossed aside.
11 We do want something that people will read and
12 see if they have the opportunity to. And part
13 of the discussion here today will be how best
14 to give patients the opportunity to see this
15 data.

16 Does any other members of the panel
17 have any comments on that? Do you think these
18 -- what should be the statement about patient
19 visual quality? Is it sufficient what is
20 presently in the patient labeling, that halos,
21 et cetera, may be experienced. What are other
22 people's thoughts? Dr. Huang, and then Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 McLeod.

2 DR. HUANG: I second the Chairman's
3 recommendation.

4 DR. McLEOD: At this point, I would
5 agree. When Ms. Cofer gets to the end of her
6 list, I probably want to bring up some
7 questions about some of those issues.

8 DR. WEISS: Okay. Why don't we
9 keep on going?

10 MS. COFER: Okay. I'd like to see
11 symptoms, such as dry eyes and night vision
12 impairment moved from the table called
13 "Symptoms", to the table called "Adverse
14 Events and Complications", because I don't --
15 we heard a lot of testimony here today about
16 dry eyes and night vision impairment. And
17 these are complications, they're clearly
18 complications, and I don't think -- I think
19 it's deceptive to put those in a separate
20 category, and call them "symptoms", and
21 downplay those. They're very serious life-
22 altering issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: I'm going to defer to
2 Dr. Eydelman, because much of this has to do
3 with the way these studies were originally put
4 together for the PMAs, and consistency among
5 how the FDA looks at these things for all
6 devices. Dr. Eydelman.

7 DR. EYDELMAN: Actually, I believe
8 that all of the pros, and you all know what
9 that means, are usually reported in labeling
10 under "Adverse Events and Complications", a
11 compiled section that would address both
12 objective and subjective outcomes. So the dry
13 eyes would be in that section already.

14 MS. COFER: I don't recall seeing
15 the night vision impairment under the "Adverse
16 Events". It's always been under a table
17 called "Symptoms".

18 DR. EYDELMAN: We'll take your note
19 into consideration.

20 MS. COFER: I believe it's the MEL
21 80, the most recent approval of LASIK. I'm
22 using that one as a sample for my next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 request, which is that pupil size be listed
2 actually a contraindication for pupil size
3 over -- that's larger than the optical zone of
4 the LASIK. And I do believe that's in one of
5 the most recent approvals. And I would like
6 to see that on all lasers, because anyone that
7 has LASIK with an optical zone that's smaller
8 than their scotopic pupil size is going to see
9 these night vision disturbances.

10 DR. WEISS: Okay. I think we've
11 just heard testimony, and I think Dr.
12 Schallhorn had done that study, and Dr. Tanzer
13 participated, that there was no evidence for
14 that. We may want to go back to Dr.
15 Schallhorn, but do any other members of the
16 panel want to comment on this? Dr. McLeod.

17 DR. McLEOD: So this is
18 specifically on the pupil size issue?

19 DR. WEISS: Yes. Should you warn
20 the patient that if, let's say, the ablation
21 zone is less than their pupil, they should not
22 have this procedure performed?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. McLEOD: Yes. One of the
2 reasons that I'd asked Dr. Tanzer the
3 question, is that many of us in the community
4 are familiar with the original study that was
5 published. However, publishing the study does
6 not, necessarily, correlate with actual
7 practice. If, indeed, the practice is as
8 described, certainly, that would be consistent
9 with the literature that's established that
10 does not, at this point, strongly link the
11 two. So I think that it would be -- it's a
12 very difficult area, and I don't think that
13 the patients' interests would be well-served
14 by an inaccurate description of the situation.

15 DR. WEISS: Dr. Smith.

16 DR. SMITH: I would agree with Dr.
17 McLeod's comments. And, also, you're really
18 getting into more complicated issues related
19 to that specific patient if you say a specific
20 pupil size and a specific laser. There are a
21 variety of factors that are considered by
22 refractive surgeons in individual patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assessments, and while providing as much
2 information to patients as possible is
3 important, I think overwhelming patients with
4 a lot of information that may be difficult to
5 interpret, putting it in the contraindication
6 section, specifically, isn't warranted at this
7 time.

8 DR. WEISS: Ms. Niksch.

9 MS. NIKSCH: Yes. I would also
10 agree with the comments from Dr. McLeod. And,
11 again, every sponsor brings forward data from
12 their clinical trial to FDA. The last part of
13 the approval process is a significant
14 negotiation process, and detailed review of
15 all of the claims, and all of the
16 contraindications, and all of that detailed
17 information specific to that particular
18 device, so, in general, on this particular
19 one, but in general on many of these comments,
20 unless they can be specifically related to the
21 specific device in question, industry would be
22 opposed to making these sort of blanket

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changes to all of the patient labeling.

2 DR. WEISS: We are going to need to
3 proceed to the other questions, so I
4 understand that you have a long list. I would
5 like to give you the opportunity, if you could
6 just read off the list, because I do want to
7 give Mr. Bunner an opportunity to comment, and
8 Ms. Nicksch, and then go on to the second
9 question.

10 MS. COFER: Depression is commonly
11 seen in LASIK patients with dry eyes and/or
12 night vision disturbances. Depression and
13 suicidal ideation must be studied by unbiased
14 mental health practitioners, including in the
15 warning in the device labeling.

16 Recommended labeling changes cannot
17 wait until FDA has the results of a future
18 study of patient quality of life. FDA must
19 take action now to protect the public health.

20 Perhaps there should be a device recall until
21 proper study of complications, both short and
22 long-term, permanent pathologic changes to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cornea, quality of life, and depression is
2 completed.

3 DR. WEISS: Thank you. Mr. Bunner.

4 MR. BUNNER: Nothing.

5 DR. WEISS: Thank you. Ms. Niksch.

6 MS. NIKSCH: Just a comment on the
7 last comment. I'm certainly opposed to any
8 sort of drastic action, such as any recall, or
9 discontinuation of any LASIK products based on
10 the anecdotal information. I think we are
11 looking forward to results from the
12 prospective quality of life study, and at that
13 time, would be appropriate to reconvene, and
14 determine what appropriate changes might be
15 required to physician and patient labeling.

16 DR. WEISS: Dr. Huang.

17 DR. HUANG: Perhaps I recommend FDA
18 to consider post-consultation evaluation of
19 the patient's mental status, or the patient's
20 comprehension of the consultation. Oftentimes
21 that after the patient come to my clinic, and
22 for various consultation, I ask them to repeat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what I told them, or I ask them repeat the
2 questions in their own words to see that
3 they're really representing what I told them.

4 So, as a result, I think that communication
5 between the physician and the patient, and
6 then also the patient's expectation will be
7 more realistic.

8 DR. WEISS: Dr. McLeod.

9 DR. McLEOD: I just wanted to take
10 this opportunity to briefly touch on a couple
11 of issues that Ms. Cofer may have raised,
12 particularly with regards to flap and corneal
13 strength.

14 In terms of the labeling, I think
15 that it's -- I certainly think that it does --
16 it is important to point out that there is
17 variability in the healing of the flap. I
18 think a categorical statement that all corneas
19 are necessarily vulnerable to -- any
20 particular quantifiable degree of traumas is
21 problematic, in that clearly, first of all,
22 there are no good studies beyond the best data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we have, which does come from the
2 military on flap healing after surgery. And,
3 indeed, the data are limited.

4 Certainly, in practice, it's widely
5 recognized that there's tremendous variability
6 in flap healing after surgery, so that in some
7 cases, even relatively soon after surgery,
8 there can be tremendous difficulty, even
9 microscopically surgically lifting a flap;
10 whereas, in other cases, there really can be
11 relatively easy flap dislocation. So I think
12 that a statement that recognizes variability,
13 but does not suggest that it is inevitable
14 that there is a decrease in flap strength is
15 important to clarify.

16 The second issue is related, which
17 has to do with the claim or the suggestion
18 that there is a pathological, or clinically
19 significant -- in other words, if this is
20 going to enter into patient labeling, then one
21 would presume that this is something that
22 would be of significance to the patient. And,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly, there are no good studies, again,
2 looking at the absolute change in
3 biomechanical stability and strength of the
4 cornea.

5 Certainly, there's very strong
6 evidence that in specific cases, that there is
7 pathologic change in biomechanical stability,
8 specifically in those cases that are at risk
9 for keratectasia. On the other hand, given
10 the fact that the vast majority of corneas do
11 show topographic stability over time, it would
12 suggest that any statement of significant
13 change in the stability and strength of the
14 cornea really should be categorically stated.

15 DR. WEISS: Dr. Musch.

16 DR. MUSCH: Perhaps I'm the only
17 one who is naive here, but I view all of these
18 suggestions as worthy of follow-up and
19 investigation, but not, necessarily, being
20 endorsed by us as a panel. When I hear, for
21 instance, that keratocyte loss is observed
22 around the periphery of the flap from a single

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study at Mayo, I'm not sure that qualifies as
2 labeling requirement.

3 DR. WEISS: So in addressing the
4 first question that was posed to the panel as
5 far as recommendation of labeling, it appears
6 that the ones that - and I'm going to, I
7 guess, use Chair's prerogative to pull some of
8 these out - the ones that most of us can agree
9 on, and after I make this statement, if there
10 is disagreement, please address it, and these
11 are to the panel members - to indicate that
12 cataract post-operatively, we know that there
13 are some issues in terms of checking the
14 intraocular pressure. If anyone disagrees
15 with that, including that, can you just sort
16 of raise your hand? So we should include
17 something like that, I think the panel agrees.

18 The issues in terms of figuring out
19 the implant measurement for cataract surgery
20 if you've had LASIK, and everyone is in
21 agreement with that one. If you can do, or
22 include a couple of pictures of what a halo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would look like, a starburst, to indicate what
2 the visual symptoms are.

3 If we do not have a strongly worded
4 sufficiently, and we may already, to indicate
5 for those people who have keratoconus, other
6 ectatic disorders, pellucid marginal
7 degeneration, we may want to mention that.
8 Now that that's become very well known, we may
9 want to mention that by name, where it was not
10 mentioned originally.

11 And, also, we should -- someone who
12 has a strong history of keratoconus should be,
13 perhaps, examined more carefully, or words
14 such as that.

15 Another suggestion was made to
16 distinguish those patients who have collagen
17 vascular disease in terms of being poor
18 candidates, versus those who have collagen
19 vascular disease with dry eyes, who would be
20 particularly the ones we are concerned about.

21 One mention was made of - and this
22 would be one of the perhaps softer, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA would have to determine how this would get
2 put into this - but in terms of the
3 psychologic issues, either before, or for
4 those patients who, perhaps, had no
5 psychologic issues that were manifest before,
6 or diagnosed before, but when dealing with the
7 adversity of a poor visual outcome, then
8 manifested psychologic issues. And I don't
9 know how one would put that in there, but that
10 may be something to be addressed.

11 The issue with dry eyes, I know
12 that we have that in there, the fact that
13 hormonal replacement therapy could adversely
14 affect this in some patients. I don't know
15 how detailed you want to get in terms of this.

16 And I think that basically
17 summarizes most of what was said here, that we
18 could reach agreement on.

19 We will then go to question number
20 two.

21 DR. LEPRI: "Please discuss any
22 recommendations you may have for modifications

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to FDA's LASIK website."

2 DR. WEISS: Why don't we start on
3 the other end of the table.

4 MS. NIKSCH: Barbara Nicksch. The
5 only comment that I would have is really,
6 there seems to be some inconsistencies with
7 the professional societies web pages with
8 regard to just the technology, in general. So
9 I would encourage the Agency to work closely
10 with the professional societies to insure the
11 information is consistent. That's really it.

12 Actually, I also just want to
13 comment. I think that actually the
14 information regarding if a patient would be an
15 appropriate candidate or not an appropriate
16 candidate seems very thorough. However, based
17 on some of the discussions today, I can see
18 some areas where we might want to add some
19 additional information. But, in general, I
20 think it's very thorough, at this time,
21 regarding a lot of the issues that were
22 brought up from patients that spoke earlier.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: Mr. Bunner.

2 MR. BUNNER: Yes. Richard Bunner.

3 I have a couple of comments. One, I guess is
4 just a general observation, and I think in
5 some ways, on the website, is the comment
6 directing to determine whether or not they're
7 a risk-taker. And I guess I just -- I wrestle
8 with that as a concept, because culturally, I
9 think for some folks it might be considered
10 more of a challenge than a warning. And I'm
11 not sure that it is a sufficient warning to me
12 as a consumer, as to what that really means.
13 Because of all the other contraindications
14 presented, it might even be worth not having
15 there, or having it rephrased. I kept
16 stumbling over that on the website.

17 In looking at that issue of risk,
18 I'm not blessed with having high-speed
19 internet access. I'm out in a rural area
20 where I have dial-up, so going onto the
21 website, wanting more information related to
22 risk, I end up referencing one of the laser

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sites. Well, the download time was so
2 incredible that I finally stopped, so you may
3 even - just as a point of information - direct
4 the users to what they may experience with the
5 website with their access to the internet.

6 But in saying that, what I was
7 trying to look for was some of the information
8 presented this morning as to well, how often,
9 as a consumer, could I expect that I might
10 find a contraindication, or a negative
11 outcome? And I didn't see that very clearly
12 presented on the website. Now, maybe it's
13 there, but I didn't get to it quickly, and I
14 was trying to find that.

15 And then the third comment I had is
16 that I did go to both the LASIK website, and I
17 went to the intraocular lens website, and
18 there are some inconsistencies between the
19 format of the two sites. It might be useful
20 to have a bit more similar.

21 What I was mostly drawn to, which I
22 thought was helpful, in particular, was on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intraocular lens website, was questions for
2 your doctor. That was not one of the key
3 buttons on the LASIK site, and I think that's
4 a useful key. And it would be a good one for
5 the LASIK site. And that concludes my
6 comments.

7 DR. WEISS: Ms. Cofer.

8 MS. COFER: I don't have a laundry
9 list this time. Sorry to disappoint everyone.

10 I would just like to see something on the
11 website pertaining to surgical correction of
12 myopia. And, again, the issue that patients
13 would retain the ability to see up close by
14 not having your myopia surgically corrected.

15 DR. WEISS: Dr. Smith.

16 DR. SMITH: It's listed several
17 places on the website, the issue of re-
18 treatment. It's kind of scattered throughout.

19 You might consider maybe a separate section
20 on that, just in terms of making clear
21 expectations regarding that, a little bit more
22 information in a separate section, perhaps.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: I would suggest photos
2 also be placed on the website sort of similar
3 to those that the patients have described at
4 this meeting, as far as what they actually
5 see.

6 I would also think it would be
7 helpful if there were some easy link to
8 patient labeling, because to get to the
9 individual PMA, and then the doctor labeling,
10 and the patient labeling is somewhat
11 difficult.

12 The other part about the risk
13 taker, what's been underscored here, which is
14 something similar to what I tell my patients,
15 is that even if the risk is .5 percent, or .05
16 percent, if it happens to you, it's 100
17 percent. And sometimes some of my patients
18 don't want to think about what happens if the
19 risk happens to me. And, certainly, in my
20 laser practices, it's also under -- it's not
21 underscored, and it's not emphasized. But if
22 there was some way, as was mentioned, to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 convey that slightly stronger, is that if you
2 cannot -- if you would not be willing to have
3 an adverse event happen, and, of course, no
4 one wants to. No one wants the adverse event;
5 however, if you could not tolerate an adverse
6 event, you should not have LASIK.

7 And that will get back to one of
8 the last public speakers commented that there
9 were many people on this panel that wear
10 glasses, so how can we do refractive surgery
11 and wear glasses? And the reason, for me, is
12 two-fold. One is, I like my up-close vision,
13 and so when patients come in to me as a
14 refractive surgeon of a certain age, which I
15 will not mention, I emphasize that as an eye
16 surgeon, I can read without my glasses, and I
17 love that. And I can operate without my
18 glasses, and I love that, so while LASIK works
19 and it's good, it's not for everyone. And so
20 that if you tell me that as a myope you're
21 sitting at your desk most of the time, and you
22 don't need glasses, and you only want the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 LASIK for when you're golfing, I'll tell you
2 as a patient you probably don't want the
3 LASIK, because most of the time now you're
4 going without glasses. So that's one aspect.

5 The second aspect is, I would not
6 tolerate any risk for myself, and I know
7 myself. And does that mean LASIK is good or
8 not good? It means LASIK is good, but not for
9 everyone. And the key thing that has also
10 been emphasized at this meeting, it's key to
11 get the proper information, and be screened
12 properly, and understand what you're looking
13 for, and what this procedure can do, and not
14 have a moratorium on the procedure, I think.
15 And perhaps I'm speaking out of turn as chair,
16 but I'm speaking maybe as an individual, and
17 then I'll go back to my chair mode; is that, I
18 think we need better screening, better
19 information, better -- in some cases, perhaps
20 some better doctors for some of what was
21 experienced here, but not to throw out the
22 baby with the bath water. It's not that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device is bad, it's that, for example, if you
2 were not told that if you took off your
3 glasses before surgery, you wouldn't be able
4 to have the same ability to read after
5 surgery. That's lack of information, not a
6 bad procedure.

7 Dr. Huang.

8 DR. HUANG: My comment is
9 specifically related to the user friendliness
10 of the website. To me, this is a refractive
11 surgery, in general, so that I think maybe FDA
12 can put either the IOL refractive -- the
13 LASIK, and maybe PRK, and all those
14 therapeutic modalities, so the patient, when
15 they come to consider such a procedure, they
16 have a quick reference, rather than going
17 through different therapeutic modalities to
18 look for the information they are looking for.

19 DR. WEISS: Dr. Edrington.

20 DR. EDRINGTON: I wear glasses
21 because I see double without them on. One of
22 the things I think would be helpful, just as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consumer, we're -- there's a lot of fine print
2 out there. So if you're signing a new mortgage
3 on your house, it takes you hours to sign your
4 name, and you don't have time, actually, just
5 to read everything there. I've been guilty of
6 that recently.

7 I think it would be helpful when
8 the fine prints there are saying there's a
9 complication, or a symptom such as dry eye,
10 that maybe the incidence of percentage of
11 patients that have that as a side effect, that
12 would be helpful for me, as a consumer, to
13 know that's a high risk, and I'm willing to
14 take it, or that seldom happens, and I'm
15 willing to take that small risk.

16 On the page one of four for "What
17 should I expect before, during, and after
18 surgery"? Since I'm involved in the contact
19 lens field, having this little formula, a bar
20 chart telling me when a contact lens should be
21 removed, or how long it needs to be removed
22 before LASIK should be performed, that seems

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to miss the boat, to me. I understand that's
2 very common, but the issue is stability. And
3 this is a nice guideline, but, hopefully,
4 every LASIK surgeon is looking for stable
5 refractions, and stable corneal measurements,
6 as opposed to some sort of time line.

7 And I think that needs to be
8 emphasized not only to the surgeons, but also
9 emphasized to the public, because sometimes
10 they get upset when you've gone after the two
11 weeks, and they're not stable. They think
12 it's your fault, somehow.

13 I agree with Ms. Cofer about the
14 — this is on LASIK Surgery Checklist, page
15 one. I agree with Ms. Cofer on the fact that
16 if you are nearsighted, some patients just
17 don't understand that they won't be able to
18 see up close after the procedure. They'll sit
19 there and tell you no, I see fine up close.
20 I'm going to have this done to take care of my
21 distance vision. I see just fine up close.
22 Well, they won't after the procedure, so I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think needs to be clarified strongly to every
2 patient.

3 Also, this says, "May still need
4 reading glasses." I guess that could happen,
5 but almost everybody at some point will need
6 reading glasses, either early after surgery,
7 forever after surgery, or maybe after 10 years
8 after surgery.

9 Also, on the LASIK checklist, it
10 says "Know when to seek help." I think it
11 would helpful to have something there telling
12 the patients what those risks might be, not so
13 much when they're asking about the refractive
14 surgery, but some patients might actually go
15 this website if they're having complications
16 to see whether they need additional help. So
17 I don't know if there should be another
18 session that would maybe show them what a red
19 eye looks like, or here are the things that
20 you need to immediately call your eye care
21 practitioner or your surgeon if you notice any
22 of these. It might be more helpful after the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 procedure, as opposed to at the time of the
2 procedure.

3 And the other thing I'd strongly
4 like to agree with Dr. Weiss on, which is, the
5 device is not bad. I don't think we're, in a
6 sense, judging the device here. I think the
7 device is fine.

8 DR. WEISS: Dr. Heuer.

9 DR. HEUER: I think a lot of
10 patients that have dry eyes don't realize they
11 have dry eyes. And I think what would be
12 helpful under precautions, and maybe in the
13 checklist would be a link to a dry eye self-
14 survey. I think the do's or something like
15 that, that patients could see, do a -- say, I
16 have this problem. Maybe I ought to think
17 twice about it.

18 And in that same vein, we started
19 this conversation inappropriately in the last
20 question, but I think while -- under "What to
21 Expect Under Surgery", where the dry eye box
22 ends at four weeks, is that the average, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the 95th confidence level, 99th percent
2 confidence level? Having the box end, I think
3 is very deceptive to the patient, because they
4 say this is a short-term thing, even though it
5 says other ways, that it may not end. I think
6 having that picture, many people learn a lot
7 more from a picture than they will from all
8 the verbiage.

9 DR. WEISS: Dr. Musch.

10 DR. MUSCH: Well, since it's true
11 confessions time, I've worn glasses since I
12 was in first grade. I'm a pretty happy camper
13 with them, and the degree of myopia I have
14 exceeds that which most of the LASIK would
15 take care of.

16 When I read this website, I think
17 back to writing an informed consent, and
18 having a high school student look at it and
19 see if they comprehend it. And it's always
20 good to revisit something like this. There's
21 a lot of text in here, and you might -- I'm
22 sure if you ran a text check on it, it would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 read at more than a high school level in many
2 parts of it, so it would be worthy to revisit
3 that for readability.

4 And I don't see a comment in there
5 under the "When is LASIK not for me", that
6 would impact on a person like me, with a
7 spherical equivalent in the minus 11-12 range.

8 That seems to be a time when you'd start to
9 think LASIK might not be good for you.

10 DR. WEISS: Dr. McLeod.

11 DR. McLEOD: First of all, I'd like
12 to endorse Dr. Heuer's idea of the self-test
13 for dry eye. I think that's an outstanding
14 idea, particularly given that that is, indeed,
15 one of the most common things that we have to
16 put up with.

17 I think that, first of all, just to
18 start with, the "When is LASIK not for me",
19 page, the general organization of this page,
20 "When is LASIK not for me" really doesn't seem
21 to flow very well. It doesn't make a lot of
22 sense to me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What happens, it's divided into
2 these different categories. You're probably
3 not a good candidate if, and then it goes to
4 precautions, but the things -- the elements
5 within each of those categories seem to
6 overlap to some extent. There are lifestyle
7 things in one, and then there's specific
8 medical conditions. And then you've got the
9 same thing in another section.

10 And under "Precautions", the
11 statement is, "Safety and effectiveness has
12 not been determined in patients with some
13 diseases." Keratoconus is listed under there,
14 and I think it's a consensus opinion that
15 keratoconus is well-known not to be acceptable
16 for LASIK surgery.

17 Just a couple of points of
18 clarification. There's a point under
19 "Probably Not A Good Candidate", that
20 specifies that corticosteroids may prevent
21 proper healing after refractive procedures.
22 That's one example of a place where going over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the document that -- with a specific eye to
2 identifying what current consensus opinion is,
3 is probably worthwhile, since corticosteroids,
4 I think is well known, are actually considered
5 part of standard of care as treatment,
6 following treatment.

7 On the next page, specifically
8 under the area of "Large Pupils", again, I
9 would recommend that the FDA revisit the
10 current literature, and make a conscious
11 decision about what the FDA wishes to do with
12 that particular statement. That's on page 2
13 of 2.

14 Moving forward, just a minor point.

15 There is under the section "What are the
16 risks? How can I find the right doctor for
17 me? During surgery, malfunction of the
18 device, such as cutting a flap of cornea
19 through and through, instead of making a hinge
20 may lead to irreversible damage to the eye."
21 That's probably not a good example. There are
22 certainly better examples of things that can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happen to the microkeratome. That actually
2 would not be considered one of them from a
3 technical point of view.

4 Finally, under the LASIK surgery
5 checklist, again, I already raised the point
6 of the distinctions that should be made with
7 autoimmune disease. Medications also list
8 steroids, there's a pupil size reference
9 there, and on the last page, there's the
10 statement, "Be prepared to wear an eye
11 shield", a minor point, but that should be
12 specified that it's during sleep.

13 The biggest issue really is,
14 though, I think that the overall organization,
15 particularly of the section, "When is LASIK
16 not for me"? I don't think is really helpful
17 in patients really understanding what are true
18 contraindications, and how to categorize the
19 truly significant issues, and then through
20 other things that may be less significant.
21 Weighting it so that people understand the
22 important issues, is really, I think, key.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: Dr. Eydelman.

2 DR. EYDELMAN: I just wanted to add
3 one comment. We now heard from a couple of
4 panel members recommending that we add a link
5 to a dry eye questionnaire. I wanted to point
6 out that as of current time, the only
7 validation that has been performed on that, or
8 any other vision-related questionnaire, has
9 been for pen and pencil administration. So
10 the study that is currently undergoing, and is
11 supported by NEI and FDA, is aimed exactly at
12 that, in trying to validate web administration
13 of previously validated questionnaires in pen
14 and pencil. So if that study proves that the
15 web administration is, indeed, equivalent,
16 then this would be one way that we can then
17 incorporate these kind of questionnaires on
18 the web, and include links on our website.

19 DR. WEISS: Yes.

20 DR. MUSCH: I wasn't going to
21 comment on that, but having brought that
22 particular study up, I noted that you were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 taking pieces from existing validated
2 questionnaires, putting them together, and
3 then testing to see if web administration
4 differs from written, or doing it on paper.
5 And, so, you have then gone beyond the
6 validation status of each instrument, and come
7 up with a unique combined instrument. Am I
8 mistaken on that?

9 DR. WEISS: Dr. Smith.

10 DR. SMITH: You're referring to the
11 NEI/FDA study. Correct?

12 DR. MUSCH: Yes. Wherein, you take
13 five OSDI questions, one NEI VFQ question.

14 DR. SMITH: So none of the
15 questions were taken separately. They are
16 domains that were taken, full domains that
17 were separately validated, so we have
18 validation data on those domains. We would
19 love to have as much information as possible.

20 However, as you know, many of these
21 instruments are quite long, 42 items, 25
22 items, the OSDI is probably one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shortest.

2 In order to try to get information
3 related to dry eye, satisfaction, quality of
4 life, the goal was to try to get units that
5 were validated in and of themselves as domains
6 or sub-scales, the driving sub-scale from the
7 NEI VFQ, for example. These were the
8 suggestions that were made from our
9 psychometricians that reviewed the study
10 design.

11 We certainly would love to have
12 more data, but practically speaking, if you're
13 trying to make this a study that people can do
14 quickly on the web, that can actually be done
15 in refractive surgeon's busy offices, when
16 you're not going to get fatigue factor towards
17 the end of the 50th question, we had to balance
18 all of those concerns.

19 Certainly, if there are specific
20 domains that you think would be better, I'd
21 love to hear your thoughts on that.

22 DR. WEISS: I think we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 move on, and just summarize the answers that
2 we've obtained on this question. And this
3 relates, question number two, to the website.

4 The recommendations from the panel were to
5 give a little bit more information for the
6 patient on what is meant by if you're not a
7 risk-taker, you would not want to have this
8 procedure. Some more clarification of that.
9 Add photos of what the visual disabilities
10 actually mean, have statistics for the
11 frequency of some of the adverse events, side
12 effects, or complications, have a link for a
13 patient who wanted to get the patient
14 labeling, let's say, for that particular laser
15 to read in more detail, underscore the fact
16 that if you have LASIK and get excellent
17 distance vision, you will need reading glasses
18 when you get to mid-age, or if you are mid-age
19 and already have excellent reading vision, you
20 will lose that if you are presbyopic, and get
21 your distance vision, instead. Have a
22 separate area concerning re-treatment, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stability for -- indicate for contact lens
2 removal, it is not just the duration that the
3 contact lens is removed, it is that refractive
4 stability has to be reached.

5 I'm not sure if we concluded to
6 have a link to a dry eye website or not at
7 this end of this. If there's a valid
8 instrument, we can link it. If there's not,
9 we would not. There were a list of advice by
10 Dr. McLeod for the, "When is LASIK not for me"
11 portion of the website, as far as rewriting
12 that in a, I guess, more coherent fashion,
13 revising the mention of steroid, because this
14 is used often post-operatively, revising the
15 question about the pupils to correspond to
16 what is now known, putting something in about
17 a distinction with autoimmune disease versus
18 autoimmune disease with dry eyes, having a
19 better example with potential problems that
20 can occur with a microkeratome.

21 Dr. Huang has been scribing for me,
22 so I'm going to ask you - there have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three that I left out, update improvement from
2 any of the manufacturers that maybe were not
3 included in the website, improve the download
4 speed. That may be the hardest thing to do.
5 And simplify the language.

6 We will now move on to question
7 number three. Yes, Mr. Bunner.

8 MR. BUNNER: Practical question.
9 Richard Bunner. About the button
10 similiarities between the intraocular website,
11 and the --

12 DR. WEISS: Yes, make it --

13 MR. BUNNER: Well, the one button
14 was "Questions for your doctor." I thought
15 that was a very useful one on the intraocular
16 site, have that on the LASIK site, because I
17 don't believe it's there. And that was my
18 recommendation.

19 DR. WEISS: Okay. Thank you.

20 Question number three.

21 DR. LEPRI: "FDA is currently
22 evaluating the ANSI Z80.11 Laser Systems for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Corneal Reshaping Standard for recognition.
2 Please discuss whether you recommend that the
3 FDA recognize the standard in its entirety, in
4 part, or with specific additions."

5 DR. WEISS: In interest of time,
6 for the next two questions, I'm just going to
7 ask for contributions to those of you who have
8 specific changes that you'd like to see made,
9 as opposed to calling on every individual.

10 Does anyone have any suggestions
11 for changes to the ANSI? Yes, Ms. Cofer.

12 MS. COFER: Now, I just want to be
13 clear we're all looking at the same thing. Is
14 this the -- what is it, three pages in our
15 binder, or four pages in our binder. Is that
16 what we're referring to?

17 DR. WEISS: Yes. I think we're
18 referring to number eight in your binder.

19 MS. COFER: Okay. I think someone
20 might have touched on this already, but I just
21 wanted to be clear on that. In the ANSI
22 Standards, will there be a clear definition of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mesopic? In other words, there are
2 differences between scotopic, low-mesopic, and
3 high-mesopic vision, and pupil size can
4 fluctuate dramatically between high-mesopic
5 and scotopic, so will that be clarified in the
6 ANSI Standards?

7 DR. WEISS: Dr. Eydelman.

8 DR. EYDELMAN: Yes, that is in
9 there. And if I can just address something
10 that was stated earlier. We cannot -- we're
11 not in charge of the ANSI Standard. I just
12 want to make it clear. We're not discussing
13 modification to the standard. What we're
14 discussing is FDA's recognition of that
15 standard, and that can be done in its
16 entirety, in part, or with specific additions.

17 But the standard exists, and it belongs to
18 ANSI.

19 DR. WEISS: Thank you for
20 clarifying that. So with that, I guess,
21 better explanation, is there anything -- maybe
22 I could start with. Is there anything that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anyone objects to in the standard that was
2 mentioned. Is there anything that anyone
3 would feel not comfortable with? Yes, Ms.
4 Niksch.

5 MS. NIKSCH: The understanding is
6 that the standard was intended for devices
7 affecting sphere and cylinder only, was not
8 intended to consider high order operations.
9 Is that a correct understanding?

10 DR. WEISS: Dr. Eydelman.

11 DR. EYDELMAN: It does astigmatic.

12 It was originally written for conventional
13 treatment, but it does not -- I would have to
14 check the scope, as it was currently written.

15 Perhaps I can ask Dr. Hilmantel to step up.
16 He has an official copy of the standard, so we
17 can read the actual scope. Perhaps you want
18 to go on, and we'll come back to that.

19 DR. HILMANTEL: The standard
20 applies to any laser system whose primary
21 intended use is to alter the shape of the
22 cornea through the removal of corneal tissue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resulting in the improvement of visual
2 performance. This standard addresses a
3 vocabulary of performance", that's all it
4 says.

5 DR. WEISS: So what's the answer to
6 the question?

7 DR. HILMANTEL: The answer is it
8 includes all lasers, it includes both
9 wavefront lasers and conventional lasers.

10 DR. WEISS: Yes, Dr. Eydelman.

11 DR. EYDELMAN: But it does not
12 provide the distinction that you particularly
13 were seeking.

14 DR. WEISS: Dr. Edrington.

15 DR. EDRINGTON: I see that on page
16 three that was in our group here under
17 "Evaluating Safety", that there's nothing on
18 topography or wavefront. Is that what we're
19 referring to? Can you make suggestions?

20 DR. EYDELMAN: So you're suggesting
21 -- Dr. Eydelman. So you're suggesting to
22 include topography evaluation for --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EDRINGTON: Wavefront,
2 topography, something that looks at corneal --
3 I mean, looks at the surface regularity, or
4 some indici for surface irregularity.

5 DR. EYDELMAN: Okay.

6 DR. WEISS: So from what I'm
7 hearing from the panel, the fact that this
8 includes all lasers, but does not distinguish
9 between conventional and wavefront, there
10 should be something added in here to talk
11 about performing wavefront measurements, or
12 topography. Is that correct?

13 DR. EDRINGTON: Well, even if it
14 was just regular LASIK, I still think you --
15 if you had an adverse event, not an adverse
16 event, but if you had change in topography of
17 some measurable amount, and that that would be
18 indicated to you, regardless of whether it's
19 wavefront or not.

20 DR. EYDELMAN: I believe the
21 standard does address that. Perhaps, Dr.
22 Hilmantel can have the exact clause that he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can find, if you're interested, while we
2 continue the discussion.

3 DR. WEISS: Well, whether or not we
4 find that, I guess the recommendation is that
5 we have some stability as far as topography or
6 wavefront measurements, if that's not
7 included. And I would assume we can't have
8 stability as far as wavefront measurements,
9 because you usually only would get one
10 measurement. But if there's any change in
11 topography, that would be an issue.

12 Any other -- Dr. Heuer.

13 DR. HEUER: Having worked many
14 years ago on an ANSI standard in a different
15 realm, one of the components we had was
16 certain number of anticipated potential
17 adverse events with a forced choice of yes/no,
18 to make sure that they're gathered in a
19 systematic way, so that then we can - getting
20 back to our previous discussion - we can
21 provide patients with real numbers about how
22 often they might expect to have this occur.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: Dr. Heuer, could you
2 repeat that again for me?

3 DR. HEUER: I think in the interest
4 of providing reproducible data that patients
5 can look at in terms of what's my likelihood
6 of getting dry eye syndrome? What's my risk
7 of developing an infection? What's my risk of
8 developing epithelial ingrowth and needing
9 flap things? If you don't have some forced
10 choices at each key visit, does the patient
11 have X? Yes/No? Y? Yes/No? So there are
12 forced choices that are a much better way to
13 collect data systematically, than just having
14 to blank any adverse events.

15 DR. WEISS: Do we know how they're
16 presently collecting the data for adverse
17 events?

18 DR. EYDELMAN: The standard does
19 not usually go into that kind of detail.

20 DR. HEUER: As a glaucoma
21 specialist, we did, but that's -- all I can
22 speak from is when we had -- in the ocular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypertension treatment study, when we didn't
2 specify a regimen by which events were
3 gathered, the range from center to center in
4 terms of how often things were reported varied
5 extremely widely, so I think if the intention
6 is to gather a robust data set on the real
7 incidence of these problems, that's the only
8 way you're going to get the information. Not
9 seeing what's in the ANSI standard makes it a
10 little hard to otherwise blanket accept it.

11 DR. WEISS: Dr. Eydelman.

12 DR. EYDELMAN: Just to address the
13 earlier statement. The standard does say that
14 topography should be performed on all study
15 subjects.

16 DR. WEISS: Dr. Musch. If there
17 are no other comments, then there were minimal
18 issues with the ANSI Standard. The question
19 was whether there could be forced choices to
20 get some statistics on post-operative
21 problems, such as glare, HALO, dry eyes, and
22 also have topography included, which I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you just mentioned it already was.

2 We will now go on to our final
3 question, question four.

4 DR. LEPRI: "The training packet
5 for SightNet participants currently emphasizes
6 evaluation for and reporting of the following
7 LASIK-related adverse events and
8 complications: Infectious keratitis, endemic
9 cases of diffuse lamellar keratitis, abnormal
10 trends in post-operative topography,
11 significant losses of best corrected visual
12 acuity, glare, HALOs, starbursts and
13 distortions, device failures. Please discuss
14 any recommendations you may have for revision
15 of this list of adverse events and
16 complications for which reporting is
17 emphasized."

18 DR. WEISS: Any comments from the
19 panel on this last question? Dr. Heuer.

20 DR. HEUER: I mentioned earlier,
21 again as a non-informed, non-corneal surgeon,
22 but I thought epithelial ingrowth, at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those require re-operation, ought to be
2 enumerated.

3 DR. WEISS: Dr. Huang.

4 DR. HUANG: I think these items
5 should be categorized into the intraoperative
6 and post-operative. Such as intraoperative,
7 you may have a buttonhole, you may incomplete
8 flap, you may have a free flap, free cap. And
9 then post-operatively you have a DLK, you have
10 epithelial ingrowth, you have glaucoma, you
11 have retina detachment, those kind of -- so
12 that would be easier for people to report.
13 And, also, that will be easier to dedicate the
14 responsibility, because some of the post-
15 operative finding is not really in the
16 surgical center. It's the physician's
17 responsibility to report, and then some of
18 them is surgical center.

19 DR. WEISS: Any other comments on
20 this question? I would question when it says
21 "significant losses of best corrected visual
22 acuity". Do we specify what "significant" is?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the reason I'm asking is, we've heard
2 from patients with adverse events today that
3 they might have been told they had "good
4 vision". They were 20/25, but they couldn't
5 see anything, so it would be very good to
6 capture those people with good visual acuity
7 who have visually disabling problems.

8 DR. LEPRI: This list was, first of
9 all, not ever intended to be limited to these
10 events, and that is not specified what we mean
11 by "significant losses of best corrected
12 visual acuity", and probably would be with the
13 limit of two lines of acuity, as is the
14 standard in most of the labeling. Okay.
15 Unless you have a different recommendation.

16 DR. WEISS: Well, I guess, perhaps
17 what we should say is significant losses of
18 best corrected acuity, or significant
19 distortion in vision, because I think glare --
20 - the presence of glare, HALO, starburst,
21 distortions may be there -- I think we've
22 heard here today there are two different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 animals here. We have those patients who come
2 in the next day who are thrilled with their
3 LASIK, and then tell you oh, by the way, I
4 have a little bit of a HALO, and then it goes
5 away in a couple of months, and they're very
6 happy patients. And then we have those people
7 who have been reporting to us today, they have
8 visually disabling starbursts and HALO, and I
9 think we have to start, if we are not already,
10 distinguishing between the side effect that
11 disappears, and the complication. Dr.
12 Eydelman.

13 DR. EYDELMAN: So, if I understand
14 the recommendation correctly, we should add an
15 emphasis on the collection of the changes or
16 significant impact on the quality of vision,
17 in addition to the quantity, or the actual
18 acuity.

19 DR. WEISS: Exactly. I think
20 that's terribly important.

21 DR. EYDELMAN: Duly noted.

22 DR. WEISS: Dr. Smith.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SMITH: The other thing, since
2 you're not really -- that's not prescriptive.

3 People can report other things. You might
4 just remind them by saying, and any other
5 unexpected abnormality, something like that as
6 a prompt for people to think oh, gee, why did
7 that happen?

8 DR. WEISS: Any other comments from
9 the panel? Dr. Huang.

10 DR. HUANG: If I'm not mistaken, I
11 certainly hope through this panel meeting and
12 in this public hearing that we can clarify
13 that this is -- FDA is approving the device,
14 rather than censoring the procedure. And what
15 happened is, just like auto industry, making
16 the car super fast does not make the car
17 industry guilty of killing people. And the
18 same thing, the LASIK machine itself is not
19 creating the problem. It's the procedure
20 itself is creating the problem, so we should
21 identify the problems related to the
22 procedure, or related to the machine, or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devices. And then to report it accordingly,
2 rather than lump everything together, and
3 everybody at the end is confused.

4 DR. WEISS: So to summarize the
5 answer of the panel to question four, there's
6 been a recommendation that when possible,
7 intraoperative complications, such as flap
8 complications, be distinguished from a list of
9 post-operative complications, which would
10 include such items such as epithelial
11 ingrowth. And then, also, to not only include
12 significant losses of best corrected visual
13 acuity, but significant visual side effects,
14 or whatever word you would use for such things
15 as glares, HALOs, or starbursts, which could
16 impact adversely on patient life.

17 I'd like to thank the members of
18 the public who have shared with us their
19 experiences. And I'd like you to know that
20 we've heard your testimony, and we take it
21 seriously. It's very hard to be a patient.
22 We've heard very disparate reports of LASIK

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the fact that so many people are happy
2 and their lives have been changed in such a
3 positive fashion, to those who have spoken to
4 us before, and have told us tragic stories,
5 and also have told us how adversely their life
6 was affected by visually disabling symptoms.

7 I guess the question is which one
8 of this painting of the LASIK picture is true.

9 And, obviously, for those of you who have
10 stayed through the whole meeting, they're both
11 true. It appears that although we don't have
12 all the statistics we need, and the National
13 Eye Institute, the FDA, the Academy of
14 Ophthalmology, and ASCRS will be working to
15 get better statistics.

16 Even with the statistics we have,
17 we have information that the vast majority of
18 patients with LASIK do very well, and are
19 happy, and do not have visually disabling
20 effects and see very well. However, we do --
21 we have heard from the FDA in their slide
22 which said the LASIK post-market assessment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surveys do not adequately evaluate the effects
2 of rare severity. And it appears that many of
3 you who have had some of these adverse
4 effects, and it may be less than one percent
5 are in this room with us today. And that does
6 not negate the importance of when that rare
7 side effect happens to you, because you have
8 to deal with it. And the FDA, and medicine,
9 in general, want to do what can be done to
10 help you deal with this.

11 Now, one other thing, which goes
12 sort of beyond what FDA does, and it's been
13 brought up indirectly, is listening to the
14 many people who are testifying, there were
15 certain commonality of things that came up.
16 One was aggressive marketing. The other one
17 was LASIK as a commodity.

18 We all know LASIK is not a
19 commodity. It's a surgical procedure, but it
20 is being sold as a commodity.

21 Are these issues that fall for the
22 FDA? No, they don't. This is FTC. The FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 does not regulate marketing, but I would agree
2 with you, it is a problem.

3 Another thing that has come up
4 again, more than once here, is inadequate
5 informed consent, and the fact that some
6 patients were poor candidates. Does that fall
7 under the purview of the FDA? No. Some of
8 that will fall under medical malpractice, and
9 that's something that the field should monitor
10 and your local malpractice lawyer is probably
11 helping some of you with.

12 I think some of you came here
13 today, and I know some of the press had touted
14 this meeting as a referendum on LASIK. It
15 appears to me from hearing what has been said
16 today that this has really been a referendum
17 on the performance of LASIK by some surgeons
18 who should be doing a better job. And I would
19 like, and I hope the field, in general, will
20 help you get the answers to some of this. And
21 I think the FDA and the organizations will
22 help in terms of getting further information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to try to make things better for future
2 patients, so that your testimony here has been
3 of value, and we thank you.

4 We will be going on to the next
5 session after a short break. I'd like to ask
6 Dr. Eydelman if she has any closing comments.

7 DR. EYDELMAN: I just want to
8 reiterate my personal thanks to all of you who
9 took the time to come and tell us your
10 personal stories. It is of value. We do
11 hear, and we are trying to do everything in
12 our power to try to maximize patient safety
13 through every avenue that is under FDA's
14 purview.

15 DR. WEISS: Thank you very much to
16 those members of the public who will be
17 leaving. You're welcome to stay. We have a
18 15-minute break, and then we will be going on
19 to Phakic intraocular lenses.

20 (Whereupon, the foregoing matter
21 went off the record at 3:42 p.m. and went back
22 on the record at 3:52 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: This afternoon's
2 session will be on phakic intraocular lens
3 post-market experience. We will now proceed
4 with a general discussion regarding phakic
5 intraocular lenses.

6 Prior to hearing a presentation
7 from FDA, we will hold the open public hearing
8 session for this meeting, and we will now
9 proceed with the open public hearing. I will
10 repeat as I did this morning the disclosure
11 recommendations.

12 Both the Food and Drug
13 Administration, and the public believe in a
14 transparent process for information-gathering
15 and decision making. To insure such
16 transparency at the open public hearing
17 session of the Advisory Committee Meeting, FDA
18 believes that it is important to understand
19 the context of an individual presentation.

20 For this reason, FDA encourages
21 you, the open public hearing speaker, at the
22 beginning of your written or oral statement to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advise the Committee of any financial
2 relationship that you may have with a company
3 or group that may be affected by the topic of
4 this meeting. For example, this financial
5 information may include a company's or a
6 group's payment of your travel, lodging, or
7 other expenses in connection with your
8 attendance at the meeting.

9 Likewise, FDA encourages you at the
10 beginning of your statement to advise the
11 Committee if you do not have such financial
12 relationships. If you choose not to address
13 the issue of financial relationships at the
14 beginning of your statement, it will not
15 preclude you from speaking.

16 Our first presenter is Dr. Scott
17 Barnes, who will be presenting a statement
18 from Dr. Doyle Stulting.

19 DR. BARNES: Good afternoon. I
20 will read this in the first person, as it's
21 written. My name is Doyle Stulting, and I'm
22 here on behalf of the American Society of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Cataract and Refractive Surgery to comment on
2 phakic intraocular lenses.

3 In addition to practicing medicine,
4 I am a consultant for AMO, which manufactures
5 an excimer laser and markets a phakic
6 intraocular lens. Phakic intraocular lenses
7 are plastic artificial lenses that are
8 implanted into the eye to correct severe
9 nearsightedness. They are offered to patients
10 who are poor candidates for LASIK, typically,
11 because the amount of nearsightedness that
12 they have is too great to safely allow
13 modification of the corneal curvature.

14 The first phakic intraocular lens
15 was approved for use in the United States in
16 September of 2004. This same lens has been
17 utilized in Europe since 1991. It is a rigid
18 plastic lens that is attached to the front
19 surface of the iris inside the anterior
20 chamber.

21 A second phakic intraocular lens
22 was approved for use in this country in 2005.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Satisfaction with these lenses has been
2 excellent. For example, 99 percent of
3 patients reported during clinical trials in
4 this country that they were satisfied with the
5 result of the lens. About half of the
6 patients implanted with these lenses said they
7 could actually see better without glasses than
8 they did before the surgery with their
9 glasses.

10 I remember the first patient who
11 received a phakic intraocular lens as part of
12 the clinical trials in my practice. He was a
13 firefighter who was unable to wear contact
14 lenses successfully because of the soot and
15 debris he encountered on the job. Just
16 imagine the danger he faced in a burning
17 building fighting a fire if he happened to get
18 a piece of soot underneath his contact lens.

19 Patients who are candidates for
20 phakic intraocular lenses are truly
21 debilitated by their nearsightedness. The
22 last patient whom I implanted was a 28 diopter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 myope. I know this number may not be
2 meaningful to all in the audience today, so
3 allow me to illustrate my point.

4 I asked this patient if he could
5 see me without his glasses. He said he could
6 only tell if there was somebody in the room if
7 I would actually start moving. When I asked
8 what he would do if he were outdoors and he
9 lost his contact lenses, he said that he would
10 not be able to figure out where they were, and
11 he would not be able to find his way home
12 safely.

13 These devices meet a true medical
14 need in patients who are completely
15 debilitated without optical correction. Phakic
16 intraocular lenses have been available for
17 implantation outside of the United States for
18 17 years, and the lenses are still used by our
19 international colleagues who have now had an
20 opportunity to evaluate their performance over
21 the past two decades.

22 These lenses are a great example of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the technology that is life-altering for
2 patients whose safety is at risk, because they
3 are so extremely nearsighted, yet are not
4 appropriate candidates for LASIK. Thank you
5 for the opportunity to address the panel."

6 DR. WEISS: Thank you very much.
7 Do any members of the panel have any
8 questions?

9 Hearing no questions, we will now
10 go on to the FDA presentation. Dr. Kesia
11 Alexander will be the first speaker.

12 DR. ALEXANDER: Good afternoon. My
13 name is Kesia Alexander, and I'm the Branch
14 Chief of the Intraocular and Corneal Implants
15 Branch.

16 Today I'm going to talk briefly
17 about FDA's safety initiatives as related to
18 phakic IOLs, and following my presentation,
19 Don Calogero will speak about the application
20 ANSI and ISO standards.

21 At the end of our session, we would
22 like input from the panel on ways to improve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the following safety initiatives. During
2 my presentation, I will discuss the following
3 topics: approved phakic IOLs, patient
4 labeling, and our phakic IOL website.

5 Currently, we have approved two
6 pre-market applications for phakic IOLs. The
7 first approval was for Ophtec's Artisan IOL,
8 which is distributed here in the United States
9 by Advanced Medical Optics under the name of
10 Verisyse. This PMA was approved in September
11 of 2004 to treat patients with minus five to
12 minus 20 diopters of myopia. The second PMA
13 is for the STAAR's Visian Implantable Collimer
14 Lens, which was approved in December of 2005
15 to treat patient with minus three to minus 15
16 diopters of myopia. Both of these companies
17 have post-market approval studies underway.

18 As part of our approval process for
19 these types of devices, and to insure that
20 patients are properly informed, we request
21 that in addition to physician labeling, that
22 sponsors provide patient labeling which gives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an overview of how the device works, risk and
2 benefits associated with the device,
3 alternative treatments, as well as other
4 important aspects that the patient should
5 consider.

6 We strongly encourage anyone
7 considering these types of devices to
8 thoroughly read the patient labeling, and to
9 ask as many questions as needed to make an
10 informed decision; that is, do the benefits
11 outweigh the risks?

12 As you can see, I've highlighted
13 the fourth bullet, as I would like to briefly
14 go through some of the contraindications,
15 warnings, and precautions associated with
16 these types of device. Some other aspects
17 will be discussed later in my presentation.

18 Phakic IOLs are contraindicated for
19 patients who are less than 21 years of age,
20 who have an anterior chamber depth outside of
21 the approved range, who have an abnormal iris,
22 who are pregnant or nursing, and who not meet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the minimum endothelial cell density
2 requirement.

3 The warning section of the patient
4 labeling highlights some of the limitations,
5 and our knowledge of these types of devices.
6 For example, rate of cataract formation,
7 occurrence of lens opacification, and effects
8 on the corneal endothelium.

9 In the precautions section of the
10 patient labeling, patients are urged to
11 thoroughly read the brochure, and to ask
12 doctors questions. If they have any of the
13 following conditions, they are asked to
14 discuss with their doctor whether they are a
15 suitable candidate. Please keep in mind that
16 these slides are merely excerpts from the
17 patient labeling, and that the
18 contraindications, warnings, and precautions
19 are based on the clinical data presented in
20 the PMA applications.

21 As I mentioned in the beginning, we
22 will be asking the panel if they have any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations for improving our patient
2 labeling.

3 In addition to patient labeling, we
4 currently have a website available which
5 addresses the following. As you can see, a
6 few topics are highlighted in yellow. I would
7 like to talk about these a little more to give
8 you an idea as exactly what our website
9 offers. However, more details regarding these
10 areas are included in your packets.
11 Therefore, please keep in mind that I will
12 just be showing excerpts from these sections.

13 Are phakic IOLs right for you? The
14 website advises that phakic IOLs are probably
15 not right for a patient if the patient has
16 large pupils, a shallow anterior chamber, low
17 endothelial cell counts, or other risks listed
18 on this slide. Therefore, it is very
19 important that patients discuss the risk
20 factors with their doctor.

21 What are the risks? When deciding
22 whether the benefits outweigh the risks, our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 website recommends that patients consider
2 risks such as vision loss, retinal
3 detachments, debilitating visual symptoms like
4 glare and HALOs, among others, while keeping
5 in mind that long-term data regarding these
6 devices are not available.

7 The website outlines what a patient
8 can expect before, during, and after surgery.

9 Our website urges patients to get an initial
10 examination to determine whether their eye is
11 suitable for surgery, to inform their doctor
12 of any medications they are taking, to make
13 sure all their questions have been adequately
14 addressed prior to signing the informed
15 consent.

16 Our website provides a general
17 overview of what the patient may expect during
18 surgery. The website also describes what the
19 patient should expect immediately following
20 surgery, such as, they may be sensitive to
21 light, and have a foreign body sensation. It
22 also advises them when to contact their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doctor. For example, if they have severe
2 pain. Here are some additional post-surgical
3 expectations. You can take a few minutes and
4 look them over.

5 In this slide, I'm showing you a
6 sampling of the types of questions from our
7 website that the patient should consider
8 asking their doctor. Similar types of
9 questions are also provided in patient
10 labeling. Please keep in mind that our list
11 of questions are not intended to be all-
12 inclusive. The hope is that they will guide
13 the patient in the right direction to ask
14 questions which will specifically address
15 their concerns.

16 Once again, we will be asking panel
17 input, and asking the panel if they have any
18 recommendations on improving our phakic IOL
19 website. Thank you.

20 DR. WEISS: Thank you very much.
21 Are there any questions for Dr. Alexander?
22 There are no other FDA presentations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CALOGERO: Hello. My name is
2 Don Calogero. I'm in the Division of
3 Ophthalmic, Ear, Nose and Throat Devices.

4 There are currently two phakic IOL
5 standards that are published, one is ISO
6 11979-10 for phakic IOLs, and the other is
7 ANSI Z80.13. The ISO standard is currently
8 recognized by FDA in its entirety with no
9 additions. The ANSI standard is currently
10 being reviewed for recognition. Any
11 modifications that the panel recommends to
12 these standards will be presented to the
13 standards organizations at the time of the
14 revision of these standards.

15 These standards contain both pre-
16 clinical and clinical requirements. In terms
17 of the pre-clinical requirements, all of the
18 optical, mechanical, biocompatibility,
19 sterility, shelf life, and transport stability
20 testing for phakic IOLs are the same as the
21 requirements for the monofocal IOLs, with the
22 following exception.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There is a requirement for a
2 clearance analysis. And this is an analysis
3 of the location of the phakic IOL surface with
4 respect to ocular tissue that must be
5 conducted to establish the minimum anatomical
6 dimensions acceptable for the PIOL design, and
7 the range of powers it would be available in.

8 Now, in terms of the clinical
9 requirements, the ISO PIOL standard contains
10 suggested design of the clinical investigation
11 that will collect the data needed to determine
12 the safety and the performance of the PIOL.
13 Now, in the next group of slides, what I'll do
14 is I'll summarize some of the important
15 elements that are described in the standard.
16 One concerns the study design, and it
17 recommends a non-controlled study with a
18 minimum study duration of three years to
19 evaluate both the maintenance of ECD, and the
20 rate of cataract development.

21 Element number two is the primary
22 endpoint, and that's endothelial cell density.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the changes in ECD in the phakic IOL
2 subjects are compared to the normal rate of
3 loss. The third element is a specific
4 exclusion criteria that's associated with
5 these phakic lenses, and that recommends in
6 the standard that subjects below a recommended
7 minimum EDC by age be excluded from the study
8 to minimize the possibility of corneal
9 decompensation later in life.

10 The next element is subject
11 enrollment, and it recommends that subjects be
12 enrolled in three phases; Phase One, 10
13 subjects followed for six months; Phase Two,
14 100 additional subjects followed for six
15 months; and then Phase Three are the remaining
16 subjects. The recommended sample size is 300,
17 and that's the minimum needed to detect
18 clinically significant drops in ECD.

19 The fifth element is the
20 recommended pre-op/post-op exams, and ISO
21 recommends, and ANSI recommends distance UCVA,
22 distance BSCVA, near VA with distance spectral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correction, manifest and cycloplegic
2 refractions, axial length, ACD, intraocular
3 pressure, slit lamp exam, status of
4 crystalline lens, gonioscopic exam, fungus
5 exam, mesopic pupil size, pachymetry,
6 keratometry, subject questionnaire, and
7 spectral microscopy.

8 There are two sub-studies that are
9 required in the standards. One is a contrast
10 sensitivity study, and that's to assess the
11 contrast sensitivity losses that may be
12 associated with the phakic IOL. The second
13 sub-study is a clinical clear analysis and
14 sub-study, and that's performed on all
15 subjects in Phase One to determine the
16 clearances between the phakic IOL and the
17 ocular tissue. And this clearance study would
18 validate the pre-clinical data that was
19 determined.

20 Some key recommended safety
21 analyses in the standard are the rate of ECD
22 change, the rate of cataract development, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the percentage of subjects that lose two or
2 more lines of BSCVA.

3 The standards have very specific
4 clinical labeling requirements, and they
5 require a summary of the clinical results of
6 the investigation, any recommendation for
7 periodic evaluations after implantation, and
8 any restrictions in the indications for use if
9 necessitated by the anatomical clearance
10 analysis, and the clinical evaluation.

11 Okay. Thank you. Okay. We're up
12 to the panel questions now.

13 DR. WEISS: So now can I safely say
14 there are no other FDA speakers?

15 DR. CALOGERO: I believe so, yes.

16 DR. WEISS: Okay. I know
17 eventually I'd get it right.

18 Now we're going to go on to the FDA
19 questions to the panel. We're going to start
20 with question one.

21 DR. CALOGERO: Okay. Question one
22 is, "Please discuss any recommendations you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may have for modifications to patient labeling
2 of phakic intraocular lenses."

3 DR. WEISS: I'm going to ask for
4 volunteers on this one. Does anyone want to
5 make a comment?

6 I have a question. Do we indicate
7 in the patient labeling whether astigmatism,
8 or the effect of the wound on inducing
9 astigmatism, or the fact that this won't
10 correct their astigmatism?

11 DR. CALOGERO: Not in the standard.
12 I don't know if in the FDA labeling for the
13 two --

14 DR. WEISS: This is the patient
15 labeling for question number one. So is it
16 indicated in the patient labeling, the effect
17 of this on inducing astigmatism, or not
18 treating astigmatism? And if it's not, since
19 this is a refractive lens, then I think it
20 should be included.

21 DR. MUSCH: Well, Dr. Heuer and I
22 are just reflecting on the fact that I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we have patient labeling to look at. We
2 have website information, and that's it.

3 DR. EYDELMAN: Yes. Since there
4 are only two phakic IOLs currently on the
5 market, rather than summarizing it as an
6 attachment, the actual patient labeling for
7 the two devices were provided in your folders.

8 DR. WEISS: Dr. Eydelman, how would
9 you like the panel to handle this one,
10 because, presumably, many have not read this,
11 and so it may be hard for them to comment, if
12 they haven't read it?

13 DR. EYDELMAN: Perhaps we can go to
14 the next question, and then come back.

15 DR. WEISS: Okay. That's fine. So
16 while -- if everyone has pulled this out, they
17 can start skimming through this. We will then
18 go on to the second question, and then come
19 back. While everyone is pulling that out,
20 we'll go on to the second question.

21 DR. CALOGERO: The second question,
22 "Please discuss any recommendations you may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have for modifications to FDA's phakic IOL
2 website."

3 DR. WEISS: The phakic IOL website,
4 I believe, in our textbook, the white textbook
5 is under Insert One. I guess I would ask the
6 same question; do we say anything about
7 astigmatism here? Do we say -- do we talk
8 about the fact that long-term results are not
9 known in the United States?

10 Dr. Huang, do you have any thoughts
11 on this?

12 DR. HUANG: Yes, I have several
13 recommendations. I thought the website is
14 well-designed, but there are not enough
15 illustration to educate our patient regarding
16 the distinction between the two types of
17 phakic IOL, the anterior chamber, as well as
18 the posterior chamber. So maybe a simple
19 diagram indicative of the position of the
20 anterior chamber and posterior chamber IOL
21 could be helpful.

22 And there are some other minor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considerations in the section of "Are phakic
2 lenses for you?" And that we did not discuss
3 the previous surgery, such as retinal
4 detachment, or keratoconus, those kind of
5 situations, so that might be a good place to
6 educate our patient.

7 DR. WEISS: I have a question. Can
8 you clarify what you'd be referring to as far
9 as keratoconus or retinal detachment surgery
10 in terms of the phakic IOL candidate?

11 DR. HUANG: What happens is that
12 basically -- the question was just addressing,
13 you have a problem with the posterior part of
14 your eye, but it did not specify what kind of
15 problems, so maybe a little bit confusing for
16 the patient. Whereas, other part of the
17 education material indicating you have
18 uveitis, you have glaucoma, those kind of
19 thing, but there's no specific indication
20 about the corneal pathology. So I thought --
21 this is just a general recommendation.

22 DR. WEISS: Ms. Cofer, and then Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Edrington.

2 MS. COFER: Yes. I'm looking on
3 that page what are the risks, and the sentence
4 says, "Some designs have shown that their
5 implantation causes endothelial cells to be
6 lost at a faster rate than normal". But my
7 question is, isn't it all designs show a
8 faster rate of endothelial cell loss, and not
9 some designs?

10 DR. WEISS: Dr. Eydelman.

11 DR. EYDELMAN: Well, there are only
12 two phakic IOLs that are currently on the
13 market, so we can only provide -- this is an
14 overall overview of phakic lenses, and then
15 there's specific data specific to those two
16 IOLs in the labeling, and summary of safety
17 and effectiveness, so those are two different
18 distinctions. We provide data specific to a
19 particular device in the labeling for that
20 device, and this is a general overview of
21 phakic IOLs.

22 MS. COFER: Can I follow-up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that?

2 DR. WEISS: Actually, I'm going to
3 -- would it not be fair, because there are
4 only two approved in the United States, to say
5 the two that have been approved in the United
6 States show endothelial cell loss. And I
7 don't know, that might be addressing your
8 point, I would hope.

9 MS. COFER: My point is that both
10 devices show an increased rate of endothelial
11 cell loss, so it seems like this might
12 be misleading to say some, because we have
13 two, and they both show an increased rate of
14 endothelial cell loss.

15 DR. WEISS: Dr. Edrington, please.

16 DR. EDRINGTON: Referring to what
17 Dr. Huang said about keratoconus, they're more
18 apt to need corneal transplants down the road,
19 so that might be a strong recommendation, or
20 contraindication for it.

21 And the other is the same point I
22 made with LASIK, which is, again, if you're a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contact lens wearer, the issue is not the
2 amount of time, but the stability.

3 DR. WEISS: Dr. McLeod.

4 DR. McLEOD: I wonder if you could
5 clarify the contraindications specifically for
6 keratoconus in terms of cornea transplant?

7 DR. EDRINGTON: It seems like it's
8 a higher risk group for needing a corneal
9 transplant down the road, if you're doing
10 something to the endothelial cells.

11 DR. McLEOD: But usually the
12 transplant would be based on topographic
13 issues, not on endothelial cells. Say Fuchs
14 Endothelial Dystrophy, that I would concur
15 with.

16 I think for keratoconus or for
17 Fuchs keratoconus, in fact, those are the
18 patients that you wouldn't want to go near
19 them with the excimer laser, and so with
20 proper informed consent, the issue would
21 probably be that all things being equal, with
22 a deep anterior chamber, you actually might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 better off with the phakic IOL. But I don't
2 think that that was actually studied in the
3 FDA protocol, so I think it would be difficult
4 to insert that.

5 DR. WEISS: Mr. Bunner.

6 MR. BUNNER: Just if somebody could
7 clarify for me, what -- from a patient's
8 perspective, what would be the indication of
9 this type of a procedure versus LASIK for what
10 appears to be low to high myopia?

11 DR. WEISS: Even though this was
12 approved for minus 5, I don't know that many
13 people are using this for minus 5. I think
14 much of the ophthalmic community will use a
15 phakic IOL if the patient is not a LASIK
16 candidate. And why would you not be a LASIK
17 candidate, if you had a very thin cornea, and
18 a high, and required a highly myopic
19 prescription, or if you had a highly myopic
20 prescription and you were beyond the
21 limitations of the LASIK. I believe that's
22 more of what's the indication for phakic IOL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the United States.

2 Does anyone have any comments, or
3 any different experience? Okay. Any other
4 comments on -- Dr. Heuer.

5 DR. HEUER: This may be as much a
6 question, as a comment. The website talks
7 about the increased risk potentially of
8 cataract, and it says the lens may have to be
9 removed at that time. Do we have any
10 information about the ease with which that can
11 be done, and should there be a cautionary note
12 about perhaps we don't know to what extent
13 this will complicate cataract surgery?

14 DR. WEISS: The website is fairly
15 extensive. And from my recollection of the
16 panel meeting, they boil down to two major
17 issues, which was what's in front of the IOL,
18 and what's behind the IOL; namely, the
19 endothelium and the lens. And I would like if
20 there was some way to distill this and
21 underscore those two major risks, because my
22 concern is those risks would sort of get --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 flow out with the amount of information we
2 have here. So if there was any way to
3 underscore the two major issues, I think that
4 would be good. Dr. McLeod.

5 DR. McLEOD: Just in response to
6 Dr. Heuer's question. I think my
7 understanding anecdotally is that generally
8 speaking, the issue is less the removal of the
9 lens than it is the accurate axial length
10 measurements in the setting of two lenses.

11 DR. WEISS: Yes. So we're going to
12 -- if we're going to go on to question one, or
13 three, or whichever, we can summarize the
14 answers of the panel to question two,
15 recommendations for modifications of the FDA
16 phakic intraocular lens website.

17 One is having a diagram of the two
18 different types of IOLs that are approved in
19 the United States. Two is indicating that for
20 the two types that are approved in the U.S.
21 presently, there has been documented
22 endothelial cell loss. Three is to indicate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that not only do you want to be out of your
2 contact lenses a certain period of time, but
3 you want contact lens stability. Four is to
4 indicate, I guess, specifics, instead of
5 saying problems in the back of the eye, saying
6 a little bit more specific, sort of retinal
7 problems.

8 I don't know that we determined
9 whether keratoconus would be a
10 contraindication or an indication for phakic
11 intraocular lens, and there may be some
12 issues, if you need a cataract removed,
13 because it may be more difficult to figure out
14 the IOL power. But I think that's something
15 the FDA would want to document what the issues
16 are, if there are issues with removal of
17 cataract if you already have a phakic
18 intraocular lens.

19 Dr. Huang, is there -- Dr.
20 Edrington.

21 DR. EDRINGTON: I would just like
22 to clarify the keratoconus point. When you re

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 move the lens is there potential damage to the
2 endothelium when you take it out, if you were
3 to take it out?

4 DR. McLEOD: Certainly, with any
5 intraocular procedure where you're going to
6 have infusion, you're going to have some
7 degree of endothelial cell loss.

8 DR. EDRINGTON: So the point was
9 with keratoconus, since there was a higher
10 possibility of needing a corneal transplant,
11 and once the transplant was performed, you
12 would want to take that lens out. At that
13 time you would probably, possibly damage the
14 endothelium?

15 DR. McLEOD: Oh, boy. So, are you
16 saying that this is --

17 DR. EDRINGTON: I'm not saying
18 everybody needs a transplant, no.

19 DR. McLEOD: Yes, but even if
20 you're going to do a transplant, then your
21 graft, your power of the cornea is going to
22 change. And, typically, you're going to put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in a flatter cornea, so very probably you're
2 going to change the refractive status of the
3 eye. My guess is once you open the eye, took
4 that cornea off, that lens is coming out.

5 DR. EDRINGTON: You take it out
6 sometime.

7 DR. McLEOD: Yes.

8 DR. EDRINGTON: Okay.

9 DR. WEISS: Yes?

10 DR. ALEXANDER: Hi. I just wanted
11 to back up to your question regarding
12 astigmatism in the patient labeling, as well
13 as the website.

14 The website doesn't specifically
15 state that it's not treating astigmatism, but
16 it does state that it's specifically for
17 treating nearsightedness. In terms of having
18 long-term data, it does state that long-term
19 data is not available in the website.

20 For the patient labeling, I was
21 just flipping through the STAAR patient
22 labeling here, and it does state it is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intended to correct any astigmatism you may
2 have, or may not have. And that's on page 7
3 of 31 of the patient labeling.

4 DR. WEISS: Because with at least
5 one of the IOLs there's a larger wound, and
6 that can induce astigmatism, I would wonder if
7 that should be included, that certain types of
8 intraocular lenses, because of the wound
9 that's created to insert the intraocular lens,
10 can actually induce astigmatism. Because many
11 of these patients, of course, are expecting to
12 be glasses free, and they may need a secondary
13 procedure to correct their astigmatism, if the
14 astigmatism is induced.

15 DR. ALEXANDER: And the labeling
16 also state that this will not make them
17 independent or free of glasses.

18 DR. WEISS: Dr. McLeod, do you
19 agree, or disagree?

20 DR. McLEOD: I think that a review
21 of the data should actually render specific
22 information about the vector changes in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 astigmatism. It's certainly perfectly
2 reasonable to assume that the data will
3 probably show that there is at least a change
4 in axis and degree of astigmatism, but I think
5 the data review should allow specific language
6 for the labeling.

7 DR. WEISS: So if the data shows
8 from the studies, at least the IOL where you
9 had a larger wound, that astigmatism or
10 visually significant astigmatism did result in
11 some patients, the website and patient
12 labeling would benefit from the individuals
13 who are considering this procedure having this
14 added information.

15 I think we've concluded question
16 two. We are now going to go to question
17 three. And question three is, "Please discuss
18 any recommendations you may have for future
19 revisions of ANSI and ISO phakic intraocular
20 lens standards." And this is in your insert
21 number two in your white book.

22 I had a question as far as there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a six month follow-up. Now six months is
2 extremely short.

3 DR. CALOGERO: That was for the
4 initial phase, for the Phase One on the first
5 ten subjects. And, typically, the initial
6 phases are -- FDA studies are six months
7 before they can go to the second phase. And
8 then there's a third phase, so it's a staged
9 approach to minimize the risk in the
10 standards.

11 DR. WEISS: What are the second and
12 third phases as far as the length of time, or
13 are they consistent with what the FDA does? I
14 guess maybe that's my question.

15 DR. CALOGERO: Yes, they are
16 consistent.

17 DR. WEISS: Okay.

18 DR. CALOGERO: The second phase
19 also is 100 additional subjects, also followed
20 for six months. So on the first 10 you'd be
21 up to a year then, and the remaining subjects
22 are enrolled, and the study duration I believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is three years for the study.

2 DR. WEISS: Dr. Eydelman.

3 DR. EYDELMAN: I just want to
4 clarify. When we talk about phases, that does
5 not mean that the patient follow-up is ceased
6 at that point. What that means is that if the
7 data to that point is acceptable, the sponsor
8 can move on to the next phase of the study.
9 So it's three-year follow-up is, I think, the
10 question - the answer that you were searching
11 for.

12 DR. WEISS: That's very helpful.
13 Thank you. Dr. Musch.

14 DR. MUSCH: Dave Musch here. Maybe
15 you can clarify for me, I know this idea of
16 phasing in is now pretty frequent in the
17 Device Branch, at least. What information do
18 you expect to obtain from following 10
19 subjects for six months? To my mind, you
20 would probably rule out any really bad
21 implant, and then go on, assuming you don't
22 see any signal events, to recruiting the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 100. And that would give you a little better
2 ability to detect perhaps less onerous
3 complications. But I know the number varies
4 across devices, and it can be a rather -- a
5 problem for a company to deal with, and maybe
6 Barbara can comment on this. Because can you,
7 for instance, only recruit 10 patients, follow
8 them for six months, and not have any
9 recruitment until those 10 patients are
10 followed for six months, and then open the
11 gate again? Go ahead.

12 DR. WEISS: Dr. Eydelman, and then
13 Ms. Niksch.

14 DR. EYDELMAN: Okay. You are
15 absolutely correct. In any device trial,
16 whether it's phakic IOL or any others, phase
17 one usually means make sure it's not a
18 disaster, putting simplistically. So, again,
19 for that, the kind of patient that's enrolled
20 in Phase One will typically have very
21 different profile than the patient that will
22 ultimately be involved in Phase Three. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for example, it will be somebody with -- in
2 whom risk benefit will still be worse
3 considering the limited knowledge of the
4 safety of the device at the time of
5 implantation. And then as that -- and then
6 it's really -- usually we do ask for the data
7 to be submitted for us to decide whether it is
8 safe enough to enroll additional patients.
9 And regardless of how hard that might be to
10 the sponsor, over the years that has proven to
11 be an enormous asset in protecting patient
12 safety. Since I cannot share all the
13 failures, I can only tell you that there are
14 more Phase One trials that do not go to Phase
15 Two than you can imagine.

16 DR. WEISS: Dr. Musch, and also
17 apologizes for macerating your name.

18 DR. MUSCH: Quite all right.

19 Just to follow-up. Is there
20 variation in the number in that initial phase
21 based on your perception of risk?

22 DR. EYDELMAN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WEISS: Any other comments?
2 So, Dr. Huang, can you summarize what the
3 suggestions were for this third question for
4 ANSI? Yes, Dr. Musch.

5 DR. MUSCH: I'm sorry. I had one
6 more question. I noticed for the sub-study of
7 contrast sensitivity, the number 61 was
8 indicated, which seemed to me a rather
9 interesting number. Where did that come from?

10 DR. CALOGERO: There are statistics
11 in the standard that attempt to determine what
12 sample size would be necessary to detect a
13 difference of .3 log units using assumptions,
14 and it comes up to 61.

15 DR. EYDELMAN: This is Dr.
16 Eydelman, again. Unfortunately, as I referred
17 to earlier, it's very unfortunate in that due
18 to the copyright privileges of the ANSI and
19 ISO standards, we're not allowed to duplicate
20 it, and actually mail you copies. So we can
21 only make excerpts and summarize it in
22 bulleted information. So to that, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 apologize, in that the discussion becomes a
2 little awkward.

3 DR. WEISS: I had a question on the
4 third page of this. The standards
5 specifically require labeling to contain the
6 following clinical information, a summary of
7 the clinical results. I assume that also
8 includes complications, such as percentage of
9 patients who develop cataract or endothelial
10 cell loss?

11 DR. CALOGERO: Exactly. It's not
12 specific, but that's implied.

13 DR. WEISS: Okay. Dr. Huang, do
14 you have what -- yes, please.

15 DR. HUANG: Well, my comment is
16 specifically related to the FDA question, if
17 there's any recommendation the ISO criteria.
18 And in terms of the following pre-operative
19 and post-operative examination, I recommend
20 it. I think now is the anterior segment
21 imaging, that we should consider including in
22 either the OCT or the other anterior segment,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also high-speed ultrasound.

2 DR. CALOGERO: That's recommended
3 in the Phase One to confirm the theoretical
4 clearance analysis, so they are recommending
5 that you do it on the first 10 subjects.

6 DR. HUANG: But is not recommended
7 in the subsequent follow-up?

8 DR. CALOGERO: That is correct,
9 yes.

10 DR. HUANG: And the other thing is
11 the safety analysis. Appears to me that
12 intraocular pressure or glaucoma essentially
13 was overlooked. I don't know if this was --
14 at least it's not in the ISO criteria. I
15 know in the patient education material, and
16 the patient labeling has that, but the ISO
17 criteria did not include glaucoma assessment,
18 so that's my specific recommendation.

19 DR. CALOGERO: In terms of the
20 analysis, I'm sorry, it is in there. I just
21 sort of highlighted the main analyses.

22 DR. HUANG: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CALOGERO: They have like a
2 list of 20 or 30 analyses that they recommend,
3 but we couldn't list them all.

4 DR. HUANG: Sorry. Again, we are
5 deferring to the expert.

6 DR. HEUER: I'm going to make a
7 comment about the process. If it is important
8 for ISO and/or ANSI that the FDA adopt their
9 standards, it would seem that they would be
10 willing to give you permission to distribute
11 the standards to the Committee. Asking us to
12 make recommendations based on abstraction does
13 not seem to be the best process.

14 DR. EYDELMAN: Duly noted.

15 DR. CALOGERO: Yes. Actually, we
16 can do that prior to the publication of the
17 standard. It's just unfortunate that both of
18 these standards now have been published. If
19 this had been say two years ago --

20 DR. HEUER: Even published
21 material, you can receive copyright waivers or
22 permission to distribute. I mean for a group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of this size, the commercial impact has to be
2 zip.

3 DR. WEISS: It is what it is for
4 this meeting.

5 DR. HEUER: And I'm making the
6 point for future meetings.

7 DR. WEISS: For future meetings, I
8 think you have been heard.

9 I think the conclusion of this
10 discussion on question three was not any
11 additions that I heard. Am I -- seeing that
12 no one disagrees, we will then go on to
13 question number four.

14 DR. CALOGERO: "The training manual
15 for SightNet participants currently emphasizes
16 evaluation for and reporting of the following
17 PIOL-related adverse events and complications;
18 toxic anterior segment syndrome,
19 endophthalmitis, explant, significant ECD
20 losses, corneal decompensation, significant
21 losses of best corrected visual acuity. The
22 next slide. Retinal detachments, IOP spikes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 elevations, cataract digenesis, device
2 extrusions, device failures, damage.

3 Please discuss any recommendations
4 you may have for revisions of this list of
5 adverse events and complications for which
6 reporting is emphasized."

7 DR. WEISS: Anyone from the panel
8 have any thoughts on this list? So if I don't
9 go around and ask people individually, would
10 people concur that this list is sufficient as
11 it stands? Dr. Huang?

12 DR. HUANG: I would like to defer
13 this comment to Dr. Heuer. Regarding the iris
14 atrophy, because this is significantly
15 involving the iris manipulation, it is in one
16 type, and in the other type requiring to put
17 behind the iris, and so potentially will have
18 iris chafing. So would that be -- iris
19 atrophy should be included in the post-
20 operative complications.

21 DR. WEISS: Dr. Heuer?

22 DR. HEUER: Well, I think in terms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of it being a risk, it's probably more of a
2 risk if, in the long term, there's continued
3 shedding. And I'm not sure, short of
4 transillumination photographs and a reading
5 center how you would quantify that. I assume
6 that perhaps the intraocular pressure would be
7 the surrogate, at least in terms -- it's not a
8 perfect surrogate, because the meshwork can
9 clear a fair amount of pigment, but that's how
10 I would do it. I think it would be very
11 difficult to try and quantify that.

12 DR. WEISS: For any members of the
13 panel, would it be helpful to include uveitis
14 to this, or that would not be relevant?

15 DR. SMITH: This is Dr. Smith.
16 That's what I was going to add.

17 DR. WEISS: Okay.

18 DR. SMITH: I think it should be
19 added.

20 DR. WEISS: Uveitis is a
21 suggestion. Any other thoughts?

22 I have a question on, again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significant endothelial cell density. That
2 could be subject to interpretation, and if you
3 had a 25-year old who lost, let's say, 100
4 cells in six months, or 200 cells, and 200
5 later, maybe it's not, by itself, significant,
6 but maybe the trend is significant. So how do
7 you quantify that a little bit so everyone is
8 on the same page? And I don't know if you
9 can.

10 DR. EYDELMAN: Perhaps Mr. Calogero
11 can address how -- what's done for endothelial
12 cell grid in the phakic IOLs. And I think
13 that will help elucidate the question.

14 DR. CALOGERO: Well, I guess this
15 is actually geared towards the individual
16 patient, rather than a study. In terms of the
17 study, usually you can detect 1.7 percent
18 difference between the two groups, so that's
19 considered clinically or statistically
20 significant.

21 For an individual patient, you're
22 right. You have to take into account the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variation, the repeatability of the
2 measurement, the differences between one piece
3 of equipment, one spectral microscope and
4 another spectral microscope, so it's going to
5 have to be somewhat higher than this 1.7
6 percent.

7 Off the top of my head, I don't
8 really have any clear-cut guidance as to what
9 would trigger this, but it's certainly
10 something that hopefully we can clarify.

11 DR. WEISS: Yes. I would think
12 that would be really important to clarify,
13 since the endothelial cell loss is something
14 that is a major long-term question, and you
15 would want people to be triggered to report
16 this sooner, rather than later.

17 Dr. Huang, and then Dr. Musch.

18 DR. HUANG: Referring back to the
19 last question, I remember this was one panel
20 meeting, and we invited Dr. Edenhauser here
21 presenting endothelial density evaluation.
22 And I think there was a consensus that if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used the standard cohort, two standard
2 deviation away from the standard cohort,
3 that's considered significant loss. But I
4 don't know if FDA has adopted that criteria or
5 not.

6 DR. WEISS: Dr. Eydelhauser.

7 (Laughter.)

8 DR. WEISS: Long day for Dr. Weiss.

9 Dr. Eydelman.

10 DR. EYDELMAN: Just to reiterate
11 what Mr. Calogero said. You're absolutely
12 right that we do have criteria for endothelial
13 cell assessment for the studies. When you
14 look at the individual patient, that criteria
15 varies due to the variability of an
16 individual. And then you, once again, have to
17 make sure that the same instrument was used
18 pre-op and post-op, that the same actual
19 technician - sometimes there's an inter-
20 technician variability, so there are a lot of
21 things that we control very, very closely
22 during our pre-market studies that do not,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily, parallel in the real world, in
2 other words, once the patient goes out there.

3 So we will take the Committee's
4 recommendation, go back and using -- we have a
5 lot of data on endothelial cell loss, and
6 various ways of analyzing it. We have
7 extensive expertise, so we will utilize what
8 we know to come up with a better definition
9 for the SightNet. Thank you.

10 DR. WEISS: Dr. Musch.

11 DR. MUSCH: Just a suggestion. You
12 have, obviously, put in some thought about
13 age-related minimum standards for going into
14 the surgery, and you probably projected to a
15 certain base level, whether it's 500 cells per
16 square millimeter, or whatever that you want
17 left when the person dies, you could use that
18 then to figure out by age category how much
19 cell loss you would permit before concern is
20 raised.

21 DR. WEISS: So summarizing the
22 answers of the panel to question four,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations for SightNet would be listing
2 -- adding uveitis, and also having some more
3 quantification, or specifics about what is
4 meant by "significant endothelial cell loss."

5 Any other comments on this? If
6 not, then I would ask for your recommendation
7 for the question number one. Obviously, the
8 panel members have not read this, and there
9 are 21 pages here, so do you want us to flip
10 through this as we sit here. If we take a 5-
11 minute break, we may lose most, if not all of
12 the audience, perhaps some of the panel
13 members, too. So how would you like to have
14 me proceed, Dr. Eydelman?

15 DR. EYDELMAN: Well, it is to the
16 discretion of the Chair. However, we can
17 either take the time for the panel members to
18 flip through it, or we can take your
19 recommendations towards the website, and adapt
20 them for the patient labeling.

21 DR. WEISS: I would probably go
22 with the latter, unless anyone on the panel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had anything in addition that they know they
2 want to have in the labeling. I would say
3 that it would be good, again, to underscore
4 that cataracts and endothelial cell loss are a
5 main concern. Although these other things can
6 happen, this is a major concern, as far as
7 what the future holds. That particularly for
8 the one -- the lens that we're looking for to
9 go back and see if patients had inducement of
10 astigmatism from the corneal wound. And if
11 they did, then people should know up front
12 that they may have induced astigmatism. Plus,
13 all of the other comments that were made for
14 the website.

15 Does anyone have any other -- yes,
16 Ms. Cofer.

17 MS. COFER: Yes. I'm looking at one
18 of the patient labeling booklets, and I was
19 looking for information about endothelial cell
20 loss. And the only statement I found was the
21 long-term effects on the corneal endothelium
22 have not been established, which, to me, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not communicating to a patient that it's been
2 proven that endothelial cells are lost at a
3 higher rate for long-term after implanaton of
4 phakic IOL. It just seems like very weak
5 wording in the labeling, and it should be more
6 of a warning that this does happen, and we
7 don't know at what point. It hasn't been
8 established to be safe at any point in time.

9 DR. WEISS: Well, it has -- it got
10 approved because it was established to be
11 reasonably safe and efficacious.

12 MS. COFER: At the time of the
13 clinical trial. Is that correct?

14 DR. WEISS: Well, it would not be
15 available in the United States if it did not
16 get approved, and you get approved by being
17 reasonably safe and efficacious. But your
18 point of a concern on the endothelial cell
19 loss mirrors what I was just saying, is that
20 because this was a tie vote, and the tie was
21 broken by the Chair, and I happened to be the
22 Chair at that meeting, the endothelial cell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 loss was a concern of panel members, and so I
2 agree with you, for the patient information
3 brochure, that should be underscored.

4 Dr. Eydelman.

5 DR. EYDELMAN: If I can just add.
6 As was presented by Dr. Alexander earlier
7 today, both of the currently approved phakic
8 IOLs do have ongoing post-market studies.
9 Both of them are collecting long-term
10 endothelial cell data, so the labeling is
11 actually current -- reflects our current
12 knowledge. We can only state on the data that
13 was collected. And while we're certainly
14 going to take the panel's recommendation and
15 try to clarify what we do know, at this point,
16 there is no long-term data, per se.

17 DR. WEISS: Any other thoughts on
18 this? Yes? One more. Yes.

19 MS. COFER: I had a separate
20 question. In the labeling, it says the effect
21 of pupil size on visual symptoms is not known,
22 but I thought I saw that the visual symptoms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were worse with increasing pupil size. Was
2 that the case?

3 DR. WEISS: I can't say I recall.
4 I assume, but the FDA, I'm sure, will be
5 willing to go back and double-check this. I
6 assume if it made it to the patient
7 information brochure, that was, indeed, the
8 case in terms of proven statistically. But if
9 there was any deviation, then I'm sure they
10 would be willing to revisit this issue.

11 Any other -- Dr. Heuer.

12 DR. HEUER: In my flipping through,
13 I found a couple of typos, or editorial
14 things, I can just give it to Karen after the
15 meeting, rather than take the time.

16 DR. WEISS: Would you prefer it be
17 done that way?

18 DR. EYDELMAN: Yes.

19 DR. WEISS: Okay. So after the
20 meeting, Dr. Heuer will do his spell-check.
21 And if there are no other comments, then
22 basically for the patient labeling, depending

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on what the original study did, indeed, show,
2 we will have comments on the astigmatism,
3 comments on endothelial cell loss, or
4 underscoring it, and pupil, if there was any
5 issue with pupil, it will be included. As
6 well as including those recommendations that
7 the panel has made for the website.

8 We have finished all four
9 questions, Dr. Eydelman. And are there any
10 other announcements, or any other issues that
11 you want the panel to address?

12 DR. EYDELMAN: Just wanted to thank
13 the panel and the Chair for sticking to the
14 tight time frame, and getting us through the
15 day before 5 p.m.

16 DR. WEISS: Always do it.

17 I want to thank the FDA. I want to
18 thank the members of the panel, and thank the
19 audience, and good travels. Meeting
20 adjourned.

21 (Whereupon, the proceedings went
22 off the record at 4:48:05 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com