

1 Incomplete lung secured between one
2 month in the sealant patient is unknown and
3 adverse events of renal etiology occurred in
4 five percent more sealant patients than in
5 control, as has been described.

6 This completes the FDA presentation
7 of this pre-market application. Thank you for
8 your attention. We look forward to your
9 comments. Now, it's my pleasure to introduce
10 to you, Dr. Cara Krulewitch who will present
11 potential post-marketing issues for you to
12 consider if a case A post-marketing study may
13 be suggested.

14 DR. KRULEWITCH: The joys of
15 technology. Thank you. Good morning. As Dr.
16 Marinac-Dabic noted earlier, as of 2005, all
17 new PMA submissions include epidemiologic
18 input.

19 Since this PMA was submitted prior
20 to 2005, we slightly deviated from that
21 procedure and the sponsor has not submitted a
22 post-approval protocol as part of the PMA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submission, and an epidemiologist, as you
2 noted on the list, was not included in the PMA
3 review Panel.

4 However, should a decision be made
5 that a post-approval study is recommended, we
6 have prepared a number of questions for the
7 Panel consideration and we will provide input
8 into development of the post-approval study.

9 Additionally, just to remind you
10 that the discussion of post-approval studies
11 prior to a formal recommendation as a
12 recommendation on the approvability of the PMA
13 should not be interpreted to mean that FDA is
14 suggesting the Panel find the device
15 approvable.

16 The plan to conduct a post-approval
17 study does not decrease the threshold of
18 evidence required to find the device
19 approvable and the post-market data submitted
20 to the agency and discussed today must stand
21 on its own in demonstrating a reasonable
22 assurance of safety and effectiveness in order

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the device to be found approvable.

2 Just a little about the general
3 principles for post-approval studies. As we
4 know, pre-market clinical data are collected
5 from patients that are highly selected and
6 treated by the best trained physicians.

7 In contrast, when a device is
8 permitted to be on the market, patients that
9 received the device are less restricted and
10 physicians who treat these patients are not
11 limited to the best trained physicians.

12 Additionally, some rare adverse
13 events that were not observed pre-market might
14 present in the post-market phase as the
15 observation period extends and patient
16 populations broaden.

17 Therefore, the main objectives of
18 conducting post-approval study is to evaluate
19 device performance and potential device
20 related problems in a broader population over
21 an extended period of time after pre-market
22 establishment of reasonable device safety and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effectiveness.

2 However, post-approval study should
3 not be used to evaluate unresolved issues from
4 the pre-market phase that are important to the
5 initial establishment of device safety and
6 effectiveness.

7 The reasons for conducting post-
8 approval studies are to gather longer term
9 post-performance -- longer term performance of
10 the device, data on how the device performs in
11 a broader patient population where treated by
12 average physicians, as opposed to highly
13 selected patients treated by leading
14 physicians and clinical trials.

15 Post-approval studies are also
16 needed to evaluate the effectiveness of
17 training programs for the uses of devices.
18 Evaluation of device performance in sub-groups
19 of patients, since clinical trials tend to
20 have limited numbers of patients, which may
21 not include all sub-groups of the general
22 patient population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In addition, post-approval studies
2 are needed to gather real world experience and
3 monitor adverse events, especially rare
4 adverse events that are now observed in the
5 clinical trials.

6 Another reason for post-approval
7 studies is to address issues and concerns that
8 Panel members may raise on their experiences
9 and observations.

10 This concludes the FDA
11 presentation. Thank you.

12 CHAIR BIRNBACH: Thank you. I'd
13 like to thank the FDA speakers for their
14 presentations. Does anyone on the Panel have
15 any questions for the FDA, and remember, you
16 may also ask the FDA questions later and the
17 questions should only go to the FDA at this
18 time. Dr. Normand.

19 DR. NORMAND: I have a question for
20 the FDA statistician, Dr. Lao. I have a
21 question with regard to the finding that --
22 just clarification more or less, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In figure one, slide 51, you have
2 indicated that probability of chest tube
3 removal, there's no statistical difference
4 using sort of that Kaplan-Meier analysis.

5 However, the primary endpoint,
6 effectiveness endpoint, efficacy endpoint is
7 looking at no air leak and there, we do find a
8 statistical difference and my understanding --
9 and this is where I want the clarification.

10 My understanding is the primary
11 efficacy endpoint is basically measured at one
12 month follow up, so time isn't taken into
13 consideration. Is that correct?

14 DR. LAO: Primary endpoint at the
15 one month follow up or at the time the patient
16 was discharged, depending on which one was
17 longer.

18 DR. NORMAND: Okay. So it looks
19 like you -- is it fair to say, you would get a
20 different conclusion if you used a Kaplan-
21 Meier analysis versus if you use the endpoint
22 of air leak free, yes or no?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LAO: Well, the Kaplan-Meier
2 analysis included all the patients, one to
3 three patients versus 58 patients.

4 DR. NORMAND: But the question
5 really is, do you get a different conclusion?

6 I realize you're including all the patients
7 and using some sensory mechanism and what not,
8 but is it fair to say, if I interpret the
9 data, slide 51 says you don't get any benefit
10 whereas the binary endpoint analysis, granted
11 they're including different patients, but you
12 would get a conclusion that says it was
13 clinically efficacious?

14 DR. LAO: Well, you ask for the
15 Kaplan-Meier analysis, Kaplan-Meier analysis
16 included all the randomized patients.

17 DR. NORMAND: I realize that, but
18 just very succinctly, and perhaps I'm not
19 being clear, do you get two different
20 conclusions if you use a Kaplan-Meier -- based
21 on what you've presented, get a different
22 conclusion, based on a Kaplan-Meier analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 versus the binary endpoint, and I understand -
2 -

3 DR. LAO: Yes.

4 DR. NORMAND: Yes, you get a
5 different conclusion?

6 DR. LAO: Yes.

7 DR. NORMAND: Thank you.

8 CHAIR BIRNBACH: Dr. LoCicero.

9 DR. LOCICERO: A question for Dr.
10 Durfor. Looking at the animal studies, the
11 wound healing in pigs, as you analyzed this,
12 this was sealant placed over a staple line and
13 we have, in the clinical study, sealant over
14 staple line and sealant over no staple line.

15 Going to the animal study though,
16 is there a way to separate the effect of the
17 staples from the effect of the sealant?

18 DR. DURFOR: In what respect?

19 DR. LOCICERO: In respect to the --
20 if we saw a staple line with no sealant, is
21 there a difference from the staple line with
22 sealant? In other words, was that in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study?

2 DR. DURFOR: Okay, yes, there were -
3 - each of the pigs had seven surgical sites
4 and five of the sites were closed with staples
5 followed by sealant, one site was closed with
6 just staples and one had sealant put into the
7 wound, closed up and then staple and then
8 sealant, to sort of simulate a sealant trapped
9 inside a wound.

10 My interpretation of the reports --
11 - and actually, I would welcome Dr. Parks'
12 comment as well, but my interpretation of what
13 I've seen from the pathology reports was that
14 if one looks at the tracings of sealant at day
15 one, when it was clear where it was, that it
16 looked like the sealant had pretty much
17 covered all of those sites.

18 So it may not be easy to say -- to
19 take a value and say what does the
20 histopathology look like at a staple only site
21 versus a staple site covered by sealant? That
22 was my reading of the pathology report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If Dr. Parks wants to say
2 otherwise, I would be happy to hear his
3 comment.

4 DR. PARKS: I agree with that. I
5 think it was difficult to separate that out
6 where the staple was placed. We found areas
7 of atelectasis as a consequence of mechanical
8 compression.

9 At the point where the sealant was
10 placed, it was -- we could find the sealant.
11 The response to the sealant, with respect to
12 fibrosis, was no different than what we saw
13 with the staple.

14 So I would say that the response to
15 the sealant, where it was mechanically distant
16 from the staple, could be distinguished, but
17 in areas where the staple was present, those
18 areas underwent mechanical compression and
19 fibrosis, as we would have anticipated.

20 CHAIR BIRNBACH: Dr. Spindell.

21 DR. SPINDELL: Yes, this is -- I
22 apologize, Dr. Horbowyj, on slide 71, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could -- I understand these are concerns we're
2 going to be speaking about later on. Were any
3 of these issues reached with statistical
4 significance? That was the summary slide.

5 DR. HORBOWYJ: All of the issues we
6 saw on the summary slide may not have been
7 actually evaluated statistically because they
8 were small sample sizes, so we do not
9 necessarily evaluate all of them for
10 statistical significance.

11 Those that were, I think were not,
12 but we don't again, look at that that way
13 because the study was empowered to six
14 sealant. So the evaluation in the statistical
15 significance may be misleading, if it's not
16 statistically significant. If it were
17 statistically significant, it may have value.

18 CHAIR BIRNBACH: Dr. Topoleski.

19 DR. TOPOLESKI: Thank you. I was
20 looking at the data you presented and there
21 seems to be a time dependent decrease in the
22 incident of air leak free patients, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggests something about -- potentially, about
2 the strength and the time dependent behavior
3 of the material.

4 Were you able to evaluate or did
5 you have any data on either the time dependent
6 strength of the material, the time dependent
7 adhesive strength of the material or a cyclic
8 or fatigue loading of the material that would
9 simulate the in vivo environment?

10 DR. DURFOR: I think the quick
11 answer is probably no. It's a very difficult
12 environment to simulate. The studies that we
13 have that looked at resorption, I've tried to
14 give you that sense, in terms of what was done
15 in pigs and the histopathology that went with
16 it.

17 There was also an in vitro study
18 where essentially, the disks were made up of
19 the material, placed in a solution that was
20 physiologically relevant. That certainly
21 doesn't give you the stress and the strain of
22 a lung or anything like that, and in that case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- and I believe Dr. Parks discussed that,
2 that the disks essentially resorb somewhere
3 between seven and 14 days.

4 So in terms of the data and in
5 terms of the mechanical strength of the
6 product, it's a function of time during
7 resorption, I don't believe we have those
8 data.

9 CHAIR BIRNBACH: Dr. Loeb.

10 DR. LOEB: I'd just like to have
11 people maybe look at slide 34 that was
12 presented by the FDA, about intra-operative
13 parameters in contrast to slide 52, presented
14 by the sponsor of the same thing of
15 procedures, and it points out -- it's sort of
16 a follow up of what I asked before, about the
17 magnitude of the surgeries potentially being
18 different between the two groups.

19 The way the slides are set up is, I
20 guess something that is increasing my
21 confusion about it because the sponsor sort of
22 -- the sponsor grouped together bi-lobectomy,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lobectomy with a segment and a lobectomy with
2 wedges and multiple wedges, as indicating
3 larger surgeries.

4 Whereas, on the FDA slide and the
5 way I'd seen the data presented before, the -
6 -- it looks like bi-lobectomy and lobectomy
7 are bigger procedures, and then the group of
8 things at the bottom look like smaller
9 procedures.

10 I believe I heard it stated during
11 the FDA presentation that the amount of tissue
12 removed was not something that was measured
13 and that seems to be the indication of why
14 there can't be an analysis that looks at the
15 magnitude of a surgical procedure as impacting
16 some of the late complications, not
17 necessarily complications, but the later
18 findings of residual volumes and air leaks.

19 So sort of an open-ended question,
20 is there any potential for doing a subsequent
21 analysis? Do we just not have the data or are
22 there a number of ways of looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surgery that was done, that again, precludes
2 further analysis?

3 DR. DURFOR: Could you clarify, is
4 that question to FDA or is that a question for
5 Panel discussion?

6 DR. LOEB: It's for the FDA.

7 DR. HORBOWYJ: The idea to group the
8 different procedures was recently brought up
9 by the sponsor and when we looked at this, one
10 of the questions that comes up is whereas --
11 and that anatomically, so by extent of
12 surgery, it's possible to envision what a bi-
13 lobectomy and a lobectomy may encompass.

14 However, specifically,
15 segmentectomies and wedgectomies, I think,
16 present an issue because we don't know
17 necessarily how much tissue is removed and how
18 to go back and get a quantitative amount to
19 know that a segmentectomy performed in any
20 given patient, whether they were in control or
21 if they were in the sealant group, were
22 comparable and then to then translate that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into an interpretation of the chest x-rays, I
2 think is complicated and I don't know how true
3 that would be.

4 The same issue comes to
5 wedgectomies, where wedgectomies can be very
6 small, wedgectomies can be pretty large, and
7 multiple wedgectomies, if they're from the
8 same part of the lung or different parts of
9 the lung, depending on their anatomy of the
10 lung and how that impacts the lung re-
11 expansion I think also can be different and
12 knowing how they were done per given patient
13 and comparing patient to patient, comparing
14 patients in one group compared to the other,
15 and then translating that into chest x-ray
16 review, I think without a prospective plan for
17 which data is collected and a way of assessing
18 it, so that we have some kind of way to
19 normalize the data to have it on a common base
20 line, I think presents an analytical dimension
21 that I think we don't know how to address
22 right at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So we're always welcome to look at
2 all sorts of analysis, but I think that should
3 be considered, as to what the clinical meaning
4 of that is and the clinical translation of
5 that into the chest x-ray reports.

6 CHAIR BIRNBACH: While you're there,
7 I have a quick follow up question to that.
8 I'm sure I misunderstood something this
9 morning from the sponsor, but when you go back
10 to slide 32 of yours, there is no difference
11 in, albeit by surrogate measures, how sick the
12 patients were in any other group or whether
13 they had had previous surgery, thoracic
14 surgery, correct?

15 DR. HORBOWYJ: I'm sorry, can you
16 say that again?

17 CHAIR BIRNBACH: When you look at
18 your slide 32 --

19 DR. HORBOWYJ: Yes, which is
20 directly from the PMA.

21 CHAIR BIRNBACH: Correct, there is
22 no difference between the two groups in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 previous number of surgeries that had occurred
2 or how sick the patients were in either group.

3 DR. HORBOWYJ: Previous thoracic
4 surgery is listed five from below.

5 CHAIR BIRNBACH: Fourteen(point)six
6 percent versus 17.2 percent.

7 DR. HORBOWYJ: So it's 14.7 percent
8 versus 17.2 percent, it's three percent,
9 however you wish to interpret that.

10 CHAIR BIRNBACH: And not
11 statistically?

12 DR. HORBOWYJ: Correct, none of
13 these were statistically different, including
14 COPD, including chemotherapy use. There's a
15 little bit of a difference for steroid use and
16 clinically judging, they seem to be comparable
17 to my assessment.

18 CHAIR BIRNBACH: Are there any other
19 questions? Dr. Stoller.

20 DR. STOLLER: My question regards
21 the independent radiologic assessment at one
22 month, I guess slide 64.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Two questions, one, were there any
2 statistics on these ratios, suggesting that
3 there is an 11 percent excess of non-complete
4 lung expansion in the sealant group compared
5 to the other?

6 DR. HORBOWYJ: I can get that
7 information for you.

8 DR. STOLLER: Okay.

9 DR. HORBOWYJ: Again, however,
10 because these studies are not powered --

11 DR. STOLLER: I understand, right.

12 DR. HORBOWYJ: -- we tend not to --

13 DR. STOLLER: Fair enough. The
14 second question is, obviously, a decision was
15 made about doing an incomplete sample of the
16 independent radiologic assessment. This end
17 is 149 as opposed to 161, and I guess I'm
18 wondering, in the remaining 12 patients, is
19 there any other reason to think that those 12
20 patients not included are somehow different in
21 characteristics than the 149 that were
22 evaluated?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In other words, if we had a
2 complete independent radiologic assessment of
3 the 161, would that look different? Is there
4 some reason to think it would look different
5 than the assessment that we're looking at
6 here?

7 DR. HORBOWYJ: I don't know that we
8 know. We tried to arrange the studies so that
9 the sample would be representative of the
10 cohort, so we chose very carefully with the
11 sponsor, sites that were largely -- could have
12 a representative sample and not just one
13 center. So we went across three centers.

14 We really -- we know the burden
15 that this presents to a sponsor, so we tried
16 very much to be reasonable and we tried to
17 make up for the small amount of the partial
18 patients, by being very careful in how
19 assessments were made.

20 There did seem to be a disparity in
21 this group of patients with right upper lobe
22 resection, compared to the overall cohort.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, whether or not that then -- how much of
2 this translates to the overall cohort isn't
3 really clear.

4 However, in the overall cohort,
5 there was no real difference between those
6 rates, so then you would think that perhaps
7 the finding that was in the overall cohort was
8 the same or maybe even would have been larger
9 if we had done this assessment this way.

10 So unfortunately, even though we
11 tried to answer this question, to lay this to
12 rest, it didn't answer the question and the
13 question, so far, remains this way.

14 CHAIR BIRNBACH: Dr. Jeevanandam.

15 DR. JEEVANANDAM: I just want to
16 refer to, again, slide 71. Interesting, the
17 study shows that the sealant stops the air
18 leaks early, and that's why you have the big
19 difference early on, in terms of the
20 disappearance of the air leaks.

21 But then, I guess this is following
22 up over 30 days and there are two things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 First of all, although the pneumothorax
2 incidence was the same, more people with the
3 sealants required therapy for their
4 pneumothorax and then if you look at
5 incomplete lung expansion, there were more
6 patients in the sealant group that had
7 incomplete lung expansion.

8 Do you think that could be an
9 effect of the sealant itself, since the
10 sealant, at least in pigs, seems to disappear
11 in 14 days, do we think we have an initial
12 effect and then, the sealant is disappearing
13 and perhaps, these pneumothoraces that need to
14 be treated or these incomplete lung expansions
15 are occurring in the sealant group?

16 DR. HORBOWYJ: If I may, I think
17 this is why we would like to have you discuss
18 these issues.

19 DR. JEEVANANDAM: Okay.

20 DR. HORBOWYJ: I don't know if it's
21 appropriate for me to give a comment this way
22 or if it's more appropriate for you to discuss

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 DR. JEEVANANDAM: Do we discuss this
3 now or do we discuss this later when we have
4 our --

5 CHAIR BIRNBACH: We discuss this
6 later. Are there any other questions for the
7 FDA at this point? Dr. Domino.

8 DR. DOMINO: On slide 69, we were
9 discussing deaths, and you mentioned that
10 there are -- or pointed out that there are
11 three cases of ARDS, multi-organ system
12 failure, at least in two of them in the
13 sealant group and no cases of ARDS in the
14 other group.

15 Is there a physiologic mechanism
16 for why there might be the difference, other
17 than patient differences? Is there something
18 that the sealant could do, set up a
19 hypersensitivity response or anything that
20 might contribute to this, from what we heard
21 before, there were all kind of patient
22 problems, unrelated to the sealant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HORBOWYJ: Okay, I'm not sure
2 that it's appropriate for me to answer. I
3 think this is part of why we have the
4 questions to you, to discuss amongst yourself
5 and amongst the questions that we were asking.

6 CHAIR BIRNBACH: Dr. Normand.

7 DR. NORMAND: Yes, I had a question
8 regarding to where patients went after they
9 were discharged. So were all patients
10 discharged home or did some patients get
11 discharged to another facility?

12 DR. HORBOWYJ: It is my
13 understanding -- I can go back to the PMA and
14 see if we have that level of detail, but most
15 patients went home. But I don't know that --
16 I'd have to see if that level of detail, we
17 actually have in the PMA.

18 DR. NORMAND: Because it would be
19 important if someone was trying to -- you
20 know, if let's say, length of stay was
21 reduced, then we really want to know the whole
22 period of care. You wouldn't want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discharge them to another facility and not
2 count that. So that's why I'm asking.

3 DR. HORBOWYJ: Okay, we can try to
4 see if we have that level of detail.

5 CHAIR BIRNBACH: Dr. Stoller.

6 DR. STOLLER: One question, again,
7 in follow up regarding the ARDS attribution,
8 and I'm looking at the sponsor's slide 77
9 versus the FDA slide 69, and if I'm reading
10 this right, in the review of control deaths,
11 ARDS is listed actually in three of the four
12 control deaths and yet, in the FDA's
13 attribution of death, there is no mention of
14 ARDS in the control group.

15 So I wonder where the truth lies,
16 with regard to the prevalence of ARDS.

17 DR. HORBOWYJ: We listed the
18 etiologies as they were presented to us and
19 these were the causes of death that were
20 considered to be the prime causes of death and
21 that's how they were listed.

22 DR. STOLLER: So I guess my follow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up question really regards whether it happened
2 or not and how we would know that, as a
3 committee, because I'm looking at the scored
4 reporting of the prevalence of ARDS. So I
5 need some help in clarifying that.

6 MR. MELKERSON: May I suggest the
7 sponsor identify where, in the PMA, their
8 information was? I believe Dr. Horbowyj was
9 describing, this is the information that we're
10 aware of. If there's other information that
11 we're not, I think that would answer the
12 question.

13 DR. HORBOWYJ: It's also the case
14 that how people presented the prime etiology
15 of what was considered death and the
16 composition.

17 I understand your concern. I'll
18 try to confirm that afterwards.

19 DR. STOLLER: So a follow up
20 question. Is there any independent review of
21 charts, independent of the sponsor, with
22 regard to the occurrence of ARDS cause of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 death, death review committee, that sort of
2 thing? I gather that was not part of the
3 study design and therefore, independently
4 assessed attribution of death is not
5 available, is that correct?

6 DR. HORBOWYJ: Independent
7 assessment of death, I don't believe there was
8 independent assessment of death, but there
9 were summaries of -- describing the patients
10 who died.

11 CHAIR BIRNBACH: Are there any other
12 Panel questions? Yes, Dr. Loeb.

13 DR. LOEB: I'd like to follow up on
14 a point that was brought up earlier and it
15 sort of pertains also to what Dr. Stoller was
16 just speaking about, and that is, I just want
17 to make sure I understanding comparing your
18 slide 64 to 65, one, showing the incidents of
19 incomplete or complete or incomplete lung
20 expansion, as submitted by the investigators
21 for 149 patients, which I assume is a reading
22 of a chest radiograph, that there was in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 control group, 22 percent incomplete expansion
2 and in the treatment group, 33 percent
3 incomplete expansion, as compared with your
4 independent radiologic survey of 59 patients,
5 where there were zero, no incomplete
6 expansions in the control group and 17 percent
7 in the sealant group. Am I reading that
8 correctly?

9 DR. HORBOWYJ: If I could just
10 summarize your comments, you're comparing the
11 incomplete chest x-ray expansion at one month
12 from slide 64, the 11 percent difference being
13 higher at -- for the sealant group and 22
14 percent occurring in the control group,
15 compared to zero occurring in the sample of 59
16 patients?

17 You're comparing basically 22
18 percent and zero percent? That's a function
19 of the sample selection and that is part of
20 the quandary, the question that had been
21 posed, is how well does this sample represent
22 the other, if we looked at the whole group,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would we find different answers? Potentially,
2 you would because there is this discordance.

3 DR. LOEB: Just as a follow up, did
4 -- in your group, you knew which patient -- is
5 there any way to know that your radiologists
6 were getting basically the same answers? It's
7 just very confusing.

8 DR. HORBOWYJ: I should --

9 DR. LOEB: It's very confusing to me
10 that 22 percent, that 12 patients in the
11 control group, 12 out of 149, have incomplete
12 expansion in all of the patients versus zero
13 out of -- well, I don't know the sizes of the
14 two different groups.

15 But anyway, that you had no
16 patients with incomplete re-expansion by your
17 radiographic readings versus --

18 DR. HORBOWYJ: These were not our
19 radiographic reasons. The sponsor conducted
20 this study. We simply worked with the
21 sponsor, as to the design. The sponsor chose
22 the patients, the sponsor chose the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radiologist, the sponsor actually progressed
2 with this --- designed the case report forms
3 in conjunction with us. We spoke.

4 The sponsor carried out the study.
5 The sponsor analyzed the data and presented
6 the data to us.

7 DR. NORMAND: Which slide are you
8 referring to, just so I could follow along?

9 DR. LOEB: Slide 64 and 65 of this
10 last presentation. So there were 20 patients
11 in the -- there were 53 control patients
12 initially, 12 of whom had an incomplete re-
13 expansion and in the subsequent analysis,
14 there were 20 patients, none of whom had an
15 incomplete re-expansion.

16 DR. HORBOWYJ: Right, and as you
17 see, they didn't define chest x-rays, perhaps
18 for all patients. So that's why the data is
19 presented that way. They did the best they
20 could, as far as we understand, to find all x-
21 rays for all patients.

22 So the data is presented very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 straight with numbers for that reason.

2 DR. LOEB: Thank you.

3 DR. HORBOWYJ: And it's understood
4 that 17 percent is a large percentage, but on
5 a small number of patients.

6 DR. JEEVANANDAM: Again, going back
7 to slide 64 and 65, it seems like 64 is on all
8 patients and 65 is on a randomly selected
9 group of only 59 out of 161 patients.

10 Did they do that sub-analysis
11 because you -- why did they do that sub-
12 analysis?

13 DR. HORBOWYJ: In the initial
14 review, the PMA --

15 DR. JEFFANANDAM: Were they
16 requested to do it or were they just worried
17 about the data?

18 DR. HORBOWYJ: In the initial review
19 of the PMA, we came across this finding of 33
20 percent sealant group and 22 percent control
21 patients have an incomplete lung expansion.
22 That raised a question to us, as to why this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 difference and in an attempt to try to
2 understand this difference, to understand its
3 impact, does it go across cohort and what kind
4 of adverse events may be associated with it,
5 we asked -- and potentially, if there could be
6 bias.

7 We asked that we have -- that an
8 independent assessment be re-done to try to
9 reconfirm the results or maybe find that they
10 weren't that way, so since review of all of
11 the chest x-rays seemed to be burdensome and
12 that all x-rays seemed even to be accessible
13 for such review, the agreement was to review
14 60 of the total cohort.

15 DR. JEEANANDAM: And then the
16 numbers got even worse.

17 CHAIR BIRNBACH: Are there any other
18 comments for the FDA?

19 (No audible response.)

20 CHAIR BIRNBACH: Thank you. Given
21 that there are no more comments, it's
22 currently about 12:10 p.m. We will now break

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for lunch. We will reconvene in this room in
2 one hour at 1:10 p.m.

3 Please take any personal belongings
4 you may want with you at this time. The
5 ballroom will be secured by FDA staff during
6 the lunch break. You will not be allowed back
7 into this room until we reconvene in one hour.

8 I'd like to again remind the Panel
9 members there should be no discussion of the
10 PMA during the break among yourselves, with
11 the sponsor, the FDA or with the public.
12 We'll see you in one hour.

13 (Whereupon, the above-entitled
14 matter went off the record at 12:10 p.m. and
15 resumed at 1:18 p.m.)

16 DR. BIRNBACH: Welcome back. We're
17 going to get started now. Before we proceed
18 with the panel of discussion, I would like to
19 ask the sponsor to come forward and address
20 any of the detailed issues raised during the
21 morning session that the sponsor has been
22 asked to address after the lunch break.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MELKERSON: Panel chair?

2 DR. WALSH: Thank you for the
3 opportunity to come back and say a few things
4 after the discussion this morning. It still
5 seems an issue in trying to understand for the
6 panel the difference between a completely
7 expanded lung, incompletely expanded lung and
8 other.

9 In the original report, in the
10 original report in 3M, and as it was designed,
11 the investigators had three boxes that you
12 could tick off: fully expanded, lung partially
13 expanded within normal limits for post
14 operative thoracotomy, and other.

15 So the second category, which is
16 the one that's confusion -- confusing for
17 everyone is the one that is really where the
18 thoracic surgeon judgment comes into play.

19 If you've had a lobectomy, and
20 there's no air leak, there's an expectation in
21 virtually all lobectomy patients that you're
22 going to have a residual pleural space. So on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this form, when that was deemed to be
2 appropriate by the thoracic surgeon, that part
3 was clicked off.

4 When it came to analyzing the
5 reports by the FDA, this report raised a
6 concern by the FDA because you can see 33
7 percent of the patients in the sealant group,
8 and 22.6 percent in the control group were
9 listed as partial, although that would be
10 normal partial expansion based on that -- on
11 our study.

12 Nevertheless, this prompted a
13 review of partial, and what does partial mean?

14 And we can see of the 32 partial sealant
15 patients versus the 12 partial control
16 patients, when we look at the AEs related to
17 incomplete lung expansion, again this is a
18 radiographic appearance, you can see in fact
19 that the complication rate was less in the
20 sealant group than the control group, again
21 pointing to the clinical acumen of the
22 thoracic surgeons that we know when you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 residual pleural space with no air in the
2 chest tube, that is of no clinical
3 significance.

4 And again, when we looked at these
5 patients' length of stay, versus controlled
6 partial length of stay, again the sealant
7 partial expansion did not result in a longer
8 hospital stay versus control. The sealant
9 partial expansion did not result in a lower
10 rate of air leak free one-month analysis
11 versus control.

12 When the FDA then asked for a
13 independent review of 60 patients, these were
14 pulled out, 40 in the sealant group, 20 in the
15 control group. We see that there were six
16 patients identified with partial lung
17 expansion on the 40 patients in the sealant
18 group.

19 Of those, all of them at the one-
20 month follow up had in fact shrinking residual
21 airspaces as we would expect the normal post-
22 operative expansion of these lungs. Only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 therefore one patient of the 40 randomly
2 selected actually had an increasing airspace.

3 And this was in a patient who had had a
4 bilobectomy, right upper and right middle
5 lobe.

6 We also see in the selection of
7 these 40 patients that we are -- that they
8 were -- it just happened that they picked out
9 more patients who had right upper lobe
10 resections in the sealant group. So that even
11 compounds it a little bit more.

12 But the bottom line is only one out
13 of the 40 sealant patients ended up having to
14 require treatment for an expanding residual
15 pleural space.

16 So again, in summary, there is a
17 clinical benefit to this product. Air leaks
18 make a big difference. We cannot solely rely
19 on chest tube output, or chest tube length of
20 stay of the chest tube as the end point,
21 because that is not how this study was
22 powered. There are a lot of other things that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 come out of the chest tube: pleural fluid,
2 chyle, lymphatic drainage.

3 So even if you had a completely
4 sealed air leak at day one would not
5 necessarily imply that the chest tube would be
6 removed. We know as well that even with a
7 sealant across the centers, there was a
8 decrease in length of hospital stay, and in
9 fact when we look at it, the incidence of
10 adverse events as far as pneumonia as compared
11 to controlled, and quite frankly deaths in the
12 sealant group were less.

13 This is a product that we
14 desperately need as thoracic surgeons to help
15 us take care of these patients. Thank you.

16 There's more slides? Okay, more
17 slides. Again, length of stay, as you can
18 see, median, mean, both significant reductions
19 in the sealant group. And again, one of the
20 problems when doing studies is that you're
21 dependent on who fills out the death
22 certificate, because this is a point that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 raised.

2 And clearly when we look at the
3 deaths in the sealant group, there were -- the
4 percentage of deaths because of the larger
5 number of patients were less. When you look
6 at the control group deaths, we can see that
7 there was ARDS obviously listed and pulmonary
8 complications in the sealants. But when we do
9 a better analysis and look at the deaths in
10 the control group, you can see that three out
11 of the four patients also had a pulmonary
12 complication associated with the deaths.

13 There's only one patient in the
14 control who looks like they had a
15 predominately cardiac death. But everyone
16 throughout the study, they were dying of the
17 usual sort of things that these patients die
18 of after major pulmonary resections: multi-
19 system organ failure, death. Thank you.

20 DR. BIRNBACH: Thank you. Mr.
21 Melkerson?

22 MR. MELKERSON: Just FDA has a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couple clarifications first from Dr. Chang,
2 and then a follow up. You had asked about the
3 deaths, and I think Dr. Horbowyj has a
4 clarification on where that information we've
5 posted was from.

6 DR. BIRNBACH: Thank you.

7 DR. LAO: I want to clarify my
8 answer to Dr. Normand's question. I'm very
9 sorry, I misunderstood your question.
10 Question is at the ratio of chest tubal, poor,
11 is that you're a Kaplan-Meier survivor and
12 it's time to event. But the primary efficacy
13 end point purely was based on the binary
14 outcome, proportion of the post operative air
15 leak sealed or not sealed in the binary
16 article. Not related to the time after air
17 leak sealed.

18 So I made my review the time to
19 event Kaplan-Meier analysis for the primary
20 efficacy end point. Did I answer your
21 question?

22 DR. BIRNBACH: Yes, go.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: Yes and no. And I
2 probably was the one who was confusing and not
3 you, but here's the question. I understand
4 one was a time to -- one was an event time
5 analysis and one wasn't. One was a binary.
6 But I think I want to make sure I understand
7 that the outcome is exactly the same.

8 In other words, in one it's called
9 no -- air leak free, and the other one is
10 chest tube removal. Is -- are those two
11 equivalent is the question? Because if that
12 was the case, I just want to know what would
13 be the equivalent of the time to event
14 analysis on the primary outcome? That's what
15 I had wanted to know.

16 DR. LAO: Yes, I didn't recall the
17 link between the chest tube removal and the
18 primary efficacy end point. And I clearly see
19 the analysis.

20 DR. NORMAND: Okay.

21 DR. LAO: Try to link the two
22 outcome together.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: Okay, okay. So thank
2 you.

3 DR. LAO: Thank you.

4 DR. WALSH: Just want -- can I
5 address that issue?

6 MR. MELKERSON: Yes, go ahead.

7 DR. WALSH: I think this is really
8 important, that when an air leak is stopped is
9 not equal to the same day as the chest tube
10 comes out. And so the -- and the management
11 of chest tubes is different, depending on the
12 patient, different on the center, different
13 about the amount of pleural drainage. You may
14 have one patient who had a fairly bloody
15 dissection, and the chest tube is still
16 draining fairly bloody fluid.

17 You do not pull out the chest tube
18 until it turns into a nice serosanguinous
19 output. So just because the air leak is over
20 does not mean the chest tubes come out. Tubes
21 are put for two reasons: one for air, one for
22 fluid. And both of those depend on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surgical judgment that the air leak is
2 absolutely stopped, and the fluid drainage is
3 at a level that we find acceptable.

4 DR. NORMAND: So I just -- I
5 ,because it's a primary end point, I just sort
6 of feel like I need to understand how it's
7 measured. And so does that mean that your
8 number of -- you count -- your primary
9 efficacy end point is 01, whether all air
10 leaks were stopped by a certain time point.
11 My concern is the time points varying. It's
12 not at 30 -- it's not one month for everybody.

13 But my question really is it's a 01
14 for whether the air leak -- all air leaks --
15 the patient is air leak free?

16 DR. WALSH: Correct.

17 DR. NORMAND: And it sounds to me
18 like you are using multiple ways to determine
19 that outcome. It's not just the fact how you
20 determine that. Is it the chest tube is
21 pulled, and therefore it's met? That's what I
22 want to get a sense of.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WALSH: No, no. Every morning,
2 we were consistent. Every morning, the staff
3 surgeon or their research nurse looked at the
4 tubes and made an assessment whether there was
5 an air leak. The patients are asked to
6 perform standard maneuvers" valsalva
7 maneuvers, cough, make sure that the tube
8 tidals well, and make sure the system is
9 patent.

10 And after repeated coughing,
11 valsalvas, there's no air bubbling out of the
12 chest tube, and the air leak is stopped. And
13 that's the time of air leak stop.

14 DR. NORMAND: So that means -- if I
15 could -- I'll say one more thing, and I know
16 you're getting tired of me. So that means at
17 -- in theory, this didn't happen because of
18 follow up times differing a bit. But in
19 theory, once that happened, you would say
20 therefore the patient -- let's say -- suppose
21 that happened at day 12 post surgery.

22 You say, "Okay, it's done." That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 means therefore the outcome for that patient
2 is a success, as defined by within -- by 30
3 days?

4 DR. WALSH: No, no.

5 DR. NORMAND: So that's the --

6 DR. WALSH: No, this is the
7 remarkable thing about this product that you
8 can see. Even with the best surgeons in the
9 country doing these procedures, with attention
10 to meticulous dissection of the hilum, only 14
11 percent of the time can we have a patient who
12 actually makes it to the recovery room with a
13 no air leaks.

14 So over 80 percent of the patients
15 will have an air leak. What is remarkable
16 here is 35 percent of the patients from the
17 recovery room to one month have no issues with
18 air leak, which is extraordinary.

19 DR. NORMAND: Thank you.

20 DR. BIRNBACH: We're now going to
21 proceed to the panel discussion. I believe we
22 already have, actually, so, I'm going to open

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the floor to the panel members for questions
2 either to the sponsor or the FDA. But first,
3 Mr. Melkerson has a comment.

4 MR. MELKERSON: There was one more
5 clarification. There was a question related
6 to one of the tables related to death, and Dr.
7 Horbowyj went back to find out where that
8 information that we pulled --

9 DR. BIRNBACH: Great. Let's do
10 that.

11 DR. HORBOWYJ: Dr. Durfor and I
12 went back to our records in the PMA file to
13 find the source of the death ideologies, which
14 we presented to you. And we find them in
15 amendment 6, the details on pages -- appendix
16 1 of amendment 6, pages 43 and 44. And the
17 causes of death are as listed in our
18 presentation.

19 We do not find for the control
20 group any etiology of death being attributed
21 to ARDS. There is, however, one contributing
22 factor the way this table is set up, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it lists the patient number, the age, gender,
2 day of death, device related cause of death
3 contributing factors by reviewing
4 investigator.

5 So what we listed was the cause of
6 death. In the control group, there is under
7 the contributing factors, our reviewing
8 investigators one time listed ARDS. There is
9 no listing in our -- in this presentation in
10 the PMA of ARDS as a contributing factor
11 etiology or cause of death itself.

12 And as cause of death in the
13 sealant group, we do have three instances of
14 ARDS reported, and we do have two instances of
15 ARDS being reported with multi-system failure
16 as a cause of death, not in the contributing
17 factors by reviewing investigators.

18 Now, how those are mixed and
19 matched I think can probably vary by opinion,
20 but that's the way we were listing them in any
21 case. For control, we do not have ARDS other
22 than in the one contributing by the -- excuse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 me. We do not have other patients listed,
2 though. We do not have three control patients
3 being listed in with ARDS.

4 DR. BIRNBACH: Thank you. Now,
5 we'll open the floor to the panel members for
6 questions either for the sponsor or for the
7 FDA. Dr. Stoller?

8 DR. STOLLER: So this is in follow
9 up to the slide Dr. Walsh showed, which may
10 avail a little bit more clarity from my point
11 of view. You made the statement that the
12 slide you showed validated the thoracic
13 surgeon's impression about air leak, and maybe
14 I could ask you to revisit that. I'm still
15 stuck on this.

16 I understand the difference. As a
17 pulmonologist, I understand the difference
18 between air leak and residual air. See it all
19 the time. But I need clarification as to how
20 your impression of that slide -- I just don't
21 -- it went by too fast, validates the notion
22 that a -- an unblinded review at one month

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with regard to air leak secures the efficacy.

2 DR. WALSH: I think the big concern
3 is, okay, you know you've applied the sealant
4 and the patient does well. The -- you
5 identified through your usual maneuvers every
6 morning under the water seal component of the
7 atrium drain that there's no bubbles.

8 We generally even wait another 24
9 hours after identifying there's no air leak
10 and then pull the tube out. And you do an x-
11 ray, and the lung is -- there's residual air
12 space. So although that was on the original
13 tick off form, which was developed for this
14 study, it was a tick off to show that the lung
15 was not completely -- there was still this
16 residual space.

17 So that group is the partial,
18 although that would be viewed as a normal
19 patient for us, a normal finding. But if you
20 look at the 20 patient -- I'm sorry, the 32
21 patients in the sealant group with partial
22 lung expansion, let's say, we can see if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dropped down and see if there's subsequent
2 problems with adverse pulmonary vents
3 identified in these patients: subcutaneous
4 emphysema, pneumonia, dyspnea, pleural
5 effusions, pneumothorax, compared to the
6 partial lung expansion in the control group,
7 that there's really no difference between the
8 sealant and the control group.

9 Most of the complications that we
10 see that develop are the usual pneumonias.
11 Some people develop surgical emphysema, but
12 that sometimes implies that the lung has
13 stuck, and there may be some subcutaneous
14 spread.

15 DR. STOLLER: And again, how are
16 those -- in the column, the second from the
17 left column, how are those ascertained? By
18 the surgeon assessing the presence of a
19 pneumothorax? How are they ascertained?

20 DR. WALSH: I believe that these
21 are adverse events identified in that patient
22 after, you know, the chest x-rays showing an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incomplete expansion, or a complete expansion,
2 after the chest tube --

3 DR. STOLLER: So the definition of
4 a pneumothorax would've been based on the
5 surgeon's assessment of the presence or
6 absence of a pneumothorax, assuming that there
7 was an assessment of growth or non-growth of
8 the residual air at the one-month follow up?

9 DR. WALSH: Correct, correct.

10 DR. STOLLER: That was not the
11 independent radiologist's review of the
12 subset?

13 DR. WALSH: No, that --

14 DR. STOLLER: At least by shown on
15 the slide, correct?

16 DR. WALSH: No, this is our hard
17 data. So, you know, once the chest tube is
18 out, then the only way that we -- the ways
19 that we have to assess the patient are
20 obviously clinical assessment. How are they
21 doing? Have they developed a cough? Have
22 they developed fever? Have they developed a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 new air fluid level in that residual space?
2 And then at the follow up one-month, as that
3 residual space increased in size, or have they
4 developed a cough? Do they look unwell as
5 you'd expect someone who is developing the
6 affects of a bronchopleural fistula, or a, or
7 an --

8 DR. STOLLER: So I'm imagining
9 you're assessment of pneumothorax, for
10 example, would be based on finding the
11 surgeon's reviewing the x-ray at the one-month
12 visit, assessing growth of the air space,
13 and/or the presence of subcuemphysema or
14 something of that sort? Is that how that
15 pneumothorax gets classified that way on this
16 table?

17 DR. WALSH: I believe so.

18 DR. STOLLER: Okay.

19 DR. BIRNBACH: Any other comments?

20 Dr. Locicero?

21 DR. LOCICERO: Two questions. Dr.
22 Walsh, back to the slide before this, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 definition, and this goes to the FDA as well.

2 The -- number two. This is a fact and a
3 qualification. That was acceptable to the
4 FDA?

5 DR. WALSH: Well, I believe that
6 the original study, and someone from the 3M
7 can correct me, but the original study was
8 done in concert with the FDA. So this was
9 part of the original tick off list.

10 DR. LOCICERO: This was a
11 measurable event as an adverse event, with a
12 qualifier?

13 DR. WALSH: No, it wasn't meant to
14 be an adverse event. It was basically this is
15 the assessment after the patient has had the
16 tube removed. Lung completely expanded at the
17 time of discharge or follow up, lung partially
18 expanded. But felt to be the normal residual
19 airspace that one might see after a right
20 upper lobectomy, or bilobectomy, in the other.

21 So the other category would be the
22 ones that really would be the ones that needed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be investigated. But when this chart was -
2 - came out and was reviewed by the FDA,
3 obviously it raises a flag because of the
4 partial group, which 33 percent in the sealant
5 group, and 22.6 percent in the control.

6 So they say, "Well, you've got a
7 problem there with partial expansion, although
8 the complete and partial we would view that as
9 51 percent plus 33 percent; we would view that
10 as over 84 percent normal post operative x-ray
11 appearance of the patients." But because of
12 that concern, that's why the company had to go
13 back and do again independent x-ray
14 evaluation.

15 But it was really designed to
16 simply be a tick off box that we'd accept that
17 this is normal post operative right upper
18 lobectomy residual space that is going to take
19 another six weeks to improve.

20 DR. LOCICERO: Okay, so it's not
21 listed on your adverse event sheet?

22 DR. WALSH: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LOCICERO: Okay. And to the
2 death slide, am I interpreting this right that
3 essentially 60 percent, between 50 and 60
4 percent of all of the partial expansions
5 occurred in upper lobectomy patients?

6 DR. WALSH: Correct. And again,
7 those of us thoracic surgeons know
8 particularly the problems of right upper
9 lobectomy and left -- specifically right upper
10 lobe seem more difficult than left upper
11 lobes, because the middle lobe and the lower
12 lobe, it takes a while for the superior
13 segment of the lower lobe to rotate to come up
14 to fill the space, and for the middle lobe to
15 expand.

16 You always have that little
17 triangular residual air deficit or residual
18 pleural space deficit after a right upper
19 lobectomy.

20 DR. LOCICERO: Do you mean the
21 horizontal fissure as opposed to the left
22 side, which does not have a --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WALSH: Correct, correct.

2 DR. LOCICERO: Okay, what other
3 patients fit into this category? Do you know?

4 Do you have any information on that?

5 DR. WALSH: In the partial -- do
6 you have a list? Certainly we could get it
7 but --

8 DR. LOCICERO: Thanks.

9 DR. BIRNBACH: Since the FDA has
10 respond --

11 DR. WALSH: So it's basically all
12 of the anatomic recessions are in the partial,
13 not the wedges and segments. So it's the ones
14 that were taking out a sizeable portion of
15 lung in an anatomic setting. So it's going to
16 take a while for the fissures to reorient.

17 DR. BIRNBACH: Does the sponsor
18 have any comments to the FDA responses? Is
19 there anything the sponsor would like to
20 address as regards the FDA comments? There
21 being none, we'll go back to the panel
22 discussion. So does anyone on the panel have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any comments? Dr. Topoleski.

2 DR. TOPOLESKI: So my understanding
3 of this material is that it really has no
4 biological function. In other words, it
5 doesn't have anything to speed wound healing,
6 and it's sole biological function is to be
7 non-toxic and not elicit an immune response,
8 and to go away after some designed time.
9 Therefore, its sole function is mechanical,
10 right?

11 And so my question is maybe to both
12 the FDA and to the sponsor, and to my clinical
13 colleagues here on the panel: Would it change
14 the way you manage the patient if you knew for
15 example what the probability of failure or
16 success, and let's call it success because
17 that sounds better, if you knew the time
18 evolution of the probability of success?

19 In other words, after one day it's
20 95 percent successful, or it has 95 percent of
21 its ultimate strength; 90 percent after two
22 days; 80 percent after three days. Or, are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you satisfied with just knowing that at time
2 zero, as Dr. Cerofolio passionately said, "You
3 know you can close the leak right away, but
4 you don't care what happens afterwards."

5 DR. DURFOR: I don't mean to be
6 rude, because I think it's an excellent
7 question, but I think it's one of the reasons
8 we assembled this group of experts here to
9 give us that insight. And I think that with
10 the number of well talented surgeons here, I
11 think it's a great point for this panel to
12 discuss.

13 DR. PARKS. Well, let me see. The
14 original design specifications were to make it
15 a mechanical sealant. It does nothing,
16 according to our experiments, to delay
17 healing. It does nothing to reduce healing in
18 that regard. It does nothing to elicit immune
19 response, but meets the design specifications.

20 As far as predictability, I'll let
21 it to the clinicians. But I will add one
22 comment that what we're looking at in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 setting is a dynamic situation. What we're
2 looking at is the, on the one hand, the in
3 growth of healing elements contributing a
4 mechanical strength, and in addition to the
5 sealant itself, and the process by which the
6 sealant undergoes the solution.

7 And so it became difficult for us
8 experimentally to do the type of experiment
9 that you suggest, which is to find
10 predictability as a function of time.

11 DR. CERFOLIO: And I can just say
12 from a clinical standpoint that it'd be nice
13 information, but it wouldn't change my
14 management, which was your question. My
15 management is going to be based on that
16 patient's air leak on the chest tube.

17 So whether I'd say, "Oh, he maybe
18 has a ten percent more likelihood having that
19 leak sealed the next day, I'm going to manage
20 him the same; I'm going to remove the tube as
21 soon as I can when there's no air leak."

22 DR. WALSH: I'd say, you know, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an ideal world if you had a product that
2 worked 100 percent of the time and allowed
3 sufficient time for the underlying lung
4 parenchyma to heal to allow the lung to expand
5 into the residual space, that would be
6 perfect. But, you know, whatever air leaks we
7 can control with these products is going to
8 help us a lot more towards getting the tubes
9 out, getting the tubes out faster.

10 So, I'd echo was Dr. Cerfolio said.

11 This is -- any little help we can get is
12 going to be important in managing these
13 patients, especially in difficult to seal
14 areas like the hilum, which it's difficult for
15 that area to rotate, and sometimes contact the
16 chest wall. That's how most lung leaks seal
17 is with contact of the autogenous pulmonary
18 tissue with other autogenous tissue:
19 pericardium, diaphragm, pleura.

20 DR. LOEB: I would offer a
21 different opinion about what was just said, in
22 that I think it's going to be safer for your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients if you have an air leak and watch it
2 disappear, than to not have an air leak and
3 manage the patient as if there's no potential
4 for an air leak, and then have an air leak
5 appear.

6 So I think from a clinical
7 standpoint, it's a very important question,
8 and the thing that I'm most concerned with in
9 this whole package is the potential for late
10 pneumothorax or late air leaks. To me, that's
11 the crux of the matter. Does this potentially
12 give the appearance that there's no problem,
13 and then a problem appears later?

14 DR. TOPOLESKI: I just wanted to
15 explain why I am -- or motivate that question.

16 It's because we pretty much know the time
17 strength relationship in resorbable sutures,
18 for example. We can follow their strength as
19 a function of time as they are dissolving in
20 solution. So I was wondering if there was an
21 analog with this particular material.

22 DR. BIRNBACH: Dr. Jeevanandam?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JEEVANANDAM: You know, we come
2 back to the chest tubes, and you say you want
3 -- air leak cause the prolongation of chest
4 tube placement. Well, your data has -- in
5 terms of chest tubes has the same time
6 duration of chest tubes. It's not different
7 between control and patients who are treated.

8 Then, you know, you have length of stay. Of
9 course the length of stay is there, but you
10 also have ten patients on a Heimlich valve who
11 probably could've been discharged earlier
12 because they had a Heimlich valve on the
13 control -- on the treated group.

14 So I guess -- and we always talk
15 about these air leaks being really bad. I
16 think what you've shown is that with this
17 device you can stop an air leak faster, or you
18 maybe come out of the operating room, or the
19 recovery room without an air leak, but the
20 control patients remarkably stopped it early
21 as well.

22 And these maybe take a little while

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 longer, but ultimately the chest tubes are
2 coming out at the same time, so --

3 DR. SPINDELL: Just one -- I thought
4 I saw a slide earlier where if you took the
5 Heimlich patients out of the equation, the
6 length of hospitalization was still shorter.
7 And I -- do we have that slide, or was -- that
8 was in the packet? I understand the concern,
9 but I thought I saw a slide earlier --

10 DR. MILLER: And that is correct.
11 When you took out the ten percent of patients
12 who had a Heimlich valve, the hospital stay
13 was still shorter than the control group. So
14 it made no difference.

15 DR. BIRNBACH: Actually, Mr.
16 Melkerson, do you want to comment now?

17 MR. MELKERSON: I believe that's
18 the information that was not submitted as part
19 of the PMA. So like I said, we haven't had a
20 chance to evaluate it. So questions related
21 to it should be directed in terms of what's
22 the interpretation mean clinically, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would help. But in terms of our evaluation,
2 we had not seen that data presented that way.

3 DR. BIRNBACH: Thank you. Dr.
4 Ries?

5 DR. RIES: My impression is very
6 similar to Dr. Jeevanandam said about, you
7 know the crux of issues and I think were
8 actually very nicely summarized on the tables
9 on the FDA handout, page 30, slides 59 and 60.

10 Looking at the time of air leak and the time
11 of chest tube removal, I'm convinced that the
12 product does control air leaks in the
13 operating room and in the immediate post-
14 operative period. But the issue is what does
15 that mean clinically?

16 And it seems to me the
17 interpretation of the -- all the results is
18 that the time to the cessation of the air
19 leak, slide 59, is really no different in the
20 early periods between the two groups. And in
21 fact, if anything, the sealant patients
22 actually there's a subset of actually wind up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with a prolonged air leak, and a prolonged
2 chest tube related to whether it's an air leak
3 or, I'm inclined to call it response.

4 I mean, basically what you have is
5 a product that causes no difference in the
6 clinical outcome when the chest tube comes
7 out, or how long the air leak persists when
8 the chest tube comes out. But those result in
9 some prolonged chest tube insertion in a
10 subset of patients.

11 Maybe I'm interpreting it wrong,
12 but it seems to me this is the crux of the
13 matter.

14 DR. CERFOLIO: I think I can answer
15 that. One of the reasons that that's true is
16 because you had ten patients who got the
17 sealant get a Heimlich valve, and only one
18 control that got it.

19 One of the reasons for that may be
20 that the sealant made the leak small enough
21 that the patient could get a Heimlich valve
22 and go home, as opposed to in the control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group where the air leak maybe was too large.

2 You put a Heimlich valve on, we all know you
3 watch for a day. If the lung comes down you
4 say, "You can't go home." You go back to
5 suction.

6 So that may be one of the reasons
7 for that. That's why we did an analysis
8 without the Heimlich valves and showed a
9 difference.

10 Finally, I got to drive home and
11 come back to the point that the study was not
12 designed to look at chest tube removal,
13 because you have ten different surgeons that
14 manage the chest tubes differently.

15 The end point of the study was
16 specifically look at this freedom for air
17 leak, and the study was positive in favor of
18 the sealant.

19 DR. RIES: I would just go back to
20 slide 59, where it looks like within this
21 first six to eight days of surgery, about 85
22 percent of control patients had no air leak,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and 75 percent of the sealant patients had no
2 air leak. So it seems to me that they're
3 really not having a significant impact in that
4 early post operative period in terms of
5 cessation of the air leak or the removal of
6 the chest tube.

7 DR. BIRNBACH: Dr. Normand?

8 DR. NORMAND: This goes back to the
9 how you actually did your analysis for your
10 primary efficacy end point. And I had asked
11 for the distribution of the time at which the
12 one month follow up was actually obtained. I
13 didn't get that information.

14 I'm assuming that wasn't 30 -- 31
15 days for everybody. And as a consequence,
16 using a binary endpoint would be inappropriate
17 if that failure time, which we are seeing a
18 pattern in -- well, let me call it survival,
19 no air leak is differing as the panel members
20 are pointing out.

21 And so I really need to see an
22 analysis that uses or takes into account the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different durations of when a patient had
2 their measurement taken. And so far, no one
3 has told me the distribution of when the
4 measurement was taken.

5 So was everybody assessed at 30
6 days? I doubt it. Were some people assessed
7 at 25 days? So I just -- that's really
8 important because you don't do a Cochran-
9 Mantel-Haenszel test on that type of
10 statistic, which would respond to Dr. Ries'
11 comments about the distribution of time to air
12 leak free freedom.

13 DR. CERFOLIO: Well, I think Dr.
14 Walsh sort of showed that when he looked at --
15 if I can find the correct slide right here.
16 Look at the bottom bullet of this. I think
17 that this answers your question.

18 So the average time to the "one-
19 month" follow up was shorter in the sealant
20 group. What does that mean? It seems
21 confusing. I understand. I was confused when
22 I saw it, too, because one month is 30 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What we're saying there is --

2 DR. NORMAND: I'm not confused. I
3 understand that. But go ahead.

4 DR. CERFOLIO: I was confused.

5 DR. NORMAND: Okay.

6 DR. CERFOLIO: To me, one month is
7 30 days. I don't care what group you're in.
8 But the average time to one month follow up is
9 shorter. That's probably because some of
10 those patients went home on a Heimlich valve,
11 and then they came back.

12 And so if you look at the actual
13 follow up, you see it's significantly shorter
14 in the sealant group, and that's probably why
15 they had more space problems. Because we said
16 it takes maybe six weeks for those space
17 problems to go away, but we're seeing them a
18 little sooner.

19 DR. NORMAND: Thank you. So this
20 just is proving my point.

21 DR. BIRNBACH: Now you've got me
22 confused.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: Yes.

2 DR. BIRNBACH: So patients 30 days
3 are not necessarily seen 30 calendar days --

4 DR. NORMAND: No.

5 DR. BIRNBACH: -- after they leave
6 the hospital? It could be --

7 DR. NORMAND: There's differential
8 follow up.

9 DR. BIRNBACH: -- 42.8 days, is
10 that correct?

11 DR. WALSH: Well, I can tell you
12 when we were designing the study we made every
13 effort to get it within the four to six week
14 time frame. A lot of the centers, Mayo
15 Clinic, M.D. Anderson, a lot of our patient
16 population are from -- you travel long ways.

17 So sometimes, we have to see them
18 at three weeks if they're traveling, and some
19 of them at five weeks. So although we really
20 try to get it and be consistent at the 30
21 days, you know, there's a slight variation
22 there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: So just to finish my
2 question --

3 DR. HORBOWYJ: This slide
4 represents the random cohort, not the whole
5 cohort. So that follow up time is most likely
6 calculated on either of these six patients, or
7 part of the random cohort, and may not be
8 really representative of the whole study
9 population.

10 DR. NORMAND: So the -- sort of in
11 terms of what the statistical community would
12 strongly recommend when you have differential
13 follow up time on patients is not to use a 01
14 end point at 30 days, because of differential
15 follow up. And so I had just wanted to see
16 for the intention treat cohort what that
17 distribution was.

18 Now, apparently that's not it. But
19 whatever it is, we need to see an analysis of
20 the results for the intention to treat cohort
21 to look at a time to use it, sort of as a
22 survival analysis, because you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 differential follow ups. And so short of --
2 if I, sort of, see on average it being shorter
3 for one group than another, then that's a bad
4 thing. So that's just my concern in terms of
5 the primary efficacy end point.

6 DR. LOCICERO: Okay, now I'm
7 confused. All right, so you say one-month
8 follow up. Is that from the time of
9 operation, or the time from discharge?

10 DR. WALSH: From surgery.

11 DR. LOCICERO: Okay, we're saying a
12 whole bunch of different things, because I
13 just heard one of your investigators say 30
14 days after discharge.

15 DR. WALSH: Actually I --

16 DR. LOCICERO: Could you --

17 DR. WALSH: We do have the table
18 here, table 4. We've found of the 103 sealant
19 groups, the mean follow up was 41.5 days, plus
20 or minus 14.4. The control group was 39.1
21 days, plus or minus 14.6, measured from the
22 day of surgery. So usual length of stay is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approximately five to seven days, seven minus
2 41. So that's when that --

3 DR. LOCICERO: So it's one month
4 after discharge?

5 DR. WALSH: No.

6 DR. LOCICERO: Thirty-nine days
7 minus seven is close to 30. So what's your
8 length of stay?

9 DR. WALSH: Your average length of
10 stay is seven days -- six days.

11 DR. LOCICERO: Six to eight days,
12 yes.

13 DR. WALSH: So it's 30 days from
14 discharge. It looks -- the mean is 41.5 and
15 39.5 so it does look like it's 30 days if you
16 take the five to seven day -- the median
17 follow up for the sealant group is 41 days, 36
18 days for control. So plus or minus six days.
19 So it's pretty close to the 30 days.

20 DR. BIRNBACH: Following up on Dr.
21 Normand's question. I'll just ask a quick
22 question. When the FDA asked you to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that subset group, and you picked 60 patients,
2 how did you pick those? One person said that
3 they were randomized. One person suggested
4 they might not have been. So how did you get
5 those patients?

6 DR. METZGER: Is that for the FDA or
7 the sponsor?

8 DR. BIRNBACH: That's for you, the
9 sponsor.

10 DR. METZGER: As I understand it,
11 what happened is when FDA looked at the
12 discrepancy or the difference between the
13 sealant and the control group with regard to
14 partial and complete lung expansion, they
15 asked for a further analysis in part to answer
16 the question, "Is there any investigator bias
17 in the reading of the x-rays?"

18 And so went back to the sponsor.
19 The sponsor went back to the investigational
20 sites. What we found was of the five sites,
21 three sites had digital x-rays, two head
22 analog.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so it was agreed for the sake
2 of expediency and getting that study done,
3 that the study, this subgroup analysis would
4 be done at these three sites that had digital
5 x-rays that could be forwarded to one blinded
6 radiologist. And what they boiled it down to
7 is they could find a pretty complete set of x-
8 rays for 60 patients out of these three sites,
9 40 sealant, 20 control.

10 DR. BIRNBACH: But how did they
11 pick those? Those were not all of the cases
12 from those sites.

13 DR. METZGER: They were randomly --

14 DR. BIRNBACH: So was there some
15 kind of randomization?

16 DR. METZGER: They were randomly
17 picked out of those sites.

18 DR. BIRNBACH: And do you have any
19 idea how they were randomly chosen? Just
20 whichever files happened to be around, or?

21 DR. METZGER: Well, no. You know,
22 at that point in time, it wasn't easy to get a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 full set of x-rays for all of these patients,
2 and they did the best job they could to come
3 up with a complete set of x-rays for as many
4 patients as they could. And what they settled
5 on was 60 so that they could maintain a 2 to 1
6 randomized subset.

7 DR. BIRNBACH: Okay. Are there any
8 -- go ahead.

9 DR. JEEVANANDAM: When you guys
10 looked at that data, and then the 11 percent
11 difference became 17 percent difference, did
12 you think about trying to get all the x-rays
13 re-analyzed? I mean it's -- the digital x-
14 rays should be easy to retrieve, and the
15 analog x-rays, yes, I know they're difficult,
16 but they're not impossible to get. It's only
17 another 40 patients.

18 DR. METZGER: Well, all right,
19 again, the one point I meant to make was the
20 purpose, the primary purpose of that subgroup
21 analysis of these x-rays by the independent
22 radiologist was to determine if there's any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bias by the investigator in the reading of the
2 x-rays for or against the sealant of the
3 control group.

4 The end result of that analysis was
5 there was no bias by the investigator for or
6 against either treatment group. It was only
7 after an -- further analysis of some of that
8 data, some of these other things popped up,
9 and we started drilling down a little bit
10 deeper and deeper into fewer and fewer
11 patients, and this was what we wound up with.

12 DR. LOEB: But to follow up on
13 that, how is that assessment made that there
14 was no bias, given that the control group had
15 a better outcome than was initially decided
16 upon, you know, initially ranked, and the
17 sealant group had a worse outcome? So if
18 anything, that would confirm bias as far as
19 I'm concerned. Yes, eleven percent -- I
20 forget the numbers, but 11 percent went to
21 zero percent in the control group, and 70-some
22 percent went to 50-some percent in the sealant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group. DR. WALSH: Right. I
2 think it was a little bit of a selection bias
3 after that that it actually selected out as
4 you see here a lot of the patients who had had
5 the more anatomic recessions, the right upper
6 lobes, the bilobectomies.

7 And also, in continuing to answer
8 Dr. LoCicero's question as well, going back to
9 the original study design, the follow up was
10 four to six weeks post. So that's -- we try
11 to give a little bit of leeway to deal with
12 the travel schedules of patients, and, you
13 know, those sort of things.

14 DR. NORMAND: Can I just -- it was
15 planned four to six weeks. I just want to
16 know the observed -- it's the observed actual
17 assessments, the time of the observed
18 assessments that really count, because of
19 issues in --

20 DR. OST: Censoring. I just would
21 be -- I would emphasize though, remember that
22 these are normal readings, meaning in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 original, you know, six of 20 in this subset
2 analysis, which is, you know, of the available
3 x-rays. But remember the initial reading is
4 lung partially expanded within normal limits.

5 So these are really not air leaks.

6 They are not necessarily clinically
7 significant. The primary outcome agreed on
8 was air leaks. We do not have the Kaplan-
9 Meier analysis. But remember that at the end
10 of the day, 35 percent of the patients who got
11 the sealant never developed an air leak from
12 the moment of the recovery room.

13 And we do know that at the best,
14 the group which had the control, if you look,
15 started with 33 percent. So the survival
16 curves, if you did survival analysis, don't
17 cross.

18 Okay, so we know that one, the
19 partially expanded is a normal thing as judged
20 by thoracic surgeons; that partially expanded
21 on this table is not associated with any
22 higher incidence of respiratory adverse events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than fully expanded. That's what we know.
2 And for the primary outcome of air leaks,
3 there's a 21 percent difference at the binary
4 at 30 days.

5 DR. BIRNBACH: Thank you. We're
6 now going to focus our discussion on the FDA
7 questions, and there'll be plenty of
8 opportunity while doing that to discuss all
9 the other issues that we have on the panel.
10 So can we start with that process, please?

11 DR. DURFOR: Thank you. We'll go
12 ahead and project the first question. As
13 that's occurring, I just want to give you an
14 oversight of our intention in these questions.

15 The first two are our specific issues that
16 have been discussed, and are focused.

17 Questions three and four, the
18 language is a little stilted, but their intent
19 is the following: They are an opportunity --
20 questions 3 and 4 will offer you an
21 opportunity to comment on the overall safety
22 and effectiveness profile of the product, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the languages reflects the regulations that we
2 operate under.

3 So the first two questions are
4 specific questions about concerns. The first
5 question states, "Pre-clinical data suggests
6 that ProGEL Surgical Sealant clears rapidly
7 from rats and pigs. For example, over 50
8 percent of a carbon 14 labeled device was
9 excreted in 24 hours, and virtually all
10 radioactivity was recovered from rats in 14
11 days after implantation."

12 "Sealant was also largely absent at
13 four days with only isolated fragments of
14 sealant apparent at seven days, after
15 implantation in pig lungs."

16 "In the randomized 2 to 1 ratio
17 controlled multi-center study, in which 103
18 patients were treated with ProGEL Surgical
19 Sealant and 58 received control treatment, 32
20 or 33 percent of the ProGEL Lung Sealant, and
21 22 percent of the control patients had partial
22 lung expansion at 30 days post surgery."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "In an independent radiologist
2 assessment of chest x-rays from a subset of
3 study subjects", and now this is not the
4 entire subject, studies population, "the
5 incidence of complete lung expansion in the
6 recovery room was similar for both treatment
7 groups: 72 percent for the sealant group, and
8 70 percent for control in this subset of
9 patients."

10 "The incidence of complete lung
11 expansion was 51 percent for ProGEL Surgical
12 Sealant, compared to 40 percent for control
13 patients on the day of chest tube removal.
14 And 30 days post surgery, 100 percent of the
15 control patients achieved complete lung
16 expansion, compared to 30 of 36, or 83 percent
17 of the sealant patient, plus the incidence of
18 incomplete lung expansion in the sealant
19 cohort was about 16 percent, 16.7 percent."

20 Now, in this sub-cohort, in this
21 cohort, once again we show you the data in
22 terms of what their readings were for these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 six of 36 subjects in the cohort.

2 So we ask you as a committee to
3 discuss the clinical significance of these
4 pre-clinical and clinical findings, and their
5 impact on the clinical safety and
6 effectiveness of the device as an adjunct to
7 standard care, compared to controlled. Thank
8 you.

9 DR. BIRNBACH: So I'd like to open
10 the discussion of the first question, which is
11 regarding the clinical significance of the
12 pre-clinical and clinical findings, and their
13 impact on the clinical safety and
14 effectiveness as compared to control. Anyone
15 on the panel have any comments?

16 DR. JEEVANANDAM: Well, one of the
17 things -- could you go to the slide before
18 this, please? Okay, so if you looked at this
19 group of patients, you had -- at 30-day follow
20 up, I think the slide before this showed that
21 100 percent of the control group patients were
22 expanded, and there were these six patients in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the sealant group that were not expanded,
2 right?

3 So -- yes, if you can -- I guess my
4 question is yes, it's normal to have some
5 space after a lobectomy, but why is it that
6 the control group is expanded and the treated
7 group is not expanded? Is it possible that
8 the treated group has some inflammatory
9 response, or something that's preventing the
10 lung from expanding completely? Because in
11 the control group, they were expanded. It's a
12 question. Who am I asking the question to?
13 Us?

14 DR. BIRNBACH: Actually, the
15 conversation is for us at this point --

16 DR. JEEVANANDAM: Okay, okay.

17 DR. BIRNBACH: -- rather than --

18 DR. JEEVANANDAM: Okay, I guess --
19 so, you know, is there an inflammatory
20 reaction that's preventing this thing from
21 expanding, number one, and I guess then we get
22 back to the crux of the clinical realty of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this compound in that yes, if you have
2 patients who have no air leaks, there's a
3 statistically increased number of those
4 patients who have been treated.

5 But, if you look at time to no air
6 leak, again, this is the FDA's slide 59.
7 Within four days, whether you're treated with
8 sealant for control, there's the same number
9 of people who don't have an air leak.

10 So I think this device works
11 initially. The control group catches up
12 within four days. And then potentially, you
13 have some disturbing data that, at least in
14 the control group, they have expanded and you
15 don't have residuals at least in the slide we
16 just saw, whereas we do have some residual
17 space with the slide that's been treated, so.

18 DR. BIRNBACH: Dr. Loeb, how does
19 that fit in with your question before about
20 the late pneumorathoraxes, and whether you
21 think that that's something that we should be
22 worried about? Are the two related, do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think?

2 DR. LOEB: Yes, I definitely think
3 they're related, and it is interesting. We
4 never saw any graph. We saw graphs for length
5 of stay and chest tube, I think. We never saw
6 a time graph of days versus percent of the
7 people with this tie in to no air leak. It
8 seems like we haven't seen all of the data
9 there. But yes, I'm -- I absolutely agree,
10 and I think that's -- it's troubling.

11 DR. BIRNBACH: So once again, since
12 we're looking at "clinical safety and
13 effectiveness," are there any comments about
14 whether based on this preclinical and clinical
15 data, we believe that there is in fact
16 evidence of clinical safety and effectiveness?

17 Dr. Wilcox?

18 DR. WILCOX: Not all. I was not
19 going to address that. I was just going to
20 address his question, and I believe, I won't
21 put words in anyone's mouth. But I believe it
22 was postulated that one reason might be that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because in the sealant group, the air leak
2 quit sooner, that tubes may have been pulled
3 sooner before there was full resolution of the
4 air space or the space.

5 And so that might be an explanation
6 as to this apparent difference in the two
7 groups. Does that make sense?

8 DR. JEEVANANDAM: Although if you
9 look at the next slide, FDA slide 60, time to
10 chest tube removal is similar between both
11 groups. So the chest tubes were pulled at the
12 same time, or similar times. Although 12.6
13 percent of the sealant group had greater than
14 11 days because of the Heimlich valve. So
15 maybe the Heimlich valve is causing the air
16 space to exist as opposed to a chest tube with
17 negative pressure.

18 DR. WILCOX: Possibly. And the
19 fact that they were pulled at the same time in
20 aggregate, but not in -- on the individual,
21 and the individual case is --

22 DR. BIRNBACH: Can I take a chair's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prerogative and ask the FDA when you say that
2 you want us to address the safety and
3 effectiveness, would you be defining that
4 based on the primary end point, or based on
5 clinical practice?

6 Because as we heard this morning
7 from several comments, if you stop an air leak
8 on hour one, but at three weeks it's
9 irrelevant or maybe even there's an increased
10 risk of pneumothorax, that might define our
11 discussion about effectiveness. So
12 effectiveness as termed how?

13 DR. DURFOR: Thank you. It seems
14 to me that it would be appropriate to ask you
15 to comment on both. And I appreciate you
16 being alert to that they may not be the same
17 in terms of a primary end point, versus
18 clinical practice. And I think that comment
19 on the primary end point is appropriate, but
20 one of the strengths of having a panel such as
21 this is to draw upon your clinical practice.

22 DR. BIRNBACH: Dr. Spindell?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SPINDELL: I just maybe the FDA
2 can help clarify this. If my understanding is
3 correct, the -- this safety and effectiveness,
4 they're both part of this, but they're really
5 separate discussions. And to my understanding
6 the burden is to prove efficacy against its
7 intended use and then safety. All right?

8 So if I read the intended use, the
9 intended use is an adjunct to sealing or
10 reducing air leaks. So my understanding would
11 be that the burden on the manufacturer would
12 be to prove efficacy in sealing and reducing
13 air leaks as the efficacy part of it. And
14 then some of the discussions we're having here
15 really, to me, talk to the safety aspect, and
16 how it's used in clinical practice.

17 MR. MELKERSON: The issue of safety
18 and effectiveness, in other words, the FDA
19 charged this to determine the relative safety
20 and effectiveness for its intended use. So we
21 don't separate safety and effectiveness into
22 two categories. You have a primary end point:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the study met its primary end point.

2 The question -- since the study was
3 powered for effectiveness, not for safety,
4 these are issues that came up in what was
5 studied. So the question of clinical
6 significance here is are those clinical
7 adverse event findings when you're looking at
8 a risk benefit ration for this product, is it
9 relatively safe and effective is looked at in
10 total, and not as separate entities.

11 DR. BIRNBACH: Dr. Normand?

12 DR. NORMAND: I just wanted to
13 follow up on Dr. Wilcox's last question, which
14 was a while ago now. But in fact when you did
15 do -- when FDA slide 51 actually shows that --
16 sort of the probability of chest tube removal
17 by time is no different between the two
18 groups. Not looking at the mean time, but
19 looking -- doing a survival analysis, which
20 actually shows there's no difference, and that
21 would have included the Heimlich valve people
22 that would've been censored appropriately.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then I just want to -- again,
2 this is related to the clinical effectiveness.

3 I think at least in my mind, and perhaps I've
4 also heard a little bit around the table, is
5 to -- in order to sort of assess the validity
6 or the clinical efficacy end point, I really
7 think we needed to see a Kaplan-Meier analysis
8 done of the time to air leak free because of
9 the differential follow up time.

10 So at least in my mind, I'm not --
11 it's not necessarily clear to me that the end
12 point was met. It was in the binary analysis.

13 It's not necessarily true with the
14 differential follow up time. So just so that
15 everybody knows what it is, because although
16 some people might've been measured on average
17 30 days, some were measured 14 days. Some
18 were measured 44 days, and that's very, very
19 important.

20 DR. RIES: I would just say we
21 don't have the Kaplan-Meier, but table 59 has
22 a pretty close approximation of the Kaplan-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Meier, and it looks like they're pretty
2 equivalent in terms of time to no air leak.

3 DR. BIRNBACH: Can we move maybe a
4 little off to get back to the safety? Are
5 there any issues on the panel regarding
6 safety? And Dr. Loeb, I'm not sure if you're
7 answering that, or?

8 DR. LOEB: I actually noticed
9 something. I've heard two hypotheses about
10 why the residual space seems to be less of an
11 issue, or it seems to resolve faster in the
12 control group. And one was that there's some
13 sort of inflammation from the, or irritation
14 from the compound. The other being the chest
15 tube placement management might've been
16 different given that the air leaks were not as
17 apparent from the get go.

18 And I don't know enough about this.
19 I'm going to ask the thoracic surgeons,
20 especially that it's apparent that in the
21 recovery room, there were -- these was less
22 residual space. There was more complete

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 filling of the chest in the recovery room, and
2 then that got worse.

3 And so I assume that has to do
4 because the chest tubes in the recovery room
5 are on suction, and then they were not on
6 suction later on. And so I'm wondering if
7 maybe we're being -- maybe that's affecting
8 what we're seeing later with the maybe the
9 earlier placement of Heimlich valves, which
10 don't have the chest on suction, which might
11 slow the -- the re-expansion of the lung.

12 That might all be due to just chest
13 tube management and not just the presence of
14 the chest tube, but how much suction is on the
15 chest tube. And it might be that it's sort of
16 a red herring. Because what we're really
17 interested in is not necessarily how quickly
18 the lung re-expands, but how much that's going
19 to end up being a problem for the patient and
20 be a late complication.

21 And so I'm interested in what the
22 thoracic surgeons think about how this product

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might have changed the management of chest
2 tubes, especially air seal versus suction, and
3 how that might have impacted the rate of lung
4 re-expansion.

5 DR. BIRNBACH: Gentlemen?

6 DR. WILCOX: It'd be my guess that
7 they were on suction on the ward as well. I
8 think throughout the post operative period as
9 long as they were in, they were on suction.
10 But I think that's a point: the chest tube
11 management might've impacted this, and maybe
12 it is a red herring as you suggested.

13 DR. JEEVANANDAM: Perhaps, but I
14 mean there is a real bias towards putting
15 Heimlich valves in patients who've had
16 treatment or who have the sealant. All right,
17 so clearly the sealant might have masked a
18 very, very small air leak. I mean who knows?

19 But it was -- it's pretty striking. I mean
20 it's either that, or the surgeon is biased and
21 says, "Well, I'll put sealant in this patient.

22 It must not have an air leak." And then go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ahead and put a Heimlich valve.

2 So perhaps it's also true that as
3 the sealant -- that it could be constricting
4 the lung and preventing it from expanding as
5 well. But I think it has a lot to do with the
6 chest tube management, and you're right, but
7 it's the chest tube management being masked by
8 the sealant.

9 Because there was an amazing amount
10 of -- a big discrepancy in who got Heimlich
11 valves. And why did that occur? I don't
12 know, it occurred because they thought there
13 was no leak, but there was like a small sub-
14 clinical leak.

15 DR. BIRNBACH: Dr. Loeb, the
16 comment that you made about the potential risk
17 of a delayed pneumothorax, do you believe that
18 that indeed is a safety issue that we should
19 be discussing now?

20 DR. LOEB: Certainly if it occurs
21 outside of the hospital, then definitely. If
22 it occurs within the hospital, I mean one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the things that I've thought about in -- in
2 this is that these patients are being managed
3 by a thoracic surgery service, who is -- who
4 are just by their nature very comfortable with
5 following, treating, managing pneumothorax.

6 So of the type of complication that
7 is basically going to be -- they're being
8 cared for by a team who is used to, and going
9 to be observant, and know how to deal with
10 that, it makes it a somewhat less important
11 complication compared to, for instance,
12 cardiac problems or renal problems, and
13 patients on a thoracic surgery service.

14 DR. BIRNBACH: Did you have another
15 one? Do you believe that there are any safety
16 issues from a thoracic surgical perspective
17 that we need to discuss as related to question
18 1?

19 DR. JEEVANANDAM: I think I'm
20 pretty convinced that this thing stops air
21 leaks in the immediate post operative period.
22 Now, whether that led to different management

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 styles in terms of keeping these lungs
2 expanded, one doesn't know. But I would
3 assume that if it became clinically available,
4 that people would maybe keep the chest tubes
5 on a little bit longer, and perhaps not have
6 that residual space.

7 I think from a toxicity point of
8 view, the only thing that really gets my
9 attention a little bit is this renal adverse
10 events where there was 9.5 percent with the
11 sealant and 3.8 percent with the control. And
12 I think that is probably one of the toxicities
13 or safety issues that may be more important
14 than even the residual space that's left.

15 DR. BIRNBACH: And I think we're
16 going to discuss that in a little more detail
17 in question 2. But are there any --

18 DR. SPINDELL: Addressing Dr.
19 Loeb's concern: can we ask the sponsor? I
20 don't know, were any of the complications with
21 pneumothorax or whatever occur between
22 hospital discharge and one-month follow up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that required treatment?

2 DR. BIRNBACH: Dr. Locicero, you
3 had a question too, or no?

4 DR. WALSH: There was one patient,
5 and it was my patient actually, who had a
6 problem. It was a 28-year-old female with
7 sarcoma, who had had I think four
8 thoracotomies, and had had radiation therapy
9 where the apex of the chest had been radiated.

10 She underwent radio-thoracotomy,
11 had obviously multiple air leaks and getting
12 into resect the sarcomas. When the sealant
13 was applied, she did well, and was discharged,
14 and three weeks later did develop a
15 pneumothorax.

16 I was the one who raised the
17 concern, "Could this have something
18 temporarily related to the -- to the
19 absorption of the polymer?" Although to be
20 fair, this was not a normal lung. This was a
21 radiated lung that really has characteristics
22 of dry balsa wood, and probably I wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily given the sealant the full, normal
2 lung to adhere to.

3 So that was -- that was one of the
4 patients who raised that flag.

5 DR. JEEVANANDAM: Actually, that's
6 very well summarized in FDA slide 71, where it
7 says, "Six percent more sealant patients had
8 late onset air leaks." And out of those
9 patients, it said five out of nine sealant
10 patients actually required invasive
11 intervention. I assume that's putting in an
12 extra chest tube. So those are I think
13 patients who had late leaks.

14 DR. CERFOLIO: I'm glad you
15 brought that up because that's sort of a
16 misnomer. Four of those five patients were
17 Heimlich valves, and I think some people think
18 that the Heimlich valve is a procedure. I
19 mean you put the Heimlich valve on the chest
20 tube, but that's not a delayed pneumothorax.

21 So one of the concerns, Dr. Loeb,
22 that you've mentioned is these delayed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pneumothorax. There aren't, though. There's
2 one patient, one patient, that had a delayed
3 pneumothorax with the sealant. There's -- in
4 my opinion, there's really -- I'm not worried
5 about this thing masking pneumothorax and
6 sending people home, and then they come back.

7 I don't think we've found that.
8 We had only one patient. These other four
9 patients were Heimlich valves. They somehow
10 got put in there as delayed pneumothorax.

11 DR. DOMINO: I guess it would be
12 more convincing to me if I would see
13 literature suggesting risk factors for these
14 things happening, and people without -- you
15 know, control group patients since your
16 numbers are so small.

17 Is this something that happens, as
18 you were saying, with radiation therapy? And
19 you could say, "Yes, it occurs in one out of
20 200 patients?" Then we'd say, "Okay, it's a
21 risk of the procedure." But here, the numbers
22 are so small, it's hard to get a feel is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701