

1 MR. VARDON: That is a very good question.
2 We do want to protect the consumer, but we also
3 recognize that this rule is going to have a very
4 significant impact on many small businesses, so to
5 reduce that impact, there is a tradeoff, and we
6 felt this would help us achieve that tradeoff, a
7 proper balance, but on the other hand, if those
8 object to it, we certainly would welcome your
9 comments.

10 DR. MUSSER: Another question. Did you
11 evaluate the cost of samples of finished products
12 on each labeling run?

13 MR. VARDON: We did look at the cost of
14 holding samples as an aspect of the cost of
15 testing.

16 DR. MUSSER: There is a multi-part
17 question here. Sometimes only one small bottle is
18 poured in a run. Can a batch sample be kept versus
19 a sample in every bottling run?
20 Yes, a batch could be kept according to the way the
21 rule is written, a batch sample rather than every
22 individual product.

23 In the preamble, there are only four cases
24 of microbial incidents cited in contrast to the
25 very large number of cases in the food supply. Why

1 have you placed such a significant disproportionate
2 burden of testing for microbial levels on small
3 companies that process a large number of batches of
4 products?

5 MS. STRAUSS: I will mention that in the
6 preamble, the examples of manufacturing problems
7 that we cite are just that example, it is not
8 intended to be a comprehensive inclusive list of
9 all of the various problems.

10 MR. VARDON: I should say also there is an
11 issue of under-reporting, that the number of
12 reported incidents probably doesn't reflect the
13 total number of incidents.

14 MS. STRAUSS: So, it is kind of a
15 combination of in the preamble where we discuss the
16 rule, we have given examples, in the economic
17 impact analysis, they give examples and address the
18 under-reporting issue.

19 MR. VARDON: In my experience, most
20 questions actually aren't about the economics. I
21 am happy to turn it over to the other issues.

22 DR. MUSSER: There are actually some more,
23 and you have more time, so you have to stand up
24 there.

25 How many product recalls are there

1 currently per year and how does that compare to
2 drug and food recall?

3 MR. VARDON: I don't know how it compares
4 to drug and food, but there are about 10 or 12
5 recalls a year, I would say 10 Class I's and 10
6 Class II's, and those Class I and Class II recalls
7 are those that are potentially health-threatening,
8 so about 20 per year that I am aware of, and that
9 is why preventing recalls only created a benefit of
10 about \$3 million per year, so it's a smaller
11 benefit.

12 DR. MUSSER: Another multi-part question.
13 In federal rulemaking, is there a requirement that
14 benefits exceed cost?

15 MR. VARDON: That is a very good question.
16 They do not have to exceed costs, they only have to
17 justify the costs, so if you can find an important
18 rationale, a persuasive rationale for a rule even
19 when the costs exceed the benefits, then, the rule
20 still can be promulgated, but in this case, we felt
21 that the benefits clearly exceeded the costs.

22 DR. MUSSER: If we believe costs are much
23 higher, what would be the impact of a higher cost
24 on the ability to promulgate a rule?

25 MR. VARDON: It would certainly reduce it.

1 We didn't accept some of the other regulatory
2 options specifically because the costs were so much
3 higher, we couldn't find commensurate benefit.

4 DR. MUSSER: I don't know if this is a
5 question or not. Industry generally supports a
6 rule, but believes costs should be more accurately
7 estimated.

8 MR. VARDON: Well, we would like that
9 also. Our problem, as I mentioned, was that there
10 is very little existing data, there is very little
11 existing literature, so we had to do the best we
12 could, but part of this comment period is an
13 invitation for you to provide that sort of
14 information.

15 If you have the health records, if you can
16 tell us what sort of adverse events that you have
17 experienced, that would certainly help improve our
18 analysis, but in the absence of data, in the
19 absence of literature, we tried to do the best we
20 could, so we used Monte Carlo simulations and we
21 used a variety of analyses and sensitivity analysis
22 to characterize our uncertainty.

23 DR. MUSSER: Please explain how you
24 reached your assumption of the average cost of an
25 analytical test.

1 MR. VARDON: There is published literature
2 about that, and there are independent laboratories
3 that publish their prices for those things, but
4 that is an area of uncertainty for us.

5 We recognize that there are possibly
6 economies of scale, people who have repetitive
7 tests, the price can fall down, or if you just do a
8 rare, random periodic test, the costs may be very
9 high.

10 If you have to create a new test, the cost
11 can be very high. We actually did try to assess
12 what those costs are. We met with people in the
13 industry actually to give us their expert opinion
14 about that, but we primarily relied on published
15 prices.

16 DR. MUSSER: Along the same vein then,
17 costs per year estimates appear low, 47,000 a year
18 does not pay for one employee, yet, I must assemble
19 a Quality Assurance Unit and conduct tests, do
20 audits on supplies, and upgrade equipment.

21 MR. VARDON: It is only an average. In
22 our survey, we asked people what practices they are
23 currently following. There are about 80 provisions
24 in this, and we had about even more questions
25 asking are you following this practice now, are you

1 following that practice now, and we found that many
2 in the industry are following many of the
3 practices.

4 Like I mentioned, 85 percent of the
5 industry already have a QC Unit, but we certainly
6 recognize for those firms that aren't following
7 those provisions, the costs could be considerably
8 higher. That is only an average.

9 DR. MUSSER: The final question I have is
10 what is the cost of FDA inspections.

11 MR. VARDON: We didn't look at that.

12 DR. MUSSER: Okay.

13 MR. VARDON: We can also open this up to
14 try to address some of the remainder of the
15 questions. We only have about 10 minutes left
16 before lunch, but I think we would be happy to stay
17 longer if you would like to address those
18 questions.

19 Also, I would like to ask how many people
20 would like to stay for the afternoon session? How
21 many people plan to participate in the Small
22 Business Forum? I would say about half the
23 audience, maybe three-quarters of the audience.
24 Okay. That will just help us in our planning.

25 Why don't we just get then to the next

1 questions and we will just stay as long as it is
2 comfortable. How is that? Okay, let's go.

3 Will the method validation be product
4 contaminant and/or ingredient specific? Is there a
5 provision for the certification, registration of
6 laboratories, such as FDA, ISO, et cetera?

7 DR. MUSSER: Method validation would be
8 for both contaminants and ingredients. Currently,
9 there is no provision for registration of
10 laboratories by the FDA.

11 MR. VARDON: Somebody asked can we obtain
12 a copy of the economic analysis, and another has
13 asked will the slides be available or transcripts.

14 The slides and transcripts will be
15 available and the economic analysis is actually in
16 the proposal. It is about 150 pages, so it is
17 available on line. I suggest getting the PDF
18 version if you are going to download it.

19 The slides will be available I think in a
20 couple of weeks--will that be correct--on our FDA
21 web site, and transcripts will be available of this
22 meeting and all our public meetings in the dockets.

23 The definitions of the proposed rule
24 reflect that a batch should be produced according
25 to a single manufacturing record during the same

1 cycle of manufacture.

2 Assuming a batch needs to be fully tested
3 at release for all dietary ingredients, can a batch
4 record be composed of several sub-batches each with
5 its own weighing and manufacturing section, the
6 testing of the full batch by a statistical sampling
7 regimen?

8 DR. MUSSER: I am going to attempt to
9 answer this because I think I understand what the
10 question is asking. I believe what the test is
11 asking is can we take subsamples of the final batch
12 and do different testing on each part of that batch
13 for individual components that have been specified
14 in the master manufacturing record.

15 I believe that that would be acceptable
16 according to the way the rule is written. In other
17 words, let's say you had five components and five
18 different tests. You could take statistical
19 subsampling of the final batch, run five different
20 analyses of those subsamples to confirm that it met
21 your specifications.

22 MR. VARDON: The proposed rule appears to
23 be QC or testing oriented. Steve used the term
24 "quality assurance," which implies additional
25 controls. He also identified, but didn't

1 specifically state vendor management controls.

2 Can a quality system with documented
3 validated processes be used in place of testing
4 controls?

5 DR. MUSSER: Maybe if I had more
6 information, that might be possible, but I think
7 not the way the rule is currently written.

8 MR. VARDON: Does the CGMP exemption for
9 persons who handle raw agricultural commodities
10 extend to drying and cutting operations? In other
11 words, when does a raw agricultural commodity
12 become less or more than raw?

13 MS. STRAUSS: Good question. Anything to
14 do with harvesting, transporting, that doesn't
15 involve the processes that we describe as
16 manufacturing, packaging, labeling, if it is just
17 harvesting, transporting to someone else that is
18 going to process it, those operations would be
19 exempt under the exemption we proposed.

20 MR. VARDON: If you take a validated
21 method that has been shown to work on a product, a
22 form of method transfer protocol to another lab in
23 which accuracy and precision is verified, is this
24 sufficient to meet the requirements?

25 DR. MUSSER: Probably not. I say that

1 because there really wasn't sufficient information
2 in that question, but I think I get the gist of it,
3 and, no, not under the current regulation.

4 MR. VARDON: For a method that has been
5 validated by USP or AOAC, what parameters need to
6 be checked for method validation in-house on one's
7 product? Do you have to test for accuracy,
8 precision, specificity, linearity, range?

9 DR. MUSSER: Yes, you would have to test
10 all of those particular factors that are outlined
11 in numerous documents, and I would probably refer
12 you to the ISO-17025 guidelines for defining those.
13 Those are really very well established guidelines
14 now for validation of analytical methods.

15 MR. VARDON: We have had a number of
16 questions about enforcement, so I will get to a few
17 of those now.

18 Who will be conducting the inspections of
19 dietary supplement facilities, will it be FDA, will
20 it be state inspectors, and, if FDA, has Congress
21 provided funding for additional inspections?

22 MS. STRAUSS: Sort of a budget issue, and
23 inasmuch as the final rule is down the road, some
24 of those questions are impossible to answer, in
25 fact, most of them, but generally, the inspectors

1 that are currently doing the inspections in the
2 field offices now would be doing them in the
3 future. They would just have more inspectors to do
4 it unless there is additional funds provided.

5 MR. VARDON: Will the field enforcement
6 people be educated in the background and science
7 related to dietary supplement ingredients? How
8 will enforcement be handled in light of
9 Commissioner McClellan's call for more stringent
10 enforcement in dietary supplement manufacturers?

11 Will supplement manufacturers have to
12 register with the Agency?

13 MS. STRAUSS: Yes, that is part of the
14 Bioterrorism proposal, so that is yes, and I think
15 the Agency does inspections, as many inspections as
16 possible and given the budgetary resources. I
17 think that is about the best answer we can give at
18 this point.

19 MR. VARDON: Is it currently legal to sell
20 a dietary supplement that doesn't meet its label
21 claims?

22 MS. STRAUSS: No.

23 MR. VARDON: This is regarding reserve
24 samples. The proposed rule requires now a
25 three-year retention of representative reserve

1 samples for each batch of dietary ingredients or
2 dietary supplements.

3 What if three years exceeds the expiration
4 dating?

5 MS. STRAUSS: That's a good point, and as
6 we have said in the proposal, what we have
7 established as a recordkeeping requirement and why,
8 and if there is a better time frame or a better way
9 to express that, we would be interested in hearing
10 what your comments are.

11 MR. VARDON: It is just a couple of
12 minutes to noon. Would you like to stay longer to
13 have more questions answered, or would you like to
14 break for lunch? Maybe a show of hands for those
15 who want to break. A clear majority want to
16 continue.

17 How do you draw the line between adverse
18 events reporting and CGMP-related problems?

19 MS. STRAUSS: We have identified that and
20 say if an adverse event is related to product
21 quality, it is part of CGMP. If it's related to a
22 pharmacologically active substance that would cause
23 an adverse event, it is not a CGMP issue.

24 That's the dividing line, is if it's a
25 dietary ingredient, if it's a pharmacological

1 activity that causes the adverse event, it's not a
2 GMP issue. if it's contaminated too much, too
3 little, off color, those kinds of issues are
4 product quality issues, those are GMP issues.

5 MR. VARDON: This related to a GRAS
6 ingredient. There are a large number of excipients
7 used in the dietary supplements that are listed in
8 NF and in Food Chemical Codex that have been used
9 for many years and don't meet a food additive
10 status, nor is GRAS for its common use.

11 Has any thought been given to handling
12 these in--I can't read the rest of it. Can you say
13 a word about GRAS?

14 MS. STRAUSS: I can say what we propose.
15 If it's not a dietary ingredient, it would need to
16 be an approved food additive or GRAS, and if it's
17 not currently GRAS, then, the manufacturer would
18 need to do a self-GRAS, recognizing that there are
19 some substances that are clearly not dietary
20 ingredients, you need to handle them in some sort
21 of way.

22 MR. VARDON: In your cost impact analysis,
23 did I consider the cost of every site to revalidate
24 validated methods? This should have a significant
25 cost impact on the industry.

1 We tried to address that, but that is
2 actually very hard, so one of the things we could
3 ask of you is to tell us how much that would be.
4 If you could send in your analysis, that would help
5 us improve our analysis.

6 You seem to use the term "adulteration"
7 interchangeably with identity, purity, quality,
8 strength, and composition, when, in fact, there are
9 many examples where adulteration is a more limited
10 term.

11 To what extent do these GMPs actually
12 require specifications to be set? Only those that
13 relate to adulteration as defined in the FD&C Act?

14 MS. STRAUSS: Sara included in her remarks
15 what we interpret as identity, purity, quality,
16 strength, and composition. They really relate to
17 contaminants, to the identity of a dietary
18 ingredient, looking at what is claimed on the label
19 to be sure that the product is not contaminated.

20 Those are the kinds of things that we
21 considered when looking at what kinds of
22 specifications would be required.

23 MR. VARDON: For test methods, if a test
24 exists in USP and the lab has a separate method for
25 that test, is the testing lab obligated to use the

1 USP method?

2 DR. MUSSER: There is an instance where a
3 USP method might be required for I believe it is a
4 particular vitamin. I would have to check. Just
5 because the method is in USP, though, as the rule
6 is proposed, it does not mean that you have to use
7 the USP method.

8 In other words, let's say, for example,
9 there is a USP method for caffeine. If you have
10 another method that is validated and works in your
11 laboratory to your specifications that is different
12 from the one specified in USP, this proposed rule
13 would allow you to use that method as an
14 alternative to the USP method.

15 MR. VARDON: Do manufacturers of
16 bovine-derived supplements have to test for BSE?

17 MS. STRAUSS: That is one of the questions
18 that we have asked in the preamble, and there is
19 considerable discussion about BSE and what testing
20 methods are available or not available, so I would
21 refer you to that discussion, as well as to
22 looking at other kinds of guidance for other kind
23 of biologics that are animal derived, and to get
24 your comments on what kind of requirements should
25 be proposed for those very special animal-derived

1 ingredients that have those special concerns for
2 contamination.

3 MR. VARDON: This question is in regard to
4 cleaning SOPs and cleaning validation. Should
5 automated production equipment be performed in
6 compliance with 21 CFR Part 2?

7 MS. STRAUSS: I don't understand the
8 question.

9 MR. VARDON: Do you understand it?

10 DR. MUSSER: Part 11. You are talking
11 about the electronic recordkeeping, Part 11 is
12 electronic recordkeeping.

13 MS. STRAUSS: Yes, if you have electronic
14 records, they would need to comply.

15 MR. VARDON: If you test every lot of raw
16 materials received and used in a particular batch,
17 do you still have to check for each ingredient in
18 the finished product? We can then choose a marker
19 of compounds, such as for water-soluble vitamins
20 and fat-soluble vitamins.

21 MS. STRAUSS: They are saying if you test
22 every incoming, would you need to test the finished
23 product?

24 DR. MUSSER: Yes.

25 MS. STRAUSS: Yes, if you test each

1 incoming and you can confirm in the master
2 manufacturing record that it is not contaminated,
3 nothing has happened to it, then, you would not
4 need to retest the final product because as we have
5 those flexible testing requirements, it is either
6 the finished product or the incoming and
7 in-process.

8 MR. VARDON: This is regarding the EPA
9 water requirements also. Please define the
10 requirements or Agency expectations to ensure water
11 meets the EPA requirements. If the water is
12 potable and you have data of compliance to EPA
13 requirements, I guess it's publicly available water
14 sufficient.

15 MS. STRAUSS: In the preamble, as I
16 mentioned earlier, the water requirements really
17 want to be sure that any well water that is not a
18 municipal source meets EPA drinking water
19 regulations, and if municipal water is used and you
20 have results of those testing from a municipality
21 that ensures that it does meet the standards, that
22 is sufficient, and we talk about that in the
23 preamble.

24 MR. VARDON: Is private well water or
25 ground water acceptable?

1 MS. STRAUSS: It would need to be tested
2 to ensure it meets the drinking water standards.

3 MR. VARDON: Will cleaning validation be
4 required?

5 MS. STRAUSS: We haven't required any
6 process validation.

7 MR. VARDON: Is a product that possesses
8 the identity, purity, strength, and composition
9 that it purports to possess, but that was not
10 manufactured according to CGMP is proposed
11 adulterated?

12 MS. STRAUSS: If it is not manufactured in
13 accordance with the final rule for dietary
14 supplements, it would be adulterated. Section
15 402(g) says that if there is a CGMP rule for
16 dietary supplements, and it is not met, then, it is
17 adulterated.

18 MR. VARDON: Why don't dietary supplement
19 GMPs require a tamper-resistant packaging?

20 MS. STRAUSS: If you think it should, tell
21 us.

22 MR. VARDON: If there is a USP monograph
23 for a dietary supplement, must the dietary
24 supplement meet the monograph?

25 DR. MUSSER: No. We clearly state in the

1 Method that it has to meet your specifications, not
2 those of another party.

3 MR. VARDON: Is the food code a
4 requirement or only guidance?

5 MS. STRAUSS: The food code? It's
6 confusing parts in it. The CGMP for food is
7 required, the food code is another document, and I
8 am not sure about that.

9 MR. VARDON: Steve said no skip lot
10 program is allowed for incoming ingredients, yet,
11 this is allowed for drug GMPs. We have gotten a
12 number of questions about this, so maybe Steve
13 could address that, and why is the standard higher
14 for supplements than drugs?

15 MS. STRAUSS: If you look at 211, and I
16 looked because this is a question that came up
17 before, the CGMP for finished pharmaceutical
18 products does not address skip lot testing. It is
19 not permissible, it is not included in their CGMP,
20 so I don't know.

21 DR. MUSSER: That was my understanding.

22 MR. VARDON: If one is using fresh
23 botanical material for processing into a
24 hydro-alcoholic--I don't know what this word is--

25 DR. MUSSER: Tincture.

1 MR. VARDON: Tincture--how is one supposed
2 to test when immediate processing is necessary?

3 DR. MUSSER: I guess if the final product
4 is the tincture, then, you could test the final
5 product for whatever specifications were included.
6 If the tincture were included as another
7 ingredient, then, you could test the ingredient
8 that the tincture met the specifications that you
9 required. I hope that answered that question.

10 MR. VARDON: This questioner states in one
11 case you say you can validate a supplier, rely on
12 the C of A, and then in another case, you say no
13 skip lot testing.

14 Can a supplier be validated if the C of A
15 is shown to be reliable and use one I.D. test, in
16 other words, will that serve as an appropriate I.D.
17 test?

18 MS. STRAUSS: This is really a question
19 that keeps coming back, and if you read the
20 preamble, and you understand the purpose of the
21 rule, the purpose of the rule is to prevent
22 adulteration, to make sure that the label on a
23 dietary supplement includes what it says, in the
24 amount it says, and it is not contaminated.

25 In order to do that, you have to have some

1 testing, and it has to be tested somewhere along
2 the way, either finished product or incoming and
3 in-process.

4 We have discussed Certificates of Analysis
5 in the preamble. We have not included them as
6 appropriate in the codified proposal. We have said
7 in the Laboratory Operations portion, in our
8 preamble, that while there are laboratory
9 requirements, they can be off site or on site.

10 So, if you look at the testing
11 requirements and fulfill the testing requirements,
12 we don't really say who has to do them, we say what
13 needs to be done. So, if you have a supplier that
14 tests for everything that you have specified, every
15 time, just as you would send that to an outside lab
16 if it came in to you, that would meet what we
17 propose.

18 We have not proposed a Certificate of
19 Analysis, we have proposed testing. So, a
20 validated Certificate of Analysis that involves
21 skip lot testing, if you are relying on it for your
22 label, and you are not doing final product testing,
23 that would not be appropriate.

24 If you have an outside lab doing it, or,
25 say, you are not doing final product testing, and

1 you have an outside lab testing your incoming, that
2 would be appropriate, but they would need to test
3 every shipment lot for the specifications that you
4 have included for that particular component.

5 The outcome we are looking for is that a
6 label accurately describes what is in the product
7 and it is not contaminated. If we were to rely on
8 Certificates of Analysis to confirm what is in that
9 product, we would be not having reliable evidence
10 that the testing was performed.

11 I don't know how much more clear or what
12 words to make that clear, but a Certificate of
13 Analysis that is validated, that is reliable
14 because you have visited them periodically is not
15 what we have intended in this proposal.

16 MR. VARDON: This questioner asks are we
17 suggesting that a manufacturer may choose whether
18 to test incoming materials rather than finished
19 products.

20 MS. STRAUSS: Yes. We have proposed the
21 flexibility for two reasons, one for economic
22 reasons, the other for scientific methods reasons.
23 If you have a finished product that has four
24 ingredients, for example, and you can test at the
25 finished product stage for three, but not for four.

1 You can test for the three at the finished
2 product stage and test for the one at incoming and
3 in-process, so it is not all or none, you can
4 decide.

5 MR. VARDON: In the absence of stability
6 testing and expiration dating requirements, how can
7 the consumer be assured that products meet DSHEA
8 requirements, such as 100 percent of label claims
9 throughout shelf life? In other words, why didn't
10 we include stability testing?

11 MS. STRAUSS: This is a question we have
12 answered before. Logically, if you have an
13 expiration date, it should be based on an active
14 ingredient, and because the active ingredients are
15 unknown, it would be inappropriate to have proposed
16 at this particular time, because the actives aren't
17 known for botanicals, for example, an expiration
18 date. An expiration date would not necessarily be
19 meaningful to a consumer if it wasn't based on the
20 active ingredients.

21 As I mentioned before, we have also asked
22 for comment on whether there should be expiration
23 dates for certain dietary ingredients, certain
24 dietary supplements, and not for others, for
25 example, vitamins, but not for botanicals.

1 MR. VARDON: Related to that, have we
2 considered fewer requirements for companies working
3 with whole form botanicals?

4 No, we didn't actually look at that as a
5 regulatory option, but that may be worth looking
6 at, so if you could provide information about why
7 the risks or the sort of controls made, fewer
8 controls might be still reliable, so achieve the
9 same results for this option, that would be very
10 helpful to us.

11 When testing requirements where current
12 and generally available technology exists, does
13 that mean that if a compendial standard exists, it
14 should be used?

15 DR. MUSSER: The compendial standard,
16 whenever, this is speaking from personal experience
17 now, if something were available that I could start
18 with, that would certainly be the easiest point to
19 start with, but it doesn't mean, the rule does not
20 mean that you have to start with that.

21 If you feel that you want to come up with
22 your own completely new method, then, the rule
23 certainly allows you to do so. You are not
24 required to use the compendial standard.

25 MR. VARDON: If one manufacturer develops

1 a new method or technology for a test, are all
2 manufacturers then subject to testing requirements?

3 DR. MUSSER: No, this is per manufacturer.

4 MR. VARDON: Aren't tamper-resistant and
5 child-resistant systems overseen by the Consumer
6 Product Safety Commission, and not FDA?

7 MS. STRAUSS: Yes.

8 MR. VARDON: We have already addressed
9 water quality standards. If I am skipping
10 questions, it might be because I can't read your
11 handwriting. It is not because I am trying to
12 avoid questions.

13 What documentation is required--I think we
14 addressed that--regarding EPA drinking water
15 requirements.

16 In lieu of doing extensive end product
17 testing, why doesn't the current proposal allow for
18 the use of statistical process control and process
19 capabilities testing? This is allowed for drugs
20 and is also found in the USP.

21 MS. STRAUSS: The drug CGMP doesn't allow
22 statistical sampling. If you look at 211, for
23 incoming, it requires at least one identity test
24 and a reliable Certificate of Analysis, and it also
25 requires final product testing. It doesn't talk

1 about skip lot testing and good manufacturing
2 practices.

3 What is there by regulation and what is
4 common practice may not be the same, I don't know,
5 but I know what, you know, because this question
6 has come up several times, I have gone back and
7 looked at what is required for drugs, and 21 CFR
8 211 doesn't say anywhere anything about skip lot
9 testing or statistical analysis or testing of final
10 product or incoming.

11 MR. VARDON: The terms "design" and
12 "ensure adequate" implies some type of process has
13 occurred. Does this process have to be documented
14 and is the documentation subject to review by FDA?
15 In other words, is documentation required to ensure
16 adequate design?

17 MS. STRAUSS: Of equipment?

18 MR. VARDON: Yes.

19 MS. STRAUSS: The only records we have
20 proposed to require are calibration records,
21 consumer complaint records, master batch records,
22 and master manufacturing records, and batch
23 production records. We haven't required any
24 records on design of equipment, just those records
25 that I mentioned.

1 MR. VARDON: Do requirements for
2 sanitizing require verification of a 5-log
3 reduction in microorganisms under every specific
4 application, or can a general certification of
5 effectiveness from the manufacturer of the
6 sanitizing agent suffice?

7 MS. STRAUSS: As we proposed it, there
8 would need to be confirmation that the product used
9 achieved that 5-log reduction.

10 MR. VARDON: Why are ingredients,
11 particularly excipients, that are currently used in
12 drugs, HPMC, for example, not allowed in
13 supplements without further action, such as a
14 75-day notice?

15 MS. STRAUSS: They are not. A 75-day
16 notice is for a new dietary ingredient, which is
17 not an excipient. An excipient is something
18 different. If there is an excipient used in drugs,
19 I believe that they are GRAS.

20 MR. VARDON: Does the proposal require
21 that master manufacturing records include
22 corrective action plans for use when the
23 specification is not met? Can you clarify that?

24 MS. STRAUSS: A corrective action plan, if
25 it something that is likely to occur frequently,

1 such that you can in advance plan for it, a
2 corrective action plan would be required.

3 MR. VARDON: This is a more general
4 question. What is FDA's rationale for proposing
5 two kinds of consumer complaints, those based on
6 GMPs and those based on ingredient safety issues?

7 MS. STRAUSS: If you think that we should
8 not have done that, give us a comment that tells us
9 that. Our rationale was we could see that there is
10 some consumer complaints that are really related
11 more to the dietary ingredient, and not how the
12 product is manufactured.

13 If you think that we should expand that
14 definition that we have used in our CGMP, you know,
15 tell us that and tell us why.

16 MR. VARDON: Does FDA envision a provision
17 for allowing companies who demonstrate a
18 comprehensive systems-based approach to quality
19 assurance including process validation to employ a
20 parametric-based release principle, such as tracer
21 testing, composite testing, and skip lot testing as
22 allowed by USP?

23 So, are there going to be exemptions, I
24 guess, for comprehensive systems?

25 MS. STRAUSS: No. The GMP would need to

1 be followed as proposed.

2 MR. VARDON: The proposal requires that
3 you maintain clean and sanitized, as necessary,
4 equipment, contact services, utensils.

5 Is it correct to interpret sanitation is
6 not necessary if justification can be provided,
7 such as for dry products manufactured that are not
8 susceptible to microbial growth and products that
9 have low water activity?

10 In addition, would it be acceptable not to
11 perform sanitation if supported by cleaning
12 validation and microbial monitoring?

13 MS. STRAUSS: That's a long question.

14 MR. VARDON: Would you like me to repeat
15 it?

16 MS. STRAUSS: Yes.

17 MR. VARDON: The proposal requires that
18 you maintain clean and sanitized, as necessary,
19 equipment.

20 MS. STRAUSS: We need to stop there. That
21 is what we have proposed. If you look also, there
22 are some requirements that relate to both wet and
23 dry kinds of use of equipment. I don't have them
24 all, you know, right in my memory, memorized, but
25 there are different requirements for those two

1 different kinds of situations.

2 When we say the phrase "as necessary," the
3 manufacturer may have discretion there and should
4 have, you know, good reasons for why they have done
5 what they have done.

6 MR. VARDON: Okay. I will go on.

7 The proposal requires specifications to
8 guard against adulteration. It then specifies the
9 need for specs for identity, purity, quality,
10 strength, and composition.

11 How do these two requirements mesh, is it
12 FDA's intent to require under this regulation
13 specifications and tests for quality attributes
14 that do not directly relate to adulteration, such
15 as disintegration, hardness tests, and to assay for
16 a claimed ingredient? How about particle size,
17 moisture content, and bulk density for powdered
18 ingredients?

19 DR. MUSSER: I am going to have to read
20 this, so I can go through all of this. We have
21 defined identity, purity, quality, strength, and
22 composition, and how that relates to adulteration
23 in the preamble.

24 That will answer your first question on
25 how these particular items mesh.

1 The next part of your question, is it
2 FDA's intent to require under the regulation
3 specifications and tests for quality attributes
4 that do not directly relate to adulteration, such
5 as disintegration, hardness tests, and assay for
6 claimed ingredients?

7 If you claim an ingredient is there, then,
8 you have to test for it, but it would not
9 require--the rule as it is currently written would
10 not require disintegration and hardness tests, nor
11 would it require particle size, moisture content,
12 and bulk density unless you have specified that as
13 part of your manufacturing record.

14 So, if you specify it, you have to meet
15 it.

16 MR. VARDON: Is the manufacturer of either
17 the dietary ingredient or finished dietary
18 supplement responsible for ensuring component
19 manufacturers are in compliance?

20 Is the manufacturer of either the dietary
21 ingredient or finished dietary supplement
22 responsible for ensuring component manufacturers
23 are in compliance with the rule?

24 MS. STRAUSS: No. They will set up their
25 specifications and then test in accordance with

1 them.

2 MR. VARDON: The proposal allows for
3 reliance on ingredient manufacturers' Certificate
4 of Analysis as long as at least one I.D. test is
5 conducted and the manufacturer is established.
6 That is a statement.

7 MS. STRAUSS: That's an incorrect
8 statement.

9 MR. VARDON: And that is an incorrect
10 statement.

11 In the proposed rule, we must test each
12 lot of ingredients, and in the preamble, we are
13 told that reliance on supplier certificate is not
14 appropriate. Why are supplements being held to
15 this much higher standard?

16 MS. STRAUSS: The reason they don't use
17 Certificates of Analysis is because they do not
18 ensure--if you are relying on testing to confirm
19 the label, the Certificate of Analysis is not
20 sufficient. We have evidence that shows that it is
21 not sufficient.

22 We have not proposed a Certificate of
23 Analysis be appropriate, and if you go back and
24 look at the, for example, the industry outline that
25 was submitted to us, a Certificate of Analysis is

1 included in that outline providing it is reliable
2 and when identity test is done.

3 Final product testing also appears to be
4 suggested, but because we have provided that
5 flexibility of when you can test, you can't rely on
6 a Certificate of Analysis in place of testing. I
7 mean that is just how we proposed it.

8 If you think there is some other way, if
9 you think that we should be proposing something
10 else, less flexible, tell us. All I can tell you
11 is what we have proposed.

12 MR. VARDON: We just have a couple of
13 questions left.

14 Isn't the testing requirement for each
15 batch of finished product much more stringent than
16 food GMPs? If a requirement for a product is that
17 it meets FCC guidelines for that product, that
18 could include microtoxins or aflatoxins, that lack
19 antibiotic activity.

20 Most tests like these would not be
21 completed for every batch of a food product, and
22 some of these specifications could be invalid for a
23 product by the way it is manufactured.

24 MS. STRAUSS: That is a long question.
25 There are differences in the characteristics of

1 dietary ingredients, dietary supplements, and
2 foods. My green pea, green bean example holds. I
3 mean to test the final product for identity.

4 For microbes, I am not sure what the
5 manufacturers actually do or what is actually
6 required in the food GMP. We look at dietary
7 ingredients based on them as a unique
8 characteristic. Most of the CGMPs in foods are
9 based on sanitation.

10 MR. VARDON: One last question. What if
11 the manufacturer sets their specs, tests, finds the
12 final product within the specs, and the
13 manufacturer is within the CGMP in other areas, but
14 an independent lab test doesn't know the
15 manufacturer's specs and fails the product.

16 The manufacturer retests, finds the
17 product still within specs. Does this differ from
18 the situation as it now exists, and do these
19 independent lab tests form an adequate basis for
20 establishing compliance with the GMPs?

21 DR. MUSSER: It is a difficult question.
22 I don't know the independent laboratory would test
23 for something which they didn't know what they were
24 looking for.

25 I will give you an example. If you set a

1 specification of 10 milligrams of caffeine per tab,
2 and you find that you have 10 milligrams in that
3 tablet, and it is on the label.

4 A third party tests and finds that you
5 have 5 milligrams on the tablet. I don't know how
6 they fail your product. You have validated the
7 test, you have confirmed that your product meets
8 the specifications on the label. So, I don't know
9 how the third party fits into this because they
10 wouldn't really be in a position to fail your
11 product.

12 I mean I can't imagine the case where that
13 would happen and how that would relate to our
14 regulatory authority.

15 MR. VARDON: It is 12:30 now. Let's meet
16 back here at 1:30.

17 [Whereupon, at 12:30 p.m., the proceedings
18 were recessed, to be resumed at 1:30 p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:35 p.m.]

MR. VARDON: Because we do have a long program, why don't we get started.

Our first speaker this afternoon is Richard Williams. Richard Williams has been with the Agency for 22 years, so he might be our most senior representative today. Richard has a degree in business management, and he served in the U.S. Army during Vietnam, he is a Vietnam vet, after which he went to Virginia Tech to get his Ph.D. in economics, after which he taught for a couple of years at Virginia Tech and then he went to Washington and Lee University.

From there, he joined the FDA in 1980. He is currently the director of the Division of Market Studies and as the division director, he is responsible for the economic analysis and for the statisticians, epidemiologists, physicians, psychologists, sociologists, nutritionists, and other disciplines. It is a complicated division.

Through his many years here at FDA, Richard has analyzed such diverse issues as the costs and benefits of banning lead acetate, delisting colors in sulfites, revising the food

1 standards, the control of Salmonella enteritidis in
2 shell eggs.

3 Richard was very involved in the Nutrition
4 Labeling and Education Act. Richard has been
5 involved in the HACCP for seafood processors and
6 juice, and Richard has negotiated the U.S. position
7 on various matters under the U.S. and Canada Free
8 Trade Act, so he really is a well-experienced
9 person. He is also an expert in risk analysis
10 particularly risk management, and has recently
11 published papers on risk tradeoffs.

12 In addition, Dr. Williams is currently
13 responsible for developing a series of courses in
14 risk analysis for the Food Risk Management Group.
15 Richard is an expert on small business law and
16 guidance, and that is what he is going to talk on
17 today.

18 Richard.

19 **Regulatory Flexibility Act and How to Comment**

20 DR. WILLIAMS: Good afternoon. Thank you,
21 Peter, for the kind introduction. I don't know
22 about including the Vietnam experience, but I will
23 go with it.

24 The point of this part of the session
25 really goes to our outreach efforts for small

1 businesses. I know there are a number of you here
2 that are not representing small businesses, but
3 that really is the point of this short session.

4 The reason that we do this is because we
5 understand that small business people generally do
6 not have teams of regulatory staff who pour over
7 regulations, legal counsel, and so forth, and
8 really understand how to work the process, if you
9 will.

10 I think Karen may have mentioned it
11 briefly, but for those of you who are sort of new
12 to the regulatory process, just let me make sure
13 that you know where we are at. We did have an
14 Advance Notice of Proposed Rulemaking. That is
15 where we just go out and ask questions what should
16 we do.

17 We do have a proposal on the street now.
18 By now, I assume all of you have at least seen it,
19 if not poured through every word on it. We are now
20 in the process of getting comments, and this is the
21 point at which anybody who is going to be affected
22 by this regulation, or anybody at all for that
23 matter, can actually make an impact on what the
24 final regulation will look like, because that is
25 what is key.

1 If you are here to find out what you have
2 to do today, you are premature. Today is the day
3 to find out what we have proposed, to get ideas for
4 suggestions to make to us about how you would like
5 us to change what it is we have proposed, and you
6 will not be required to do anything until we
7 evaluate what your comments are and we must, by
8 law, evaluate all of your comments.

9 We come to some final decisions and then
10 those decisions will be put into a final rule, and
11 at some point after that final rule, there will be
12 a compliance date by which time you have to comply.

13 So, the point of this part is to tell you
14 how you can actually make comments that will affect
15 what we do.

16 We would have this anyway, I hope, but we
17 are required by law to do a lot of special things
18 for small businesses. The law really started with
19 the Regulatory Flexibility Act of 1980. That Act
20 only required us to do analyses basically and it
21 required FDA to look at those analyses, but it
22 really didn't have a lot of teeth, and it really
23 didn't make I don't think a tremendous difference
24 to small businesses.

25 In 1996, there was an amendment to the

1 Regulatory Flexibility Act known as SBREFA, Small
2 Business Regulatory Enforcement and Fairness Act,
3 and that amendment really did put a lot of teeth in
4 the ability of small firms to effect regulation.

5 It required a lot of very specific things
6 for the economists to analyze. It also required
7 specifically that agencies reach out to small
8 businesses, explain what it is they are trying to
9 do, and solicit comments from them, and this
10 meeting is simply a part of that. We had been
11 doing this even prior to the proposal, talking to
12 small businesses, but we are doing it now, and we
13 are really trying to get what your input is.

14 The law allows more influences over the
15 development of regulations. We must by law list a
16 number of regulatory options. We have to consider
17 how to provide relief to small businesses from
18 parts of regulations that might seem overly
19 burdensome.

20 It doesn't require us to make any specific
21 decisions, it just says we must analyze what those
22 options are and we also must consider whether or
23 not we can achieve our objectives and grant small
24 businesses some sort of relief, and our decisions
25 are judicially reviewable, as well.

1 There is additional compliance assistance
2 for federal rules. One of the things that I think
3 is most important is that when we do have a final
4 rule, we are also required to write a guidance for
5 small business, written in plain English, stating
6 exactly what it is that you have to do, and that is
7 something you should look for. Hopefully, it will
8 be out right around the same time as the final
9 rule, and I think it makes it a lot easier to
10 comply.

11 There are new mechanisms for addressing
12 enforcement actions by agencies. I really don't
13 want to get into those. There are things such as
14 relief from civil penalties.

15 Suggested areas for comment. First, is
16 the need for the rule. In the preamble to the
17 regulation, it is really divided up into two main
18 parts. There is one part that gives our legal
19 justification and a scientific overview of the
20 rule, and the other part is the economic analysis.
21 That again is divided up into two parts.

22 It is divided up into a cost-benefit
23 analysis and that is generally overseen by the
24 Office of Management and Budget, and yet a separate
25 section is the regulatory and flexibility analysis,

1 and that is the part of the analysis that addresses
2 small businesses.

3 Both in the cost-benefit analysis and in
4 the regulatory flexibility analysis, FDA describes
5 why we think there is a need for this rule. You
6 can comment on that.

7 I think one of the key things that we
8 often get from businesses that really do seem to
9 make an impact is what it will cost you to comply
10 with the rule. I want to stop right here and make
11 sure that you understand what I mean by what does
12 it cost you to comply.

13 If there is something that you are already
14 doing, you plan to continue doing it for the rest
15 of the life of your business, and it happens to be
16 required in this rule, that is not a cost of this
17 rule making you continue to comply with what you
18 are already doing.

19 What a cost of this rule is, is if there
20 is something that you are not doing for whatever
21 reason you are not doing it, and the rule says
22 following passage of this final rule, and the
23 implementation date, you must start doing it, that
24 will be a new cost for you, and that is the kind of
25 thing that we have tried to analyze by looking at

1 your industry, and perhaps we have gotten it right
2 and perhaps we haven't.

3 What we need to hear from you is
4 particularly where have we not got it right, what
5 parts of it have we not got right. We obviously
6 have listed some goals that we hope to accomplish
7 in this rule, and primarily it is by making safer
8 dietary supplements.

9 You can look at aspects of this rule and
10 say will these aspects help us to accomplish the
11 goal, and specifically, if you have other ideas--we
12 do not have all of the ideas in the world on how to
13 make safer dietary supplements--if you have other
14 ideas on how we can make provisions to accomplish
15 the goal of making safer dietary supplements, we do
16 want to hear them.

17 I think we might have listed this twice.
18 Do not report sensitive information. All
19 information that comes to us through comments, and
20 by that I mean the written comments that hopefully
21 you all will provide, is subject to the Freedom of
22 Information Act, it is available to the public, so
23 I am going to be sort of speaking out of both sides
24 of my mouth.

25 I am asking you to report numbers, I will

1 be asking you to report numbers, but I don't want
2 you to report anything that you would be
3 uncomfortable sharing with the world. So, this is
4 a decision that you will have to make.

5 One type of information that might be
6 interesting is what will be the impact on your
7 profit. This is not an interesting question for
8 cost-benefit analysis, but under the Regulatory
9 Flexibility Act, it is a very important question,
10 it is something that agencies are supposed to
11 consider.

12 However you wish to report it, if you do
13 wish to report it, you might say my profit will go
14 from 10 percent to zero, or negative, or whatever.
15 Any way that you can report it in a way that is
16 okay to be released is fine. Again, this is what
17 would your profit would be if the proposal were as
18 it stands right now to become final, okay, what
19 would happen to your profit picture.

20 That is something that is of interest to
21 the government and has the potential to affect what
22 it is we do.

23 Again, I will just repeat it, do not
24 report sensitive information.

25 In the cost analysis, one of the main

1 types of costs, I guess the main type of cost that
2 we are concerned about particularly with respect to
3 small businesses are so-called fixed costs. Those
4 are costs like buying a piece of equipment. You
5 would buy the same piece of equipment, for example,
6 if you had a very large line with lots producing 10
7 million products per year or a very small line only
8 producing 10,000 products per year.

9 The reason that fixed costs are important
10 is because you have a smaller sales base over which
11 you have to pay for that piece of equipment. So,
12 fixed costs are going to be one of the things that
13 we are most interested in.

14 Kinds of things that you will know
15 specifically about your firm or perhaps you know
16 about your industry or some subset of your
17 industry, changes in the number of workers. If you
18 have to hire additional workers as a result of
19 these new requirements, that would be something we
20 would be interested in.

21 Changes in the hours worked. This is
22 important. Here is an interesting cost that most
23 people who are not economists--and I think probably
24 there is only a couple of us in the room that can
25 raise their hand to that question, are you an

1 economist--will know.

2 I have had a number of conversations even
3 with very, very large business people, and I have
4 talked to them about the economic concept of costs,
5 and I said, well, how many hours of manager time
6 will your managers have to spend in complying with
7 this rule, whether it is learning about it,
8 training people, making decisions, whatever, and
9 they will sort of give me an estimate, you know,
10 maybe it will be 10 hours per week, and they will
11 say, yeah, but that is not a cost because we will
12 have those managers anyway.

13 Well, to an economist, that is a cost
14 because that 10 hours per week that that manager
15 will spend in complying with the regulation doing
16 whatever, it is 10 hours that manager will not be
17 spending doing something else.

18 So, whatever those new additional
19 activities are, that is something we are interested
20 in, and make sure that you think about it that way.

21 It is new activities, things that you were
22 not doing before, so whether it is hiring new
23 people or taking the same people whether they are
24 employees or managers and if they are now doing
25 something different, both of those are considered

1 costs and both of those things we are interested
2 in, and they have the ability to affect the
3 analysis.

4 What kind of person will be doing
5 something different? This matters primarily
6 because these people are paid differently. The
7 value of their time is different, and we do try to
8 take that into account.

9 An example. Recordkeeping requirements,
10 there are a number of recordkeeping requirements in
11 the proposed rule, things that you might wish to
12 comment on - what is the cost to develop and store
13 those records. You have to train your employees to
14 manage those records. If you do, what is the cost,
15 how many employees are you going to train, how much
16 does it cost per employee to train them.

17 You have employee turnover, so that you
18 have to train a bunch of employees this year and
19 the next year you might have to train a bunch more.
20 Is your production process slowed up, is there an
21 increased cost to production? You may also wish to
22 comment on the benefits of the rule.

23 Perhaps if you have better control over
24 your process, you will have fewer recalls, and that
25 would be considered to be a benefit of the rule.

1 A more specific example, master
2 manufacturing record. What is important here is we
3 are looking for the frequency of these costs. For
4 example, if it's a one time cost, that is something
5 when the rule comes out you have to comply with it,
6 perhaps you have to invent your master
7 manufacturing records, you have to develop these
8 records that one time, you don't ever foresee
9 having to invent them again, that is a one-time
10 cost.

11 Say what that is, say what that cost is,
12 and also that's a one-time cost, and you can look
13 in the economic analysis, you can see our
14 estimates, and you can say, well, that's not right
15 for me, and you might want to comment on that.

16 In some cases, the training costs, perhaps
17 again you may have to train people every year.
18 Give us the cost of training per person, give us
19 the number of people you have to train and say I
20 have to train people every year or every two years.

21 Then, finally, you might wish to comment
22 on something like the reporting costs which might
23 happen batch by batch. If you tell us that your
24 costs are by batch, we want to know how many
25 batches in a week or in a month, however you would

1 like to report it. Eventually, we have to get to
2 annually because that is generally the way we
3 report all of our costs.

4 This is kind of the formula we use. You
5 don't have to multiply anything, you don't have a
6 memorize any formula, we just put this up here.
7 All we want are the elements of this if you care to
8 report them, what are the number of worker
9 affected, what is the approximate wage including
10 the overhead for those workers.

11 How many additional hours of work will it
12 take to fulfill a certain function, and then
13 finally, what is the frequency. Those are the
14 kinds of things that we wish to report.

15 Now, I said earlier don't report sensitive
16 information. Again, if this is sensitive, don't
17 report it, but if it is, this is the kind of
18 information that will change the economic analysis,
19 which will change the regulatory flexibility
20 analysis, which the Agency must by law consider.

21 When we go to look at each individual
22 requirement that we are requiring, this is the kind
23 of information that can actually make an impact on
24 the regulation.

25 Another example I think you spent a

1 considerable amount of time on, I understand, I was
2 not here this morning, but a considerable amount of
3 time spent talking about, testing costs. We do
4 have an estimate of what the testing costs are.
5 You can read about it, tell us if we are right,
6 tell us if we are wrong.

7 Those include the identity tests,
8 microbial tests, and tests for other contaminants,
9 lead, aflatoxin, pesticides, et cetera, there are a
10 number of different kinds of tests.

11 What kinds of things might you want to
12 comment on? Number of tests per finished batch. If
13 you have a finished batch, do you have a test right
14 now that will test for everything that is in this
15 batch that you need to test for, is there one test
16 that covers everything.

17 If not, you have to do multiple tests, is
18 it one test or multiple tests. We don't know. It
19 is your product, it is your matrix, you know how
20 many tests you have to do. We would like to know,
21 how many tests do you think this will take per
22 batch.

23 What will it cost you to do those tests,
24 it will cost you to prepare samples, it will cost
25 you to take the actual samples, it will cost you to

1 record them. It may cost you extra to provide the
2 space for those samples. You may have to buy new
3 equipment to take those samples or perhaps you are
4 going to contract them out, and that may cost you
5 lost production.

6 Those are the kinds of things, very
7 specific things. If you can tell us, we would like
8 to know. It will help us alter the regulatory
9 flexibility analysis.

10 I think this is the last slide. Do's and
11 don'ts. Do send specific numbers if possible.
12 Again, if it's sensitive, don't send it. As Peter
13 has mentioned, I have worked on a number of
14 regulations in my regulatory career. I have looked
15 at more comments than I care to remember, and I
16 have looked at some pretty funny ones actually, and
17 I have looked at some pretty good ones.

18 The good ones are the ones where people
19 came in and said this is what either this
20 regulation or this specific part of the regulation
21 is going to cost me, this is what I am making now.
22 There is no way I am going to make it, I am going
23 out of business, and this is why exactly.

24 Or you guys got this entirely wrong, this
25 is what you said the cost was, this is what the

1 cost really is, and if we have overestimated the
2 cost and underestimated the benefits, then, perhaps
3 we have got to reconsider. Specific numbers are
4 extremely helpful.

5 I myself like the humorous ones. I have
6 seen a number of those. We had one when we went to
7 ban saccharin where somebody wrote in and said that
8 they wanted to thank us for banning saccharin
9 because then we quit killing all those rats, and
10 they signed it, "The rats of America." So, we like
11 the humor, too, if you want to send us that.

12 Most people do, actually, what we get a
13 lot of the times is we get a lot of unsupported
14 opinions, "I hate this rule, and I hate you."
15 Thank you for that comment. You know, it will go
16 in that stack, and we appreciate, you know, you
17 taking the time out to write your comment, but it
18 really won't make a lot of difference. What do you
19 do with that comment, you know. You know, we are
20 not always that popular.

21 Do send the comments in on time. There is
22 a very specific timeline, and if it hasn't been
23 covered already--

24 MR. VARDON: And the comments are due June
25 11th.

1 DR. WILLIAMS: June 11th. Do send your
2 comments in by June 11th. I think they have to be
3 postmarked by then, is that correct?

4 MR. VARDON: I don't know.

5 DR. WILLIAMS: Karen, do you know?

6 MS. STRAUSS: I doubt if it would be that
7 very specific.

8 DR. WILLIAMS: At least get them off by
9 June 11th, and then they will continue to flow in.
10 It is important to us. When the comment period
11 closes, that's it. Don't send your comments to,
12 for example, Peter Vardon, not that he wouldn't
13 love to hear from you, he would, but it is much
14 easier for us if you send it to the address that is
15 in the rule, in the document.

16 That is very helpful because they have to
17 go there anyway, they have to be logged in, and
18 then they are collated and analyzed, and
19 eventually, Peter will read them, Karen will read
20 them, and most likely all of us.

21 This is helpful just to the economists.
22 If it is possible, I mentioned sending in specific
23 numbers if it is possible, if you have an
24 organization that can do surveys or anything like
25 that, I am not suggesting that you should, but

1 sometimes it is nice for us to receive that kind of
2 information if it is possible.

3 I am certainly not encouraging you to do
4 that, but sometimes I think that is very helpful to
5 send in costs of multiple firms.

6 Finally, I guess for the fourth maybe and
7 last time, don't send us sensitive information.

8 That's it. We do welcome your comments.
9 Please send in written comments if you can, and
10 please make them as specific as possible.

11 Do we do questions now or later?

12 MR. VARDON: No, after Marie.

13 DR. WILLIAMS: Okay. It is my honor to
14 introduce Marie Falcone, who is our Regional Small
15 Business Representative for the Central Region of
16 FDA. She was an FDA investigator, and she has
17 performed domestic and foreign inspections.

18 She is a supervisor and she has turned her
19 training and experience to the consultive side of
20 FDA, and she is assisting regulated companies, such
21 as yourself, and she has a B.A. from the University
22 of Connecticut, is that correct?

23 MS. FALCONE: That's right.

24 DR. WILLIAMS: Welcome, Marie.

25 MS. FALCONE: Good afternoon, everyone. I

1 am very pleased to be here. I am Marie Falcone,
2 Small Business Representative for FDA Central
3 Region.

4 What I would like to do today is to put
5 the small business representative and what we do in
6 a context for you, so you can see how you can use
7 us to reduce your workload. It is a free service
8 that FDA provides to regulated industry, and if you
9 are in my region, I am your small business
10 representative, and you will see what that region
11 is.

12 This is a list of things that we do. We
13 do assist FDA regulated businesses by explaining
14 laws and regulations and helping regulated industry
15 find the guidance or the information, the
16 registration form, the person that they need to
17 smooth the pathway to market.

18 We can cut your costs of looking for
19 things sometimes by weeks. We provide technical
20 assistance and guidance. We act as liaison to the
21 right person for the question when that is
22 necessary.

23 We are in the field, as opposed to where
24 we are now in headquarters, in the field outside of
25 headquarters, and small business representatives

1 handle a variety of inquiries, dietary supplements,
2 the whole range of commodities that FDA regulates
3 as opposed to the Center staff, which are very
4 knowledgeable and have deep knowledge in specific
5 areas.

6 Our customers, small businesses,
7 entrepreneurs, start-ups, consultants, industry
8 associations. We don't turn away large
9 corporations, sometimes they do call us.

10 We handle inquiries, we give training, we
11 organize workshops. In some regions, there is a
12 free service called the non-regulatory on-site
13 inspection. You can request a small business
14 representative to come out to your company and do
15 an inspection, and this may become more relevant to
16 you if and when the GMPs actually become final.

17 The inspections are a courtesy, they are
18 at your request, they are confidential, and they
19 are limited by the resources and schedule of the
20 small business representative.

21 The FDA has published a variety of
22 guidances to help you to understand the Agency, to
23 see the things that you need to do to save time for
24 you, and one of them is the Small Business Guide to
25 FDA. In it are things like how to use the Federal

1 Register, which we learned a lot about today, how
2 to comment on proposed regulations, how to obtain
3 Agency documents.

4 Those in the business of dealing with FDA
5 must know that. In the document world, there are
6 requirements and there is guidance. That is a very
7 important distinction to make. Requirements, such
8 as law and regulation, must be followed. Guidance
9 are FDA's best advice on how to meet those
10 requirements, so we can help you locate those
11 guidances and who to contact for assistance in the
12 guide and what to do when.

13 By the way, I believe it has already been
14 mentioned, but all these presentations will be on
15 the CFSAN web site, so web addresses, phone
16 numbers, and faxes, and so on, that we will get to
17 later, will all be on the web site.

18 Keeping informed. Reviewing the biannual
19 unified agenda. Twice a year the Federal
20 Government puts out in the Federal Register a list
21 of all the regulations they are planning to write
22 or in the process of writing, and you can get that.

23 There is a web link to the most recent
24 one, or, excuse me, where it is from the web site,
25 the FDA web site that you can find it, and you can

1 look at that, and you can see if there is anything
2 coming up that may affect you. That is a very good
3 way to keep informed.

4 You can subscribe to the FDA's Dietary
5 Supplement Through Labeling electronic newsletter,
6 which is free, and you will automatically get
7 e-mailed to you, and I subscribe to a lot of lists
8 from the various Centers in FDA, and you will get
9 sent to you automatically when something comes out,
10 and you can just read it, delete it, keep it, and
11 that way you can keep up to date, and it is
12 absolutely free once you are on the list.

13 You can visit FDA's Dietary Supplement web
14 site, and that is where all these meetings are
15 located, the meeting in Oakland that is coming up,
16 the May 9th satellite broadcast, which is about the
17 same topic which will be available nationwide, and
18 the FDA small business representative is actually
19 posted on the site. There are at least 15 on the
20 FDA web site where you can go and view the program,
21 or if you have a dish, you can actually downlink
22 your own program for free.

23 Now, what are the limitations? I don't
24 set policy and I am not a regulator anymore. I
25 cannot intervene when there is a current

1 investigation, there are violative conditions that
2 warrant regulatory action.

3 I would like to say that I am like a
4 vitamin pill, it is important to take me before
5 there is a problem, not after, solving problems.

6 There is an inspection issue, see the
7 District Office contact information on the Notice
8 of Inspection. When you get inspected, along with
9 the Notice of Inspection that FDA gives you at the
10 beginning of each inspection, is a document like
11 this, and it has all the district offices listed
12 and the phone numbers.

13 If you have a problem with the inspection
14 and you want to contact the district, you can see
15 exactly how to do it or you can go to the ORA field
16 directory. ORA stands for Office of Regulatory
17 Affairs, and it is all the inspection people, they
18 are all in the ORA, in the field staff.

19 You can go there and you can find the
20 district office nearest to you with the district
21 director and all the people, their phone numbers,
22 so you can contact them, or you can go to FDA or
23 Center for Food Safety Ombudsman, or you can go to
24 the Small Business Administration Ombudsman, and
25 that type of information is on the document given

1 to you at the beginning of the inspection, so that
2 you have it and you can use it if you need it.

3 How to find your regional small business
4 representative. If you are in Central Region, I am
5 your representative. Northeast, Pacific,
6 Southwest, and Southeast, we have five regions in
7 FDA.

8 There is somebody here from the Pacific
9 Region, Janet McDonald, who is a public affairs
10 specialist out of San Francisco, and she is very
11 knowledgeable in the food area. I knew Janet 10
12 years ago when she made presentations, and I was
13 absolutely impressed at her depth of knowledge.

14 We not only have small business
15 representatives, we have public affairs specialists
16 in every district who do a lot of the same work,
17 but they also deal with the media, so there is help
18 out there for you. There are people who can help
19 you and get you set in the right direction at
20 minimal pain.

21 Here are the states that belong to the
22 Pacific Region. Marcia Madrigal is the small
23 business representative, her address, fax, e-mail.
24 You can write us e-mail. Many of my inquiries come
25 in through e-mail, and that makes it real easy just

1 to cut and paste and send back a response.

2 Here, I have just listed the contact
3 information for each region, and like I said, these
4 will all be on the FDA CFSAN web site, so don't
5 worry about it if you can't get every single bit of
6 information off the slides.

7 Southeast Region and Northeast. Marilyn
8 Corretto, she is new, she just came into her job
9 about a month ago. She is already saying she is
10 overwhelmed, too.

11 More help in the FDA. The FDA Center for
12 Food Safety and Applied Nutrition has an industry
13 activity staff and that is their telephone number
14 and e-mail. They are an excellent contact for
15 information or just find the right person to handle
16 your inquiry.

17 A very common comment to us, when somebody
18 calls me up or they send me an e-mail, I have been
19 to the FDA web site, I don't know where to go, I
20 don't know what to look for, I don't know when to
21 quit looking. We can do things like tell you
22 exactly what you need, bing, bing, bing, here it
23 is, and then on you go instead of weeks of
24 wondering.

25 You can go to www.fda.gov and over on the

1 right it says Information For, and it will say
2 consumers, industry, like that. Click on Industry
3 and it takes you to the FDA industry web page and
4 on that web page you can see small business
5 representatives or the industry assistance officers
6 at the very center, so it is not hard to find us.

7 All the contact information is on the web
8 site.

9 MR. VARDON: Thank you, Marie.

10 Now, we can open it up for questions and
11 answers about specific small business impact
12 although we only have a couple of questions. I
13 wonder if using cards has maybe inhibited people.
14 Would anybody just like to ask your question on the
15 microphone? If you would, please do so, otherwise,
16 I will answer the questions I have in front of me,
17 but there aren't many.

18 Are non-regulatory inspections available
19 to overseas manufacturers?

20 MS. FALCONE: No.

21 MR. VARDON: This regards recordkeeping.
22 If you never had a recall, then, fewer recalls
23 isn't a benefit to you. This probably means you
24 have been doing things right all along, and this
25 means the entire rule is just a new overhead for

1 your business, that your business may not afford.

2 DR. WILLIAMS: If you have never had a
3 recall, it may or may not mean that you are doing
4 everything right all along. People only have
5 recalls when, in fact, something has gone wrong
6 with their product is actually traced back to them.

7 So, that is not necessarily so, but
8 certainly if there are requirements in this rule
9 that you are not doing now, we would like to know
10 what those are, we would like to know what they
11 cost you, and if you have other ideas or you have
12 ideas about whether they are necessary or not, we
13 would like to know that, as well.

14 MR. VARDON: What does judicial review
15 mean?

16 DR. WILLIAMS: Within the Regulatory
17 Flexibility Act, as amended by SBREFA, the Small
18 Business Regulatory Enforcement and Fairness Act,
19 parts of our analysis are actually judicial or
20 reviewable, so, for example, in the worst of all
21 worlds, which will never happen as long as I am
22 here, if we didn't do an analysis, that would mean
23 that the rule would be stayed, and there are other
24 specific parts of the analysis that can be
25 challenged judicially, such as whether or not we

1 have explained adequately why we did not adopt
2 relief for small businesses, that sort of thing.

3 I don't want to get too much into that
4 because I am not a lawyer, but there are judicial
5 review provisions within SBREFA.

6 MR. VARDON: The next question asks to
7 show a slide again. Any other questions?

8 This question is for Marie. The results
9 of a voluntary inspection is made at the request of
10 a firm with regional small business representative
11 are said to be confidential. Are these
12 non-regulatory inspection observations reported to
13 other parts of FDA, such as Headquarters?

14 MS. FALCONE: No, except that if there is
15 an imminent health hazard, then, the visit stops
16 and the small business representative contacts the
17 district, because our job is public safety, but
18 that is a condition for conducting the inspection,
19 and also before doing a visit like that, the small
20 business representative has to contact the district
21 and say, you know, is there something going on.
22 They couldn't just go out there if they are in the
23 middle of a violative situation, so the district
24 gives permission for it.

25 When I did those, when I was in Dallas, I

1 was there five years, I didn't take notes and I
2 didn't give a written report. There was no report.
3 I told the company, I had them take the notes, and
4 I had them write down the things that were
5 important for them to know.

6 MR. VARDON: Thank you.

7 Are tests required for lead, aflatoxin,
8 and heavy metals?

9 DR. WILLIAMS: Even though I put that
10 slide up, Karen, maybe you would like to address
11 that.

12 MS. STRAUSS: Are tests required?

13 DR. WILLIAMS: Right.

14 MS. STRAUSS: If they are likely
15 contaminants, they would be required.

16 MR. VARDON: We don't have more questions.

17 The next portion of the program is to
18 allow you to meet in small breakout groups to
19 discuss this rule with yourselves, what you think
20 the impact will be to you, to help you formulate
21 your ideas and to talk with each other about ways
22 that maybe you would like to respond.

23 It is just meant to be a brainstorming
24 session. We would like somebody in each group, we
25 would like you to meet in groups of five or six at

1 tables that we have set aside in the room adjoining
2 this auditorium, to take notes, if you could find
3 somebody as a recordkeeper to take notes, and at
4 the end of the hour or so, if you could come back
5 here, we would like each of the recordkeepers to
6 discuss what you discussed there.

7 I think there are 50 or 60 people maybe in
8 the audience or fewer, so I think we can all meet
9 in one room that we have set aside already at the
10 top of the stairs. It is labeled GMP Breakout
11 Session. We will go there and we will ask you to
12 form small groups. We have a facilitator there to
13 keep the discussion focused on dietary supplement
14 GMPs and the impact on small businesses.

15 I will meet you at the top of the stairs,
16 I guess, in the breakout room.

17 [Breakout sessions.]

18 **Breakout Session Summaries and Discussion**

19 MR. VARDON: A couple of you have
20 indicated to me that I may have mischaracterized
21 your questions. I thought that we could give you
22 another opportunity to ask them at the microphone.
23 I may have mischaracterized your questions, so we
24 want to give you every opportunity to ask them.

25 Do we have any volunteers to just tell us

1 what went on at your table? We do have the
2 transcriber and she will take notes. This will go
3 into the public record, but we hope that this will
4 help us clarify your major concerns.

5 FIRST VOLUNTEER: The first volunteer for
6 the first table, I guess, or third table, whatever
7 table we were at.

8 A lot of these are comments, some are
9 questions, so I will just go through them.

10 One of the first discussions we had was
11 about the heavy burden on the end product
12 manufacturer to test all of the ingredients that
13 are in the dietary supplement, and their inability
14 to rely on their supplier downstream.

15 A question that arose in that aspect,
16 while understanding the need to be responsible for
17 the end testing, what type of enforcement or
18 inspection does the FDA expect downstream in terms
19 of the suppliers and downstream, particularly when
20 we are dealing with foreign suppliers?

21 MR. VARDON: Why don't you just finish the
22 whole discussion at your table.

23 FIRST VOLUNTEER: Do you want me to go
24 through every question?

25 MR. VARDON: Yes, why don't you go through

1 everything and then if there are questions
2 afterwards.

3 MS. ACOSTA: Maybe if you could repeat
4 that first comment now that Karen is here, that
5 would probably be useful.

6 FIRST VOLUNTEER: The first issue that we
7 discussed in a lot of detail had to do with the
8 heavy burden on the end product manufacturer, the
9 manufacturer that finally finishes the dietary
10 supplement and it is ready to go into interstate
11 commerce.

12 In terms of the testing requirements for
13 the products, and we are talking about multiple
14 ingredient products, and the fact that there seems
15 to be an inability to rely on the testing that is
16 done by the downstream suppliers, and to ensure the
17 safety of the product throughout the process and to
18 possibly relieve the burden on the end product
19 manufacturer, does FDA intend to have a high level
20 of enforcement and inspection process in terms of
21 the suppliers.

22 So, in some ways, the intent is to relieve
23 the burden on the end product manufacturer to some
24 extent, recognizing their need to test at the end.

25 MS. STRAUSS: What we have proposed is the

1 testing scheme, and this is the proposed rule
2 stage, and based on the comments that we get, we
3 will develop a final rule, and at this point it is
4 difficult to say, you know, the whole CGMP would be
5 required to be complied with, and then an inspector
6 would inspect, and whether there would be greater
7 emphasis on a supplier or a manufacturer of a
8 dietary ingredient or dietary supplement is really,
9 you know, it's impossible to say.

10 FIRST VOLUNTEER: One question did go to
11 the resources available to inspect foreign sites,
12 because a lot of the botanicals come from outside
13 the country.

14 MS. STRAUSS: I know I mentioned in my
15 presentation that FDA does inspect materials, they
16 look at materials as they come into the country.
17 If they suspect there is a problem with a
18 particular manufacturer, that has already happened,
19 if they think there is a problem or suspect a
20 problem with a particular supplement or dietary
21 ingredient, they can conduct testing.

22 FIRST VOLUNTEER: One question concerned
23 small batches, a number of the small dietary
24 supplement companies will have a number of
25 products, that they may run only 100 bottles of a

1 substance or 25 bottles of a substance, and we
2 wondered if FDA would be considering anything along
3 the lines of an exemption for lower volume of
4 production.

5 MS. STRAUSS: In the proposed rule, there
6 isn't any exemption proposed for any size, any type
7 of product, any quantity. If that is something
8 that you think should be considered in the final
9 rule, that should be a comment admitted to the
10 docket.

11 FIRST VOLUNTEER: The next question
12 concerns cleaning issues, validation, or not the
13 validation, but the actual cleaning of utensils and
14 the equipment, and the question had to do with the
15 establishment that the cleaning had occurred
16 appropriately.

17 Is FDA looking for evidence that the
18 process used will destroy the contaminant, or is
19 the FDA looking for evidence that the process used
20 actually destroyed the contaminant? There are two
21 different questions.

22 MS. STRAUSS: What we have described and
23 proposed is that it's the manufacturer's
24 responsibility to ensure that whatever process they
25 have developed for sanitation is, in fact, going to

1 do what they say, as necessary.

2 So, it's the manufacturer's responsibility
3 to say that yes, in fact, the sanitation process
4 does, in fact, do what it is intended to do.

5 FIRST VOLUNTEER: So, what I am
6 understanding is that if you use a chemical that
7 should destroy a particular contaminant, and you
8 establish that you used that chemical to destroy
9 the contaminant on the utensil or the equipment,
10 that would be sufficient.

11 If you use a chemical, if you establish
12 that you have used the chemical in your process
13 that destroys a particular contaminant, that that
14 would be sufficient to establish that you have
15 cleaned your equipment properly.

16 MS. STRAUSS: And not that you actually
17 cleaned it in your own facility?

18 FIRST VOLUNTEER: That you used it in your
19 facility.

20 MS. STRAUSS: But you don't know if it
21 worked in your facility?

22 FIRST VOLUNTEER: Right, and that is the
23 distinction, the distinction is I have used bleach
24 on this particular equipment as opposed to I used
25 bleach and it killed, I have used bleach and now I

1 have done testing after the fact to make sure that
2 the bleach killed the contaminant.

3 MS. STRAUSS: We have not proposed that
4 you needed to do that actual testing, but one would
5 expect that a process used would do what you have
6 intended it to do actually in your site.

7 MR. VARDON: What I had suggested before
8 you stepped in was that we have just a general
9 summary of the discussion that you had at your
10 breakout tables, and then I mentioned that because
11 I mischaracterized some of the questions, some
12 people didn't feel I asked their questions
13 properly, I would give people a chance to ask their
14 questions again.

15 Why don't we summarize the discussion
16 first rather than making this a question and answer
17 period.

18 FIRST VOLUNTEER: Another issue concerned
19 drop shipping, and we are talking about
20 distributors who have no contact with the product
21 throughout the entire manufacturing process. There
22 is no holding involved, it could be an e-commerce
23 site that orders product from another place and it
24 goes directly to the consumer.

25 There is a question in terms of the

1 proposed rule and its application to that type of
2 process.

3 Do you want me to go through all of the
4 issues?

5 MS. STRAUSS: Actually, this would be a
6 summary of your discussion, so what you are doing,
7 and I guess you started before I was here, so they
8 are going to just give a summary.

9 FIRST VOLUNTEER: Another clarification
10 that was thought in our group to require some
11 discussion was the need for written procedures,
12 SOPs versus what needs to be in the batch record or
13 the master manufacturing record, the need for SOPs
14 generally was somewhat confusing based on the
15 proposed rule.

16 The next issue that was discussed was the
17 need or to consider the need for extending the
18 comment period because it is a quite lengthy
19 proposed rule, it has been years since the ANPRM
20 was issued in 1997, and a three-month comment
21 period seems very short for the type of issues that
22 need to be reviewed in this document.

23 Another issue that was discussed was how a
24 manufacturer developed a testing method for a
25 product where there is no testing method available.

1 The example given was billberry [ph]. If there is
2 none out there, the question or the comment was
3 based on the proposed rule, it would appear to be
4 appropriate to develop your testing method based on
5 a batch that has come in to your shop or your
6 facility, and base your future batches on that
7 initial batch.

8 The next issue had to do with the testing.
9 Obviously, testing is a big issue here today.
10 Testing is very significant particularly for the
11 end product manufacturer, and the question had to
12 do with, or the comment, or the expectation would
13 be that testing would only have to involve the
14 dietary ingredients and the lack of contaminants as
15 opposed to going to the excipients that are in the
16 final finished product.

17 That's it.

18 MR. VARDON: Thank you very much.

19 SECOND VOLUNTEER: At our table, we had
20 only one small company manufacturer, that was me.
21 We had somebody from the NIH, from an advocacy
22 group, from a trade organization representing a lot
23 of large and small manufacturers.

24 We had somebody who was an overseas rep
25 for somebody who wanted to bring products and

1 traditional Chinese medicine products in, and we
2 had a large company, and we had somebody from the
3 press. So, we had quite a mix there, and we talked
4 about a few I guess more broader issues.

5 The overseas manufacturer's rep wanted to
6 investigate a little bit more thoroughly about some
7 kind of preview or review. We did indicate that
8 there were wonderful organizations like NSF or
9 private organizations that could help those
10 manufacturers figure out some of these things if
11 they needed that, if we couldn't send our own
12 government people over or perhaps they could
13 investigate funding somebody to go over and visit
14 on a trade mission or something.

15 We had a discussion of the issue of
16 ingredients like ethyl alcohol, if it is produced
17 by a licensed manufacturer or manufacturer licensed
18 by the government, and they are manufacturing to
19 USP specifications, why do we have to revalidate
20 something like that especially if the vanilla
21 extract people don't have to do that, and they are
22 doing something according--you know, for food GMPs.

23 We discussed the broad issue that there
24 really must be a way to have some form of a
25 legitimate C of A. That concept has to be made

1 valid in some way, shape, or form. You know, there
2 has to be a process and verification of batches,
3 you know, that every batch has been verified.

4 There has to be some recognition other
5 than somebody having to fly out to a facility every
6 single time, you know, if you are buying something
7 from somebody that has had that process validated
8 somehow.

9 Also, we had a broad discussion on testing
10 requirements, that the language is unclear, and we
11 do need help there. One area discusses about
12 testing finished products, other areas say that you
13 can test your incoming ingredients, and it is
14 really not clear which it is that you want us to be
15 doing.

16 It kind of seems like there is a choice,
17 but this whole question of validated test methods,
18 I go to all of our industry meetings and we have
19 different classes of goods in our industry, and I
20 think that when you are dealing with what we call
21 the people who are closer to the pharmaceutical
22 industry in their type of goods, they have a
23 different interpretation than people that are
24 closer to the food industry, i.e., tea
25 manufacturers or tincture manufacturers, and there

1 is no recognition really, and the words that you
2 are using are ending up confusing a lot of us.

3 The concept that set forth the I.D.,
4 purity, quality, strength, and composition, we
5 appreciate that that was broadly worded to take
6 into account a broad variety of goods, but
7 unfortunately, that ends up being ambiguous to us.

8 I did make the comment that the format
9 that we used in the large group when we kept
10 submitting cards in to you, sometimes our questions
11 weren't clear, and we didn't have the chance to
12 immediately at that point clarify what we meant.

13 I think maybe in your future meetings, you
14 actually let people get up and give you that
15 question directly because they then have a chance
16 or at least ask them if you have got that question
17 in the context of your answer, because we could get
18 better clarity.

19 Unfortunately, I also must really ask for
20 an extension because I believe that the Q and A
21 that we had here only gave me greater confusion,
22 not clarity, and this comes at a time of the year
23 where it is very hard for small manufacturers to
24 leave our companies and come here for a day.

25 I woke up at 3:30 this morning to drive

1 down here, and I have to get back, and I have to be
2 at work tomorrow, and I am going to get on the
3 phone with some of my other colleagues who are on
4 the West Coast, who are anticipating me coming to
5 this meeting, and we are going to have discussions,
6 but unfortunately, I am not going to be able to
7 give them a whole lot of clarity on some of these
8 things because although I think the format, when we
9 got to actually sit down, it was helpful having
10 Peter there, this still is just we do need better
11 clarity on what you want us to do.

12 We have been trying for years to kind of
13 figure out where you are headed, you, the FDA, in
14 your regulations, and we have put in laws and
15 processes and procedures. We keep samples. We
16 have been 21 years in business, and what we see, we
17 think, and maybe we are wrong, is a significant
18 increase in the cost of our products.

19 I am talking for a small traditional
20 manufacturer of tinctures, and we are not really
21 seeing that that is providing a whole lot of
22 consumer benefits. In a recent survey of our
23 doctor and practitioner clients, one of the main
24 reasons why there has been a decrease in the use of
25 botanicals is because they are not covered by

1 insurance, and they are expensive.

2 This will only increase the cost on this
3 class of goods, and I really think that when we
4 looked at the benefits and even the risks that were
5 associated in your section where you laid out
6 risks, certain of those risks, very few of them
7 deal specifically with botanical products, so you
8 are heaping the cost of a whole lot of risk.

9 The two things that I saw were botanical
10 identification in the Digitalis issue, and the
11 ephedra-based issues, which, you know, testing
12 microbial issues are not going to really solve
13 those problems. Those things weren't really
14 appropriate to botanicals.

15 So, we talked again, and it was helpful,
16 about potentially creating subclasses of goods
17 within this regulatory framework, and Peter was
18 open to that discussion and we appreciate that.

19 That does create a whole area of important
20 dialogue that would need to take place among some
21 of my colleagues, the small manufacturing
22 companies.

23 Let's see, excipients were another issue
24 that came up. Repeating already proven items that
25 are used in food, there is no grandfathered type

1 concept. These are things that we have been using
2 for a long, long time.

3 We had that grandfather concept with
4 dietary supplements themselves, why not with some
5 of the ingredients that are already being used in
6 this class of goods and are being used in foods,
7 why must we reprove these issues.

8 We believe that your estimates of cost are
9 extremely low, and we think they will be much
10 higher, but we don't really know because of the
11 issue on lack of clarity. The difference between
12 the preamble itself and the regs, the preamble, we
13 hope you just discard that, and we deal only with
14 the regs, because it is in the preamble where a
15 number of concepts that are introduced that really
16 confuse the issues that I think the regs are trying
17 to get at.

18 The concept of validating certain
19 processes was raised at our table, and I think that
20 this is a constructive one. For example, if
21 alcohol is used in processing tinctures, if we can
22 validate that really there is no microbial growth
23 at all in the finished product, that should obviate
24 the need for having microbial testing. So, that
25 would be a process validation.

1 Similarly, soil tests with certified
2 organic cultivation may be another process that
3 would get around the need for heavy metal testing
4 and lead testing in every single product.

5 We talked about the issue between training
6 and experience or training or experience, and we
7 really wish you would give that "or" back in there,
8 because we have so many wonderful people in this
9 industry who just didn't go to school to learn what
10 they did.

11 They learned with traditional healers,
12 they have learned by self-study with amazing books
13 and texts that are available, they have learned in
14 clinical practice in working with practitioners,
15 and we believe that you should honor that tradition
16 by putting that "or" back in there.

17 We also really would like you to correct
18 the impression out there that we think is awfully
19 unfair in some of your press releases, that there
20 is no regulation of dietary supplements. You said
21 it yourselves today that we are subject to the food
22 GMPs right now as they exist.

23 You know, the FTC, if we don't put on our
24 label what is in the product, that product is
25 adulterated. We are supportive in trying to make a

1 quality class of goods here, but I think one of the
2 big issues for us is that we don't want to
3 overburden people who are making high quality
4 products, people that have been in business 20, 30
5 years, that we can parade a whole list of people in
6 here to tell you that our products do work, and we
7 are trying to maintain their affordability for
8 people.

9 We are just saying that you need to
10 perhaps tailor some of these concepts for the broad
11 class of goods that we have in our industry.

12 Thank you.

13 MR. VARDON: Thank you very much.

14 Anyone else?

15 THIRD VOLUNTEER: I will go over the
16 points that we talked about at our table. We
17 talked about recordkeeping in regards to the
18 maintenance records, environment control records,
19 cleaning records, that the requirement now appears
20 to be that they would need to be copied into
21 multiple batch records, that you can keep a
22 logbook, but you must also keep those with the
23 batch records.

24 The question was raised why can't they be
25 kept separately on logs, making one copy rather

1 than copying that 50 times and inserting them with
2 every batch record.

3 Also, although there were some written
4 procedures that were not required, clearly, they
5 are going to be needed to be done anyway, SOPs or
6 training records, there is many procedures beyond
7 the written requirements. Just to employ those
8 with the other ones, that will have to be done in
9 order to accomplish the ones that are required, so
10 that was thought to be a cost issue that was not
11 captured, that there are hidden implied
12 requirements, such as SOPs.

13 Sampling of finished products for testing,
14 how many would need to be tested? Right now there
15 is not a need for process validation, but it must
16 be adequate and suitable. Without a process
17 validation, how does one determine what is adequate
18 and suitable?

19 We also discussed about method validation
20 and wondered why validated methods need to be
21 revalidated or re-re-re-re-validated as it is done
22 in every single company that would use them. It is
23 not just finding that it fits the purpose, but
24 indeed it sounded as if that a full-scale
25 revalidation, a full-scale validation of the

1 methods had to be done in every lab, and that is
2 not currently a drug requirement.

3 Also, on cleaning, the question was raised
4 how does one validate a 5-log reduction if the
5 surface already is clean, if you don't have 5
6 orders of magnitude of microorganisms to kill off,
7 you are then bringing microorganisms into your
8 facility to show that you can kill them?

9 It sounded from the discussion earlier
10 that if you are using bleach, and it is known to
11 work, you don't actually have to demonstrate a
12 5-log reduction, but that wasn't clear I guess from
13 the reading of the proposed rule.

14 Also, we had one other thing between drug
15 GMPs and the proposed dietary supplement GMPs, that
16 a lack of being manufactured to GMPs would render
17 the dietary supplement as adulterated.

18 That is true for drugs, but it is not a
19 food requirement. This could present particular
20 issues for companies that sell their finished
21 product that becomes the raw ingredient for food
22 companies or dietary supplement companies.

23 If you make an enzyme product, for
24 example, a food company may be buying that if it is
25 pure, clean, has the adequate strength,

1 composition, that is accepted as food, however, if
2 it was not manufactured according to the dietary
3 supplement GMP, and they also sell the dietary
4 supplement ingredients, then, that would be
5 subjected to recall.

6 So, it was thought that that perhaps may
7 be not the best way to have that simple recall
8 ability just because it didn't meet GMP, and when,
9 in fact, it is clean and pure and meets composition
10 requirements and label claim.

11 There were a couple of boundary issues of
12 sellers of bulk herbs at the retail level as to
13 when those become dietary supplements. I think it
14 was thought that this one it is labeling, but if it
15 is determined that a bulk herb in a jar is labeled
16 and becomes a dietary supplement, how retail is
17 affected and what GMP requirements did they then
18 have to meet for consumers that can come in and
19 scoop out herbs and put them in a bag, weigh them,
20 and take them away.

21 So, will retailers need to make special
22 requirements to ensure that what they are selling
23 at that level is regulated as food, and not dietary
24 supplements, that someone can buy their spices, but
25 right next to it, if it says echinacea helps

1 promote healthy immune system function, when there
2 is that labeling them under dietary supplement, and
3 retail has become subject to GMPs for dietary
4 supplements.

5 We also had the question if dietary
6 ingredients were grandfathered in DSHEA, why not
7 dietary components, such as the excipients, why
8 does methyl cellulose have to be determined to be
9 GRAS at each individual facility.

10 It seems that excipients were not
11 grandfathered in as the dietary components, that
12 they need to be proven GRAS status even though they
13 may already be generally regarded as safe, but not
14 given any official recognition as that. It seems
15 to be the burden is on the manufacturer to prove
16 that.

17 Also, the line between raw agricultural
18 commodities and dietary ingredients, what
19 definition will be employed there? It seems that
20 that is an important one to figure out for several
21 reasons, some of which we did not actually get into
22 at our table.

23 For the very small companies, say, the
24 tincture manufacturers, that at least special
25 guidance is required for them. Peter, I am glad

1 that this issue was brought up for you, because it
2 is clear that you could almost carve--the dietary
3 supplement proposed regulations were made
4 apparently, they certainly are adequate for the
5 very large companies.

6 If you were to start over and pretend you
7 were doing it only for those tincture
8 manufacturers, what would that guidance look like,
9 and what would those look like, would it be
10 responsible and would the public interest be
11 secured, do any changes need to be made in the
12 proposed rule, in other words.

13 At the very least, guidance, and do we
14 really have adequate input. I think if we talk
15 about small companies that are in danger of going
16 out of business, they probably are the people who
17 have been doing this traditionally, and I think
18 that is something that much more input is required.

19 I think that is about all that we covered.
20 Those are the major points.

21 MR. VARDON: Thank you very much.

22 I think there should be one left.

23 FOURTH VOLUNTEER: I won't go over the
24 stuff because I think everybody hit on all the high
25 points, but we did have one additional question

1 that did come out of our group, and I guess this
2 would be like a two-part question.

3 Is a small business defined based on
4 corporate entity size or business entity? For
5 example, if a dietary supplement business entity is
6 less than 500 employees, but the corporate entity
7 is greater than 500 employees, is it a large or a
8 small business?

9 MR. VARDON: I think that would be a large
10 business. There is no practical difference. It is
11 only an analytical device for us. I guess the
12 practical difference is when you have to comply
13 with the rule, and that is what you are wondering
14 about.

15 FOURTH VOLUNTEER: Right.

16 The secondary question off that was is
17 there a secondary method for establishing a small
18 business entity, such as based on annual dollar
19 sales?

20 MR. VARDON: No, we just look at the
21 number of employees.

22 FOURTH VOLUNTEER: The rest of the
23 information was really covered by all the other
24 groups, and it would just be redundant to repeat
25 it.

1 MR. VARDON: Well, this has been helpful
2 for us. It has certainly been helpful for me. I
3 know there are passionate feelings about this, so
4 we do want to impress upon you that this comment
5 period really is something that we are taking very
6 seriously. It is not just something perfunctory,
7 it is not just something that we are going through.
8 We are not just going through the motions. We
9 really are listening to you.

10 So, I hope this will help you formulate
11 your comments, so that they can best help us
12 improve the rule. I know a couple of you did have
13 questions still, and I will give you another
14 opportunity.

15 MS. STRAUSS: I would also suggest that if
16 you have a question on a particular requirement
17 that is in the proposed codified, that you go back
18 through the document and locate, even search using
19 PDF for that particular codified number, so that
20 you can see how we discuss that in the preamble,
21 because many of the questions that were asked,
22 although I will admit that this document is very
23 technical and clarity is very important and
24 sometimes in the writing and in the reading, there
25 is a disconnect, but I would say if you are

1 concerned about a particular requirement, go back
2 into the preamble and read what we have written
3 there about that particular requirement to give
4 some interpretation to it as part of your sending a
5 comment to us, so that you can kind of get a sense
6 for where we are coming and then comment on that,
7 so we can know that you know what we have proposed,
8 because some of the questions are not quite
9 consistent with what we have discussed in the
10 preamble, and I think that would be helpful to both
11 of us as we get your comments and read them and
12 then go through and do a final rule.

13 But this has been very helpful especially
14 in the areas where there isn't clarity and when you
15 give flexibility and try to describe that in words,
16 it does get a bit confusing, I will admit, so your
17 help in getting clarity is very important.

18 MR. VARDON: Do we have any questions,
19 last questions?

20 If not, I hope you will come to our events
21 in the next couple of weeks.

22 Thank you very much.


23 [Whereupon, at 4:00 p.m., the meeting
24 concluded.]

25

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.


ALICE TOIGO