

1 historical basis, but suffice it to say that
2 we have an opportunity to learn that is really
3 unprecedented, and I think this is a very,
4 very important moment in the history of
5 contact lenses, and contact lens wear and
6 care.

7 I think, when we look at the issues
8 ahead of us, one of the first questions we
9 ask, one of the first questions that we've
10 pondered for many years is, is compliance an
11 issue? Has it been an issue specifically with
12 these outbreaks? Well, in truth, anyone who
13 is involved in contact lenses, in medical care
14 in general, realizes that patient compliance
15 is poor, and has been poor.

16 I think Otto Wichterle, when he
17 first designed the contact lens 30, 40 years
18 ago, dealt with compliance issues almost
19 immediately. And the issue is that we have
20 attempted to deal with this. We have
21 attempted to deal with this as an industry,
22 with labeling. We have attempted to deal with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this as practitioners, and I know we have
2 instituted numerous approaches when I was with
3 the Contact Lens and Cornea Section.

4 And in truth, it has not been
5 successful. And I think we need to make this
6 our reality. Patients do what they do, and
7 unless we can change those patients, and we
8 haven't been success at doing it, we need to
9 adjust for it, and adapt to it.

10 I think it's very telling to note
11 that, prior to the introduction of no-rub
12 contact lenses, about 50 percent of patients
13 rubbed prior to -- or rather after the
14 introduction of no-rub solutions, about 50
15 percent of patients rubbed. And even after
16 the Fusarium outbreak, with intensive media
17 focus on patient hygiene, 50 percent of
18 patients rubbed. There was no difference that
19 was discernable, regardless of what we did to
20 change that behavior.

21 And when we look at the data from
22 the CDC, and I see these outbreaks really as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 microscopes that allow us to drill down and
2 look closely in ways that we wouldn't have
3 been able to do before, we find that there was
4 no correlation to washing hands. There was no
5 correlation to rubbing and rinsing. There was
6 no correlation between infection to lens case
7 rinsing or care.

8 There was only modest correlation
9 to topping off, and I'll quote the authors,
10 "No single hygiene practice was independently
11 associated with disease in our multi-variable
12 model." And more specifically, and this is
13 perhaps most important, our case control
14 studies revealed that sub-optimal hygiene
15 practices were common and similar among case
16 patients and controls. And I think that is an
17 extremely important statement.

18 There was no difference between the
19 patients who developed infections and the
20 patients who didn't in terms of how they cared
21 for their lenses. And it tells us that we
22 need to look at this, rather, in more detail.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, despite this, the industry has
2 risen to the occasion. The industry has
3 recognized that good lens care practice is
4 very, very important, and there has been
5 significant changes among branded products to
6 reflect labeling that is more patient-
7 friendly, labeling that is more respectful of
8 the doctor/patient relationship, and I think
9 that is a very, very important factor.

10 When we look at no-rub products,
11 OPTI-FREE Express in 2001, no-rub was the
12 headline. OPTI-FREE Express in 2007, it was
13 the small print. So we certainly have made
14 significant advances in reducing marketing
15 issues from the front labeling of packages.
16 And we tend to forget that rub instructions
17 have always been included. In 2001, the
18 directions were precisely the same as they are
19 in 2007, and it included rub steps.

20 Well, I have given this a lot of
21 thought. I have spoken to my colleagues at
22 Alcon. I have spoken to industry. I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 spoken to my optometric and ophthalmic
2 colleagues throughout the country, and I would
3 like to share some recommendations with you,
4 some of which are seconding some of the
5 excellent recommendations that have been made
6 previously.

7 Testing and labeling must better
8 reflect real-world challenges and patterns of
9 actual use. Regulation in the absence of
10 understanding what our patients do is
11 regulation that simply will not work. New or
12 revised standards should reflect collaboration
13 among the FDA, ISO Standards Committee, ANSI,
14 the industry, and the eye care community.

15 In this partnership, we can make
16 effective change, and we can keep patients
17 safer. Testing for acanthamoeba disinfection
18 should be adopted, but we need to establish
19 standards, and we need to validate those
20 standards before we rush in to creating
21 standards that may not be workable, or may not
22 be protective of patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Testing with traditional hydrogel
2 lenses should continue. Groups 1 and 4
3 testing has been very effective historically.

4 We should also test representative silicone
5 hydrogel lenses with the understanding that
6 this technology is evolving. The chemistry of
7 these lenses is quite different, and their
8 interactiveness with solutions is considerably
9 different than what we have experienced with
10 conventional hydrogels.

11 Disinfection uptake and release is
12 a very important concept, and I think we need
13 to look at it in a number of different ways.
14 Specifically, it gives us insight into the
15 optimum time to look for corneal staining.
16 Now, we don't fully understand the impact of
17 corneal staining, but I can tell you as a
18 clinician, it is the only clinically
19 reasonable way for the average practitioner to
20 evaluate surface damage in the office. And as
21 such, makes for a very, very valuable tool in
22 understanding the relationship between the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contact lens, and the contact lens care
2 product, and its impact on the specific
3 patient.

4 In terms of labeling and care
5 instructions, labeling should be based on
6 science and testing of individual products,
7 not class labeling. Products did not perform
8 equally. There should be no mandated rubbing
9 and/or rinsing times, because it depends upon
10 the specific product. Class labeling with
11 mandated regimen steps is unnecessary for the
12 safe and effective use of products, and I fear
13 that it will stifle innovation, and that's the
14 last thing we want to do at this time.

15 Ideally, promotional claims
16 regarding directions of use should be removed
17 from the front panel. They belong in the
18 instructions segment in directing our
19 patients, and in emphasizing professional
20 care.

21 Practitioners must be involved.
22 There is no question that practitioners play a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very important role. We have to reinforce
2 hand washing. We have to reinforce lens case
3 care and replacement, and we have to discuss
4 the inappropriateness of topping off, because
5 we recognize that as an issue. Labeling
6 should reinforce the practitioner/patient
7 relationship, while providing essential lens
8 care directions. That I see as the purpose of
9 labeling.

10 A final thought, recommendations
11 from this Panel meeting should take into
12 account the real-world patterns of use. What
13 our patients do, what our patients experience,
14 what they face, and be based upon the
15 scientific data and the evidence. I thank you
16 for this opportunity.

17 DR. BRESSLER: Thank you. Just
18 before we start, I'll let everyone know we are
19 going to take a break at 10:30. I know it's a
20 lot of presentations, but we want to have a
21 chance for everyone to have an opportunity.
22 With that being said, our next speaker then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will be Dr. Doyle Stulting.

2 DR. STULTING: Thank you. Mr.
3 Chairman, Members of the Panel and the FDA,
4 I'm Doyle Stulting, Professor of
5 Ophthalmology, and Director of the Section of
6 Cornea and External Disease at Emory
7 University in Atlanta, Georgia. I am or have
8 been a consultant for Allergan, AMO, and
9 Bausch & Lomb. My travel expenses to this
10 meeting were paid by AMO. The opinions that I
11 express today, however, are my own.

12 I have a PhD in microbiology, as
13 well as an MD degree. I have an academic
14 interest in contact lens-associated infectious
15 keratitis, and the mechanisms by which it
16 occurs. In my ophthalmic practice, I
17 frequently see corneal ulcers in patients who
18 wear contact lenses. When I do, I personally
19 obtain a history of their contact lens care
20 practices, and personally obtain environmental
21 specimens, like contact lens care products,
22 cases, old contact lenses that these patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 might have worn.

2 I routinely perform gram stains and
3 cultures of corneal ulcers, as well as
4 cultures of environmental specimens when I
5 encounter these patients. I will look at
6 every preparation myself.

7 During the recent outbreak of
8 *Fusarium keratitis*, I personally examined
9 about a dozen patients with contact lens-
10 related ulcers during a two week period,
11 initially, and obtained over 50 environmental
12 specimens from them. These became the
13 subjects of laboratory investigations and
14 publications in collaborations with Dr. Zhang,
15 Ahearn, and others.

16 As a result of my 27 years in
17 practice in laboratory investigations like
18 these, I believe I have developed some insight
19 into contact lens care practices, and
20 infectious complications of contact lens wear.

21 First of all, we have learned that
22 contact lens care practices are not always

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consistent with the labeling of contact lens
2 care products, nor do they minimize the risk
3 of infectious keratitis. Hand washing is not
4 always performed before patients handle
5 contact lenses, and contact lens care is not
6 always performed in a clean environment.

7 Contact lens disinfection products
8 are not always used as recommended.
9 Specifically, topping off is very common among
10 contact lens wearers, cases are not frequently
11 sterilized or replaced, and contact lens
12 disinfection solutions are misused in a number
13 of ways.

14 Second, contact lens care solutions
15 have changed over the years in an effort to
16 increase comfort and convenience. They have
17 evolved from highly effective but
18 inconvenient, and potentially toxic
19 disinfection methods like heat and hydrogen
20 peroxide, to more convenient but less
21 effective products, such as multi-purpose
22 solutions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We have learned that, in some
2 cases, additional ingredients that improve
3 comfort may alter the disinfection
4 characteristics of solutions.

5 Third, we have seen the
6 introduction of new polymers from which
7 contact lenses are manufactured. These new
8 polymers may interact with contact lens care
9 products, microbes, and disinfection agents in
10 ways that may differ from the ways that
11 previous polymers interacted with them.

12 Our recent laboratory
13 investigations of the Fusarium keratitis
14 outbreak make it clear that Fusarium is able
15 to survive and replicate in drying films of
16 contact lens care products to varying degrees.

17 Indeed, this particular fungus is able to
18 adhere to and penetrate contact lenses,
19 particularly if they contain nutrients such as
20 we can expect to be absorbed during contact
21 lens wear.

22 Additives to contact lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disinfection products that are intended to
2 increase comfort can make solutions difficult
3 to remove from environmental surfaces,
4 providing a safe haven for microbial growth.

5 Finally, it is noteworthy that the
6 FDA-approved label on many contact lens care
7 products emphasizes convenience rather than
8 efficacy. We and others have shown that
9 rubbing contact lenses significantly improves
10 the ability of contact lens disinfection
11 solutions to remove microbes from the surface
12 of contact lenses, and to inhibit their
13 replication.

14 Nevertheless, many of your products
15 bear the no-rub label prominently.

16 In summary, the inherent efficacy
17 of disinfection products has decreased over
18 the years, and conditions of actual use have
19 led to unexpected interactions between contact
20 lens care products and microbes. As a result,
21 we have seen outbreaks of *Fusarium keratitis*
22 and *acanthamoeba keratitis* that were shown to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be attributable to contact lens care products
2 and their frequent misuse.

3 To minimize the likelihood of
4 similar outbreaks in the future, policies and
5 procedures for the approval and labeling of
6 contact lens care products must be changed. I
7 recommend that the FDA review current in vitro
8 testing procedures for contact lens
9 disinfection products. These procedures
10 should be redesigned to reflect conditions of
11 actual use and misuse, including a methodology
12 for testing the ability of the solutions to
13 support the replication of microbes in drying
14 films, and under conditions in which patients
15 top off their disinfection solution.

16 They should not only include a
17 variety of microbes like acanthamoeba, but
18 also a variety of contact lens polymers, like
19 silicone hydrogels, to determine whether
20 interactions with these polymers themselves
21 might reduce the efficacy of the disinfection
22 products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I believe it is important that
2 labeling be changed so that efficacy is
3 emphasized rather than convenience. The first
4 such change should be the removal of the no-
5 rub claim, because rubbing contact lenses is a
6 scientifically proven way to improve the
7 efficacy and the safety margin of modern
8 disinfection solutions.

9 Finally, I think it is time to
10 launch a national campaign to raise the
11 awareness of good contact lens care practices,
12 educating practitioners and patients about the
13 appropriate and responsible use of contact
14 lenses. Thank you for the privilege of
15 speaking at this meeting.

16 DR. BRESSLER: Thank you, Dr.
17 Stulting. Our next speaker will be Dr. Simon
18 Kilvington.

19 DR. KILVINGTON: Thank you very
20 much. I'm Dr. Simon Kilvington from the
21 Department of Infection, Immunity and
22 Inflammation at the University of Leicester in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 England, where I'm Senior Lecturer in
2 Parasitology. My main research is on the
3 pathogenic free-living amoeba, including
4 acanthamoeba, and I've been variously
5 supported in my research from the contact lens
6 industry, including AMO, who paid for me to
7 come, through an air ticket, to attend this
8 meeting today.

9 So this is acanthamoeba. It's a
10 free-living amoeba, common to virtually all
11 soil and aquatic environments, characterized
12 by feeding and dividing trophozoite, which, in
13 response to adversity, of course, can
14 transform into this dormant, highly resistant
15 cyst stage. And the resistance of the cyst to
16 extremes of temperature, desiccation and
17 disinfection accounts for this virtual
18 ubiquity of the organism.

19 So acanthamoeba keratitis, well,
20 it's a potentially blinding infection of the
21 cornea. It affects previously healthy
22 persons, and it's one of the most difficult

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ocular infections to, firstly, diagnose, and
2 then treat successfully, due to the resistance
3 of the cyst stage to most therapeutic agents.

4 And of course, contact lens wearers
5 account for 90 percent of recorded cases. And
6 we know that risk factors to infection are
7 poor hygiene practices, as we've heard,
8 rinsing or storing of lenses and lens storage
9 cases in tap water, and, of course, general
10 noncompliance to recommended use of contact
11 lens care solutions.

12 Though it is rare - I mean, we've
13 seen figures of one to two cases per million
14 lens wearers here in the U.S.A.- in the United
15 Kingdom, it's about 20 odd times higher, and
16 we get about 30 cases per million lens
17 wearers, and that's due to tap water
18 contamination by the organism. Most homes in
19 the UK have this, a roof or loft storage tank,
20 where potable water is stored, and used to
21 supply bathroom cold taps. And we have shown
22 that these tanks are rich sources of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acanthamoeba, including that one, which is the
2 one in my home.

3 Now, efficacy testing of contact
4 lens solutions against acanthamoeba, well,
5 unlike bacteria and fungi, there are no
6 standards in existence for testing of
7 solutions against acanthamoeba. And my
8 laboratory and my research interest is focused
9 on this area. And particularly two areas.
10 Physiological response of acanthamoeba to
11 contact lens solutions; what happens to a
12 trophozoite when you drop it in a contact lens
13 solution? And then also developing biocidal
14 methods and regimen methods for assessing
15 efficacy of commercial solutions, and
16 experimental formulations against
17 acanthamoeba.

18 And because there's no standard, if
19 you look in the literature, there's a wide
20 range of opinions on the efficacy of a
21 particular solution, for example, against
22 acanthamoeba. You will see one report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggesting Solution A kills acanthamoeba,
2 another one showing that it doesn't.

3 And to start with, I'll talk about
4 the physiological response. And this is some
5 work we just published, where we took
6 acanthamoeba trophozoites, incubated them in a
7 contact lens care solution, and found that the
8 trophozoites formed cysts, immature cysts, and
9 we worked on this, and showed that it was
10 propylene glycol in the formulation that was
11 causing this phenomenon.

12 More recently, we have been looking
13 at incubating trophozoites again in care
14 solutions, and there is a slide at the bottom
15 of normal trophozoites in a test tube, and
16 then we found that a particular solution
17 caused mass clumping of the contact lens -- of
18 the acanthamoeba trophozoites. And we have
19 shown that that actually affords the
20 trophozoites protection, safety in numbers,
21 from disinfection.

22 So the thing that has concerned us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 most is developing standardized assay methods.

2 And here, in the top table, shows the
3 efficacy of certain solutions against two
4 different species of acanthamoeba involved in
5 keratitis. And as you will see, castellani,
6 in red there, survived exposure to the
7 solutions, whilst polyphagia was either
8 killed, or only one survived out of the
9 triplicate experiment. So species strain
10 variation.

11 How we prepare the cysts, we use
12 Neff's constant pH encystment medium for most
13 of our work, and we tend to find that the
14 solutions are effective against this cyst
15 form, that then produce cysts by growing
16 acanthamoeba on bacteriological Agar covered
17 with E. coli, and you find that they are
18 markedly more resistance, although, under the
19 microscope, they look morphologically
20 identical.

21 So we have developed a kind of
22 screening method for enabling us to evaluate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factors that may affect disinfectant efficacy.

2 And here is a simple one. We take 100
3 trophozoites or cysts, add them to the
4 solution, leave them for a fixed period of
5 time, neutralize, and then culture for
6 survivors.

7 And this slide really is to show,
8 not about the efficacy of solutions, but to
9 show, firstly, that in red there, the cysts
10 are more resistant, typically. Not all
11 contact lens care solutions, based on one part
12 per million PHMB, are equal. Some are better
13 than others. One step peroxides, good against
14 trophozoites, but not cysts, and really, if
15 you want to kill everything, you need the two
16 step, three percent peroxide.

17 That's quite simple. Otherwise,
18 we've got to do the more lengthy biocidal
19 approach, which is akin to the ISO method for
20 doing bacteria and fungi, where we can look at
21 the kinetics of trophozoite or cyst killing.
22 It needs a lot more organism. It's quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 burdensome, but you can see here, with this
2 solution, trophozoites being steadily killed
3 in red there, and in purple, cysts resistant.

4 Finally, in looking at the regimen,
5 again borrowing the methods from the ISO
6 Standards, we inoculated acanthamoeba in the
7 presence of organic soil to two times the
8 silicone hydrogel lenses, and then subjected
9 the lenses to the manufacturer of the
10 solutions recommended regimen, whether it's
11 rub and rinse, no-rub rinse, or even no rub
12 and no rinse.

13 And as you can see quite clearly,
14 with both cysts and trophozoites, a solutions,
15 that C and A there, that recommend the rub
16 step, are far more effective at removing
17 acanthamoeba from contact lenses. Take out
18 the rub step, as you see in Solution A there,
19 and they start to fail. Take out the rub and
20 the rinse, and then they also fail.

21 So in conclusion, acanthamoeba
22 keratitis is a rare but serious condition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amongst contact lens wearers. The contact
2 lens industry needs to address the risk from
3 acanthamoeba keratitis through better
4 education of, not only practitioners and eye
5 care workers, but also lens users. I think we
6 should promote the rub step, and also extended
7 disinfection times, you know, six hours to
8 overnight, to try and get a kill going against
9 acanthamoeba.

10 We need to develop standardized
11 methods before we can start setting standards
12 for saying that a given solution is or is not
13 effective against acanthamoeba. We need to
14 look at the physiological response of the
15 solution, the biocidal efficacy, and the
16 regimen, against, not only the more
17 susceptible trophozoite, but also the more
18 hearty cyst stage.

19 And finally, of course, in
20 developing assay methods, we need to address
21 the significant variables of test species and
22 strain, method of trophozoite culture, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 importantly, cyst production, and how we
2 actually conduct these assays. Thank you very
3 much.

4 DR. BRESSLER: Thank you, Dr.
5 Kilvington. Our next speaker, and I'll just
6 indicate that, after our next speaker goes, we
7 will take a break, so that we'll be around the
8 10:30 area. It will be a 15 minute break, so
9 I'll announce what time we'll begin again, but
10 this will bring us through about halfway of
11 our public speakers. So thank you again. So
12 Dr. Jim Thimons will be the next one to speak,
13 and then we will be taking a break, and I'll
14 announce the time. Thank you.

15 DR. THIMONS: Dr. Bressler, Dr.
16 Eydelman, distinguished Panelists, thank you
17 for the opportunity to present today. As a
18 matter of disclosure, I consult for, receive
19 educational grants from, or have conducted
20 clinical research with the following companies
21 in the last 12 months: AMO, Alcon, Allergan,
22 Inspire, ISTA, Carl Zeiss Meditec, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Synamed.

2 My transportation here was paid for
3 by AMO, as was my room at the hotel last
4 night, and hopefully, my transportation home.

5 My concern today is that of
6 clinicians and colleagues throughout the
7 country who are looking for a mechanism to
8 help in the assessment and the evaluation of
9 the ocular health of the contact lens and
10 cornea patient relative to multi-purpose
11 solutions in contact lenses, and specifically,
12 what is the role of corneal staining, what is
13 the relevance of corneal staining, and do we
14 currently have a standard that we can rely on
15 as clinicians to assist in the health of our
16 patients' individual welfare?

17 This is my body of work over the
18 last 30 years for your review, and this is a
19 short summary of a variety of mechanisms that
20 can impose itself on the ocular surface.
21 Multi-purpose solutions, as we have had
22 discussed today are certainly one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 players; the contact lens process itself; the
2 combination of the two; patients with
3 concomitant ocular symptomatology and disease,
4 such as dry eye, systemically mediated or
5 otherwise; concomitant medical therapy, such
6 as topical drugs chronically used, oral
7 medications, both over the counter, and
8 systemically prescribed.

9 We have additionally systemic
10 factors of an autoimmune nature, and as many
11 of the Panelists have very elegantly stated,
12 we have patient dependant factors, which are
13 unpredictable on the individual, but globally
14 seem to have patterns of behavior that put the
15 patient, in some instances, at risk, in
16 combination, or independently, of the existing
17 factors you see above.

18 Probably the most important element
19 of this process is the presence of a healthy
20 ocular surface. And the inability to maintain
21 that ocular surface places the patient at
22 risk, both from an immune suppression

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perspective relative to the natural protection
2 provided by the tears in the maintenance of a
3 healthy eye, and additionally, in the role of
4 the complexity of a contact lens on the
5 surface of the eye, and the use of adjunctive
6 chemicals to maintain the health of that
7 system.

8 So my question and statement
9 simultaneously is, is there a standard for
10 assessing biocompatibility in the industry
11 that clinicians can utilize as a biomarker for
12 the maintenance or assessment, and then
13 subsequent maintenance, of their patients'
14 ocular health?

15 And I would submit to you that I
16 don't think that has been made present yet.
17 We have good systems in place. Scanning
18 electron microscopy is certainly an elegant
19 way to assess the ocular surface. You can see
20 differences in outcomes based on product, but
21 quite frankly, from a practical perspective,
22 clinicians don't have access to that level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technology, nor is the expense/import ratio to
2 a private clinical practice reasonable in that
3 genre.

4 This is some work by Dr. Behrens,
5 published out of the Delphi Panel, which I
6 think is actually an initial and very good
7 start to the attempt to try to define dry eye,
8 it's impact on the ocular surface, and the
9 whole concept of adjunctive disease relative
10 to contact lens wear, and some of the
11 implications that that presents.

12 As you can see, the idea of corneal
13 staining is broadly dealt with here, and more
14 importantly, it's dealt with, not only on the
15 cornea, but staining of the conjunctiva, as
16 well, which is really not addressed in any of
17 the current standards that are utilized by the
18 FDA.

19 This type of staining is in many
20 instances more indicative of an underlying
21 pretension of future disease than corneal
22 staining is, which tends to be more transient,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and relatively less predictable in its impact
2 on long-term health.

3 We understand as clinicians, and my
4 colleagues have certainly expressed this
5 concern, that over the last several years, we
6 have had numerous of our colleagues attempt to
7 quantify and relay to us their perspective on
8 the presence or absence of corneal staining
9 and its importance to us as clinicians in
10 maintaining the health of the ocular surface.

11 Unfortunately, and from my
12 perspective, and I think most of us who I have
13 spoken with throughout the country, I think
14 all we have been left with is a relative
15 amount of confusion. And the reason for that,
16 I'll hope to elaborate in the next several
17 minutes, and then summarize at the end.

18 But we do understand that that is a
19 complex relationship between contact lens
20 solution and the ocular surface. We know that
21 chemical keratitis, toxicity, micro-trauma,
22 and a variety of impact from preservatives can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impact the epithelial health.

2 There has been some very nice work
3 done by my colleague, Gary Andrasko, also a
4 classmate at the Ohio State University, along
5 with Ryen Garofalo and Lemp in 2006, which
6 demonstrated methodologies to define staining,
7 and to help us elaborate a system that would
8 be useful in our overall assessment and
9 management protocols.

10 They looked at things like
11 micropunctate, macropunctate and its impact.
12 And quite frankly, if you look at the outcomes
13 of this study and the others that I'm going to
14 reference, none of them really definitively
15 correlated the relationship between clinical
16 staining, and eventual evolution of a
17 microbial event on the corneal surface. And I
18 think that's very important because, at this
19 point in time, part of the reason for this,
20 and you'll see here in just a second, is that
21 each of the studies used a different level of
22 protocol.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Some used 30 minutes, some used two
2 hours, some used 24 hours, some used less.
3 And unfortunately, as other presenters have
4 elaborated, that lack of uniformity in the
5 decision to create clinical studies has left
6 us with a significant dearth of evidence-based
7 medical information that can be used by
8 practitioners nationwide to make sure that
9 their patients' long-term visual welfare is
10 maintained.

11 This is one of the standard
12 formulations that has been developed to assess
13 corneal health. This is a grid pattern. Dr.
14 Andrasko and the group was originally involved
15 in this, and that grid has been in the public
16 domain, both in peer reviewed and non-peer
17 reviewed literature for the last several
18 years. It has, unfortunately, after having
19 undergone some fairly significant review, been
20 challenged by a number of other authors and
21 left us with, quite frankly, a less than
22 sustainable level of clinical information that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we need to manage our patients' welfare.

2 This is some work by Garofalo and
3 Dassanayake in eye and contact lens, and you
4 can see that their observations at two hours
5 did show that, quite frankly, most of the
6 patients with staining were typically
7 asymptomatic, which is what I see in my
8 practice. I practice in a secondary and
9 tertiary level facility which basically deals
10 with contact lens-related problems. And the
11 vast majority of patients, symptomatic or
12 otherwise, have some form of corneal stain.

13 The problem is, and their
14 conclusion directly addresses that, clinical
15 significance of this information was not
16 determined in the study, and I would submit to
17 you that I don't have any information that I
18 have been aware of that directly correlates
19 level, intensity, and type of staining to the
20 risk of progression in microbial disease.

21 This is the Andrasko staining grid.

22 This was produced -- probably this was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 accessed in 2008, but it has been there for
2 several years, and it was a good initial work
3 to help us excite the process. But, as other
4 authors have subsequently reviewed, including
5 the Institute for Eye Research, when you do a
6 contrast and comparison, data from different
7 facilities has varied considerably on the same
8 subject material, the same lenses, and the
9 same solutions.

10 So that obviously implies that the
11 complexity of the anterior surface needs to be
12 addressed in a larger context, and no single
13 element is capable of defining the risk that
14 our patients undergo.

15 This is some work by Kislán and
16 Bucci in an AOA poster for 2008, and just a
17 brief review of their data, which also
18 presents a considerable departure from
19 material that has previously been put into the
20 public domain, and has been used extensively
21 by clinicians to assess risk benefit ratio in
22 the management of contact lens patients and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their long-term health.

2 And you can see here that these
3 numbers widely vary from both the IER data, as
4 well as the original Andrasko data. And all
5 three of these serve as a very nice example of
6 why I believe that the following
7 recommendations, and in summary, my final
8 comments, are pertinent to your
9 considerations, and hopefully, your
10 deliberations and actions to the future.

11 First and foremost, it's very clear
12 that observation time, variables on entry,
13 materials and solutions all influence outcomes
14 of studies, and I think one could probably say
15 with some confidence that you can produce any
16 outcome that you wish given the selection and
17 the appropriate utilization of materials.
18 There is that large a variability in both time
19 domain, as well as clinical response.

20 Second, and I think very
21 importantly, the differences in the
22 formulations, which has been very eloquently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 addressed by the previous speakers, as well as
2 the materials, need to be evaluated
3 individually. I don't believe that the class
4 evaluation system is an adequate measure of
5 current impact to the ocular surface.

6 And finally, I don't believe that
7 there is any significant correlation between
8 short-term transient staining, and damage to
9 the eye due to multi-purpose solutions.

10 I would also like to impose that
11 there is a minimal presence of evidence-based
12 medicine in this regard, and my
13 recommendation, if accepted by the Committee,
14 would be that we develop a collaborative
15 effort on the part of industry, the FDA and
16 clinicians to define this material at a better
17 and more useful level. Thank you.

18 DR. BRESSLER: Thank you very much.

19 So we will start at 10:35 with Dr. Lally. I
20 would encourage the speakers to be around if
21 the Panel has questions for them, which we
22 will do at the end of our public speakers. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are about halfway through.

2 So we would like to take a break
3 now, and we will start with Dr. Lally at
4 10:35. Thank you.

5 (Whereupon, the above-entitled
6 matter went off the record at 10:20 a.m. and
7 resumed at 10:35 a.m.)

8 DR. BRESSLER: Okay. I will remind
9 the public speakers that we will have 10
10 minutes. You will have a one minute warning
11 at nine minutes, so with a yellow light and we
12 do have to have you stop by the 10 minutes, so
13 we have time for everyone to be fair to have
14 their statement.

15 So I would like to start then with
16 Dr. John Lally as our next speaker. Thank
17 you.

18 DR. LALLY: Good morning, Dr.
19 Bressler, Members of the Panel, can everyone
20 hear me?

21 DR. BRESSLER: His button is on,
22 but it wasn't working.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LALLY: Yes, okay. I think we
2 got it now. Good morning, ladies and
3 gentlemen. Good morning to the Panel and also
4 thanks to Dr. Bressler and the Panel for
5 giving me the opportunity to speak here. My
6 name is John Lally. I am Vice President of
7 R&D at AMO for Ocular and Surgical Devices.

8 I have worked on -- I have a PhD in
9 chemistry and worked in the Life Sciences
10 industry for 20 plus years or so. Thirteen of
11 those years being in the ocular industry with
12 both Advanced Medical Optics and CIBA Vision.

13 An outline of the brief talk today
14 is going to touch on that balance we need to
15 achieve between disinfection efficacy and
16 corneal health. We will also talk a little
17 bit about two hour staining and its
18 unreliability in predicting long-term clinical
19 biocompatibility. We will touch on the need
20 to have enhanced or improved disinfection
21 efficacy testing standards. And also we will
22 present data supporting a rub and rinse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regimen introducing microbial load and
2 enhancing effectiveness of all contact lens
3 solutions.

4 When it comes to formulation
5 development and preclinical testing, there is
6 two main areas really we need to focus on.
7 One is testing beyond the current ISO
8 standards and the FDA Guidelines where we
9 really need to incorporate additional testing
10 for real-life use. And probably the most
11 important thing here in the real-life use
12 situation, it has been touched upon already by
13 Dr. Epstein, and that is that each lens/lens
14 care combination has a unique optic release
15 kinetic profile, depending -- dependent on the
16 physicochemical properties of that lens or
17 polymer.

18 We also need to, of course,
19 incorporate tests for robustness against
20 potential noncompliance. These include
21 effects of evaporation, effect of topping off
22 and reusing solutions and we need to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the effects of shorter rub and rinse times and
2 recommended leveling or for that matter the
3 effect of potentially no rub as a
4 noncompliance situation.

5 As I said, MPS product development
6 is a balance between disinfection without
7 getting clinical cytotoxicity. If you look at
8 this graph here, we've got our top QII
9 quartile here and that's where we all are,
10 where we are aiming for, at least, getting the
11 optimal combination of high disinfection
12 effectiveness and low cytotoxicity.

13 However, in some of the stuff that
14 I have heard, you know, one of the big risks
15 we have here is going for really high
16 disinfection efficacy and as a result, we end
17 up compromising the cornea, which will in turn
18 make the eye more prone to infection. I mean,
19 essentially, we don't want to develop a
20 nuclear bomb to kill all our bugs, because
21 we're going to have more problems.

22 A little bit on our two hour short-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 term staining and that it does not reliably
2 correlate with clinical biocompatibility.
3 Industry and academia are continually working
4 on short-term preclinical or clinical models
5 to predict long-term clinical success of
6 products.

7 When such tests, which has already
8 been mentioned and has garnered much
9 attention, has been a company sponsor to our
10 screen of product contact lens combination for
11 transient staining phenomena. Of course,
12 that's the Andrasko grid. It has also been
13 suggested by the FDA in a recent current task
14 document to maybe look at, you know, the two
15 hour time point for staining.

16 Of course, as already mentioned by
17 several, the test has been controversial,
18 however, as to its validity on long-term
19 relevance. There has not been a validated
20 clinical study correlating this data to long-
21 term toxicity or acceptability of any lens
22 care solution, contact lens combination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The other point I want to make is
2 that the degree of staining can vary
3 substantially depending on the time of the
4 observation and I will talk a little bit about
5 that later. In a more recent report of
6 staining grid in the IER Matrix, this matrix
7 indicated that two hour studies do not
8 reliably predict long-term solution, induced
9 corneal stain responses for the majority of
10 lens and solution combinations evaluated.

11 IER Matrix data certainly seems
12 more clinically relevant with the three month
13 wear time and represents a truer longer term
14 indication of the real-world clinical
15 situation.

16 To better illustrate this point, if
17 you look at the release kinetics and how they
18 influence the degree of staining at various
19 time points, we have two entities here,
20 disinfectant entities for multi-purpose
21 solution A and B.

22 If we look at the -- if we plot the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 release kinetics of these two MPS activities,
2 MPS B releasing early may exhibit high
3 staining effect at the 30 to 60 minute time
4 point. The other, MPS A, the blue line here,
5 releasing later may exhibit high staining at
6 two to four hours. So if I'm a company who
7 sells and promotes MPS B, I may record
8 represent staining at two to four hours or if
9 I settle for MPS A, I may record to present
10 staining at half hour, for example.

11 As a further extension of this work
12 on uptake/release kinetics, clinical testing
13 should include worst case lenses for release
14 of the primary active entity. In these
15 examples, for example, MPS A should be tested
16 with lens E and then MPS B should be tested
17 with lens A. And if you take this one step
18 further, microbiology testing should include
19 worst case lenses for uptake of the -- hold on
20 a second, I think, yes, microbiology testing
21 should include worst case lenses for uptake of
22 the primary active entity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In these examples, MPS A should be
2 tested with lens A and MPS B should be tested
3 with lens D. I may have skipped a slide
4 there. I apologize for that.

5 Most of you are reasonably familiar
6 with this and that evaporation can reduce
7 antimicrobial effectiveness. Partial
8 evaporation multi-purpose solutions may occur
9 in scenarios of topping off, reuse of solution
10 or inappropriate storage. This experiment
11 shows the ME of some solutions to be impacted
12 substantially with component concentration for
13 evaporation.

14 Notably, the dramatic reduction in
15 microbial efficacy of MPS X, which is, of
16 course, they recall a product. Similar
17 experiments have been reported by other groups
18 including Bausch & Lomb.

19 It seems certainly that in the last
20 year we are making progress in acanthamoeba
21 testing. A review is about to be published
22 and reiterated by Dr. Kilvington and others is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that non-standardized microbiology methods for
2 soft contact lens disinfection efficacy
3 against acanthamoeba continue to produce
4 highly variable data from study to study.

5 Recent publication "Knowing Contact
6 Lens" recommended that all lens care products
7 be tested for propensity to induce
8 acanthamoeba encystment. Finally, of course,
9 we should not forget that acanthamoeba is
10 ubiquitous and reducing the incidence of
11 acanthamoeba keratitis is multi-faceted.

12 It includes, of course,
13 implementation of standardized solution
14 disinfection requirements, as potentially
15 outlined by Dr. Kilvington, also education of
16 soft contact lens wearers in the hygienic wear
17 and care of the lenses. And there has been
18 some suggestion also by the Chicago group that
19 we should pay some attention to the quality of
20 our water.

21 Rubbing and rinsing is paramount to
22 successful and safe contact lens wear. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 slide shows data from a study conducted by Don
2 Ahearn's group at Georgia State in
3 collaboration with John Stulting and others.

4 And the picture is obvious that the
5 inclusion of the rub step resulted in
6 substantially reducing the level of fungal
7 contamination or colonization.

8 Taking this one step further, in
9 evaluation studying the importance of the rub
10 step in care regimens it is important that
11 real-world potential microorganism adheres to
12 simulators. A recent study at AMO shows that
13 if microorganisms are allowed time to interact
14 and adhere to contact lenses, the contribution
15 of and importance of the rub step is
16 magnified.

17 The contribution of the rub step
18 towards lowering the microbial load to -- a
19 part of disinfection was more evident when
20 lenses were soaked in an inoculant overnight,
21 a more realistic situation.

22 The concern of this result is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the current guidelines quote remarks of a "10
2 minute direct inoculant" incorrectly or
3 inappropriately favors testing of products
4 where rinse only or a rub is part of the
5 labeled regimen testing.

6 In summary, I just want to
7 emphasize really that in addition to industry,
8 academia, FDA, etcetera, and other bodies
9 working together, at the R&D level it's the
10 clinicians, the ocular surface biologists, the
11 microbiologists and the chemists, particularly
12 those uptake/release or control release
13 chemists need to work closely together to get
14 us to a better place. Thank you.

15 DR. BRESSLER: Thank you very much.

16 Now, our next speaker will be Dr. Mark
17 Willcox.

18 DR. WILLCOX: Thank you. And
19 thanks for the opportunity to talk to you.
20 Some of the research I'll be talking about
21 today has been sponsored by CIBA Vision or
22 AMO.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In my talk today, I'm looking at
2 aspects of contact lens disinfection solutions
3 and their interactions with the cornea,
4 especially solution and lens interactions.
5 I'll be talking particularly about solution
6 induced corneal staining and the apparent
7 association between solution induced corneal
8 staining and corneal inflammatory events.

9 And finally, I'll talk a little bit
10 about rub versus no-rub efficacy of solutions
11 and then come up with some recommendations or
12 conclusions.

13 You've heard a little bit about the
14 IER Matrix Study today. It's a series of
15 daily wear trials examining the performance of
16 contact lenses and disinfecting solutions. To
17 date, we have done seven lenses, mostly
18 silicone hydrogels, but we have also included
19 Acuvue 2 in this and six solution types. One
20 one step hydrogen peroxide solution, a PHMB
21 solution and two polyquad/Aldox solutions.

22 About 40 patients are in each lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solution combination and the duration of the
2 testing is for three months and we see the
3 patients at two weeks one month and three
4 months and the lenses are replaced either on a
5 two week or monthly basis, depending on the
6 lens type.

7 You have seen this as well before
8 in the previous speaker's talk. This is the
9 rate of what we call solution induced corneal
10 staining. Others have called toxicity
11 staining in our matrix study. As you can see,
12 it's highly dependent on solution and lens
13 combinations.

14 It appears that the hydrogen
15 peroxide, the one step hydrogen peroxide
16 solution causes the least solution induced
17 corneal staining, followed by PHMB solution
18 with the exception of when that is used with
19 Purevision lenses. And the polyquad Aldox
20 solutions are somewhat worse in producing this
21 solution induced corneal staining, again,
22 especially when used with the Purevision

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contact lenses.

2 You can also see that the lenses
3 are important, so Acuvue Advance produces the
4 least amount of corneal induced -- solution
5 induced corneal staining, rather. Another
6 lens and solution combinations produce
7 different amounts.

8 We have looked at that and examined
9 it in relation to the Andrasko two hour
10 staining grid and see that this is not as John
11 said last time, does not always predict the
12 three month clinical findings.

13 For example, there is a relatively
14 poorer performance with OPTI-FREE Express
15 using Acuvue Oasys or Purevision lenses
16 compared to the two hour data that is in the
17 Andrasko staining.

18 We have recently published this
19 toxicity staining, as we called it at that
20 stage, which is the same as solution induced
21 corneal staining, was associated with the
22 production of corneal inflammation. So in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this graph here or in this table here, you can
2 see that the risk of getting any infiltration,
3 the toxic staining group or the solution
4 induced corneal staining group was about three
5 times the risk of getting infiltrates in the
6 non-staining group.

7 And that was really driven by the
8 production of asymptomatic infiltrates, which
9 is about five to six times the risk if you've
10 got corneal staining. And there was no
11 association, in fact, with symptomatic
12 staining.

13 We have looked to see if our three
14 month data could, in fact, be looked at at
15 earlier times to see the same prediction, but
16 as you can see from this, actually, we do need
17 to run the studies for around about three
18 months to get any association between at least
19 the asymptomatic corneal infiltrates and
20 corneal staining.

21 And this is the data split at the
22 two week, one month to three month data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 points. And you can see that it is only at
2 the three month data point that there is about
3 the three times risk with a significant p-
4 value there.

5 Well, then if you like turn this on
6 its head in some ways and said okay, what are
7 the risk factors associated with corneal
8 inflammation in daily wear? And there are
9 several, but I just want to point out the main
10 one today, which is the fact that the use of
11 multi-purpose solutions, those Aldox, polyquad
12 or the PHMB shows about a 10 times greater
13 risk of producing corneal inflammation.
14 Usually, those asymptomatic infiltrates
15 compare to using hydrogen peroxide.

16 And interestingly, if we remove
17 those eyes that have got solution induced
18 corneal staining from that analysis, you can
19 see that we actually don't really affect the
20 level or the risk of getting the inflammatory
21 response. And we think that really points to
22 the fact that whilst it's clear that multi-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 purpose solutions produce both corneal
2 staining and inflammation, they are not
3 necessarily a causative relationship here.
4 And it may, in fact, be different aspects of
5 the solution, which produces both of those
6 factors.

7 Indeed, we have looked at corneal
8 staining to see if it's an associated risk
9 factor for microbial keratitis. And we have
10 conducted over a number of years clinical
11 trials in both Sydney and India and have had
12 about 10 microbial keratitis.

13 This graph shows for the first two
14 columns the level of corneal staining, the
15 controls and the corneal infiltrating event
16 group. And you can see that those overlap and
17 they are not significantly different. And
18 then the staining, the level of staining that
19 you can see with the microbial keratitis cases
20 was the level of staining actually visit prior
21 to them coming in with microbial keratitis.

22 And I think you can see from this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there is a wide range of corneal staining
2 here and it wouldn't be predictive of them
3 getting microbial keratitis.

4 So just on to the rub versus no-rub
5 or rub/rinse versus no-rub dichotomy here. We
6 have recently performed some studies in the
7 laboratory looking at two different MPS
8 solutions, OPTI-FREE RepleniSH and ReNu
9 MultiPlus with the so-called panel of
10 microorganisms that -- we have also included
11 acanthamoeba in this and used a five second
12 rub and a five second rinse. So a five second
13 rinse by itself or a five second rinse and a
14 five second rub.

15 For the MultiPlus stage I hope you
16 can see here that the top panel here is the
17 rinse only data and the bottom is the rub and
18 rinse data, but it's clear that rub and rinse
19 reduces greatly the level of bacteria or other
20 microorganisms that are present on the contact
21 lens compared with the rinse only.

22 Another obvious finding here, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fact, is that the lenses themselves are
2 different. We have used O2Optix here, Acuvue
3 Advance and Acuvue 2. And you can see in
4 general for the bacteria, Acuvue Advance
5 appears a lot less and has less effect of
6 rinse only or rub and rinse, whereas O2Optix
7 and Acuvue 2, for the bacteria at least,
8 adhere a lot more.

9 For the fungi, there is not such a
10 significant difference nor for the
11 acanthamoeba. But overall, as I said, what I
12 wanted to point out was the rub/rinse for
13 whether it is bacteria, a fungi, yeast or
14 acanthamoeba is much better at reducing the
15 number of microorganisms on the lens than the
16 rinse only.

17 Similarly, for RepleniSH, at least
18 for the candida albicans, you need a rub/rinse
19 step to reduce the level. But again, you've
20 got differences in the lenses and lens -- in
21 the lenses with the use of that solution.

22 So finally on recommendations, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 believe that the solution induced corneal
2 staining, whilst associated with infiltrates
3 in the cornea, that association is probably
4 not causative. We also think that the
5 reliance on solution induced corneal staining
6 as a measure of inflammation is therefore
7 somewhat questionable. And as others have
8 pointed out today, the clinical consequences
9 of this solution induced corneal staining are
10 really still not known and more research needs
11 to be done.

12 We also believe strongly that a
13 rub/rinse combination is much superior to no-
14 rub in disinfecting contact lenses and should
15 be recommended to all wearers. Thank you very
16 much.

17 DR. BRESSLER: Thank you again.
18 Our next speaker will be Dr. David Hansen.

19 DR. HANSEN: Thank you, Dr.
20 Bressler and Dr. Eydelman and distinguished
21 Panel Members. First of all, I am Dr. Dave
22 Hansen. I was a clinician, practicing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 optometrist in Des Moines, Iowa for over 30
2 years in a multi-specialty practice focusing
3 on contact lens and contact lens research. I
4 have experience working with almost all of the
5 companies.

6 I now have the distinct privilege
7 of working as the Director of Professional
8 Services at Advanced Medical Optics, AMO.
9 Hopefully today I'll present a clinician and
10 industry view of this very unique and very
11 welcome Panel discussion regarding contact
12 lens products.

13 I would like to focus specifically,
14 because of the time, on the science and
15 compliance of contact lens care and
16 specifically focus on one particular area and
17 that being the importance of rub and rinse.
18 It has been outlined here today, but I would
19 like to reiterate two of the focus studies
20 that have appeared in the peer reviewed
21 journals in the last year.

22 One of the things that was said in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Ahearn/Zhang report in Cornea last year
2 was that the failure to use a manual cleaning
3 disinfecting procedure may help to explain the
4 increase incidents of Fusarium keratitis. And
5 even went as far as to say that vigorous
6 rinsing with a multi-purpose solution without
7 the rub regimen is possibly the cause for some
8 of the fungal attachments.

9 Most clinicians know that the
10 research has demonstrated that with a rub,
11 controlled rub/rinse regimen, you can remove
12 almost 99 percent of the microbes and the
13 attachments for deposits on contact lenses.
14 Also presented in this particular report was
15 the rinsing of hydrogel contact lenses alone
16 was not significant in the rinse process,
17 therefore, advocating a rubbing step in the
18 multi-purpose solution disinfecting system
19 with hydrogel and possibly silicone hydrogel
20 lenses.

21 The recommendation by another study
22 in the Eye & Contact Lens journal also said

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that on reviewing all of the available data,
2 it would appear that failing to rub lenses as
3 a part of the cleaning process with concurrent
4 absence of adequate rinsing does not seem to
5 be prudent behavior. So this is a combination
6 of the system of rub and rinse, but is really
7 only a small portion of the entire compliance
8 system.

9 Many professional organizations, as
10 has been pointed out today, throughout the
11 entire world, the American Society of Cataract
12 and Refractive Surgeons, the American Academy
13 of Ophthalmology, the American Optometric
14 Association, the Academy of Optometry's Cornea
15 and Contact Lens Section, the British Contact
16 Lens Association and others throughout the
17 world have recommended a rub/rinse regimen in
18 this compliance system.

19 The rub/rinse is only one portion
20 of this six step process. The literature
21 clearly defines the six step process in
22 compliance: A clean environment for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient, this means in an area where the
2 patient is using their contact lenses,
3 applying, removing and storing their contact
4 lenses needs to be in a safe area.

5 Also, the proper hygiene, using
6 proper cleaning methods with a rubbing action
7 from the center of the contact lens out to the
8 outer edge and using non-lanolin soaps,
9 washing their hands appropriately and drying
10 with lint-free towels.

11 The rub/rinse regimen that we have
12 been talking about is also prior to taking --
13 to putting in a lens or applying a lens and
14 also after removal. The other parts of this
15 entire six steps program is the disinfection
16 of contact lenses and the replacement of
17 contact lens case is an integral part of this
18 entire compliance recommendation.

19 And the final is the documentation
20 of records within medical and optometric and
21 contact lens practitioners' offices and also
22 of triaging patients to other areas when they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 leave the practice for specific follow-up care
2 to allow the patient and the practitioner to
3 know exactly which contact lens material,
4 wearing schedule and care system is utilized.

5 AMO has been a proponent for
6 communication with clinicians, office staff,
7 professional societies, educational
8 institutions, including optometry schools,
9 residency programs and ophthalmology and
10 optitionary residency programs, as well as
11 their educational meetings. But the most
12 important part of this communication process
13 is with our patients.

14 We have had a very concerted,
15 initiated effort in the last year to reach
16 over 26 countries worldwide with a consumer
17 education program to again reinforce this
18 compliance system, which is known as the
19 Practitioner's Standard of Care.

20 These practice educational
21 materials, which we have instituted and
22 initiated, include placemats for practitioners

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to ensure that they know how to educate their
2 patients regarding these systems; patient
3 brochures which could be taken home, given to
4 the family members as well as the patients;
5 compliance contracts with the patient and the
6 practitioner to assure a better compliance
7 system; educational compliance posters and
8 educational materials for offices throughout
9 the country; and also an acrylic lens that
10 demonstrates in front of the patient how to
11 rub and rinse the debris and the particles off
12 of these lenses, which can give a better risk
13 value for the compliance system.

14 We also have included in our packet
15 of information patient reminder cards to
16 follow-up and the care systems don't stop with
17 leaving the office, but also need to be seen
18 on a regular basis.

19 As a result of this, we have also
20 taken a further step. We have led the
21 industry in trying a new packaging to assure
22 compliance in the rubbing and rinsing of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contact lenses, storage, replacing of contact
2 lenses on a scheduled basis. We recommend
3 that not only do contact lenses need to be
4 replaced as per the practitioner's
5 recommendations, whether it be a one day, two
6 day, three week, four week, monthly, whatever
7 is decided upon by the manufacturer and the
8 practitioner.

9 We have also recommended that the
10 cases which contain the contact lenses need to
11 be replaced on a systematic basis and have
12 provided a free case within our compliance
13 packs and also our retail packages. Also on
14 the labeling as shown here, we show again and
15 remind the patient how to rub, rinse and take
16 care of their lenses.

17 In summary, we believe that the
18 important three elements of compliance include
19 a rub/rinse regimen; the replacement of a
20 contact lens case on a scheduled method; we
21 believe in teaching general hygiene that
22 includes overall hygiene as well as hand and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eye and face hygiene; we have targeted groups
2 throughout the world, including professional
3 organizations, clinician staffs, educational
4 institutions and more importantly, patients
5 and family.

6 We believe that these instructions
7 need to be easy to be read in front of the
8 patient in the office. They need to be
9 dramatic. They need to be shown how to
10 manipulate their contact lenses and their care
11 systems and we believe that there should be
12 multiple channels for this information
13 throughout the world, including news letters,
14 patient brochures, websites and other media
15 avenues.

16 We believe truly that this is a
17 reinforcement message that has to be for new
18 contact lens wearers as well as previous ones.

19 We look forward to working with your agency
20 in following up with the care and changing of
21 behavior of contact lens patients, which we
22 believe can be changed like other behaviors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been changed throughout our health care
2 system. Thank you for the opportunity to
3 share a few things with you today. Thank you.

4 DR. BRESSLER: Thank you, Dr.
5 Hansen. Now, our next speaker will be Dr.
6 Francis Mah.

7 DR. MAH: Good morning, ladies and
8 gentlemen, Dr. Bressler, Dr. Eydelman,
9 distinguished Panel Members. I would like to
10 first commend the hearing to try to and
11 continue to improve patient safety in this
12 difficult topic.

13 Francis Mah coming from the
14 University of Pittsburgh. I'm in the
15 Department of Ophthalmology and the Department
16 of Pathology. I'm the Medical Director of the
17 Charles T. Campbell Ophthalmic Microbiology
18 Laboratory. We did help the CDC in both of
19 the contact lens associated outbreaks.

20 I'm here representing the American
21 Society of Cataract and Refractive Surgery and
22 its 10,000 members.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As far as disclosure, I have no
2 financial interest in any of the topics or
3 items discussed today or in my talk, but I
4 have received research support for Alcon
5 Laboratories and Allergan for non-contact lens
6 associated areas.

7 As previous speakers have
8 mentioned, I would like to first just review
9 some of the impact and then detail some of the
10 issues of the topic at hand, which is the
11 contact lens associated outbreaks and then
12 come up with some recommendations from our
13 society.

14 As far as the impact,
15 approximately, 34 million contact lens wearers
16 are in the United States. Annually, there
17 are, approximately, 30,000 cases of bacterial
18 ulcerative keratitis, compared to non-contact
19 lens wearers, there is an approximately 80-
20 fold increase risk to develop microbial
21 keratitis.

22 The risk of infection varies in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literature. Unfortunately, in the United
2 States, there is no prospective epidemiologic
3 data which we can grasp on to and so
4 therefore, the risk varies depending on
5 studies anywhere from 1 to 25 per 10,000
6 contact lens wearers.

7 As far as the impact, up to half of
8 contact lens-related keratitis best corrected
9 visual acuity ends up being 20/60 and a
10 quarter of patients have 20/200 or worse.
11 This again varies on the data which one
12 reviews in the peer reviewed literature.

13 Corneal opacification and
14 perforation from bacterial keratitis result
15 in, approximately, 330 corneal transplants a
16 year in North America.

17 As has been reviewed previously,
18 fungal keratitis on March 8, 2006, the CDC
19 received a report from an ophthalmologist in
20 New Jersey regarding three patients with
21 contact lens associated *Fusarium* keratitis.
22 During the preceding three months, this also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coincides with an outbreak of Fusarium
2 keratitis associated with contact lens wearing
3 in Singapore.

4 The FDA announced that in May of
5 2006 that there was a global recall because of
6 the association with the Fusarium keratitis
7 cases and ReNu with MoistureLoc contact lens
8 cleaning solution. And as has been previously
9 mentioned, the cases of Fusarium keratitis
10 have significantly decreased since then.

11 Acanthamoeba keratitis. Recently,
12 there was an increase in contact lens-related
13 cases. May 26, 2007, the CDC announced an
14 association with AMO Complete MoisturePlus
15 Contact Lens Solution. Because of this, our
16 task force, the Infectious Disease Task Force,
17 which I chair with these other members, came
18 up with recommendations for the 10,000 or so
19 ASCRS members. This was released in July of
20 2007 to the members. And these are some of
21 the recommendations associated with the
22 outbreak.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Regarding acanthamoeba keratitis,
2 remove and return any AMO Complete
3 MoisturePlus Solution from offices and places
4 of work. Interestingly, the CDC did do
5 follow-up phone conversations and many of the
6 patients who had been using the AMO Complete
7 MoisturePlus had continued to use it, despite
8 the numerous news and media sources, which had
9 explained the recall.

10 Advise all patients and especially
11 contact lens wearers of the association of
12 acanthamoeba with the contact lens solution,
13 AMO Complete MoisturePlus Solution, so they
14 may dispose of remaining solutions. Recommend
15 that all contact lens wearers rub their lenses
16 with an alternate cleaning solution and avoid
17 the no-rub technique advocated by
18 manufacturers. This has been repeatedly
19 stated today by other speakers.

20 Although suspicion should be kept
21 high due to the risk of acanthamoeba
22 keratitis, bacterial infectious keratitis is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 still the most common etiology and should
2 remain on the top of the list of differential
3 diagnosis for clinicians. Be on the lookout
4 for early signs of acanthamoeba keratitis and
5 use vital dyes, such as fluorescein, lissamine
6 green and rose bengal, some examples have been
7 shown today, to help differentiate these
8 lesions from those caused by herpes simplex
9 keratitis.

10 With cases of acute keratitis,
11 unless it is of an abnormal appearance, larger
12 than two millimeters in size, moderate to deep
13 stromal melting or is central or paracentral,
14 treatment should begin with intensive
15 application of a topical broad spectrum
16 antibiotic.

17 If the keratitis does not respond
18 or has any of the unusual characteristics,
19 corneal scrapings for vital stains and
20 cultures should be obtained to identify the
21 pathogen. Confocal microscopy can be an aid
22 in the diagnosis of acanthamoeba.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For any contact lens patient with a
2 suspected infection, contact lenses, cases and
3 cleaning solutions should be collected for
4 culturing as has been mentioned previously.

5 Steroids should not be used in
6 these cases and they should be used with
7 caution and preferably only if the organism
8 has been identified and if the patient is
9 clinically responding to treatment. Early
10 diagnosis is the key to improved outcome, so
11 consider earlier referral to a specialist than
12 usual, especially in these unusual cases.

13 Treatment involves extended and
14 frequent dosing of at least one of the
15 cytocidal biguanides (PHMB) and/or
16 chlorhexidine and at least one other agent,
17 such as neomycin, propamidine and/or
18 clotrimazole for weeks to months.

19 In addition, the treating clinician
20 may consider judicious use of oral
21 itraconazole as an adjunct to topical therapy.

22 Some issues which we brought up in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our white paper: (1) Which is obviously being
2 done today and will be continuing is to bring
3 together federal, clinical, research and
4 industry leaders to determine the scope and
5 the direction that we should move forward.
6 Approve or at least allow appropriate
7 treatment. Now, currently, there is no
8 approved treatment for acanthamoeba keratitis,
9 such as propamidine, chlorhexidine and/or
10 PHMB.

11 Mandate teaching of better hygiene,
12 including forbidding tap water rinse,
13 showering, bathing, swimming with contact
14 lenses by clinicians as well as industry and
15 the FDA. Recognize confocal as a valuable
16 tool in diagnosis. Unfortunately, this is not
17 widely available and it is a valuable tool in
18 diagnosis.

19 Establish adequate standards for
20 amoebic disinfection of contact lens care
21 solutions. Research should be done to combine
22 efforts in contact lens material technology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and solution advances, such that optimal
2 combinations can be determined and several of
3 those speakers have mentioned this fact as
4 well. Thank you very much.

5 DR. BRESSLER: Thank you again.
6 Next, Dr. William Benjamin will speak and then
7 Dr. Louise Sclafani will follow as the next
8 public speaker. Dr. Benjamin?

9 DR. BENJAMIN: Yes, I'm William J.
10 Benjamin from the University of Alabama at
11 Birmingham representing the American
12 Optometric Association's Commission on
13 Ophthalmic Standards. Dr. Louise Sclafani is
14 the Chair of the Cornea -- I mean, the Contact
15 Lens and Cornea Section of the AOA and will be
16 giving our talk today. Since we are both
17 representing the same overall organization, we
18 thought it would be better if we just combined
19 our two talks.

20 I come forward to disclose and the
21 first disclosure I would like to make is that
22 I am an expert witness for J&J Vistakon in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patent-related litigation in the U.S., Europe
2 and in Australia.

3 Secondly, I look over the room here
4 and I only see one company that has not, in
5 the past, at one time or another, not funded
6 my lab at the university. And so I don't
7 think that's a real conflict of interest, but
8 it could be considered so by some.

9 The only company that I haven't
10 seen here that did not fund me is Advanced
11 Medical Optics and I don't think that's one
12 reason for the acanthamoeba keratitis.

13 Dr. Sclafani will be giving our
14 talk today and I'll just go ahead and
15 introduce her right now and have her come up.

16 DR. BRESSLER: Thank you very much.

17 Dr. Sclafani?

18 DR. SCLAFANI: Good morning. I'm
19 an Associate Professor at the University of
20 Chicago Hospital and I have served on advisory
21 panels for Alcon, Allergan, AMO, Bausch &
22 Lomb, CIBA, Cooper and Vistakon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 On behalf of the American
2 Optometric Association, I would like to
3 identify several areas of concern to doctors
4 of optometry and the patients we serve. It
5 has become apparent that contact lenses and
6 care products are as important as the lenses
7 we prescribe. Thank you for realizing this
8 relationship and being responsive to our
9 needs, as demonstrated by this hearing today.

10 We have seen as a result in the
11 past two years solutions may be getting to the
12 shelves too soon. This may be due to pressure
13 on industry to develop novel solutions and go
14 to market before they have been adequately
15 tested. With over 30 million contact lens
16 wearers in the U.S. it seems that this would
17 be an area that could cause a true public
18 health issue and warrant attention.

19 We would like to suggest to the
20 FDA, industry, contact lens practitioners and
21 patients to look at strengthening the pre-
22 market testing of care regimens in three basic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 areas. Testing of solutions under more
2 realistic conditions; testing under known
3 conditions of noncompliance; and improved
4 labeling.

5 I will now address the potential
6 methods of strengthening the guidance document
7 by testing of care regimens under the above
8 conditions that may contribute to adverse
9 events. The use of the American Type Culture
10 Collection Isolates are limited and needs to
11 be updated as the strains have become overused
12 and new ones prevail.

13 Based on climate and resistance,
14 the common may become less. In fact, serratia
15 has become a more prevalent pathogen for
16 contact lens induced microbial keratitis in
17 countries such as Australia, where
18 historically it has been pseudomonas.

19 We know that acanthamoeba keratitis
20 may be uncommon, but given that more than 90
21 percent of the infections are in contact lens
22 wearers, to our patient population it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significant. We know it is difficult to kill
2 this form, and that there are many variations
3 in how these organisms are cultured.

4 We feel that a more standardized
5 testing process should be developed and used
6 by the FDA prior to approval, as well as to
7 compare efficacy between products, so that a
8 practitioner can make better judgments when
9 prescribing.

10 A product's viability should be
11 testing and reported under no-rub and no-rinse
12 conditions to assure greater antimicrobial
13 ability. It is known that most patients do
14 not rub and rinse, even when advised to do so.

15 The AOA firmly believes there are additional
16 benefits from rubbing, including the removal
17 of biofilms and deposits, especially with the
18 increased use of silicone lenses.

19 Although the AOA always recommends
20 a rub and rinse step for all care regimens,
21 testing with the lack of one should hold
22 solutions to a higher level of efficacy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The tidal activity should be tested
2 utilizing in vitro organic soil to better
3 simulate those conditions in which
4 microorganisms are more viable. As we have
5 seen with some recalled solution, the
6 gradients may have contributed to
7 proliferation of microorganisms because they
8 had a source of nutrition. This should be
9 part of standardized testing.

10 The creation of a biofilm on the
11 lens case and bottles also contributes to
12 contamination, increased virulence and reduced
13 bioavailability of the tidal agent. The
14 ability to stimulate these conditions and test
15 efficacies could be -- should become standard.

16 In addition, there are trends
17 emerging in antimicrobial technology using
18 silver, selenium and cationic peptides as
19 coatings, as composite materials in lenses and
20 cases and solution bottles. As these novel
21 ideas come to market, they should be retested
22 with the intended solution and labeling should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reflect if compatibility has been achieved.

2 Hence, we are hopeful that
3 microbial issues of today will be made
4 inconsequential by new technologies of the
5 future. It has become apparent that the
6 materials and solutions will be exposed to the
7 actual lens and case is another area of
8 concern.

9 Recent studies by industry and
10 practitioner experience has shown that
11 undesired effects from poor lens and solution
12 combinations can occur just as medications
13 have poor drug interactions. One area that
14 should be investigated is the amount of
15 solution that is being absorbed by the contact
16 lens or case, thereby reducing the
17 availability of the biocide.

18 Toxicity due to lens uptake and
19 changes in lens parameters are two other
20 possible effects. We are requesting testing
21 of preservative uptakes. This information
22 should be available to the practitioner, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they can make the appropriate choices.

2 The uptake has been linked to
3 corneal staining, yet there is no definitive
4 consensus as to what the clinical implication
5 of solution induced staining is. A number of
6 grids have come up and this thought process
7 has been paramount in getting practitioners to
8 really think about their prescribing patterns.

9 Doctors need to feel safe with
10 their prescribing practices and much of this
11 information is overwhelming yet needed. As we
12 continue to learn what the consequences are,
13 if any, of staining, we would then ask the FDA
14 to incorporate this into the guidance document
15 and then in a balanced and truthful manner,
16 the doctor could make solution choices and
17 follow through with their own clinical
18 findings.

19 The final area of whether a
20 solution is going to be effective is in the
21 hands of the user or patients. Practitioners
22 are fully aware that compliance is an area

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that can affect safe wearing. Although the
2 recent events have -- had solution failure as
3 a probable cause, improper use of solution and
4 poor compliance cannot be ignored.

5 Patients are overloaded with
6 information, but in the end, they want
7 shortcuts. They feel that the directions are
8 too time consuming. Often caution will guide
9 them and they will vary from improper usage.
10 They do want to follow the advice of their
11 doctor who should be an authority and guide
12 them through the disinfecting and handling
13 process, since this should be part of the
14 overall contact lens prescription.

15 One of our goals at the AOA is to
16 stress to our members the importance of giving
17 direction and taking control to emphasize the
18 significance and the overall picture. The
19 focal study showed that more and more
20 Americans are receiving their medical advice
21 from the Internet and the UK reports said the
22 general public reviews George Clooney as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical expert.

2 An astute observer may pose the
3 question, when approving a solution, should
4 the guideline be that known habits should fit
5 the product or should products be designed to
6 fit the habits? As eye care professionals, we
7 believe we should take control of these
8 issues. However, this challenge may be a slow
9 and incomplete process and therefore, we are
10 asking for the FDA to test the products under
11 those situations of intractable noncompliance,
12 such as poor hand washing and dirty cases.

13 Some recent work by Phil Morgan at
14 the University of Manchester may shed some
15 light on the potential for improvement. He
16 surveyed common habits of lens wearers,
17 relative risk associated with noncompliance as
18 evidenced by peer reviewed research and the
19 potential to modify behavior in these
20 subjects. These two factors ranked very high.

21 Studies by Stapleton and Chang have
22 shown that patients do not properly wash their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hands prior to insertion and are even less
2 likely upon removal. In 2001, Wayne showed a
3 four times increase in relative risk for
4 infection for those who did not clean cases
5 properly.

6 We are suggesting testing of
7 products under these circumstances. Although
8 the AOA gives cleaning instructions and
9 recommended discard dates for cases, this
10 havoc could be modified if cases were more
11 readily available as a result of a requirement
12 to have them accompany every full size bottle.

13 Now, knowing that patients often
14 find the easy way out by not closing the
15 bottles or cases properly, and by topping off
16 their solutions rather than refilling with
17 new, we pose that solutions be tested under
18 these such circumstances. Chang showed that
19 in the Fusarium issue, these conditions may
20 have facilitated the growth of biofilms and
21 promoted Fusarium inherence into the lens.

22 The FDA is responsible for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solutions to be safe and to perform well, but
2 also to ensure that similar labels meet
3 minimal standards of clinical performance.
4 The present guidance document for contact lens
5 products are from 1997.

6 I will now address some potential
7 methods of strengthening the guidance document
8 by improved labeling care regimens. Although
9 there is an expiration date on bottles of
10 solutions, the U.S. does not require a
11 mandatory discard date after opening. The
12 only requirement is that it has a preservative
13 or is packaged to reduce contamination.

14 This has been a vague and confusing
15 area for both patients and practitioners with
16 evaporation contamination and possibly reduced
17 efficacy occurring, the idea of discard dates
18 that are prominently labeled on the bottle.

19 The most efficient and consistent
20 method for improving compliance is by
21 standardization of labels, clearly marked on
22 the front label in large font should read at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the minimal or in some similar verbiage the
2 following: "Wash hands before handling
3 products and lenses. Do not top off solutions
4 and rub and rinse is recommended by your eye
5 care professional."

6 With so much emphasis on compliance
7 by both the professional organizations and
8 industry, this seems we should be able to
9 implement these guidelines. The simple
10 modification with universal messaging has the
11 largest capture rate with hopes of reducing
12 complications.

13 And finally, the past few years
14 have reminded both the public and the eye care
15 professional that contact lenses and solutions
16 we use are medical devices with both benefits
17 and consequences. Safe and effective products
18 are needed to prevent mild complications in
19 those that are devastating. The public trusts
20 that these solutions and lenses be thoroughly
21 tested before becoming available for use and
22 that the laws that protect them from getting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 harmed be fully enforced, such as the Fairness
2 to Consumer Contact Lens Law.

3 Post-market surveillance and ease
4 in reporting of complications should be made
5 mandatory to improve communication between
6 interested parties. When prescribed
7 appropriately, contact lenses greatly improve.

8 DR. BRESSLER: Thank you very much.

9 DR. SCLAFANI: Thank you.

10 DR. BRESSLER: Thank you very much.

11 Our next speaker then will be Dr. Charlotte
12 Joslin. Dr. Joslin?

13 DR. JOSLIN: Okay. Thank you very
14 much. I would like to take the opportunity to
15 thank Dr. Bressler, Dr. Eydelman and
16 distinguished Panel Members for the
17 opportunity to present today.

18 I will be presenting on behalf of
19 the American Academy of Optometry. I'm an
20 Assistant Professor at the University of
21 Illinois, Department of ophthalmology and a
22 PhD candidate in epidemiology at the School of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Public Health. I am also the primary author
2 on two publications in which, together with
3 colleagues, we detailed the Chicago
4 acanthamoeba keratitis outbreak over the last
5 two years.

6 I have no commercial disclosures.
7 Travel support was provided by the American
8 Academy of Optometry. And my support for the
9 research funding has been through a series of
10 private foundations and a career development
11 award through the National Eye Institute.

12 As detailed earlier today, the
13 recent reports of an increase in incidents of
14 Fusarium keratitis and acanthamoeba keratitis
15 have resulted in general sight threatening --
16 general concern regarding the incidence,
17 severity and prevention of these sight-
18 threatening conditions.

19 A withdrawal of the contact lens
20 solution multi-purpose, ReNu with MositureLoc
21 by Bausch & Lomb associated with 57 percent of
22 Fusarium keratitis cases by the Center for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Disease Control and Prevention reduced the
2 incidents of Fusarium keratitis.

3 Advanced Medical Optics also
4 voluntarily recalled Complete MoisturePlus as
5 a result of the association reported by the
6 CDC with culture confirmed acanthamoeba
7 keratitis, in which 58 percent of soft lens
8 wearers reported its use.

9 Unlike the MositureLoc recall,
10 however, which effectively decreased Fusarium
11 keratitis cases, acanthamoeba keratitis cases
12 continue. Although the magnitude of Fusarium
13 and acanthamoeba keratitis cases is low, the
14 respective causes are likely multi-factorial
15 and have not completely been eliminated,
16 although certain trends exists. The FDA can
17 be very influential in further reducing these
18 infections.

19 How did these problems occur?
20 There are likely many potential factors
21 involved, but two are probably contributory.
22 In vitro studies demonstrate that acanthamoeba

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are largely resistant to multi-purpose
2 systems. Cysts are notably more resistant
3 than trophozoite, although some solutions have
4 demonstrated efficacy against acanthamoeba.

5 Notably, hydrogen peroxide and
6 particularly two step system appears to be
7 more effective against cysts as are rigid gas
8 permeable solutions.

9 In addition, most efficacy testing
10 is performed with strains or methods that
11 attenuate organism virulence, such as
12 extensive laboratory organism cycling or
13 axenic acanthamoeba culture growth, which may
14 reflect -- which may not reflect the virulence
15 of wild type organisms or wild type strains
16 that are causing infection, which was shown
17 with the Fusarium keratitis outbreak.

18 Stressors which decrease organism
19 virulence may overstate the apparent solution
20 efficacy and this is particularly evident when
21 compared against real-life situations in which
22 solution effectiveness may be further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 challenged by patient noncompliance with other
2 factors.

3 Proper contact lens hygiene and
4 patient compliance are important. Yet,
5 failure to maintain adequate lens-related
6 hygiene both in healthy and microbial
7 keratitis cases has occurred historically and
8 continues to occur with noncompliance ranging
9 upwards of 80 percent in multiple studies.

10 Inadequate lens care hygiene was
11 contributory in recent *Fusarium* and
12 *acanthamoeba* keratitis outbreaks likely by
13 either failing to remove environmental
14 microbial lens contaminants or providing
15 milieu permissive to microbial growth.
16 Microbial growth were reduced by cidal
17 efficacy.

18 Although only overnight lens wear
19 and solution reuse have been identified as
20 risk factors for various types of contact
21 lens-related microbial keratitis, concerns
22 exist with other forms of noncompliance, such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as not to replacing lenses as prescribed,
2 inadequate cleaning, disinfection and
3 replacement of the storage case, failure to
4 wash hands before handling lenses and cases,
5 exposure of the lens or lens case to tap water
6 and elimination of the digital rubbing step.

7 Similarly, concerns exist that
8 passive verification of contact lens
9 prescriptions may result in a failed
10 opportunity to promote disease prevention for
11 patient education regarding appropriate
12 contact lens-related hygiene.

13 Additional lens-related hygiene
14 issues that increase the relative risk of
15 acanthamoeba keratitis include contact lens
16 exposure to contaminated water, whether
17 through recreational activities, such as
18 swimming or hot tub use or exposure to
19 contaminated tap water.

20 Historically, higher incidence
21 rates of acanthamoeba keratitis in the United
22 Kingdom, as we have heard today, have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 attributed to contaminated tap water and water
2 storage practices. Among acanthamoeba
3 keratitis patients, 30 percent of homes
4 sampled had acanthamoeba positive water
5 samples and acanthamoeba cultures, isolates
6 cultured from the tap water were genetically
7 identical to isolates of the cornea in six of
8 eight patients.

9 Contact lens solutions must protect
10 against common environmental causes of
11 microbial keratitis, with the exception of
12 MoistureLoc, multi-purpose system-based
13 disinfectants are unchanged since their
14 introduction in the 1990s. And their in vitro
15 efficacy against acanthamoeba has always been
16 poor.

17 Yet, aside from recent solution
18 recalls, multi-purpose systems have been
19 effective enough to largely prevent against
20 acanthamoeba keratitis outbreaks since their
21 introduction, even despite this general lack
22 of efficacy. This increase in acanthamoeba

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 keratitis cases has continued and is occurring
2 with all lens care products following Complete
3 MoisturePlus recall.

4 This continuation of cases together
5 with the general and multi-purpose solution
6 inefficacy, yet, the lack of historical
7 acanthamoeba keratitis cases suggests an
8 overall increase in organism load. An
9 increased environmental exposure from
10 acanthamoeba and biofilm overgrowth in water
11 distribution systems has been hypothesized
12 potentially resulting from changes in
13 disinfection practices to meet US
14 Environmental Protection Agency Disinfection
15 Byproduct Regulations.

16 Despite the strong association with
17 specific solutions leading to recalls in both
18 the Fusarium and acanthamoeba keratitis
19 outbreaks, inadequate patient compliance
20 appears contributory in both outbreaks.
21 Although inadequate patient compliance does
22 not fully account for recent outbreaks and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual hygiene practices are generally
2 constant over time at the population level,
3 breaches in contact lens hygiene decreased
4 solution effectiveness against microbial
5 organisms.

6 This fact cannot be overemphasized,
7 particularly in considering if or when an
8 environmental pressure will increase the
9 microbial load and also the ability of the in
10 vitro efficacy testing to predict solution
11 effectiveness and prevent against future
12 microbial keratitis outbreaks, regardless of
13 the magnitude of microbial exposure.

14 In vitro laboratory studies
15 demonstrate greater acanthamoeba adherence to
16 hydrogel versus rigid gas permeable lenses and
17 demonstrate a further increase in acanthamoeba
18 microbial adherence with first generation
19 silicone hydrogel lenses. Whether due to
20 surface treatments or increased wettability,
21 surface treatments increasing wettability or
22 increased lens oxygen permeability providing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 superior in vitro organism growth is unknown.

2 To date, however, there is minimal
3 epidemiologic evidence that is supportive for
4 either acanthamoeba keratitis or general
5 microbial keratitis.

6 The importance of rubbing and
7 rinsing or cleaning step following by rinsing
8 with the multi-purpose solution is highlighted
9 by the relative lack of efficacy of currently
10 available multi-purpose solution system
11 against acanthamoeba and also patient
12 compliance factors that decrease solution
13 effectiveness against all microorganisms.

14 Studies published over the past two
15 decades document the benefit of the rub and
16 the rinse step in the removal of bacteria,
17 fungi and acanthamoeba from the lens surface.

18 A full rubbing and rinsing and disinfection
19 regimen results in few surviving
20 microorganisms, which in comparison
21 elimination of the rubbing and rinsing steps
22 allows hundreds of thousands of microorganisms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to survive.

2 Rinsing alone is not adequate as
3 Fusarium and other organisms remain adherent
4 after rinsing alone.

5 It is evident as a result of the
6 information provided in this position
7 statement that contact lens multi-purpose
8 systems represent a contributing factor to the
9 recent outbreaks in microbial keratitis.
10 Therefore, the American Academy of Optometry
11 recommends that all multi-purpose systems be
12 required by the FDA to have a rub and rinse on
13 the label mandating that patients perform both
14 procedures after lens removal.

15 In addition, it is recommended that
16 solutions must also demonstrate efficacy
17 against acanthamoeba as a requirement for FDA-
18 approval.

19 And finally, development of an
20 ongoing surveillance system is recommended as
21 it will provide data that are useful in
22 identifying trends in microbial keratitis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disease patterns and helpful in more rapidly
2 identifying microbial keratitis outbreaks and
3 contributory factors. Thank you.

4 DR. BRESSLER: Thank you again.
5 Our next to last public speaker scheduled will
6 be Dr. Dwight Cavanagh.

7 DR. CAVANAGH: Thank you. I'm
8 Dwight Cavanagh. I'm the National Optometrist
9 Chair Professor and Vice Chair of
10 Ophthalmology at the University of Texas in
11 Dallas and Associate Dean for Clinical Affairs
12 at the Medical School. I'm also a member of
13 most of the organizations, in fact all of
14 them, that have testified today. And I speak
15 for none of them. I'm here on my own.

16 I have had an interest in this
17 topic for roughly 30 to 40 years. And as I
18 stand here today, I look at Don Ahearn and I
19 think it's deja vu all over again in 1986 and
20 we will come to that in a minute.

21 Now, the best data to solve
22 problems with is peer review data and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impeccably good journals is the best of all.
2 I want to share with you quickly some new data
3 that has just come out in a few months. You
4 may not be familiar with it. It appeared in
5 the journal Investigative Ophthalmology and
6 Visual Science. It's an NCT, National
7 Clinical Registered Trial. It's a randomized
8 doubly masked prospective and all the other
9 good things that go with that type of design.

10 Can I have the next slide, please?

11 The single center rate of 115 patients and
12 basically the question is this, we have had
13 the idea that daily wear is safer than
14 extended wear. Suppose we put a group of
15 patients on day one in daily wear for a year
16 and monitor them and then a group of patients
17 in 39 extended wear from day one and monitor
18 them?

19 And we do so in a group that has no
20 preserved care solutions, no MPS hydrogen
21 peroxide. Now, these studies I'm reporting
22 are in distinction to the 10 years of prior

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies or papers in ophthalmology and eye and
2 contact lens using preserved solutions.

3 So the idea here was to find out if
4 the preserved solutions had some effect on the
5 only thing that I know of or has been
6 published and accepted as a predictor for
7 future infection in the eye, which is
8 pseudomonas binding to shed cells exfoliated
9 non-invasively from the corneal surface with
10 lens wear.

11 Next slide, please. What we found
12 was in the multi-purpose solution groups,
13 there is a consistent rise over the first one
14 to three months followed by a trailing to
15 baseline. This is true for all studies over
16 the last 10 years. What is suggested for the
17 first time was there was an adaptation to lens
18 wear and since these p-Values were highly
19 significant, it was obvious that there was an
20 effect of length of lens wear that had not
21 been assessed by previous epidemiology
22 studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My colleague professor, Fiona
2 Stapleton, in Australia has paper in press now
3 in ophthalmology, a companion paper to one
4 from England of John Dart that establishes
5 that a p-Value of $p < .01$ that the under six
6 months wearers have more risk of developing
7 microbial keratitis than those over six
8 months.

9 Now, this effect is abrogated.
10 It's zero if you use non-preserved solutions.

11 In fact, it looks like over a year then in
12 non-preserved solution wear a/k/a hydrogen
13 peroxide, you do nothing to disturb the
14 surface of the cornea under the lens that
15 makes it want to bind more avidly to Fusarium,
16 which still remains the most common cause of
17 microbial keratitis.

18 So certainly, even in bacterial
19 systems, there is an effect on the corneal
20 surface altering bacterial binding with the
21 lens being worn that needs to be considered.

22 Now, next slide. Suppose we go to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a situation, we take 10 medical students, 20
2 medical students, 10 males and 10 females, and
3 we simply sign them randomly to lens care
4 solutions with no lens wear. They put in the
5 drops four times a day for a few days and you
6 simply rinse the corneas and ask if the cells
7 that come off the cornea bind pseudomonas more
8 avidly or not.

9 Well, we had thought the negative
10 control in this study would have been the
11 boric acid, but in point of fact, next slide,
12 this a was randomized masked study done very
13 tightly and I think you can see for yourself
14 that every single solution increases bacterial
15 binding to shed cells exfoliated from surfaces
16 that have been, shall we say, irritated by a
17 preservative, common preservatives used in
18 most of the lens solutions that have been
19 described.

20 Therefore, I think your smoking
21 gun, your missing link you are looking at it.

22 I think that it is not a good idea for a lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701