

UNITED STATES OF AMERICA  
DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH  
MEDICAL DEVICES ADVISORY COMMITTEE

+ + +

ORTHOPEDIC AND REHABILITATIVE DEVICES PANEL

+ + +

July 15, 2008  
8:00 a.m.

Hilton Washington DC North  
620 Perry Parkway  
Gaithersburg, MD 20877

PANEL MEMBERS:

JAY D. MABREY, M.D.  
Chair, Voting Member

STUART B. GOODMAN, M.D., Ph.D.  
Voting Member

PAUL C. McCORMICK, M.D., M.P.H.  
Voting Member

CONNIE F. WHITTINGTON, M.S.N., R.N., O.N.C.  
Consumer Representative

ELISABETH M. GEORGE  
Industry Representative

BRENT A. BLUMENSTEIN, Ph.D.  
Temporary Voting Member

SCOTT R. EVANS, Ph.D.  
Temporary Voting Member

EDWARD N. HANLEY, M.D.  
Temporary Voting Member

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

## PANEL MEMBERS (cont.)

TERESE T. HORLOCKER, M.D.  
Temporary Voting Member

RAJ D. RAO, M.D.  
Temporary Voting Member

CHRISTINE N. SANG, M.D., M.P.H.  
Temporary Voting Member

## FDA REPRESENTATIVES:

MARK MELKERSON  
Director, Division of General, Restorative,  
and Neurological Devices

ELIZABETH FRANK  
Acting Branch Chief, Orthopedic Spine Devices  
Branch

RONALD P. JEAN, Ph.D.  
Executive Secretary, Orthopaedic and  
Rehabilitation Devices Panel

## FDA PRESENTERS:

JISMI JOSE  
KYUNG (KEVIN) LEE, M.D.  
JIE (JACK) ZHOU  
JIPING CHEN, M.D., Ph.D., M.P.H.

## SPONSOR REPRESENTATIVES:

JOHN KRELLE  
ALFRED L. RHYNE, M.D.  
GERE S. dizEREGA, M.D.  
RON EHMSSEN, Sc.D.  
PAUL M. ARNOLD, M.D.  
RICHARD CHIACCHIERINI, Ph.D.  
SCOTT L. BLUMENTHAL, M.D.

## OPEN PUBLIC HEARING PARTICIPANTS:

REGINALD J. DAVIS, M.D.

RACHEL T. MCGUCKIAN, J.D.  
Orthopedic Surgical Manufacturers Association

PATRICK FRANSEN, M.D.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

## INDEX

	PAGE
CALL TO ORDER - Jay Mabrey, M.D.	6
Panel Introductions	7
General Announcements - Ronald Jean, Ph.D.	9
Appointment to Temporary Voting Status - Ronald Jean, Ph.D.	10
FDA Conflict of Interest Disclosure Statement - Ronald Jean, Ph.D.	11
Brief Announcement - Mark Melkerson	15
Update since July 17, 2007 Meeting - Elizabeth Frank	16
FIRST OPEN PUBLIC HEARING	19
OPEN COMMITTEE DISCUSSION	
Sponsor Presentation	
Introduction & Device Description - John Krelle	28
Unmet Clinical Need - Alfred Rhyne, M.D.	32
Pre-Clinical & Feasibility Study - Gere diZerega, M.D.	37
IDE Pivotal Clinical Study	
Study Design - Ron Ehmsen, Sc.D.	43
Safety - Paul Arnold, M.D.	48
Statistical Methods - Richard Chiacchierini, Ph.D.	55
Effectiveness - Scott Blumenthal, M.D.	67
Summary - John Krelle	77
Questions and Answer Session	81

	4
FDA Presentation	
Introduction - Jismi Jose	86
Summary of Non-Clinical/Pre-Clinical Studies - Jismi Jose	88
Clinical Study - Kyung (Kevin) Lee, M.D.	90
Statistical Overview - Jie (Jack) Zhou	99
Post-Approval Study - Jiping Chen, M.D., Ph.D., M.P.H.	114
Panel Questions	126
PANEL DELIBERATIONS	128
Sponsor's Response to Panel Questions	167
FDA QUESTIONS	
Question 1	219
Question 2	230
Question 3	234
Question 4	244
Question 5	252
Question 6	254
Question 7	261
Question 8	263
Question 9	266
Question 10	272
SECOND OPEN PUBLIC HEARING	286

## SUMMATIONS

FDA Comments (None)	291
Sponsor Comments - Gere diZerega, M.D.	291
Consumer Representative Comments - Connie F. Whittington, M.S.N., R.N., O.N.C.	303
Industry Representative Comments - Elisabeth M. George	303
Panel Voting Options for Pre-market Approval Applications - Ronald Jean, Ph.D.	305
PANEL DELIBERATIONS AND VOTE	308
ADJOURNMENT	325

M E E T I N G

(8:00 a.m.)

1  
2  
3 DR. MABREY: My name is Jay Mabrey. I'm  
4 the Chairperson of this Panel. I'm the Chief of  
5 Orthopedics at Baylor University Medical Center in  
6 Dallas, Texas. I specialize in total joint  
7 replacement. I have a background and fellowship  
8 training in biomechanics.

9 At this meeting, the Panel will make a  
10 recommendation to the Food and Drug Administration on  
11 the pre-market approval application, P-070023, for  
12 FzioMed Oxiplex/SP Gel. This device is intended to  
13 be used as a surgical adjuvant during posterior  
14 lumbar laminectomy, laminotomy, or discectomy to  
15 improve patient outcomes by reducing post-operative  
16 leg pain, back pain, and neurological symptoms.

17 If you haven't already done so, please sign  
18 the attendance sheets that are on the tables by the  
19 doors. If you wish to address this Panel during one  
20 of the open sessions, please provide your name to  
21 Ms. Ann Marie Williams at the registration table.

22 If you are presenting in any of the open  
23 public sessions today and have not previously  
24 provided an electronic copy of your presentation to  
25 FDA, please arrange to do so with Ms. Williams.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 I note, for the record, that the voting  
2 members present constitute a quorum, as required by  
3 21 C.F.R. Part 14. I would also like to add that the  
4 Panel participating in the meeting today has received  
5 training in FDA device law and regulations.

6 I would now like to ask our distinguished  
7 Panel members, who are generously giving their time  
8 to help the FDA in the matter being discussed today,  
9 and FDA staff seated at the table, to introduce  
10 themselves.

11 Please state your name, your area of  
12 expertise, your position, and your affiliation. And  
13 I'll start with Ms. George, to my right.

14 MS. GEORGE: My name is Elisabeth George.  
15 I'm the Vice President of Quality, Regulatory,  
16 Sustainability, and Product Security at Philips  
17 Healthcare.

18 MS. WHITTINGTON: My name is Connie  
19 Whittington. I'm the Vice President for Patient Care  
20 Services and Chief Nursing Officer at Piedmont  
21 Hospital in Atlanta. I have over 32 years experience  
22 in surgical intraoperative and postoperative care of  
23 the orthopedic patient, including spine and total  
24 joint.

25 DR. BLUMENSTEIN: I'm Brent Blumenstein.

1 I'm a biostatistician in private practice.

2 DR. SANG: I'm Christine Sang. I am an  
3 anesthesiologist and pain specialist at the Brigham  
4 Women's Hospital at Harvard Medical School in Boston,  
5 and I direct the Translational Pain Research Program  
6 at the Brigham.

7 DR. EVANS: Scott Evans, Department of  
8 Biostatistics, Harvard University.

9 DR. McCORMICK: Good morning. I'm Paul  
10 McCormick. I'm a professor of clinical neurosurgery  
11 at Columbia University, College of Physicians and  
12 Surgeons, in New York. I direct a spine surgery  
13 program up at Columbia. I also have a Master's in  
14 clinical outcomes and effectiveness.

15 DR. RAO: I'm Raj Rao. I'm an orthopedic  
16 surgeon specializing in spine surgery, professor of  
17 orthopedic surgery at the Medical College of  
18 Wisconsin, and I also run the spine surgery program.

19 DR. GOODMAN: Stuart Goodman. I'm a  
20 professor of orthopedic surgery at Stanford  
21 University and a member of the bioengineering and  
22 biomechanical engineering programs. My specialty is  
23 total joint replacement and adult reconstruction, and  
24 my research is in the area of orthopedic biomaterials  
25 and tissue engineering.



1 DR. HORLOCKER: I'm Terese Horlocker. I'm  
2 a professor of anesthesiology at Mayo Clinic,  
3 Rochester. I'm an anesthesiologist there and work in  
4 orthopedics.

5 DR. HANLEY: Edward Hanley. I'm a spine  
6 surgeon, Chair of the Department of Orthopedic  
7 Surgery at Carolina's Medical Center in Charlotte.

8 MR. MELKERSON: I'm Mark Melkerson. I'm  
9 the Division Director for the Division of General,  
10 Restorative, and Neurological Devices.

11 DR. MABREY: And thank you all for being  
12 here and giving so much of your time.

13 Now, Dr. Jean, if you would, introduce  
14 yourself and make your introductory remarks.

15 DR. JEAN: Good morning. First, I would  
16 like to make a few general announcements related to  
17 today's activities. Transcripts of today's meeting  
18 will be available from Free State Court Reporting.  
19 Their telephone number is (410) 974-0947.  
20 Information on purchasing videos of today's meeting  
21 can be found on the table outside of the meeting  
22 room.

23 Let me take the time to introduce our FDA  
24 press contact, Ms. Peper Long. Will you please  
25 stand?

1 I would like to remind everyone that  
2 members of the public and the press are not permitted  
3 in the Panel area at any time during the meeting,  
4 including breaks. If you are a reporter and wish to  
5 speak to FDA officials, please wait until after the  
6 Panel meeting has ended.

7 Finally, as a courtesy to those around you,  
8 please silence your electronic devices if you haven't  
9 already done so.

10 I will now read into the record three  
11 Agency statements prepared for this meeting, two  
12 appointment of temporary voting member statements,  
13 and the Conflict of Interest statement.

14 Pursuant to the authority granted under the  
15 Medical Devices Advisory Committee charter, dated  
16 October 27th, 1990, and as amended, August 18th,  
17 2006, I appoint Dr. Terese Horlocker and  
18 Dr. Christine Sang as temporary voting members on the  
19 Orthopaedic and Rehabilitation Devices Panel of the  
20 Medical Devices Advisory Committee for the duration  
21 of the meeting on July 15th, 2008.

22 For the record, Drs. Horlocker and Sang are  
23 consultants to the Anesthetic and Life Support Drugs  
24 Advisory Committee of the Center for Drug Evaluation  
25 and Research. They are special government employees

1 who have undergone the customary Conflict of Interest  
2 review and have reviewed the material to be  
3 considered at this meeting. Signed by Dr. Randy  
4 Lutter, Deputy Commissioner for Policy of the FDA, on  
5 June 24th, 2008.

6 Pursuant to the authority granted under the  
7 Medical Devices Advisory Committee Charter, dated  
8 October 27th, 1990, and amended August 18th, 2006, I  
9 appoint the following as voting members of the  
10 Orthopaedic and Rehabilitation Devices Panel for the  
11 duration of this meeting on July 15th, 2008:  
12 Dr. Blumenstein, Dr. Evans, Dr. Hanley, and Dr. Rao.

13 For the record, these people are special  
14 government employees and are consultants to this  
15 Panel or another panel under the Medical Devices  
16 Advisory Committee. They have undergone the  
17 customary conflict of interest review and have  
18 reviewed the material to be considered at this  
19 meeting. Signed by Dr. Dan Schultz, Director of the  
20 Center for Devices and Radiological Health, on June  
21 19th, 2008.

22 Now, I'll read the FDA Conflict of Interest  
23 Disclosure Statement.

24 The Food and Drug Administration is  
25 convening today's meeting of the Orthopedic and

1 Rehabilitation Devices Panel of the Medical Devices  
2 Advisory Committee under the authority of the Federal  
3 Advisory Committee Act of 1972. With the exception  
4 of the industry representative, all members and  
5 consultants of the Panel are special government  
6 employees or regular federal employees from other  
7 agencies and are subject to federal conflict of  
8 interest laws and regulations.

9           The following information on the status of  
10 this Panel's compliance with federal ethics and  
11 conflict of interest law is covered by, but not  
12 limited to, those found at 18 U.S.C., Section 208 and  
13 Section 712 of the federal Food, Drug and Cosmetic  
14 Act, are being provided to participants in today's  
15 meeting and to the public. FDA has determined that  
16 members and consultants of this Panel are in  
17 compliance with federal ethics and conflict of  
18 interest laws.

19           Under 18 U.S.C., Section 208, Congress has  
20 authorized FDA to grant waivers to special government  
21 employees who have potential financial conflicts of  
22 interest when it is determined that the Agency's need  
23 for a particular individual's services outweighs his  
24 or her potential financial conflict of interest.

25           Under Section 712 of the FD&C Act, Congress

1 has authorized FDA to grant waivers to special  
2 government employees and regular government employees  
3 with potential financial conflicts of interest.

4           Related to the discussions of today's  
5 meetings, members and consultants of this Panel who  
6 are special government employees have been screened  
7 for potential financial conflicts of interest of  
8 their own as well as those imputed to them, including  
9 those of their spouses or minor children and, for  
10 purposes of 18 U.S.C., Section 208, their employers.  
11 These interests may include investments, consulting,  
12 expert witness testimony, contracts, grants, CRADAs,  
13 teaching, speaking, writing, patents and royalties,  
14 and primary employment.

15           Today's agenda involves the discussion of a  
16 pre-market approval application for the Oxiplex/SP  
17 Gel, sponsored by FzioMed. This device is intended  
18 to be used as a surgical adjuvant during posterior  
19 lumbar laminectomy, laminotomy, or discectomy to  
20 improve patient outcomes by reducing postoperative  
21 leg pain, back pain, and neurological symptoms. This  
22 is a particular matters meeting during which specific  
23 matters related to the PMA will be discussed.

24           Based on the agenda for today's meeting and  
25 all financial interests reported by the Panel members

1 and consultants, no conflict of interest waivers have  
2 been issued in accordance with 18 U.S.C. Section 208  
3 and Section 712 of the FD&C Act.

4 A copy of this statement will be available  
5 for review at the registration table during this  
6 meeting and will be included as part of the official  
7 transcript.

8 Ms. Elisabeth George is serving as the  
9 Industry representative acting on behalf of all  
10 related industry and is employed by Philips Medical  
11 Systems.

12 We would like to remind members and  
13 consultants that if the discussions involve any other  
14 products or firms not already on the agenda for which  
15 a FDA participant has a personal or imputed financial  
16 interest, the participants need to exclude themselves  
17 from such involvement and their exclusion will be  
18 noted for the record.

19 FDA encourages all other participants to  
20 advise the Panel of any financial relationships that  
21 they may have with any firms at issue. Thank you.

22 I'll now turn the meeting back over to  
23 Dr. Mabrey.

24 DR. MABREY: There will be a brief  
25 presentation before the main agenda topic.

1 Ms. Elizabeth Frank will give us an orthopedics  
2 update since the July 17, 2007, panel meeting. But  
3 before Ms. Frank's presentation, Mr. Melkerson has a  
4 brief announcement.

5 MR. MELKERSON: Thank you, Chair.  
6 Ms. Connie Whittington, our consumer representative,  
7 and Ms. Pam Adams, our Panel industry rep, have been  
8 serving us quite well and will be rotating off of the  
9 Panel soon. Ms. Adams couldn't be in attendance  
10 today, but Ms. Elisabeth George has graciously agreed  
11 to act as industry rep today.

12 Both Ms. Whittington and Ms. Adams have  
13 been serving the Panel with distinction over the past  
14 few years. I'd like to take a moment to recognize  
15 their service, and, Ms. Whittington, I have a plaque  
16 for you to present from the FDA in recognition of  
17 your distinguished service, and I think I can speak  
18 for both the Panel, the FDA, and the public, saying,  
19 thank you very much.

20 (Applause.)

21 DR. MABREY: Thank you, Mr. Melkerson.  
22 And, again, thank you, Ms. Whittington, and our  
23 thanks to Ms. Adams as well.

24 Ms. Frank, you may now proceed with your  
25 FDA update presentation.

1 DR. MABREY: You should have gotten a Mac.  
2 (Laughter.)

3 MS. FRANK: Okay. Thank you for your  
4 patience. Good morning. My name is Elizabeth Frank,  
5 and I am the Acting Branch Chief of the Orthopedic  
6 Spine Devices Branch. This morning, I am going to  
7 give you an FDA update on what the Agency has been up  
8 to since our last Panel meeting of July 2007.

9 First, I will discuss the upcoming Panel  
10 meetings, approvals since the July 2007 Panel  
11 meeting, recent guidance documents that have been  
12 published, new FDA initiatives, including the CDRH  
13 Matrix, as well as staffing updates.

14 The August 2008 Orthopedics Advisory Panel  
15 has been cancelled. There are still tentative dates  
16 for October and December. Please watch the FR notice  
17 for more details.

18 Two PMAs have been approved since the July  
19 2007 Panel meeting. These include the Zimmer NexGen  
20 Mobile Bearing Knee and the Synthes Spine ProDisc-C  
21 Total Disc Replacement.

22 We've published several orthopedic-specific  
23 guidance documents, including one on articular  
24 cartilage, non-clinical testing for femoral stem  
25 prostheses and total artificial discs.



1           The Agency has published quite a few  
2 general guidance documents that apply to all of us.  
3 These include the Interactive Review Guidance, which  
4 outlines how the Agency and Industry should interact  
5 during pre-market review submissions. We've also  
6 published documents on medical device tracking, anti-  
7 microbials, PMA application time clocks, expedited  
8 review.

9           The Office of Compliance and Bioresearch  
10 Monitoring has published guidances on manufacturing  
11 and inspections. The Office of Surveillance and  
12 Biometrics has published a guidance on handling post-  
13 approval studies. The Office of Science and  
14 Engineering Laboratories has published a guidance on  
15 consensus standards recognition.

16           There are several new initiatives underway  
17 at the Agency. Many of these relate to the FDAAA  
18 legislation that was passed last fall. This renewed  
19 the Medical Device User Fees. It implemented quite a  
20 bit regarding pediatric medical devices, unique  
21 device identifiers, and clinical trial certification.

22           This spring, the Commissioner announced the  
23 Sentinel Initiative, which is a national integrated  
24 electronic system for monitoring medical product  
25 safety. It will pose a targeted query of electronic

1 health records, patient registry data, insurance  
2 claims data, and other large healthcare information  
3 databases in order to improve patient safety. We are  
4 also working on IT modernization.

5           One of the other new initiatives specific  
6 to the Center for Devices is the Matrix Structure.  
7 We have six offices within the Center for Devices,  
8 and we have now implemented a horizontal structure.  
9 The column on the left outlines the nine product-  
10 specific networks, as well as the four diagonal  
11 cross-cutting areas, which include science and  
12 regulatory and special interests.

13           The purpose of the Matrix is to create a  
14 culture of collaboration for information-sharing and  
15 informed decision-making that provides timely risk  
16 identification, risk analysis, and public health  
17 responses to issues. Network leaders were hired for  
18 each network to plan, manage, and direct total  
19 product life-cycle activities for the network. Each  
20 office has then identified a liaison to assist the  
21 network leaders in identifying important cost-cutting  
22 issues. The Matrix was officially open for business  
23 yesterday.

24           In specifics to orthopedics, Dan McGunagle  
25 (ph.) has been identified as the network leader.

1 Each office has identified a liaison that will be the  
2 contact point for Dan in identifying important  
3 issues. We've had several orthopedic staffing  
4 changes since last summer. These include adding  
5 quite a few members to both the Orthopedic Spine and  
6 Joint Devices Branches. We have had one departure.

7 As always, we need you. We are looking for  
8 new members for our advisory panel or full or part-  
9 time employment with the Agency. Thank you very much  
10 for your time.

11 DR. MABREY: Thank you, Ms. Frank. We'll  
12 now proceed to the open public hearing portion of the  
13 meeting.

14 Prior to the meeting, two people requested  
15 to speak in the open public hearing, one in the  
16 morning and one in the afternoon. We ask that you  
17 speak clearly into the microphone to allow the  
18 transcriptionist to provide an accurate record of  
19 this meeting. Please state your name and the nature  
20 of any financial interest you may have in this or  
21 another medical device company.

22 Dr. Jean will now read the Open Public  
23 Hearing Statement.

24 DR. JEAN: Both the Food and Drug  
25 Administration and the public believe in a

1 transparent process for information-gathering and  
2 decision-making. To ensure such transparency at the  
3 open public hearing session of the Advisory Committee  
4 meeting, FDA believes that it is important to  
5 understand the context of any individual's  
6 presentation. For this reason, FDA encourages you,  
7 the open public hearing or industry speaker, at the  
8 beginning of your written or oral statement, to  
9 advise the Committee of any financial relationship  
10 that you may have with the sponsor, its product, and  
11 if know, its direct competitors.

12           For example, this financial information may  
13 include the sponsor's payment of your travel, lodging  
14 or other expenses in connection with your attendance  
15 at the meeting. Likewise, FDA encourages you at the  
16 beginning of your statement to advise the Committee  
17 if you do not have any such financial relationships.  
18 If you choose not to address this issue of financial  
19 relationships at the beginning of your statement, it  
20 will not preclude you from speaking.

21           DR. MABREY: The first open public hearing  
22 presenter is Dr. Reginald Davis.

23           DR. DAVIS: Good morning, and thank you.  
24 My name is Reginald Davis. I'm a neurosurgeon in  
25 private practice in the greater Baltimore region. I

1 have no financial interests in FzioMed. I am not a  
2 consultant. I have no consulting agreements or  
3 arrangements, and other than reimbursement for travel  
4 here today, I have no financial involvements  
5 whatsoever. This is truly a report from the front  
6 lines.

7           By way of background, I, as do most  
8 neurosurgeons and spinal surgeons, perform many  
9 lumbar decompressive laminotomies and discectomy  
10 procedures. These are very successful operations for  
11 the most part with thousands of patients being  
12 treated annually. It is a universal procedure for  
13 all spine surgeons. However, a significant number of  
14 these patients, even those with successful outcomes,  
15 do report residual pain, sometimes significant enough  
16 to be a clinical problem and even require additional  
17 surgery.

18           There are many factors involved with this  
19 phenomenon. Acute factors include the toxicity of  
20 the disc material itself and inflammatory mediators  
21 precipitated by the act of surgery and the trauma  
22 therein. Chronic factors include adhesions and  
23 epidural fibroses. A potential ideal solution to  
24 this problem would be a barrier to these factors.

25           Many methods have been employed to mitigate

1 against these factors: copious irrigation, epidural  
2 steroids, even fat grafts and minimally invasive  
3 surgery. I myself utilize copious irrigation  
4 following a minimally invasive procedure, and then I  
5 marinate the fat graft and steroids in the hope to  
6 try and mitigate against this phenomenon. Any  
7 reduction in pain would be significant and welcome,  
8 and the patient's ability to perceive any less pain  
9 represents in my mind a clinically significant  
10 improvement. There exists a universally recognized  
11 yet unmet need in this arena.

12 An ideal design would be a mechanical  
13 barrier to protect the nerve roots. Of course, it  
14 must be safe. It should be inert. Ideally, it would  
15 be absorbable. The safety would have to be  
16 demonstrated with Class 1 data in a clinical trial.  
17 And significant reduction or improvement of residual  
18 back pain, leg pain, and neurological symptoms,  
19 again, demonstrated in Class 1 clinical trial, would  
20 be imperative for acceptance and widespread use.

21 A recent electronic poster presentation at  
22 Spine Week in Geneva suggested that Oxiplex may meet  
23 these criteria. In this very large cohort of  
24 prospective patients in a multi-center randomized  
25 trial, third-party blinded, it was demonstrated that

1 patients receiving Oxiplex in conjunction with their  
2 surgeries had reduction in residual back pain and leg  
3 pain and neurological symptoms.

4           On this basis, I draw the following  
5 conclusions. Oxiplex is an intuitive and logical  
6 solution to an unmet clinical need, which does have  
7 significance in treatment for my patients. Oxiplex  
8 is demonstrated safe and efficacious in reduction of  
9 residual back pain and leg pain and decompressive  
10 lumbar laminotomy and discectomy. I personally  
11 therefore would welcome the availability of Oxiplex  
12 for use as a mechanical barrier in lumbar  
13 decompressive procedures and in the armamentarium and  
14 treatment of my patients.

15           I thank you for your time and attention.

16           DR. MABREY: Thank you, Dr. Davis. Is  
17 there anyone else who would like to speak at this  
18 time? Yes?

19           MS. MCGUCKIAN: Good morning. My name is  
20 Rachel McGuckian, and I'm here today representing the  
21 Orthopedic Surgical Manufacturers Association, OSMA.  
22 OSMA is a trade association with over 30 member  
23 companies, and we welcome this opportunity to provide  
24 general comments at this panel meeting. Our comments  
25 should not be taken as an endorsement of the products

1 being discussed. We ask instead that our comments be  
2 considered and that these comments -- let you know  
3 that these comments represent the careful compilation  
4 of the member companies' views.

5           We were formed over 45 years ago, and we've  
6 worked cooperatively with the FDA, the American  
7 Academy of Orthopedic Surgeons, the American Society  
8 for Testing and Materials, and other professional  
9 medical societies and standard development bodies.  
10 This collaboration has helped to ensure that  
11 orthopedic medical products are safe, of uniform,  
12 high quality, and supplied sufficient to meet  
13 national needs.

14           Association membership currently includes  
15 over 30 companies who produce 85 percent of all  
16 orthopedic implants intended for clinical use in the  
17 U.S. We have a strong and vested interest in  
18 ensuring the ongoing availability of safe and  
19 effective medical devices.

20           Now, the FDA, of course, is responsible for  
21 protecting the American public from drugs, devices,  
22 food, and cosmetics that are either adulterated or  
23 unsafe or ineffective. However, the FDA has another  
24 role, to foster innovation.

25           The Orthopedic Devices Branch is fortunate

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947



1 to have available a staff of qualified reviewers,  
2 including a board-certified orthopedic surgeon, to  
3 evaluate the types of applications brought before  
4 this Panel. The role of the Panel is also important  
5 to the analysis of data in the manufacturer's  
6 application and to determine the availability of new  
7 and innovative products in the U.S. marketplace.

8           Those of you on the Panel have been  
9 selected based on your expertise and training, and  
10 you bring the view of practicing clinicians who treat  
11 patients with commercially available products.

12           OSMA is aware that you have received  
13 training from FDA on the law and regulation. We  
14 don't intend to repeat that information, but we did  
15 want to emphasize two points that may have a bearing  
16 on today's deliberations, the first being reasonable  
17 assurance of safety and effectiveness and the second,  
18 valid scientific evidence.

19           To the first point, there is reasonable  
20 assurance that a device is safe when it can be  
21 determined that the probable benefits outweigh the  
22 probable risks. Some important caveats associated  
23 with this oversimplified statement include valid  
24 scientific evidence and proper labeling and that  
25 safety data may be generated in the laboratory in

1 animals or in humans. There is reasonable assurance  
2 that a device is effective when it provides a  
3 clinically significant result. The regulation and  
4 law clearly state that the standard to be met is  
5 reasonable assurance, which is defined as moderate,  
6 fair, and inexpensive.

7           As to point two, valid scientific evidence,  
8 the regulation states that well-controlled  
9 investigations shall be the principal means to  
10 generate the data used in the effectiveness  
11 determination. The following principles are cited in  
12 the regulation as being recognized by the scientific  
13 community as essentials in a well-controlled  
14 investigation: a study protocol, method of selecting  
15 subjects, method of observation, and reporting of  
16 results, as well as a comparison of results with a  
17 control.

18           The Panel, of course, has an important job  
19 today to listen to the data presented by the Sponsor,  
20 evaluate FDA presentations, and make a recommendation  
21 about the approvability of the Sponsor's application.

22           We speak for many applicants when we ask  
23 for your careful consideration, but please keep in  
24 mind that the standard is a reasonable assurance  
25 balancing the benefits with the risk. The regulatory

1 standard is not proof beyond a shadow of a doubt.  
2 Please be thoughtful in weighing the evidence and  
3 remember that the standard is a reasonable assurance  
4 of safety and effectiveness and that there is a  
5 legally broad range of valid scientific evidence to  
6 support the determination.

7 OSMA thanks the FDA and the Panel for the  
8 opportunity to speak briefly to you today. Our  
9 association trusts that its comments are taken in the  
10 spirit offered, which is to help the FDA decide  
11 whether to make a new product available for use in  
12 the U.S. marketplace. OSMA members are present in  
13 the audience and are available to answer questions  
14 anytime throughout the deliberations today. Thank  
15 you.

16 DR. MABREY: Thank you. Is there anyone  
17 else who'd like to speak during the open public  
18 forum? Not seeing any hands, I would ask the FzioMed  
19 sponsor to begin their presentation.

20 We will now proceed to the sponsor  
21 presentation for the FzioMed Oxiplex/SP Gel. I would  
22 like to remind public observers at this meeting that  
23 while this meeting is open for public observation,  
24 public attendees may not participate except at the  
25 specific request of the Panel. The Sponsor will

1 introduce the speakers. You have 75 minutes.

2 MR. KRELLE: Good morning, and thank you  
3 for the opportunity to present to you today. I am  
4 John Krelle, the president and CEO of FzioMed, the  
5 Oxiplex study sponsor. I am an employee of the  
6 sponsor, who is covering my expenses for attending  
7 this meeting, and I hold financial interests in the  
8 company.

9 Joining me today are employees and  
10 consultants of the company, each of whom will make  
11 their own introductions as we proceed through this  
12 presentation to Panel.

13 We have the pleasure to present to you the  
14 results of over 11 years of research and clinical  
15 study.

16 The device that is the subject of today's  
17 meeting is Oxiplex intraoperative gel. Oxiplex is a  
18 clear, viscoelastic gel used to coat and protect  
19 neural tissues. Oxiplex is provided in a three mL  
20 syringe together with a flexible applicator for use  
21 in applying the gel. The device is ready-to-use. No  
22 mixing or assembly is required other than the  
23 attachment of the applicator to the syringe. The  
24 syringe and applicator are packaged together and  
25 terminally sterilized by steam. The device is for

1 single use only.

2 Oxiplex is comprised of sodium  
3 carboxymethylcellulose, or CMC, and polyethylene  
4 oxide, PEO, and sterile water for injection. Both  
5 are well-characterized polymers used extensively in  
6 implantable medical devices and pharmaceuticals.  
7 Oxiplex is bioabsorbable. The gel is non-pyrogenic  
8 and contains no animal or bacterial components.

9 The proposed indication for use is as a  
10 surgical adjuvant during posterior lumbar spine  
11 surgery to improve patient outcomes by reducing  
12 postoperative leg pain, back pain, and neurological  
13 symptoms. This indication is a first of a kind.

14 Our first priority is to demonstrate the  
15 safety of Oxiplex. The effectiveness of Oxiplex  
16 study was to reduce residual pain and symptoms that  
17 often remain after lumbar disc surgery. Now, what do  
18 I mean by residual pain?

19 As described in the literature and shown in  
20 this graph, patients typically experience substantial  
21 pain relief following lumbar surgery. Unfortunately,  
22 in many patients, residual pain can persist for  
23 months or years after surgery and continue to be a  
24 reason for patient dissatisfaction, physical and  
25 medical therapy, and even re-operation. This study

1 was designed to assess if Oxiplex could improve  
2 outcomes for these patients by reducing their  
3 residual pain.

4 This debilitating condition has been  
5 designated by the FDA as an unmet clinical need.  
6 This unmet need is the reason for our study.

7 Now, the nature of residual pain is a  
8 complex situation with multiple co-morbidities and  
9 factors that complicate its clinical presentation and  
10 measurement. Because of this complex situation, the  
11 approved analytical method in this study was a  
12 multivariate analysis. This is an accepted and  
13 commonly used approach for analyses of pain related  
14 to lumbar disc disorders. Multivariate analysis is  
15 therefore the most appropriate method for this study,  
16 was pre-specified in the statistical analysis plan,  
17 and unconditionally approved by the FDA.

18 This was a successful study. Across all  
19 effectiveness measures, all patients treated with  
20 Oxiplex had greater improvement than controls,  
21 demonstrating consistent clinical benefit from the  
22 use of Oxiplex. Multivariate analysis allowed  
23 identification of an important patient subgroup,  
24 which comprised the majority of subjects in the  
25 Oxiplex study. Those are patients with severe back

1 pain at baseline.

2           You will hear a lot about this large  
3 subgroup throughout our presentation. This is a  
4 challenging group of patients often dissatisfied with  
5 their outcome and for whom Oxiplex was particularly  
6 effective in improving their outcomes. The target  
7 for success in this superiority study was a 33  
8 percent difference in pain reduction between group,  
9 which was met in this subgroup.

10           Here is a snapshot of how Oxiplex reduced  
11 residual pain in the subgroup of patients with severe  
12 baseline back pain, just one of the positive outcome  
13 measures from this study.

14           As you can see, at the 6-month study end,  
15 the Oxiplex-treated patients benefited from an  
16 additional 35 percent reduction in residual leg pain,  
17 the primary effectiveness variable, compared to  
18 controls. Additionally, there was a 28 percent  
19 reduction in residual back pain, the secondary  
20 effectiveness variable, in Oxiplex subjects compared  
21 to controls. Both of these reductions in residual  
22 pain were statistically significant.

23           Outside of the United States, Oxiplex is  
24 currently approved for sale in 49 countries,  
25 including countries in the European Union, Asia, and

1 Australia, and, more recently, in Canada, using the  
2 same data package and method of analysis that you  
3 will see in this U.S. PMA. In fact, Oxiplex is  
4 approved for sale in more countries than Starbuck's  
5 is sold in.

6           Since introduction in 2002, over 100,000 --  
7 let me say that again -- 100,000 spine procedures  
8 have been performed using Oxiplex. We distribute  
9 Oxiplex primarily through Medtronic and DePuy Spine.  
10 Six years of international surveillance through these  
11 companies has already demonstrated product safety.

12           I would now like to turn the podium over to  
13 Dr. Al Rhyne, who will expand further on this unmet  
14 clinical need.

15           DR. RHYNE: Good morning. I am Dr. Al  
16 Rhyne, an orthopedic surgeon in practice in Charlotte  
17 at the Ortho Carolina Spine Center. I am not an  
18 employee of FzioMed. I participated as a clinical  
19 investor in the Oxiplex pivotal study. I am a paid  
20 consultant for the sponsor who is covering my  
21 expenses for attending the meeting, and I have a  
22 financial interest in the company.

23           Many studies have shown that lumbar  
24 discectomy is generally a successful procedure with  
25 the majority of the patients having significant



1 improvement and satisfaction with their outcomes.  
2 Success rates reported ranging from 60 to 90 percent.  
3 Nonetheless, this leaves a substantial number of  
4 patients, up to 40 percent in some reports, who  
5 experience residual or recurrent pain and symptoms  
6 following surgery with re-operation rates ranging  
7 from 5 to 20 percent.

8           These patients pose a considerable  
9 challenge to both surgeons and society, with  
10 increasing demands for medication and diagnosis, the  
11 need for additional treatment, additional cost to the  
12 overburdened healthcare system, and a loss of  
13 productivity. For a surgeon such as myself, having  
14 performed over 2,000 discectomies in my career, these  
15 patients can pose a substantial challenge to my  
16 practice. These are the patients most likely to  
17 benefit from Oxiplex.

18           The nature of residual leg and back pain  
19 and surgery is complex. Pain and symptoms are multi-  
20 factorial because there are numerous possible  
21 etiologies, such as incomplete decompression,  
22 irritation of the colliquina (ph.), recurrent  
23 herniation, nerve entrapment, inflammation, to name a  
24 few. In addition, pain and symptoms are multi-  
25 dimensional because each patient may present with

1 unique combinations of clinical symptoms.

2 Further confounding each case, there are  
3 numerous clinical factors that contribute to outcome,  
4 many of which are shown in the next slide. This is  
5 an example of the many co-morbidities or clinically  
6 relevant co-variants that were shown to be imported  
7 in the SPORT study recently published in JAMA. As is  
8 clearly evident, clinical pain from herniated disc  
9 herniation is complex and multi-factorial.

10 The literature identifies two categories of  
11 pain mechanisms associated with lumbar spine  
12 conditions. First, there are mechanical mechanisms.  
13 For example, physical compression of nerve roots,  
14 such as incomplete decompression, recurrent  
15 herniation, stenosis, or instability. Of course,  
16 this is only a partial list of the possible  
17 mechanical disorders responsible for leg pain.

18 Second, there are biological and  
19 biochemical mechanisms for nerve pain, including  
20 fibrin, cytokines, and pro-inflammatory mediators.

21 It is widely recognized that there is a  
22 cascade of biochemical events that are the components  
23 of surgical environment and normal healing process.  
24 These are the results of a variety of irritants that  
25 come into contact with the nerve root during and

1 after disc surgery and can sensitize neural tissue  
2 and lead to the inflammation and to the potential of  
3 adhesion formation.

4 Oxiplex was developed to act as a temporary  
5 mechanical barrier cutting the nerve root to provide  
6 physical separation of tissue and thereby reducing  
7 exposure to the irritants that may eventually lead to  
8 residual post-surgical pain and neurological symptoms  
9 and which often lead to re-operation.

10 Today, there is no FDA surgical adjuvant  
11 indicated for the reduction of pain in symptoms  
12 following lumbar spine surgery. Nonetheless,  
13 surgeons attempt to protect the nerve root for this  
14 purpose include padding the epidural space with fat  
15 grafts or using products not designed for this  
16 indication and/or being used off-label, such as  
17 sealants and dural regeneration sheets.

18 I would like to demonstrate the application  
19 and benefits of Oxiplex gel following the removal of  
20 disc -- desiccation of retraction lead to nerve root  
21 trauma, edema, and cellular injury. The epidural  
22 space fills with fibrin and cytokines, inflammatory  
23 mediators, such as TNF Alpha, which may lead to pain  
24 and adhesive formation.

25 Oxiplex is easy to assemble and can be

1 applied in direct visualization to the surgical site.  
2 The gel is easily applied. Because of its unique  
3 adherent properties, it remains around neural  
4 elements and provides a protective mechanical  
5 barrier.

6           Next is an intraoperative video of the gel  
7 application. First, let me provide some orientation.  
8 To the left is the patient's head. To the right, the  
9 thecal sac, and then the exiting nerve route, the  
10 shoulder of the exiting nerve root, the axle of the  
11 exiting nerve root, and the suction is being used as  
12 a retractor.

13           You can see that the nerve root will be  
14 elevated and that the place where the discectomy was  
15 performed, the gel is applied under the thecal sac,  
16 under the nerve root, and subsequently, it's applied  
17 to the lateral surface side and in posterior, or  
18 behind, the column.

19           Since the gel can be applied in just a few  
20 seconds, it does not prolong the surgical  
21 intervention. Post-application, it provides a  
22 barrier to the cascade of events that follows.

23           What would benefit my patients is a product  
24 that can be applied easily and rapidly directly to  
25 the nerve root, and to provide a safe mechanical

1 barrier that separates and protects epidural tissues.  
2 This would reduce surgical pain and neurological  
3 sequelae. I believe that you will see in this  
4 presentation that Oxiplex fulfills this important  
5 clinical need. I'll now turn the podium over to  
6 Dr. Gere diZerega.

7 DR. DiZEREGA: Thank you, Dr. Rhyne. I am  
8 Dr. Gere diZerega, Medical Director of FzioMed. I am  
9 not an employee of FzioMed. I am a paid consultant  
10 for the Sponsor, who is covering my expenses for  
11 attending this meeting, and I have financial  
12 interests in the company.

13 A large battery of pre-clinical tests were  
14 conducted to fulfill the appropriate ISO and USP  
15 standards. Biocompatibility was confirmed by these  
16 tests. Testing, including in vitro, acute, subacute,  
17 and chronic evaluations of the device, Oxiplex passed  
18 all of these tests.

19 One of the FDA's questions to Panel today  
20 is regard to carcinogenic toxicity and  
21 immunotoxicity. Carcinogenic toxicity studies were  
22 not performed for three reasons. One, none of the  
23 Oxiplex components have been shown to be  
24 carcinogenic. Oxiplex is cleared within 30 days in  
25 animal studies and Oxiplex is a single-use product.

1                   Immunotoxicity studies of delayed  
2 hypersensitivity and chronic inflammation were  
3 performed as part of the battery of tests described  
4 in the previous slide. There was no evidence of an  
5 immunologic response or immune suppression in any of  
6 the acute or chronic toxicity studies. In addition,  
7 Oxiplex has been used in routine spinal surgery in  
8 over 100,000 cases without a device-related adverse  
9 event.

10                   Specialized safety studies were also  
11 conducted. One study involved creation of an injury  
12 to the dura after laminotomy. We then euthanize the  
13 animals at a time when healing was not complete to  
14 enable the evaluation of delay or acceleration of  
15 healing of the dural injury. This study showed that  
16 Oxiplex does not affect normal healing of the dura or  
17 bone and does not elicit an inflammatory response.  
18 This was particularly important because dural nicks  
19 are a well-known complication of spine surgery.

20                   This is a histological representation of  
21 the results of that study. On the left-hand portion  
22 of the slide, histology from the control animals and  
23 the right, histology from the Oxiplex-treated  
24 animals. The laminotomies were performed in this  
25 area. The dural adhesion, in the case of the

1 control, is here, and you can see the dural membrane  
2 attaching to the adhesion. I'll describe this in a  
3 bit more detail.

4           In the controls, as you can see, the  
5 laminotomy site is partially healed. The dural  
6 membrane is involved in the dural adhesion to the  
7 laminotomy site. In contrast, when Oxiplex was used  
8 at the time of surgery to coat the dura, the dural  
9 membrane is separated from the laminotomy site, as  
10 you can see here, a nice intervening space, a free  
11 dura and free spinal cord.

12           This is a summary of the histological  
13 observations of dural healing and inflammation. The  
14 study groups are listed in the left-most column. The  
15 ends represent the number of histological sites. The  
16 total number of animals in each group is six. As you  
17 can see, at the control sites, the injury to the dura  
18 was healed in 73 percent of sections, with partial or  
19 no healing in the remaining sections.

20           Oxiplex did not delay healing, with 91  
21 percent of the sites fully healed at 14 days.  
22 Importantly, no inflammatory response was observed in  
23 controls in Oxiplex-treated sites. This study  
24 demonstrates that Oxiplex does not inhibit dural  
25 healing and is not associated with inflammation.

1           In summary, pre-clinical safety studies  
2 demonstrated that Oxiplex was biocompatible and non-  
3 inflammatory. Oxiplex allowed normal healing to  
4 occur. It did not inhibit dural healing, did not  
5 inhibit normal bone repair, and did not inhibit  
6 normal wound healing. These results show that  
7 Oxiplex was safe in the pre-clinical setting.

8           Now, I would like to review with you the  
9 results from our feasibility study. The objective of  
10 the clinical feasibility study was to evaluate safety  
11 and symptoms following single-level lumbar disc  
12 surgery.

13           The feasibility study was a prospective,  
14 randomized, single-blinded study conducted at four  
15 sites. The study was not powered to assess efficacy.  
16 Thirty-five subjects were enrolled in a 2 to 1 ratio.  
17 Twenty-three received surgery plus Oxiplex and twelve  
18 underwent surgery alone.

19           The measures in a feasibility study were a  
20 clinical evaluation performed in the surgeon's  
21 office, laboratory tests, and an MRI at 3 months for  
22 safety evaluation. Effectiveness was assessed by two  
23 quality-of-life instruments, the Oswestry Disability  
24 Questionnaire and the Lumbar Spine Outcomes  
25 Questionnaire.



1           The Lumbar Spine Outcomes Questionnaire,  
2 referred throughout today's presentation as the LSOQ,  
3 is a quality-of-life instrument developed and  
4 validated by a team of blue ribbon spine surgeons in  
5 response to an NIH request. This team was led by  
6 Professor Donlin Long, who was, at that time, chief  
7 of neurosurgery at the Johns Hopkins School of  
8 Medicine.

9           The LSOQ contains 56 questions which are  
10 directly answered by the study subject. The large  
11 validation studies were conducted through 24 months.  
12 The LSOQ measure of clinical significance is patient  
13 satisfaction. An important feature of the LSOQ is  
14 its ability to quantify outcomes on seven different  
15 clinical measures of importance to lumbar spine  
16 disorders, including leg pain, back pain, patient  
17 satisfaction, and disability days, which are  
18 effectiveness endpoints in the pivotal study.

19           Let's look at how the improvement in leg  
20 pain came out in this feasibility study. The top  
21 graph shows the results of leg pain improvement using  
22 the LSOQ. The bottom graph shows improvements in  
23 ODI. The results were determined at one, three, six,  
24 and twelve months. Results for the Oxiplex subjects  
25 are shown in blue; the controls in orange.

1           There are three points I would like to make  
2 with this slide. The first, Oxiplex subjects with  
3 severe pain at baseline had greater improvement than  
4 controls. The results at 6 months were similar to  
5 those at twelve, as you can see the differences here.

6           The LSOQ is more specific than the ODI in  
7 that it allows discrimination of individual variables  
8 such as leg pain. I'll show the safety results in a  
9 moment, but, first, let me show you how the LSOQ  
10 works. What does a change in LSOQ score, that is,  
11 the numerical score, mean from a clinical  
12 perspective, which is the view of the patient? This  
13 slide reproduces the actual questions in the LSOQ  
14 case report form that are used to calculate a  
15 subject's leg pain score. The terms patients use to  
16 describe their pain are listed from left to right,  
17 with one being no pain and six, excruciating. The  
18 answers are calculated to produce the leg pain  
19 scores.

20           Now, let's review some results. This set  
21 of questions yields a score of 77. This is the  
22 baseline or pre-op score of a typical subject in the  
23 feasibility study. Note: the subjects' responses to  
24 achieve this score included three instances of  
25 excruciating, one of horrible, and two of

1    discomforting.

2                   Now, let's see how the subjects' answers  
3    changed after surgery. Here is a score of 23, which  
4    is a typical subject's score 6 months following  
5    surgery. Look at the shift. There are no longer any  
6    answers of excruciating or horrible. Now, there are  
7    three of discomforting, one mild, and two, no pain.  
8    As you can see, the subjects' perception of leg pain  
9    has changed dramatically.

10                   To summarize the feasibility study results,  
11    there are no adverse events attributed to Oxiplex.  
12    There were no abnormal laboratory values, no abnormal  
13    findings on MRI, and no abnormal physical findings.  
14    Pain reduction was comparable at six and twelve  
15    months.

16                   In conclusions, because the results from  
17    the pilot study did not raise safety concerns, FDA  
18    allowed the Sponsor to initiate a new pivotal study  
19    to determine safety and efficacy of Oxiplex in a  
20    larger population.

21                   I will now turn the podium over to Dr. Ron  
22    Ehmsen to describe that study for you.

23                   DR. EHMSEN: Thanks, Gere. I'm Ron Ehmsen,  
24    Vice President of Clinical and Regulatory Affairs for  
25    FzioMed. I'm an employee of the company, who is

1 sponsoring my participation in this meeting and  
2 covering the expenses, and I hold financial interest  
3 in the company.

4 Our pivotal study was designed as a  
5 superiority study to determine the safety of Oxiplex  
6 and the effectiveness of Oxiplex beyond that of  
7 surgery alone. To achieve these objectives, we  
8 measured clinical outcomes, such as pain, symptoms,  
9 disability days, and overall patient satisfaction.

10 The primary safety variable was measured by  
11 the occurrence of adverse events, including surgical  
12 complications. Secondary safety variables included  
13 physical and neurological exams, re-operations, and  
14 concomitant therapies.

15 The primary effectiveness variable was the  
16 improvement in leg pain from baseline to post-  
17 surgical follow-ups at one, three, and 6 months. The  
18 secondary effectiveness variables were back pain, leg  
19 weakness, physical symptoms, patient satisfaction,  
20 disability days, and activities of daily living.  
21 These were also measured from baseline to follow-up  
22 visits at 1, 3, or 6 months.

23 All patients underwent single-level disc  
24 surgery at either the L4 or L5 or L5-S1 level.  
25 Patients were randomized intraoperatively to receive

1 either surgery alone or surgery plus Oxiplex  
2 treatment. This was a multi-center study involving  
3 29 sites. No more than 24 sites were active at any  
4 time.

5 This slide shows noteworthy inclusion  
6 criteria. All subjects were undergoing their first  
7 disc surgery for unilateral herniation of lumbar  
8 intervertebral disc associated with radiculopathy.  
9 Subjects ranged from 18 to 70 years of age.

10 Subjects were excluded if they had previous  
11 spine surgery at the lumbar level. In addition, no  
12 subject was to receive steroids within four weeks of  
13 surgery or during the procedure itself. No subject  
14 was party to a workmen's compensation claim or  
15 personal injury action.

16 Intraoperative exclusion included  
17 incidental dural entry, the need for multi-level  
18 involvement or contralateral exploration, and  
19 epidural fat placement.

20 This table shows all of the preoperative  
21 screening that took place in order to ensure that  
22 subjects satisfied every entry criteria and to  
23 establish baseline values.

24 Clinical effectiveness was defined by LSOQ  
25 score, physical examination, and neurological

1 assessment. LSOQ scores were measured at baseline,  
2 one, three, and 6 months post-op. Physical and  
3 neurological exams were carried out at baseline, one,  
4 and 6 months.

5           352 subjects were enrolled in the study,  
6 and these are known as the intent to treat, or ITT  
7 population. Randomization was well-balanced, with  
8 177 subjects in the Oxiplex group and 175 in the  
9 control group. The evaluable population included 339  
10 subjects who completed the end-of-study LSOQ any time  
11 after 6 months. 286 subjects completed the end-of-  
12 study LSOQ within the protocol-defined window. We  
13 refer to these as the completed cases, or CC  
14 population. These subjects all had endpoints within  
15 the protocol window. All populations were analyzed.

16           Following FzioMed's presentation, FDA will  
17 present. It is critical that you understand that  
18 what FDA calls the CC population is not our CC  
19 population. FDA's CC population is based on 334  
20 subjects. This population is comprised of the per-  
21 protocol CC population of 286 subjects, in other  
22 words, ours, plus 48 additional subjects who  
23 completed the end-of-study LSOQ out of protocol, some  
24 as far out as 52 weeks following surgery.

25           Attributing values collected beyond 28

1 weeks to a 6-month value is prone to error, as shown  
2 in the quotation below. After decompression surgery,  
3 pain outcomes should be measured within a maximum of  
4 6 months after surgery. Longer follow-ups may  
5 introduce error that influence patients' ratings of  
6 outcome, especially if based on self-ratings of  
7 current pain, disability, or quality of life.

8           This slide displays the demographic  
9 characteristics at baseline. Each characteristic is  
10 listed in the far left-hand column, and the next two  
11 columns list the mean values for both Oxiplex and  
12 control groups. The P-values at the far right  
13 confirm that the groups were well-balanced.

14           This slide shows procedural  
15 characteristics. The far left column lists each  
16 characteristic followed by values for Oxiplex,  
17 control, and the P-values in the right-hand column.  
18 Again, the groups were well-balanced.

19           Here are the baseline neurological  
20 examinations, which demonstrate that there was good  
21 balance between the Oxiplex and control groups. The  
22 complete table is part of your Panel pack.

23           Randomization occurred at the end of  
24 surgery after hemostasis had been achieved and when  
25 the surgeon was ready to close the operative site.

1 All subjects, clinical evaluators, and LSOQ  
2 interviewers were blinded to randomization assignment  
3 throughout the study and therefore maintaining the  
4 study blind. Randomization codes were provided only  
5 to the pivotal trial statistician after the trial was  
6 completed and the database had been locked.

7 Overall, this was a balanced, well-  
8 controlled clinical study.

9 I'll now turn the podium over to Dr. Paul  
10 Arnold.

11 DR. ARNOLD: Good morning. I am Dr. Paul  
12 Arnold, Professor of Neurosurgery at the University  
13 of Kansas Medical Center in Kansas City. I  
14 participated as a clinical investigator in the  
15 Oxiplex Pivotal Study. I am not an employee of  
16 FzioMed. In connection with attending this meeting,  
17 I am receiving consulting fees, and the Sponsor is  
18 covering my expenses. I have no financial interests  
19 in the company.

20 Before we talk about the safety results in  
21 the United States Pivotal Study, it's important to  
22 note the established safety of Oxiplex in routine  
23 clinical practice. Oxiplex is in its sixth year of  
24 real-world experience. It has been used in more than  
25 100,000 spine procedures outside of the United



1 States.

2           The Sponsor has an active safety  
3 surveillance program conducted together with DePuy  
4 and Medtronic. The monitoring program includes  
5 capture and analysis of feedback, field training,  
6 audits, and regular communications with surgeons  
7 using Oxiplex on a routine basis. Importantly,  
8 throughout these six years, there have been no  
9 reported adverse events attributed to Oxiplex.

10           Independent clinical trials reported in  
11 both peer review publications and in presentations at  
12 major medical meetings further support the strong  
13 safety profile of Oxiplex. With the exception of the  
14 feasibility studies reported by Kim, et al., none of  
15 these studies in this list were requested, financed,  
16 or managed by the Sponsor. When combined, this  
17 cohort of more than 1,300 patients provides real-  
18 world confirmation of the safety and efficacy of  
19 Oxiplex.

20           Assessment of clinical safety in this  
21 pivotal study was based on adverse events, laboratory  
22 tests, concomitant therapies, and physical and  
23 neurological examinations.

24           The primary safety variable was the  
25 occurrence of adverse events, including surgical

1 complications, as summarized in this table. All  
2 enrolled subjects, the intent-to-treat population,  
3 were included in the analysis of Oxiplex's safety.  
4 No subjects were withdrawn from the study due to an  
5 adverse event, and no events occurred that led to the  
6 discontinuation of the study. There were no adverse  
7 events that were considered to be definitely related  
8 to the device.

9 I would like to call your attention to the  
10 seven adverse events in five Oxiplex patients that  
11 were considered to be possibly or probably related to  
12 the device. These will be the subject of one of  
13 FDA's questions to Panel today.

14 These events are detailed in this table.  
15 The far-left column gives the relation to the device  
16 with a definite, probable, or possible. The columns  
17 across the top detail intensity, site, subject,  
18 onset, and duration. The last two columns give the  
19 P-value and noteworthy information. Note that there  
20 were no adverse events definitely related to the  
21 advice.

22 For both probable and possible, there were  
23 no statistical significance associated with these  
24 events. Please note that the three events that were  
25 noted as probably related to the device occurred in

1 the same subject on the day of surgery. As you can  
2 see, they are typical events that occur during  
3 routine post-operative recovery from back surgery and  
4 anesthesia. All of these events resolved  
5 spontaneously.

6 In addition, please note that the four  
7 events that were regarded as possibly related to the  
8 device occurred between four weeks and four months  
9 following surgery. Two of these events were likely  
10 to have been associated with other factors.

11 Specifically, difficulty with urination could have  
12 been related to the subject's prostatitis. The  
13 delayed wound healing in subject E08 could have been  
14 caused by a retained suture which required removal.

15 Low back pain is not uncommon following  
16 lumbar disc surgery, and this event occurred at five  
17 weeks after surgery and resolved spontaneously.  
18 Common condition requiring re-operation following  
19 lumbar disc surgery is recurrent herniated nucleus  
20 pulposus, or HNP.

21 We thought you might be interested in the  
22 overall distribution of disc-related disorders in the  
23 study, including HNPs. As you can see, the number of  
24 disc-related disorders in the control group  
25 outnumbered those in the Oxiplex group. Please note

1 that some of the HNPs in the control group could have  
2 progressed to re-operation.

3 This table detailed here and continued on  
4 the next slide lists all of the adverse events  
5 occurring in at least five percent of all subjects.  
6 The type of adverse event is listed in the left  
7 column followed to the right by the number and  
8 percent of Oxiplex and control subjects reporting  
9 that adverse event. On this slide, the adverse  
10 events are well-balanced between the groups.

11 Here is the second half of the same table.  
12 Note the differences in some neurological symptoms  
13 that were found, including myalgias, lower extremity  
14 pain, and hypoesthesias. In each of these, the  
15 adverse event occurred less frequently in Oxiplex  
16 than in the control group.

17 Although CSF leaks did not meet the 5  
18 percent cutoff for this table, I'd like to point out  
19 that there were no post-operative CSF leaks in the  
20 Oxiplex group throughout this study compared to two  
21 in the control group.

22 This table shows abnormal physical exams at  
23 one month following surgery. Note musculoskeletal  
24 exams, which include pain, decreased range of motion,  
25 muscle spasms, or decreased motor strength. The

1 difference in musculoskeletal favoring Oxiplex  
2 approached statistical significance at this time  
3 point. This trend continued at 6 months.

4 This table shows abnormal physical exams at  
5 6 months. Again, the difference in musculoskeletal  
6 favoring Oxiplex approached statistical significance.

7 During the course of this study, a  
8 difference in the incidents of re-operations was  
9 observed. A total of seven subjects required re-  
10 operation, all of them 3 months following the  
11 surgery. Of these seven, only one subject was an  
12 Oxiplex patient, and the other six were surgery-only  
13 controls.

14 This difference approached statistical  
15 significance. In my practice, this difference would  
16 be clinically significant.

17 Note that a 0.6 percent incidence of re-  
18 operations in the Oxiplex group is far lower than  
19 reported in the literature for a study of this size.

20 In summary, related to the primary safety  
21 variables, there were no significant differences in  
22 adverse events between the Oxiplex and control  
23 groups. There were no adverse events that led to  
24 discontinuation of any subject or discontinuation of  
25 the study. There were nor significant differences in

1 serious adverse events between Oxiplex and the  
2 control groups, and there were no serious adverse  
3 events related to Oxiplex.

4           Related to the secondary safety variables,  
5 there were no significant differences in laboratory  
6 values or vital signs between the Oxiplex and control  
7 groups. There was good balance between concomitant  
8 therapies received by both groups. However, there  
9 were fewer neurological complications in the Oxiplex  
10 group compared to the control group. There were  
11 fewer musculoskeletal abnormalities in the Oxiplex  
12 group compared to the control group. There were no  
13 post-operative CSF leaks in Oxiplex subjects compared  
14 to two in the control group, and there were fewer  
15 re-operations in the Oxiplex group compared to the  
16 controls, one versus six.

17           In conclusion, across all measures, there  
18 were no safety issues in Oxiplex subjects and Oxiplex  
19 provided additional safety benefits versus surgery  
20 alone. The safety results from this pivotal study  
21 combined with over 100,000 procedures performed  
22 outside the United States demonstrate reasonable  
23 assurance that Oxiplex is safe for its intended use.

24           I will now turn the podium over to  
25 Dr. Richard Chiacchierini.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 DR. CHIACCHIERINI: Good morning,  
2 distinguished Panel members. I am Dr. Richard  
3 Chiacchierini, president of R.P. Chiacchierini and  
4 Associates. I conducted the statistical analysis on  
5 the clinical data derived from this pivotal study.  
6 The Sponsor is covering my expenses for attending  
7 this meeting today, and I receive fees for my  
8 consulting services. I am not an employee of the  
9 company, and I hold no financial interest.

10 The primary hypothesis to be tested was  
11 that considering co-morbidities, Oxiplex-treated  
12 subjects would have a greater improvement in leg pain  
13 from baseline than control subjects. Data was  
14 collected at baseline, one, three, and 6 months. The  
15 primary analysis was a multivariate longitudinal  
16 analysis using generalized estimating equations, GEE,  
17 as approved by the FDA.

18 The initial limited list of possible  
19 covariates offered by the Sponsor was augmented by  
20 FDA to include all clinically relevant baseline  
21 variables. This resulted in 48 covariates and 96  
22 main effects and covariates -- main effects and  
23 interactions, many of which were correlated.

24 The large number of possible terms in the  
25 model necessitated a thorough screening process to

1 eliminate covariates with little or no correlation or  
2 interaction with the endpoint. Screening potential  
3 covariates by the method of Hosmer and Lemeshow  
4 provides protection against model-overspecification.  
5 This means that the final model in this study  
6 provided estimates that are reliable.

7           In this slide, there is a summary of the  
8 baseline LSOQ values. Dr. Ehmsen gave you the other  
9 baseline variables for the other -- for the medical  
10 history and demographics. Note in this table that  
11 the Oxiplex and control groups are balanced. Of  
12 special note, I would like to add that the  
13 correlation between baseline back pain and baseline  
14 leg pain was .55.

15           You will see two analyses presented today.  
16 First, the planned multivariate analysis, which is  
17 the method approved by the FDA to assess  
18 effectiveness in this study and is the focus of  
19 FzioMed's presentation.

20           Second, you will see a post-hoc univariate  
21 analysis performed on a different population that is  
22 the focus of FDA's presentation and is similar to the  
23 data given here for the ITT population.

24           Neither univariate analysis is appropriate  
25 because it does not take into account the multiple



1 baseline co-morbidities that relate to pain following  
2 lumbar disc surgery. Pain related to disc herniation  
3 is complex and multifactorial, as we have heard from  
4 Dr. Rhyne, and therefore multivariate analysis is the  
5 clinically relevant approach.

6           In this slide we have the key analytical  
7 steps specified in the statistical analysis plan.  
8 After imputation for missing values and univariate  
9 screening, the primary multivariate analysis was  
10 performed on the ITT population. Surviving screening  
11 with a cutoff value of .15 were eight main effects  
12 and eleven interactions with treatment. Six of these  
13 were from the baseline LSOQ covariates.

14           Including the interactions in the  
15 competition for the final model required adding 11  
16 main effects for those covariates. The parsimonious  
17 multivariate model obtained by pre-specified manual  
18 backward elimination in which the term with the  
19 highest P-value is removed at each step, consistent  
20 with the hierarchal modeling principles, provides  
21 identified several treatment interactions.

22           In a widely used basic statistics text by  
23 Fisher and van Belle, treatment interactions should  
24 be further analyzed to identify clinically important  
25 subgroups and interactions. The interaction analysis

1 of subgroups provides valid protection against the  
2 post-hoc charge in such analyses.

3           Categorical interactions have self-defined  
4 subgroups. Quantitative interactions were  
5 interpreted by regression analysis by treatment  
6 group. Subgroups were then formed overall and at  
7 specific time points, and, finally, a sensitivity  
8 analysis was performed to demonstrate the robustness  
9 of the cutoff.

10           This table presents the results of the  
11 multivariate GEE analysis in the ITT population for  
12 the primary effectiveness variable, leg pain. The  
13 variables that remained in the final model are listed  
14 in the left-hand column, with the P-values in the far  
15 right. Five main effects and six interactions with  
16 treatment with their main effects were significant in  
17 the final parsimonious model. We would be happy to  
18 answer questions about the main effects, but the  
19 remainder of our presentation will be devoted to the  
20 interactions.

21           The six treatment by covariate interaction  
22 that remain in the final model are further detailed  
23 on the next slide, but before we go there, the  
24 subject of one of FDA's questions to the Panel today  
25 will be about a site-by-treatment interaction.

1 Overall, there were differences in the improvement in  
2 leg pain between sites, as is seen by the  
3 statistically significant study site main effect.  
4 However, there was no site-by-treatment interaction.  
5 In fact, the site-by-treatment interaction term did  
6 not even survive screening. It had a P-value of 0.64  
7 and our cutoff was 0.15.

8           This means that, overall, differences  
9 between Oxiplex and control subjects were independent  
10 of differences between sites and therefore do not  
11 impact on the interpretation of the study results.

12           A variable that is an interaction with  
13 treatment means that the clinical response to Oxiplex  
14 is dependent on the value or level of that variable.  
15 The clinical response to Oxiplex relative to control  
16 was found to interact with, in other words, depend on  
17 six variables, five categorical and one quantitative.

18           In four of the five categorical  
19 interactions for the majority of patients, those with  
20 normal histories, Oxiplex subject had a greater  
21 response than controls. And for the minority, those  
22 with abnormal histories, control subjects had the  
23 greater improvement. The only exception to this was  
24 for the left L5, in which the patients with normal  
25 history favored neither Oxiplex or control, but the

1 abnormal patients favored Oxiplex. The small number  
2 of subjects with abnormal histories, and many of  
3 these interact in many of these variables,  
4 covariates, make these interactions difficult to  
5 interpret.

6           The quantitative variable, baseline back  
7 pain, requires a more extensive analysis for  
8 interpretation. The substantial evidence of  
9 effectiveness is provided by this significant  
10 interaction between treatment and baseline back pain,  
11 with a P-value of 0.0113. To provide a context for  
12 the clinical interpretation, a regression analysis of  
13 the change in leg pain by baseline back pain for each  
14 treatment group was performed. This analysis does  
15 not involve any subgrouping and is intended to  
16 compare the rates of improvement in leg pain as a  
17 function of baseline back pain.

18           This table shows the regression analysis  
19 which was computed for all subjects averaged over all  
20 visits in the top section. The P-values reported  
21 here and elsewhere in the analyses investigating  
22 interactions are used to indicate a trend towards  
23 statistical significance and should not be  
24 interpreted literally because these comparisons were  
25 not pre-specified.

1           There is a strong statistically significant  
2 relationship between the improvement in leg pain and  
3 baseline back pain noted by the very small P-values  
4 for the slope, but this change was significantly  
5 greater in the Oxiplex group, with a P-value of  
6 0.0206.

7           The slope tells us that the amount of leg  
8 pain improvement in the Oxiplex group is nearly twice  
9 that of the control group for each unit increase in  
10 baseline back pain.

11           The analysis at the 6-month visit for the  
12 ITT and CC population had a similar result. However,  
13 the amount of leg pain improvement from Oxiplex  
14 patients is nearly three times that of the control  
15 group. This demonstrates that for all subjects  
16 across all visits, as well as at 6 months, the  
17 greater the baseline back pain, the greater the  
18 improvement in leg pain of Oxiplex patients relative  
19 to the control.

20           Another way of presenting this interaction  
21 is to plot the improvement of leg pain against levels  
22 of back pain. A separation of the study population  
23 into two subgroups was done at the median baseline  
24 back pain value of 63 and is shown here. It should  
25 be noted that due to the extensive number of ties at

1 63, the actual cut of the data was about 45 percent  
2 lower than 63 and 55 percent with values of 63 or  
3 more. We refer to those with baseline back pain at  
4 or above 63 as having severe back pain and those with  
5 back pain less than 63 as having less severe back  
6 pain.

7           The Sponsor felt it was important to  
8 evaluate the robustness of using the median of 63  
9 with statistical analysis. Therefore, a sensitivity  
10 analysis was performed and this is shown on the next  
11 slide.

12           This table represents the results of that  
13 sensitivity analysis. Baseline back pain scores are  
14 on the left-hand column, and the respective P-value  
15 is in the far right. That is, the cutoff would be in  
16 the left-hand column.

17           To see how robust the median score of 63  
18 would be to statistical significance, we started at  
19 63 and then dropped the value by one point to  
20 determine whether or not statistical significance was  
21 maintained. Note that a baseline back pain score of  
22 58 still yields a statistically significant result  
23 with a P-value below .05, and this represents  
24 approximately 62 percent of the population above 58.  
25 This shows that selecting the median value of 63 as

1 the point of distinction is robust in demonstrating  
2 the superiority of Oxiplex versus control.

3           This slide shows the improvement in leg  
4 pain at each visit, one, three, and 6 months, for the  
5 Oxiplex patients, shown by the blue line, and for the  
6 control subjects, shown by the orange line,  
7 subgrouped by baseline back pain. Subjects with  
8 severe pain are shown in the top graph and those with  
9 less severe pain are shown in the bottom graph. In  
10 the less severe subgroup, although the controls had  
11 slightly better responses at each time point, the  
12 results were very similar across both groups with no  
13 statistical significance.

14           Now, let's consider the top graph. In  
15 subjects with severe baseline back pain, Oxiplex  
16 provided a greater mean improvement at all time  
17 points. Furthermore, this difference of improvement  
18 increased over time and approaches statistical  
19 significance at 6 months.

20           Now, let us look at the improvement in leg  
21 pain over time in the CC population. In the top  
22 graph, we've reproduced the severe back pain results  
23 for the ITT population. In the bottom graph are the  
24 results for the completed cases population. Again,  
25 the increase in leg pain improvement over Oxiplex

1 subjects increased over time and is statistically  
2 significant at 6 months. As you can see, the result  
3 of the ITT population are confirmed in the CC  
4 population. You will see more clinical detail on the  
5 CC population later in our presentation.

6 Now, let us move to our secondary  
7 endpoints. The change in back pain from baseline to  
8 6 months was analyzed in exactly the same way as leg  
9 pain. Screening resulted in 9 main effects and 12  
10 interactions, and, of course, we have to include the  
11 12 main effects for these interactions.

12 This slide shows the final model with  
13 interactions and main effects that resulted from the  
14 multivariate analysis. There were 4 main effects and  
15 3 interactions with main effects that remain  
16 statistically significant in the final model. As was  
17 done with leg pain, we will focus on the  
18 interactions, which are further detailed on the next  
19 slide.

20 For back pain, the treatment effect was  
21 found to interact with three variables. Two  
22 categorical interactions were found that were similar  
23 to those identified for leg pain with similar  
24 interpretations. Again, for the quantitative  
25 variable, baseline back pain, more extensive analysis



1 is needed.

2           The evidence for effectiveness, for back  
3 pain improvement, is provided by the significant  
4 interaction between treatment and baseline back pain  
5 with a P-value of 0.0007.

6           To begin to find a clinical interpretation  
7 of this interaction, a regression analysis was done  
8 as was done with leg pain, and the results were  
9 consistent with the leg pain model. There is a  
10 statistically significant relationship between  
11 baseline back pain and the improvement in back pain,  
12 which is significantly greater than the Oxiplex  
13 group.

14           Again, the improvement in back pain was  
15 plotted against levels of baseline back pain,  
16 separating the group at a median pain score of 63. A  
17 prominent treatment effect of Oxiplex in reducing  
18 back pain was found in subjects with severe back pain  
19 at baseline. Once again, a sensitivity analysis was  
20 performed. It demonstrated that a baseline back pain  
21 score of 54, representing 70 percent to the right of  
22 that number, yields a statistic-significant value  
23 below .05.

24           These data once again show that selecting  
25 the median value of 63 as a point of distinction is

1 conservative.

2           In this slide, we see the improvement of  
3 back pain over time as was done with leg. The  
4 subgroup with less severe back pain is shown in the  
5 top -- with severe back pain is shown in the top and  
6 less severe in the bottom.

7           In the subgroup with less severe back pain,  
8 the results are very similar, and there is no  
9 statistically significant difference at any time  
10 point. In the top graph, in the subgroup with severe  
11 baseline back pain, the majority of subjects, as was  
12 true with leg pain, Oxiplex subjects had greater back  
13 pain improvement at all time points, and, again, the  
14 difference in improvement increased over time.

15           This difference in improvement in back pain  
16 at three and 6 months had nominal significant P-  
17 values at .03 and .019.

18           In summary, I would like to leave you with  
19 four key points. First, the multivariate analysis  
20 was the approved method and the most appropriate to  
21 analyze this clinically complex data, identifying an  
22 interaction that provided clinically important  
23 subgroup. Second, we defined and analyzed clinically  
24 important subgroup of subjects, those with severe  
25 back pain at baseline, which was the majority of the

1 study population. In subjects with severe baseline  
2 back pain, Oxiplex provided a substantial and  
3 significant improvement in both leg and back pain.  
4 Third, the subgroup results in the ITT population  
5 were confirmed with the CC population. And, finally,  
6 Oxiplex patients have twice the rate of improvement  
7 in leg pain as controls for each unit of increase in  
8 baseline back pain.

9 I will now turn the podium over to  
10 Dr. Scott Blumenthal, who will present the clinical  
11 relevance of these results.

12 DR. BLUMENTHAL: Good morning. My name is  
13 Scott Blumenthal, and I'm an orthopedic spinal  
14 surgeon at the Texas Back Institute in Plano, Texas.  
15 I participated as an investigator in the Oxiplex  
16 pivotal study. I'm not an employee of FzioMed. I am  
17 a paid consultant for the Sponsor, who is covering my  
18 expenses for attending this meeting, and I have  
19 financial interests in the company. By means of  
20 additional disclosure, I have had the honor of  
21 presenting to this Panel previously, and I can  
22 provide reasonable assurance that it doesn't get any  
23 less intimidating.

24 The presentation by Dr. Chiacchierini has  
25 set the stage statistically for what I believe is the

1 real benefit of Oxiplex, the benefit to the patient.  
2 What you will see throughout this presentation are  
3 consistent clinical benefits provided by Oxiplex,  
4 benefits which are amplified in the challenging group  
5 with severe baseline back pain.

6 As well as improvement in both leg and back  
7 pain, we saw fewer disability days and enhanced  
8 patient satisfaction, which is the most important  
9 factor to my patients. Patient satisfaction is also  
10 the principal measure of LSOQ effectiveness.

11 This graph shows the leg pain improvement  
12 in the CC population with severe back pain. Before I  
13 go into detail, let me orient you to the chart. The  
14 y-axis represents the change in leg pain from  
15 baseline, referred to here as leg pain improvement.  
16 The blue bar on the left is the Oxiplex-treated group  
17 at 6 months. The orange bar on the right are the  
18 controls. The white box gives the percentage  
19 difference in improvement between the groups and the  
20 P-value for the difference is at the top of the  
21 graph.

22 The subject of one of FDA's questions to  
23 Panel today is to consider if this 9.6 point change,  
24 with a P-value of .0.0123 is clinically significant.  
25 To see an additional reduction in leg pain at 6

1 months of 18.3 percent compared to controls, in my  
2 opinion, is clinically meaningful evidence that  
3 Oxiplex is providing additional benefit to the  
4 patient. This difference is also statistically  
5 significant.

6 This chart provides even better insight  
7 into the treatment effect. The two bars on the left  
8 show mean leg pain scores for Oxiplex and control at  
9 baseline, before surgery. Note that there is good  
10 balance between groups at baseline.

11 The two bars on the right show the residual  
12 leg pain at 6 months. This can result in the need  
13 for additional therapies. Surgery alone results in a  
14 large reduction in pain; in this case, nearly 70  
15 percent.

16 The benefit of Oxiplex is shown in the  
17 additional 35 percent reduction in that pain.  
18 Patients treated with Oxiplex enjoyed a clear  
19 advantage compared to surgery alone. A difference of  
20 this magnitude is statistically significant.

21 I will illustrate this using samples of  
22 patients' LSOQ case report forms from the study.

23 Here is the baseline score for two patients  
24 in the study, one control and one Oxiplex-treated  
25 patient, each of whom started out with a leg pain

1 score of 83 before surgery. Both of these patients  
2 had severe back pain at baseline, which were the  
3 majority of patients in the Oxiplex study.

4 Here, again, are the six questions that are  
5 used to calculate a patient's leg pain score. There  
6 are two notations of excruciating, three of horrible,  
7 and one of distressing. These patients are clearly  
8 debilitated by their pain.

9 Let's fast-forward to the surgery-only  
10 control patient at 6 months following surgery. As  
11 expected, surgical intervention provided significant  
12 pain reduction. However, the control patient still  
13 has some substantial residual leg pain at 6 months,  
14 as seen by two descriptors of discomforting, three of  
15 mild, and only one of no pain, resulting in a score  
16 of 23.

17 So what does it mean to the patient when  
18 his score is reduced by an additional 10 points?  
19 Let's take a look. Here is the case report form for  
20 the Oxiplex-treated patient at 6 months. Oxiplex  
21 reduced the patient's leg pain to an even lower score  
22 of 13. There are no longer any references to  
23 discomforting leg pain, as in the control patient on  
24 the previous slide. The Oxiplex-treated patient  
25 responds with only four references of mild and two of

1 no pain at all.

2           This illustration demonstrates a clinically  
3 significant reduction in leg pain for the Oxiplex  
4 patient, a score of 13, compared to the control  
5 patient's score of 23. This shows what a 10-point  
6 reduction really means to the patient.

7           Let's move on to the results of the  
8 secondary endpoints. The first secondary endpoint  
9 was the improvement in back pain between Oxiplex and  
10 controls. Here are the results in the CC study  
11 population at 6 months in patients with severe  
12 baseline back pain.

13           Oxiplex patients experienced 19.7 percent  
14 greater improvement in back pain compared to  
15 controls. That's 19.7 percent less pain for a  
16 patient who started out at a severe level and was  
17 treated with Oxiplex versus a patient undergoing  
18 surgery without Oxiplex.

19           And here is the effect of Oxiplex in terms  
20 of reduction of residual back pain at 6 months. The  
21 baseline scores before surgery are on the left, well-  
22 balanced with no statistical difference. After  
23 surgery, shown in the bars on the right side of the  
24 graph, control patients show good improvement, but  
25 Oxiplex, again, amplifies the treatment effect and

1 reduces residual back pain by an additional 28  
2 percent.

3 This statistically significant difference  
4 corresponds to a clinical significant outcome.

5 This figure summarizes the additional  
6 improvements that Oxiplex provides patients at 6  
7 months compared to surgery alone, shown in both leg  
8 pain and back pain displayed together. Having  
9 performed spine surgery for over 20 years, I can tell  
10 you that my patients would welcome this additional  
11 benefit, especially those in the challenging group  
12 with both leg pain and severe back pain.

13 This chart demonstrates a clinically  
14 significant benefit in the majority of patients in  
15 this trail, those with severe back pain with  
16 baseline. Oxiplex provides the greatest relief to  
17 those patients who need it the most.

18 This chart shows the disability days  
19 results. The vertical axis shows the reduction in  
20 disability days from no reduction at the base to  
21 greater reduction at the top. Disability days are  
22 days when a patient is completely disabled by his or  
23 her back pain condition measured during the 30-day  
24 period prior to surgery and again at 6 months. In  
25 this case, disability days are measured in a CC



1 population. As you can see, there were two fewer  
2 disability days in the Oxiplex group compared to  
3 controls. This difference was statistically  
4 significant.

5 Now, for patient satisfaction, a common  
6 measure of success, and the LSOQ measure of clinical  
7 success. This graph is laid out a little  
8 differently. The vertical axis represents patient  
9 satisfaction ranging from extremely satisfied at the  
10 bottom to extremely dissatisfied at the top. In  
11 other words, the lower the score, the better the  
12 outcome.

13 In this study, when asked the question, if  
14 your lower back condition were to remain the same as  
15 it is now, how satisfied would you be, patients  
16 treated with Oxiplex had 22.7 percent greater  
17 satisfaction in their outcome at 6 months than  
18 control patients. This result was statistically  
19 significant. This is an important clinical result  
20 that reflects the bottom line for the patient,  
21 overall satisfaction with his or her treatment.

22 Here are the results of all clinical  
23 effectiveness measures in the study displayed by odds  
24 ratio in a forest plot format. The 7 primary and  
25 secondary measures are shown on the vertical axis.

1 The horizontal axis shows the mean difference in  
2 improvement between Oxiplex and controls. The  
3 average values are shown by these circles, and the  
4 confidence intervals by the lines.

5 Any circles to the right of zero show that  
6 Oxiplex patients did better than controls. Any  
7 circles to the left would mean the controls did  
8 better. As you can see, all circles are to the  
9 right. This demonstrates that across all  
10 effectiveness measures, all patients treated with  
11 Oxiplex had greater average differences in  
12 improvement than controls, demonstrating consistent  
13 clinical benefit from the use of Oxiplex.

14 A subject of one of FDA's questions to  
15 Panel today is whether this could have happened by  
16 chance alone. Including all 7 measures in this  
17 graph, the P-value for O'Brien's Test is  $P = 0.049$ .  
18 This confirms the consistent clinical benefit of  
19 Oxiplex.

20 Let's take a look at the same plot, but  
21 this time for patients with severe back pain before  
22 surgery, which were the majority of patients in the  
23 study. Again, all of the clinical measures are  
24 displayed on the vertical axis, and the mean  
25 difference in improvement are on the horizontal axis.

1 All circles are to the right of zero, indicating  
2 Oxiplex patients did better than the controls across  
3 all measures. However, the results are even more  
4 compelling in these patients with severe baseline  
5 back pain.

6           The advantage of Oxiplex over controls is  
7 both clinically and statistically significant for  
8 both leg pain and for back pain. We know this  
9 because the confidence intervals are also to the  
10 right of zero. The same is true for physical  
11 symptoms, patient satisfaction, and for disability  
12 days, with clinically and statistically significant  
13 differences for the Oxiplex patients.

14           Okay. You've seen a lot of data. Now,  
15 let's summarize all of the evidence that supports  
16 Oxiplex effectiveness.

17           First, the evidence that Oxiplex provides a  
18 greater reduction in baseline, leg, and back pain at  
19 6 months. This is the subject of one of FDA's  
20 questions to Panel today. The question is about this  
21 subgroup: patients with severe baseline back pain who  
22 experience the most prominent treatment effect with  
23 Oxiplex.

24           FDA uses this group and the P-value of  
25 0.012 as its example to ask the following: Did this

1 significant treatment effect in this subgroup happen  
2 by chance, and does it affect the interpretation of  
3 effectiveness?

4           Let's review all of the evidence. In the  
5 left-hand box, for both leg and back pain, in both  
6 the ITT and CC populations, Oxiplex patients with  
7 severe back pain experienced statistically  
8 significant improvements in their pain at 6 months  
9 following surgery. The box on the right shows the  
10 magnitude of treatment effect. Again, for both leg  
11 and back pain, in both the ITT and CC populations,  
12 Oxiplex patients with severe back pain experienced  
13 clinically significant improvement in their pain.

14           Another principal study objective was the  
15 reduction of residual pain. The data in this slide  
16 is organized in the same manner as the preceding  
17 slide for the same subgroup that benefited the most  
18 from Oxiplex and demonstrates the reduction of  
19 residual pain.

20           As before in the left-hand box, Oxiplex  
21 patients with severe back pain experienced  
22 statistically significant improvements in both their  
23 leg and back pain at 6 months following surgery, leg  
24 pain in the CC population, and back pain in both the  
25 ITT and CC.

1           The box on the right shows the magnitude of  
2 treatment effect. Again, for both leg and back pain,  
3 in both the ITT and CC populations, Oxiplex patients  
4 with severe back pain experienced clinically  
5 significant improvements in their pain. The relative  
6 reductions in residual pain provided by Oxiplex  
7 ranged from 25 to 35 percent compared to controls.

8           Finally, you'll remember that there were  
9 differences in important safety measures that favored  
10 Oxiplex. We believe that these outcomes are so  
11 important in lumbar disc surgery that they should be  
12 considered in the overall effectiveness of Oxiplex.

13           They are reduced re-operations, .6 percent  
14 versus 3.4 percent. The rate of revisions in this  
15 study was actually lower than that reported in the  
16 literature. In addition, there were reduced  
17 neurological symptoms, reduced musculoskeletal  
18 abnormalities, and increased patient satisfaction,  
19 and fewer disability days, all in favor of Oxiplex.  
20 They all confirm that Oxiplex provides additional  
21 patient benefits compared to surgery alone.

22           I'd like to turn the podium over to John  
23 Krelle for the summary.

24           MR. KRELLE: Thank you, Dr. Blumenthal.  
25 I'd like to take just a few more moments to summarize

1 everything that you've heard this morning. The  
2 results of the study demonstrate that Oxiplex is safe  
3 across all measures in all patients. There were no  
4 significant differences in adverse events or serious  
5 adverse events between Oxiplex and controls. There  
6 were no serious adverse events related to Oxiplex.

7           There were fewer neurological complications  
8 and fewer musculoskeletal abnormalities in Oxiplex  
9 patients compared to controls. There were no CSF  
10 leaks in Oxiplex patients and fewer re-operations in  
11 the Oxiplex group compared to controls, 1 versus 6.

12           The strong safety profile in the study is  
13 supported by six years of real-world experience,  
14 including over 100,000 procedures to date with no  
15 report device-related adverse events.

16           The data from this study shows reasonable  
17 assurance of Oxiplex effectiveness in an important  
18 subgroup of patients, those patients who present with  
19 severe back pain at baseline, which, in our study,  
20 was the majority of patients. They experienced  
21 significantly greater improvement in leg pain,  
22 greater improvement in back pain, and greater overall  
23 satisfaction compared to patients who underwent  
24 surgery without Oxiplex.

25           And the data from the study showed

1 reasonable assurance of Oxiplex effectiveness across  
2 all patients regardless of baseline back pain in  
3 several important clinical measures. Fewer  
4 disability days, fewer neurological symptoms, fewer  
5 musculoskeletal abnormalities and an important  
6 reduction in the rate of re-operation.

7           The primary endpoint was the improvement in  
8 leg pain from baseline to follow-up visits at one,  
9 three, 6 months. The target P-value was 0.044.  
10 Let's look at the reduction in leg pain at 6 months.  
11 For the ITT population in the subgroup with severe  
12 back pain at baseline, the majority of patients in  
13 the study, the P-value was 0.0507. Please note that  
14 an additional 0.1 on the 100-point LSOQ scale in  
15 either treat or control would have resulted in a less  
16 than .05 P-value. For the CC population, the P-value  
17 was 0.0123.

18           The secondary endpoint, improvement in back  
19 pain from baseline to follow-up visits at one, three,  
20 6 months. Let's look at the reduction in back pain  
21 at 6 months here. For the ITT population in the  
22 subgroup with severe back pain, the P-value was  
23 0.0193. For the CC population, the P-value, 0.0127.

24           The FDA advised the Sponsor that the target  
25 for study success should be a 33 percent difference

1 in pain reduction between treat and control groups.  
2 With the primary endpoint of leg pain, across all  
3 patients at all levels of baseline back pain,  
4 differences were in favor of Oxiplex at 6 months. In  
5 the CC population, Oxiplex achieved almost a 35  
6 percent reduction in leg pain in patients with severe  
7 back pain. For the secondary endpoint of back pain,  
8 again, across all patients and all levels of baseline  
9 pain, differences were in favor of Oxiplex. In the  
10 CC group, Oxiplex achieved a 28 percent reduction in  
11 back pain in this subgroup. The results of the CC  
12 population were consistent with and confirmed the  
13 results of the ITT population.

14           There is reasonable assurance that Oxiplex  
15 is safe based upon valid scientific evidence and that  
16 the probable benefits to health outweigh any probable  
17 risks. There is reasonable assurance that Oxiplex is  
18 effective in a significant portion of the target  
19 population and that the use of Oxiplex for its  
20 intended use provides clinically significant results.

21           I'd like to thank you for the opportunity  
22 today to present Oxiplex and the results of this 11  
23 years of clinical study. There is no approved  
24 surgical adjuvant indicated for the reduction of pain  
25 and neurological symptoms following lumbar disc

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947



1 surgery, and we ask for your recommendation to  
2 approve the Sponsor's PMA, which we believe fulfills  
3 this unmet clinical need. This concludes the  
4 Sponsor's presentation. Thank you.

5 DR. MABREY: I'd like to thank the  
6 Sponsor's representatives for their presentations.

7 At this point, I would ask the Panel if  
8 they have any brief -- and I will emphasize the word  
9 brief -- clarifying questions for the Sponsor.  
10 Please remember that the Panel will be asking the  
11 Sponsor questions during the Panel deliberations  
12 later this morning and in the afternoon.

13 Are there any brief questions for  
14 clarification at this time? Yes, Dr. Blumenstein?

15 DR. BLUMENSTEIN: I would like considerable  
16 more detail on how the sample size was computed, and,  
17 specifically the role of this effect size of 33  
18 percent, which I'm thoroughly confused about. I'd  
19 like more detail on the analysis plan, the very  
20 specific analysis plan matching the sample size  
21 computations. I don't know that that can be answered  
22 now or something that can be provided to us  
23 subsequently.

24 DR. MABREY: I think we'll allow the  
25 Sponsor to answer that at a later time.

1           MR. KRELLE: Yes, I think we would like to  
2 do that. Thank you.

3           DR. BLUMENSTEIN: All right. And then I  
4 had one question that might be answerable now, and  
5 that is, on the re-operations, was the individual  
6 participating in the decision for re-operations  
7 blinded as to the treatment group?

8           MR. KRELLE: A simple answer to that  
9 question is, yes, they were blinded to the treatment  
10 group.

11          DR. MABREY: Thank you. Dr. McCormick?

12          DR. McCORMICK: I have a question. On the  
13 analysis of the severe back pain patients, I notice  
14 on Page 40, under Statistical Analysis, it shows that  
15 there were 92 patients with baseline scores above 63  
16 in the Oxiplex group and 101 in the control group,  
17 but on the histograms given, say, one -- they're  
18 given on multiple pages -- but this on Page 36, the n  
19 is listed as only 78 in each group, so I'm curious as  
20 to the discrepancy between those two numbers.  
21 There's a difference of about 40 patients.

22          MR. KRELLE: Yes, we'd like the opportunity  
23 to check that and get back to you this afternoon if  
24 that's okay.

25          DR. McCORMICK: Okay. Thank you.

1 MR. KRELLE: Thank you.

2 DR. MABREY: Thank you. Any other Panel  
3 members? Yes, Dr. Evans?

4 DR. EVANS: Let me just ask to comment on  
5 the biological reasoning about why this would work  
6 with people who have high back pain but perhaps not  
7 low back pain? So biological justification for --

8 MR. KRELLE: Thank you. We'd like to go  
9 into a little more detail with that in the afternoon  
10 session, if we may.

11 DR. EVANS: Thanks.

12 DR. MABREY: Dr. Sang?

13 DR. SANG: I have a very basic question,  
14 actually. How were the surgeons blinded to the  
15 treatment? Did they administer a control gel or a  
16 solution from the syringe and was it controlled for  
17 temperature?

18 MR. KRELLE: I'll bring Dr. Ehmsen back to  
19 answer that question right now.

20 DR. EHMSEN: The surgeons could not be  
21 blinded to the treatment because they actually  
22 administered the Oxiplex during surgery.

23 DR. SANG: So the surgeons were not  
24 blinded? Who performed the physical examinations  
25 during the follow-ups?

1 DR. EHMTSEN: All physical examinations  
2 during the follow-up periods were conducted by what  
3 was referred to in the protocol as a clinical  
4 evaluator, or CE. Those CEs were medically trained  
5 personnel who were not part of the surgical team and  
6 were completely blinded to the treatment throughout  
7 the study.

8 DR. SANG: I'm sorry to keep asking these  
9 questions. Was there a single blinded reader of the  
10 MRIs or were there different blinded readers based on  
11 the sites, at each site?

12 MR. KRELLE: Yeah, the MRIs were actually a  
13 part of the pilot study and not the pivotal study,  
14 and that was a safety -- designed for safety only.

15 DR. SANG: I see.

16 MR. KRELLE: So there were no MRIs in the  
17 pivotal study.

18 DR. SANG: So, then, in the pilot study,  
19 were there multiple readers or one single reader?

20 MR. KRELLE: Dr. diZerega will answer that  
21 question.

22 DR. DiZEREKA: Thank you for your question,  
23 Dr. Sang. In the feasibility study, there were two  
24 readers. They read them independently, and they were  
25 blinded to treatment assignment.

1 DR. SANG: Thank you. I'm sorry to  
2 elaborate on one small -- on my first question,  
3 actually. So what was the temperature of the gel  
4 when it was applied?

5 MR. KRELLE: The temperature has a  
6 temperature range which should be stored at room  
7 temperature. So it's not refrigerated. It's at  
8 normal room temperature, and that's how it's stored  
9 and delivered.

10 DR. SANG: So it's cooler than the body  
11 temperature?

12 MR. KRELLE: Yes.

13 DR. MABREY: Anyone else with a brief  
14 question?

15 (No response.)

16 DR. MABREY: All right. It's 9:50. I'd  
17 like to take a 10-minute break and reconvene at  
18 10:00, please, and we'll have the FDA presentations  
19 at that time.

20 MR. MELKERSON: Dr. Mabrey? Dr. Mabrey.  
21 Just a quick announcement.

22 DR. MABREY: Yes.

23 MR. MELKERSON: The slide presentation from  
24 the Sponsor is now available outside for those people  
25 in the audience.

1 DR. MABREY: Thank you.

2 (Off the record at 9:50 a.m.)

3 (On the record at 10:00 a.m.)

4 DR. MABREY: -- take their seats. If we  
5 could close the outer doors, please?

6 The FDA will now give their presentation on  
7 this issue. Ms. Jose, you have one hour.

8 MS. JOSE: Okay. Good morning. My name is  
9 Jismi Jose, and I'm a reviewer in the Orthopedic  
10 Spine Devices Branch in the Office of Device  
11 Evaluation. I would like to thank the Panel members  
12 for taking time out of their busy schedules to be  
13 here for the FDA.

14 I will present the non-clinical and pre-  
15 clinical studies. Dr. Lee will present the clinical  
16 study design. Jack Zhou will present the statistical  
17 analysis, and Dr. Chen will discuss a potential post-  
18 approval study.

19 I'd like to acknowledge the hard work of  
20 all the members of our review team, who are from  
21 various offices within the center.

22 Today, FDA will be reporting the data and  
23 analyses from FzioMed's PMA for the Oxiplex/SP gel.  
24 Here is an overview of what we will be presenting.

25 First, we will introduce the device and

1 provide a summary of the non-clinical and pre-  
2 clinical studies. Then, we will provide an overview  
3 of the clinical study and statistical analysis,  
4 followed by a review of a post-approval study plan.

5 The FDA questions for the Panel are  
6 scheduled for this afternoon.

7 Before I continue, I'd like to talk about  
8 why FDA has convened this Panel of experts. We are  
9 looking for your input on this first-of-a-kind device  
10 designed to act as a physical separation of tissues  
11 after lumbar surgery for the reduction of  
12 postoperative pain and symptoms. Input is needed  
13 from the Panel on the clinical significance of the  
14 proposed device and on the results from the Sponsor's  
15 clinical study.

16 Oxiplex/SP gel is proposed to be used as a  
17 surgical adjuvant during posterior lumbar  
18 laminectomy, laminotomy, or discectomy to improve  
19 patient outcomes by reducing postoperative leg pain,  
20 back pain, and neurological symptoms. Later today,  
21 we will be asking the Panel a question on the  
22 appropriateness of these indications for this  
23 product.

24 Oxiplex/SP gel is an absorbable clear,  
25 viscoelastic gel applied during lumbar spine surgery

1 to provide a physical separation of tissues. The gel  
2 is composed of sodium carboxymethylcellulose and  
3 polyethylene oxide and sterile water. Calcium  
4 chloride is added for stability while sodium chloride  
5 is added for isotonicity. The gel contains no animal  
6 or bacterial components or color additives. It is  
7 applied to the surgical area using a syringe and  
8 sterile applicator and three milliliters is the  
9 maximum dose.

10           Following lumbar surgery after hemostasis  
11 is achieved and immediately prior to wound closure,  
12 Oxiplex is applied to the operative site, coating the  
13 neural tissue and filling the duct of the laminectomy  
14 or laminotomy site. It is designed to clear from the  
15 body within 30 days and does not require a second  
16 operation for removal.

17           Now, I'll give a brief summary of the non-  
18 clinical and pre-clinical studies. The Sponsor  
19 conducted the following chemical and physical  
20 analyses. The chemical analyses were conducted to  
21 confirm the components of the Oxiplex gel and to test  
22 for ethylene oxide and aldehydes. Physical tests  
23 were performed on various gel formulations to  
24 determine the appropriate specifications of the final  
25 Oxiplex/SP gel.



1           The Sponsor conducted microbiology and  
2 biocompatibility tests, as well as animal studies.  
3 The biocompatibility tests were performed according  
4 to ISO 10993. Please note, in lieu of performing  
5 tests for chronic toxicity, carcinogenicity, and  
6 immunotoxicity, the Sponsor provided a rationale and  
7 literature search. The Sponsor explained that due to  
8 the length of time, Oxiplex remains in the body,  
9 based upon their pre-clinical animal studies and  
10 literature search, and the use of CMC and PEO and  
11 other medical device applications, additional testing  
12 is not necessary. The animal studies will be  
13 reviewed in the following slides.

14           As presented by the Sponsor, rabbit animal  
15 studies were conducted using various formulations of  
16 CMC and PEO gels and films used individually or in  
17 combination together to determine the  
18 biocompatibility and initial efficacy of the  
19 formulations and reducing adhesion formation.

20           As you take into consideration the results  
21 of the animal studies, particularly consider the  
22 different formulations and compositions used, the  
23 location of implantation sites, and the sacrifice  
24 times.

25           Later today, we will be asking the Panel to

1 comment on the adequacy of the non-clinical and pre-  
2 clinical studies, including whether the animal  
3 studies are predictive of the performance of the  
4 device for its proposed indications.

5 Now, Dr. Lee will give you an overview of  
6 the clinical study.

7 DR. MABREY: Just remind the Panel members,  
8 the FDA slides are in your gray folder that was  
9 handed out this morning.

10 DR. LEE: Good morning, Panel members. My  
11 name is Kevin Lee, and I am the clinical reviewer for  
12 this PMA.

13 Today, I will be discussing the clinical  
14 studies conducted for the Oxiplex PMA.

15 The Sponsor first conducted a pilot study  
16 followed by a pivotal study.

17 The pilot study was conducted to determine  
18 if peridural fibrosis and related symptoms were  
19 reduced with the use of Oxiplex/SP gel. At four  
20 investigational sites, 35 subjects were enrolled into  
21 the Oxiplex and the control groups. All subjects  
22 received the clinical evaluations and completed the  
23 Oswestry Disability Index and Lumbar Spine Outcomes  
24 Questionnaire pre-operativity and post-operativity.  
25 Scar formation was assessed using baseline and 3-

1 month post-op MRIs.

2           The indications studied in this pilot study  
3 was the reduction of adhesions following lumbar  
4 surgery. Please note that these indications and  
5 intended use vary from that proposed in the PMA and  
6 the pivotal study.

7           Before we discuss the pilot study results,  
8 note that the small sample size used in the pilot  
9 study may not be adequate to determine a small  
10 clinical difference since the study was not designed  
11 or powered to detect statistically significant  
12 differences between the two groups.

13           As to the adverse events, there was a  
14 higher instance of adverse event in the Oxiplex group  
15 compared to the control group. For example, there  
16 was a higher instance of leg and back pain in the  
17 Oxiplex group.

18           The results of the statistically analyses  
19 on the pilot study showed non-significant P-values at  
20 all time points, one, three, six, and twelve months  
21 in leg pain symptoms, activity-related pain index,  
22 functional disability, weakness in lower extremity,  
23 radiculopathy, and ODI scores.

24           The MRI Scar Score analysis at 3 months  
25 showed that the scar scores were similar between the

1 Oxiplex and the control groups.

2           After the pilot study, the Sponsor  
3 initiated a new pivotal study to study the safety and  
4 the efficacy of Oxiplex/SP gel in a larger  
5 population.

6           The indications studied for the Oxiplex gel  
7 in the pivotal study and proposed in this PMA differ  
8 from the indication studied during pilot study since  
9 the Sponsor removed inhibition of peridural fibrosis  
10 from primary endpoint of the pivotal study.

11           During the pivotal study, all subjects  
12 underwent lumbar disc surgery and were randomized 1  
13 to 1 to receive surgery plus Oxiplex/SP gel or to  
14 receive surgery only. In the same manner as the  
15 pilot study, the Oxiplex group received the gel along  
16 the dura and on the operating site and the control  
17 group received surgery only.

18           Follow-up assessment was conducted at one,  
19 three, and 6 months.

20           There were 352 subjects enrolled consisting  
21 of 177 Oxiplex and 175 control subjects at 29 U.S.  
22 sites.

23           There were 334 evaluable subjects who  
24 completed the 6-month post-surgical follow-up visit.  
25 The evaluable subjects are referred to as completed

1 cases population as defined by the Sponsor in the  
2 PMA. This population will be referred to as PMA  
3 completed cases throughout the presentation. The PMA  
4 completed cases included those subjects who completed  
5 the 6-month LSOQ regardless of a specific window of  
6 time except for five subjects who were evaluated  
7 significantly outside of their window. The Sponsor's  
8 CC population refers to 280 subjects who completed  
9 the 6-month LSOQ within the 22 to 28 week window.

10           The primary safety endpoint evaluated the  
11 frequency and severity of adverse events categorized  
12 using the MedDRA coding system.

13           The secondary safety endpoint evaluated  
14 changes in laboratory results, physical and  
15 neurological and vital signs, re-operations at the  
16 lumbar level, and the use of concomitant therapies.

17           The safety endpoint did not change  
18 throughout the study.

19           The primary effectiveness endpoint was the  
20 improvement of leg pain from baseline to follow-up  
21 visit, one, three, and 6 months, as measures by LSOQ.  
22 The LSOQ measures leg pain severity on a 6-point  
23 rating scale for each of the six questions. The  
24 composite leg pain severity score ranged from 0 to  
25 100, with higher scores indicating higher overall

1 severity of experienced pain.

2           Prior to the FzioMed clinical study, the  
3 LSOQ has not been used in clinical studies initiated  
4 to collect data for a PMA. The LSOQ was validated  
5 through two multicenter studies by the creators of  
6 the questionnaire.

7           The secondary effectiveness endpoint was  
8 the improvement from baseline through 6-months, as  
9 measured by LSOQ, of the following endpoints whose  
10 order was pre-specified for sequential closed  
11 testing.

12           The statistical analysis plan changed  
13 throughout the study. Originally in the IDE, the  
14 Sponsor proposed a longitudinal analysis of  
15 improvement in composite leg pain using generalized  
16 estimating equation, including treatment, time,  
17 baseline-level, and baseline-by-treatment interaction  
18 in the statistical model.

19           After the interim analysis and prior to PMA  
20 submission, the Sponsor proposed to revise the  
21 protocol to analyze the primary endpoint using one-  
22 tailed t-test. FDA asked the Sponsor to include all  
23 clinically relevant covariate such as baseline pain  
24 score and site, using the original analysis plan.  
25 The Sponsor also stated that they would additionally

1 perform a descriptive presentation and multivariate  
2 test of primary hypothesis for leg pain using a GEE  
3 model.

4           The statistical overview presentation will  
5 discuss changes in statistical analysis in more  
6 detail.

7           For the primary effectiveness, the Sponsor  
8 set success criteria of the pivotal study as an  
9 improvement of 15 points in composite leg pain score  
10 from baseline at 6 months on the 100-point LSOQ scale  
11 when measured using longitudinal data analysis.

12           The FDA advised the Sponsor that in order  
13 for study to be considered a success, there should be  
14 a statistical significance, as well as a clinical  
15 meaningful difference in the chosen primary endpoint  
16 between the two treatment groups; that is, a 20-point  
17 or 33 percent difference between the two groups in  
18 the mean LSOQ score reduction from baseline.

19           The Sponsor has described the inclusion and  
20 exclusion criteria. The Sponsor also previously  
21 presented the surgical protocol.

22           Each subject enrolled in the study was to  
23 be followed for 6 months after surgery to evaluate  
24 device safety and effectiveness. All subjects were  
25 to be evaluated for safety at one and 6 months and

1 for effectiveness at one, three, and 6 months by  
2 masked clinical evaluator.

3 Safety evaluation included a physical exam,  
4 assessment of adverse events, and lab tests.

5 For assessment of effectiveness, subjects  
6 were to complete the LSOQ at one, three, and 6  
7 months. The Sponsor stated the interviewer and  
8 subjects remained masked to the study group  
9 assignment throughout the study.

10 Now, that clinical study design has been  
11 reviewed, we will move onto the results. There were  
12 no statistically significant differences between  
13 Oxiplex and control groups in demographic  
14 characteristics at baseline.

15 When assessing adverse events, the clinical  
16 evaluator was instructed to base adverse event  
17 reviews on medical judgment and to assume that  
18 subjects had received the device when assessing the  
19 relationship of the device to adverse events. The  
20 adverse event for incidence greater than or equal to  
21 5 percent showed that both the Oxiplex and the  
22 control groups were comparable to each other. The  
23 adverse events were comparable in both groups.

24 As to the treatment emergent adverse  
25 events, five patients in the Oxiplex group had



1 adverse events that were possibly or probably related  
2 to the device, whereas no patient in the control  
3 group reported any adverse events that were possibly  
4 or probably related to the device. The three  
5 probable adverse events occurred in one subject while  
6 the possible adverse events occurred in four  
7 different subjects.

8           A total of 27 subjects experienced a  
9 serious treatment emergent adverse event; 13 serious  
10 adverse events occurred in the Oxiplex group, and  
11 some of these include cellulites, wound infection,  
12 and incision site complication; 14 serious adverse  
13 events occurred in the control group, and some of  
14 those that were identified include wound infection,  
15 cerebral spinal fluid leakage, and dural tear. No  
16 serious adverse event was categorized as definitely  
17 or probably related to the device.

18           Seven subjects required re-operation at or  
19 before 3-month time point. Control subjects  
20 experienced higher rate of re-operations when  
21 compared to the investigational subjects.

22           For other secondary safety variables,  
23 Oxiplex and control groups were comparable with  
24 respect to lab findings, abnormal physical  
25 examination at one and 6-month follow-up and

1 postoperative neurology examination. There was a  
2 balance in concomitant therapies received by Oxiplex  
3 and control groups.

4 I will now summarize the effectiveness  
5 results. The analysis of primary endpoint, as  
6 originally specified in the IDE, included treatment,  
7 visit, site, and baseline LSOQ score in the GEE  
8 model. Based on this original model, the FDA  
9 analysis shows that the overall difference of the  
10 least square means between the two groups is 0.1 on a  
11 100-point scale with a P-value of 0.96.

12 In order to see the simple mean difference  
13 in pain reduction between the two groups from  
14 Sponsor's analysis, FDA conducted an unadjusted  
15 analysis on the composite leg pain score improvement  
16 from baseline through 6 months. This calculation  
17 showed that treatment group effective between the two  
18 groups at 6 months was 0.9 on a 100-point scale.  
19 Details of these results will be covered in the  
20 statistical overview section of this presentation.  
21 There will be a Panel question on the clinical  
22 significance of the mean difference between the two  
23 groups.

24 As to the secondary effectiveness endpoint,  
25 this table presents the mean differences in

1 improvement between the control and Oxiplex group at  
2 6 months, including confidence intervals for  
3 effectiveness measures. None of the secondary  
4 effectiveness endpoint achieved a statistically  
5 significant difference and their 95 confidence  
6 intervals included zero, indicating no statistically  
7 significant differences in means between the two  
8 groups.

9           Before I conclude the clinical study  
10 presentation, I will discuss the outside U.S.  
11 experience. The Oxiplex/SP gel has been marketed  
12 outside of the U.S. for several years. It received a  
13 CE mark in July 2001. There were six post-market  
14 reports related to issues with the device. The  
15 Sponsor concluded that these reports were not  
16 attributable to the use of device.

17           The Sponsor also conducted a prospective  
18 subject-blinded clinical study in China from October  
19 2006 to April 2007. This study included six subjects  
20 randomized 2 to 1 at two sites. The Sponsor reported  
21 that data collection and efficacy analysis is ongoing  
22 and no results have yet been provided to the FDA.

23           Now, Jack Zhou will discuss the statistical  
24 analysis and results.

25           MR. ZHOU: Thanks, Kevin. Good morning

1 Panel members. My name is Jack Zhou. I'm the  
2 statistical reviewer for this PMA. I will give a  
3 statistical overview of the pivotal study. As  
4 Dr. Lee already talked about the device safety, I  
5 will focus on the effectiveness of the device.

6           This is the outline of my presentation.  
7 First, I will talk about a couple issues regarding  
8 the pivotal study design, sample size, and key  
9 changes in the statistical analysis plan. Then I  
10 will describe the subject dispositions and  
11 populations used in the analysis. I will briefly  
12 compare the demographics and baseline characteristics  
13 of the Oxiplex and control subjects. Then I will  
14 talk about -- sorry. Then I will talk about the  
15 primary effectiveness endpoints in detail, especially  
16 the analyses of the overall treatment effect, the  
17 exploratory subgroup analyses, and the issue of site  
18 variability. I will also talk about the secondary  
19 effectiveness endpoints. Finally, I will conclude  
20 with a summary.

21           The sample size estimation was based on a  
22 mean comparison of two independently normally  
23 distributed variables with one interim analysis.  
24 Originally, 192 subjects per group were estimated,  
25 with one interim analysis at 33 percent of the data.