

1 DR. DiZEREGA: Page 39?

2 DR. McCORMICK: On statistics, under the
3 statistics section.

4 DR. DiZEREGA: And I think I can say this
5 about that. It is 100-point score. It is calculated
6 as was shown in the bottom of all of our LSOQ example
7 slides. And, of course, we use these slides to give
8 you a clinical meaning as to what these numbers mean
9 because otherwise the numbers mean almost nothing.
10 And if you look at the bottom of the slide, it gives
11 you the formula that was used. It is interesting
12 that the no pain is 1. It's not zero. So if you add
13 all these up -- if a patient had no pain, the score
14 would not be zero.

15 Actually, if you could just go back to that
16 one. That's fine. And so it's simply a summation of
17 the questions, in this instance, 9 through 14, minus
18 the 6 because of the ones, and then going through
19 this process to expand it to 100-point scales. And
20 so the answer to your question is they're essentially
21 all 0 to 100. That way we can compare one measure,
22 leg pain, to another measure, back pain.

23 DR. McCORMICK: Yeah. They just didn't put
24 the minus 6 in the hard copy. That's all.

25 DR. DiZEREGA: Well, that's --

1 DR. McCORMICK: So that's just a minor
2 issue. Thanks.

3 DR. DiZEREGA: Yeah, we apologize for that.
4 That's our mistake.

5 DR. BLUMENSTEIN: Yeah, I mean, I was very
6 confused when I first read it, and I decided that you
7 must have scored it 0 to 5, and what you described in
8 your text was if you had done it 0 to 5. Well, if
9 you look at that formula, that would be the same
10 thing --

11 DR. DiZEREGA: Sure --

12 DR. BLUMENSTEIN: You just subtracted one
13 from every score.

14 DR. DiZEREGA: I think that's a good point.
15 Dr. Mabrey, were there any other questions other than
16 the direct clinical questions about foraminal
17 stenosis and preoperative treatment, et cetera that I
18 could help the panel with at this time?

19 DR. MABREY: Dr. Horlocker?

20 DR. HORLOCKER: I'd just like some
21 clarifications on the primate study. How many
22 animals were included? When you did the injection,
23 was this done directly so that you know that it went
24 intrathecally? Were there any imaging studies done?
25 Were there animals sacrificed? How do you know that

1 there wasn't any problem? It sounds like it was just
2 a behavioral evaluation rather than doing some, you
3 know, histologic evaluation or some imaging to see if
4 there was any evidence of adhesions or arachnoiditis.

5 DR. DiZEREGA: I thought the most sensitive
6 study, the most sensitive measure was increase in
7 spinal fluid pressure, and there was no increase in
8 pressure whatsoever. It remained constant throughout
9 the measurement interval of time. Working with
10 primates has its ethical limitations. As you know,
11 these were not sacrificed. And so the measurements
12 were made with peripheral bloods, with behavioral
13 observations, including ambulation, but also with
14 directly intrathecal pressures. There were no
15 imaging studies performed.

16 DR. HORLOCKER: And then the other question
17 I had or more a comment, also, about the
18 anesthesiologist that injected the stuff as part of a
19 spinal anesthetic. The fact that that block actually
20 lasted longer is a bit worrisome because it would
21 suggest that either there was some bonding with that
22 as sort of being a, you know, prolonged -- versus
23 local anesthetic toxicity that was potentiated by the
24 device. So I'd like that reference. I'd like to
25 take a look at that over the coffee break.

1 DR. DiZEREGA: We had the same question,
2 and we actually went back and tried to look at the
3 original case report forms, if you will. And I don't
4 mean to be critical of any investigator that
5 publishes information. We've all done that, and some
6 is in better journals than others, and so forth. We
7 were unable to recover in a reasonable way how much
8 medication was actually used in an individual
9 patient. It was very difficult to ferret that out.
10 This is just an observation that I thought was worth
11 bringing to your attention from a safety standpoint
12 of view because there were no safety issues. I'm not
13 at all convinced that there is a true prolongation of
14 the pharmaceutical. My suspicions are, and these are
15 just suspicions, that there were different amounts of
16 opioids used in different patients based on other
17 calculations that led to these observations.

18 DR. HORLOCKER: Do you have that reference,
19 though, that we can look that up?

20 DR. DiZEREGA: I think we can produce that
21 reference for you. It's been published in the last
22 few weeks.

23 DR. HORLOCKER: All right. Thank you.

24 DR. MABREY: Dr. Sang, you had some
25 questions earlier or have those all been addressed?

1 DR. SANG: No, I think that you mentioned
2 that you were not going to present --

3 DR. MABREY: Use the microphone please.

4 DR. SANG: -- on some pre-clinical factors?
5 You may not have access to those data --

6 DR. DiZEREGA: Right. The pre-clinical --

7 DR. SANG: In terms of from pharmacological
8 management prior to -- I didn't mean pre-clinical.
9 I'm sorry. Prior to enrollment?

10 DR. DiZEREGA: Right. Dr. Blumenthal will
11 be presenting that momentarily.

12 DR. SANG: Okay.

13 DR. DiZEREGA: In fact, he's just waiting
14 to get up here and talk to you.

15 (Laughter.)

16 DR. MABREY: Ms. Whittington, you had a
17 question about the number of failed treatments prior
18 to surgery?

19 MS. WHITTINGTON: Right, just to categorize
20 the patient --

21 DR. DiZEREGA: Yes. For those of you that
22 couldn't hear, the question was failed treatments
23 prior to surgery and the categorization thereof.
24 Dr. Blumenthal will also talk about that. He's a
25 leading spine surgeon. He was involved with these

1 things directly. He can give you direct personal
2 experience.

3 MS. WHITTINGTON: Okay.

4 DR. MABREY: Dr. Rao?

5 DR. RAO: Thank you. That was a very
6 thoughtful presentation. Just a quick question. I
7 wonder if you have the facts right now with you. You
8 mentioned that 87 patients had more low back pain
9 than leg pain. Do you have a breakdown as to how
10 many of these patients were in the control group and
11 how many were in the device group?

12 DR. DiZEREGA: Yes. It's equally
13 distributed.

14 DR. RAO: Okay. The second question I have
15 is you mentioned that there was two times, a 2x,
16 increase in the osteoid activity using Oxiplex in a
17 rat tibia model. A couple of thoughts on this.
18 Number one, it seems partially conflicting.
19 Substances that cause increase in osteoid activity
20 also tend to increase local cytokine production and
21 other inflammatory agents, whereas the presumptive
22 mechanism is that this barrier device is reducing all
23 local cytokine production. So it's just a thought I
24 had. I don't know if you have any direct --

25 DR. DiZEREGA: We do, actually. And that's

1 why I mentioned sort of in passing the scaffolding
2 aspect of it. What seems to be the case is that when
3 you take these kinds of materials, these kinds of
4 materials being materials that do not produce a
5 cytokine reaction, that do not enhance inflammatory
6 cell migration -- and keep in mind, this is a
7 postoperative environment where there is a lot of
8 opportunity for both of those things to occur. When
9 you have a biomaterial that does not do that but that
10 does support trafficking of cells, we think that's
11 the mechanism of action in these tibia studies. But,
12 as I say, those are early ongoing things. We've not
13 seen any active biological markers. It just seems to
14 be a mechanical scaffold support system.

15 DR. RAO: The second part of the same
16 question is with this 2x increase in osteoid
17 activity, do you have any concerns about the
18 potential for long-term increase in post-laminectomy
19 stenosis at the site of application from bony
20 overgrowth?

21 DR. DiZEREGA: That's a very insightful
22 question, and I'm happy to say that we followed up on
23 that. This material has been available in Europe for
24 many years, and so there is the opportunity to
25 evaluate longer-term experiences from the standpoint

1 of view of a safety perspective. And DePuy and
2 Medtronic have been involved with follow-up with
3 these patients and with these physicians. And in
4 going back and talking to the doctors, their view is
5 no. Quite clearly, the patients do very well. There
6 is absolutely no issue relating to bone overgrowth in
7 any sense.

8 In some instances, there have been
9 radiological studies, particularly in re-herniations,
10 and there has been no issue at all about additional
11 growth in additional places that they wouldn't have
12 expected, which come back to us as been the surgical
13 fields have been clear, and it's been easier to do
14 the re-herniation operations.

15 DR. MABREY: Dr. Sang?

16 DR. SANG: You cited the Mannion paper, and
17 you say in your slide that after decompression
18 surgery outcomes should be measured within a maximum
19 of 6 months after surgery based on their study. But
20 that was a study that distributed questionnaires up
21 to 6 months. So I'm not sure that this conclusion
22 can be made from that particular study.

23 And I mention it because there is an animal
24 study that I just looked up by Shamizzy (ph.), and
25 you, in fact, cited a different study of his. What

1 he showed was that fibrosis can develop up to eight
2 weeks of a rat's life, a rat who's gone through a
3 laminectomy. Eight weeks in a rat's life span is
4 pretty long, so that says to me that our suspicions
5 in our failed back patients in pain clinics is
6 probably pretty right in terms of the temporal
7 patterns of pain that we see.

8 So immediately post-surgery, what we look
9 for is, you know, is clearly reduction in pain,
10 particularly in those patients who have a compression
11 from a herniated disk and then in cases where there
12 may have been significant inflammation from disc
13 contents, and so on. That may take a little longer
14 because of facilitation at the level of the central
15 nervous system and sensitization, and so on. But
16 then the development of the adhesions and fibrosis,
17 and so on, that you are trying to -- that forms the
18 basis for your hypothesis is -- just take a little
19 bit longer.

20 And so I have to ask -- I know we've asked
21 already -- what factors went into your decision to
22 complete your follow-up assessments at 6 months other
23 than the Mannion study?

24 DR. DiZEREGA: Two comments. One, because
25 it's interesting what happens in the post-surgical

1 environment, whether it's a rat -- and I agree that
2 we live longer than rats, and so you might think to
3 extrapolate as a percentage of life. But from a
4 post-surgical perspective, from the point of view of
5 fibrosis, where there is a fair amount of data
6 following peritoneal cavity surgery, following
7 cardiovascular surgery, following surgery in the
8 areas we're talking about today, the epidural space,
9 the events that occur following surgery from the
10 perspective of cellular infiltrates, cytokine
11 production, reversal of the macrophages, movement of
12 the nucleophiles, and production of fibrosis are very
13 similar across species. There is not that much
14 difference from a temporal point of view.

15 There are differences in terms of
16 magnitudes of some of the factors. Some are more
17 fibrogenic, prone to fibrogenesis. But in terms of
18 the temporal aspect, it's always been interesting to
19 me, someone that's been working on reduction of
20 fibrosis following surgery for 20 years, how similar
21 that aspect of the post-surgical time period is.

22 The second part related to how we picked 6
23 months. We were very driven, or very
24 influenced -- excuse me. That's the wrong word. We
25 were very influenced by the experience of people that

1 measure pain. And I mean we have some very
2 accomplished pain specialists in this Panel. You
3 know far more about pain than I ever know, and it is
4 a very difficult, complex measurement with its
5 limitations.

6 And as we talked to people that do spine
7 surgery as we review the literature, 6 months made
8 sense to us. It seemed like the appropriate period
9 of time. We met with the FDA, and we discussed this
10 with the FDA. We discussed longer time periods that
11 might be appropriate for implantable devices, and
12 things like that, and 6 months made sense to them,
13 too.

14 So the 6-month time period was chosen based
15 on interactions with clinicians. When this paper
16 became available to us -- and it was a consensus
17 paper. It was a consensus paper of the same type of
18 information-gathering but from the European continent
19 -- we felt very comfortable with the 6 months. And I
20 think that's the basis of the decision.

21 DR. MABREY: Thank you.

22 DR. DiZEREGA: Thank you.

23 DR. MABREY: And as our next speaker comes
24 up, I'll remind you that the Panel has ten questions
25 from the FDA to address after this, so if we could

1 keep our comments concise, we're already running a
2 little bit behind time.

3 DR. RHYNE: I'll be shorter.

4 DR. MABREY: Thank you.

5 DR. RHYNE: I'd like to answer the clinical
6 questions of which there were a lot of overlapping.
7 And they really fell into, as best I could tell, four
8 categories: the back pain/leg pain interaction, the
9 timing and pharmacology of the pre-operative care,
10 exclusion criteria, and the re-ops.

11 First thing I'd like to do, however, is
12 echo what I believe Dr. Hanley said at first, is that
13 the discectomy operation is the most common and very
14 satisfying operation that most spine surgeons do, and
15 I really have to applaud the Sponsor for trying to
16 raise the bar in a setting where the bar is almost
17 very, very high, and it is quite high. And this is
18 right in our bread and butter, which brings me back
19 to lunch.

20 And the best analogy I could come up with
21 is Dr. Rao and I -- I guess he likes lunch -- we're
22 having lunch together, which, by the way, we didn't.
23 And we both wanted a hamburger. Now, my enjoyment of
24 the hamburger might be a little bit more if there was
25 some lettuce on the hamburger. So we were able to

1 find some lettuce for the hamburger. Dr. Rao and I
2 were both very happy with our hamburger, his without
3 lettuce and mine with lettuce. I maybe enjoyed it
4 just a little bit more. And I also had the advantage
5 of after taking a bite, if I wanted to get to the
6 meat, I could do it without the bun sticking to it
7 and perhaps tearing the bun.

8 So at any rate, one of the issues concerned
9 itself with the leg pain/back pain interaction. And
10 while the study really wasn't designed to study the
11 relationship, we certainly did find that the patients
12 with greater back pain tended to have greater leg
13 pain, those that were in the more favorable treatment
14 group. But, clearly, 95 percent of the patients in
15 the study had both back and leg pain. To read the
16 first line from the SPORTS study, "Lumbar discectomy
17 is the most common surgical procedure performed in
18 the U.S. for patients have back and leg pain." So,
19 really, it's not surprising that there was that
20 percentage of patients with both back and leg pain.

21 We also know that from our clinical
22 practice, there is overlap in what the patients
23 consider back and leg, and sometimes, you know, we
24 have to be more specific and quite specific with
25 those patients.

1 Onto the conservative care issue, and one
2 of the issues that came up a couple of times was the
3 two weeks of non-operative treatment prior to the
4 patient being eligible in the study. The rationale
5 for this was basically a floor and not the norm.
6 Certainly, most of the investigators adhered to the
7 standard four to six-week treatment, and, in fact,
8 most of the investigators practice in referral
9 settings where the patients had been seen for weeks
10 if not months and had had conservative treatment,
11 including, as was mentioned, perhaps selective nerve
12 blocks or epidural steroid injections.

13 Certainly, what was allowed was the type of
14 conservative treatment that would be normally done
15 either in the community or your practice. It was
16 recorded, although we didn't specifically stratify
17 them and look for subtyping of responses depending on
18 what type of conservative care they had.

19 The only exception was that the patients
20 could not have had an epidural steroid injection,
21 including selective nerve root blocks within the 30
22 days of the surgical intervention. And Dr. Sang came
23 up with about six studies during her comments, and I
24 think they're all very well taken, in terms of our
25 evaluation of mechanisms of neuropathic pain.

1 In terms of the exclusion criteria, there
2 were some questions regarding the use of fat graft,
3 as well as there was a question on foraminal
4 stenosis.

5 Intraoperatively, whether the patient was
6 randomized to the control or Oxiplex, it was
7 specifically indicated that we could not use any
8 barrier. This was already alluded to. So if the
9 surgeon mistakenly put in one of these, and it really
10 would only occur in a control patient, the patient
11 was excluded from the study. If the surgeon felt
12 that either fat graft or hemostatic agent was needed
13 to safely close the patient, then the patient was
14 excluded from the study as well, and this was a very
15 few and far between occurrence.

16 In terms of foraminal stenosis, foraminal
17 stenosis was an exclusion if that was the patient's
18 sole source of pain. So, in other words, many of our
19 patients will have some degree of foraminal stenosis
20 and a concomitant herniated disc if clinically you
21 felt that the herniated disc was the source of the
22 patient's pain either clinically, through selective
23 nerve block, or however, then those patients could be
24 included. If it was foraminal stenosis as the
25 primary diagnosis, then they were excluded.

1 There was also a question of whether or not
2 we would advise or I would advise or, clinically,
3 could this be used in a surgery for decompression of
4 foraminal stenosis? It was beyond the scope of the
5 study, but, certainly, I wouldn't see any
6 contraindication in a patient that had no other
7 contraindications.

8 Finally, the last question, and this was a
9 very good question, was on the re-ops. As mentioned,
10 there were seven re-ops, one in Oxiplex, six in the
11 control. We did not mean to imply a causal
12 relationship between Oxiplex and the lower re-op
13 rate.

14 The one thing that does need to be
15 clarified, however, is that the question was, was
16 that decision made by the surgeon. And the answer is
17 yes. And, of course, we were the only ones unblind
18 because we had to apply the Oxiplex or not if it was
19 a control patient at the time of surgery. We were
20 instructed, and, in fact, at least at the two highest
21 enrolling sites, we did not record in the operative
22 note whether the patient got the Oxiplex or not, and
23 those of you with busy spine surgery practices know
24 the chance of us remembering who got it was very
25 small and I can attest personally that, you know, by

1 the time their two-week visit came, I just knew that
2 they were a study patient. I didn't recall whether
3 they got the Oxiplex or not.

4 Having said that, the seven re-ops were
5 spread across seven different sites. So that's it on
6 the questions that -- six?

7 UNIDENTIFIED MALE SPEAKER: Six different
8 sites.

9 DR. RHYNE: Six different sites.

10 DR. MABREY: Any other questions from the
11 Panel for the Sponsor?

12 (No response.)

13 DR. MABREY: Does the FDA have any
14 responses to the questions posed to them --

15 MR. MELKERSON: Jack Zhou will address the
16 one question with regards to the statistical analysis
17 plan.

18 MR. ZHOU: The question earlier, I believe,
19 was about since the statistical analysis plan was
20 FDA-approved why there is such disagreement between
21 the, you know, Sponsor and FDA. I think that was a
22 very good question.

23 I have two comments on that. Number one,
24 the FDA-preferred way of specifying statistical
25 analysis -- is before -- is at the IDE stage, which

1 the Sponsor provided a preliminary statistical
2 analysis plan, and the GEE model was very simple at
3 that time. And a few months before the PMA
4 submission, the Sponsor submitted a very -- a more
5 comprehensive statistical analysis plan, which was
6 not the ideal time to do that. The best time to do
7 is -- was supposed to be at the IDE stage.

8 And my comment number two was even in the
9 very comprehensive statistical analysis plan, the
10 Sponsor came up before -- a few month before the PMA
11 submission. I think the Sponsor specified all
12 clinical irrelevant covariates will be screened. And
13 as Dr. Chiacchierini pointed out earlier, they didn't
14 specify how they would study interactions. And I
15 quote, in their statistical analysis plan, they said
16 they will study interactions.

17 So I think, you know, screening for
18 variables is one thing, but screening for
19 interactions is another thing. So it's kind of
20 unusual to screen interactions. That's one of the
21 reasons we are -- we didn't really expect this at
22 that time.

23 DR. MABREY: Dr. Sang, you look like you
24 have a question or a comment.

25 DR. SANG: I apologize if I missed it. Did

1 you look at concomitant opioid use post-op?

2 DR. DiZEREGA: Yes, we did look at
3 concomitant opioid use post-op, and there essentially
4 was no appreciable difference between the groups.

5 DR. MABREY: All right. Thank you. Any
6 other final questions from the Panel before we start
7 to address the ten FDA questions?

8 (No response.)

9 DR. MABREY: Not seeing any, at this time,
10 we can focus our discussion on the FDA questions.
11 Copies of those questions are in your meeting
12 handout, and Ms. Jose, would you like to read the
13 first question, please? And I would suggest that you
14 look at the questions as they are in your three-ring
15 binder. The ones that were handed out earlier seem
16 to be in microfiche and a little bit difficult for
17 some of us to read. I think they're under the second
18 tab in the three-ring binder.

19 MS. JOSE: Okay. So we'll move on to the
20 Panel questions. The first question we have is the
21 Oxiplex/SP gel is a gel applied during lumbar spine
22 surgery, designed to act as a physical barrier
23 between tissues. The proposed indication for use
24 states that it is intended to be used as a surgical
25 adjuvant during posterior lumbar laminectomy,

1 laminotomy, or discectomy to improve patient outcomes
2 by reducing postoperative leg pain, back pain, and
3 neurological symptoms. The primary endpoint was
4 reduction in the composite leg pain score of the
5 Lumbar Spine Outcomes Questionnaire, and the
6 secondary endpoints were composite back pain, leg
7 weakness, physical symptoms, subject satisfaction,
8 disability score, and activities of daily living.

9 Please discuss the appropriateness of the
10 primary and secondary effectiveness endpoint in the
11 study conducted as supporting the proposed
12 indications for use.

13 DR. MABREY: So the question has to do with
14 the primary and secondary endpoints, and just to be
15 fair, I'll start with Dr. Hanley on my left and go
16 around the table.

17 DR. HANLEY: Well, I think in the proposal,
18 the proposed study submitted at the beginning, the
19 primary and secondary endpoints are appropriate. The
20 whole issue here is those weren't really addressed in
21 the analysis of the data.

22 So I think that, yes, as an appropriate
23 thing to study, that's correct, but the analysis
24 doesn't do what they proposed to study to the
25 original proposal.

1 The proposed indications for use, the
2 proposal was primarily for leg pain. Some of the
3 data mining, or whatever you want to call that
4 statistical stuff that was done, found some other
5 soft things that might have been included, such as the
6 back pain and neurological symptoms. So leg pain as
7 the primary effective thing is appropriate. The
8 other two, back pain and neurological symptoms, while
9 there may be some weak data supporting them, I have a
10 little question about that. And that concludes my
11 remarks.

12 DR. MABREY: Thank you. Dr. Horlocker?

13 DR. HORLOCKER: I agree with Dr. Hanley's
14 comments and would add to them that we saw a lot of
15 analysis of the group of patients with severe pain to
16 begin with, and that was not one of the initial
17 primary or secondary endpoints. We've got a subgroup
18 analysis that much of the data was presented and
19 revolved around. So I would add, again, that I think
20 that that was probably a not appropriate way of
21 presenting this since it was not in the initial
22 description of what the pivotal study was going to
23 evaluate.

24 DR. MABREY: Dr. Goodman?

25 DR. GOODMAN: I would concur with the two

1 comments already made. I think that the endpoints
2 were very specific and very clear. And as expressed
3 by the Sponsor, the overall group did not meet those
4 endpoints. However, a subset that they identified
5 did.

6 DR. MABREY: Dr. Rao?

7 DR. RAO: I agree. I believe the primary
8 and secondary effectiveness endpoints in the study
9 are appropriate for the evaluation of this device as
10 they were stated in the study.

11 DR. MABREY: Dr. McCormick?

12 DR. McCORMICK: So I think the primary and
13 secondary endpoints are completely appropriate. The
14 problem I have is the proposed indications for use.
15 This study was very specific in the patient
16 population. These are patients with unilateral, one-
17 level herniated lumbar disc with radiculopathy.
18 That's it.

19 If you look at the proposed use, the
20 proposed indication for use states is intended to be
21 used as a surgical adjuvant during posterior lumbar
22 laminectomy, laminotomy, or discectomy to improve
23 patient outcomes by reducing. And so my point is, is
24 that that is way too broad of a proposed usage of it,
25 particularly since the primary unadjusted analysis

1 was completely negative for both primary and
2 secondary endpoints, and the positive outcome that
3 was noted was for a very selective subset in their
4 series, which represented the majority of their
5 series. But the high level of back pain makes me
6 wonder about the generalizability of this group. So
7 I have concerns with the proposed usage.

8 DR. MABREY: Dr. Evans?

9 DR. EVANS: Well, when you select endpoints
10 for trials, there is a number of characteristics you
11 like those endpoints to have. Certainly, you start
12 out that you want the endpoints to be clinically
13 relevant and something that addresses the scientific
14 question. And you would like them to be easily
15 attainable in that you can quantify and qualify them
16 in an unbiased manner. And I think with the blinding
17 involved here with the possible exception of -- I
18 think it's still a question of whether the surgeons
19 being unblinded could potentially do something to
20 affect pain be collected in a blinded manner later
21 on.

22 But you want these endpoints to be
23 sensitive to changes that are induced by treatment.
24 They should be hopefully affordably obtained and
25 result in a reasonable sample size. And I guess the

1 big issue is that you want endpoints that are, when
2 the analysis comes around, that they're easily
3 interpretable.

4 And so the point I made earlier today was
5 about composite endpoints, and to think critically
6 about the relative importance of the components of a
7 composite because if they differ in importance, that
8 could cause some confusion in interpretation. And I
9 think the Sponsor addressed that issue, to my
10 satisfaction, and others may want to think critically
11 about it as well, but -- so I think the bottom line
12 is I think, in terms of the endpoint selection and
13 the way they were defined I think was acceptable.

14 DR. MABREY: Dr. Sang?

15 DR. SANG: Thank you. I agree with all the
16 comments so far. I do want to add as a corollary
17 about selection of subjects, I completely agree that
18 if the potential indication is for radiculopathy,
19 then it would be important to exclude those subjects
20 with mechanical low back pain or any suggestion of
21 mechanical low back pain, which is the basis for
22 my -- was the basis for my question about selective
23 nerve root blocks with local anesthetics, which you
24 may -- you probably cannot answer.

25 Understanding that, obviously, this would

1 compromise the generalizability that you're looking
2 for because there are a number of different --
3 radiculopathy alone is, in fact, not that common. We
4 usually do see radiculopathy in the presence of other
5 back problems like degenerative spine disease, and so
6 on, but I think for something like this, it would
7 work to your -- it would power up your studies, it
8 would work to your favor to have homogenized your
9 sample.

10 I think that you have really chosen your
11 primary outcome to be quite ambitious. In the
12 context of analgesic clinic trials, as I mentioned,
13 this is not -- a composite score is not usually used.
14 It is the patient's self-report of pain intensity
15 that's usually used. I think, in fact, you might
16 consider that. I agree that a composite score,
17 particularly one that's not commonly used in
18 analgesic trials and certainly at 6 months, you know,
19 I think lessons can be learned from the area of
20 analgesic trials. I think that still pain intensity
21 is a very good primary endpoint.

22 An alternative, if you want to choose a
23 composite score, I mean your choice of the composite
24 score seems perfectly fine, but I'm not familiar with
25 other trials that have shown a treatment effect in

1 this context or in any low back pain trial context.
2 So I would recommend choosing one that has shown some
3 success in another pain condition, preferably low
4 back pain.

5 In fact, BPI may be -- brief pain
6 inventory, which was designed by Charles Cleeland,
7 may be one to look at. It's a composite score. It
8 incorporates pain intensity as well as function. It
9 does look at certain things, and I think you hinted
10 at this, that, in fact, you brought out words,
11 descriptors, that may be more telling than actual
12 means. And so the brief pain inventory looks at, you
13 know, maximum pain, minimum pain, average pain, pain
14 at the moment. That's a composite score that may
15 help you.

16 The secondary measures I agree with. I
17 would only have added concomitant opioid use to what
18 you already are looking at.

19 DR. MABREY: Great. Thank you.
20 Dr. Blumenstein?

21 DR. BLUMENSTEIN: Yes, one has to assume
22 that there was a great deal of discussion that went
23 on in the choice of these endpoints, and so you have
24 to assume that the Sponsor, in choosing leg pain as
25 being the primary endpoint, is taking their best shot

1 based on the prior data that they had. And I think
2 the Sponsor's specification, identification of
3 primary and a hierarchical closed testing procedure
4 for all the secondary endpoints, that's wonderful.
5 That's just what should have been done in this case.
6 So they get credit for that.

7 However, there is one aspect of it that
8 bothers me. And, as I mentioned before, and I'll
9 probably say it again and again, is I worship at the
10 altar of randomization. And the choice of endpoints
11 are, for lack of a better term, not ITT-able in the
12 sense that there was loss of patients due to missing
13 data. And so I would have preferred to see primary
14 endpoint that could have had a definition despite a
15 loss of data; that is, something like an assumed no
16 response or a composite response where assumed no
17 response was possible.

18 And so what we are left with here is a loss
19 of a great number of patients because they failed to
20 come in for their 6-month evaluation. And so that's
21 one of the things that bothers me about this
22 particular choice of endpoints.

23 DR. MABREY: Thank you. Ms. Whittington?

24 MS. WHITTINGTON: I think you selected an
25 excellent tool for your endpoints. In so many of the

1 things that we do, we don't have an endpoint that's
2 focused on what the patient's perception, which is
3 reality to them and how they function. So I applaud
4 you for selecting this.

5 While we may lose patients because they
6 don't come back, pursuit of those is very important,
7 as he indicated, to get as much rich data as you can.
8 But I think that what you're looking at here is very
9 important.

10 I agree, however, with Dr. Sang in the fact
11 that you need to be able to correlate not only opioid
12 but other anti-inflammatory meds or other treatments
13 that the patient's in and ensure that that's included
14 with your reports on both their pain and
15 functionality because that does significantly affect
16 that. And I think that that's also needed to be with
17 this. So I think that the tool you've selected and
18 the things that you're looking at are very
19 appropriate because it's really -- the patient is who
20 needs to benefit.

21 DR. MABREY: Ms. George?

22 MS. GEORGE: Being the non-clinical person
23 up here, a lot of the stuff that you guys are all
24 saying makes no sense to me, but that's okay. What I
25 do look at is, is that I think that the study was a

1 difficult one for them to identify, but I think if
2 you look at the indications for use and you take the
3 sequence of what they've identified even in the
4 indications for use, they do say leg pain, which is
5 their primary.

6 And then the two secondary ones that they
7 list are back pain and the symptoms, which if you
8 look at the sequence of their secondary are the
9 number one, two, and three in the secondary portion.
10 The number two correlates somewhat, I would think, to
11 leg pain, but, again, not being clinical, I just
12 think about myself as a person.

13 And then the other three, just as Connie
14 mentioned is, is I think that one of the things in
15 all the other panels that I've participated in that
16 come up frequently is we forget about the patient.
17 We look at the data only and think about the clinical
18 side of it solely and forget the patient. So I think
19 that that was good.

20 DR. MABREY: Thank you. Mr. Melkerson, in
21 regards to Question 1, the Panel generally believes
22 that much of the data did not address the original
23 endpoints, although certain subsets of that data did.
24 The Panel also has some concerns about the proposed
25 indications for use, as they differ from the study as

1 it was conducted. And also that their choice of
2 endpoints were affected by significant loss of data.
3 Is this adequate for the FDA?

4 MR. MELKERSON: Thank you very much.

5 DR. MABREY: Can we have the second
6 question, please?

7 MS. JOSE: Second question --

8 UNIDENTIFIED MALE SPEAKER: You need to get
9 a little closer.

10 MS. JOSE: The Sponsor provided
11 biocompatibility -- and immunotoxicity under --

12 UNIDENTIFIED SPEAKER: Mike on?

13 DR. MABREY: Jismi? You need to get a
14 little closer to the mic.

15 UNIDENTIFIED SPEAKER: Need a mike?

16 UNIDENTIFIED SPEAKER: I don't think the
17 mike's working.

18 UNIDENTIFIED SPEAKER: Here you go.

19 MS. JOSE: The Sponsor provided
20 biocompatibility, toxicity, and animal performance
21 testing and based support for chronic toxicity,
22 carcinogenicity, and immunotoxicity on a rationale
23 and literature search. The Sponsor stated that due
24 to the length of time Oxiplex remains in the body,
25 based upon their pre-clinical animal studies and

1 literature search and the use of components contained
2 in Oxiplex and other medical device applications,
3 chronic toxicity, carcinogenicity, and immunotoxicity
4 testing are not necessary.

5 Please comment on the adequacy of the non-
6 clinical testing and pre-clinical animal studies
7 conducted by the Sponsor. Please discuss whether the
8 animal studies are expected to be predictive of the
9 performance of the device for its proposed
10 indications for use.

11 DR. MABREY: Thank you. I'll begin with
12 Dr. Horlocker.

13 DR. HORLOCKER: Yes, I do not believe that
14 the testing was adequate. The pre-clinical testing
15 on the six rabbits only looked at histology. So
16 saying that there is no problem with chronic toxicity
17 on just six rabbits I do not believe is adequate.
18 And, likewise, the primate study, looking at CSF
19 pressure with injection of 1 milliliter of solution,
20 you would not expect to see an increase in CSF
21 pressure. So without additional toxicity studies, I
22 do not believe that there has been an adequate
23 evaluation.

24 DR. MABREY: Thank you. Dr. Goodman?

25 DR. GOODMAN: Well, I was trying to get the

1 Sponsor to give us more information individually on
2 these materials and together. I think that they're
3 individually known to be very safe. And I believe
4 together they're probably safe, too. It would have
5 been optimal had more studies been done in greater
6 detail to support these facts. I think they relied
7 more on the literature than doing, as Dr. Horlocker
8 said, the real studies that were needed to be
9 definitive.

10 I think that the studies in the literature
11 and from what they did probably point to the safety
12 issue being met. The efficacy issue is a total other
13 issue that we'll discuss.

14 DR. MABREY: Dr. Rao?

15 DR. RAO: I think the animal testing, in
16 terms of safety issues, appears adequate. I would be
17 happier if we had more animal testing in terms of
18 basis of efficacy, in terms of response of or the
19 result or effects of the device on markers of
20 inflammation, cytokines. And also, based on the
21 Sponsor's response earlier, I would suggest increased
22 animal testing in terms of increased osteoid
23 production potentially at the local surgical site.

24 DR. MABREY: Thank you. Dr. McCormick?

25 DR. McCORMICK: Yeah, I would echo

1 Dr. Rao's view. I think for the safety standpoint,
2 based on the history, empiric and clinical, I think
3 their testing was adequate. They did raise some
4 interesting hypotheses. I'm not sure whether they're
5 biologically plausible or not. I'm still struggling
6 with that. But it would be interesting to see some
7 further work, but for the safety issues, I think it
8 was adequate.

9 DR. MABREY: Thank you. Dr. Evans?

10 DR. EVANS: I don't really have any
11 comments to this question.

12 DR. MABREY: Thank you. Dr. Sang?

13 DR. SANG: I agree with all the comments so
14 far.

15 DR. MABREY: Dr. Blumenstein?

16 DR. BLUMENSTEIN: Since this has nothing to
17 do with randomization, I have no comments.

18 (Laughter.)

19 DR. MABREY: Thank you.

20 MS. WHITTINGTON: I have nothing to add.

21 DR. MABREY: Ms. Whittington -- Ms. George?

22 MS. GEORGE: I think, as everyone stated,
23 that the safety aspect has been tested, as they've
24 identified using international standards that are
25 well known. And I believe that they also identified

1 in the package as well as communicated in the
2 presentation that there are other devices out there
3 that are made up of the same material that have been
4 used for many, many years, as well as the over
5 100,000 outside of the United States that they have
6 no evidence of issues with. So I actually feel that
7 they have proven the efficacy as well.

8 DR. MABREY: Dr. Hanley?

9 DR. HANLEY: It seems like the stuff's
10 inert. That may be the problem.

11 DR. MABREY: Is that it?

12 (Laughter.)

13 DR. MABREY: Mr. Melkerson, the Panel
14 generally believes that the device, as tested, is
15 probably safe but that more studies would be useful.
16 The Panel also has some concerns about needing more
17 testing with respect to efficacy and perhaps mode of
18 action and its effects on the surrounding tissues.
19 Is this adequate for the FDA?

20 MR. MELKERSON: Yes, it is. Thank you very
21 much.

22 DR. MABREY: Thank you.

23 MS. JOSE: On to Question 3. Before I
24 continue, I'd like to note that our clinical and
25 statistical questions that are following are based on

1 the PMA CC population because that is what our
2 presentation focused on.

3 So some variability in patient outcomes
4 among sites was shown in the unadjusted analysis on
5 the 6-month leg pain change from baseline by
6 site/pseudo-site on the completed cases population.
7 In the generalized estimating equations model on leg
8 pain improvement, the treatment-by-site interactions
9 were shown to be statistically significant, with a P-
10 value of .01 in the PMA CC population.

11 Please comment on the validity of pooling
12 data from different sites, taking into consideration
13 the demonstrated site variability. Please discuss
14 what impact this may have on the interpretation of
15 the clinical data.

16 DR. MABREY: On the topic of the impact of
17 different sites on interpretation of clinical data,
18 Dr. Goodman?

19 DR. GOODMAN: Who was it who said, "There
20 are lies, damn lies, and statistics"?

21 (Laughter.)

22 DR. GOODMAN: I think I saw a lot of the
23 same data being presented in two different ways, one
24 by the Sponsor and one by the FDA. And the two
25 presentations seemed a bit contradictory. There

1 seemed to be a great deal of site variability with
2 regards to meeting the effectiveness bar when the FDA
3 presented it, with a lot of site variability. And
4 the opposite was true by the Sponsor.

5 As I said before, I think site variability
6 is an issue here. And I'm not sure how that can be
7 resolved, although I have taken several statistics
8 courses and believe in randomization. I think our
9 statisticians will probably more than I can to this
10 situation, but it is of concern to me that there is
11 so much variability when the FDA presented the data.

12 DR. MABREY: Dr. Rao?

13 DR. RAO: I think site variability to some
14 extent may be anticipated and may be excused. I
15 don't think I have any specific comments about site
16 variability itself. My comments pertain specifically
17 to what appears to be discrepancy between the FDA's
18 analysis of site variability and the Sponsor's
19 analysis of site variability. What I remember is a
20 graph during Jack Zhou's presentation, Mr. Zhou's
21 presentation, where there was negative correlation, I
22 think he termed it, between control and Oxiplex at
23 about half the sites, whereas the Sponsor's
24 presentation suggested that at all sites, Oxiplex did
25 better than control -- was my interpretation. So

1 that discrepancy is what bothers me. Outside of the
2 discrepancy, I wouldn't have any objection to small
3 degrees of site variability.

4 DR. MABREY: Thank you. Dr. McCormick?

5 DR. McCORMICK: Yeah, I think the
6 discrepancy came from two sources. The first is that
7 they used different CC subgroups. The FDA used a
8 more complete version. There were an additional 66
9 patients that were not in-windows, who were
10 apparently removed from that analysis, and that
11 affected the outcome. The difference of 6, in terms
12 of the leg pain, 6 points on the LSOQ scale, that was
13 shown for the patient with severe back pain by the
14 Sponsor. Was only 6 when it was shown by the FDA
15 because of the two different groups.

16 And the other difference is what the FDA
17 showed, in terms of the site variability, was an
18 unadjusted analysis of all patients were -- I believe
19 all we were shown on that slide was just patients
20 with severe low back pain. So I think that's where
21 the discrepancies came from.

22 I actually think based on the -- I don't
23 think the site variability is a big issue here. I
24 think there is going to be some variabilities from
25 site to site in any clinical trial. The numbers are

1 small for most of the sites, and these are
2 predominantly patient-generated outcomes. So I don't
3 think that that's a big concern here.

4 DR. MABREY: Thank you. Dr. Evans?

5 DR. EVANS: I guess I premise this with a
6 few comments. I'm perhaps a little bit less worried
7 about the site variation as well. And the reason is
8 that they are often small sample sizes at sites,
9 which, therefore, there is large variation, you know,
10 when you have small sample sizes. And so part of
11 this site-to-site variation may just be reflected in
12 the sample sizes within each site. So some analyses
13 that you can look at is -- well, I guess my first
14 comment is not to overreact to necessarily that
15 although it's worth investigation.

16 The other thing is that because of small
17 sample sizes at sites, at least the summary that I
18 remember looking at in the analysis, was primarily
19 about sort of summarizing means and things like that,
20 And particularly with small sample sizes, you might
21 go to something more robust like medians. And
22 outliers and things like that, certainly, with small
23 sample sizes can start to pull stuff around pretty
24 quickly.

25 So the other comment I have -- I guess the

1 direct question is a comment on the validity of
2 pooling data. Well, I also worship at the throne of
3 randomization, and randomization, again, gives you
4 valid inference when you pool over sites, as long as
5 you're adhering to ITT principles. Now, that doesn't
6 necessarily mean that effects are homogenous across
7 sites, but it gives you valid inference.

8 So it's worth investigating why. So the
9 natural question is why would there be site
10 variation? And whether this could be related to the
11 fact that different sites have different surgeons,
12 and these particular surgeons, as we mentioned, are
13 unblinded, and could that potentially affect
14 something, I don't know.

15 But I think the bottom line is I think it's
16 worth investigating. I'm not sure there is an easy
17 answer to this question. But because of the small
18 sample sizes at sites, there is going to be variation
19 in sites. You still have valid inference. And I
20 would, in my investigation, perhaps look at and
21 realize that extreme values and things like
22 calculating means and stuff, within site,
23 particularly with small sample sizes, can really, you
24 know, pool summary statistics, so you might want to
25 look at medians, and things like that.

1 DR. MABREY: Thank you. And I would let
2 the record show that Dr. Evans is sitting on the
3 throne of randomization whereas Dr. Blumenstein
4 worships at the altar of randomization.

5 (Laughter.)

6 DR. MABREY: Dr. Sang?

7 DR. SANG: So understanding that the data
8 presented by the FDA were group means for each site,
9 still, my understanding is that the sample site
10 calculation has to -- sample size calculation has to
11 take in account the number of sites, as well as the
12 variability between sites, the differences in
13 variability between sites and variability within each
14 site. And so it suggests to me that perhaps the
15 sample size that was initially calculated may not
16 have been high enough. But I'll defer to the
17 statisticians on that.

18 What it also suggests to me is that perhaps
19 the mechanism of -- the pain mechanisms involved in
20 the pain syndromes at each site on average could have
21 varied sufficiently, and it would be useful to have a
22 better understanding of that.

23 DR. MABREY: Thank you. Dr. Blumenstein.
24 I agree with Scott Evans that I'm not getting too
25 concerned about this. And I would add that if you

1 believe the non -- undisciplined searching for a
2 significant covariate type of analysis, that is, the
3 originally specified primary analysis, where there is
4 no treatment effect, then what you're doing by
5 looking at the site data is reading tea leaves. And
6 so I wouldn't be -- I'm not too concerned about the
7 site variability. It's just the variation in the
8 stuff that is going on there.

9 But other avenues that might be interesting
10 to look at: if one is focusing on subset analyses
11 for exploratory purposes, one might want to look at
12 the randomization, exactly how it was done, and how
13 it fell out, and whether it might be contributing to
14 differences in site within subsets, and also whether
15 there is any kind of a relationship between
16 missingness of data in the sites and the outcome.
17 And these are very complicated issues, but, you know,
18 must be looked at in the context of exploratory
19 analyses.

20 DR. MABREY: Thank you. Ms. Whittington?

21 MS. WHITTINGTON: I think some of it is
22 different surgeon's techniques and iatrogenic issues
23 that can occur at the time of surgery, and maybe more
24 importantly, the chronicity of disease of some of the
25 patients that may have been included in the study.

1 Not all of them could be acute, and somebody with
2 chronic back pain that had a procedure like this may
3 not have as good an outcome quite as quickly as
4 someone who's been having issues for a shorter period
5 of time.

6 DR. MABREY: Ms. George?

7 MS. GEORGE: Just to re-echo a few things,
8 I think Dr. McCormick said that it is a reality that
9 you're going to have some variability, and I think
10 that is something that has to be understood when a
11 clinical study is put together because not
12 everybody -- not all the patients are alike, not all
13 the physicians are alike. I think Dr. Evans made the
14 statement that we should try to understand why there
15 is that variability.

16 And one of the things that came to mind,
17 which is going to sound funny coming from me rather
18 than Ms. Whittington is something that maybe should
19 be considered is, is there a socioeconomic impact?
20 Are they places where they're less compliant with the
21 whole aspect of the clinical care. Are they the more
22 overweight patients? Are they other issues that are
23 inhibiting them from maybe feeling as good or maybe
24 feeling better because it's the first time they're
25 having any positive outcome. So that could be

1 swaying some of the results as well.

2 DR. MABREY: Thank you. Dr. Hanley?

3 DR. HANLEY: Yeah, I'm not concerned about
4 the variability. I think that's expected in a study
5 sample of this size. I think it may just reflect the
6 small sample size.

7 DR. MABREY: Thank you. And Dr. Horlocker?

8 DR. HORLOCKER: I'm surprised nobody's
9 blamed anesthesia. That's what usually happens at my
10 institution.

11 (Laughter.)

12 DR. HORLOCKER: I agree. Actually, with a
13 real negative outcome, as far as no major
14 improvement, to see half the sites reported
15 improvement and half not is really along the
16 statistical mean. So --

17 DR. MABREY: Thank you. Mr. Melkerson,
18 with regards to Question 3, the Panel generally
19 believes that site variability is less of an issue
20 and that it is probably due to the smaller sample
21 size. We've also heard from the statisticians that
22 randomization is important.

23 (Laughter.)

24 DR. MABREY: The Panel had some concerns
25 about the discrepancy of data analysis between the

1 FDA and the Sponsor, and there has been some concern
2 expressed about the effects of missing data.

3 Is this adequate for the FDA?

4 MR. MELKERSON: It is. Thank you very
5 much.

6 DR. MABREY: Thank you.

7 MS. JOSE: Okay. Question 4. The Sponsor
8 included 10 covariates and 5 treatment-by-covariate
9 interactions in its multivariate analysis of the
10 primary effectiveness endpoint, which was comprised
11 of leg pain, using the generalized estimating
12 equations on the completed cases population. The
13 Sponsor's interpretation of this analysis is that it
14 demonstrates the statistical significance of the
15 primary endpoint based on the significance of
16 treatment-by-baseline covariate interactions.

17 Please discuss whether the Sponsor's
18 multivariate analysis is appropriate, and, to assist
19 the FDA with the interpretation of whether the study
20 met its primary endpoint, discuss this conclusion
21 based upon the analyses conducted by the Sponsor to
22 determine statistical significance of the primary
23 endpoint.

24 DR. MABREY: Thank you. Dr. Rao, I'd like
25 to begin with you.

1 DR. RAO: I think my concerns with the
2 multivariate analysis have been expressed earlier
3 today. I think the results of a multivariate
4 analysis will depend essentially on what we put into
5 it and the factor and the variables we choose to put
6 into a multivariate analysis.

7 I think the FDA asked the Sponsors to look
8 into all covariables that may affect outcome, and the
9 Sponsors, to my understanding, have looked into the
10 different statistical methodologies that may affect
11 outcome, and therein lies some of the discrepancy.

12 I believe that in an attempt to become
13 statistically sophisticated, we may be tripping over
14 ourselves and losing sight of the main goal, which
15 should still be that primary effectiveness endpoint.
16 Thank you.

17 DR. MABREY: Thank you. Dr. McCormick?

18 DR. McCORMICK: Well, I think this is the
19 hardest question to comment on. I think it was
20 clearly appropriate that the analysis was done. I
21 think that we need to have this information to try to
22 do what's best for what truly is a very heterogeneous
23 population. The idea that each patient is equally
24 likely to achieve the same mean response to a
25 treatment is really the underlying premise of most of

1 theses prospective clinical trials. And we know from
2 treating these patients that they're very
3 heterogeneous and they respond different to
4 treatments. Whether or not back pain severity is a
5 covariate, a meaningful, a causal covariate, that may
6 predict a better outcome, I'm not sure we can
7 conclude that based on the fact that it was generated
8 from a post-hoc, multivariate analysis.

9 But I'll tell you what I'm still not clear
10 about is whether or not the degree of pre-
11 specification was clear to the FDA to approve it. If
12 they played by the rules, in terms of this analysis,
13 then we should accept it. If they didn't, then we
14 should have concerns. As someone who does peer
15 review, I have concerns over it, because I think it
16 was a post-hoc multivariate analysis.

17 DR. MABREY: Dr. Evans?

18 DR. EVANS: Well, I have a concern for the
19 inflation of a false positive error rate. And the
20 reasons why are pre-specification protects you
21 against data-driven analyses, but it does not protect
22 you against multiplicity by itself. And I agree that
23 there is sort of a vagueness into what was pre-
24 specified and what was not. And there's some
25 important details in that clarification because

1 there's a difference between pre-specifying a
2 multivariable analysis, which is still exploratory by
3 nature, versus clearly defining what subgroups will
4 be analyzed and how they will be evaluated.

5 And even if you examine the subgroup that
6 was identified, high versus low back pain, although
7 the analysis may pre-specify that you're going to
8 look for, potentially, these subgroups, there was no
9 pre-specified necessarily definition of low versus
10 high back pain. That definition was based on the
11 median of the observed pain in the trial. So in a
12 sense, it's been pre-specified at a vague level but
13 hasn't been clearly defined, definitively, and is
14 thus somewhat exploratory in nature.

15 And it's reasonable to do, but I think the
16 bottom line is, the way I see it, is you've asked
17 whether this analysis is appropriate. I think it's
18 an appropriate analysis for hypothesis-generating,
19 but not for confirmation. The Sponsor this afternoon
20 mentioned that it is very complicated to quantify the
21 false positive rate in this trial. And I completely
22 agree. It is very complicate to try to quantify what
23 the false positive rate is here. But it's because of
24 this uncertainty that subgroup analyses are
25 considered to be hypothesis-generating and require

1 validation and confirmation with new data.

2 And so, generally, I view this -- that's
3 the way I view this. You know, I think if you are
4 going to confirm subgroups, you predefine them with a
5 biological explanation of why you're looking. You
6 define how many subgroups you're looking at, exactly
7 what those subgroups, how they're defined, and you
8 set aside a statistical error spending approach that
9 controls error rates. Otherwise, we've really lost
10 certainty about where those error rates are. So I
11 have concern about saying -- putting confidence in
12 that this is not a false positive result.

13 DR. SANG: I completely agree. I think
14 independent of a choice of a primary endpoint that I
15 think is ambitious, still, not defining subgroups ad
16 hoc, the stepwise data mining is very interesting,
17 very interesting to people like myself. But for your
18 purposes, I think it was not a valid analysis.

19 DR. MABREY: Dr. Blumenstein?

20 DR. BLUMENSTEIN: I'm not going to say
21 anything different than Scott Evans just said, so
22 what I'm going to say is redundant, and I'm sorry
23 about that. But I'll say it a different way, and
24 maybe it'll catch on.

25 To me, it's totally inappropriate to

1 attempt to assess the significance in this kind of a
2 setting by doing these kinds of analyses that are
3 basically modeling rather than clearly pre-defined
4 hypothesis testing with a very strict alpha control.
5 So I can't agree with that.

6 And number two on my list is I don't agree
7 that what the Sponsor did was following what the FDA
8 requested that they do by taking into account the
9 covariates. In other words, the FDA had an intent
10 there, and I think what the Sponsor did went way
11 beyond the intent.

12 And, then, the third point I want to make
13 is that the -- I don't agree with what the FDA asked
14 the Sponsor to do by adding the covariates. Again,
15 randomization should take care of these things, and I
16 don't like the idea of loading up a model to assess
17 the significance of an efficacy finding with a bunch
18 of covariates. I'm not sure what you do with that.
19 I'm not sure what the meaning of it is.

20 And the fourth point I wanted to make is
21 that I believe that the exploratory analyses that the
22 Sponsor did, that is, all of this modeling, was
23 artfully done. And I'm using the term artfully
24 purposely because there is an art to it. There is no
25 one way to approach this kind of thing, and then that

1 reflects right back on to the first point, and that
2 is that you can't really know the alpha because it is
3 art, not a strictly identified pre-defined analysis.

4 And then I completely agree with what the
5 Sponsor did in assessing the -- excuse me -- the
6 interaction first in their exploratory analysis. I
7 think that's the way I would have done it, and,
8 therefore, I agree with them for an exploratory
9 analyses.

10 DR. MABREY: Thank you. Ms. Whittington?

11 MS. WHITTINGTON: I have nothing to add.

12 DR. MABREY: Ms. George?

13 MS. GEORGE: From my perspective, it is
14 difficult to analyze whether the study was -- for the
15 endpoint because of the fact that the data that we
16 saw from the FDA and from the Sponsor did make use of
17 the same raw data but extrapolated and extracted
18 information out differently. So I think they each
19 had their own starting point, and as has been said
20 multiple times by the statisticians, it's very easy
21 to take a bunch of data and present it in a format
22 and presentation that shows the results that you
23 want. So I think that what has to be done is a
24 determination of really what was meant by the FDA's
25 perception, and the Sponsor obviously had a different

1 perception of the same requirement.

2 DR. MABREY: Dr. Hanley?

3 DR. HANLEY: Yeah, I see this as complex
4 data manipulation that I don't understand, and that
5 worries me.

6 DR. HORLOCKER: I agree.

7 DR. MABREY: That it's complex or that
8 you're worried?

9 DR. HORLOCKER: It's very difficult. It's
10 a numerical --

11 DR. MABREY: Dr. Goodman?

12 DR. GOODMAN: I would agree with the
13 previous comments.

14 DR. MABREY: Thank you. Mr. Melkerson, the
15 Panel generally believes that this was the most
16 difficult question posed. In addition to that, they
17 seem to get a feeling that the multivariate analysis,
18 while appropriate -- while it may have been
19 appropriate and artfully done, may have been affected
20 by the choice of endpoints based upon data post-hoc.
21 There is some concern over the addition of covariates
22 as one of the requirements.

23 Is that good enough for the FDA or would
24 you like more clarification?

25 MR. MELKERSON: I believe you've discussed

1 the point appropriately.

2 DR. MABREY: Okay. Thank you. Question 5?

3 MS. JOSE: The FDA requested that the
4 Sponsor calculate the simple mean difference of the
5 composite leg pain improvement, which was the primary
6 effectiveness endpoint, at 6 months between the
7 Oxiplex and control groups. This mean difference was
8 0.9 on the 100-point LSOQ scale for the completed
9 cases population.

10 Please discuss whether this mean difference
11 between the Oxiplex and the control groups is
12 clinically meaningful.

13 DR. MABREY: Dr. McCormick?

14 DR. McCORMICK: No.

15 DR. MABREY: Okay. Dr. Evans?

16 DR. EVANS: I agree.

17 DR. MABREY: Sang? Dr. Sang?

18 DR. SANG: No, but I have something to add.

19 DR. MABREY: Okay.

20 DR. SANG: So, no, but in the absence of --
21 pharmacological management blocks, adjuvants, and so
22 on, it's hard to interpret what it means. And so I
23 think it would be to a great advantage to have an
24 understanding of that.

25 The other thing is that this composite

1 score may mean something very different to a subject
2 6 months after surgery versus six hours after
3 surgery. And so the relative weights of the
4 different components can change over time.

5 You might consider a global scale like a
6 PGI or CGI, you know, something where a subject can
7 do his own, you know, integrate for himself what's
8 important to him at 6 months or choose other
9 secondary measures that may be more relevant to
10 chronic pain.

11 DR. MABREY: Thank you. Dr. Blumenstein?

12 DR. BLUMENSTEIN: The simple answer is no.
13 I sure wish I had seen an ITT with imputed missing
14 data or a data done at the 3-month time point or some
15 other variations on this just to get a better picture
16 of what's going on and to reassure myself that the
17 missing data isn't a contribution to what's going on.

18 DR. MABREY: Ms. Whittington?

19 MS. WHITTINGTON: I agree with my
20 colleagues.

21 DR. MABREY: Thank you. Ms. George?

22 MS. GEORGE: Nothing to say.

23 DR. MABREY: Dr. Hanley?

24 DR. HANLEY: For the overall leg pain
25 group, no.

1 DR. MABREY: Thank you.

2 DR. HORLOCKER: For the overall leg pain
3 group, no. And I think that this shows what the
4 effect of that multivariate analysis was probably
5 over the top.

6 DR. MABREY: Dr. Rao?

7 DR. RAO: I think this tough to be certain
8 about because the question is what degree of clinical
9 improvement is relevant or clinically significant.
10 And I think the best guess estimate has to be based
11 on a statistical test, and if it's statistically
12 insignificant, I think we have -- we're forced to use
13 that lack of statistical significance as meaning that
14 this is clinically irrelevant also.

15 DR. MABREY: Thank you. Mr. Melkerson, in
16 regards to Question 5, the Panel generally believes
17 that it is not clinically meaningful.

18 Is this adequate for the FDA?

19 MR. MELKERSON: It's an appropriate
20 response. Thank you.

21 DR. MABREY: Thank you. I was just going
22 to announce, for the rest of the Panel's sake,
23 Dr. Goodman had only one chance at a flight to get
24 back to California, so he had to leave us.

25 MS. JOSE: Okay. The Sponsor's primary

1 effectiveness endpoint analyses screened 48 different
2 covariates and their interactions with the treatment
3 variable to be included in the statistical models.
4 Some of these treatment-by-covariate interactions had
5 unadjusted P-values less than 0.044, which led to
6 subgroup analyses. For example, for the subgroup of
7 patients with baseline back pain scores greater than
8 or equal to 63 in the completed cases population,
9 Oxiplex patients had a 6-point advantage over the
10 control patients in the leg pain improvement at 6
11 months.

12 Please discuss whether the observed
13 treatment effect for some subgroup of patients is
14 clinically meaningful and whether the Sponsor's
15 subgroup analyses may affect the interpretation of
16 the safety and effectiveness of the device.

17 DR. MABREY: Dr. Evans, we'll start with
18 you.

19 DR. EVANS: Yeah, so this is a question
20 about clinical relevance, so this is actually harder
21 for me than the other ones.

22 Six percent on 100 percent scale, that
23 might be relevant to some people. However, I find a
24 little bit of difficulty in the way the questions
25 are asked, both Question 5 and 6, about clinical

1 relevance. This is a question about whether a 6-
2 point difference is clinically relevant. That 6
3 points is based on an estimate observed in this
4 trial. That's an estimate, and the truth is could be
5 a little bit higher, could be a little bit lower.
6 And if I knew the exact correct answer was a 6-point
7 difference, then I'd probably say I'd take it, but
8 because 6 has uncertainty involved with it, you can'
9 necessarily say that it's relevant because it could
10 be a little bit higher or a little bit lower.

11 I would like to clarify some understanding
12 because it relates to the last comment. Non-
13 significance does not imply no effect or no
14 relevance. And the way that has to be interpreted
15 when you see non-significance, is, essentially, non-
16 significance says, well, zero is sitting in my
17 confidence interval somewhere. I can't exclude zero.
18 But it may also mean you can't exclude 10, 20, 30, or
19 40, which could be very relevant.

20 So the way to interpret "non-significant
21 trials" is not due to high P-values. High P-values
22 do not imply lack of relevance. So the only way you
23 can interpret that is get a confidence interval, and
24 you can exclude things outside the confidence
25 interval. And so be careful about the interpretation

1 of "negative studies." High P-values do not apply
2 that you've ruled out very possible and plausible
3 with the data that's been gathered effects.

4 So I went off on a tangent and didn't
5 answer the question, but that's my comment.

6 DR. SANG: It'd be useful to understand
7 what the actual responses were in the control group
8 and the treated, the Oxiplex group, because, in fact,
9 we know from responder analyses in analgesic trials
10 that in the act of arm, a 30 percent or greater
11 reduction in pain intensity means something
12 clinically, we think, at least based on some studies.

13 Here, it's hard to make an assessment just
14 based only on the difference at 6 months. I think I
15 mentioned before that there are a number of potential
16 confounders that we haven't really heard enough about
17 and that at 6 months, an assessment of one's pain can
18 change.

19 So I guess my answer is possibly. My
20 answer to the question as to whether or not this
21 could have been -- this could have occurred due to
22 chance is possibly because I feel that I don't have
23 sufficient data.

24 DR. MABREY: Thank you. Dr. Blumenstein?

25 DR. BLUMENSTEIN: Well, I have some

1 uncertainty about the estimate of effect size. And
2 it doesn't seem fair to pick a subgroup and then find
3 an effect size that's large enough and then focus on
4 that. That's what we've been talking about.

5 Ideally, one would have some clean room
6 validation of the modeling that was done, that is,
7 some people who could apply the art of modeling to
8 these data and see if they come up with the same
9 thing by pursuing their own style of modeling.

10 But I think the bottom line here as to
11 whether this is significant or not, clinically
12 significant or not, is going to rest with the
13 Sponsor's decision as to whether to undertake, for
14 example, to undertake a new trial focused on just the
15 patients with severe back pain at baseline. In other
16 words, how much does the Sponsor believe these data
17 and whether they move forward. That's going to be
18 interesting to see.

19 DR. MABREY: Thank you. Ms. Whittington?

20 MS. WHITTINGTON: I have nothing to add.

21 DR. MABREY: Ms. George?

22 MS. GEORGE: Nothing.

23 DR. MABREY: Dr. Hanley?

24 DR. HANLEY: The affect may or may not be
25 real. I can't determine that, but I really don't

1 think it affects the overall view of the data
2 presented.

3 DR. MABREY: Dr. Horlocker?

4 DR. HORLOCKER: I don't believe that we
5 know the clinical relevance of this difference. And
6 assuming that the statistical analysis was correct in
7 that, then I agree we have to actually focus on a
8 group of patients with severe back pain to begin with
9 or leg pain to begin with and see if this actually
10 did make a difference to the patients relevantly
11 afterwards.

12 DR. MABREY: Dr. Rao?

13 DR. RAO: In the absence of a clear,
14 clinical rationale for greater improvement in leg
15 pain in the subgroup of patients with increased low
16 back pain, I wouldn't attribute any significance to
17 the statistical value.

18 DR. MABREY: Thank you. And Dr. McCormick?

19 DR. McCORMICK: If we accept that the
20 multivariate analysis is valid, based on appropriate
21 pre-specification to the FDA, then I think that the
22 6-point improvement is clinically meaningful. And
23 the reason I say that is because this is what I kind
24 of refer to as the tyranny of the mean, where we
25 assume that every patient is going to be equally

1 likely to have the same average response to a
2 treatment. And that's not the case. Some of the
3 patients had less than 6. A number of them had
4 greater than 6, sometimes twice as many as that.

5 In the context of a treatment that, in my
6 view, has very little in the way of downside or risk
7 to it, the idea that in -- maybe not on average, but
8 in a significant number of patients you're going to
9 get a measurable increase in their pain improvement
10 in their leg, to me, is clinically meaningful. And
11 as a surgeon, I would be compelled by those data.

12 DR. MABREY: Mr. Melkerson, the Panel seems
13 to have varying opinions as to the significance of
14 the data. It seems that this difference in -- the
15 significant difference in this treatment in the
16 subset of patients could be due to chance. But, then
17 again, it may also represent a clinically significant
18 response as well. The Panel has also suggested that
19 the Sponsor may wish to look at a specific subset of
20 patients in a new trial, specifically those patients
21 with increased back pain or severe back pain prior to
22 treatment in order to get some clean data on this.

23 Is that appropriate, adequate?

24 MR. MELKERSON: Yes, it is. Thank you.

25 DR. MABREY: Thank you.

1 MS. JOSE: Under C.F.R. 860.7(d)(1), safety
2 is defined as a reasonable assurance, based on valid
3 scientific evidence, that the probable benefits to
4 health under conditions of the intended use when
5 accompanied by adequate directions for use and
6 warnings against unsafe use, outweigh any probable
7 risks.

8 Do the clinical data in the PMA provide
9 reasonable assurance that the device is safe?

10 DR. MABREY: Dr. Sang, safe, unsafe?

11 DR. SANG: Well, without a clear
12 demonstration of efficacy and a probable but not
13 clear --

14 DR. MABREY: We'll be addressing the
15 question of efficacy in the next question.

16 DR. SANG: Well, this is a question
17 about --

18 UNIDENTIFIED SPEAKER: Safety --

19 DR. MABREY: Question 7 is --

20 DR. SANG: Benefits outweighing risks?

21 DR. MABREY: Yes.

22 DR. SANG: Can't answer it. So I suppose,
23 gosh, I supposed then my answer is no, I can't answer
24 the question.

25 DR. MABREY: Okay. Dr. Blumenstein?

1 DR. BLUMENSTEIN: I concur.

2 DR. MABREY: Concur that you can't answer
3 one way or the other?

4 DR. BLUMENSTEIN: That's correct.

5 DR. MABREY: Ms. Whittington?

6 MS. WHITTINGTON: I have to agree with
7 them. It's a slippery slope. I agree. I concur
8 with them. Very slippery slope.

9 MS. GEORGE: Well, naturally, I have to
10 disagree, and the reason I disagree is that the
11 submission includes all of the additional information
12 of that there are no adverse events that were
13 directly related to it and the fact of the rest of
14 the world and all of the published papers that are
15 included in the safety and efficacy data section of
16 the submission clearly states that there are no
17 adverse events that are directly related, so the
18 device is safe.

19 DR. MABREY: Thank you. Dr. Hanley?

20 DR. HANLEY: Yes, it is safe.

21 DR. MABREY: Dr. Horlocker?

22 DR. HORLOCKER: Yes, it is safe provided
23 that it's used as intended. I'm still concerned
24 about intrathecal injection.

25 DR. MABREY: Thank you. Dr. Rao?

1 DR. RAO: Given that the definition of
2 safety here includes that the benefits of health
3 outweigh the potential risks of the device, I have to
4 say that I can't answer the question.

5 DR. MABREY: Thank you. Dr. McCormick?

6 DR. McCORMICK: Yeah, it's a ratio. I
7 think the risks are negligible, and I think there may
8 be some small benefit in some small group of patients
9 suggested by the data, so I think the answer is yes.

10 DR. MABREY: And, Dr. Evans?

11 DR. EVANS: I agree that the risks are
12 small, given the data that we've seen, but I don't
13 have confidence in making a statement that the
14 benefits are likely to outweigh risks. I'm not
15 convinced of the benefits, I guess.

16 DR. MABREY: Thank you. Mr. Melkerson, the
17 Panel seems to be evenly divided between suggesting
18 that the device is safe as defined versus not being
19 able to answer the question with relationship to
20 benefits outweighing the risks.

21 MR. MELKERSON: That's fine. Thank you.

22 DR. MABREY: Thank you.

23 MS. JOSE: Under C.F.R. 860.7(e)(1),
24 effectiveness is defined as a reasonable assurance
25 that in a significant portion of the population, the

1 use of the device for its intended uses and
2 conditions of use, when accompanied by adequate
3 directions for use and warnings against unsafe use,
4 will provide clinically significant results.

5 Do the clinical data in the PMA provide
6 reasonable assurance that the device is effective?

7 DR. MABREY: And we'll start with
8 Dr. Blumenstein.

9 DR. BLUMENSTEIN: No.

10 DR. MABREY: Ms. Whittington?

11 MS. WHITTINGTON: No.

12 DR. MABREY: Ms. George?

13 MS. GEORGE: I'm not going to give a yes or
14 no, but I am going to say that I don't think that the
15 Sponsor would be here if they didn't think so. But I
16 do think that since we have question on how the data
17 was manipulated that I think they have the data in
18 the raw form and it should be re-evaluated and re-
19 looked-at to see if it does, in fact, meet the
20 endpoint criteria as defined.

21 DR. MABREY: Thank you. Dr. Hanley?

22 DR. HANLEY: In the question that says in a
23 significant portion of the population, as in the
24 proposed study, is what I interpreted that to mean,
25 and it is only proven to be potentially, possibly

1 effective in a small subset, so my answer is no.

2 DR. MABREY: Dr. Horlocker?

3 DR. HORLOCKER: My answer is no.

4 DR. MABREY: Dr. Rao?

5 DR. RAO: No.

6 DR. MABREY: Dr. McCormick?

7 DR. McCORMICK: As written I'd have to
8 answer no.

9 DR. MABREY: Thank you. Dr. Evans?

10 DR. EVANS: No.

11 DR. MABREY: Mr. Melkerson, in regards to
12 Question 8, the Panel generally believes that the
13 device is not effective.

14 Is that adequate for the FDA?

15 MR. MELKERSON: Thank you very much.

16 DR. MABREY: Thank you. Okay. We still
17 have two questions pending regarding the possibility
18 of a post-approval study and labeling. Yes?

19 MR. MELKERSON: The post-approval study is
20 only if it is a recommendation for approval with
21 conditions. Issues with regard to labeling. It's
22 your prerogative whether you want to ask that now
23 or --

24 DR. MABREY: I think we'll go into the
25 post-approval study later, but I think while we're

1 still in the voting mood, I would like to address the
2 question of labeling. The Sponsor -- following
3 protocol here.

4 MS. JOSE: So I just want to remind you, a
5 question on labeling should not be interpreted to
6 mean that the FDA has made a decision or is making a
7 recommendation on the approvability of this PMA
8 device.

9 The Sponsor provided physician
10 labeling/instructions for use for the subject device.
11 The Sponsor did not provide patient labeling because
12 they consider the device an adjunct to surgical
13 treatment and believe the patient is not involved in
14 the choice of using the Oxiplex/SP gel.

15 Please discuss:

- 16 a) The need for patient labeling; and
17 b) The appropriateness and/or adequacy of
18 the physician labeling/instructions for use.

19 DR. MABREY: I did not plan my Panel
20 rotation based upon this, but it seems that we've
21 come to Ms. Whittington regarding the question of
22 patient labeling. For the rest of the panel, I would
23 draw your attention to a handout that was given to
24 you. It is from Medtronic, a brochure of the
25 benefits of lumbar surgery with MediShield, three

1 pages, and then the second handout is a copy of a Web
2 page from a neurosurgeon in Australia who is
3 advertising the fact that he uses Medtronic
4 MediShield. And I would let you draw your own
5 conclusions from that.

6 Oh, okay. And this labeling is outside the
7 U.S.

8 MS. WHITTINGTON: You ready for me to
9 answer? You ready?

10 DR. MABREY: Or to give you a chance to
11 look at the material.

12 MS. WHITTINGTON: Okay.

13 DR. MABREY: I guess my only comment -- and
14 I'll take the chairman's prerogative to point to the
15 last page, where it says in the patient pamphlet,
16 "How may I request MediShield's application? Talk
17 with your surgeon to find out whether you are
18 eligible." This is in Australia.

19 Ms. Whittington?

20 MS. WHITTINGTON: Well, I find this quite
21 interesting. When I think about this device, I think
22 about methylmethacrylate and the utilization in joint
23 replacement, and I don't think that there is a lot of
24 discussion about the use of that, when a total joint
25 is replaced, and I think it's considered by the

1 surgeon a part of the procedure. I think if a
2 physician is going to be using this device as they're
3 doing a laminectomy or laminotomy that it may or may
4 not be discussed, quite frankly.

5 I find the information here interesting.
6 It certainly is not written in terminology for
7 patients. It's written at, I think, probably too
8 high a level for many of the patients who might
9 receive this. So do we need to provide patient
10 labeling? I think we should be transparent about
11 what we're using in procedures, but I think, quite
12 frankly, in other orthopedic procedures that are
13 performed, we're not as transparent as they're asking
14 us to look at here.

15 This is out of the country labeling, but I
16 would anticipate the same kind of websites would be
17 included or the same kind of information would be
18 included. I'm not giving you an answer one way or
19 the other. It's a dilemma. I think the patient
20 wants to be informed. I think there needs to be
21 informed consent, and I have to step back from my
22 example from the methylmethacrylate in total joints.
23 I don't think that that's always discussed. I think
24 it's -- it should be discussed and there should be
25 education for the surgeon. Dr. Horlocker's concern

1 about injection of this in a place that it shouldn't
2 be needs to be addressed in the physician education
3 as well.

4 DR. MABREY: Is that a yes or a no? Or --

5 MS. WHITTINGTON: Maybe.

6 (Laughter.)

7 DR. MABREY: Ms. George?

8 MS. GEORGE: Just a couple comments. One,
9 the labeling includes the instructions for use for
10 the physicians, so I guess I'd ask the physicians if
11 that's adequate because not being a physician, I
12 don't know if that's sufficient instruction. But,
13 secondly, since we heard during the discussions that
14 usually this was a decision that a physician made
15 while the patient was under and open, whether they
16 were a viable candidate or not, I'm questioning how
17 you can ask the patient if it's okay to use it. So I
18 would say there isn't a need for patient labeling
19 because we don't ask patients which medical device
20 we're going to use on them when they're in surgery in
21 general.

22 And then I guess my last question is, is
23 that on their package insert, there is the word
24 tracking, and I'm assuming this is not a tracked
25 device. This is just a traceable device, lot

1 controlled, and I guess that's more of a comment to
2 the FDA because there is a difference between
3 trackable and traceable devices.

4 DR. MABREY: And, again, I'd just like to
5 clarify -- I'm just bringing this up because it's
6 showing up elsewhere around the world, and that some
7 physicians are using it as part of their promotion of
8 their practice.

9 Dr. Hanley?

10 DR. HANLEY: Yes. Do not confuse informed
11 consent and labeling of the device. I think they are
12 two completely different issues. Labeling of the
13 device is mandatory and should reflect the scientific
14 information provided with regard to the clinical
15 outcomes of the device. And so if deemed approvable,
16 any labeling should reflect the scientific
17 information that we validate as a panel and for
18 approvability and then the FDA goes forward with.
19 So, yes, there's a need, and, yes, it needs to be
20 done appropriately based on information.

21 DR. MABREY: Thank you.

22 DR. HORLOCKER: I agree with that also just
23 so that patients can have a version of this that is
24 more understandable and directed towards them.

25 DR. MABREY: Dr. Rao?

1 DR. RAO: I think patient labeling may not
2 be necessary based on what Ms. Whittington said, but
3 physician instructions for use should clearly specify
4 the subgroups of patients that the device may or may
5 not apply to or may or may not be validated by this
6 Panel or the FDA.

7 DR. MABREY: Thank you. Dr. McCormick?

8 DR. McCORMICK: I would not think that
9 patient labeling would be important for usage for
10 this substance.

11 DR. MABREY: Dr. Evans?

12 DR. EVANS: I agree with the subgroup
13 comment, clarifying what subgroups this is shown to
14 be effective in or not effective so that patients,
15 for example, with low back pain and their healthcare
16 providers can decide whether it's a purchase they
17 want to make.

18 DR. MABREY: Dr. Sang?

19 DR. SANG: Patient labeling, no. Physician
20 labeling, yes. I would recommend that the data that
21 is in this proposed label be replaced by the FDA
22 analyses that's based on the GEE completed cases not
23 on the Sponsor's definition of completed cases.

24 DR. MABREY: Dr. Blumenstein?

25 DR. BLUMENSTEIN: Nothing to add.

1 DR. MABREY: Thank you. Mr. Melkerson,
2 with regards to Question 9, regarding labeling, it
3 appears the Panel generally believes that this device
4 falls within the same realm as devices such as
5 polymethylmethacrylate and that patient labeling, per
6 se, is not necessary. But, of course, physician
7 labeling is. One suggestion that newer data be
8 incorporated into the physician labeling for
9 instructions for use.

10 Is that adequate for the FDA?

11 MR. MELKERSON: That is adequate. Also,
12 with regards to Question 10, you might as well
13 discuss that as well, with the same context of based
14 on what your future recommendation may be.

15 DR. MABREY: Okay. Could we read Question
16 10? And, again, this is if the device is approved
17 and if a post-approval study is requested.

18 MS. JOSE: Right. So the main points are
19 that the FDA's inclusion of a question regarding a
20 post-approval study should not be interpreted to mean
21 that the FDA has made a decision or is making a
22 recommendation on the approvability of this PMA
23 device. Please remember that the pre-market data
24 much reach the threshold for providing reasonable
25 assurance of safety and effectiveness before the

1 device can be found approvable and any post-approval
2 study could be considered.

3 In the post-approval study outline, the
4 Sponsor proposes a non-inferiority design to compare
5 the reduction in the number of disability days from
6 baseline within 30 days of 6 months following surgery
7 in subjects who will receive Oxiplex versus the
8 Oxiplex-treated subjects in the pivotal study. The
9 Sponsor also proposes tracking adverse events and re-
10 operations over the 6-month follow-up period.

11 Please discuss the following topics:

12 a) What questions, if any, need to be
13 addressed by a post-approval study?

14 b) Is the post-approval study design
15 appropriate to address longer term device safety and
16 effectiveness post-market?

17 c) What is the appropriate population to
18 address device safety and effectiveness post-market?

19 d) What are the appropriate endpoints
20 needed to address the questions, if any, identified
21 for a post-approval study? Is "reduction in
22 disability days from baseline at 6 months" an
23 appropriate effectiveness endpoint to address the
24 device effectiveness in real-world settings?

25 e) And what is the appropriate duration for

1 the post-approval study having identified the
2 endpoints to be used for the questions, if any, to be
3 addressed by a post-approval study? Is a 6-month
4 follow-up after surgery sufficient to address the
5 long-term safety of the device, and identify
6 potential adverse events?

7 DR. MABREY: And, again, my choice of
8 rotation had nothing to do with the arrangement of
9 the Panel, but, Ms. George?

10 MS. GEORGE: Well, assuming that the device
11 would be approved, obviously, I think that the things
12 that would be evaluated here would be larger
13 population, site variability aspects that we've
14 talked about earlier. I think that the population
15 that should be addressed is whatever would be
16 identified as the approved, based on the indications
17 for use and the intended use of the device.

18 And then, generically, with regards to the
19 long-term aspects, as with any medical device, there
20 is, for the lifetime of the device and the patient,
21 there is the engagement of the medical device
22 reporting aspects so that there would be the adverse
23 event reporting. I think one of the questions that
24 probably would come to mind is, is since the device
25 does expel itself from the body in a short period of

1 time, that what, if any, long-term monitoring would
2 the surgeon have of that patient after that time
3 frame and if there needs to be any engagement with a
4 clinician.

5 And I can tell you that if that would be
6 the case, you'd have fewer patients wanting to have
7 this because if they have to continue to be monitored
8 and there is informed consent and all of those kind
9 of things that there would be a significant
10 challenge. So that's very generically because a lot
11 of this is much more clinical, which I think the
12 physicians can answer better.

13 DR. MABREY: All right. Dr. Hanley?

14 DR. HANLEY: Okay. These questions that
15 are projected are a little bit different than our
16 books. I'll address them from the projection area.

17 a) What questions, if any, need to be
18 addressed by a PAS? And the same questions that were
19 proposed in the initial study, that of a primary
20 outcome of reduction of lower extremity pain and the
21 secondary outcomes as listed. This changing horses
22 in mid-streams about what we're studying is
23 inappropriate. Any long-term study needs to study
24 the things that were deemed to be appropriate and are
25 appropriate at the beginning of the study.

1 So I will also address the non-inferiority
2 design. I think that's inappropriate. And the
3 number of disability days from baseline is
4 inappropriate, and that's what we're talking about in
5 (b) -- need to go back to the beginning.

6 c) What's the appropriate population? I
7 think we have the appropriate population that has
8 been enrolled in the study. I don't think that needs
9 to be expanded upon -- those people with herniated
10 discs and radiculopathy, with or without a component
11 of back pain.

12 What are the appropriate endpoints? Same
13 thing as before that was proposed in the initial PMA.
14 Again, reduction disability days from baseline is
15 inappropriate. It is relief of leg pain in all
16 comers relative to a control group.

17 Duration, I don't know the answer to that.
18 It is probably, in my estimation, not 6 months, but
19 we need to study this long-term, I would say. Two
20 years is probably the appropriate study. In some of
21 the devices, of course, the follow-up is deemed to be
22 longer than that, but would say at least two year
23 follow-up.

24 DR. MABREY: Thank you. Dr. Horlocker?

25 DR. HORLOCKER: I agree with Dr. Hanley's

1 comments, and I'll just address some things that I
2 have additional comments for. One question would be
3 whether you'd want to focus on a subgroup of the
4 population, those that start out with severe back
5 pain or continue with the all comers that -- or I'm
6 sorry -- leg pain -- with the scores of 63, for
7 example are greater versus all those patients.

8 The other thing is I do not believe that we
9 should use historical controls from the pivotal study
10 as the controls. I'm starting to worship or sit at
11 the throne of randomization during the last couple
12 hours, and I think we really need to have a
13 randomized project because there is a significant
14 placebo effect in this. We've heard this repeatedly,
15 and those other patients that would be in their
16 controls did not know the randomization, where the
17 ones that would be in this post-study all would know
18 they were receiving the device, and so there is this,
19 you know, supposal or predisposition towards bias, or
20 the placebo effect. So I really think that this has
21 to be a randomization rather than using historical
22 controls.

23 And the other thing I would state is that
24 there should be a control in what the patients get
25 for post-operative analgesia. As Dr. Sang has

1 alluded to a number of times, if you don't control
2 what they're getting, the pain itself could be masked
3 or unmasked by what they actually get. So there
4 should be a formal analgesic regiment that these
5 patients receive. And then look at not only their
6 pain scores but also their analgesic requirements.

7 And I would agree somewhere between 12 and
8 24 months would be the appropriate duration of a
9 follow-up for these patients. Just spine patients in
10 general seem to require that amount to really
11 determine the efficacy.

12 DR. MABREY: Thank you. Dr. Rao?

13 DR. RAO: I think a post-approval study is
14 predicated on an approval, which is predicated on
15 clear clinical superiority of the device over the
16 control group.

17 If we had a study where the Sponsor showed
18 clear clinical superiority of the study, then I'm not
19 sure that a control group would be necessary for a
20 post-approval study. In the event that we had clear,
21 clinical superiority of this study, then the
22 endpoints needed for a post-approval study would be
23 the same primary and secondary effectiveness
24 variables that have currently been used. Additional
25 questions would likely be the possibility of efficacy

1 on back pain versus leg pain, local effect analgesic,
2 or anti-inflammatory effect of the device on local
3 cytokines and other markers.

4 The PAS study design as presented may not
5 be entirely appropriate. I'm not sure that reduction
6 in disability days at 6 months would be an
7 appropriate endpoint.

8 And as far as the duration of a PAS study,
9 I would say we have to balance out the difficulty to
10 the industry and Sponsor versus the benefits to the
11 patient and finding a midpoint between what
12 Dr. Hanley said and what Ms. George said. I think
13 maybe a 12-month period would be appropriate.

14 DR. MABREY: Thank you. Dr. McCormick?

15 DR. McCORMICK: You know, I just don't see
16 a need for a PAS here. I think, in my mind, the
17 safety issue has been addressed adequately and a
18 further PAS study would not be helpful. And unless
19 we're willing to, you know, maintain randomization
20 and blinding, we're just going to end up with more
21 bias and placebo that I think are going to not
22 provide us with any valid information regarding
23 effectiveness of this substance.

24 DR. MABREY: Dr. Evans?

25 DR. EVANS: I'll make a couple of comments

1 about non-inferiority. And I know this study is in
2 very early design stage and has a lot of ironing out
3 to do.

4 I guess my biggest question is, what is the
5 objective with this trial? Non-inferiority studies
6 typically are done to compare a therapy with some
7 active control, but the underlying goal in showing
8 non-inferiority to an active control is that you
9 show -- also retain some of the effect that the
10 active control has -- placebo. In other words,
11 you're still hoping that you're better than, say,
12 placebo or standard of care.

13 And so I'm trying to figure out if that's
14 really still the goal is to show that you're better
15 than surgery alone, and if that's the case, then why
16 not just compare to surgery alone rather than what
17 the gel did in prior trials. I guess I don't
18 understand that question.

19 But then, so, assuming there is reasoning
20 behind that, a couple of comments, one about the
21 selection of the non-inferiority margin. So the non-
22 inferiority margin or selection of that non-
23 inferiority margin and non-inferiority trials is the
24 topic of the decade for non-inferiority trials. And
25 it's really a difficult choice, and it's a

1 combination of both statistical reasoning and
2 clinical judgment.

3 But a couple of guidelines is, first of
4 all, the choice of the non-inferiority margin must be
5 smaller than the effect size that your active
6 controls showed over placebo or standard of care.
7 So, in this case, that was estimated to be 2.1, or
8 whatever it was. Now, that's an estimate from a
9 trial, and so, theoretically, you have to be -- your
10 margin has to be less than that because, otherwise,
11 you wouldn't be able to necessarily claim you've got
12 effect size better than surgery alone.

13 And inherent in just the estimate of 2.1,
14 you have to realize 2.1 is an estimate and you
15 observed it in one trial. Could be a little bit
16 more. Could be a little bit less. And so your
17 selection wants to take into account -- you should
18 try to take into account the potential uncertainty
19 and variation in that estimate.

20 So that's one thing to keep in mind. And
21 the clinical relevance of it is you think about,
22 well, what's the maximum difference between -- that
23 you would consider to be clinical irrelevant or the
24 largest difference that you would be willing to give
25 up in order to gain whatever the advantages are. So

1 that's one issue.

2 The other issue is the assumption in non-
3 inferiority or one of the assumptions in non-
4 inferiority trials is something called constancy,
5 which essentially means that the effect that you saw
6 in the historical trials continues into today, and
7 with standard of care developing, those estimates you
8 saw in historical trials may or may not apply
9 tomorrow.

10 And so you have to think hard about whether
11 this assumption of constancy is really going to hold
12 because future trials, if you run an uncontrolled
13 trial, in other words, without concurrent controls,
14 you could get better results just because standard of
15 care is getting better. And therefore, you're going
16 to claim non-inferiority not because it's non-
17 inferior but because standard of care is getting
18 better.

19 And so those are sort of my general
20 comments.

21 DR. MABREY: Thank you. Dr. Sang?

22 DR. SANG: I think that in terms of safety,
23 you know, I would agree that this is likely to be
24 safe, and it's not clear to me whether or not we need
25 to go out a year or two years. I think, if anything,

1 6 months should be adequate.

2 But in terms of efficacy, I have a similar
3 concern about the design that's based on a non-
4 inferiority comparison, and I'm not sure that I
5 understand this proposal.

6 But, given that, I would recommend that
7 measures of pain and function be incorporated, and I
8 would take it to 24 -- I certainly would take it to
9 12 months, if not 24 months, as others have
10 suggested.

11 But now we're talking about a different
12 kind of study, and now we're talking about a study in
13 which I think a non-inferiority comparison probably
14 isn't going to do the company justice. I think that
15 they might consider a study in which they may, in
16 fact, be able to find a difference within subgroups
17 that they may have already identified.

18 And so I think that this answer deserves a
19 lot more attention than we're giving it right now.

20 DR. MABREY: Thank you. Dr. Blumenstein?

21 DR. BLUMENSTEIN: Well, I'm really puzzled
22 by this, because I would think that if the Sponsor
23 had come in here and shown us data that met the
24 original criteria that is -- we didn't have any fuss
25 about the alpha and all that sort of thing, then I

1 would see very little reason to do a post-approval
2 study because of the safety and adequate
3 demonstration of efficacy.

4 If there is the possibility that the FDA
5 would approve this product despite not meeting the
6 original primary criterion, then I would guess that
7 the basis of that approval would be based -- would be
8 on the kinds of --

9 DR. MABREY: Just to clarify, this is --
10 we're still, you know, hypothetical --

11 DR. BLUMENSTEIN: Yeah, I'm talking
12 hypothetically, yes --

13 DR. MABREY: Okay.

14 DR. BLUMENSTEIN: And so if that were the
15 case, then I would think that the post-approval study
16 would focus on the subset of patients that fail to
17 show efficacy if you accept the exploratory analyses
18 that were done showing the subset in which they did
19 find efficacy. In that case, it would be a
20 superiority study in that subset.

21 So I can't answer the question under the
22 supposition that the study has adequate efficacy
23 based on the original primary analysis, and it
24 doesn't make sense otherwise.

25 DR. MABREY: Ms. Whittington?

1 MS. WHITTINGTON: I'm going to jump in. I
2 can't design the study, but I think if it's approved
3 and they do move forward with another evaluation,
4 they certainly need to look at some subgroups, and I
5 would say acute versus chronic disease because it's
6 just a different not only physiologic issue but the
7 psych that goes with it.

8 The endpoints I think that they used in
9 their initial study were good and were appropriate.

10 And the length of the study, if it's a
11 chronic population, probably needs to be extended to
12 12 months rather than 6 months. I think 24 months to
13 tax an organization is probably too much because of
14 the relatively inertness, as one of my colleagues
15 said earlier, of what they're using.

16 DR. MABREY: Mr. Melkerson, I'll take back
17 what I said before about Question 5 being the most
18 difficult.

19 With regards to Question 10, over the
20 hypothetical post-approval study, the Panel seems to
21 have varying opinions. Although those opinions have
22 been expressed, and I would assume that the
23 transcript will aid the FDA should they need to
24 develop a post-approval study, it is -- I do get the
25 sense that with regards to Question A, the same

1 questions that were initially proposed should be
2 those that are being answered, perhaps looking at the
3 correlation between back and leg pain.

4 With regards to Question B, the non-
5 inferiority design of the Sponsor's proposal would be
6 inappropriate for this type of study.

7 With regards to the patient population to
8 be looked at, either those with herniated nucleus
9 pulposus and radiculopathy or perhaps focusing on a
10 subset of patients with severe back pain and
11 radiculopathy.

12 With regards to Question D, the Panel seems
13 to recommend not relying upon historical controls.
14 As Dr. Evans has pointed out, standard of care
15 continues to improve.

16 And with regards to Question E, somewhere
17 between 12 months and 24 months; or I should say
18 somewhere between 6 months and 24 months.

19 Does that provide you with enough guidance?

20 MR. MELKERSON: I believe so, and I
21 actually deferred to our OSB friends, and she's
22 nodding yes.

23 DR. MABREY: Thank you. Okay. At this
24 point, we'll now proceed with the second open public
25 hearing of this meeting. One person has requested to

1 speak this afternoon, Dr. Patrick Fransen. If you're
2 in the room, please come to the podium. Please state
3 your name, your affiliation, indicate any financial
4 interest, if any, in the device being discussed today
5 or any other device.

6 DR. FRANSEN: Good afternoon. I'm
7 Dr. Patrick Fransen. I am a neurosurgeon at the
8 Clinique du Parc Léopold in Brussels, Belgium. I'm a
9 member of the Belgian Society of Neurosurgery. I'm a
10 board member, a member of the Societe de
11 Neurochirurgie de Francaise, de Societe Francophone
12 de Neurochirurgie du Rachis, and of the American
13 Association of Neurological Surgeons. Currently, I'm
14 the president of the Belgian Neurosurgical Spine
15 Society and the vice president of the -- Commission
16 in Neurosurgery at the Belgian Ministry of Health.

17 I am here today because I would like to
18 express some support for the U.S. FDA approval of
19 Oxiplex gel as a surgical adjuvant for spine surgery.
20 By way of disclosure, I have no financial interests
21 in this product or the Sponsor company. Other than
22 paying for my travel here today, I have received no
23 compensation from the company nor for my study nor
24 for this product.

25 Oxiplex has a good safety record in

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 widespread clinical use outside the United States.
2 As an example of the positive results that surgeons
3 have with Oxiplex, I wish to report on a
4 retrospective study of 396 patients that I treated
5 with this product between January 2003 and December
6 2006.

7 The study was recently published in the
8 annals of surgical innovation and research in 2008.
9 It was also presented at the -- annual meeting in
10 Washington D.C. last year.

11 Epidural fibrosis and inflammation can
12 cause compression, pain, and discomfort. A product
13 that can safely protect against excessive fibrosis
14 and nerve irritation without interfering with normal
15 healing could therefore increase the success rate of
16 spinal surgery and decrease the need for re-
17 operations. Given the burden of the clinical problem
18 and unfavorable experience with other types of
19 agents, we decided to evaluate the safety of Oxiplex
20 in the large population of patients undergoing spinal
21 microdiscectomy for disc herniation.

22 The subjects underwent spinal surgery for
23 one-level disc herniation. They had radicular pain
24 resistant to conservative treatment and associated or
25 not with motor or sensory loss. Some patients had

1 single-level spinal stenosis, neurogenic
2 claudication, radicular pain resistant to
3 conservative treatment. Their surgeries consisted of
4 decompression followed by covering the nerve root
5 with Oxiplex gel.

6 The patients' charts were reviewed six
7 weeks after surgery. There were no measurable side
8 effects during surgery, at the time of the
9 application of the gel. The mean length of stay
10 after surgery was five days, which is Belgium's
11 normal country standard. The mean length of stay
12 after surgery -- one patient -- sorry -- required re-
13 operation after 13 days for infection, but we
14 encountered no other abnormalities on wound healing
15 among the 396 patients.

16 There were a total of five re-operations
17 for recurrent herniation, two patients after less
18 than one week, one patient after one month, and two
19 patients within the first year. Although there was
20 no scar tissue observed in the two patients with
21 early re-operation, as expected, it was remarkable
22 that there was a significant, clinically significant
23 reduction in adhesions of fibrosis in patients re-
24 operated at one month and within the first year.
25 Specifically, in one patient having re-operation at

1 one year, the surgeon could easily see clear limits
2 of the L5 nerve root, which facilitate dissection and
3 separation of the nerve root from the surrounding
4 tissue.

5 There appears to be no risks related to the
6 use of Oxiplex gel. Oxiplex is a safe choice to
7 achieve improved outcome in lumbar disc surgery and
8 does not present any noticeable side effect in the
9 way we use it.

10 We are currently using Oxiplex on a routine
11 basis for all microdiscectomy procedures.

12 In conclusion, we have found that the use
13 of Oxiplex has resulted in increased success rate of
14 surgery, decreased need for re-operations, and it has
15 facilitated re-operations by less adhesions and less
16 scar tissue.

17 Thank you for allowing me to address this
18 advisory Panel today. I hope that my experience with
19 Oxiplex will support your decision to recommend that
20 this product would be made available to American
21 surgeons and spine patients. Thank you.

22 DR. MABREY: Thank you very much for your
23 comments. Does anyone else have a statement to make
24 to the Panel?

25 (No response.)

1 DR. MABREY: If not, it's 3:55, and in an
2 effort to keep things moving along, I'd like to take
3 just a 5-minute break and have everyone back here at
4 4:00. Bathrooms are down the hall that way.

5 (Off the record at 3:55 p.m.)

6 (On the record at 4:00 p.m.)

7 DR. MABREY: If we could close the outer
8 doors? Is there any further comment or clarification
9 from FDA? Ms. Jose? Mr. Melkerson?

10 MR. MELKERSON: FDA has no further
11 comments.

12 DR. MABREY: Thank you. Is there any
13 further comment or clarification from the Sponsor?
14 And I would ask you to restrict your comments to
15 about 15 minutes or less.

16 MR. KRELLE: Yes, there will be. Thank
17 you. I'd like to ask Dr. diZerega to close. Thank
18 you.

19 DR. DiZEREGA: Thank you, Dr. Mabrey and
20 distinguished Panelists. We have very much enjoyed
21 your comments and deliberations this afternoon and
22 appreciate your consideration of our PMA for
23 approval.

24 We would like to make some summation,
25 staying within the time frame, and the summation will

1 bring some information we think is useful, given the
2 conversations that you've had in reviewing the
3 questions of the FDA, and some perspectives that we
4 think are important from the standpoint of view of
5 reasonable assurance of safety and efficacy.

6 If I could have the first slide, please?
7 We certainly believe Oxiplex should be approved. We
8 believe this PMA should be approved for many of the
9 reasons that all of you have individually said at
10 different times throughout the day.

11 The issue of safety has been discussed in a
12 number of ways. I'll have a couple comments to say
13 about that, but I'll draw your attention to a
14 different aspect of safety that may have gotten lost
15 through some of the deliberations.

16 We'll also talk about effectiveness. Some
17 of the comments were made earlier today about the
18 size of the subgroup, and, obviously, it's a subgroup
19 that we're principally focusing on. Safety, of
20 course, includes all patients, but from an efficacy
21 point of view, clearly, we're focusing on this
22 important subgroup, and I'd like to stress the size
23 of the subgroup between 54 percent and 61 percent.
24 And I'll clarify that for you as we go through the
25 data.

1 But this is not a small subgroup. We're
2 not talking about 10 or 15 percent of the study
3 population. We're talking about the majority, and up
4 to, in some instances, two-thirds of the study
5 population. And we apologize if we didn't make that
6 clear in our previous presentation. And as has been
7 discussed by everyone, this is an unmet need. It's
8 an important unmet need that we'd like to provide to
9 our patients. Next slide, please.

10 Now, before I go into that part of my
11 presentation, there was a lot of discussion about the
12 Sponsor's presentation and the FDA's presentation,
13 and, as we can all imagine, the FDA and the Sponsor
14 have had a lot of discussions about this. But one
15 thing I'm certain that we can all agree on, that is,
16 the FDA and the Sponsor, that the preparation of the
17 statistical analysis plan was performed prior to
18 unblinding and was not post-hoc. The statistical
19 analysis plan, which drove the analysis was performed
20 prior to unblinding and was not post-hoc.

21 The second point is that the primary and
22 secondary endpoints in this study never changed, and
23 I think since 2002, they simply haven't changed. We
24 agree with you. These are important endpoints.

25 Now, we talked about the LSOQ and other

1 ways of measuring pain, global scores, the issues of
2 composite endpoints. Why did we choose the LSOQ? We
3 chose the LSOQ because it had sensitivity to identify
4 differences in a very heterogeneous population of
5 patients, measuring an endpoint that had a high
6 background, that is, pain. We believe very strongly
7 that the important, the most important part of the
8 pain measurement is the patient's perception of pain.
9 And as was said, using the terms that are the
10 patient's terms, we are trying to translate into
11 numbers that can undergo rigorous analysis what is
12 the patient's perception of pain. And that is at the
13 end of all of this what we're trying to do.

14 So the clinical threshold of efficacy,
15 whatever that might be numerically, the clinical
16 threshold of efficacy is the patient's perception of
17 pain or the change in the patient's perception of
18 pain, to say that he or she is more satisfied, that
19 he/she is better.

20 Now, the FDA showed you a number of 6
21 points, and there was discussion about whether that
22 number was clinically significant. And I would just
23 like to bring to your attention that that 6-point
24 change did not occur out of 100 points. That 6-point
25 change was a reduction of 21 points in the control

1 patient. This is the 21 points that were left over
2 from the surgery that is typically very successful.
3 All we had to work with in terms of showing an
4 additional benefit to the patients was the 21 points.
5 And when you look at it from the standpoint of view
6 of additional reduction of pain to the patient, you
7 get a very different percentage, and that's 29
8 percent. I think 29 percent, in my view, it would
9 certainly cross a patient's threshold when he or she
10 is talking about her pain or his pain is less. Next
11 slide, please?

12 And to just finish up with this, just so
13 the record is clear, that the FDA-approved
14 statistical analysis plan did pre-specify all
15 interactions. This wasn't something that came up
16 later. They were all pre-specified. Secondly, the
17 FDA required that all clinically relevant covariates
18 be included in the multivariate analysis. In some of
19 our correspondence with the FDA, we picked the ones
20 that were obvious, and the FDA suggested that
21 actually we expand that to all clinically relevant,
22 and the quotations are there obviously to support
23 that purpose.

24 The manner of screening was also pre-
25 specified. This is not post-hoc. The manner of

1 screening was not pre-specified. The screening of
2 items was performed all at once. The screening of
3 terms was performed all at once. Once again, it may
4 be artful, but it was intended to reduce any kind of
5 bias as we move forward. The method of model
6 selection was also pre-specified. This term pre-
7 specified we believe is very important in considering
8 the utility of this data and the validity of our
9 conclusions. The Sponsor did the analysis exactly as
10 agreed to with the FDA. Next slide, please?

11 Well, what did we find in the analysis that
12 we would like for you to consider before we move to
13 the next portion of this meeting? Oxiplex is safe.
14 Oxiplex is very safe. Over 100,000 procedures since
15 2002, plenty of time to pick up problems with DePuy
16 and Medtronic, as well as FzioMed, evaluating
17 responses from a safety perspective. And through all
18 those years, there were no AEs attributable to the
19 device. There were reports. There were compliance
20 issues in one thing or another, but out of all those
21 patients that have received this device, there were
22 no AEs attributable to device, and we think that is a
23 very important point, in terms of real-world going
24 forward.

25 Now, there's been a discussion about

1 safety, and some of you thought this might not be as
2 safe as you would like it to be. Well, this is an
3 aspect of safety that kind of gets buried when you do
4 these balance tables and you have lots of numbers.
5 Where there were differences that were important
6 between Oxiplex and control with the safety screens,
7 look at how those differences turned out.

8 We talked about reduced operation rates, a
9 0.6 percent versus a 3.4 percent, fewer in Oxiplex;
10 reduced incidence of neurological symptoms, pain and
11 hypoesthesias, fewer in Oxiplex; reduced incidence of
12 musculoskeletal anomalies, fewer in Oxiplex. Patient
13 satisfaction, disability days, I'll talk more about
14 in just a moment. And then, of course, CSF leaks.
15 But this is in all patients. This is a true ITT
16 population. Everybody was followed, and where there
17 were differences in these types of clinical measures,
18 they all favored Oxiplex. Next slide, please?

19 Just a couple data slides that we haven't
20 spent much time on, and this began to talk about
21 getting away a little bit from the subgroup that
22 we've been spending most of the day talking about.
23 This is the entire CC population. This is
24 irrespective of baseline back pain. This is
25 disability days. How much more disability did these

1 patients experience; obviously, an important endpoint
2 for lots of reasons. It wasn't the primary, but it's
3 an important one.

4 And I just want to draw your attention to
5 the fact that, in fact, there was a true disability,
6 difference in disability days, over two days, in
7 favor of the Oxiplex patients. That's a 27 percent
8 reduction in disability. And that number is
9 statistically significant. It's 0.0497. This is a
10 real contribution, we believe, to healthcare on a
11 going forward basis that is independent of any
12 subgroup analysis. Next slide, please?

13 Now, we haven't talked much about the
14 patient's perspective of how all this turned out, and
15 we believe that patient satisfaction is, in fact, the
16 most important clinical measure of outcome. Indeed,
17 if you go through the literature, you will find that
18 one thing that all the authors that generate scores
19 and tests and schemes and reports, they come down at
20 the end of the day to patient satisfaction. Are you
21 satisfied with your treatment?

22 Well, we measured patient satisfaction. In
23 the LSOQ, it is, in fact, the clinical measure of
24 effectiveness. Patient satisfaction is the LSOQ
25 clinical measure of effectiveness. And as you can

1 see, there was greater satisfaction in the patients
2 that received Oxiplex compared to the control
3 patients. And this measure of satisfaction we think
4 is extremely important and addresses very much what I
5 meant about the patient's perception of pain. The
6 patient's threshold in pain is the way they think
7 about what it is we're trying to do today. Next
8 slide, please?

9 Now, I want to go back to the whole issue
10 of general effectiveness. And we focused a lot on
11 the primary endpoint, the primary endpoint, and I
12 think we all understand the limitations of the
13 primary endpoint in the study. But I think from an
14 overall point of view, if you look at all seven
15 measures of the LSOQ, all seven measures of the LSOQ,
16 you'll find that they're all to the right of
17 baseline. And, as we've said before, that's a very
18 important observation. This is not a random event.

19 I don't know enough about statistics to
20 talk about trying to reduce potential interpretation
21 of error in confidence intervals. The way we did
22 this mathematically is we did the O'Brien analysis,
23 and what the O'Brien analysis does is it asks the
24 question that you've been grappling with: are the
25 positive results of Oxiplex a freak occurrence? Are

1 they simply a chance of throwing the dice? The
2 O'Brien analysis says they're not. It clearly says
3 they're not. For all seven of these things to be
4 positive, obviously, is not a chance event. Next
5 slide, please?

6 Now, let's take that subgroup again that we
7 think the most important observations of efficacy
8 really rely, and that is the patients with severe
9 back pain. And what happens to this type of analysis
10 in patients with severe back pain? Next, please, and
11 next?

12 As you can see by these circles, there are
13 now a number of endpoints, which, in fact, have
14 reached statistical significance. This is not a
15 chance occurrence. This is a very important
16 observation. It isn't a matter of one thing or the
17 other. It's the entire population showing the
18 benefit, five endpoints of which are now
19 statistically significant. Next slide, please?

20 What about the issue of the P-values that
21 you spent so much time talking about and considering?
22 Well, the P-values are what they are. They're
23 expression of the statistical analysis, and I think
24 you've done a good job characterizing that. What I'd
25 just like to draw your attention to is the size of