

UNITED STATES DEPARTMENT OF AGRICULTURE

FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

JOINT INDUSTRY/CONSUMER MEETING

+ + + + +

January 10, 2007

2:00 p.m.

Washington, D.C.

FACILITATOR: MR. ROBERT TYNAN
Deputy Assistant Administrator,
Office of Policy, Program and
Employee Development, Food
Safety and Inspection Service
U.S. Department of Agriculture

PARTICIPANTS:

DR. RICHARD RAYMOND
DR. BARBARA MASTERS
MS. CAROLINE SMITH DeWAAL
MR. STANLEY PAINTER
MR. SKIP SEWARD
MS. JENNY SCOTT
MR. KEN KELLY
MS. BARBARA KOWALCYK
MS. FELICIA NESTOR
MR. MICHAEL RYBOLT
MR. TONY CORBO
MS. NANCY DONLEY
MR. DENNIS JOHNSON
DR. VALERIE RAGAN
MR. LOREN LANGE
MR. CHRIS WALDROP
MS. ABBY DILLY
MS. KATHY GRANT

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
RESOLVE Report: Risk-based Inspection of Meat and Poultry Products, Final Report on the RBI Stakeholder Input Process	
Dr. Richard Raymond, Under Secretary for Food Safety	4
Background and Methodology	
Caroline Smith DeWaal, Director of Food Safety, Center for Science in the Public Interest	18
Skip Seward, American Meat Institute	22
Stanley Painter, Chairman, National Joint Council of Food Inspection Locals	25
Overarching Comments and Themes from Stakeholders	
Jenny Scott, Vice President of Food Safety Programs for GMA/FPA	27
Stanley Painter, Chairman, National Joint Council of Food Inspection Locals	32
Ken Kelly, Center for Science in the Public Interest	34
Product Inherent Risk (PIR)	
Stanley Painter, Chairman, National Joint Council of Inspection Locals	38
Barbara Kowalcyk, Consumer Advocate	40

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Product Inherent Risk (PIR) (continued)	
Skip Seward, American Meat Institute	48
Establishment Risk Control	
Felicia Nestor, Food and Water Watch	52
Jenny Scott, Vice President of Food Safety Programs for GMA/FPA	57
Dr. Valerie Ragan, National Association of Federal Veterinarians	62
Implementation	
Michael Rybolt, Director, Scientific and Regulatory Affairs, National Turkey Federation	66
Stanley Painter, Chairman, National Joint Council of Inspection Locals	69
Tony Corbo, Food and Water Watch	71
Opportunities and Next Steps	
Stanley Painter, Chairman, National Joint Council of Inspection Locals	73
Nancy Donley, Safe Tables Our Priority Priority	74
Dennis Johnson, Olsson, Frank & Weeda	77
Question and Answer or Comment Period	82
Adjourn	

P-R-O-C-E-E-D-I-N-G-S

(2:00 p.m.)

DR. RAYMOND: I'm not sure we're getting there, but we're sure, Dr. Masters did a wonderful job of going on despite the distractions, with the roadmap. That's probably the main theme of the whole RESOLVE Report is that you need a roadmap. We need to know where the stops are along the way. We need to know that people will be involved to continue the process of openness and transparency. We need to know what start up dates are. We need to know where, how many, and et cetera, et cetera, et cetera, and I couldn't agree more with the Report, and with all of you that said that many times in that report.

And I apologize if we've not gotten it to you yet. I thought we were close once and then we realized there were a few glitches and a few i's that weren't dotted and a few t's that weren't crossed, and we want to make sure we get those i's dotted and t's crossed, and I hope to have that roadmap by the end of this month. We'll get it to you though when it's ready to get you and when we've done all the things we

1 have to do to get it there.

2 I do realize it is the next big step, and
3 when we do have a roadmap, I'm pretty sure I will have
4 another meeting like this so we can discuss the
5 roadmap. I promise you that.

6 One thing I'd like you to keep in mind as
7 you continue to digest this lengthy report, it was
8 a -- report. RESOLVE started the process of
9 interviewing individuals and groups. That was long
10 before we had our two-day meeting, and then part of
11 the report reflects questions and answers from the two
12 day meeting and the small workgroups and then more of
13 the report reflects electronic contributions since the
14 two day workgroups. So some comments were made in
15 July. Perhaps the questions have all been answered.

16 So it's hard -- if you take the report at
17 face value, it's pretty negative. But I think a lot
18 of that was from concerns that were out there
19 originally that perhaps have been resolved and to
20 continue to resolve, I have asked -- to come up with a
21 list of the questions that are in the report, the
22 commonly referred to questions, put the answers next

1 to them, and we'll make that type of information
2 available to you because some of you may know some of
3 the answers and some of you may not know the answers.
4 I want to make sure you all know what the answers are,
5 whether we all agree with the answer or not.

6 One other question that came up several
7 times is that the inference that this is about
8 reducing the inspection workforce, this is about
9 helping us with budget issues, this is about helping
10 the Administration look good. None of the three.

11 Now if we can help the Administration look
12 good, because we do a better job with food safety,
13 that's a bonus. That's a bonus. No one is going to
14 lose a job over this part of this process. The
15 processing plants. It's not about job reduction.
16 It's not about cost reduction. Remember the -- matrix
17 that I used many times. There's three plants. One
18 inspector covers three plants, still goes to all three
19 plants every day, just spends a little bit more time
20 at Plant A and a little bit less time at Plant C based
21 on the data available to us. I can't say that enough
22 times. We have to separate risk-based inspection

1 processing plants from what conversations we'll be
2 having later about risk-based inspection slaughter
3 plants. I won't be saying the same thing then
4 Stanley. I will be saying that may have something to
5 do with workforce and it may have something to do with
6 budgeting. Processing does not, and I just ask you
7 all to keep that in mind.

8 Another issue that comes up in the report
9 that it does concern me is conversations about whether
10 or not we're using an open and transparent process. I
11 guess if I can be more open and transparent, I would
12 ask someone to let me know how with the exception
13 perhaps of the expert elicitation of the inherent risk
14 of the product. Comments that we were just checking
15 off the box to show we got stakeholder consultation,
16 that does offend me somewhat.

17 Now we've done a lot more than check off the
18 box, and I think RESOLVE is convinced of that, too,
19 with their comments on page 49 where they say the
20 changes between the Agency's description of PIR and
21 ERC in the initial concept papers and later
22 descriptions of the public workshop demonstrate

1 influence that stakeholder input can and has had on
2 the evolution of FSIS' thinking about RBI. So that's
3 our neutral third party that they got the feeling
4 after talking to all of you and listening to all of
5 you that we are trying.

6 I'm going to give you some examples that I
7 hope will put that little issue to rest. A year and a
8 half ago I heard that the hazard coefficient and
9 hazard control and hazard control coefficient were bad
10 things. You haven't heard us mention them since then.
11 We recognize that NRs are not equal. You know, we've
12 worked awfully, awfully hard to come up with a better
13 way to measure the institution's ability to control
14 risks, the establishment's ability to control risks.
15 Big change. Took us a long time. It slowed us down
16 but it was the right thing to do.

17 We will do a second expert elicitation on
18 inherent risk of the product. I made that commitment
19 to Caroline and others at the two-day meeting, and we
20 will take many of the things that we heard at the two
21 day meeting and incorporate them into this expert
22 elicitation. There will probably be 24 members,

1 probably 8 from public health, problem 8 from --
2 scientists from universities, maybe not state
3 universities, ones that's maybe not quite so closely
4 related to industry, and there will be 8 scientists
5 from industry. And we have -- well, we have solicited
6 names and recommendations from our Advisory Committee
7 on Meat and Poultry Inspection, and their subcommittee
8 has provided us with candidates that we are contacting
9 about that. We will use severity of illness. We've
10 all had a peer review, and I promise you will have
11 another meeting like this. Once that report is
12 available, we'll get together and we'll hash it out
13 again like we did the first expert elicitation.
14 That's another commitment. That's something we heard,
15 and we're definitely going to do that.

16 Food defense has been removed from the
17 establishment's risk control. We heard that at the
18 two day meeting emphatically. I'm not saying I agree
19 with it but I'm not going to argue with 100 percent of
20 you about whether it should be or not. It's gone.
21 We'll do that in a different fashion.

22 Multiphased implementation that Barbara

1 referenced briefly. I'm not going to go into any
2 detail for fear of going too far, but it will not be
3 nationwide. It will be a very small roll out as we
4 test the algorithms as we test the process. We heard
5 that. We responded to that.

6 This meeting, the fact that the web is
7 there, the fact that we continue to request and
8 solicit electronic submissions of comments, our
9 monthly meetings with consumers, our monthly meetings
10 with industry, we will continue to have quarterly
11 meetings as we go through this process with combined
12 industry and consumers, our regular calls with
13 Mr. Painter, our town hall meetings with our
14 workforce, meeting with the Joint Council whenever
15 Mr. Painter would request us to come and meet. And
16 it's no longer the token 10 minutes, hi, how are you,
17 out the door. It's two hours of questions and answers
18 and give and take, trying to build some level of, of
19 trust and confidence with our employees in that
20 particular arena also, and then, of course, NACMPI
21 will continue to be a sounding board.

22 I must say that one individual told me a

1 year ago that NACMPI was not the right venue for this
2 to make it open and transparent because there aren't
3 too many people really interested in serving on NACMPI
4 because it had kind of gotten watered down and as we
5 solicited names for the next round of members on
6 NACMPI, I think it was 73 people submitted. So we
7 have definitely raised the image of NACMPI and the
8 desire for people to contribute to meat and poultry
9 safety in this country. That was very rewarding for
10 me. Whether it came about because of this or not, I
11 don't know, but that's an aside.

12 Page 50 in this report also had a series,
13 about seven or eight bullets of things that brought
14 particular controversy and particular attention, and
15 they felt -- RESOLVE felt that if we wanted to
16 continue to have people come to the table and meet
17 with us and visit with us and contribute to us, we
18 would have to address those instances in some detail.
19 And so Barbara and I and Bryce and others on the
20 Management Committee have met. We will be having a
21 series of very focused meetings. We definitely will
22 have one fairly soon on NRs. What's our thinking on

1 NRs? Where are we today? It's certainly different
2 than it was a year ago, and we shared that with you
3 and we want to listen to you as we decide what the
4 best route to go is. We changed it today. I mean the
5 NRs continue to change. We want to share with you
6 where we're at rather than not share.

7 We will probably have a meeting on how to
8 use volume. That's another area that came up
9 repeatedly in the two-day session, and we don't have a
10 solid way yet. We're working on it, and once we have
11 something that I can defend or be proud of, I would
12 like to share it with you and have you pick it apart
13 so we can change and alter it again, but it has to be
14 ready before I want to share it.

15 Data, the information that industry has,
16 there was controversy there. Do you use it or don't
17 you use it. If you use it, how do you use it and, and
18 have certain safeguards. We're still working on that,
19 and we will have a meeting probably on that also.

20 And what we need most importantly, we heard
21 repeatedly about attribution. We just participated
22 along with the FDA and CDC at a conference in December

1 on risk analysis, and we presented some attribution
2 data there. We are going to, with the FDA and CDC
3 again, host another summit. It will be a different
4 venue than the one we had in December or the one that
5 Mike Taylor had. This will be down in the basement,
6 across the building, in the room that we did the Avian
7 Influenza Tabletop. It's a good room to have an
8 interchange of ideas. People that were there at that
9 meeting said that it was one of the best meetings they
10 had. We're going to try to copy that venue for an
11 attribution summit, FDA, CDC, us, scientists,
12 industry, consumers, institutions of higher learning.

13 We've got an invitation list tentatively
14 arranged. I'm asking for your feedback now on that
15 particular summit. If you or someone in your
16 organization or you've got an organization that you
17 think we might forget, please e-mail us or something,
18 let us know who might be attending. Most of the
19 people around this table are represented one way or
20 another on your tentative list, but help us out with
21 that. We're not really sure yet, because we haven't
22 send out the invitations. The date was set but the

1 date has been unset because I can't make it, the CDC
2 can't make it and the FDA contract can't make it on
3 that particular day. The three people that were going
4 to lead this thing are all tied up in other venues.
5 We're looking for another date. We're looking for the
6 end of March. We're still looking around that
7 timeframe so we can do it right. I just -- I want to
8 let you know we have a list, and we certainly are
9 trying to do the list and will continue to try to
10 build this one up a little bit better.

11 To sum it up, I want to read from the
12 RESOLVE Report, page 51, in their summary, I think it
13 sets the tone for a good meeting today. "A foundation
14 of common interests exist among stakeholder that would
15 likely compel many to continue to provide input to
16 FSIS if given the opportunity. These interests
17 include, (1) mutual recognition and improvements to
18 the meat and poultry inspection process, can and
19 should be made; (2) risk should drive decisions about
20 the best deployment of inspection resources to improve
21 food safety and better protect the consuming public;
22 and (3) FSIS is the Agency with authority over meat

1 and poultry inspection process should succeed in
2 enhancing the meat and poultry inspection process."

3 We intend to go forward. I don't think
4 there's any secret there. We're probably not going
5 forward as quickly as some of you thought we might try
6 to go forward, and I think you'll like it when you get
7 the map. We don't have all the data. That's one of
8 the points of contention that has been raised many
9 times. You need more data. You need more data. You
10 need more data. And you've heard me talk about public
11 health before, but I'm going to give you a couple of
12 other opinions besides mine. You've heard mine.

13 This comes from the International
14 Association of Consumer Food Organizations to Codex.
15 The IACFO has ongoing concerns that risk management
16 responsibilities are being delayed in order to
17 complete lengthy and sometimes redundant risk
18 assessments or to accommodate other regulatory
19 procedures that postpone necessary risk management
20 actions. This is clearly adverse to public
21 protection.

22 World Health Organization, 2001 Strategic

1 Planning Report. Microbiological risk assessment is
2 time and resource intensive and may not be necessary
3 in all cases requiring risk mitigation.

4 And lastly, our own National Advisory
5 Committee on Microbiological Criteria for Foods in the
6 2002 report, this consideration of risk may not
7 necessitate in all situations an in depth risk
8 assessment which requires extensive resources and
9 time, particularly if it would unnecessarily delay
10 timely protection of the public health.

11 Now those are three good quotes. There's
12 also one in this book that Carol told me I had to
13 read, and I found good quotes in there about don't
14 wait until you get all the data, and then I went back
15 to 1983. Risk Assessment in Poultry, and I've got two
16 good quotes out of here, but I don't want to take
17 anymore of the time of this meeting but if I get -- if
18 I need them, I'll use them. They've been saying 1983,
19 don't let perfect get in the way of good.

20 With that Bob, it's yours.

21 MR. TYNAN: Thank you, Dr. Raymond. Before
22 we go any further, in responding to Mr. Waldrop's

1 (ph.) concern, I just want to check and see if we have
2 some folks on the phone, in particular, Ms. Kowalcyk
3 and Ms. Nestor.

4 MS. NESTOR: I'm on the phone.

5 MR. TYNAN: Barbara?

6 MS. KOWALCYK: Yes, I'm on the phone.

7 MR. TYNAN: Okay. Thank you. And two other
8 individuals that I'd like to introduce would be Abby
9 Dilly and Abby, I think you're on the phone also?

10 MS. DILLY: I am.

11 MR. TYNAN: And Kathy Grant. Abby and Kathy
12 are two of the main people from RESOLVE and they're
13 participating so that they can hear your comments and
14 issues as well.

15 With that, I would like to begin the comment
16 portion. I would remind you again that we have
17 designated speakers for each portion from each group
18 of individuals. You have five minutes for the
19 presentation, a total of 15 minutes in each category,
20 and I have a list here as things do happen, lists
21 sometime change at the last minute. So if I call on
22 someone and you are not to be the speaker or somebody

1 else has exchanged with you, that will be fine.
2 Please let me know that when that happens.

3 The first discussion has to do with the
4 RESOLVE Report's background and methodology, and
5 beginning the discussion is the consumer groups of
6 Ms. DeWaal. If you could introduce yourself and again
7 your affiliation for the transcription, that would be
8 great.

9 MS. DeWAAL: Thank you. It's Caroline Smith
10 DeWaal. I'm Director of Food Safety for the Center
11 for Science in the Public Interest.

12 Dr. Raymond, I want to thank you for
13 inviting us to this meeting on the RESOLVE Report with
14 industry, employee and consumer representatives. We
15 appreciate the fact that consumer representatives will
16 have six opportunities to comment this afternoon on
17 the report. So I'm here to present the first of a
18 number of consumer positions. My topic is Background
19 and Methodology.

20 First, we think RESOLVE did an excellent job
21 in the final report of capturing the many diverse
22 comments of stakeholders on the concepts behind FSIS'

1 push to design a risk-based inspection system. This
2 was clearly a challenging project. We were pleased to
3 find many of our comments were reflected in the
4 report, and its organization provided an opportunity
5 to better understand concerns of other stakeholders.

6 We also appreciate the Agency's openness to
7 stakeholder input, including the public meeting and
8 ongoing monthly meetings with various stakeholder
9 groups.

10 This level of discussion is essential if
11 USDA is serious about redesigning its processed meat
12 and poultry inspection programs to be more risk-based.

13 The RESOLVE Report really tells the story of
14 risk-based inspection so far, and it provides a
15 valuable jumping off point for the Agency's next
16 steps. The Report has also led us to several
17 important conclusions, which I will only introduce
18 here and leave to my other colleagues to further
19 elaborate on in their remarks.

20 First, USDA has not articulated a public
21 health goal for the risk-based inspection program. Is
22 the goal to reduce illnesses or inspectors? We

1 believe that this Under Secretary, you, Dr. Raymond,
2 of all those with whom we have worked, is best
3 positioned to clearly articulate the public health
4 objectives of this project, and we urge you to do so.

5 Second, the report clearly describes
6 concerns that many stakeholders share about the
7 absence of legal authority to proceed with risk-based
8 inspection. This Agency has a history of developing
9 forward thinking programs, only to discover in Court
10 that they fall outside the scope of the statute.
11 Thus, we would ask that you fully articulate the legal
12 authority for risk-based inspection as a critical next
13 step.

14 Third, the report fully describes the calls
15 from numerous stakeholders for a defined timeline for
16 moving forward. Dr. Raymond, what FSIS is proposing
17 to do is challenging and cannot happen overnight. If
18 all the stakeholders could see a roadmap for the
19 journey, which you've already promised, and sufficient
20 timeframes, I believe that we could all participate
21 fully and productively in the process. But when
22 people are being rushed to reach judgments or

1 conclusions on unfinished products, it has been my
2 experience that these programs often end up as the
3 subject of Congressional oversight hearings or Court
4 proceedings.

5 Finally, the report clearly supports the
6 view that the foundation of risk-based inspection must
7 be on sound data. We're concerned that FSIS may be
8 trying to fit existing data into a project it wasn't
9 designed for, much like using a screwdriver to the job
10 of a hammer. It isn't that it can't be done, but the
11 results are probably going to be less than fully
12 satisfactory.

13 Thus, we would like to propose that the
14 future of risk-based inspection, especially the
15 parameters as they apply to establishment risk
16 control, is built on data collected prospectively,
17 once the framework is established. This would result
18 in many of the issues raised by consumer organizations
19 on data integrity as well as industry concerns and
20 ultimately challenges based on due process. When it
21 comes to data, let's build the car before we drive it.
22 Then I believe we can all drive it together. Thank

1 you.

2 MR. TYNAN: Thank you, Ms. DeWaal. The next
3 speaker we have to discuss Background and Methodology
4 is Skip Seward from the American Meat Institute.

5 MR. SEWARD: Thank you very much. First,
6 while I do represent and the other people who will be
7 speaking on behalf of the industry today, we represent
8 a coalition of industry people who have been
9 addressing this. Certainly there are a lot of
10 industry views that we may not represent. So I just
11 want to make that clear up front. It's a vast area.
12 So just to put that on the table up front.

13 First, RESOLVE -- by the way, I did give a
14 handout of my comments. There may not be enough for
15 everyone, but these are on the green page that I
16 submitted for you, but first, RESOLVE conducted the
17 task that they were asked to complete, and we agree
18 with the conclusion that stakeholder input reflects
19 the current state of stakeholder knowledge about risk-
20 based inspection, and thus as stakeholders become more
21 knowledgeable about risk-based inspection, their input
22 will evolve as well.

1 One specific methodology issue that most
2 stakeholders agreed that needed to be reexamined was
3 the development of a risk ranking of meat and poultry
4 products for use in describing PIR. We are encouraged
5 that this important aspect of risk-based inspection
6 will be reexamined at least one more time with clear
7 directions for those asked to participate.

8 Now an industry risk-based inspection
9 coalition has examined the idea of risk ranking and
10 how the output from such a risk ranking could be used
11 in the RBI algorithm. And the table that's provided
12 in the handout illustrates an alternative condensed
13 risk ranking for meat and poultry products developed
14 by the industry coalition.

15 Now we believe that this risk ranking may
16 assist in the development of the new expert
17 elicitation and the examination of their output.

18 And for those of you who don't have the
19 handout, this ranking goes from 1 to 6 1/2, and it
20 just lists various product types from commercially
21 sterile with the ranking of 1, to 6 1/2 for part
22 cooked that appear to be fully cooked.

1 Now such a risk ranking would play a vital
2 role we believe in simplifying the risk-based
3 inspection process especially for establishments
4 manufacturing numerous SKUs or products, each with
5 their own PIR and ERC, and the SKUs could be grouped
6 into these risk ranking categories without compromise.

7 The key element of the methodology used to
8 obtain stakeholder input is transparency, as we've
9 heard, and all stakeholders need to work together to
10 optimize the development and subsequent implementation
11 of the risk-based inspection, and to work on the
12 continuous improvement process thereafter.

13 Industry encourages FSIS to open the
14 development process parameter, to gain the value input
15 from stakeholders before final algorithms,
16 categorizations or other outputs are published or
17 implemented in the field by FSIS as they have been
18 doing. So thank you very much.

19 MR. TYNAN: Thank you, Mr. Seward. The
20 third individual to speak on this particular component
21 is Mr. Stanley Painter, and Mr. Painter, if you would
22 identify yourself and your organization again for the

1 transcriber.

2 MR. PAINTER: Yes. My name is Stan Painter.
3 I'm the Chairman for the National Joint Council of
4 Food Inspection Locals, in layman's terms, the Union.

5 And, in looking over the report, maybe it
6 was a little bit different than what I expected. It
7 appears to be the gathering of comments and which, I
8 don't know, maybe I expected a greater recommendation
9 from RESOLVE versus the gathering of comments. And
10 I'm still waiting for the other shoe to drop. The
11 transparency and what have you that we've had thus
12 far. The Union has enjoyed the participation and the
13 ability to be a part where we've not been a part in
14 the past, and we certainly appreciate the ability to
15 do so. But, you know, maybe it will come with the
16 slaughter portion of it, which I think is coming next.

17 And I don't know in my review of the report,
18 it seems like we walked away with more questions than
19 answers, and maybe I was expecting more answers to be
20 given than questions outstanding. Maybe I'm wrong.

21 You know, we're developing a basis, and I
22 realize you have to start somewhere, and we're

1 identifying problems. We're identifying issues, but
2 I'm wondering, when are we going to identify
3 solutions, and when are we have recommendations that
4 will be given to stakeholders, that this is the
5 problem.

6 I haven't yet had the Agency to identify a
7 problem to the Union. I've asked a number of times,
8 what is the problem? What is broken that we need
9 fixed? And what part of the methodology do we need to
10 change? And, you know, we just continue to get the
11 same thing, you know, we're moving toward a more risk-
12 based inspection. We have no vision, at least
13 anything that's been shared, of where we're going and
14 I haven't saw the background information that shows
15 that we're not going in a positive direction. And if
16 we're not, how are we going to get there?

17 So those are some of our concerns, you know,
18 over the background and methodology. We just don't --
19 we still walk away with more questions than answers.
20 Thank you.

21 MR. TYNAN: Thank you, Mr. Painter. The
22 second topic that we're going to have today for

1 comments is Overarching Comments and Themes from
2 Stakeholders. And what we're going to do in this
3 section and other sections is we're going to start off
4 with a different group and individual. So, Ms. Scott,
5 if you could introduce yourself and your organization
6 for discussion of the overarching comments and themes.

7 MS. SCOTT: I'm Jenny Scott. I'm Vice
8 President of the Food Safety Programs for GMA/FPA,
9 which is a merger of the Grocery Manufacturers
10 Association and Food Products Association, for those
11 of you who don't recognize that name.

12 The RESOLVE Report presents an excellent
13 overview highlighting areas of agreement and
14 disagreement with respect to the RBI initiative, and
15 we appreciate having that overview.

16 Most importantly, the RESOLVE Report is
17 clear that almost all stakeholders support in concept
18 RBI, where it means properly allocating resources in a
19 manner that enhances public health protection. And we
20 also all agree that the Devil is in the details.

21 A number of valid concerns have been raised.
22 How will this impact inspector jobs? What will be

1 required for establishments? And how will this have a
2 positive impact on public health?

3 And FSIS is going to need to address these
4 concerns as promptly and as fully as possible, but
5 they can't be fully addressed without moving forward
6 with the initiative and then making adjustments as
7 needed. We have a rare opportunity here to target
8 inspector activities to the areas in an establishment
9 where operations are not consistent industry best
10 practices and hazards are not well controlled. But
11 this is going to take more education and workforce.
12 Michael Rybolt will provide more thoughts about this
13 when we get to implementation.

14 By reallocating resource, RBI should provide
15 opportunities to enhance inspector knowledge about the
16 best practices and provide them with the abilities to
17 assist those plants who lack technical expertise
18 and/or access to best practices that are available
19 from trade associations and industry coalitions.

20 The RESOLVE Report indicates that some
21 stakeholders believe that RBI fails to incorporate the
22 essentials of a public health approach. We disagree.

1 If the product inherent risk and the establishment's
2 control of the product's risk of causing illness are
3 appropriately characterized, and inspection resources
4 are appropriately allocated, to help the plant achieve
5 better in-plant control of a hazard, then the risk to
6 the public will be reduced.

7 You have to remember that RBI is layered
8 over HACCP, which requires establishments to identify
9 the hazards and implement appropriate controls for
10 them.

11 According to the RESOLVE Report, many
12 stakeholders appear to be in agreement that for
13 optimized risk reduction and enhanced public health,
14 we'll need to address the risk of a number of
15 pathogens along the entire food chain. This is
16 something to keep in mind for the future, assuming
17 that RBI is successful achieving greater control over
18 hazards with respect to the establishments and freeing
19 inspector time for other activities but it shouldn't
20 be a part of the initial implementation.

21 According to the RESOLVE Report, many
22 stakeholders believe that FSIS lacks sufficient

1 evidence to justify major changes in the inspection
2 program. Although all the data that could be used to
3 support RBI are not currently available, this is not a
4 reason to not move forward. The common thing -- theme
5 among stakeholders was the lack of attribution data.
6 Clearly this is important in allowing us to --
7 products that result in most illness. We do know the
8 hazards of microbial pathogens and focusing on
9 reducing microbial pathogens in any food product
10 should be a positive step forward. As we get better
11 attribution data, we will be able to make RBI work
12 more effective, accurate and efficient as the report
13 notes.

14 Also as noted in the RESOLVE Report, many
15 stakeholders supported using industry data in
16 calculating the plant's RBI score. RBI should reward
17 those establishments that go above and beyond in
18 obtaining data such as pathogen testing data and
19 sharing those data with FSIS. The algorithm that FSIS
20 develops should include a component to give credit to
21 companies that conduct verification testing, share all
22 the results with FSIS and then use the results to make

1 adjustments to their SSOPs and HACCP plans.

2 And finally, with respect to the stakeholder
3 process, we really appreciate the Agency asking for
4 input before the program is fully developed and carved
5 in stone. We stress the importance of being
6 transparent in this process, and we were really
7 pleased to hear Dr. Raymond's remarks about
8 transparency today. We think that if FSIS puts
9 together the program with minimal input from industry,
10 consumer groups and its employees, it's going to be
11 reviewed with suspicion and criticism. However, if we
12 have this open process where ideas are shared, and
13 they're developed with everyone involved, we're going
14 to have more constructive criticism in developing a
15 better program.

16 So we are urging the Agency to go forward
17 with their plans and have these additional meetings
18 and sharing as much detail as possible as soon as they
19 can, especially with respect to how the RBI score will
20 be calculated and on the levels of inspection
21 intensity that are envisioned for establishment of the
22 various RBI scores.

1 We all have ideas about how a RBI system
2 should look and probably all of us are going to have
3 to compromise to a certain extent. The dialogues that
4 we have had and the ones we will have in the future
5 are the key in developing a better workable RBI
6 system, a RBI system that should ultimately have a
7 positive impact component though.

8 MR. TYNAN: Thank you, Ms. Scott. I notice
9 nobody has made the timer go off. We're all staying
10 within our five-minute timeframe. So that's very
11 good. And thank goodness, Faye is here. She's
12 helping me to remind me to turn the timer on. So very
13 good. All right. In the same category, the next
14 speaker will be again Mr. Painter.

15 MR. PAINTER: Regarding outreaching, the
16 Agency has addressed an interest in getting comments
17 from everyone involved, and getting the comments and
18 compiling them and using them are two different
19 issues. You know, we hope that this is not just a
20 process of going through the motions of getting these
21 comments and then not using them, or the comments from
22 one group are taken more heavily than others.

1 The process of working in the environments
2 that we have to work in to produce a wholesome product
3 is certainly tasking on a daily basis, and the reward
4 is putting out a safe and wholesome product, and the
5 reaching out to the inspection staff regarding the
6 phone calls that have been -- that are taking place
7 now are certainly further encouraged and helpful, but
8 we're asking that the comments in the RESOLVE Report
9 and the comments that are gathered are used to the
10 fullness of the ability and --

11 UNIDENTIFIED SPEAKER: What are you talking
12 about?

13 MR. PAINTER: -- each, each group will have
14 the ability to have some input and when the final
15 phase comes out, each group will be able to see that
16 their comments and their input in the process will be
17 shown out in the field.

18 Now the process of establishments is still
19 of a great concern to the inspectors in the field and
20 we want you to continue to do as you're doing, and
21 listen to the people as they continue to share their
22 comments and their input. Thank you.

1 MR. TYNAN: Thank you, Mr. Painter. I want
2 to remind the folks who are on the line that our
3 format today, the only speakers are those that are
4 here in the room and the two that are on the phone,
5 Felicia Nestor and Barbara Kowalcyk. So if you have
6 other comments, from other participants, we're not
7 inviting you to do that at this time. So we'd ask
8 that you not comment during the speakers as they go
9 through.

10 The next speaker is Mr. Ken Kelly.
11 Mr. Kelly, if you'd introduce yourself and your
12 organization please.

13 MR. KELLY: Yes, I'm Ken Kelly, and I'm with
14 the Center for Science in the Public Interest.

15 Good afternoon. Dr. Raymond, I want to
16 thank you for bringing us all together here, consumer
17 and industry to talk about the RBI process. I think
18 we all really appreciate this opportunity.

19 And to echo some of the statements that have
20 already been made, I think on behalf of the consumers,
21 especially as relates to the overarching comments and
22 themes, I think RESOLVE did a great job in bringing

1 together a lot of the concerns as best as they could,
2 and I think that we all benefit from the report that
3 they issued.

4 I'll be talking about, of course,
5 overarching comments and themes on behalf of
6 consumers. I think consumers and meeting with
7 industry support the idea of a RBI system that would
8 allow FSIS to allocate its resources to further
9 improve public health or food safety rather, but a RBI
10 system must be predicated on criteria that adequately
11 reflects risks.

12 As the report states, industry and consumers
13 have a number of concerns that have yet to be
14 addressed. These include everything from the impact
15 on the authority of FSIS personnel within
16 establishments to how RBI will impact public health
17 and the factors that will make up the algorithm for
18 establishment risk control. I know that consumers
19 have -- consumer groups have some particular concerns
20 about the RBI system that I just want to mention
21 fairly briefly.

22 Dr. Raymond, on more than one occasion, I

1 know that you've mentioned that FSIS, you see FSIS as
2 the public health arm of USDA, and I know that we've
3 appreciated those comments. However, I do want to
4 make a point that consumer groups, that there's no
5 clear measurable public health goal for the RBI
6 process. If it is indeed to reduce food borne
7 illness, then we feel the Agency needs to incorporate
8 the essentials of a public health approach into the
9 risk-based inspection process in order to meet that
10 goal. The public health goals determine the data
11 needs of the system and will allow optimized risk
12 reduction.

13 We also feel that the Agency should go back
14 and, as you've already indicated, that you've looked
15 at some of the -- at the two reports that were put
16 out, one by the Institute of Medicine and the National
17 Research Council, and we're happy to hear that.
18 Hopefully that will bring some additional thoughts to
19 this process.

20 The RESOLVE Report also clearly states that
21 there are concerns about the statutory authority of
22 the Agency to implement the RBI system. And we feel

1 that the Agency, in order to address this concern,
2 should articulate what law you believe gives it the
3 authority to set and enforce regulatory and science
4 based standards. And if FSIS finds that it doesn't
5 have authority, is the Agency willing to go and ask
6 for it?

7 Without addressing this underlying issue, we
8 feel that FSIS may be putting the cart before the
9 horse, and it has the potential to invite plants
10 subject to an increased level of inspection to
11 challenge the legal authority of the RBI system. And
12 I have no doubt that there are many members of
13 Congress that would like to know the authority as
14 well.

15 From the consumer perspective, there's also
16 concerns, as you well know about the use of data and
17 the infrastructure in place to store the data. We
18 believe that defining the criteria to be used to
19 assess the measure risk is a significant hurdle to
20 overcome in the RBI process. These criteria must be
21 linked to the public health objectives of a RBI system
22 through the use of scientific data. We feel that a

1 well defined public health goal, through it, the
2 Agency can develop a data system to utilize the RBI.

3 FSIS should continue to work in a
4 transparent and collaborative manner with all the
5 stakeholders like you've done. We also feel; it's
6 essential that FSIS take the time to evaluate the
7 feedback that you receive from the stakeholders and
8 clearly formulate the next steps in this process. And
9 this should include a revised timeline, which I'm
10 happy to hear you're in the process of developing, as
11 well as addressing some of these concerns about the
12 public health objective, the statutory authority and
13 the use of data. Thank you.

14 MR. TYNAN: Thank you, Mr. Kelly. The next
15 point in our discussion has to do with Product
16 Inherent Risk, and the first speaker will be
17 Mr. Painter.

18 MR. PAINTER: I worked for the poultry
19 industry prior to coming into inspection. I worked
20 for three years in a poultry processing plant,
21 slaughtering and processing. I've been with the
22 Agency for 21 years, and every day that you go to a

1 plant, just like every day you get out of bed, there's
2 going to be risks. And in looking at what I see every
3 day on a day-to-day basis, and what I see in plants, I
4 ask myself why would we want to move into a system
5 that is risky? Why would we want to put our
6 consumers, our stakeholders, our people at risk?

7 And in looking at the RESOLVE Report, I
8 found that it cited a number of risks that the
9 stakeholders brought out, you know, we're seeing on a
10 day-to-day basis. We're seeing the issues with, you
11 know, with *Listeria*. We're seeing issues with *E.*
12 *coli*, things of that nature, and our food supply
13 apparently is not getting any safer.

14 And the concerns of the inspectors in the
15 field is, how is risk going to be lowered? And I've
16 saw the Agency in the past, if an issue happens, well,
17 we had inspection there, regardless of how great or
18 how minimal, we had inspection there, and then if we
19 didn't have inspection there, you know, the plants
20 would seem to blame it on the inspection. Well, you
21 know, where was inspection? It went through because
22 of inspection.

1 So, you know, there's got to be a point that
2 we look at the process and say, are we moving in a
3 direction that is worth the outcome? And I haven't
4 saw that yet. And like I've reiterated over and over
5 and over, I'm waiting for the plan. I'm waiting for
6 the process, and I'm waiting for the Agency to share
7 with the stakeholders as to how less is going to be
8 best, and how less is going to be more. You know,
9 without a plan, we can't move forward. Thank you.

10 MR. TYNAN: Thank you, Mr. Painter. The
11 next speaker for Product Inherent Risk is Ms. Barbara
12 Kowalcyk, who is on the phone. Ms. Kowalcyk, if you
13 could state your name and identify your organization
14 for us please.

15 MS. KOWALCYK: My name is Barbara Kowalcyk,
16 and I am here as a consumer advocate.

17 First of all, thank you. I would like to
18 thank the Agency for bringing everyone together, and
19 as many speakers before me have said, I'd like to
20 thank RESOLVE for doing an excellent job at
21 facilitating stakeholder input in risk-based
22 inspection in the RESOLVE report which I thought was

1 useful. I certainly hope that they will remain
2 involved in the process as it goes further as it is
3 evident from this one, that much more input is needed
4 for stakeholders to reach consensus about RBI.

5 As alluded to in the RESOLVE Report, risk-
6 based inspection is an idea that most stakeholders
7 recognize the necessity of achieving -- food safety.
8 For the past several years, USDA and FDA has been
9 trying to support their public health function with
10 fewer and fewer resources. It's becoming more and
11 more apparent that we need a scientifically driven
12 system that uses robust data to assess risk associated
13 with food production distribution, and then weight
14 those risks to determine whether their resources will
15 provide the highest level of food safety to protect
16 public health.

17 The development of such a system is an
18 enormous task that needs to be undertaken seriously
19 with due diligence. When confronted with such a
20 monumental task, such as building an effective
21 scientifically driven risk-based inspection system,
22 one must come up with a process for attacking the

1 problem. For me, I tend to think in terms of the
2 scientific method. The first step of this method is
3 to identify the problem. Ultimately, the problem is
4 that Americans are still being sickened by serious
5 medical food borne illness. We must find a way to
6 improve public health by preventing food borne
7 illness.

8 Therefore, as was probably stated before me,
9 FSIS must first establish concrete public health
10 goals.

11 The second step in the scientific method is
12 to develop a hypothesis, that is an idea about the
13 solution for the problem. In this situation, the
14 hypothesis is that risk-based inspection will make the
15 best use of limited resources to improve public
16 health. Of course, there's always a question about
17 the appropriateness of any hypothesis and that remains
18 an issue here.

19 Based on RESOLVE's Report, all stakeholders,
20 including both industry and consumer groups, question
21 whether or not FSIS even has the legal authority to
22 implement a risk-based inspection program. It is

1 imperative that this issue be resolved before the
2 Agency moves forward in the scientific process of
3 developing the RBI.

4 The third step in the scientific method is
5 to decide on a process for testing your hypothesis.
6 Frequently when testing a hypothesis or theory, the
7 scientist may have a preference for one outcome over
8 another. It is important that preference does not
9 bias the actual conclusion, otherwise, the validity
10 and interpretability of the process will be called
11 into question.

12 Therefore, it is the most crucial phase.
13 FSIS must develop a detailed roadmap for developing a
14 scientifically based risk-based inspection before a
15 market or implementation of such a system. Otherwise,
16 it would be like starting to build a house without
17 first drawing out a set of blueprints. The roadmap
18 must include not only the elements of risk-based
19 inspection but also the methods for implementing and
20 evaluating the program.

21 How will we know that we've actually
22 succeeded in reaching our goals? Personally, I'm very

1 happy to hear Dr. Raymond talk about the need, that he
2 recognizes the report establishes the need for this
3 roadmap.

4 The fourth step in a scientific method is
5 the actual data collection and analysis. Most
6 stakeholders agree that the quality of RBI system is
7 fully dependent upon the robustness of the data that
8 supports it. Therefore, it is imperative that FSIS
9 develops scientific data and a data infrastructure
10 necessary to build an effective risk-based inspection
11 that will achieve its public health goals.

12 Of course, the first step in the process
13 would be to identify the needs and then determine what
14 data is available and what must be opinion. Once FSIS
15 collects the necessary advisory data and data
16 infrastructure, then they can begin to implement on a
17 small-scale risk-based inspections. Of course, this
18 will not necessarily mean waiting for the perfect data
19 which really only exists in textbooks anyway, but
20 there are ways that we can make the best use of the
21 data that we have and find things that will complement
22 it as well.

1 The fifth and final step of the scientific
2 method is to derive a conclusion, and that is
3 evaluating the hypothesis. In this case, the risk-
4 based inspection is to improve public health. As any
5 scientist knows, the scientific method is a --
6 process. At the end of the process, these problems
7 and hypotheses are identified and the process begins
8 again. It should be recognized and -- that will
9 improve public health.

10 FSIS should build a type of evaluation and
11 reevaluation in any risk-based inspection program.

12 You might be wondering how this ties into
13 product inherent risk, if the same process applies.
14 According to RESOLVE's Report, stakeholders from
15 diverse perspectives generally agree that the concept
16 of considering the inherent risk -- produced by
17 establishments and allocated inspection resources.
18 However, it's clear from RESOLVE's Report, that FSIS'
19 paper on product inherent risk raised many questions
20 and -- raised many concerns but left many questions
21 unanswered.

22 If we return again to the scientific

1 process, we must first identify the problem and then
2 develop a hypothesis. Once that is done, we will
3 develop a process for testing our hypothesis. This
4 part of the process will be lacking in the development
5 of measuring product inherent risk in risk-based
6 inspections. According to RESOLVE's Report,
7 stakeholders struggle to refer the questions about the
8 paper and felt that they did not have sufficient
9 information about FSIS' -- revisions of risk-based
10 inspections against which to evaluate either the
11 concept outlined in the paper or the potential
12 effectiveness in helping achieve FSIS' vision.

13 This demonstrates the need for FSIS to
14 develop public health bulletin that will provide a
15 detailed roadmap for risk-based inspection as
16 reported, before it begins the fourth step of data
17 collection and analysis.

18 When no data is available, an expert
19 elicitation can be used as a guide for further
20 research and in some instances to develop the
21 baseline, assuming that the elicitation has been
22 properly designed and executed. In regards to FSIS'

1 expert elicitation, RESOLVE reports that almost every
2 stakeholder group raised concerns about what they saw
3 and continue to see, a lack of transparency about who
4 was involved -- what information and functions they
5 were given, what questions they raised, how and by
6 whom the questions were addressed and whether the
7 expert agreed with the process categories.

8 Furthermore, the report states that one of
9 the biggest concerns raised by almost every
10 stakeholder was the composition of the expert panel
11 and stakeholders raised several concerns with the
12 assumptions as first brought out in May, according to
13 the products, according to the relevant risks of --
14 per serving, the product category of.

15 In that same vein, the report further states
16 that many stakeholders including industry
17 representatives expressed the view that severity of
18 illness should be factored in when calculating
19 inherent risk.

20 MR. TYNAN: Ms. Kowalcyk, you have just
21 about 30 seconds.

22 MS. KOWALCYK: Okay. It is clear that there

1 are too many questions and concerns about the expert
2 elicitation to use it as a foundation for assigning
3 product inherent risk. The RESOLVE Report confirms it
4 by stating that most stakeholders felt strongly that
5 the expert elicitation should be redone with a broader
6 group of experts, clearer -- and a different set of
7 instructions. I -- thank you.

8 MR. TYNAN: Okay. Thank you, Ms. Kowalcyk.
9 And I would remind everybody that you also have an
10 opportunity to submit comments to our e-mail site that
11 Dr. Masters mentioned earlier. So if comments run
12 over and we cut you off, it's not because we don't
13 think they're important, but we just have time
14 constraints. So thank you, Ms. Kowalcyk.

15 I'm going to allow Mr. Seward again to
16 finish up this point on product inherent risk.

17 MR. SEWARD: Thank you. Skip Seward,
18 American Meat Institute, on behalf of the industry.
19 For those of you who did get the handout, these
20 comments are on the blue handout.

21 The RESOLVE Report did an admirable job of
22 capturing many of the diverse opinions and questions

1 surrounding the concept of PIR or Product Inherent
2 Risk. The industry RBI coalition has spent many hours
3 examining this concept of PIR, its components and how
4 such a measurement or assessment can factor into a
5 useful variable in an RBI algorithm.

6 Industry recognizes that PIR is a key
7 component of our RBI, yet believes that the
8 establishment risk control associated with the product
9 is the more important variable. Furthermore, if ERC
10 or the establishment risk control is poorer for a
11 product with a relatively high PIR, then volume
12 becomes an important consideration, and we'll hear
13 more about that from some of the other industry
14 representatives.

15 For a given manufacturing establishment,
16 especially those that produce a multitude of products
17 with varying PIR rankings, RBI must take into account
18 the frequency of manufacturing of the specific product
19 and the fraction of the total production dedicated to
20 each product, that is something along the lines of a
21 production ratio. FSIS and all stakeholders need to
22 establish and agree upon the frequency of calculation

1 of the RBI score associated with each product or PIR
2 category, taking into account special non-compliance
3 issues such as the issuance of a NOIE or recall, an
4 establishment's request for recalculation and the
5 production schedule that's occurring at the
6 establishment. We suggest that a plan's rating
7 generally be recalculated monthly.

8 However, we meet challenges associated with
9 manufacturing sites that may modify production on a
10 daily basis, based on customer orders. In these
11 instances, a quarterly or semiannual recalculation may
12 be needed and may need to be used rather than a more
13 frequent calculation schedule that would be used on a
14 more planned production schedule.

15 Maintaining the relevance and the accuracy
16 of the RBI positioning of an establishment is critical
17 to the successful implementation of RBI.

18 As pointed out in the RESOLVE Report, there
19 remains the significant question as to how volume or
20 production contributes to the RBI analysis. Perhaps
21 the high volume establishment that continuously
22 delivers safe food should receive credit for their

1 high volume production as Jenny Scott mentioned
2 earlier. If the high volume establishment is doing
3 poorly in delivering safe food, the establishment risk
4 control will pull in the necessary inspection staff
5 based on the ERC criteria. Both -- as I mentioned,
6 they'll elaborate on this point later.

7 It is also important that the food supply
8 chain downstream from the processing established meat
9 be considered to optimize the benefits of RBI. The
10 product inherent risk can be established at the point
11 of manufacture, but may be influenced dramatically by
12 what occurs at retail and in food service.

13 Lastly, industry emphasizes the importance
14 of education and training for inspection staff and
15 processors on the concept of PIR and its contribution
16 to those risk-based inspection analysis insuring that
17 plant inspection staff and the process management
18 staff equally understand the concept of PIRs as well
19 as the other elements of RBI will improve the
20 likelihood that risk-based inspection will achieve its
21 objectives. Thank you.

22 MR. TYNAN: Thank you, Mr. Seward. We're

1 going to change topics and begin to discuss
2 Establishment Risk Control, and the first presenter in
3 this portion will be Ms. Nestor. Are you still on the
4 phone?

5 MS. NESTOR: Yes, I am. Can you hear me?

6 MR. TYNAN: Yes, we sure can. Please go
7 ahead. Introduce yourself and your organization.

8 MS. NESTOR: I'm Felicia Nestor and I'm with
9 Food and Water Watch. Dr. Raymond, I'm not sure
10 whether those of us on the phone were connected when
11 you began your remarks. It seems that when I could
12 hear what was going on, you must have been in the
13 middle of your remarks. So what I'm going to say will
14 reflect what I first missed, and if I missed anything,
15 I'm sorry.

16 I want to say along with everybody else that
17 the RESOLVE Report was really terrific in the sense
18 that it captured the detail of everybody's feelings
19 about RBI and the process -- perform. I've got one
20 little caveat to that, which I'll get to later.

21 One of the things that you mentioned,
22 Dr. Raymond, was that the -- meetings to discuss

1 further how NRs will be used and according to -- that
2 will also include FSAs and I assume that all other
3 measures of establishing -- You also mentioned if
4 I'm correct, that you believe the Agency has already
5 responded to the public feelings about NRs and I'm not
6 really sure what that response is. I have been really
7 interested in this -- since RBI -- and -- issue of
8 connection between a particular type of NR and some
9 public health goals -- issue and which NRs were going
10 to be utilized and which were going to be ignored.
11 For all of the years the discussions and for as
12 many -- as we've brought up, I have not seen the
13 Agency move at all towards any convincing connection
14 between any particular type of NR and food safety or
15 any particular type of NR and the fact that they don't
16 reflect any food safety outcome.

17 So I'm hoping that, what I think I heard was
18 that you're going to have a meeting on this where we
19 will discuss this in depth because I think it really
20 needs to be done.

21 I agree with Caroline that we just have to
22 work -- have a NR system perhaps -- not sufficient

1 for a public health outcome, and you need to modify
2 that but we really need to dig in look at the
3 deficiencies of those records and get started in
4 collecting some reliable data on what's happened in
5 plants.

6 I'm not sure I --

7 MR. TYNAN: I'm sorry. It's a technical
8 problem, and we're trying to take care of it. So
9 press on, Ms. Nestor.

10 MS. NESTOR: Okay. --

11 MR. TYNAN: Okay. You'll have to speak up
12 just a little bit. We're having trouble hearing you.

13 MS. NESTOR: Don Anderson I think gave a
14 presentation at the last NACMPI meeting on trying to
15 correlate particular types of NRs with adverse events
16 like recalls or micro findings and, you know, I just
17 reviewed that on the Internet. I'm not certain that
18 there were any conclusive connections even, even solid
19 tentative connection. So I mean again I just want to
20 stress I really think we need to look over that.

21 The second issue is, Dr. Raymond, you again
22 and you said this before, that the motivation for RBI

1 has nothing to do with getting rid of inspectors. And
2 I guess, you know, I would -- I might agree with that
3 if, you know, the literal meaning of what you're
4 saying. It may not be put into place in order to get
5 rid of inspectors, but it certainly seems to us that
6 it is meant to try to deal with a dwindling number of
7 inspectors you have working for the Agency.

8 And this was the one thing that I sort of
9 was disappointed in, that RESOLVE mentions it in the
10 report, but not in the section on measuring
11 establishment risk and this has been my main point
12 from the beginning. I think the Agency really needs
13 to keep careful records of when inspectors are not in
14 plants. Without those careful records, you cannot do
15 any correlation between the absence of inspectors and
16 any particular adverse outcome. You also can't make
17 any estimation of how reliable the NR information you
18 have is.

19 MR. TYNAN: Ms. Nestor?

20 MS. NESTOR: You don't know whether an
21 abundance of NRs means that a plant is a bad plant or
22 a plant is a plant that has more inspection than other

1 surrounding plants.

2 MR. TYNAN: Ms. Nestor, you have about 30
3 seconds.

4 MS. NESTOR: Okay. So OIG has recommended
5 you do this. I think that if you don't start
6 collecting detailed information of when inspectors are
7 not in plants and not performing tasks because they
8 don't have the time, you really don't have any
9 convincing evidence that RBI is not driven by future
10 cuts by this Administration for food safety inspection
11 resources. Thank you.

12 MR. TYNAN: Thank you, Ms. Nestor. We're
13 going to probably have a little technical thing on the
14 phone in terms of shutting off the microphone so the
15 phone callers will not be able to speak. According to
16 my list here, Ms. Nestor, you were the last one. Was
17 somebody else designated to speak that was on the
18 phone besides Ms. Kowalcyk and Ms. Nestor?

19 MS. KOWALCYK: Not that I'm aware of.

20 MR. TYNAN: Okay. Fine. Then what we're
21 going to do is we're going to cut off the sound from
22 you. So when we get to the question and answer

1 period, you'll be able -- we'll turn it back on so
2 that you can join us again. So you'll only be in a
3 listening mode at this particular point.

4 The next speaker in this particular
5 component, Establishment Risk Control, is Ms. Scott.

6 MS. SCOTT: Industry sees the establishment
7 of risk control as being comprised of four key
8 components, system design, system implementation,
9 pathogen control and interventions. We've captured
10 the in-commerce findings as part of implementation.

11 System design will focus on the food safety
12 assessments, and the initial algorithm can be very
13 simple with respect to FSAs. For example, if a FSA
14 yields adverse findings that result in an NOIE or
15 nothing, or it can be more complex with gradations
16 based on the number and type of adverse findings. For
17 example, you could have more points if the FSA results
18 in more food safety related NRs or even more points if
19 it results in a NOIE. This is making the assumption,
20 of course, that more points are a bad thing to happen.

21 System implementation involves food safety
22 related NRs, NOIEs and food safety related loopholes.

1 And system implementation can also capture the
2 positive as well as the negative. We haven't dwelled
3 much on this, but it was mentioned in the RESOLVE
4 Report. This can be done in terms of credits for past
5 completed without non-compliance.

6 The pathogen control component should vary
7 by product type. Products that are tested by FSIS,
8 different numbers of points should be given depending
9 on the outcome of the testing. For example, for an
10 RTE product, a ready-to-eat product, you might get no
11 points if the tests are negative, two points if the
12 product is positive, and four points if positive
13 product has been shipped into commerce. And further,
14 the company that has shipped that product to commerce
15 would get points because of having a food safety
16 related recall.

17 Industry verification testing for pathogens
18 of concerned products, where all the data are shared
19 with the Agency and results have been negative will
20 result in a credit, negative points.

21 Industry can also be given credit for
22 environmental monitoring programs that are consistent

1 with FSIS guidance with more credit being given for a
2 more robust program that meets or exceeds the higher
3 frequency of testing that is recommended by the
4 Agency. For *Salmonella* testing in raw products, the
5 points can be tied to the incident rates meeting
6 performance standards or baseline guidance and for *E.*
7 *coli* O157:H7 in raw ground beef or trim, this can be
8 based on Agency testing results in products that have
9 cleared the establishment's clear shipment review.

10 Again, industry testing results and how the
11 establishment uses them could result in credits.

12 These are only suggestions for options since
13 there are many permutations on how this component can
14 be addressed. Interventions such as anti-microbial
15 ingredients, processes or formulations should be a
16 counted as part of the establishment risk control.
17 These need to be validated interventions and the
18 weight -- and the weighting given them, should be
19 based on the results of validation studies
20 demonstrating log production or log growth prevented.
21 An example, you would give a negative one point for
22 the intervention if it results in a one to two log

1 production, minus two points if there's two to four
2 log production and minus three points if there's more
3 than four log production or you have zero points if
4 the intervention results in one to two logs growth
5 over the shelf life of the product, minus one if
6 there's less than one log growth, and minus two if
7 there's no growth throughout the shelf life of the
8 product.

9 Offering the incentive of negative points
10 for voluntary industry adoption of pathogen testing
11 programs and/or interventions is an excellent means
12 for promoting public health enhancements within the
13 industry.

14 We see volume as being the third component
15 of the RBI score calculation along with PIR and ERC,
16 but I'm going to mention it here because we don't have
17 a separate component right now. We would encourage a
18 variable weighting for establishment production volume
19 such that volume has a maximum negative impact on the
20 RBI score for establishments that demonstrate poor
21 risk control as reflected in the ERC value and produce
22 the riskiest products, the highest PIR values.

1 Conversely, product volume should have little or no
2 negative impact on the RBI score in terms of having an
3 excellent ERC value -- low risk products.

4 In the final analysis, it is a good
5 performing plant that should be subject to less
6 intensity of inspection where consideration is given
7 to NRs or food safety related issues, the frequency of
8 food safety related NRs in relation to the number of
9 tasks completed, enforcement issues related to food
10 safety, pathogen testing results where they're
11 applicable, in-commerce findings such as recalls and
12 the robustness of the system and its implementation
13 based on food safety assessments. Any algorithm that
14 is developed should reward good performing plants and
15 result in increased regulatory focus on the ones that
16 need more education, training, regulatory oversight
17 can improve their food safety systems.

18 MR. TYNAN: Thank you, Ms. Scott. And
19 having the final word on this segment, Mr. Painter.

20 MR. PAINTER: Mr. Tynan, what I'm going to
21 do is reserve or yield my time regarding this subject
22 and give written comment regarding this issue.

1 MR. TYNAN: Okay. That's fine. Since we
2 have time for the employee organizations, I would
3 offer an opportunity to Dr. Ragan or Ms. Morales, if
4 you have any comments at this point that you want to
5 share.

6 DR. RAGAN: I just have a few general
7 comments, just in case we run out of time.

8 MR. TYNAN: For the transcript, would you
9 identify yourself.

10 DR. RAGAN: Okay. I'm Valerie Ragan. I'm
11 representing the National Association of Federal
12 Veterinarians, and I appreciate the time, Mr. Tynan,
13 to make a few general comments here.

14 First of all, we will be providing some
15 written comments for you, but before I make a few
16 general comments, I also would like to thank
17 Dr. Raymond and FSIS for the invitation for our
18 organization to be a part of this discussion. And I'd
19 also like to thank Dr. Masters for the excellent work
20 that she's done in working with us in resolving issues
21 and trying to move forward in some of the things that
22 we've been trying to do.

1 Our organization is one of federal
2 veterinarians. We represent not only FSIS
3 veterinarians but others as well, but our interest is
4 in improving the operations of the agencies they work
5 for as well as the work that the veterinarians do.
6 And along those lines, I'd like to say our
7 organization supports scientifically based risk-based
8 inspection. I would underscore scientifically based.
9 We recognize that this RESOLVE effort which we
10 appreciate the opportunity to have been part of the
11 initial discussions is a starting point, not an ending
12 point. And we would like to continue our
13 participation. We've had our President of the
14 organization, now past President, involved in the
15 earlier discussions and he felt it was extremely
16 valuable not only to have the opportunity to have
17 employee input but to be able to bring back to us some
18 of the discussions that were ongoing so that we could
19 then formulate our own thoughts and next steps as
20 well.

21 Along those lines, I would like to say that
22 I think it's important to include all the different

1 organizations, employee organizations. They have some
2 really different viewpoints and perspectives, and I
3 think that has value in moving forward.

4 The intent I think of moving forward in a
5 step-wise implementation process with trying it out on
6 a small scale is an appropriate way to do that. This
7 is a new, very complex way of doing things, and I
8 would like to say our organization would like to
9 continue to be involved in those discussions as the
10 plans are being made for that. The important thing I
11 think for us is that the process works as it is
12 envisioned and that is in the process -- rolling, that
13 it does succeed in what it's attempting to do, and I
14 think in order to do that, our vendors in the field
15 have a good hands on experience and would be willing
16 to put together a task force or workgroup to look at
17 those procedures, protocols, et cetera, before they're
18 tried to make to help you make sure that they will
19 work as they're envisioned, and I think that we are
20 more than willing to provide you that input early in
21 the process because our interest is in making sure
22 that it does function as it is so envisioned.

1 I would also like to say that some of our
2 veterinarians have expressed an interest in working
3 with the plants or consumer groups in developing the
4 best practices. So I would offer that, too, as well,
5 if you want some veterinary help from experienced
6 veterinarians in developing your best practices.
7 We're willing to put together a group to do that as
8 well.

9 So those are just some general comments. We
10 will provide you some written ones but our interest is
11 in doing what we can to help this process to make sure
12 it functions as it should. Thank you very much,
13 Mr. Tynan.

14 MR. TYNAN: Dr. Ragan, thank you.
15 Ms. Morales, did you have any comments you wanted to
16 make at this point?

17 MS. MORALES: Well, actually I want to thank
18 Dr. Raymond and Dr. Masters for the invitation to our
19 organization. I represent the Association of
20 Technical and Professional --

21 MR. TYNAN: ATSP is very good with their
22 professional work with microphones. They're not quite

1 so -- (laughter).

2 MS. MORALES: I appreciate the opportunity
3 for us being here and similar meetings that you have
4 been having recently.

5 We're going to be submitting our comments.
6 So besides that, Dr. Masters -- previously.

7 MR. TYNAN: Okay. Thank you, Ms. Morales.
8 That closes out the Establishment Risk Control.

9 I'm sorry. Dr. Patel, did you have any
10 comments you wanted to make from your organization? I
11 apologize.

12 DR. PATEL: No, I don't have any.

13 MR. TYNAN: Okay. Thank you. That closes
14 out the Establishment Risk Control portion, and the
15 next topic for discussion is Implementation. And the
16 first speaker in this is Michael Rybolt. Mr. Rybolt,
17 if you could introduce yourself and your organization.

18 MR. RYBOLT: Thank you, Mr. Tynan.

19 My name is Michael Rybolt. I'm the Director
20 of Scientific and Regulatory Affairs for the National
21 Turkey Federation.

22 Dr. Raymond, I want to thank you on behalf

1 of the coalitions, the RBI coalition for bringing
2 everybody together today.

3 I have been asked to talk only on the
4 implementation portion of this section. Rather than
5 going into the ins and outs of hows and whens and
6 wheres, I'd rather focus on something that we think is
7 the more important part or a key part to the
8 implementation, and if it's overlooked, the risk-based
9 initiative in advancing food safety may not happen,
10 and that is training for the inspectors.
11 Implementation is a key part as is the development of
12 the risk-based process, but training the inspectors is
13 important as well for risk-based inspections to be
14 successful.

15 This theme had been captured by the RESOLVE
16 Report on page 44. Rather than reading that whole
17 thing, just some captions from that. Stakeholders
18 recommended that FSIS assist plants by guidance
19 related to approved or accepted controls. This is
20 very key. The inspectors are in the plants and can --
21 that information. There have been experiences in the
22 past where plants for some reason have not had access

1 to such information and as a result, a contaminated
2 product had been produced. One instance that comes to
3 mind, there was an establishment that had a *Listeria*
4 problem and it was traced back to hollow rollers on a
5 conveyor belt and everybody knows that hollow rollers
6 -- replacing hollow rollers has been an industry's
7 best practice for sometime now. That's because hollow
8 rollers can serve as harbors for pathogens such as
9 *Listeria*. For some reason, this plant did not have
10 this information. They're not a small plant, but they
11 did not have that information. Like them, many other
12 plants are members of associations and do not have
13 access to that information but one key component that
14 they do have is the inspector in the plant can provide
15 information to them, wherever that information may
16 come from, directly from FSIS or through other
17 educational venues. If we want to advance food
18 safety, we need to use dedication and expertise of the
19 inspectors. And as Dr. Ragan just mentioned, they are
20 experts. They do have best practices. They can
21 disseminate that, but we should do this not in a
22 command and control style, not in a regulator's style,

1 but as a facilitator of best practices and current
2 science. The Inspection Act statute authorities FSIS
3 not only to take regulatory action but to also insure
4 that the meat and poultry product produced in the U.S.
5 are wholesome and safe, and to meet this need,
6 training and regulatory requirements is not the only
7 component. It is essential to provide training in
8 real worlds, on the ground level, real world food
9 safety.

10 Therefore, we request and recommend that as
11 part of the implementation program for risk-based
12 inspection, that the Agency incorporate training for
13 the inspectors. Thank you.

14 MR. TYNAN: Thank you, Mr. Rybolt.
15 Mr. Painter, you're up again.

16 MR. PAINTER: Yes, I certainly would welcome
17 more training for the inspection staff as that has
18 just been mentioned and, you know, we, we have to put
19 it forward in a way that everyone is informed,
20 everybody has all the same information, in order to
21 implement a new process. And thus far, I'm waiting to
22 just see that as far as the, you know, as far as that

1 information for implementation.

2 Dr. Masters mentioned the statutory
3 requirements for the labor organization and certainly
4 I can say with implementing this process, sharing all
5 information that is known at the time will certainly
6 expedite the process and certainly not give rise to
7 things that may impede the process if the Agency wants
8 to move forward, with the sharing of full and complete
9 information, you know, to accomplish that goal.

10 I feel as though the implementation of risk-
11 based is questionable as has been mentioned a number
12 of times earlier in order to meet the law, and
13 certainly as the Agency moves forward with the
14 implementation of risk-based in slaughter, I certainly
15 have even more questions with the legality. And, you
16 know, it's got so that the Union is, is certainly
17 looking into those areas to see the legality. You
18 know, with the implementation of this process, I would
19 certainly be interested in the Agency's General
20 Counsel and their views as far as the implementation
21 as to say, you know, what are your views and, if so,
22 how did you arrive at those views. How are you going

1 to implement this process in order to accomplish the
2 mission of the Agency to meet the needs of the
3 consumer, to meet the needs of the industry and abide
4 by the law. And what provision of the law? Be
5 specific, other than to say that we are meet the
6 guidelines of the law. Thank you.

7 MR. TYNAN: Thank you, Mr. Painter. The
8 next presenter we have is Mr. Corbo. Mr. Corbo, would
9 you introduce yourself and your organization please?

10 MR. CORBO: Tony Corbo, Food and Water
11 Watch. And, first of all, I want to thank RESOLVE for
12 doing an excellent job in terms of summarizing the
13 comments. They were voluminous. You had to wade
14 through the public meeting transcripts and incorporate
15 the written comments that were submitted in addition
16 to the interviews, and I think you did an excellent
17 job.

18 As the report reflected, I think the
19 implementation portion of the public meeting was the
20 most confusing, and I really felt sorry for
21 Mr. Palesano who essentially got thrown up there naked
22 essentially (laughter) and, and who was essentially

1 asking us for direction and we were looking for
2 direction from the Agency and it was like two ships
3 passing in the night. And I thought it was a disaster
4 I frankly though, but, but I think, you know, from the
5 consumer perspective, in terms of the implementation,
6 and I think we have some serious concerns over the,
7 the legal authority of the Agency, to proceed with
8 this program. And I am heartened to hear that there
9 is going to be some sort of roadmap and timeline
10 that's going to be presented, but I think, I think,
11 you know, we have some serious concerns about whether
12 you have the legal authority to, to do this, and
13 you're probably going to hear this, you know, from
14 folks up on the Hill, you know, very shortly and, you
15 know, the fact that the approach that has been
16 articulated so far, that this was going to be
17 implemented through, through notice and directives, I
18 think you may be getting yourselves into some trouble.

19 And so I'm glad that you're going to, you
20 know, finally have some details in terms on how you
21 plan on implementing this, but again, to reiterate the
22 points that my colleagues, my consumer groups have

1 indicated that, you know, before we even get to
2 implementation, you have to clearly articulate the
3 public health goals. You have to have the data to do
4 this, and you have to an -- plan. Thank you very
5 much.

6 MR. TYNAN: Thank you, Mr. Corbo. I have to
7 comment though, I've known Mr. Palesano for a lot of
8 years and the thought of him in front of a public
9 meeting naked (laughter) truly is a frightening
10 thought.

11 Our next topic is Opportunities and Next
12 Steps. And we're going to start off with Mr. Painter.

13 MR. PAINTER: I would like to say, I do
14 appreciate the opportunity in being here, the
15 invitation from Dr. Masters and I guess you'd say her
16 prior -- tour here, and Dr. Raymond as well. And I've
17 already approached by RESOLVE in order to participate
18 in the process of the moving forward in the next steps
19 of the process and share the Union's willingness to do
20 so and to be a part and to share our comments. Thank
21 you.

22 MR. TYNAN: Thank you, Mr. Painter.

1 Mr. Lange, who is sitting to my left, said it would be
2 even more frightening to see him naked in front of a
3 group. (Laughter.) I'm sorry. That's not fair to
4 Ms. Donley who has to make the next comments.

5 MS. DONLEY: I want it on the record that
6 I'm fully clothed. (Laughter.)

7 MR. TYNAN: The next speaker is Ms. Donley.
8 Would you introduce yourself and your organization?

9 MS. DONLEY: I'm Nancy Donley and I'm with
10 STOP, Safe Tables Our Priority. First of all, if I
11 can make it through these scribbled notes that I've
12 been jotting down here because, Dr. Raymond, you took
13 a lot of stuff off, the quotations off of this that I
14 was going to be using. I want to thank you,
15 Dr. Raymond, and FSIS and staff for recognizing the
16 importance of opening up this process and providing an
17 opportunity for all interested stakeholders to
18 exchange ideas, information and concerns.

19 I guess my question though with how you
20 opened up the meeting today as you said that, you
21 know, we're doing this process for processing
22 facilities but not for slaughter. I guess it's why

1 aren't we treating that with just the same amount of
2 attention to detail as we are the processing.

3 That said, I'd just like to say that I'm
4 very happy to hear you say that you are putting this a
5 couple of phases in place and that you articulated a
6 number of meetings that are going to be open in the
7 future for all of us to participate in. I think that
8 will be very helpful, and we will wind up with a
9 better product in the end. I just hope please provide
10 adequate notice for those of us who are on really
11 tight budgets and if you could make materials
12 available, that we could all arrive prepared and
13 utilize the meeting time in the most effective way,
14 that would be very helpful as well.

15 Risk-based inspection is a good concept.
16 The HACCP PR rule is risk-based in nature. But I want
17 to say that if it is not done correctly, risk-based
18 inspection could have an unintentional negative effect
19 on the safety of meat and poultry and again
20 unintentionally put the public at higher risk of food
21 borne illness.

22 So before proceeding with implementing a

1 risk-based inspection program, the first steps should
2 be to -- should be as follows, and you've heard this
3 from my colleagues and the consumer community, we need
4 to know -- there needs to be a clearly articulated set
5 of public health roles. You stated that this process
6 is not being driven by budget issues and job issues or
7 cost reduction. So I'm assuming that it's for public
8 health reasons and there should be something that is
9 measurable and, and that we can assess how good this
10 process really is.

11 The second thing is again, the legal
12 authority. You're going to face authority. You heard
13 it from Mr. Painter here. You see it on page 9 in the
14 RESOLVE article that you're going to receive a
15 challenge from industry on it as well.

16 Without having performance standards that
17 you are -- that you have the authority to set and
18 enforce performance standards, this will not have any
19 teeth. A risk-based inspection system doesn't have
20 teeth, and you need those teeth. And I really urge
21 you, we all urge you, please, there are a couple of
22 bills in Congress that will give you those teeth.

1 Senate Bill 1357, this is in the 109th Congress and
2 HR3160 called the Meat and Poultry Pathogen Reduction
3 Enforcement Act, and I'm sure there's others as well.

4 There also -- you must -- the importance of
5 good data and a good data infrastructure is key to a
6 good risk-based inspection system, and then again the
7 detailed roadmap and timeline that you talked about.

8 That said, I look forward to racking up my
9 frequent flyer miles (laughter) and coming to
10 Washington, D.C. I spent a lot of time in the
11 basement of the USDA during the HACCP PR meeting and I
12 look forward to it.

13 MR. TYNAN: Thank you, Ms. Donley. The last
14 presenter is Mr. Johnson. Mr. Johnson, would you
15 introduce yourself and your organization please.

16 MR. JOHNSON: Yes, Dennis Johnson, Olsson,
17 Frank and Weeda. I guess as the final speaker, I'm
18 going to have the last word (laughter). I appreciate
19 all that FSIS has done on RBI and more still needs to
20 be done. Although I don't want to get into a legal
21 debate here, the Agency does have the authority. We
22 can talk about it later.

1 As one of my professors in college always
2 said when working on a solution, it helps to know the
3 answer. With RBI, we've been focusing too much on the
4 solution and not enough on the answer. RESOLVE
5 recognized this in its report and noticed there was a
6 lack of a clearly or lack of an easily accessible,
7 clear and detailed description of FSIS' total overall
8 vision.

9 I'm not here to give you FSIS' vision, but I
10 would like to provide our view on RBI. Currently all
11 plants are assigned equal inspection coverage, the
12 exceptional, the average and the regulatorily
13 challenged.

14 RBI does not allow us -- without RBI, we
15 cannot vary the coverage within these plants. But
16 what RBI allows us to do is if there is a problem with
17 the chronic or the challenged plant, we can move
18 inspectors. Now you're going to notice I'm never
19 going to remove the Hershey Kisses from the cups, and
20 it's not my intent to do so now or in the future.

21 But what I'm afraid of is I think the system
22 has gone about as far as it can go. Using *E. coli*

1 0157:H7 as my example, in 2004, 2005 and 2006, the
2 Agency's ground beef averages of testing was 0.17. In
3 2005, 2006, there were 38 positive at inspected
4 establishments. Based on information my clients have
5 been able to derive, we estimate that approximately 40
6 to 50 percent of these positives came from
7 establishments that ground less than 1,000 pounds of
8 ground beef on the date of the sample. And we
9 estimate that approximately one-third came from
10 establishments that ground less than 50,000. So
11 therefore we have about 75 to 80 percent of all the
12 ground beef positive for the Agency in the last two
13 years came from establishments that do low volume of
14 grinding.

15 Looking at the FSIS trim baseline, excluding
16 the results of one large plant, I don't want to go
17 that way, not my client by the way, all the other
18 positives were plants that were other than top 50 trim
19 producers. Looking at FSIS recalls for 2006, they
20 were up to 6 to 8 from 2005. Looking at the amount
21 recalled, total amount from the 6 to 8 was a total of
22 12,600 pounds, about 2,000 pounds of recall.

1 Questions, do you have a resolution or
2 what's going on or what can be done? Now I can't
3 answer why this is occurring, but I do have an opinion
4 based on conversations with new clients over the last
5 two years.

6 In May of 2005, I had a new client who had
7 recalled product because he did not hold all the
8 implicated product. Why did he not hold all the
9 implicated product? He was using it to clean up the
10 clean up roll even though in a 2004 FSIS corrective,
11 the common source rule was used which basically means
12 if you have a lot of product that was used in a
13 positive sample, you're supposed to hold the remainder
14 of that lot. He didn't know that. He ended up with a
15 recall.

16 In March 2006, I had a client, a new client,
17 with exactly the same problem. He did not know the
18 common source rule.

19 In 2006, I had a client tell me I can get a
20 certificate of analysis for all my raw ground beef
21 components, not just the trim. Yeah. That was
22 covered in an October 2002 Federal Register Notice.

1 There's not an unwillingness to comply.
2 With all the rules, policies, scientific development,
3 we need expertise. As Dr. Rybolt indicated, the
4 inspectors are important and essential for us to
5 advance public health by assisting plants in improving
6 their operations, not commanding control, not
7 regulatory enforcement, but in the sharing of public
8 health expertise.

9 FSIS' vision may not be ready for prime
10 time. Innovation never is. But we need to act now.
11 We are no longer making progress. We are stagnating
12 and in the area of public health, stagnation can
13 figuratively and literally result in illness and
14 death.

15 For the next steps, RESOLVE has called upon
16 the Agency to do what it has already done as a
17 springboard. To us what needs to be done is clear.
18 You have our comments. We want to see your draft. We
19 want to see your draft and then we want to get
20 everybody, the consumers, the Union representatives,
21 Agency officials and industry, get back together, go
22 into a room, lock the door and not come out until we

1 do it. Just tell us when and where, and we'll be
2 there.

3 We hope the others here are dedicated to
4 food safety will come. No posturing. No given
5 agenda. With the expertise, the dedication and the
6 commitment of those gathered here today, we can
7 develop a program which will serve today's needs and
8 which will grow as our experience under the program
9 grows. We need to move. We need to move now. We've
10 run out of time. Thank you.

11 MR. TYNAN: Thank you, Mr. Johnson. The
12 next segment of our meeting today is answering
13 questions. And rather than take questions immediately
14 from the table, what I'd like to do is invite some of
15 the other visitors from industry, from the consumer
16 group, from the employees organizations although I
17 don't see any others, to get the first shot at asking
18 any questions or those folks on the phone that have
19 not had an opportunity to speak. So I'll invite
20 anyone in the room that has a question or wants to
21 make a comment at this point.

22 MS. BUCK: Yes, this is Pat Buck, and I'm

1 interested in food safety, and I have a comment to
2 make about what I've been listening to everybody.
3 Like our last speaker, I do tend to agree that we're
4 running out of time and we need to move forward.
5 However, moving forward should not be done at the risk
6 of ending up 10 years from where we are right now, and
7 that is that we don't have the infrastructure in place
8 to -- the food borne illness that we see with specific
9 food products. And that's -- to say about all of
10 this. But what is FSIS doing with reporting a food
11 borne illness and that the meat sources for -- their
12 data and their attribution attempt back to the, you
13 know, product --

14 MR. TYNAN: Thank you, Ms. Buck. You were
15 breaking up a little bit on your cell phone but I'll
16 ask Dr. Raymond or Dr. Masters if they want to --

17 DR. MASTERS: I think I got the gist of what
18 Pat's question was and, Pat, we had a little trouble
19 getting everybody on the phone. I know early on in
20 the opening comments, I did announce that CDC, FDA and
21 FSIS collectively are going to host an attribution
22 summit. We set a date for March 27th, but we had to

1 take that off after checking calendars. It will be
2 sometime in that timeframe. We'll include the
3 scientists, consumers, industry and other governmental
4 agencies, to get together to listen to what each
5 agency is doing for attribution, how we can work
6 together better. CDC is actually coming in. They're
7 here today and tomorrow to talk to us about Food
8 Net/Pulse Net, how we can continue to improve those
9 tools that we use for attribution data. So we
10 definitely heard about attribution. We take it
11 serious. We will ramp it up a little bit more.

12 MS. BUCK: Thank you. The other thing that
13 I noticed and I know it's an extension of the first
14 thing, the other thing that I'm very concerned about
15 is that throughout this whole process, we've talked
16 about, you know, risk assessment, risk analysis. We
17 have to do better at the risk communication. I think
18 that's what the last speaker was also talking to, was
19 that we need to do a lot more information sharing.
20 These meetings will help but we also have to have a
21 plan for getting the information out into the hands of
22 the farmers, the processors, the science community,

1 the medical providers, of course all the regulators
2 and, you know, all the different consumers, whether
3 they are consumer groups or just people that are
4 eating food on a daily basis. So I would like to see
5 as we put RBI together that the risk communications
6 are very well thought out.

7 MR. TYNAN: Thank you, Ms. Buck. Anyone
8 else on the phone that has a question?

9 MR. FOUCHE: Yes, if you don't mind.

10 MR. TYNAN: Please.

11 MR. FOUCHE: I, too, did not pick up the
12 first part of it. We did not hear Dr. Masters and
13 Dr. Raymond came in at the very end. You don't have
14 to talk it all over again what was said but just give
15 the essence of what Dr. Masters and Dr. Raymond said
16 so that those of us who could not be in Washington
17 today could maybe know where you were at.

18 MR. TYNAN: Yes. Can I ask you to identify
19 yourself and your organization please?

20 MR. FOUCHE: I'm Ron Fouche (ph.). I'm with
21 the Eastern Meat Packers Association.

22 MR. TYNAN: Thank you.

1 DR. RAYMOND: I'll try to condense this real
2 quick, Ron, for Pat and others. Barbara, Dr. Masters
3 started out by explaining some issues that we have
4 with the bargaining unit. We cannot move forward with
5 this project until we discuss it with the bargaining
6 unit and they have had a chance to evaluate all the
7 legal things. And there's going to be two phases.
8 We'll be rolling phase one which will be very small
9 projects to test out algorithms, to test the science,
10 you know, to -- we'll educate first a limited part of
11 our workforce and then we'll see how that education
12 worked in their working in the plants, how to better
13 educate, and then I talked basically some of the
14 points that were made more than once in the RESOLVE
15 Report are going to be addressed with individual
16 summits, instead of having broad, general meetings as
17 we have for the last year and our monthly meetings
18 which we will continue to have. We'll also have very
19 focused meetings. One would be on NRs and, Felicia,
20 I'm not sure if you heard it, I want to make sure,
21 because you raised NR issue. I'm very proud of where
22 we've gone with the NRs, and I think it's about ready

1 now to share with industry and consumers and our
2 employee workforce and see if they're equally proud or
3 if they've got new ideas that we haven't thought of
4 which I'm sure we'll have some more, but we've come a
5 long way with how we envision using the NRs, but we
6 continue to change it. We changed it even this
7 morning. So it's not that we've got anything in
8 granite.

9 We'll also have single topic meetings on the
10 attribution, but we're also strongly consider having
11 them on volume, considering having them on industry
12 data, a couple of areas that we will decide upon, but
13 those are the ones that Dr. Masters and I have
14 discussed that are big enough issues, that we really
15 want to share what we've got, when we've got it in a
16 sharable form so we can get the input as we go forward
17 with them.

18 So some of this will be strictly phase one
19 issues like, for instance, NRs, there may be a
20 different NR issue for phase two as we see our roadmap
21 and learn more about that. I think that was the
22 highlight of what we talked about that, and we did

1 promise the roadmap which resonates throughout this
2 report. We thought we had one ready a few weeks ago,
3 and we found a couple if i's that weren't dotted and
4 t's that weren't crossed, details that needed to be
5 worked out before we could share it for discussion,
6 but we had also promised, made a commitment today,
7 that we will -- we are working diligently on that.
8 We're getting very close to having the discussion with
9 the bargaining unit. We can move forward past that,
10 and then we'll have a time frame, a map, that we will
11 discuss with you all and hear your thoughts on.

12 MR. FOUICHE: Thank you, Dr. Raymond, and
13 again I think speaking to the entire general industry,
14 I'm very sorry that you're going to lose one of those
15 strong arms, Dr. Masters. We were very happy to
16 finally have somebody who understood the meat
17 inspection business at the helm, and I hope that you
18 will be able to find somebody else who has a strong
19 arm to figure out how the industry and how the
20 inspectors and so forth work together.

21 DR. RAYMOND: The only way we'll ever be
22 able to match Dr. Masters if FDA approves cloning for

1 humans also. (Laughter.) But we can't do that. We
2 are obviously doing due diligence in our search for
3 our new administrator but, believe me, there will be
4 another Dr. Barbara Masters. I was just extremely
5 fortunate to come in at the time that she was the
6 Acting Administrator and had been for quite sometime
7 but she became my mentor and taught me and said you
8 need to get here and you need to go there, and you
9 need to read this and you need to say this. There's
10 some pretty long days for the first few months, but if
11 I would have come in with someone who didn't know the
12 business like Barbara, I wouldn't be sitting here
13 today talking to you all. So I will say that
14 publicly, Barbara, thank you.

15 DR. MASTERS: Thank you both. And the only
16 thing I would add to what Dr. Raymond said is I added
17 that you can continue sending your written comments on
18 the RESOLVE Report to our risk-based inspection
19 website where all of our materials are housed, and
20 that's the risk-based inspection at fsis.usda.gov.
21 And so you should feel free to send your information
22 to that website.

1 MR. TYNAN: Do we have any other comments or
2 questions from the folks on the phone?

3 (No response.)

4 MR. TYNAN: Okay. If not, I'm going to open
5 it up to the group as a whole.

6 DR. RAYMOND: I just want to go over a
7 couple of other issues besides -- I thought Felicia
8 hadn't heard about the NRs. We certainly hear about
9 the training and when you see our roadmap, you'll see
10 that training is right out there big time and it's
11 been -- trainers or people responsible for it are here
12 at the meeting today. I mean it's no small
13 happenstance that the folks are here listening to
14 these comments also. The legal opinions, we got them
15 verbally. We'll get something in writing, if I'm
16 allowed to share that, I'm not, I can't make a
17 commitment there until I talk to General Counsel,
18 we'll find out. Because if that helps bring some
19 anxiety down, I'll certainly make that effort.

20 The public health objective, we will
21 delineate an outline and have them very clearly --
22 along with the roadmap.

1 And then lastly, Nancy, I think you're the
2 one who raised processing versus slaughter. Those are
3 really separate issues. They're separate issues
4 regarding legality. They're separate issues regarding
5 rulemaking. They're separate issues regarding
6 possible employment numbers, possible budget numbers,
7 and so we have truly tried to differentiate the two.
8 The reason we're talking about processing is we're
9 ready to talk processing. The slaughter risk-based
10 inspection, slaughter we just brought to the last
11 NACMPI meeting for them to start considering. It's in
12 its very infantile stages. That's why we're not -- we
13 have nothing to discuss really on that, that's why.

14 And RESOLVE also is going to begin a process
15 to kind of replicate what they've done with processing
16 but I just urge people to keep these as two separate
17 projects.

18 MR. TYNAN: Other questions from the group?
19 Yes. Ms. Donley.

20 MS. DONLEY: I just have one question and
21 it's regarding phase one. Do you have any sort of
22 idea as far as the timeframe of phase one? Are we

1 talking year, years, any sort of idea here? And the
2 second part of the question is, I also jotted down
3 here, data gathering during. Is that just data from
4 these small meetings that we're talking about, the
5 taco meetings that we're talking or is there also, you
6 know, attribution data gathering and other type of
7 data?

8 DR. RAYMOND: We're always gathering
9 attribution data. CDC is always gathering attribution
10 data. I mean that's ongoing and will continue to be
11 ongoing. We're gathering volume data now. Our
12 inspectors are gathering volume data, and that will be
13 a project that will be ongoing as volume does change.
14 I'm going to ask you for advice about what I can say
15 about timelines. Probably not anything now?

16 UNIDENTIFIED SPEAKER: Within a couple of
17 weeks we expect to have a --

18 DR. RAYMOND: I think Nancy's question was
19 are we talking about rolling this out over six months,
20 a year or two years. I think it's best we not discuss
21 that. When we get the roadmap out, Nancy, in three
22 weeks or so, you'll have it. I just don't want to do

1 anything to interfere with the process that we have to
2 follow up and need to follow up.

3 MS. BUCK: Dr. Raymond, this is Pat Buck
4 again. I have one last thing to throw out to you. Is
5 there going to be some kind of board of appeal that's
6 built into the RBI system so that consumer groups or,
7 you know, industry groups or whoever can somehow come,
8 you know, keep this process going, openness and
9 transparency?

10 DR. RAYMOND: I don't know if I can call it
11 a board of appeal, Pat, but as long as I'm here, at
12 least, we'll continue to have monthly meetings with
13 the consumers. I can't speak for the next
14 Administrator, but --

15 MS. BUCK: Well, yeah, we know. We'd like
16 to have it in place that it would happen even if you
17 aren't the Administrator.

18 DR. RAYMOND: There's always other methods,
19 of course, through the Administration, through
20 Congress to have, you know, voices heard. Again, I
21 guess you might call that a board of appeals.
22 Industry certainly has the channels to appeal any

1 actions taken against them, be they NRs or anything
2 else, and -- but as far as, I guess you're saying
3 where do we go if we want to drop this thing? I don't
4 know. I guess you go to your Congress person to begin
5 a conversation.

6 MS. BUCK: That's an awful lot of hard work
7 which you well know I'm not against. It's just that
8 it would that now would be a time to put something in
9 place so that all of us could more easily come to the
10 table with our concerns. Just a suggestion.

11 DR. RAYMOND: I understand. I think, you
12 know, there's so many things that the Federal
13 Government and the state governments do and the local
14 governments do that make us have regress and there are
15 ways challenged to go that -- not just risk-based
16 inspection but anything we do or anything else the
17 USDA does or the whole Federal Government does.

18 MS. BUCK: Okay. Thank you.

19 MR. TYNAN: We just have a couple of minutes
20 left. Are there other questions from the group?

21 MS. NESTOR: I have one question. This is
22 Felicia Nestor.

1 MR. TYNAN: Yes, Ms. Nestor. Go ahead.

2 MS. NESTOR: Is FSIS contemplating a
3 separate meeting on the type of credits. I think it
4 was Jenny Scott that was talking about the industry
5 should get credits for this or that type of food
6 safety practice? Are you going to be collecting
7 comments from consumers on that?

8 DR. RAYMOND: We don't have anything
9 scheduled or contemplated at this time, Felicia, on
10 that particular subject. It's certainly one that we
11 would entertain if we got enough input that we should
12 do one.

13 MS. NESTOR: Okay. I think that's important
14 because I was just citing the ConAgra recall as an
15 example of the assumption that interventions were very
16 effective.

17 DR. RAYMOND: We're certainly trying to pin
18 down those that may be a bigger stumbling block, that
19 we'd like to get, you know, by in and continued
20 improvements of those areas, and that's one that kind
21 of seems to filter to the top after I get past NRs,
22 volume and data, we'll throw that in the mix. We're

1 probably a ways from using that stuff right now in our
2 formula. At least the stuff that I've seen so far
3 from the Agency does not take that into account yet,
4 but before we would, we would definitely have that
5 type of meeting. It's 4:00, and before I turn it back
6 to Robert to close up, I want to thank everybody again
7 for coming and participating by phone. Thank you all
8 for your brevity. The comments were right on. It's
9 just what we needed to hear. We got a little bit more
10 focus on what we need to do for our next steps, and
11 look forward to the next meeting. We don't know when
12 it will be yet. It will depend on the roadmap.

13 DR. MASTERS: Send your comments.

14 DR. RAYMOND: And send your comments. Thank
15 you very much.

16 MS. NESTOR: Thank you, Doctor.

17 MR. TYNAN: With that, I move we adjourn,
18 and have some Kisses.

19 (Whereupon, at 4:00 p.m., the meeting was
20 concluded.)

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

JOINT INDUSTRY/CONSUMER MEETING

January 10, 2007

Washington, D.C.

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

Nicholas Guarino, Reporter

FREE STATE REPORTING, INC.