

VSM

1

VSM

FOOD AND DRUG ADMINISTRATION

PUBLIC MEETING ON:

THE CHALLENGE OF LABELING FOOD ALLERGENS

Monday, August 13, 2001

9:05 a.m.

The Cohen Building
Auditorium
330 Independence Avenue, S.W.
Washington, D.C. 20201

ODP-1322

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

TRI

C O N T E N T S

	<u>PAGE</u>
Welcome	
Ken Falci, Ph.D. Director, Office of Scientific Analysis and Support	5
Opening Remarks	
Christine Lewis, Ph.D. Director, Office of Nutritional Products, Labeling and Dietary Supplements	9
Congressional Update	
Kate Winkler, Legislative Assistant Office of Nita M. Lowey	20
SOURCE OR PLAIN ENGLISH LABELING	30
Panel I:	
Michael Jacobson, Ph.D. Co-Founder and Executive Director, CSPI	31
Anne Munoz-Furlong President and Founder, FAAN	36
Regina Hildwine Senior Director Food Labeling and Standards Regulatory Affairs, NFPA	41
Lisa Katic, R.D. Director, Scientific and Nutritional Policy GMA	47
Discussion Between Panelists and CFSAN Christine Lewis	52
Felicia Satchell, Director Division of Standards and Labeling Regulations Office of Nutritional Products Labeling and Dietary Supplements	
Ken Falci	
Kathy Gombas, Deputy Director Division of HACCP Programs Office of Field Programs	
Thomas Wilcox, Medical Officer Office of Scientific Analysis and Support	
Questions from the Public	63

C O N T E N T S

	<u>PAGE</u>
ADVISORY LABELING (e.g. "May contain [name of food allergen]")	
Summary of Inspectional Findings FDA/Minnesota and Wisconsin Food Allergen Partnership Theresa Dziuk, Consumer Safety Officer Minnesota District, FDA	73
Panel II:	84
Lisa Katic	84
Regina Hildwine	93
Anne Munoz-Furlong	103
Michael Jacobson	112
Discussion Between Panelists and CFSAN	120
Christine Lewis	
Felicia Satchell	
Ken Falci	
Kathy Gombas	
Thomas Wilcox	
Questions from the Public	132
LABELING OF INGREDIENTS EXEMPTED FROM DECLARATION (Common or unusual names of flavorings, spices and colors; incidental additives)	151
Panel III:	
Michael Jacobson	151
Anne Munoz-Furlong	156
Regina Hildwine	161
John Hallagan, General Counsel FEMA, ASTA and IACM	167
Discussion Between Panelists and CFSAN	172
Christine Lewis	
Felicia Satchell	
Ken Falci	
Kathy Gombas	
Thomas Wilcox	
Questions from the Public	179
Public Comments	187
Closing Remarks	269

P R O C E E D I N G S

1
2 DR. FALCI: I want to say welcome to you
3 all. I know some of you have come a long way and
4 we're all glad you arrived safely. Let me give a
5 special welcome to our panelists. We're very glad
6 you're here today.

7 They've given me a few things to say as
8 far as announcements and sort of housekeeping kind
9 of things. Today is the FDA's Public Meeting on
10 Labeling of Food Allergens, and I'd like to go over
11 some of the administrative details.

12 Everyone should have picked up a packet, a
13 registration packet, at the registration desk. In
14 your packet, you'll see there is a list of
15 restaurants. There is also a cafeteria. There are
16 a lot of restaurants nearby here. There is also a
17 cafeteria in this building downstairs. You have to
18 go down one flight.

19 At the registration desk or a little bit
20 off to the side, you'll be able to see various
21 consumer and industry handouts. We hope you pick
22 up a few of those. The guards, I believe, are
23 requesting those of you that came through the I
24 think this is the Third Street entrance, if you
25 have your visitor's pass on you, that you keep that

1 with you when you enter and leave the building.
2 Use that pass to come back in.

3 If you have any cell phones or beepers,
4 would you just kindly take a look at them now and
5 shut them off.

6 The bathrooms are located in the main hall
7 of this building. When you leave this room, go to
8 the right and you go to the main hallway and
9 they're either on the right or the left-hand side,
10 and if you think about, if you'll think about it,
11 too, on the second floor, it's the same kind of
12 configuration just in case they get a little
13 crowded here on the first floor.

14 We have a sign language interpreter
15 available today. If you know of anyone who needs
16 sign language interpretation, please see the staff
17 people. They'll be walking up and down the aisles.

18 Let's see. One more message for our
19 panelists. The silver microphones are not to be
20 moved. Those are the ones used for recording, and
21 the black microphones are the ones you can move
22 towards you to make any statements you wish.

23 The press has some area here in the front
24 seats; if the press would like to come down and
25 come up front, you certainly may.

1 And let's see, oh, yes, telephones. There
2 are telephones in this building. You can walk out
3 of this auditorium again and go to your right and
4 you'll go past the guard station, and you'll see
5 them right there by the guard station.

6 And I'd like to just do a little summary
7 of where we've come as far as food allergens are
8 concerned. It wasn't very long ago, actually in
9 1999, that FDA first thought about being and
10 becoming more active with food allergens, and we
11 did have discussions and we did formulate a plan of
12 action as far as food allergens were concerned.

13 We formed an internal steering committee
14 within the Center for Food Safety and Applied
15 Nutrition, which is a center in FDA. And we also
16 had a general committee on food allergens made up
17 of a number of people within different offices that
18 are in the Center for Food Safety. And at that
19 time, one of the reasons for our action was because
20 recalls of foods placed in the market, foods that
21 contained undeclared food allergens were at high
22 levels in the nation.

23 And in 2000, we followed that up. We went
24 out with industry, we went out to industry and to
25 consumers. We gathered data at that time. We were

1 seeking advice. We were trying to raise awareness
2 of people in the industry as well as consumers as
3 far as food allergens were concerned.

4 At that time, too, we were trying to
5 decide on accomplishable tasks, those tasks that
6 would make a difference as far as food allergens
7 were concerned in the nation.

8 And in 2001, you see that we have our
9 website established. You can turn to our website
10 and see a variety of different actions that the
11 agency has taken, examples of training as far as
12 our inspectors are concerned, as far as food
13 allergen control measures that are used in
14 processing plants, how the inspector should go into
15 processing plants and look for food allergin
16 processing.

17 There is also a Compliance Policy Guide on
18 our website as well, and we're actively training
19 our inspectors over the next six months or so.

20 Today, we seek further information, and
21 the kinds of things we'll talk about today, you
22 will see eventually in our action plan for fiscal
23 year 2002. So that's what we're trying to
24 accomplish here today as well.

25 On our agenda, there are three subjects.

1 Dr. Christine Lewis, who is the Director of the
2 Office of Nutritional Products and Labeling and
3 Dietary Supplements, will be our moderator today at
4 today's meeting. Dr. Lewis will give you an
5 overview on the issues that we will be discussing
6 here today and mention the agency's regulatory
7 framework. And as your moderator, I'll turn this
8 meeting over then to Dr. Lewis.

9 DR. LEWIS: Thank you, Dr. Falci. And I'd
10 like to add my welcome to his welcome. We are
11 looking forward very much to this meeting, and in
12 our listening mode hope to be gathering a great
13 deal of information today. I will be taking a few
14 minutes to orient us by highlighting the challenges
15 of labeling foods relative to allergens, but as Dr.
16 Falci had some housekeeping rules to say, I have
17 some ground rules to share with you.

18 The purpose of this meeting is to put
19 forward and discuss information to help FDA
20 determine what additional activities we should
21 undertake vis-a-vis the issues surrounding the food
22 label and allergens.

23 So our focus today is labeling. We're not
24 addressing the technical aspects of food
25 processing. More specifically our meeting will

1 address only the topics outlined in the Federal
2 Register Notice, again plain English source
3 labeling, advisory labeling, and labeling of
4 ingredients exempted from declaration.

5 While we recognize there are other issues
6 such as restaurant labeling and even latex glove
7 allergies, these issues are beyond the scope of our
8 discussions today.

9 In terms of ground rules for members of
10 the press here, FDA staff will not be giving any
11 interviews because we are in a listening mode.
12 There are three FDA press officers here today, and
13 they will stand right now. One is Ruth Welch, one
14 is Kathleen Kolar, and Sebastian Cianci. Please
15 see them if you have any questions or need
16 assistance.

17 There will be some brief opportunities to
18 ask written questions to panelists at the end of
19 each panel session. A reminder: these are
20 questions, not comments. And as we move through
21 the presentations, please write your questions on
22 the cards and give them to the ushers as soon as
23 possible.

24 We will get to as many questions as time
25 allows from you folks in the audience. I do need

1 to emphasize the questions from the public should
2 be addressed to the panelists, not to FDA, as again
3 we're in a listening mode.

4 We request that the questions only be on
5 the specific subject that the panel has just
6 discussed.

7 The last part of the meeting will be
8 devoted to public comment. For those of you who
9 wish to make a public comment and have not
10 preregistered, there are sign-up sheets at the
11 registration desk, first come/first served, and
12 these statements should be no longer than three
13 minutes.

14 There will be a timer set up for the
15 public presentations. We'll let you know if you're
16 going beyond your three minutes and need to stop.
17 In addition to the oral public statements at the
18 end of the day, you and anyone else may also submit
19 written comments. They should be sent to Dockets,
20 and again that information is in the Federal
21 Register notice, and if you picked up your package,
22 there is a copy of the Federal Register in your
23 package.

24 There will be a transcript of the meeting
25 available approximately one month after the

1 meeting. The transcript will be available at FDA's
2 website, again in your package, www.cfsan.fda.gov.

3 Before I turn to highlighting our
4 challenges today, I'd like to introduce our
5 panelists. In no particular order, we have sitting
6 with us today Regina Hildwine, who is Senior
7 Director, Food Labeling and Standards, Regulatory
8 Affairs at the National Food Processors
9 Association.

10 We have Dr. Michael Jacobson, Co-Founder
11 and Executive Director of the Center for Science in
12 the Public Interest.

13 With us also is Lisa Katic, Registered
14 Dietician, and Director, Scientific and Nutrition
15 Policy at the Grocery Manufacturers of America.

16 And also Anne Munoz-Furlong, President and
17 Founder of the Food Allergy and Anaphylaxis
18 Network.

19 We also will have with us John Hallagan,
20 who is General Counsel of the Flavor and Extract
21 Manufacturers Association, American Spice Trade
22 Association, and also with the International
23 Association of Color Manufacturers, who will
24 participate on Panel III this afternoon.

25 We also will have with us Kate Winkler,

1 Legislative Assistant from the office of the
2 Honorable Nita M. Lowey, who will give an update on
3 congressional activities related to the labeling of
4 food allergens. Ms. Lowey's office was invited
5 today because she's initiated draft legislation on
6 the labeling of food allergens.

7 I appropriately need to inform you at this
8 point that the current administration has not taken
9 a position on such labeling.

10 And finally, I'd like to introduce the FDA
11 listening panel, which is directly on my left.
12 First there is Dr. Ken Falci, from whom you've
13 already heard.

14 We also have Felicia Satchell, who is
15 Director of the Division of Standards and Labeling
16 Regulations in the Office of Nutritional Products,
17 Labeling and Dietary Supplements.

18 We have Kathy Gombas, who is Deputy
19 Director of the Division of HACCP in the Office of
20 Field Programs.

21 And Thomas Wilcox, who is a Medical
22 Officer within the Office of Scientific Analysis
23 and Support.

24 And finally, Theresa Dziuk, Consumer
25 Safety Officer, FDA's Minneapolis District Office,

1 who will discuss the summary of inspectional
2 findings from the FDA/Minnesota and Wisconsin Food
3 Allergen Partnership.

4 So, at this point, I will take just a few
5 minutes with the slides to highlight the challenges
6 that we're facing relative to labeling of food
7 allergens.

8 I believe I can do this; correct? There
9 we go. Keep going. Technology will help us some
10 day. We're just not there yet.

11 As I've already mentioned, the focus of
12 the meeting today is threefold: source or plain
13 English labeling; advisory labeling; and labeling
14 of ingredients exempted from declaration.

15 And more specifically, our meeting will
16 focus on the eight most common allergens listed
17 here: peanuts, soy, milk, eggs, fish, crustacea,
18 tree nuts and wheat.

19 Next. As a bit of background, our current
20 regulatory framework is such that the labels of
21 food made from two or more ingredients list each
22 ingredient by its common or usual name in
23 descending order of predominance by weight in the
24 ingredient statement.

25 Thus, consumers can obtain information

1 about the foods that they eat by reading the
2 ingredient list. There are, however, two
3 exceptions.

4 The first is that the act provides that
5 spices, flavorings and colorings may be declared
6 collectively without naming each.

7 Second, FDA regulations exempt from
8 ingredient declaration incidental additives such as
9 processing aids that are present in a food at
10 insignificant levels and that do not have a
11 technical or functional effect on the finished
12 food.

13 However, I need to point out that FDA's
14 policy is that an allergenic ingredient is not
15 insignificant and therefore is not exempt from
16 labeling.

17 In terms of the current state of play, FDA
18 has two petitions in-house regarding food
19 allergens. One is from the Attorneys General from
20 nine states and requests FDA require a variety of
21 activities including allergen information on the
22 label, an insignia, toll free telephone numbers,
23 good manufacturing practices, and labeling of
24 flavors and incidental additives.

25 The second is a petition from a consumer

1 addressing very similar issues.

2 Next. We also are aware of NFPA's Code of
3 Practice on Managing Food Allergens. This code of
4 practice is a voluntary program adopted by NFPA's
5 members.

6 Most recently, we've received and are
7 aware of guidelines from the Food Allergy Issues
8 Alliance. The Food Allergy Issues Alliance is a
9 private group comprised of industry and trade group
10 representatives and a consumer group as well as a
11 scientific advisor representing academia. In May,
12 they submitted to us a consensus document on
13 guidelines for food allergen labeling.

14 The questions on which FDA is seeking
15 information are spelled out in detail in the
16 Federal Register. So just very briefly, they are,
17 first, questions on source labeling. We're asking
18 what plain English terms would be understandable.
19 That is terms such as "milk" instead of "whey."
20 And we're asking about what formats would be most
21 informative. The issues are things such as formats
22 in the ingredient statement itself versus below the
23 ingredient statement as one example.

24 Also, in terms of source labeling--next
25 slide--we're asking about whether or not multiple

1 formats would be confusing and should source
2 labeling be voluntary or mandatory?

3 Second, we have a set of questions on
4 so-called advisory labeling, advisory labeling
5 falling into the category of such things about "may
6 contain" and its impact on consumers.

7 And, in the next slide, questions about
8 the appropriateness of advisory labeling including
9 questions should the recommendations in the State
10 Attorneys General petition be adopted and what
11 about the criteria in the Food Allergy Issues
12 Alliance?

13 Next slide. In terms of advisory
14 labeling, we're also asking are there better
15 alternatives to advisory label statements and do
16 advisory statements adequately inform consumers? I
17 should add that examples of current advisory labels
18 in practice include, for instance, "may contain
19 peanuts" or "manufactured in a facility that also
20 processes peanuts."

21 Next slide. We are also asking should
22 these advisory statements be prescriptive and what
23 should be the location and prominence of these
24 statements?

25 The third set of questions focuses on

1 flavorings, spices and colors. We're asking if FDA
2 should continue to address the labeling of
3 individual allergenic flavors, spices and colors on
4 a case by case basis. We also raise the question
5 of label location and the issue of mandatory versus
6 voluntary labeling.

7 And in the next slide, we've also asked
8 for comments on the labeling of incidental
9 additives. What minor ingredients or processes
10 would manufacturers be unlikely to recognize? And
11 also when products are to be further processed or
12 repacked, is better labeling on intermediate
13 products necessary?

14 The questions on the labeling of
15 incidental additives in terms of lastly, we're
16 asking whether FDA should codify its policy that
17 allergens cannot qualify as incidental additives
18 that are exempt from labeling?

19 That basically highlights the purpose and
20 issues we'd like addressed today during our
21 meeting, and at this point, I think we will
22 actually begin our program.

23 More or less without further ado, we'd
24 like to turn to some remarks from Ms. Kate Winkler,
25 who as we've mentioned before is from the Office of

1 Congressman Lowey. Ms. Winkler.

2 MS. WINKLER: Good morning. I'm delighted
3 to be here today. It's also a pleasure to see so
4 many people here that the Lowey office has worked
5 so closely with. I too have to do a little good
6 housekeeping before we start and say that I am Mrs.
7 Lowey's legislative assistant. I handle her food
8 safety and agricultural work. However, I'm not an
9 official spokesperson for the congresswoman. If
10 the press has any questions for the Lowey office,
11 you can feel free to give us a call afterwards and
12 speak with our press person. I'm just not at
13 liberty to do so.

14 I'm here in my legislative capacity to
15 talk about the work that my boss has been doing
16 over the last year and a half on the food allergin
17 issue and let you know where our piece of
18 legislation stands at this point. Mrs. Lowey has a
19 long record of achievement on food safety issues.
20 She wishes she could be here today. She's actually
21 up in New York catching up on a little district
22 time, but Mrs. Lowey was formerly on the
23 Agriculture Appropriations Subcommittee.

24 On that subcommittee, she took a strong
25 interest in food safety issues. She's introduced

1 legislation that would require manufacturers to put
2 a "best if used by" date on all perishable foods.
3 She's also authored legislation that would require
4 all fresh meat and poultry products to come with a
5 nutrition label just as prepackaged food does, but
6 her interest in the food allergy issue has taken
7 her pro-consumer work to a new level.

8 I'd say about two years ago, we started to
9 receive a number of mail and, you know, when she'd
10 be in the grocery store making shopping visits--you
11 know she's been a member of Congress now for more
12 than ten years--people would come up to her and let
13 her know their frustration with reading labels, and
14 that's when she turned to me and said what can we
15 do about those that have a food allergy and can't
16 get the information that they need off of a food
17 label?

18 So, you know, unfortunately, misleading
19 and insufficient labels are not only a burden for
20 some of us who look for expiration dates, but it's
21 a serious health hazard for the millions of
22 Americans with a food allergy. Food allergic
23 consumers and the parents of food allergic children
24 do not have a choice. Their health and lives
25 depend on reading labels.

1 That's why Mrs. Lowey moved to introduce
2 the Food Allergen Consumer Protection Act. An
3 estimated 30,000 Americans suffer severe and even
4 life-threatening reaction to foods each year and
5 about 150 of them die. While we can't prevent
6 allergic reactions, we can enact common sense food
7 labeling requirements that will help consumers
8 avoid allergen laced foods.

9 Currently, even those with a food allergy,
10 even if they read labels for every food product
11 they purchase every time they shop, they still
12 cannot be assured that a product is safe.

13 For example, under current regulations,
14 food manufacturers do not have to identify the
15 products used in certain flavorings or additives.
16 This exemption leaves critical health and safety
17 information off our food labels. Although
18 flavorings and additives are present in small
19 quantities, for the millions with a food allergy no
20 amount is irrelevant.

21 Furthermore, ingredient statements are
22 written for scientists, not consumers. For
23 example, how many people know that surimi is
24 another term for egg. Food allergic children
25 should not be expected to decipher terms like

1 "casein, albumin, or muso."

2 The bill that my boss has sponsored would
3 require that food statements list in plain language
4 what if any of the eight food allergens are
5 contained in a product.

6 Beyond improving the readability of
7 labels, it's time for manufacturers to clean up
8 their acts. Too many manufacturers are preparing
9 multiple products with the same cooking utensils or
10 on the same production lines without properly
11 cleaning the equipment. These practices must stop.
12 A recent FDA study found that one-quarter of all
13 manufacturers did not list ingredients that can
14 cause potentially fatal allergic reactions and 47
15 percent of manufacturers did not check their
16 products to ensure that all ingredients were
17 accurately portrayed on the label.

18 There is no excuse when it comes to health
19 and well-being of our children. Manufacturers
20 should be taking every step possible to ensure
21 their product safety. And as mentioned, the
22 industry did recently propose voluntary guidelines,
23 and my boss has worked very, very well with
24 organizations like Grocery Manufacturers and
25 National Food Processors Association, and she was

1 incredibly pleased that these guidelines were
2 issued a couple months ago, and she has applauded
3 the industry for taking to heart the seriousness of
4 this health hazard.

5 But the fact is these are voluntary
6 guidelines. The legislation will give some of the
7 suggestions the weight of statutory law and ensure
8 that every manufacturer abide by some of the
9 suggestions made in the guidelines. Furthermore,
10 it will provide the Food and Drug Administration
11 with the resources to enforce the law.

12 As I said earlier, before we introduced
13 the bill in the last Congress, and I should say
14 that the bill introduced in the 106th Congress is
15 very, very different than the one we'll be
16 introducing in the 107th Congress. But before we
17 introduced that bill, companies like Kraft and
18 General Mills were already imposing stricter
19 labeling standards, and we did use their labels as
20 a model to work off of.

21 In addition, we enlisted the help of NFPA
22 and GMA to help us raise awareness of the problem
23 in the industry, and I think their guidelines show
24 that they really have taken to heart some of the
25 work that my boss has done and others.

1 To go point for point exactly what our
2 bill does is again it requires that food ingredient
3 statements identify in common language what if any
4 of the eight main allergens are in a product. It
5 closes the food additive loophole which requires
6 that on the ingredient statements if an allergen is
7 used in any of the spices or natural or artificial
8 flavorings that it be labeled as such.

9 It preserves the Food and Drug
10 Administration's authority to regulate the safety
11 of certain bio-engineered products. It requires
12 food manufacturers to include a working telephone
13 number, including one for deaf persons in case a
14 family has an emergency and they'd like to contact
15 the actual manufacturer themselves to have
16 questions answered.

17 It requires food manufacturers to better
18 prevent cross-contact between food products that
19 are produced in the same facility or on the same
20 production line. It also would disallow the use of
21 "may contain" language except for those that the
22 Food and Drug Administration would allow. So that
23 would put the FDA in charge of deciding in what
24 instances a "may contain" language label is
25 appropriate.

1 It would allow the Food and Drug
2 Administration to assess civil penalties against
3 processes and plants that are in violation of the
4 labeling manufacturing requirements for food
5 allergens. Furthermore, it would require the
6 Center for Disease Control to attract food allergic
7 related deaths. Fortunately, we don't have
8 reliable and accurate statistics right now.

9 And lastly, it would direct the NIH,
10 National Institutes of Health, to convene a panel
11 of experts to develop a plan for research
12 activities concerning food allergens. So that's
13 the bill in sum.

14 In closing, I'd like to say that the bill
15 will probably be introduced just after August
16 recess. The bill will be introduced in both
17 chambers. Part of the hold-up has been we've been
18 working with Senator Kennedy on creating a bill
19 that is suitable for both chambers so that we can
20 have the same bill introduced on both sides of the
21 Hill.

22 We've also been trying to educate other
23 offices about the bill, let them know what we're
24 looking to achieve, and in doing so, we've held a
25 briefing where we had Dr. Sampson, one of the

1 leading doctors on food allergens, from Mount Sinai
2 come down to the Hill and brief staff.

3 We've also been working very closely with
4 some organizations like Food Allergy Initiative,
5 FAAN and CSPI, and let them activate their base so
6 that like the constituents who reached out to my
7 boss to say that this is something that is needed,
8 we need to have accurate, reliable, readable
9 labels, that they can let their members of Congress
10 know that this is important to them, and that they
11 should get on board with Mrs. Lowey, Senator
12 Kennedy, and cosponsor our legislation.

13 The only way this legislation is going to
14 move is if we show that we have bicameral,
15 bipartisan support, and I believe we do. But the
16 only way that message is going to be made clear is
17 if people contact their members and let them know.
18 So it's very important that some of the groups here
19 today, some of the families and children that are
20 here today, get in touch with their members of
21 Congress and let them know what's important to them
22 and how a labeling law like this could make their
23 daily lives easier.

24 I'd also like you to know that earlier
25 this year the House passed the agriculture

1 appropriations bill and in that bill was report
2 language that asked the Food and Drug
3 Administration to act on the Attorney General
4 petition that put forward to them suggestions on
5 how to make labels more readable and how to address
6 some of the concerns for food allergic families and
7 their children.

8 In closing, I'd like to say I'm so pleased
9 that FDA is having this public forum, as is Mrs.
10 Lowey. I think this is a step in the right
11 direction. I also think we need to get moving now.
12 The voluntary guidelines were a huge, huge first
13 step, but we need to ensure that every product on
14 our shelves is readable, accurate and reliable so
15 that families can feel confident about the food on
16 their tables.

17 Thank you.

18 [Applause.]

19 DR. LEWIS: Thank you, Ms. Winkler. We'll
20 now turn to our first panel today which addresses
21 the source or plain English labeling. We have with
22 us four panelists who will each make brief five
23 minute comments on this topic, which will be
24 followed by a discussion among the panelists
25 themselves with input from our own FDA listening

1 panel.

2 We'll begin with Dr. Michael Jacobson,
3 followed by Ms. Anne Munoz-Furlong, Ms. Regina
4 Hildwine, and then Ms. Lisa Katic. Dr. Jacobson.

5 DR. JACOBSON: Thank you very much, Dr.
6 Lewis, and I must say that I really appreciate the
7 FDA holding this important meeting, the first one I
8 can recall on food allergies. I think it indicates
9 that the FDA is taking this issue very seriously.

10 Ingredient statements on food labels
11 should be printed in a readable typeface and should
12 clarify which ingredients might cause allergic
13 reactions. Unfortunately, all too many ingredient
14 lists are unreadable due to the small size and
15 style of typeface used. Consumers shouldn't have
16 to play the game of "Where's Waldo" when they're
17 shopping to find the ingredients they're concerned
18 about.

19 I have two examples here of some basically
20 unreadable ingredient lists. The first one is a
21 Stovetop Oven classic pasta--I guess it's pasta; I
22 don't know what it is--for your inspection. And
23 another one is a Hormel Kid's Kitchen product--the
24 next slide, please--that really challenges people
25 to read ingredients.

1 Labels such as these make a mockery of the
2 FDA's requirement that ingredient lists shall
3 appear prominently and conspicuously. Also,
4 ingredient labels typically give the chemical names
5 of additives such as sodium caseinate, lactose,
6 albumen or gluten. That's enough of that slide,
7 please.

8 This Quaker product, for instance,
9 includes whey and sodium caseinate without
10 disclosing that they are milk derivatives.

11 It can be tough for the average person to
12 memorize all the possible derivatives of foods to
13 which they're allergic. To help people who are
14 sensitive to the eight major allergens and the raft
15 of less common but sometimes no less severe
16 allergens as well as for consumers who use
17 ingredient lists for other purposes, the FDA should
18 require all products to bear an entirely redesigned
19 ingredients level which is on the poster in front
20 of you.

21 Last month, CSPI formally petitioned the
22 FDA to provide an ingredient facts label that is
23 consistent with the nutrition facts label. The
24 ingredients label should be printed in clearer
25 larger type using upper and lower case letters,

1 major and minor ingredients should be separated
2 out, and a clear allergy information section should
3 alert sensitive consumers.

4 That section would include such statements
5 as contains milk, soy and wheat or may contain
6 peanuts. This particular label was designed by the
7 same firm, Greenfield-Belser, that designed the
8 nutrition facts label for the FDA about ten years
9 ago. The wording and location of such information
10 should be as standardized as possible on all
11 packages.

12 It has been suggested that the sources of
13 various food additives such as whey and albumen be
14 declared after the names of the additives in the
15 ingredient lists. So labels would state "whey (a
16 milk derivative). "

17 If a clear ingredient facts label with an
18 allergy information section is adopted, I'm not
19 sure whether that redundancy is needed, whether the
20 sources of the major allergens need to be stated in
21 the ingredient list.

22 Focus groups could explore that matter and
23 the request by the attorneys general that an "A" in
24 a circle be printed on the front label to alert
25 consumers about the presence of allergens.

1 While the FDA has focused on the eight
2 most common allergens, I urge you to at least add
3 sulfites to that list. Sulfites are not allergens
4 but still cause life threatening anaphylactic
5 reactions and must be listed on labels when present
6 at 10 ppm, ten parts per million, or more.

7 Finally, the FDA should prevent consumer
8 confusion about products that claim to be non-dairy
9 or wheat-free or vegetarian when they actually
10 contain additives from derived from milk, wheat or
11 animals.

12 The front label of this product, for
13 instance, says "vegetarian and soy cheese," but the
14 manufacturer told us that the natural flavoring is
15 actually skim milk, and the ingredient listed
16 discloses calcium caseinate, both of which
17 ingredients people with milk allergies and strict
18 vegetarians would avoid. That kind of misleading
19 labeling should simply not be allowed.

20 In sum, people with allergies and the rest
21 of consumers need a clear legible ingredient fact
22 statement with an allergy information section.
23 That would do wonders to help people avoid the
24 major allergens as well as other ingredients about
25 which they're concerned. Thank you very much.

1 [Applause.]

2 DR. LEWIS: Thank you, Dr. Jacobson.
3 Before we turn to Ms. Anne Munoz-Furlong, I'd like
4 to remind the audience that you do have the
5 opportunity to write questions on cards. We have
6 several ushers who will be walking among you to
7 pick these up. So as questions arise, please feel
8 free to write them on the cards.

9 Go ahead, please.

10 MS. MUNOZ-FURLONG: Thank you. I have
11 some slides. Shall I ask you to change them or do
12 I--okay--fine. As we bring up these slides, I want
13 to make the point that FAAN is a nonprofit
14 organization. Our mission is to increase
15 awareness, provide education and advance research
16 into the conditions under which reactions and
17 fatalities occur. We have 23,000 members. Most of
18 these are parents of children with food allergies.
19 Can we flip the slide and go on to the next one?

20 My objectives this morning--you can flip
21 to the next one--thank you--are to give you
22 information about the size of this problem, who is
23 affected, and what the confusion is with the
24 current labels. Next slide, please.

25 Currently, food allergies affect about

1 seven million Americans. About three million
2 Americans are allergic to peanuts and tree nuts,
3 and study after study continues to show that
4 peanuts and tree nuts are the leading cause of
5 severe or fatal reactions in this country.

6 Children are the largest group affected by
7 food allergy. Up to six percent of children have a
8 food allergy and eight foods account for 90 percent
9 of the allergic reactions.

10 Next slide, please. Now, we know this
11 list. The point I want to make here is that almost
12 all of these foods have caused a fatal reaction to
13 a child or an adult in this country.

14 Next slide. There is no cure for food
15 allergies. Strict avoidance is the only way to
16 avoid a reaction. That means the individuals must
17 read the label for every product every time they go
18 to the store.

19 Next slide. Food allergy is the leading
20 cause of anaphylaxis. This is a larger problem
21 than insect sting and medication allergy combined.
22 There are about 30,000 emergency room visits a year
23 and tens of thousands of reactions that are taken
24 care of at home. Additionally, about 150 to 200
25 people die from these reactions.

1 Next slide. Okay. This is it. The
2 symptoms can occur within minutes, and in every
3 case the individual is eating something they
4 believe is safe. So we're not talking about risk
5 takers.

6 Next slide. Now let's talk about the
7 confusion with the current labels. The first stop
8 is when we go to the doctor and get a diagnosis,
9 the doctor makes a diagnosis and tells the patient
10 go home and avoid milk or eggs or wheat. They
11 don't know that they're not going to see those
12 names on very many products until they get to the
13 grocery store.

14 If I could have the next slide, please.
15 Now, this is just a sampling of what we call milk
16 words. When someone has a milk allergy, they need
17 to learn casein, caseinates, lactalbumin. These
18 are the types of terms that they need to become
19 familiar with, yet they're looking for the word
20 "milk."

21 Then there is a whole host of situations
22 under which the product may contain milk depending
23 on the product, and that will change. They need to
24 learn this as well.

25 Next slide. When we look at eggs, you can

1 see that some of these terms are very scientific
2 and they certainly aren't consumer friendly.

3 Next slide. When we look at wheat, again
4 the individual is going to the store expecting to
5 see "wheat," but they're going to have to learn
6 that semolina and durum and some of these other
7 terms are what they need to avoid.

8 Next slide. Now, we have conferences
9 across the country. Last year, there were 760
10 attendees. We conducted a survey looking at
11 labeling and had 550 respondents.

12 Next slide. We asked them when they see a
13 label, do they consider that the food labels
14 currently on the market are easy to understand? 88
15 percent disagreed. 98 percent told us that the
16 information on the label is not enough regarding
17 allergens. 99 percent disagreed with the statement
18 that the current labels can be understood by a
19 seven year old. A seven year old would be about
20 the first age that you can expect a child to start
21 to learn to look for milk or eggs or some of the
22 words that they're allergic to.

23 And 98 percent told us that the current
24 labels are not easy to be understood by a new
25 babysitter, a teacher, scout leader, anyone else

1 who is giving information or food to a child.

2 Now, the top three concerns--this was a
3 write-in section to the survey--were "may contain,"
4 natural or artificial flavoring, and non-dairy. I
5 know we have other panels to discuss "may contain"
6 and non-dairy so I'm not going to stop here. The
7 "non-dairy" bears mentioning for another discussion
8 at a future meeting. This is certainly a big issue
9 for our families.

10 Next slide. So when we're talking about
11 labels, there is no cure for food allergy. Strict
12 avoidance is the only way these people can avoid a
13 reaction. Therefore, the labels must be clear and
14 easy to understand. They must be consistent and
15 reliable.

16 And the next slide. We recommend that
17 these labels state in simple English terms adjacent
18 to the ingredient panel what that product contains;
19 that the allergens be identified when they're in
20 these products at all times; and that there be a
21 phone number so that the individual can contact the
22 manufacturer whenever they have a question and
23 we'll talk more about that as we go forward.

24 I want to sum up with one of the comments
25 from our members is that we are not food

1 scientists, we're just mom and dad. Thank you.

2 [Applause.]

3 DR. LEWIS: Thank you. Regina Hildwine.

4 MS. HILDWINE: NFPA thanks FDA for the
5 opportunity to participate in these panels today,
6 and we will also be filing written comments. The
7 National Food Processors Association is the voice
8 of the \$460 billion food processing industry on
9 scientific and public policy issues involving food
10 safety, nutrition, technical and regulatory matters
11 and consumer affairs.

12 My remarks today are all based on the Food
13 Allergen Labeling Guidelines issued by the food
14 allergy issues alliance. NFPA is a member of the
15 Food Allergy Issues Alliance, and NFPA members
16 support the Food Allergen Labeling Guidelines.
17 NFPA believes that it is important to present
18 information on the major food allergens in terms
19 commonly understood by consumers. NFPA believes
20 that plain language presentation options should not
21 replace but should rather augment current
22 ingredient labeling requirements.

23 NFPA also believes that the approaches
24 outlined in the Food Allergen Labeling Guidelines
25 are sufficiently flexible to suit various

1 situations and serve as a useful start for this
2 discussion.

3 NFPA believes that plain language labeling
4 options should be voluntary. To require such
5 declaration would necessitate rulemaking on several
6 standards of identify and other existing rules, and
7 this is an unnecessary complication.

8 Food allergen information presented in
9 plain language terms will help food allergic
10 consumers including children and other challenged
11 readers to recognize the foods they must avoid.
12 Plain language labeling also makes it easier for
13 the caregivers of food allergic children to
14 recognize the food allergens to which their charges
15 are sensitive.

16 The major food allergens as defined by FDA
17 are not all single foods. Crustaceans, fish and
18 tree nuts represent classes of foods. Within these
19 classes of foods, food allergen information must be
20 presented as the common or usual name of the
21 individual food in the ingredient declaration.

22 For example, for crustaceans, crab,
23 crayfish, lobster and shrimp are the terms that
24 would be used. For fish, the common or usual name
25 of the fish species must be declared in the

1 ingredient list. Likewise, for tree nuts, the
2 individual types of allergenic nuts must be
3 declared.

4 Other foods that contain allergenic
5 proteins should include the plain language name of
6 the allergen. In many cases, the plain language
7 name of the allergen is used within the ingredient
8 declaration as the common or usual name of the food
9 ingredient or its standardized name.

10 For example, hydrolyzed soy protein,
11 buttermilk, peanut butter, cracked wheat and milk
12 chocolate all include the plain language names of
13 major food allergens as part of their common or
14 usual names or standardized names. In these
15 instances, food processors declare the plain
16 language names of food allergens through ingredient
17 declaration.

18 When the plain language name of the
19 allergen is not declared in the ingredient list,
20 food processors should ensure that plain language
21 terminology is present in association with the
22 ingredient list.

23 Now, standards of identity can complicate
24 the issue of declaring plain language names for
25 food allergens, but these complications can be

1 resolved.

2 For example, the standardized food egg
3 albumin specifies egg, and that's required in the
4 standard. Dried yolks, a name permitted by that
5 standard, should be supplemented with the term
6 "egg." The ingredient would be dried egg yolks, a
7 name permitted by the standard, which could be
8 declared on the ingredient list as egg yolks.

9 Now, despite what you heard earlier, you
10 would not see the word "surimi" to represent egg.
11 Surimi is derived from fish and on the ingredient
12 list of a surimi product, you are likely to see the
13 term "fish protein."

14 With respect to wheat, semolina, farina,
15 durum flour, graham flour, and white flour, all
16 standardized names, should include the term "wheat"
17 and the dozens of standardized cheeses all declare
18 "milk" as a sub-listed ingredient.

19 Plain language terms for the major food
20 allergens should appear within, at the end of, or
21 in immediate proximity to the ingredient
22 declaration. One option is to place at the end of
23 the ingredient declaration a statement such as
24 "contains peanuts." It could be prefixed by a
25 phrase that highlights attention of the food

1 allergic consumers such "allergy information:
2 preceding contains peanuts."

3 The same effect could be accomplished with
4 the use of a reference mark, such as an asterisk
5 next to the name of the ingredient whose common or
6 usual name does not include the plain name of the
7 allergen, such as farina or casein, each followed
8 with a reference mark. That would then refer to a
9 corresponding notation at the end of the ingredient
10 list that would say "wheat" or "milk ingredient."
11 This option takes up very little space and could be
12 useful for long ingredient declarations.

13 Another alternative is to use within the
14 ingredient declaration a parenthetical statement
15 that follows the ingredient name such as "farina
16 (wheat)." Any of these options, "contains,"
17 reference mark, parentheses, could use bold in
18 their other highlighting to feature the information
19 about food allergens.

20 NFPA does not believe that multiple format
21 options should be confusing to consumers provided
22 the food allergen information is always presented
23 in association with the ingredient declaration.
24 This is where food allergic consumers are
25 instructed to look for information about the

1 allergens in food.

2 Finally, plain language labeling for food
3 allergens should be permitted on a voluntary basis.
4 A mandatory approach would necessitate FDA revising
5 a number of rules for standards of identity and
6 other labeling rules. This would complicate a
7 labeling approach that can be done, and it is now
8 being done on a voluntary basis. Thank you very
9 much.

10 [Applause.]

11 MS. KATIC: Good morning. My name is Lisa
12 Katic and I am the Director of Scientific and
13 Nutrition Policy for the Grocery Manufacturers of
14 America. GMA is the world's largest association of
15 food, beverage and consumer products and works at
16 the federal, state and local level on regulatory
17 and scientific issues.

18 GMA member companies are committed to
19 meeting the needs of the food allergic community.
20 And I'd like to commend FDA for holding this public
21 meeting to collect information on this very
22 important issue.

23 GMA along with numerous trade associations
24 formed the Allergy Issues Alliance several years
25 ago because the food industry wanted to be

1 proactively out front of and address this allergy
2 issue.

3 The Food Allergy and Anaphylaxis Network
4 is an integral part of the Allergy Issues Alliance
5 and as the association that represents food
6 allergic consumers, FAAN is best positioned to
7 provide insight into the labeling practices that
8 would be of most use to the subset of the
9 population. We really appreciated their input and
10 work in developing our guidelines.

11 As Regina has already mentioned, the
12 voluntary program has been developed by the
13 Alliance and was released this spring. It's a
14 culmination of several months of work by the
15 Alliance including FAAN and some other, as was
16 mentioned earlier, food allergy scientific experts.
17 Unlike a regulatory process that will take several
18 years to develop and implement, the Alliance's
19 voluntary program is now in the implementation
20 stage.

21 GMA's Board of Directors has adopted the
22 Alliance's labeling programs and the CEOs of each
23 of our member companies have asked their companies
24 to implement the program. We fully expect
25 widespread adoption and implementation of our

1 labeling programs by our companies in the very near
2 future and as was already mentioned, some companies
3 have been actively making changes since the
4 adoption of this program.

5 With regard to the plain English labeling,
6 the Alliance's labeling program specifically
7 addresses this issue and requires the use of plain
8 English names on the label of foods that contain an
9 allergenic protein that is derived from one of the
10 major allergens.

11 We recognize that it can be daunting for
12 the food allergic consumer to learn the names of
13 all of the ingredients that may be derived from an
14 allergin. Milk is a classic example. As Anne
15 showed earlier, there are numerous ingredients
16 derived from milk.

17 In accordance with FDA's regulations, each
18 of these milk-derived ingredients must be declared
19 in the ingredient statement by a different name.
20 Casein, sodium caseinate and whey are just a few
21 examples of the milk-derived ingredients that must
22 be avoided by a consumer with a milk allergy.

23 The Alliance's labeling program makes it
24 easier for the milk allergic consumer to identify
25 products that he or she needs to avoid by requiring

1 the use of the common term "milk" in addition to
2 the name of the ingredient on the label of foods
3 that contain an allergenic protein from milk.

4 As the agency is well aware, we have a
5 tremendously diverse food supply that uses a wide
6 variety of ingredients. Packaging materials are
7 different as well as packaging sizes. Given this
8 variety, there must be flexibility in presenting
9 the common names of the allergens. The Alliance's
10 labeling program provides this flexibility by
11 offering options for presenting the major
12 allergen's common name.

13 For example, a manufacturer could use
14 parenthetical statements that identify the plain
15 English name of the allergen in the ingredient
16 statement after the ingredient. An allergen
17 information statement could also be highlighted or
18 used in the ingredient declaration panel and then
19 it would list the common name of each of the major
20 allergens in the food.

21 The Alliance's program provides other
22 means of presenting the name of the common allergen
23 as I think Regina has already laid out. The plain
24 English name would appear either in the ingredient
25 statement or in immediate proximity to the

1 ingredient statement.

2 In summary, we support the use of common
3 and plain English names on labels of foods that
4 contain major allergens, and we believe that the
5 Alliance's labeling program sufficiently addresses
6 how this information should be presented.

7 Because we anticipate widespread adoption
8 of this program by the food industry, we believe
9 that the allergen-labeling regulations are
10 unnecessary. Thank you.

11 [Applause.]

12 DR. LEWIS: Thank you very much to members
13 of our panel, and as scheduled on the agenda, we
14 will now begin a 15 minute discussion among members
15 of the panel as well as with the FDA listening
16 panel. Let me remind you that that will be
17 followed by the opportunity for us to address the
18 questions you may be writing down on your cards,
19 and again there are people walking the aisles so
20 please do take advantage of that.

21 Relative to source or plain English
22 labeling, are there particular issues anyone among
23 our panelists would like to address? Dr. Jacobson.

24 DR. JACOBSON: I'd just like to ask Lisa
25 how you could rely upon that voluntary flexible

1 labeling when there are thousands of food producers
2 that are not members of NFPA and GMA? Many
3 companies have never heard of these trade
4 associations and things can change from one
5 management to another management. I think the
6 consumer needs to be assured that the clear
7 English, the labeling will always be there.

8 MS. KATIC: Well, no doubt I appreciate
9 that as a definite challenge. I would say that
10 NFPA and GMA members represent a majority of food
11 products on the shelves. So certainly we have the
12 bulk of what we're talking about covered within our
13 membership.

14 We've talked about--through Alliance
15 outreach we certainly plan on doing a lot of
16 education amongst our own industry, our own
17 industry reaching out to other smaller
18 manufacturers that potentially are not amongst our
19 membership. We've got some discussions already
20 underway on how to do that as well as educating.
21 You know we have lots of large and small and
22 medium-sized companies within our own memberships
23 and we think that it's imperative that some of the
24 larger manufacturers that have long-term experience
25 with how to make changes on labels would be best

1 served by educating some of our medium and smaller
2 sized companies. So it's really all about
3 education and outreach and we have plans underway
4 to do that.

5 DR. LEWIS: Other questions? Kathy
6 Gombas.

7 MS. GOMBAS: Yes. This is Kathy Gombas
8 with FDA. I'd like to ask a question of NFPA,
9 Regina. You had indicated that source or plain
10 English labeling should be voluntary versus
11 mandatory because there are a lot of manufacturers
12 currently using voluntary labeling. Do you have a
13 prospective on how many manufacturers are doing
14 this today?

15 MS. HILDWINE: I can tell you that at the
16 present time, the Food Allergy Issues Alliance, and
17 NFPA, of course, is a member of the Food Allergy
18 Issues Alliance, is developing a survey so that we
19 can collect some baseline data from the food
20 industry regarding their awareness of the Food
21 Allergen Labeling Guidelines and their use of
22 various presentation techniques. We expect to
23 field this survey, you know, the individual
24 associations in the Alliance to our various members
25 probably in the next month or so.

1 Now, there are many more food trade
2 associations besides NFPA and GMA and the Food
3 Allergy Issues Alliance, and many of these are
4 specialized food trade associations that are
5 focused on various sectors of the food industry,
6 and we are going to be going out to our members
7 collecting information from food companies both
8 large and small to get a sense of how many have now
9 begun to use the plain language labeling that was
10 advocated along with other things that were
11 advocated in the Food Allergen Labeling Guidelines.

12 DR. LEWIS: Felicia Satchell.

13 MS. SATCHELL: My name is Felicia Satchell
14 and I'm with FDA. My first question is to Ms.
15 Katic. You had indicated that the voluntary
16 program is currently being implemented, and I
17 understand from Regina's last response that you are
18 beginning to work with the industry. Is there any
19 plan for follow-up, say, six, eight, ten months
20 down the road to see if all of your members are
21 implementing this, if they are complying with the
22 program? How do you plan to track follow-up?

23 MS. KATIC: Well, as Regina just
24 mentioned, we are developing, in the process of
25 developing a survey that will give us a baseline of

1 awareness and use of the program now, and we plan
2 on reinstating that survey in the spring and then
3 obviously probably next fall to track the changes
4 in usage and awareness.

5 MS. SATCHELL: What type of incentive, if
6 any, for voluntary compliance? I mean I can
7 appreciate your doing a survey, but let's say that
8 you have manufacturers that for financial reasons
9 find it too costly to relabel, what are your plans
10 for addressing members that may not be following
11 the guidance?

12 MS. KATIC: Well, I think, first of all,
13 the incentive is that no manufacturer wants to harm
14 any consumer, first and foremost. They take this
15 very seriously. Secondly, there are legal
16 issues that certainly no company wants to, you
17 know, have an issue with again harming a consumer.
18 So this is something that the industry has been
19 working on for decades, for a long, long time.
20 This is nothing new. This is something that the
21 industry continues to look at and refine and
22 redevelop.

23 So, you know, as I said in my comment,
24 this is something that has gotten to the highest
25 levels within our member companies. It's been

1 presented to our board of CEOs. Our CEOs are on
2 board and have given their support obviously and
3 encouragement for use of the program.

4 And I think through the survey, you know,
5 we're really going to have some understanding of--
6 the trade associations within the Alliance will
7 have some understanding of how it's being used and
8 obviously if it's not, then that will modify or
9 adjust our education and outreach efforts.

10 MS. SATCHELL: Thank you.

11 DR. LEWIS: Other questions? Dr. Falci.

12 DR. FALCI: This is Dr. Falci of the Food
13 and Drug Administration. I think there is a lot of
14 agreement that we have here and I'm pleased with
15 that. I'm trying to turn my head around so I can
16 see your format of ingredients that you have there,
17 Dr. Jacobson. And I think that's a very
18 interesting idea about having different kind of
19 formats for ingredients in the ingredient
20 statement.

21 But my question has to do a little bit
22 with consumer education. Maybe you could think
23 about that as I continue on here. That is consumer
24 education as far as the formats are concerned. One
25 of the things that I've seen is that there's a

1 large percentage of the population that are
2 unfortunately not interested in food allergens
3 because they don't have that problem, they don't
4 have to deal with it.

5 So when you sit down and you think about
6 trying to educate the consumer, it's hard to try to
7 think of maybe the appropriate way of approaching
8 people that might not be interested in it and to
9 try to get them more interested in that. So my
10 question again is how would you really begin to try
11 to educate the consumer?

12 I know that Lisa Katic had mentioned that
13 you're discussing that now. I'd be interested just
14 maybe if you could elaborate more on it, but some
15 of the things that came to my mind, of course, were
16 TV advertisements, of course, which are very
17 expensive, but maybe once you get into the school
18 programs about potential new formats as well. So
19 would anybody like to field that?

20 DR. JACOBSON: Well, I don't think we
21 could expect any kind of well-funded government
22 educational program that lasts for any significant
23 period of time. Measures should be self-actuating
24 and that's what a clear ingredient label is. It
25 makes it possible for consumers to actually read

1 the label as the law suggests.

2 Look at some of those products in front of
3 you. Tom, could you pass that thing over there?
4 These labels are designed not to be read. You'll
5 definitely need your glasses and a magnifying
6 glass, too.

7 [Applause.]

8 DR. JACOBSON: It's all upper case, skinny
9 little print, very small writing that may be
10 inevitable, but it goes--there are textbooks on how
11 to print things legibly. This violates every
12 precept in those textbooks, and if the information
13 is clear, it would make it a lot easier for
14 consumers to read the label whether it's for food
15 allergens or sugars or heart disease or
16 hydrogenated fat or whatever people are concerned
17 about.

18 DR. FALCI: Just as a follow-up to that, I
19 think one of the reasons why such a package is
20 created like that is unfortunately there is not a
21 lot of food in the particular containers, not
22 enough space on the container to have a large
23 letters, but I don't know how you'd overcome that.

24 DR. JACOBSON: Well, I think there's
25 certainly a variety of products. We found very

1 small print on very large packages also. But even
2 an example like that one before you, upper and
3 lower case printing would be a lot easier to read.
4 You know designers can make labels that are easier
5 to read or harder to read. Unfortunately, many
6 companies are choosing the latter.

7 DR. LEWIS: One more brief comment before
8 we move on.

9 MS. MUNOZ-FURLONG: In response to Dr.
10 Falci's question about how to educate the
11 consumers, if we're talking strictly about the
12 simple language labeling, they are already reading
13 labels, those that are affected, the food allergic
14 population. The problem is they can't understand
15 what they're reading. What we need to do is
16 simplify the labels so that when the children and
17 the adults and the teachers and so forth read them,
18 they'll understand what they're reading, and also
19 when that doctor makes a diagnosis and tells that
20 patient to read the label, they will then be able
21 to read it.

22 So in this issue I don't think it's such a
23 concern for education as it will be in some of the
24 other panels.

25 DR. LEWIS: We'll take one more brief

1 question from Felicia Satchell and then we'll move
2 to the cards.

3 MS. SATCHELL: The question is directed to
4 Dr. Jacobson and Ms. Munoz-Furlong. Both Ms.
5 Hildwine and Katic mentioned three or four options
6 in their presentation for presenting plain English
7 labeling in conjunction with the ingredient
8 statement. Allowing the flexibilities of these
9 options, do you see that as being a stumbling block
10 or confusing for allergic consumers?

11 MS. MUNOZ-FURLONG: On this issue, I don't
12 think that it will be. The fact is that they
13 reading the label. They need to have a place or a
14 statement that they can understand. If we're
15 looking at what they like, and I'll cover some of
16 that in the next panel, they would prefer that we
17 have a statement of "contains milk and eggs"
18 immediately after that ingredient statement because
19 then it saves them time. They don't have to read
20 that entire paragraph in very small print. They
21 can zoom in on that and put the package back on the
22 shelf if it's not safe for them or put it in their
23 shopping cart.

24 DR. LEWIS: Thank you very much.

25 DR. JACOBSON: I think there should be a

1 standardized label so consumers don't have to hunt
2 around and say, oh, is there an asterisk here or is
3 it boldfaced. It should always be in the same
4 place and as Ms. Munoz-Furlong suggested, something
5 at the end where it would say allergy information,
6 and then milk, soy, whatever, is the best option.

7 DR. LEWIS: Thank you to the panelists.
8 We'll now move to the questions from the audience,
9 and I have several here that I think will probably
10 easily take up our remaining time.

11 This question is about placement and it's
12 directed to either Ms. Katic and/or Ms. Hildwine.
13 Does the Alliance Allergy Labeling Program address
14 labeling ingredients above and below the package
15 seam?

16 For example, a Trail Energy Bar sent our
17 son to the hospital because we were unaware that
18 almonds were listed under the foil seam.

19 MS. HILDWINE: Well, that's a very
20 difficult situation, and a consumer shouldn't be in
21 that situation. Certainly, there are situations
22 with respect to the design of packaging that are a
23 challenge for the food industry.

24 The food companies do try to make that
25 information as clear as they possibly can given the

1 constraints of the package size. In fact, food
2 companies know that FDA has regulations regarding
3 the sufficient prominence of food label information
4 and, you know, NFPA does encourage its members to
5 follow those regulations all the time.

6 I think you'll see in some cases, though,
7 particular types of food are in particular types of
8 packages that make, you know, using all the label
9 space to present the required information very much
10 of a challenge and we are working with our members
11 to try and improve those situations.

12 MS. KATIC: The only thing I would add is
13 that the program that was developed by the Allergy
14 Issues Alliance does emphasize the prominence
15 within the ingredient panel declaration, and that's
16 what we would continue to support.

17 DR. LEWIS: Our second question from our
18 attendees seems to be addressed for anyone who
19 would like to deal with the topic. I suspect it's
20 more oriented towards industry.

21 The question is what about "contains milk,
22 wheat and egg ingredients," the way General Mills
23 and Kellogg's are labeling? Is this accepted to
24 the food allergic consumer? Is there data to
25 support the way the industry should go for consumer

1 clarity?

2 MS. HILDWINE: Well, I'll start with this
3 one as well. Certainly, those statements such as
4 "contains milk, wheat or egg ingredients," this is
5 one of the options that, in fact, the Food Allergy
6 Issues Alliance included in our Food
7 Allergen Labeling Guidelines. It is the top ranked
8 option, as a matter of fact, in our presentation of
9 the various options for presentation. And you know
10 we think that a lot of food companies are starting
11 to move in that direction to include this type of
12 information that specifically says at the end of
13 the ingredient statement that the product contains
14 these ingredients. It reinforces the information
15 in the ingredient statement.

16 MS. MUNOZ-FURLONG: Well, from the
17 consumer's perspective, General Mills and Kellogg's
18 are one of the companies that everybody talks
19 about. They like their labels. They're in simple
20 English and "that contains," and then the allergens
21 in simple language is perceived as a shortcut and a
22 very handy way to teach someone else how to read
23 that label.

24 DR. LEWIS: Anything else from our
25 panelist on this topic? We have a question to

1 three of the panelists regarding Dr. Jacobson's
2 presentation, and it has to do with his small board
3 that he presented. Do you folks support CSPI's
4 ingredient facts proposal? Can you discuss it?
5 Why and why not?

6 MS. MUNOZ-FURLONG: I can tell you that
7 the allergy information statement, we have
8 conducted some focus groups and people do like
9 allergy information. It could also be substituted
10 with "contain" statement and that would be
11 acceptable as well.

12 MS. HILDWINE: Just focusing on the
13 allergy information portion of the format for the
14 moment, certainly that does present one of the
15 options outlined in the food allergen labeling
16 guidelines. I think there are things, though, in
17 the format overall that need to be taken into
18 careful consideration.

19 A couple weeks ago when Dr. Jacobson
20 announced this new format, there was a side by side
21 comparison of a current ingredient list and the
22 proposed new format, and I, you know, just a minute
23 ago talked about the challenges of fitting required
24 label information on small packages, and if you do
25 that side by side comparison, you will see that the

1 proposed new format takes up a great deal of label
2 space. So that's a real practical problem that
3 certainly needs to be explored further.

4 DR. LEWIS: My remaining cards focus
5 largely on the issue of voluntary versus mandatory
6 labeling. In our remaining five minutes, I'll try
7 to summarize some of those. They are quite
8 overlapping.

9 There is a question for Ms. Hildwine. Is
10 your reason for supporting voluntary labels instead
11 of mandatory ones that it's too confusing for
12 manufacturers and the FDA to agree on this, and
13 therefore it would delay implementation? And if
14 so, why not implement voluntary rules now and work
15 on standard regulations for all companies?

16 MS. HILDWINE: Well, first of all,
17 voluntary rules is an oxymoron. There is really no
18 such thing. And certainly the work that the Food
19 Allergy Issues Alliance has been doing is a
20 voluntary approach and we announced this this
21 spring, and food companies, some had already been
22 following the precepts outlined in those
23 guidelines. Other companies are starting to do
24 that now.

25 We've been encouraging members to adopt

1 these presentation options and these guidelines.
2 Our concern is is that some food companies may say,
3 well, if FDA is going to develop regulations to
4 require this to adopt a mandatory approach, well, I
5 think maybe I'll just wait to see what the agency
6 does now.

7 In plain fact, going the mandatory route
8 will delay the adoption by the industry of those
9 presentation options that have been outlined in the
10 Food Allergen Labeling Guidelines. So we would,
11 you know, not just to mention the overwhelming
12 complexity of this--you may not realize it, but
13 when FDA, well, when the law changed to require
14 declaration of ingredients of standardized foods as
15 part of the Nutrition Labeling and Education Act,
16 FDA had to open up the regulations on every one of
17 the standards of identity.

18 I believe there are about 72 regulations
19 governing cheese, and this is only one category of
20 standardized food. FDA would have to make
21 amendments to an overwhelming number of
22 regulations. It's not just a simple matter of
23 requiring this in a particular section of
24 regulations.

25 So it would be very complicated. It would

1 take quite a long time. There is no reason to
2 wait. The voluntary program that's been
3 established by the Food Allergen Labeling
4 Guidelines is in implementation now. So we don't
5 think that anybody should wait for rules and that
6 it should continue to be on a voluntary basis.

7 DR. LEWIS: Thank you. Lisa.

8 MS. KATIC: Well, just building one
9 comment on top of that, I think Regina pretty well
10 laid out the complexity, which then leads to the
11 next point, why we think voluntary versus mandatory
12 is the way to go is that down the road the industry
13 sees this program as evolving.

14 If there is research, enough research that
15 is done on a particular ingredient that it does
16 prove that it becomes an allergen or is a problem
17 for the public, that would be incorporated into the
18 program and can be done right away, whereas waiting
19 for regulations to, you know, move through the
20 process could take one to two years, where as the
21 industry can act very quickly and institute or
22 implement something pretty quickly.

23 DR. LEWIS: My remaining cards really do
24 play on this, and you may have already made your
25 points, but I will offer these questions to you.

1 To Regina Hildwine, because recalls are so high, on
2 what basis do you think voluntary guidelines will
3 be enough, and is it enforceable?

4 To Anne Munoz, Furlong, does FAAN support
5 mandatory labeling and legislation in this area?

6 And then finally, if a number of NFPA and
7 GMA members already are labeling voluntarily or
8 plan to, why is the industry opposed to FDA
9 regulations which would reach all industry members
10 since those already doing this voluntarily will
11 just be ahead?

12 Again, those are issues you've been
13 addressing so I'll allow you to answer those or
14 build on other comments you've already made if you
15 wish.

16 MS. HILDWINE: Just with respect to the
17 issue of recalls, these, as I've observed them over
18 the past several years, are very much related to
19 undeclared allergens, outright undeclared
20 allergens. The issue of plain language labeling is
21 not question.

22 Also, oftentimes the presence, the
23 notation of a recall is an indication that
24 enforcement type activity may have begun with
25 respect to FDA. We believe that FDA has plenty of

1 authority to enforce regulations relative to
2 undeclared, any undeclared ingredient including
3 food allergens. That's all I have to say for that
4 one.

5 DR. LEWIS: Anne, did you want to make a
6 comment?

7 MS. MUNOZ-FURLONG: Yes. Regarding
8 whether FAAN is for or against or whatever the term
9 was for mandatory versus voluntary. FAAN's
10 position is that we know we need label improvements
11 immediately. We are going to support any and all
12 initiatives that are going to get us there be they
13 voluntary, regulatory, legislative, because
14 ultimately they're going to benefit the consumer.

15 [Applause.]

16 DR. LEWIS: In the last few minutes, does
17 anyone else on the panel have one more comment or
18 question before we take a break? Thank you. We
19 will take a 15 minute break, which means we'll be
20 back here just a little before 9:40.

21 [Whereupon, a short break was taken.]

22 DR. LEWIS: All right. Let's get started.
23 We do have a special presentation. I have been
24 asked to remind the audience to please make note of
25 the fact that there is no eating in the auditorium.

1 That's a very important issue here. Please do not
2 consume vitals here in this particular auditorium.

3 Our second panel addresses advisory
4 labeling, and before we actually get to the panel
5 presentations, we have with us today Ms. Theresa
6 Dziuk. She is with our Minnesota District at the
7 Food and Drug Administration, and she will be
8 presenting a summary of inspectional findings.

9 If you would please welcome, Ms. Dziuk.
10 Thank you.

11 [Applause.]

12 MS. DZIUK: I'd like to thank the center
13 for inviting me. I feel very fortunate to be here
14 today. When this started, I was a compliance
15 officer with the state of Minnesota. In 2000, I
16 joined the FDA as a consumer safety officer. In
17 October of 1998, the Food and Drug Administration
18 formed a partnership with both the Minnesota and
19 Wisconsin Departments of Agriculture.

20 This partnership was in response to
21 reports of consumers who experienced adverse
22 reactions following exposure to allergic substances
23 in foods which were not declared on the food label.
24 In addition, there was an increase in the number of
25 allergen related recalls, and we had concerns over

1 manufacturing controls of undeclared allergen
2 residues.

3 The goal of our partnership was to
4 eliminate duplicate inspections and sample
5 collections, to ensure uniform enforcement and to
6 obtain current information on allergy awareness and
7 provide feedback to industry.

8 While it is generally believed that nearly
9 every food can cause an adverse reaction, eight
10 foods are known to cause 90 percent. FDA
11 recognizes these as peanuts, soybean, milk and milk
12 products, eggs, wheat, tree nuts, fish and
13 crustacea.

14 Although this partnership looked at the
15 control of food allergens, we focused on industries
16 that used peanuts and eggs. Ice cream, bakery and
17 candy manufacturers were selected for coverage. 86
18 inspections were planned and 85 inspections were
19 conducted. Establishments inspected were 45
20 bakeries, 13 ice cream manufacturers, and 18 candy
21 manufacturers.

22 Inspections performed were routine GMP
23 inspections. That's good manufacturing practice
24 inspections. State inspectors and FDA
25 investigators were trained in August of 1999. To

1 ensure uniform application of current good
2 manufacturing practices, inspectors and
3 investigators were standardized during initial
4 joint inspections.

5 Inspections were conducted in September
6 '99 through March 2000. This was a small study.
7 Establishment selection was not intended to be
8 scientifically significant, but to provide an
9 overview of current industry practices. Selection
10 was made randomly of small, medium and large
11 establishments that were licensed and inspected by
12 the state departments of agricultures, that
13 conducted interstate commerce, and that were
14 jointly scheduled on the agency's workplans.

15 One measurement of establishment size used
16 by FDA is annual gross sales. What you'll see here
17 on the left side, there are nine categories of
18 annual gross sales. We broke those down into three
19 groupings and considered them small, medium and
20 large. I've broken out on the slides both
21 Minnesota and Wisconsin. We inspected 85 total
22 establishments. 17 were small, 51 medium, and 17
23 large.

24 During our inspections, our investigators
25 utilized a specialized questionnaire to aid in

1 assessing industry practices. Example of the areas
2 investigators observed during the inspections were
3 the use of shared equipment, production practices
4 such as handling of rework, labeling and product
5 changeover, and any other mechanism that related to
6 the control of allergens. Samples were obtained
7 based on observation.

8 This following series of slides has a lot
9 of information that I will not be covering. I'm
10 going to be presenting totals, and it's important
11 to recognize that there weren't differences between
12 Minnesota and Wisconsin.

13 Each of these graphs is broken down on the
14 left side by total, and then they're also broken
15 down by commodity. There are bakeries, ice cream
16 and chocolate. And again, I'm only going to be
17 focusing on the total, and I present this other
18 information so that you can see from your own
19 interest.

20 The product label is the primary means to
21 inform a consumer of potential product allergens.
22 Our questionnaire contained a section on the use of
23 allergenic ingredients. Ingredients used in the
24 formulation of the product were compared to the
25 corresponding finished product labeling.

1 45 of the 85 firms inspected, and that's
2 the blue bar right here, felt that they had
3 adequate procedures in place to verify label
4 accuracy. Of the 45 firms that felt that they had
5 adequate procedures to verify label accuracy, our
6 investigators found that 15 percent had incorrect
7 finished product labels.

8 Of the firms that didn't have procedures
9 to verify their labels, 51 percent had incorrect
10 finished product labels. Only one of the 85 firms
11 inspected had a policy against the use of advisory
12 labeling.

13 One bakery inspected reported that they
14 had received a consumer complaint. The consumer
15 stated that they had an allergic reaction after
16 consuming a product that normally would not contain
17 peanuts. The consumer called the bakery and said
18 they were allergic to peanuts and had the reaction
19 when they consumed this product. The bakery
20 reported to inform the consumer that the labels
21 states it may contain peanuts. The customer
22 appeared to be satisfied and had no additional
23 contact with the firm.

24 This is an example where advisory labeling
25 may not always be effective. An advisory labeling

1 statement such as "may contain" are not a
2 substitute for good manufacturing practices.

3 37 of the 85 firms inspected utilized
4 rework. Procedures for handling rework varied by
5 industry. Of the firms that utilized rework, 48
6 percent had product that tested positive for
7 undeclared allergen residues. When using shared
8 equipment, product changeover presents an
9 unintentional opportunity for product that contains
10 an allergen to contaminate a product that does not
11 contain an allergen.

12 Equipment cleaning is critical to the
13 control of allergens. While only three
14 establishments inspected utilized analytical test
15 methods to verify their cleaning and sanitization
16 procedures, 41 of the 85 had standard operating
17 procedures in place to control cross contact.

18 During our inspections, we observed that
19 production was frequently not scheduled.
20 Scheduling was conducted first in/first out where
21 the first order received would be the first order
22 manufactured, and allergen considerations were not
23 addressed.

24 Scheduling was based on the color of
25 production. For example, a sugar cookie would be

1 the first product manufactured, followed by various
2 flavored chips, then peanut butter cookies and then
3 finally ending the production run with gingersnaps.

4 Many firms did not have dedicated
5 equipment for allergen and non-allergen product
6 lines. Non-dedicated product lines were observed
7 to be inadequately cleaned between production.
8 Many times equipment was rinsed with only water or
9 the equipment was cleaned at the end of the day.
10 Only three of the 85 firms inspected utilized
11 personnel that were trained and dedicated to
12 allergen control.

13 At this time, a tolerance for undeclared
14 allergen residues has not been defined. A standard
15 method has not been approved by the AOAC. For the
16 partnership, we utilized an ELISA test kit that was
17 developed by the University of Nebraska and
18 manufactured by the Neogen Corporation. This was
19 used strictly as a screening tool.

20 Each sample was a composite of ten
21 eight-ounce subs and a ten part per million test
22 kit standard and a reagent blank were used as our
23 controls. We determined a sample positive if it
24 was reported positive at or above ten parts per
25 million.

1 Samples were not obtained at every
2 manufacturer inspected. Sample selection was based
3 on manufacturing practices observed during the
4 inspection. Our samples were used to confirm our
5 observational findings. A sample was obtained if
6 equipment was shared and a non-allergen containing
7 product was produced after an allergen containing
8 product without the equipment first being cleaned.

9 We identified areas where there was a
10 potential for cross contact and then took a sample.
11 Our goal was to assist industry in defining
12 critical control points in their process.

13 We collected 118 partnership samples for
14 analysis which was performed by the state
15 laboratories. 73 samples were obtained for
16 undeclared peanuts and 45 samples were obtained for
17 undeclared eggs. 73 of the 85 firms used peanuts
18 in their production. 18 of the 73 samples obtained
19 were found positive for undeclared peanut residues.
20 It's important to remember that we chose these
21 samples based on our observational findings. So we
22 expected to find residues in these samples, and
23 these 18 samples is equivalent to 25 percent of the
24 samples obtained.

25 When we look at the total picture

1 comparing our observations with the sample
2 analysis, it was observed that 49 percent of the
3 firms had a potential for cross-contact of
4 allergens into non-allergen containing products.
5 Of these firms, 50 percent had positive samples for
6 undeclared allergens. Of the firms that utilized
7 rework, 48 percent had samples that tested positive
8 for undeclared allergens. Of the firms that didn't
9 have procedures for label verification, 51 percent
10 had incorrect finished product labels.

11 Allergen awareness was very high in some
12 firms and extremely low in others. We held
13 industry workshops to provide feedback on our
14 partnership. Three workshops were held in May of
15 2000. We prepared an information pack with
16 allergen education materials and for the firms that
17 were unable to attend, we mailed this pack to them.

18 We conducted follow-up. All
19 establishments that were inspected received a copy
20 of their establishment inspection report. They
21 also received a copy of their analytical results.
22 We either met or sent a letter to the firms that
23 had samples which tested positive for undeclared
24 allergens and explained the significance of these
25 findings. We conducted follow-up inspections at

1 these firms during August of 2000.

2 We conducted 21 follow-up inspections and
3 collected 18 samples. We found that industry made
4 every effort to address and modify their good
5 manufacturing practices. The greatest change noted
6 was in addressing cross-contact in the form of
7 scheduling and sequencing. Firms dedicated
8 equipment to non-allergen and allergen-containing
9 products. They reconsidered their use of rework.

10 Many firms corrected their labels.
11 Sanitation practices were improved and verification
12 testing of equipment and finished product was
13 implemented. Many firms trained their employees on
14 the significance of allergen control.

15 Through this partnership, we felt that the
16 FDA and states gained credibility with industry.
17 We found that the industry was very open and
18 willing to share their manufacturing practices.
19 Adherence to good manufacturing practices are
20 essential in the reduction of undeclared allergen,
21 and advisory labeling is not a replacement for good
22 manufacturing practices.

23 We are all in this together as consumers
24 and labeling should be addressed as a food safety
25 concern. Thank you.

1 [Applause.]

2 DR. LEWIS: We'll now begin Panel II which
3 addresses the topic of advisory labeling. We have
4 four presenters. First, Ms. Lisa Katic. Second,
5 Regina Hildwine. Third, Anne Munoz-Furlong. And
6 last, Dr. Michael Jacobson. Lisa.

7 MS. KATIC: Thank you.

8 MS. KATIC: GMA supports the use of
9 supplemental statements such as "may contain
10 peanuts" consistent with the criteria established
11 in the Food Allergen Labeling Guidelines prepared
12 by the Allergy Issues Alliance. These guidelines
13 clearly state that consistent with the FDA policy
14 on this issue, supplemental allergen statements
15 should not and cannot be used in lieu of good
16 manufacturing practices.

17 In addition, the guidelines restrict the
18 instances in which a manufacturer can use these
19 kinds of statements. Under the guidelines,
20 supplemental statements only can be used when:

21 (1) The presence of a major food allergen
22 is documented through visual examination or
23 analytical testing of the processing line,
24 equipment, ingredient or product or other means;

25 (2) The risk of a presence of a major food

1 allergen is unavoidable even when current good
2 manufacturing practices are followed;

3 (3) A major food allergen is present in
4 some but not all of the product; and

5 Last, the presence of a major food
6 allergen is potentially hazard.

7 If some but not all of these four criteria
8 are met, the guidelines do not allow the
9 manufacturer to use a supplemental allergen
10 statement. In such instances, the manufacturer
11 must either consider an additional food allergen
12 control measure and/or some other labeling
13 strategy.

14 With regard to the placement of the
15 supplemental allergen statement, the guidelines
16 require the statement to appear at the end of or in
17 immediate proximity to the ingredient statement.
18 The guidelines require that the statement be as
19 accurate and as conspicuous as possible. Examples
20 of the type of supplemental statements that are
21 being used include "may contain peanuts" and
22 "processed on the same equipment as milk."

23 The guidelines provide the manufacturer
24 with the flexibility to determine the type of
25 supplemental statement that will best describe the

1 product.

2 Supplemental allergen statements are an
3 integral component of the Alliance's labeling
4 document. Although the food industry is diligent
5 in its efforts to prevent major food allergens from
6 inadvertently ending up in food products, the
7 nature of the food supply and our manufacturing
8 processes in some instances make it impossible to
9 avoid.

10 Farmers generally grow numerous crops and
11 use the same equipment to harvest, store and
12 transport corn, soybeans, wheat, peanuts and other
13 crops. This can lead, for example, to the presence
14 of soy in corn or wheat in peanuts.

15 Inadvertent contact can also occur in the
16 manufacturing facility where the same processing
17 equipment is used to manufacture a wide variety of
18 products. In an attempt to prevent the inadvertent
19 presence of allergens in products, many in the food
20 industry have adopted a three-tiered approach to
21 minimize this inadvertent contact with allergens.

22 This approach involves dedication when
23 possible, separation, and as a last resort
24 labeling. First, when possible, the industry will
25 use a dedicated system. This may be possible for

1 some large volume products where continual
2 production on the same or multiple lines is needed
3 to meet consumer demand. It is not financially
4 possible, however, to have a dedicated system for
5 each product that is manufactured by a company.

6 When a dedicated system is not a
7 possibility, the company will use separation as a
8 means to minimize inadvertent contact. This can be
9 accomplished by physical barriers, the use of
10 dedicated containers for raw materials that contain
11 allergens, and other means to prevent the
12 inadvertent contact of a major allergen with
13 non-allergenic ingredients.

14 Companies will also schedule production so
15 that when possible, a non-allergen containing
16 product will be manufactured on a line before a
17 product that contains an allergen.

18 Companies will also thoroughly clean a
19 line that has been used to manufacture a product
20 containing a major food allergen before
21 manufacturing a product that does not contain that
22 allergen.

23 Cleaning, however, will not always succeed
24 in removing the allergens from all services of the
25 equipment. It has been proven time and time again

1 that water is the most efficient method for
2 removing allergenic proteins from processing
3 equipment. There are numerous foods and food
4 systems, however, where water cannot be used as
5 part of the cleaning process due to the nature of
6 the food or due to microbiological safety concerns.

7 Chocolate and peanut butter manufacturing
8 systems are examples of processes where water
9 cannot be used to clean the machinery because these
10 foods do not readily dissolve in water and the use
11 of water can lead to microbiological concerns due
12 to the puddling of water somewhere in the system.

13 Water also cannot be used in the cleaning
14 of certain packaging and electrical equipment such
15 as baking ovens and cooling tunnels for obvious
16 reasons. Water and electricity don't match.

17 In instances when water cannot be used for
18 cleaning, the food industry frequently will use a
19 dry process for cleaning, such as flushing the line
20 with a safe, non-allergenic dry ingredient or food
21 that removes the food from the system.

22 The use of these dry ingredients is
23 recognized as an appropriate good manufacturing
24 practice and in many instances is dictated by the
25 microbiological concerns that are present by wet

1 cleaning.

2 The use of dry ingredients to clean a
3 system, however, is not always successful in
4 completely and 100 percent removal of the
5 allergens. Systems that rely on this type of
6 cleaning frequently cannot be cleaned to remove all
7 residues of a major allergen.

8 In those instances when neither dedication
9 nor separation can prevent the inadvertent contact
10 with the major allergen, the industry will resort
11 to labeling, labeling consistent with the four
12 criteria established in the Alliance's labeling
13 program that I stated earlier.

14 These criteria are designed to ensure that
15 supplemental allergen statements are used only in
16 limited situations and not as a substitute for good
17 GMPs.

18 Supplemental allergen statements are
19 designed to alert the food allergic consumer that
20 the product in question may have an allergen that
21 they need to avoid. We recognize that a certain
22 percentage of a given product bearing this
23 supplemental allergen statement may be free of the
24 named allergen and safe for consumption for the
25 food allergic consumer. However, the allergen may

1 be present in some of the foods manufactured on
2 that line. Thus, necessitating the use of a
3 supplemental statement that alerts the food
4 allergic consumer to the possible presence of this
5 allergen.

6 As stated in many comments earlier, we
7 believe that the allergen labeling issues can be
8 best addressed through the voluntary program and
9 that additional regulations are unnecessary.
10 Although the existing regulations do not mandate
11 the use of common English names or establish
12 criteria for the use of supplemental allergen
13 statements, the industry through the Alliance has
14 reached agreement on these labeling issues and is
15 now in the implementation phase.

16 We believe that continued educational
17 efforts will be one of the most effective means to
18 address this issue. GMA has educated its member
19 companies about the importance of the allergen
20 issue, and as stated this morning, the senior
21 management from each of our member companies has
22 agreed to adopt the labeling program.

23 We recognize, however, that we also have
24 to reach the small and medium sized companies that
25 may not be members of GMA or of the other alliances

1 that are part of the Allergy Issues Alliance. The
2 Allergy Issues Alliance is in the process of
3 developing educational programs for these other
4 smaller companies. Moreover, FDA has the existing
5 statutory and regulatory tools to take enforcement
6 action against those companies that market products
7 with undeclared allergens.

8 The unacceptably high level of recalls due
9 to the presence of undeclared allergens is perhaps
10 the strongest evidence supporting the agency's
11 ability under its existing regulatory framework to
12 address this issue.

13 We also encourage FDA to develop and
14 maintain a strong enforcement presence for food
15 allergens. One of the best ways to effect change
16 and to encourage all companies regardless of size
17 to make certain that their products are properly
18 labeled and that their foods are manufactured in
19 accordance with good GMPs is through continued
20 inspections and when necessary enforcement actions.

21 We believe that a strong FDA presence and
22 the knowledge that there is a "cop on the beat," if
23 you will, would be a much more effective use of
24 agency resources rather than additional
25 regulations.

1 In conclusion, GMA supports the use of
2 supplemental allergen statements in those limited
3 instances when the four criteria in the Alliance's
4 labeling program are satisfied. Consistent with
5 FDA's guidance on this issue and the terms of the
6 Alliance's labeling program, supplemental allergen
7 labeling cannot and should not be used as a
8 substitute for GMPs. Thank you.

9 [Applause.]

10 MS. HILDWINE: Thank you very much.
11 Episodes of inadvertent cross-contact between foods
12 that contain major allergens and foods that are not
13 intended to contain those allergens coupled with
14 the resultant problem of undeclared allergens in
15 the product where they are not intended indicate
16 that both production controls and labeling
17 approaches must be discussed for these foods.

18 FDA's questions focus on the issue of
19 supplementary or advisory labeling, the so-called
20 "may contain" statements. But NFPA believes that
21 this discussion may include a mention of
22 manufacturing practices. NFPA believes that this
23 is the correct approach since we advocate the
24 limited and carefully controlled use of
25 supplementary of advisory food allergen labeling.

1 Food processors that prepare foods that
2 may be exposed to inadvertent contact with major
3 food allergens acknowledge that labeling is not a
4 substitute for good manufacturing practices. GMPs
5 and their resultant controls must be considered
6 first before labeling approaches are considered.
7 Processors should review the plant environment
8 including storage conditions and production line
9 architecture; should review the products, controls
10 and practices of their supplier; should examine
11 their own production operations, including
12 separation, sanitation and scheduling practices;
13 and then should create optimum conditions for food
14 allergen control including employee training as far
15 as they are able.

16 When this process is completed, if the
17 risk that the food allergens may be present, that
18 risk still exists, then supplementary allergen
19 labeling must be considered. Supplementary or
20 advisory labeling should not be an easy shortcut to
21 bypass activities that are food processors'
22 responsibilities, but rather should be viewed as an
23 approach of last resort when the risk of presence
24 of a food allergen cannot be avoided with absolute
25 certainty.

1 Supplementary or advisory labels should be
2 relatively rare, not increasingly more common.
3 Nevertheless, given the difficulties of achieving
4 absolute certainty that there is no risk of
5 presence of major food allergens in a variety of
6 operational situations, supplementary or advisory
7 labeling is necessary and should be permitted.

8 The food industry has taken numerous steps
9 over the past several years to change manufacturing
10 processes to reduce the potential for cross-contact
11 with major food allergens. At NFPA, we have a Food
12 Allergens Committee that has been working, meeting
13 for several years, to discuss these practices. As
14 a result of these discussions, last year NFPA
15 issued a "Code of Practice for Controlling Food
16 Allergens," and this year we have started an
17 elaboration of the Code of Practice, a how to
18 implement the code of practice for the use of our
19 members, which, by the way, cover the broad gamut
20 of food processing, various sectors in the food
21 processing industry.

22 The food industry recognizes that under
23 existing GMP regulations, reasonable precautions
24 must be taken to prevent cross-contact with major
25 allergenic proteins. In instances, when

1 cross-contact cannot be avoided even when complying
2 with GMPs, food and ingredient manufacturers then
3 use labeling that informs the food allergic
4 consumer of the possible presence of allergens in
5 the food.

6 However, only supplementary label
7 statements that are used in careful controlled
8 circumstances would provide a food allergic
9 consumer with enough information to make a clear
10 decision about whether or not a food is appropriate
11 for them to eat.

12 The Food Allergen Labeling Guidelines of
13 the Food Allergy Issues Alliance outlined four
14 conditions that spell out the carefully controlled
15 circumstances to govern responsible consideration
16 of supplemental food allergen statements. Lisa
17 went through these and I'm going to do it again
18 because we believe these are very important.

19 The guidelines present a reasonable yet
20 rigorous approach to the criteria for determining
21 whether supplemental labeling statements should be
22 used. These type of food allergen statements
23 should be used judiciously only when all four of
24 the following criteria are met:

25 First, the presence of a major food

1 allergen is documented through visual examination
2 or analytic testing of the processing line,
3 equipment, ingredient or product, or through other
4 means.

5 Thus, the first step is to affirm that the
6 major food allergen is in the environment. This
7 affirmation can be accomplished through examination
8 of the physical plant, processing procedure,
9 analytical testing where available, or through
10 documentation.

11 Second, the risk of presence of a major
12 food allergen is not unavoidable even when current
13 good manufacturing practices are followed. This
14 criterion signifies that all the feasible
15 operational issues that can be addressed have been
16 addressed with respect to control of the major food
17 allergens, yet even under those conditions, there
18 is not a complete certainty that one can avoid the
19 risk that the allergen could be present.

20 Third, the major food allergen is present
21 in some but not all of the product in question.
22 Clearly, if this criterion is not met, "may
23 contain" type label statements could not apply. If
24 the allergen is present in all of the product,
25 there is nothing may about it. The product does

1 contain the allergen.

2 The occasional or sporadic presence of an
3 allergen may provide additional information that
4 allows the food processor to diagnose a situation
5 with a supplier, the plant environment, a piece of
6 equipment or a processing procedure. This
7 information would then trigger a review back to the
8 second criterion.

9 If one can identify a feature that would
10 enable the processor to control further the risk of
11 presence of an allergen, then steps should be taken
12 to exert additional controls.

13 This third criterion highlights that the
14 review of allergen control procedures is not
15 static, but dynamic. Review of the criteria for
16 supplemental labeling should be undertaken whenever
17 there is a change to one of the operating variables
18 such as ingredients, suppliers, equipment or
19 processing techniques.

20 The fourth criterion is that the presence
21 of the major food allergen is potentially
22 hazardous. At the present time, scientists do not
23 agree that there is a condition under which the
24 presence of the major food allergen is not
25 potentially hazardous, so this particular criterion

1 at the present time would always apply.

2 Now, if some, but not all of these
3 criteria are met, food and ingredient manufacturers
4 should consider food allergen control or food
5 allergen labeling strategies other than
6 supplemental allergen statements. Meeting all four
7 criteria will ensure that supplementary or advisory
8 label statements are considered only after due
9 diligence.

10 Meeting all the criteria also ensures that
11 labeling statements are not used capriciously or as
12 a theoretical precaution. For food processors,
13 adhering to these criteria undoubtedly will have
14 associated costs for reviews, self-inspections,
15 audits, documentation of procedures,
16 post-sanitation testing, personnel training and
17 sometimes new equipment or facilities.

18 However, failure to be vigilant with GMPs
19 or such widespread use of supplemental labeling
20 that food allergic consumers no longer believe the
21 statements, these can have consequences that are
22 not only costly but are tragic.

23 If supplemental allergen labeling is used
24 responsibly, the likelihood is that food allergic
25 consumers will believe the statements and will

1 statement should be to prompt food allergic
2 consumers to draw the conclusion that they should
3 not consume the product.

4 In order to provide for different
5 production circumstances, there should be
6 flexibility to the presentation of supplementary or
7 advisory food allergen statements. If such
8 statements are to be accurate, then the
9 one-size-fits-all approach is not feasible.

10 For this reason, FDA should permit but
11 should not mandate such labeling in proximity to
12 the ingredient declaration and food processors
13 should adhere to the necessary food allergen
14 controls and evaluation criteria regarding the use
15 of these statements. Thank you.

16 [Applause.]

17 MS. MUNOZ-FURLONG: I have some slides.
18 Okay. What I'm going to talk about I will continue
19 to refer to as "may contain" labeling, but I really
20 am intending for it to be all of the precautionary
21 allergen statements.

22 May I have the next slide? Now my
23 objectives here are to provide you with information
24 about what the industry is currently doing and how
25 that is impacting on the consumer and the consumer

1 last week, and the product that their child has
2 been eating for years and years has been safe.
3 This week, they can no longer purchase that product
4 because it says main contain on it.

5 The only difference is that the "may
6 contain" has been added to the ingredient
7 declaration. There are no other ingredients that
8 have changed. This is causing a lot of frustration
9 and a lot of confusion to the allergic consumer.

10 Can we go back on that slide one more
11 time? We can't go back. Okay. All right. Well,
12 I'm going to make my point anyway. The point is
13 that because of the proliferation of "may contain"
14 statements, the integrity of all of the
15 precautionary labels are being questioned by
16 consumers.

17 A perfect example came to our office
18 several days ago. One of our members was on an
19 airplane and was given a bag of raisins. She
20 looked at the ingredient label simply because our
21 members are trained to read ingredients for
22 everything. On a bag of raisins, it said may
23 contain peanuts. You have to wonder what's going
24 on.

25 Now, as a result of the proliferation of

1 some of these statements, some of the physicians
2 are telling their patients to ignore these
3 statements because they're on everything and they
4 don't believe that they're really there for anybody
5 but the company's safety.

6 As a result, some patients are making the
7 decision on their own to ignore these statements.
8 This to me is playing Russian roulette. The other
9 concern I have about this is that we are talking
10 about children and it concerns me greatly that
11 parents and others are making decisions because
12 they're so confused about what's going on out
13 there.

14 Next slide, please. Now, as I mentioned
15 earlier, when we asked for the write-in to our
16 survey, what were the three major concerns from our
17 membership, "may contain" was at the top of the
18 list. Some of the comments that we got, and we got
19 hundreds of comments in our book about some of
20 these issues, were that "may contain" is perceived
21 to be a defensive legal tactic or a way of avoiding
22 good manufacturing practices.

23 Again, this is because the consumers have
24 been left to their own to try and decipher some of
25 these labels.

1 Now when we go on and look at some of the
2 data from our study, when we asked them how the
3 perceive these statements, if it says contains
4 whatever the allergen is, 96 percent of the
5 membership reported that they do not purchase that
6 product.

7 When they see "may contain," 92 percent do
8 not purchase that product. When they see
9 "processed on shared equipment," 87 percent of the
10 people do not purchase the product. And
11 manufactured in a plant that also produces nuts or
12 peanuts or whatever the allergen is, 66 percent
13 never purchase the product.

14 The point I want to make here is that if a
15 company is putting these types of statements,
16 manufactured in a plant or processed on shared
17 equipment, because of a risk to the consumer,
18 because they intend that the consumer not purchase
19 that product, we can see from this information that
20 they're missing the mark, and there is a huge group
21 of people that are at potential risk because they
22 don't understand what you're trying to tell them
23 with these labels.

24 Now when we drilled down a little deeper
25 and we asked about the "may contain," how do they

1 interpret this, "may contain" to this group is a
2 gray area. They live in a black and white world.
3 If you're allergic, you avoid the food. "May
4 contain," they ask is it in there or isn't it in
5 there, how can we tell?

6 When we asked about may contain any and
7 all of the following, and then there is usually a
8 long list of allergens, they want to know when is
9 it in there? How can we tell? Is there a code on
10 the package? How does this happen that a
11 manufacturer does not know what is in the product
12 that I'm about to eat? And then don't they clean?
13 They're concerned that these statements are being
14 used in lieu of good manufacturing practices.

15 Next slide, please. And when we ask them
16 about manufactured in a plant that also
17 processes--most commonly we see this with nuts or
18 peanuts, they questions that came back to us and
19 that are coming back to the industry, are how far
20 is the allergen and non-allergen containing
21 equipment from each other, and when you give me
22 that information, can you tell me what the risk
23 will be to me?

24 Obviously, none of us can answer this
25 question. Therefore, this kind of labeling raises

1 more questions to the consumer than it really
2 answers and it causes again additional frustration.
3 Another problem that we're seeing with this type of
4 labeling is when we have multiple product packages,
5 the outside of the product packaged does not match
6 what's inside so we may have a may contains
7 something on individual packages on the inside, but
8 not on the outside.

9 Next slide, please. Now when we asked
10 them about contains, and I mentioned this at the
11 earlier panel, this to them is very black and
12 white. It contains it. I'm allergic. I can't
13 have it.

14 If they see the allergens bolded or
15 highlighted or in a contains statement immediately
16 after the ingredient declaration so that it's still
17 within that visual range that they scan on that
18 package, this again is a shortcut and is perceived
19 to be a favor that a company is doing to them
20 because it's helping them read that label very
21 quickly and it saves time with each product that
22 has to be read.

23 So, in summary, the food allergic
24 consumers need to know what is in the product.
25 This is a health and safety issue for this

1 population. The companies have a responsibility to
2 provide accurate, reliable, consistent information
3 about that information, and I think we all agree
4 that we all need a standard guideline for when
5 these precautionary statements will be used so that
6 we can educate the consumer about what they are
7 supposed to do when they see these statements.

8 We also would like all companies to use
9 these statements judiciously. Now, the guidelines
10 that we worked with on the industry certainly
11 address this issue, and I applaud the companies
12 that worked on this with us and are already doing
13 this. However, I am concerned as I hear more and
14 more smaller companies saying we're not going to do
15 this until it's regulated and we will wait and see
16 what FDA does.

17 So there is a lot of work that needs to be
18 done in this issue. I'd also like to make a point
19 that this is an international issue. We are
20 hearing the same thing from our colleagues in other
21 countries.

22 If we could have the lights, I want to
23 read very quickly in my remaining two minutes an
24 e-mail that is very typical of what we get in our
25 office from our members. This is from a woman who

1 says my son has tree nut allergies. Recently it
2 seems that many manufacturers are placing warning
3 statements on their packages such as "may contain
4 nuts," "may contain traces of peanut or other nut
5 particles due to manufacturing," or a candy corn
6 product that the list includes "may contain
7 peanuts, walnuts, almonds, pecans, cashews and
8 other ingredients."

9 I realize that the foods might be
10 manufactured on the same machinery as foods that do
11 contain nuts, but it is increasingly difficult to
12 know the true ingredients. I'm afraid it will
13 become likened to the boy who cried wolf and we
14 will all start doubting the ingredients. And with
15 that, I'd like to conclude. Thank you.

16 [Applause.]

17 DR. LEWIS: Just a quick reminder to the
18 audience, you can write your questions on the cards
19 and we will have someone collect them from you.

20 DR. JACOBSON: Contamination of food with
21 undeclared allergens is what makes life so fearful
22 for people with severe allergies. They live in
23 terror that a food contains an allergen not listed
24 on the label.

25 Unfortunately, such contamination occurs

1 fairly frequently as we heard a few minutes ago
2 from the FDA consumer safety officer who did the
3 study in Minnesota and Wisconsin.

4 That study found that in a selected sample
5 of 85 ice cream cooking and candy manufacturers, 21
6 marketed products containing undeclared peanut or
7 egg ingredients, and those are the only two
8 allergens studied. If they had looked at others,
9 there may have been other problems.

10 Similarly, the Oregon Department of
11 Agriculture tested 62 chocolate candies that were
12 not supposed to contain peanuts, but 23 percent of
13 those did contain significant levels of peanuts,
14 and University of Nebraska researchers found peanut
15 allergens in four out of 19 packaged foods that
16 neither listed peanuts as an ingredient nor warned
17 consumers that the products might contain peanuts.

18 In some of those cases, the products may
19 simply have been mislabeled. Others may have been
20 unintentionally contaminated. Though actual
21 problems from cross-contamination are very
22 difficult to identify, at least three reports in
23 the medical literature have found
24 cross-contamination having caused allergic
25 reactions.

1 In two different cases, toddlers suffered
2 anaphylaxis due to milk protein in sorbets. Peanut
3 antigen in gingersnap cookies was the cause of a 34
4 year old man's reaction.

5 "May contain" language is sometimes
6 appropriate to inform consumers of the presence of
7 possible allergens. But excessive use of such
8 statements deprives sensitive consumers of choice
9 and may cover up sloppy manufacturing practices.

10 We strongly support the FDA's position
11 that quote "such labeling should not be the norm
12 and that manufacturers should strive to eliminate
13 the presence of allergenic materials that are not
14 intentionally added to a specific food product."

15 That policy was first enunciated in
16 Commissioner Kessler's 1996 letter to food trade
17 associations stating that quote: "Precautionary
18 labeling should not be used in lieu of strict
19 adherence to good manufacturing practice to
20 effectively reduce and eliminate the likelihood of
21 cross-contamination, label mix-up or employee
22 error." Five years ago.

23 Unfortunately, we have heard that some
24 industry lawyers are advising manufacturers not to
25 test for allergens because of product liability

1 issues. That kind of thinking underscores the need
2 for aggressive FDA action.

3 Consumers must be informed whenever an
4 allergen unavoidably might sneak into a food in
5 which it does not belong. The challenge is to
6 define when contamination is unavoidable and it is
7 appropriate to say "may contain" and to distinguish
8 that from situations of manufacturer sloppiness
9 where "may contain" labels are not appropriate.

10 At one end of the spectrum, contamination
11 clearly is avoidable when companies intentionally
12 add rework or other material that contains an
13 allergen into a food that is not supposed to
14 contain that allergen.

15 On the other extreme, ensuring that every
16 last residue of peanuts is cleaned out of complex
17 equipment or a shipping container before a food
18 that is not supposed to contain an allergen is made
19 in that equipment or shipped in that container may
20 be very, very difficult, especially for smaller
21 companies.

22 When "may contain" statements are
23 appropriate, they should be provided in an allergy
24 information section of an ingredient facts label,
25 and it should say something like: "Allergy

1 information: corn, wheat, may contain peanuts."

2 "May contain" statements should be stated simply
3 using standardized working and without explanatory
4 language such as "manufactured on equipment that
5 sometimes also processes peanuts." Such verbiage
6 simply adds clutter and raises questions as Ms.
7 Munoz-Furlong indicated in consumer's minds.

8 The industry's Food Allergy Issues
9 Alliance makes a reasonable stab at deciding when
10 "may contain" language is appropriate, but it needs
11 improvement. First, companies that only visually
12 inspect for allergenic ingredients, not test for
13 them, would not use "may contain" language.

14 Also, the industry's guidelines are
15 totally voluntary and some of their lawyers are
16 advising against tests. As I mentioned, five years
17 ago, the FDA sent a warning level to the food
18 industry to eliminate cross-contamination. Judging
19 from the FDA's study and the other two studies I
20 mentioned, not enough has happened.

21 I think the time has long past for all
22 this total voluntary flexible action on the part of
23 industry.

24 [Applause.]

25 DR. JACOBSON: Therefore, the FDA should

1 amend its GMP regulations with a requirement for
2 companies to take all practical measures to exclude
3 contamination of foods with unlabeled allergens.
4 Companies should develop HACCP plans to ensure
5 proper cleaning of shared equipment, use of
6 separate equipment for allergen-containing and
7 allergen-free foods whenever possible, regular
8 testing of products for unwanted allergens and
9 other measures.

10 The best way to ensure that companies are
11 using "may contain" only when possible
12 contamination is unavoidable is regular unannounced
13 FDA inspections and testing of products for major
14 allergens.

15 [Applause.]

16 DR. JACOBSON: The FDA has already stated
17 in its April 19 Compliance Policy Guide that
18 undisclosed cross-contamination may cause the food
19 to be considered adulterated. Seizures of
20 contaminated products would protect consumers and
21 send a clear signal to the industry that the FDA is
22 truly concerned about food allergens and will
23 vigorously enforce its compliance policy.

24 That kind of independent oversight should
25 encourage manufacturers to maximize their

1 precautions. Currently FDA inspectors rarely visit
2 factories that make cookies, pastries and other
3 foods that may contain dangerous and unlabeled
4 allergens. The FDA simply lacks the funds and so
5 companies don't even have to worry about
6 inspections.

7 We urge the FDA to use some of its budget
8 increases to hire additional inspectors. In
9 addition, we urge the FDA to seek new funding on
10 the order of roughly \$10 million a year for more
11 inspectors, more tests, educational efforts and
12 research to develop quick reliable testing methods.

13 [Applause.]

14 DR. JACOBSON: I hope that the food
15 industry would recognize the value of that
16 investment to the public's health and to its own
17 reputation and support a funding request. In that
18 regard, I was delighted to hear Lisa Katic say that
19 FDA should have a strong enforcement presence, and
20 I hope they'll join with us to lobby Congress to
21 provide that \$10 million or so in additional
22 funding.

23 [Applause.]

24 DR. JACOBSON: Finally, to further assist
25 consumers, as the Attorneys General recommended,

1 the FDA should require labels to bear a toll-free
2 telephone number that people could call to get
3 up-to-date information about ingredients and
4 possible contaminants. Companies periodically
5 modify product composition and manufacturing
6 practices.

7 Many people with severe allergies like to
8 contact companies just to make sure that labels are
9 still correct and that accidental or incidental
10 additives have not crept into a food that had been
11 safe to eat.

12 In sum, the FDA should press industry to
13 clean up their manufacturing practices; "may
14 contain" statements should be used to inform
15 consumers, but only when cross-contamination is
16 unavoidable. And the FDA should enforce its
17 policies by conducting more inspections and testing
18 more products. Thank you very much

19 [Applause.]

20 DR. LEWIS: I'd like to thank all of our
21 panel speakers for Panel II Advisory Labeling, and
22 we will now begin a discussion either among the
23 panel members themselves or with the FDA listening
24 panel. Does anyone have an opening question,
25 comment?

1 Dr. Wilcox?

2 DR. WILCOX: I'd like to address a
3 question to Ms. Munoz-Furlong. Much of the
4 industry discussion on good manufacturing practice
5 and labeling focus on the eight major allergens.
6 Does your organization agree that at this time
7 that's the appropriate focus or do you think
8 additional efforts also need to be placed on the
9 less common allergens?

10 MS. MUNOZ-FURLONG: My belief is that if
11 we focus on the eight major allergens, we've
12 covered 90 percent of the problem, and once we
13 clear that up, we should start looking in other
14 areas, but keep it to the eight so that we can
15 focus there.

16 DR. LEWIS: Another question?

17 DR. FALCI: This is Ken Falci of the Food
18 and Drug Administration. Well, first of all, I
19 think it kind of disturb me that when you take a
20 look at the different kinds of advisory statements
21 like "may contain" compared to "peanuts were also
22 made in this facility," that consumers get a
23 different kind of perspective, and that was brought
24 out pretty well by Anne's slides.

25 I was just wondering does the panel feel

1 that that makes sense? That there is a different
2 perception and risk as far as when people read
3 these different kinds of terms, and is there any
4 other survey that industry is potentially doing or
5 anybody else that has other data that could
6 confirm?

7 MS. HILDWINE: I would say that this is an
8 area that concerns the food industry as well, and
9 while we have not actually done a survey, I mean
10 it's appropriate to survey consumers as to their
11 perceptions of that labeling, and our members
12 really aren't in a position to be able to provide
13 that information. However, that said, the food
14 industry is working with food companies to help
15 them improve their good manufacturing practices to
16 the best of the ability of those food companies.

17 In other words, do the best job you can.
18 We as the association representatives are here to
19 help you. At NFPA in particular, we have a lot of
20 scientific expertise on staff that can be of
21 assistance to members relative to good
22 manufacturing practices.

23 Now if good manufacturing practices are
24 sharpened, are applied in the correct way, and food
25 products are produced in accordance with those good

1 manufacturing practices, then any use of a
2 supplementary or advisory label statement that
3 follows that will have true meaning behind it. It
4 won't be used simply as a theoretical precaution.
5 It will mean something, and that's what the food
6 industry wants with those label statements.

7 They want them to mean something to the
8 food allergic consumer because they want the food
9 allergic consumer to believe them. The food
10 industry wants food allergic consumers to see these
11 statements and to take away the meaning that if
12 they're allergic to the substance that's named in
13 that statement, they should not consume that food.

14 Now, do we have a long way to go? We have
15 a lot of work to do. NFPA has been working on this
16 for a number of years. We recognize that we need
17 to help our members more and more all the time.
18 But we're committed to doing that and we're working
19 on that everyday.

20 DR. FALCI: As a follow-up, but again the
21 two different kinds of statements that would be out
22 there like "may contain" or "made in a processing
23 facility," and these would be like suggestives,
24 precautionary statements, that the agency might
25 want to look at maybe in the future as guides or

1 regulations, and the problem there is the
2 consistency in the mind of the consumer when they
3 actually read these kinds of different statements,
4 and I'm just wondering that it sounds like you do
5 agree that there is some inconsistency when you do
6 use these kind of advisory statements.

7 MS. HILDWINE: The issue of the
8 inconsistency is something that we're going to have
9 to work on, but I would say that, first of all,
10 since it is a label statement, it has to be true.
11 If the food is not processed on shared equipment,
12 then it should not use the shared equipment type
13 statement. If it's not processed in a shared
14 facility, it shouldn't use a shared facility type
15 statement.

16 So those statements have to be true. And
17 in order for those statements to be true, those
18 good manufacturing practices have to be applied
19 first.

20 DR. FALCI: I guess as another follow-up,
21 when you look at "may contain," and you look at the
22 other statement like "made in a plant that
23 processes peanuts," though, you still get, I mean
24 as a consumer why not not use "made in a plant that
25 processes peanuts" even though it might be true?

1 Why not use "may contain"?

2 MS. HILDWINE: Well, "may contain" would
3 be true.

4 DR. FALCI: Right. And less maybe
5 confusing?

6 MS. HILDWINE: This is something that we
7 certainly do have to continue to look at.

8 DR. JACOBSON: Can I ask a question?

9 DR. LEWIS: Dr. Jacobson, please.

10 DR. JACOBSON: May I ask a question? And
11 I'll just be very blunt about this. Can I ask both
12 of the industry representatives, and especially
13 Lisa, you know that the FDA doesn't have resources
14 to inspect very many manufacturing facilities. You
15 know that the FDA has been focused on
16 microbiological problems and looking at those
17 factories, not factories that use food allergens.

18 Would your two associations support \$10
19 million in increased funding for the FDA to conduct
20 more testing, enforcement and research in this
21 area?

22 MS. KATIC: Actually, Michael, I'll be
23 very blunt right back. Our associations are both
24 actively looking at more than \$10 million in
25 funding for FDA, whether it be for allergy,

1 microbiological inspection, or anything else that's
2 under their purview. We think that that gives or
3 maintains the credibility of FDA both domestically
4 and internationally and, you know, we have seen a
5 decline in resources, as you have, for FDA, and we
6 think that's in the best interest of everybody
7 including the industry that they get that funding.

8 [Applause.]

9 MS. HILDWINE: And I would just add that
10 we're certainly not just going to wait for FDA to
11 advance the ball relative to the research. NFPA is
12 conducting research into testing for rapid methods
13 that can be validated. I mean that's part of the
14 problem, that there are some problems relative to
15 the number of validated test methods that are out
16 there for food allergens, and certainly NFPA is
17 doing its part, and I know a lot of other
18 organizations are doing their part to advance
19 research in this area as well.

20 DR. LEWIS: Other questions, panelists?

21 DR. FALCI: Just one more--

22 DR. LEWIS: Dr. Falci.

23 DR. FALCI: --I promise. This word
24 "unavoidable" is a troublesome word because when
25 one has to make a decision, I guess, in industry or

1 in processing plants when an allergen is
2 particularly unavoidable, and I would encourage
3 everyone in the industry to just be more clear
4 about the conditions that are around this term
5 "unavoidable" in the future so that we can get a
6 better feel for what's particularly involved.

7 And I guess if you want to expand on that
8 thinking, and you start thinking about different
9 kinds of food industries, that the word
10 "unavoidable" might mean different things to
11 different industries. So that you had mentioned
12 that I believe peanuts, peanut butter plants or
13 chocolate plants were difficult to clean, for
14 instance, with water, and so these kinds of
15 industries might have different kinds of
16 unavoidable kinds of problems and maybe different
17 kinds of good manufacturing practices that you
18 mentioned.

19 And so one could lead oneself to the
20 thinking in the future that there might be good
21 manufacturing practices that might be applicable to
22 different industries if one were to think about
23 allergen control procedures. And so that's sort of
24 a question, but it's sort of a statement, and if
25 you have comments on that, I would be glad to take

1 them.

2 MS. HILDWINE: When we talk about
3 unavoidable, it is always in connection with good
4 manufacturing practices, and essentially it's, you
5 know, the bottom line where a company goes through
6 a process and evaluates its practices and at the
7 end, that company says we have done the best that
8 we can, and we still can't get rid of it. And in
9 that case, that's unavoidable.

10 Now, again, different sizes of companies,
11 different sectors of the industry, certainly are
12 going to have to different kinds of applications of
13 good manufacturing practices. And we are committed
14 to working with all of our members regardless of
15 size to help them improve their GMPs. So that if
16 they go through the process and then have to use or
17 have to consider supplementary labeling, that that
18 supplementary labeling will have true meaning to
19 the food allergic consumer.

20 DR. JACOBSON: I think that you're going
21 to have to end up deciding what's avoidable and
22 what's unavoidable. I mean drawing a distinction,
23 it's like drawing a line in the Potomac River.
24 It's not going to be very clear. But it's going to
25 be, I'd much rather trust FDA inspectors evaluating

1 when a "may contain" -- when an ingredient is
2 avoidable or unavoidable than a manufacturer's
3 discretion.

4 DR. LEWIS: Kathy.

5 MS. GOMBAS: Yes, this is Kathy Gombas
6 with FDA, and this is actually an Alliance question
7 so either GMA or NFPA. We're talking about GMPs,
8 I'm wondering if the Alliance has started looking
9 at and identifying specific GMPs for the various
10 products and processes that are out there that
11 would minimize allergen cross-contact?

12 MS. HILDWINE: Okay. I'm doing this one,
13 too. First of all, just to clarify, Anne
14 Munoz-Furlong is also a member of the Food Allergy
15 Issues Alliance, but that said, a number of the
16 associations that are members of the Food Allergy
17 Issues Alliance have already developed guidance
18 relative to GMPs for their members, and these
19 associations within the Alliance, many of them are
20 specialized associations that represent particular
21 sectors of the industry. I don't know if you guys
22 want me to name you, but there's bakers, there's
23 candy and convection, there's dairy product
24 associations, there are a number of associations
25 whose manufacturing practices have some very

1 specific concerns related to food allergies and
2 they have already done a lot of this work.

3 They've shared this work with the Alliance
4 and so we're all learning from that. NFPA is
5 working on this now. This issue is what resulted
6 in our code of practice. And now we are developing
7 some additional guidance to help our members
8 regardless of what sector they are in to improve
9 their GMPs. So there's a lot of work to go around
10 for everybody and every association that's in the
11 Food Allergy Issues Alliance has been dedicating a
12 lot of energy over the past several years to this
13 particular issue on behalf of their members and
14 we're no exception.

15 MS. KATIC: Just adding on to that, ditto
16 everything that Regina said, but we have looked at
17 that as an item for future discussion and further
18 review specifically because we've been focusing so
19 much on our labeling program. That's obviously
20 taken up the bulk of our time, but certainly have
21 not discounted that there might be a need to look
22 further into what you asked down the road once
23 we've got the labeling part well defined.

24 DR. LEWIS: Other comments from panelists?
25 Well, while you're cogitating for a few moments

1 because your time is not up, we'll move to some of
2 the questions we have. We have quite a few. I do
3 want to mention that we've received several
4 questions for Theresa Dziuk, who reported on the
5 Food Allergen FDA/State Partnership. Relative to
6 any of those types of questions, you may access any
7 information that the agency has on the website. It
8 is at cfsan.fda.gov. And the search for the word
9 "food allergens," which again is on our web page.

10 In addition, we mentioned the state
11 attorneys general petition. That also is available
12 at Dockets and the contact information is in your
13 Federal Register. So questions on that can be
14 answered elsewhere.

15 We do have a series of questions. Again,
16 if the panel has something to add, please do feel
17 free to jump in. But one question is how would the
18 panel, and this is obviously directed to all of
19 you, how would the panel suggest dealing with
20 imports? States have reported more allergy
21 labeling problems with imported foods than with
22 domestically produced foods, according to this
23 question.

24 MS. MUNOZ-FURLONG: I'm going to give you
25 the consumers' perspective. You raise an

1 interesting point because we have consistently
2 found that when one of our members has a problem,
3 and it's caused by an imported food, we have no
4 recourse. We can't call the company like we could
5 with a domestic product, and they're on it and
6 instantly we are correcting the information,
7 getting the news out to our membership.

8 So as a result of that, we advise our
9 members not to eat imported products because we
10 can't guarantee that the label is correct, and that
11 we will be able to trace back any information they
12 might need if they have a reaction.

13 MS. HILDWINE: Imports are a particularly
14 challenging issue. I mean if you look at recall
15 track record, there is a lot of imports on a
16 regular basis and food allergen related recalls.

17 All the audience may not know this, but
18 this is a true fact. Imported foods are subject to
19 the same requirements as foods that are produced
20 and sold domestically. So imports should be
21 observing good manufacturing practices and labeling
22 accuracy as well as domestic production.

23 Now, that said, the Food Allergy Issues
24 Alliance has, in fact, worked some outreach
25 relative to other nations. As we were developing

1 our food allergen labeling guidelines, we had
2 representative from the Canadian food industry who
3 worked with us on that, and we are of the
4 understanding that food allergen labeling
5 guidelines and, of course, the good manufacturing
6 practices sector that's included in there on
7 supplementary labeling, that that's under review
8 for adoption by the food industry in Canada.

9 In addition, we as a food industry have
10 liaisons with food industry around the world.
11 We've made sure that the Food Allergen Labeling
12 Guidelines are in the hands of a number of
13 representatives for sharing with their producers in
14 other countries, and certainly we are doing the
15 best that we can as an Alliance to make sure that
16 the concepts in the Food Allergen Labeling
17 Guidelines, that these are known around the world.

18 A number of our members are multinational
19 corporations that are disseminating food allergen
20 GMP and labeling information to their companies in
21 other nations. So we are really I would say
22 engaged in a vigorous effort to make sure that this
23 information gets known around the world.

24 The United States is probably in the lead
25 in terms of its contemplation of this issue.

1 Certainly, the advancement of science in the U.S.
2 is far ahead, I think, of what's going on in other
3 nations, and we are trying to bring the rest of the
4 world along on this issue.

5 DR. LEWIS: Any other comments on imports?
6 We have a question concerning legal liability. The
7 question is really in two parts. What is the legal
8 liability risk to a manufacturer if a consumer is
9 injured by an undeclared allergen? And then also
10 the legal liability risk if undeclared allergens
11 are found in a food, thereby putting a significant
12 number of individuals at risk?

13 So again I think the question is asking
14 for some clarification as to how legal liability is
15 perceived here.

16 MS. HILDWINE: I'll do this one. I'm not
17 a lawyer. I don't think we have any attorneys on
18 this panel. And so I'm not going to be able to
19 answer this question directly. However, the last
20 thing that any food company wants to do is to cause
21 harm to anybody. And so the issue of risk is
22 certainly something that all food companies have to
23 take into consideration as they engage in their
24 normal operations.

25 DR. LEWIS: This question talks about

1 preventing manufacturers from using "may contain."
2 That is how can you prevent manufacturers from
3 using "may contain" as a substitute for GMPs? What
4 controls are or should be in place? And this is
5 important since it artificially restricts
6 consumption of those products, artificially
7 restricts consumptions of products for those who
8 already have limited choices.

9 Does anyone care to address that question?
10 Regina, I have a question directly for you next so
11 I would suggest you hold off for a second.

12 MS. KATIC: Could you repeat the beginning
13 of it? It's about how to prevent?

14 DR. LEWIS: How can you prevent
15 manufacturers from using "may contain" as a
16 substitute for GMPs?

17 MS. KATIC: Well, I think this has already
18 come up in some form briefly, but certainly I think
19 Dr. Jacobson and I are in agreement that FDA has
20 the authority to enforce and we certainly support
21 full enforcement of FDA inspecting and maintaining
22 these practices within plants.

23 As I stated in my comments, this is being
24 done within all of our member companies which make
25 up probably 90 percent of, as Anne stated, the

1 allergens that we're talking about. So, you know,
2 you could mandate the fact that we can't use "may
3 contain," but then you're faced with as, I tried to
4 lay out, some very clear examples of when "may
5 contain" is absolutely necessary to preserve for
6 manufacturers.

7 This is also why the industry responded so
8 quickly and got on board with our labeling program
9 through the Allergy Issues Alliance because we
10 understand that this is a critical problem. It's
11 one that we want to fix or work toward providing a
12 solution so that "may contain" can be preserved and
13 therefore believed and used by the food allergic
14 consumer.

15 DR. LEWIS: Go ahead, Dr. Jacobson.

16 DR. JACOBSON: When you say the industry
17 reacted so speedily, were you referring to the
18 response to the 1996 letter?

19 MS. KATIC: Well, I think we've, you know,
20 as has been indicated by both Regina and I, this is
21 an issue that the industry has been dealing with
22 much longer than the '96 letter.

23 I don't think you can point out from that
24 letter, unless you have some very specific
25 examples, I don't think that you can say that

1 industry has not responded or developed good
2 manufacturing practices.

3 Certainly, they continue to look at their
4 practices to see where they can do better. You
5 know I'm sure that most of our member companies
6 have done that since the letter in '96. I guess
7 that's pretty much it.

8 DR. LEWIS: And actually building on a
9 point you raised earlier, Lisa, we have two
10 questions that are more or less related. How many
11 companies in the U.S. are not members of GMA or
12 NFPA and how does GMA and NFPA plan to ensure
13 compliance of its new labeling and manufacturing
14 guidelines both among members and what might you do
15 about non-members?

16 And then related to that, is there
17 currently a penalty for companies using "may
18 contain" warnings when not meeting the four
19 criteria? If so, what is it? If not, what
20 motivation do they have?

21 MS. KATIC: Well, I guess I wish we knew
22 how many companies are out there that are not
23 members of either association. I don't know that
24 anybody has those numbers or figures. As I stated
25 earlier, we do see that as an area in need of some

1 attention, and also have stated that we've already
2 looked at through the Alliance how we would reach
3 out not only to members, smaller members within our
4 associations, but also those small manufacturers
5 that are not members of associations.

6 And I think through the Alliance as we've
7 said we have a broad group of about 20
8 associations. I think every association knows, you
9 know, who some of those members are that aren't a
10 part of their association. So just by nature of
11 the type of business that they do, we would already
12 be able to name I would say quite a few.

13 You know the real challenge is getting to
14 the real mom and pop type operations and I think we
15 probably need to have a discussion about that
16 collectively on how we reach those types of
17 operations. I've now forgotten the rest of the
18 question so I--

19 DR. LEWIS: It regards penalties for using
20 "may contain" when not meeting the four criteria.

21 MS. KATIC: Penalties within our, I
22 guess--

23 DR. LEWIS: Presumably, yes.

24 MS. KATIC: --our program. Well, we don't
25 have anything necessarily laid out. I can tell you

1 that in working with the industry for some time, we
2 mentioned earlier that we have a baseline survey
3 that we're starting and will continue to survey
4 membership amongst all of the trade associations in
5 the Alliance, and it's generally that when industry
6 seems a program picking up, and really gaining some
7 significance, it's rare that--I mean certainly
8 there are companies that don't adopt it, but it
9 just adds to, I think, the importance of the
10 program and makes it almost imperative that
11 companies do adopt the program and basically get on
12 board.

13 So I think just by nature of them hearing
14 that this is something that's really gaining
15 significance amongst the entire industry, by nature
16 of competition, if you will, it encourages those
17 that are outside the program to participate. So
18 there is not an outright penalty, but there is an
19 incentive there.

20 MS. HILDWINE: And just to elaborate a
21 little further on some of the things that Lisa
22 said, the Food Allergen Labeling Guidelines have
23 been made public. They certainly are in a public
24 area of NFPA's website. I know they're in the
25 public area of a lot of food companies' web sites.

1 I personally sent, made sure that companies that
2 are not members of NFPA received the guidelines
3 when they asked for them. Now that was followed up
4 with a call from our membership office.

5 Nevertheless, we have reached out beyond
6 our memberships to other food companies and, of
7 course, every member of the Alliance has been
8 presenting on their website Food Allergen Labeling
9 Guidelines.

10 I think, Anne, you have them on your
11 website, too. So these are not a secret. They are
12 readily available, and if anybody is not a member
13 of NFPA and would like the Food Allergen Labeling
14 Guidelines, just give me a call, and we'll make
15 sure that you get a copy of the guidelines so that
16 you can start to get on board with this very
17 important initiative.

18 DR. LEWIS: And while you still have the
19 microphone, a question specifically for you. Does
20 the food industry currently have standard
21 definitions for the various precautionary
22 statements? If so, can they be located? If not,
23 what can a consumer use as a guide for
24 interpreting?

25 MS. HILDWINE: Right now through the work

1 of the Food Allergy Issues Alliance, we did discuss
2 three types of statements, and they're the
3 statements that FDA has asked questions about in
4 the Federal Register notice.

5 Now, as to standard definitions,
6 unfortunately there are none. However, since any
7 information that appears on a food label has to be
8 true, those statements would have to represent what
9 they say. In other words, if it's processed on
10 shared equipment, it would have to mean that it's
11 processed on shared equipment. Now, as to a
12 measure of risk, which I understand is probably at
13 the underpinning of this question, again, I don't
14 think that this is an area where the food industry
15 wants to encourage food allergic consumers to try
16 and understand relative risk.

17 The whole purpose of those supplementary
18 or advisory statements "may contain" is to send a
19 message to the food allergic consumer do not eat
20 this product if you are allergic to this food. In
21 other words, to believe what it says, because we
22 believe that food companies are using these
23 statements responsibly. And that proportion is
24 increasing because of the Food Allergen Labeling
25 Guidelines, and certainly we hope that over time

1 these statements will become increasingly more
2 believed, and secondly, increasingly rare.

3 DR. LEWIS: For Anne Munoz-Furlong, we
4 have a question. Isn't the lesson here that it's
5 important to look at all food labels all the time?
6 Why is there a different standard if the label adds
7 "may contain" versus peanut as an ingredient?

8 MS. MUNOZ-FURLONG: Absolutely, you need
9 to read the label all of the time. We are talking
10 about the additional information that is being put
11 on these labels and how the consumer is
12 interpreting them, and what the impact of the
13 proliferation of these statements has been on the
14 consumer's purchasing decisions and purchasing
15 habits.

16 But the first place that a food allergic
17 individual has to go is that ingredient statement.
18 Unfortunately, if you take the example that I gave
19 with the raisins on the airplane, there's a bag of
20 raisins. There are raisins in the bag. You have
21 looked at the ingredient declaration. It says
22 raisins and then underneath it, it says may contain
23 peanuts. What are you going to do if you're
24 allergic to peanuts? That's the answer we are
25 looking for from industry and the FDA.

1 How are you to behave when you see that?
2 Are you never to eat raisins again because they all
3 may contain peanuts? That's unclear to us at this
4 point.

5 DR. LEWIS: This next question is to Dr.
6 Jacobson as well as all members of the panel. It
7 says Ms. Katic stated that cleaning will not
8 succeed in removing all allergens. Given this,
9 would you support precautionary labeling for all
10 food manufactured on safe equipment and if not, why
11 not?

12 DR. JACOBSON: What was that? Would I
13 support?

14 DR. LEWIS: Support labeling for all food
15 manufactured on shared equipment.

16 DR. JACOBSON: Well, I would assume that
17 some--that it's possible to clean well some shared
18 equipment. And it's something where the FDA would
19 have to go in and make some evaluations. Maybe
20 chocolate, you can't clean it adequately and maybe
21 that's where dedicated lines should be used if at
22 all possible. But I would think it's a judgment
23 call, not a blanket rule saying always use "may
24 contain."

25 DR. LEWIS: Others have comments on that?

1 MS. MUNOZ-FURLONG: I would agree with
2 that. What we want to do is move away from "may
3 contain," not add it to every single ingredient,
4 because as we see now, there is so much confusion
5 and limited food choices perhaps unnecessarily. We
6 want to move away from that.

7 DR. LEWIS: This question goes to the four
8 pronged test. It's know that microscopic amounts
9 of allergens can harm sensitive people. Please
10 explain why the first prong of your four-prong test
11 for precautionary labeling is adequate. It allows
12 for visual inspections alone.

13 MS. KATIC: I think, first off, what we
14 mean by visual is you know you're using, you know,
15 an ingredient that has an allergen in it. So I
16 think to me that's kind of obvious. Maybe that's
17 not to everybody else. It also states there that
18 analytical testing can be used for purposes or
19 situations where it might not be as visually
20 obvious.

21 MS. HILDWINE: The first prong of the
22 four-prong test reads exactly: The presence of a
23 major food allergen is documented through visual
24 examination or analytical testing of the processing
25 line equipment, ingredient or product or other

1 means, so visual examination is only one thing
2 that's mentioned there. Lisa mentioned also that
3 analytical testing is there.

4 Certainly documentation, paper trail, may
5 also demonstrate that the major food allergen is in
6 that environment. So we're not relying on visual
7 examination alone.

8 DR. LEWIS: I'm going to stop with the
9 questions there, but turn back to both the FDA
10 listening panel as well as our presenters and ask
11 if there are any further comments or questions from
12 you folks. Kathy.

13 MS. GOMBAS: Kathy Gombas with FDA.
14 Regina, in the first panel, you had indicated that
15 perhaps it was the Alliance that was going to
16 conduct a survey to get more information on who had
17 gone to voluntary labeling for plain English. Are
18 you going to do the same thing for the advisory
19 labeling, and if so, are you going to ask them why
20 they're using advisory labeling?

21 MS. HILDWINE: That's in the survey as
22 well. The survey covers all of the areas that were
23 addressed in the Food Allergen Guidelines. So we
24 do ask them if they have control procedures and
25 various other questions related to supplementary or

1 advisory labeling. And the data that we're
2 collecting will essentially be baselines.

3 DR. JACOBSON: Can I ask a question of FDA
4 for informational purposes only?

5 DR. LEWIS: As I recall, we're in a
6 listening mode, Dr. Jacobson, but feel free to put
7 it on the table.

8 DR. JACOBSON: For informational purposes,
9 I wonder if Dr. Falci could give us some sense of
10 how frequent inspections are, say, of a cracker or
11 cookie company of \$25 million a year in sales? How
12 many times a year, a decade, ever an FDA inspector
13 will look at these allergen issues?

14 DR. LEWIS: We actually get frequently
15 questions about how often that happens, and to be
16 honest, Dr. Jacobson, I don't have that information
17 for you right now, but we'll try to get back to you
18 with it.

19 I do want to turn to some administrative
20 issues concerning the public speakers who are
21 scheduled to present this afternoon. We will be
22 using the first three rows of what for you is the
23 left-hand section, for me the right-hand section.

24 So for those of you that have registered
25 to speak as public commenters, please either gather

1 a little earlier from lunch or at least plan to sit
2 here as soon as you return. Our lunch break is
3 scheduled to go until one o'clock, and I can
4 promise you we will begin promptly at one o'clock,
5 so please do feel free to take a lunch break, but
6 remember we will be starting at one o'clock, with
7 our third panel. Thank you.

8 [Whereupon, at 12:10 p.m., the meeting was
9 recessed, to reconvene at 1:05 p.m., this same
10 day.]

1 such spices as allspice, cardamom and coriander
2 have caused occasional allergic reaction.

3 While disclosure is not required, in 1996,
4 the FDA sent a warning label to companies urging
5 that they voluntarily declare on labels any
6 allergenic components of such ingredients. I agree
7 with University of Nebraska food allergy experts,
8 Steve Taylor and Sue Hefle, who wrote in a paper if
9 an ingredient is known to be allergenic even on a
10 rare basis, such as carmine or papain, then it
11 should be declared on the ingredient statement.

12 Unfortunately, the FDA has not determined
13 that it has legal authority to require labeling of
14 those additives when health is at issue. It should
15 assert that authority by commencing a rulemaking as
16 requested by the nine attorneys general more than a
17 year ago. CSPI also will be submitting a formal
18 request for that action in the near future.

19 The FDA could take several legal
20 approaches. It could assert that the general
21 misbranding section of the act trumps the
22 flavoring/spices/colors exemption because the
23 ingredients can cause severe allergic reactions.

24 Alternatively, for allergenic flavorings,
25 spices or colors that are considered generally

1 recognized as safe, the FDA could determine that
2 those substances are not safe. They can only
3 determine it safe if labels disclose the presence
4 of those substances.

5 Third, in the cases of approved color
6 additives and food additives, the Act allows the
7 FDA to set conditions of use, such as label
8 disclosure. Thus, FDA should amend its regulations
9 to specify that any allergenic coloring or
10 flavoring additive must be declared on the label,
11 as it has for Yellow 5, or monosodium glutamate,
12 and certain other foods.

13 We urge the FDA to require disclosure not
14 just of the major eight allergens but others as
15 well. To someone with an allergy to corn or
16 carmine, it's no satisfaction that wheat and shrimp
17 are disclosed.

18 The cost and inconvenience to companies of
19 providing disclosure is a small price to pay for
20 protecting the health of sensitive consumers.

21 Therefore, as a general policy, the FDA
22 should require, not just strongly encourage, labels
23 to disclose allergenic ingredients in the
24 flavorings, colorings, and spices. Labels should
25 simply declare something like colors includes

1 carmine or natural flavoring includes peanuts, and
2 then in the allergy information section of the
3 label, the presence of the major allergens should
4 be highlighted.

5 Moving now to incidental additives. Those
6 are substances that are present at insignificant
7 levels in food and that don't serve any technical
8 or functional effect. Incidental additives have
9 never been disclosed on labels, but in 1996, the
10 FDA told the food industry that such additives are
11 not insignificant if they might cause serious
12 allergic reactions and that they had to be labeled.

13 And that was incorporated into the FDA's
14 compliance policy guide earlier this year. While
15 incidental additives are present at low levels, and
16 to my knowledge have not caused known allergic
17 reactions, it's worth noting that the EPA recently
18 expressed concern about the allergenicity of
19 StarLink corn. It banned--it banned the presence
20 in food of any amount, even under 20 parts per
21 billion, of StarLink even without proof that it
22 ever caused an allergic reaction.

23 Today, no one is talking about banning
24 wheat, corn or other allergin, but only requiring
25 label disclosure. The FDA's policy concerning

1 allergenic incidental additives should be
2 incorporated into a regulation that states
3 explicitly that any incidental additive that may
4 cause a serious allergic reaction should be
5 presumed to pose a risk and be declared in the
6 ingredient list.

7 If an incidental additive is one of the
8 main food allergens, or sulfites, it also should be
9 declared in the allergy information section of the
10 ingredient facts label. Regulations could allow
11 waivers if companies can demonstrate that the
12 amount of allergen present is truly too small to
13 cause any reactions. Thank you very much.

14 [Applause.]

15 MS. MUNOZ-FURLONG: Before I begin, I want
16 to also again clarify that when I talk about
17 flavors during my presentation, I really do intend
18 this to apply to flavors, colors, spices and
19 incidental additives.

20 Next slide, please. My objectives here
21 are going to be to provide the consumer's
22 perspective on these incidental additives and
23 provide information about the industry's response
24 to the concerns of the food allergic consumer, and
25 that's going to be based on information from our

1 members and the industry itself.

2 Next slide. First of all, from the
3 consumer's perspective, we know that strict
4 avoidance is the only way to avoid an allergic
5 reaction. We know that major allergens can be
6 included in flavors, spices and colors and
7 incidental additives. We also know that they are
8 currently not required to be listed on the label,
9 and that children have had allergic reactions to
10 proteins even in the low levels that you're going
11 to find them in these categories.

12 A good example of this came to us several
13 years ago when a cereal was put on the market.
14 Within weeks after launching this cereal, we
15 started to receive calls all over the country about
16 children having allergic reactions. We contacted
17 the manufacturer. They found that, in fact, the
18 flavorings contained milk ingredients.

19 Now, to their credit, they changed the
20 label to reflect that information, and we have not
21 had any reports of incidences to that cereal since
22 then.

23 Next slide. When a consumer sees natural
24 flavors on the market, they have several options.
25 The first one is avoid that product completely. If

1 any of you have ever looked at the ingredient
2 statement, you will know that if you avoid the
3 products that say flavors, colors, or spices,
4 you're going to have no food choices at all.

5 The second is to take a chance. Again,
6 we're talking about children, so this is not
7 acceptable as an option.

8 The third is to decide to call the
9 manufacturer and ask very specifically does the
10 flavor contain whatever the protein you're trying
11 to avoid. If you make that determination to be
12 your decision, then you need to hope, first of all,
13 that there's a phone number listed on that package
14 to help you make that phone call.

15 Many of these calls are being made at the
16 grocery store on cell phones as people look at a
17 package, want to make a purchasing decision on the
18 spot, or at dinner time when they are taking a
19 product off the shelf in their pantry and notice an
20 ingredient that they don't understand. So the need
21 for information in a timely fashion is critical in
22 these situations.

23 Next slide, please. Now, currently some
24 companies will divulge the information willingly
25 and quickly over the telephone. We applaud their

1 efforts. However, other companies will consider
2 this information proprietary and will not release
3 it.

4 We have had some companies tell our
5 members that if they have a reaction, their doctor
6 can call the company, and then they will divulge
7 all this information. This doesn't seem to be the
8 way we should be doing this.

9 Some of the companies will provide the
10 information, but in writing, and it takes several
11 weeks to get this information that will not satisfy
12 the need of our members to get information quickly.
13 There are a few companies that will provide this
14 information in a timely fashion. They will put it
15 on the label.

16 For example, natural butter flavor or
17 natural flavors contains milk. This saves time.
18 It's simple English and it's very easy to
19 understand.

20 Next slide. Now, again, going back to the
21 survey that I've mentioned today several times.
22 Second on the list of top three concerns in the
23 write-in portion of our survey was natural or
24 artificial flavors or colorings. The concern from
25 our members is that this has hidden ingredients,

1 and if you recall from my first presentation,
2 reactions occur because someone is eating something
3 they think is safe. If they don't know the
4 allergen is in there, they can't avoid it.

5 Next slide, please. The conclusions from
6 our survey showed that food allergic individuals
7 are reading the ingredient label diligently. They
8 are making purchasing decisions that affect their
9 health based on that information.

10 We also know that they believe that the
11 information on the package is not complete. Four
12 out of five of them report calling manufacturers
13 for additional information. I want to make the
14 point that our members are one of the best
15 educated, highly motivated people in the food
16 allergy community and this country. If they are
17 struggling with these labels, I can only imagine
18 what the general public is going through.

19 Now, the final slide, in summary, flavors,
20 spices, colors and incidental additives can contain
21 hidden ingredients. Even the low levels of
22 allergens that would be present in this could cause
23 a reaction affecting children most often.
24 Therefore, we recommend that allergens should
25 always be declared on the label when they're

1 present in a product.

2 Thank you.

3 [Applause.]

4 MS. HILDWINE: Thank you. At the outset
5 of the discussion on labeling allergenic components
6 of flavors, colors, spices and incidental
7 additives, it's important to note that major food
8 allergens are proteins. There are numerous
9 components in flavors, colors and incidental
10 additives that are not proteins.

11 Often these components include alcohols or
12 oils that may be derived from the major food
13 allergens, but are so highly refined that they do
14 not contain protein. Bleached, deodorized and
15 refined soybean oil that may be used as a carrier
16 for flavor or color or a component in a food
17 additive in some food applications is a good
18 example of the type of product that should be
19 considered outside the scope of today's discussion.

20 Furthermore, there is no spice included
21 among the list of the eight major food allergens
22 that is the focus of FDA's discussion today, so it
23 is clear that in this session, we really are
24 speaking of spices only in concept.

25 This observation leads one to the

1 conclusion that FDA should continue to address the
2 labeling of allergenic components in flavors,
3 colors and spices on a case-by-case basis.
4 Creating a generally applicable policy most likely
5 would encompass substances that are not at issue
6 for the labeling of food allergens.

7 We know from our discussions with NFPA
8 members that they receive information from their
9 suppliers of flavors, colors, spices and additives
10 with respect to the allergenic components present.
11 NFPA believes that suppliers should always
12 volunteer this information to their food processor
13 customers with the understanding that food
14 companies are not interested in knowing the
15 formulation of the flavor, color, spice or
16 additive, just in knowing which allergenic proteins
17 are present.

18 NFPA also is of the view that food
19 processors should carry forward to their own labels
20 information on the presence or possible presence of
21 those major food allergens and flavors, colors,
22 spices and incidental additives.

23 NFPA believes it is appropriate to present
24 plain language information on the allergenic
25 components of flavors, colors, spices and

1 incidental additives in association with the
2 ingredient declaration of the finished food.

3 This information should be in the
4 ingredient list where the flavor is declared or at
5 the end of the ingredient list as appropriate to
6 the food and the flavor or other component.

7 The presentation options--"contains," use
8 of a reference mark or use of parentheses--as
9 discussed in this morning's session on plain
10 language, all are valid presentations as would be
11 any plain language representation of the name of
12 the allergin in the common or usual name of the
13 flavor.

14 NFPA would not support rulemaking to make
15 mandatory the ingredient declaration of the plain
16 language terms for major food allergin components
17 of flavors, colors or spices. Many of our members
18 already declare information on these allergenic
19 components on a voluntary basis.

20 NFPA believes it is the responsibility of
21 food processors to obtain this information from
22 their suppliers and carry it forward to the
23 finished product labeling. Many of our members use
24 checklists and other techniques to ensure that
25 they've received this information from their

1 suppliers.

2 Because some of the major food allergens
3 are common in the food supply, milk, wheat, egg and
4 soy, for instance, our members do not limit their
5 information collection to the obvious or major
6 ingredients.

7 Egg protein that may be a component but
8 not a characterizing flavor of a sauce is a good
9 illustration of this. The food processor that uses
10 the sauce in the formulation of the food will
11 obtain information from the supplier that egg
12 protein is present, usually from the ingredient
13 labeling on the sauce. And that information will
14 be carried forward to the label of the finished
15 food.

16 Regarding major food allergens that are
17 components of additives that might qualify for the
18 incidental additives declaration exemption, NFPA
19 believes that FDA has already made its views very
20 clear that such allergenic components are not
21 exempt from declaration. NFPA advises its members
22 in a way that's consistent with FDA's
23 interpretation and policy.

24 Although scientists have been studying the
25 issues of threshold levels of allergenic proteins

1 that trigger an allergic reaction, so far there are
2 no established thresholds. This raises questions
3 regarding the meaning of both insignificant
4 quantities and absence of technical or functional
5 effects in the finished food with respect to those
6 food allergens, and both those conditions must be
7 met in order to qualify for the incidental
8 additives exemption.

9 In addition, the absence of solid
10 scientific knowledge about the quantities of major
11 food allergens needed to trigger allergic reactions
12 argue strongly for FDA not to codify the specific
13 exclusion of major food allergens from the
14 incidental additives exemptions. The reason for
15 this is plain. As food allergy science advances,
16 it is likely to become increasingly evident that
17 there are reaction thresholds. That is
18 quantitative levels of food allergens below which
19 allergic reactions do not occur.

20 Discussing the threshold concept is a
21 meeting for another day with a group of experts
22 different from this panel. Nevertheless, we urge
23 FDA to be cautious and refrain from codifying an
24 allergen exclusion of the incidental additives
25 exemption at this time. If FDA were to codify this

1 exclusion, it would be a very difficult and long
2 process to reverse the rule or selectively reverse
3 the rule which is more likely to be the case.

4 Thank you very much.

5 [Applause.]

6 DR. LEWIS: And Mr. Hallagan, please.

7 MR. HALLAGAN: I'd like to thank the
8 agency and our allied associations for the
9 opportunity to participate today. I'm representing
10 three trade associations today: the American Spice
11 Trade Association, the Flavor and Extract
12 Manufacturers Association, and the International
13 Association of Color Manufacturers.

14 Our members manufacture spices, flavors
15 and colors that are included in a wide variety of
16 foods and beverages.

17 One point I'd like to make to start is
18 that our products, the bulk of our products, go
19 into consumer products, foods and beverages, and
20 are therefore not sold directly to consumers so our
21 labeling requirements are different from consumer
22 product labeling requirements.

23 But our main mission is to support our
24 customers and to provide them with all the
25 information they need to comply with all labeling

1 requirements or all labeling needs such as allergy
2 labeling.

3 All of our member associations are members
4 of the Food Allergy Issues Alliance and all support
5 the guidelines.

6 Spices are listed by the FDA in the Code
7 of Federal Regulations. There's a very long list
8 of spices and has been mentioned, none of them are
9 listed as allergens or considered allergens or the
10 source of allergenic protein material.

11 The current FDA labeling rules do allow
12 for the generic declaration of spice as providing
13 for the inclusion of a variety of spices in a food
14 product. Other materials that may be included in a
15 mixture must be labeled and are subject to other
16 labeling requirements, but an important point to
17 keep in mind is that the spice industry is fully
18 committed to providing information to its
19 customers, in other words, food and beverage
20 companies that incorporate spices into finished
21 foods.

22 So if a material that originates from one
23 of the eight materials that are considered
24 allergens, if proteinaceous materials from those
25 eight are used in a spice mixture, then the spice

1 manufacturer is committed to providing that
2 information on its label so its customer can label
3 as well.

4 In terms of flavors, the FDA has listed a
5 variety of flavor materials in the CFR, and I've
6 provided the citation. In addition, there is a
7 longstanding industry GRAS panel known as the FEMA
8 expert panel, which has done thorough safety
9 evaluations on about 2,000 flavoring substances,
10 and this list is available from FEMA and we're
11 happy to provide it upon request.

12 This information has also been shared with
13 FDA and these additives are included in the
14 agency's database. None of the single chemically
15 defined flavoring substances are considered
16 allergens. These are individual substances that
17 may be derived from natural sources or produced
18 synthetically. None of them include proteinaceous
19 material which would cause an allergic reaction.

20 The current FDA labeling rules, as I
21 provided the citation for here, allow that flavor
22 may be declared in a generic manner, but it's
23 important to note that other materials included in
24 a flavor would have to be labeled for with the
25 exception, of course, of incidental additives and

1 processing aids, but the flavor industry, like the
2 spice industry, is committed to providing
3 information on allergenic materials that may be
4 used in a spice mixture because flavors are complex
5 mixtures.

6 They are a number of individual flavoring
7 substances and other materials that are combined to
8 provide the flavor that provides the taste to the
9 variety of foods and beverages that we all consume.

10 FEMA has been very active in the allergy
11 area beginning in 1997 when FEMA sponsored an
12 education session for its members, the FEMA Allergy
13 Workshop. The impetus for this workshop was the
14 release of the 1995 FAO Technical Consultation on
15 Food Allergens, and FEMA took the big eight list
16 from that FAO consultation, made it available to
17 its members, and in a self-regulation program
18 established guidelines for the labeling of
19 flavoring substances that are sold to consumer
20 products companies. We had very good compliance
21 with self-regulatory initiatives, as evidenced by
22 the FEMA GRAS program. So we've had very good
23 compliance so far with the allergy guidelines.

24 The FAO guidelines are very largely
25 consistent with the Food Allergy Issuance Alliance

1 guidelines as well.

2 In terms of colors, the last group of
3 substances I'd like to deal with this afternoon,
4 large number of color additives are listed for use
5 by FDA. They've been very thoroughly evaluated for
6 safety. None of them are listed as allergens, but
7 as Dr. Jacobson mentioned earlier, some scientific
8 data indicate that carmine and cochineal may be
9 able to cause allergic reactions.

10 We have encouraged our members, of course,
11 to declare that whenever it's present in a mixture,
12 and a number of consumer products companies also
13 voluntarily declare it.

14 Certified colors must be labeled already
15 specifically on the ingredient line. Exempt colors
16 may be declared generically, but again any
17 components that are derived from the big eight, we
18 are encouraging our members to declare.

19 Thank you.

20 [Applause.]

21 DR. LEWIS: Just before we begin our panel
22 discussion, let me remind you that if you do have
23 questions, please do write them on the cards, pass
24 them to the aisles. They will be collected.

25 Okay. For this particular panel, who

1 would like to open with a comment or a question?

2 Dr. Falci.

3 DR. FALCI: This is Dr. Falci of the Food
4 and Drug Administration. My question is generally
5 I guess about flavors and colors and spices in the
6 sense that I agree with you. A lot of them
7 apparently don't appear to be allergenic per se,
8 and I was trying to get a feeling for exactly how
9 many times, for instance, you would put a flavor,
10 for instance, as a single chemical entity into a
11 food per se, or would it be mostly put into a food
12 via a delivery system where the flavor would be on
13 some set of substances and then potentially sprayed
14 on a food product, for instance?

15 Isn't it mostly true that the delivery
16 system for flavors, even colors and spices, would
17 be sprayed on foods and that the delivery system
18 would have potentially allergenic components in it,
19 and that it wouldn't necessarily be that a matter
20 of the flavor being there in a small amount, but
21 the fact that the allergen is in the delivery
22 system, per se?

23 MR. HALLAGAN: Well, flavors are used in a
24 variety of ways. Dr. Falci has just described what
25 we refer to as a spray dry flavor system. Dr.

1 Falci is correct. Flavors may be used in that way
2 in addition to other ways. If a flavor is
3 delivered on a system, in other words, incorporated
4 into the food on a system which, for example, may
5 contain a carbohydrate matrix or a carbohydrate
6 substance to carry the flavor, then we have
7 encouraged our members to declare that substance
8 if, in fact, the delivery system contains a
9 proteinaceous material from one of the eight listed
10 groups of allergens.

11 So, yes, flavors are used in that way, and
12 we have asked our members to declare those
13 substances if they are contained in the delivery
14 system.

15 DR. FALCI: And how often would this
16 particular type of delivery system be used per se?
17 I mean are flavors, colors and spices delivered 90
18 percent of the time in this matter in a food
19 product?

20 MR. HALLAGAN: I don't know what the
21 actual proportion is, but we could certainly get
22 that information for you, but it is accurate to say
23 that all three--flavors, colors and spices--can be
24 used in that way. And, for example, flavors going
25 into a beverage would not be delivered that way,

1 and that's a very large proportion of the flavor
2 used; same with the candy. Spray dry flavors or
3 colors or spices would be used on snack foods, for
4 example, and again I'm not a technologist, but I
5 can get that information for you.

6 DR. FALCI: Okay. Thank you.

7 DR. LEWIS: Other questions, comments?

8 Michael?

9 DR. JACOBSON: Mr. Hallagan, you mentioned
10 that your association favors voluntary labeling of
11 substances like carmine that have been demonstrated
12 to cause allergic reactions. Instead of relying on
13 voluntary action from companies, would you support
14 mandatory labeling of those through legislation or
15 regulation?

16 MR. HALLAGAN: Well, our members' products
17 are not the products that consumers actually
18 consume in the majority of circumstances. It's our
19 customers' labels that would be impacted. We're
20 committed to providing that information to our
21 customers and for flavors, colors and spices, as
22 far as we're concerned, the initiative can be
23 mandatory or voluntary.

24 Our members intend to provide that
25 information to the customers, and that's our

1 commitment and that's what we've been doing for the
2 last about four years.

3 DR. LEWIS: Other questions from the
4 various panelists?

5 DR. FALCI: One more. Incidental
6 additives--sort of it could be a learning curve
7 here as far as incidental additives are concerned.
8 There's been a lot of opinions or opinions
9 expressed that incidental additives simply were not
10 put on labels in the past, and although the agency
11 has expressed the desire to have the food allergen
12 in incidental additives put on the label, we
13 started that policy and we suggested that policy
14 back in 1996, it takes time to get through the
15 industry.

16 But could you, maybe members of the panel
17 here, suggest ways of making industry more aware
18 that incidental additives are really to be put on
19 the label when a food allergen is present? What
20 are the types of things that you would do to try to
21 improve that in the industry?

22 MS. HILDWINE: Well, I'll tell you the
23 very first thing that I do is remind our members
24 that the incidental additives exemption is not easy
25 to come by. That regardless of whether your food

1 ingredient is identified as one of those suitable
2 classes of ingredients that may qualify for the
3 incidental additives exemption, that, in fact you
4 have to pass two parts of--well, you have to pass
5 both parts of a two-pronged test in order to
6 qualify for the exemption.

7 Now, typically, these incidental additives
8 are going to be ingredients carried over from a
9 previous component of a food, but the regulation, I
10 think, reads very clearly that that component in
11 order to be exempt from declaration must be present
12 in the food at an insignificant level and have no
13 technical or functional effect in the food. One of
14 them is not sufficient for declaration.

15 And I like to draw the example of, say, a
16 flow agent in a seasoning blend. Say, the flow
17 agent silicon dioxide has a functional effect in
18 the seasoning blend. When that seasoning blend is
19 added to a wet ingredient, the silicon dioxide
20 loses all of its technical or functional effect.
21 So when you add the seasoning, probably at a low
22 level, to a food, and it's got the silicon dioxide,
23 then, in fact, that substance may pass both prongs
24 of the test, that it is present in the finished
25 food in an insignificant amount and has no

1 technical or functional effect in the finished
2 food.

3 Well, what applies to silicon dioxide in
4 the seasoning blend may not, for example, apply to
5 say a wheat extracted ingredient that's in the same
6 seasoning blend, and that would not be exempt, so
7 you really have to look at this component by
8 component and make sure that every component that's
9 carried forward passes both prongs of the test. So
10 we do a lot to educate our members on just exactly
11 what that exemption means.

12 DR. FALCI: How do you do that? Do you
13 call them in? Do you have conferences? What?

14 MS. HILDWINE: Well, what I just told you
15 that seemed to appear off the top of my head, you
16 know, is not spontaneously there. It comes from
17 years of advice to our members one on one, as we
18 go--we do their label reviews. NFPA does this,
19 reviews labels, as one of its benefits to members,
20 and answering their questions. When they ask for
21 clarification on the incidental additives
22 exemption, I get a lot of questions regarding the
23 incidental additives exemption and personally walk
24 them through it every time.

25 DR. JACOBSON: Dr. Falci, the FDA

1 certainly could accelerate the learning process by
2 identifying some products that have unlabeled
3 incidental additives and find them misbranded, the
4 allergenic incidental additives, and find them
5 misbranded and remove them from the market. That
6 would speed up the learning process considerably, I
7 believe.

8 [Applause.]

9 DR. LEWIS: Other questions? Well, while
10 you're thinking of more questions, we do have three
11 from the participants here today. One is for Anne
12 Munoz-Furlong. It's actually a two-parter, Anne.

13 Would milk allergic individuals know to
14 avoid butter or cream or should these be identified
15 in the ingredient panel as milk?

16 And the other is should only the top eight
17 allergens be disclosed in favors and spices or
18 more?

19 MS. MUNOZ-FURLONG: Okay. The question
20 about whether the milk allergic individual, what
21 their understanding of milk products and byproducts
22 are is going to be depend on each individual. The
23 people that are more aware of it, probably the
24 parents, the people closer to the patient, are
25 going to be very aware that yogurt or butter are

1 milk derived. People as we move away from the
2 circle of care for that child may not necessarily
3 make the connection and we see this over and over
4 again when we're talking to grandparents and other
5 caregivers of the child.

6 So our suggestion would be to always err
7 on the side of safety and declare that it is milk
8 after butter or any other of these terms to make it
9 very, very simple to follow.

10 The second part of the question should we
11 look at only the top eight or all of the allergens,
12 I know there's a study that's been done that looked
13 at the foods that had been implicated in reactions,
14 and there were somewhere around 160 foods on that
15 list. That's an enormous task.

16 What we would recommend again is to stay
17 focused on the 90 percent of that problem. Once we
18 figure out what the solutions are there, we can
19 hopefully then quickly come by and address some of
20 these other issues.

21 DR. LEWIS: The next question I have is
22 stated as follows: If spices are not considered
23 allergens, then how can one have an allergic
24 reaction to allspice as referenced by Ms.
25 Munoz-Furlong? If there have been reactions to

1 spices, then what motions are in place to address
2 these issues?

3 MS. MUNOZ-FURLONG: I want to clarify my
4 position. I am not aware of any reactions to a
5 particular spice. The bulk of the work that we do
6 is to look at those top eight allergens. If they
7 appear in anything, such as a color, spice or
8 flavoring, then we want those listed out on the
9 label.

10 DR. JACOBSON: I was actually the one who
11 mentioned allspice, cardamon and coriander. In the
12 paper, Sue Hefle's paper, listing 160 or however
13 many allergenic foods, those are included, and
14 there are varying levels of evidence for those
15 allergens, and I think there's going to be a gray
16 area where there will be for some of the foods,
17 there will be very limited, more anecdotal
18 evidence. For other foods, there will be
19 double-blind controlled studies, food challenges,
20 that establish that it is allergenic, and then I
21 think somebody will have to decide, well, how much
22 evidence do you need?

23 How many cases of demonstrated
24 allergenicity do you need before you require
25 disclosure?

1 DR. LEWIS: The next question I have
2 focused on the concept of thresholds. What would
3 be the threshold that is the minimum level of an
4 allergen that would have to be declared? If the
5 level is zero, how would the manufacturers test for
6 that?

7 MS. HILDWINE: I brought this up so I
8 think I better field it. There is a lot of
9 scientific work that's going on in this area. I'm
10 not an expert on thresholds and certainly I really
11 couldn't speak to quantities, but a lot of
12 scientists are devoting a lot of attention to
13 determining what are the levels that would trigger
14 allergic reactions.

15 The author of this question has definitely
16 pinpointed a problem, and that is that if we are
17 talking absolute zero, then that's very, very
18 difficult to achieve with respect to allergenic
19 ingredients or for anything for that matter.

20 DR. JACOBSON: I agree that it's a tough
21 problem. Fortunately, the assays don't get down to
22 parts per billion, but they're measuring levels
23 that presumably are allergenic. I think the
24 presumption should be that the substance is listed,
25 the wheat or soy or whatever, if it's known to be

1 there as an incidental additive.

2 But perhaps companies should have an
3 opportunity to demonstrate that at such and such a
4 level, something does not pose any risk of
5 allergenicity. And right now I don't know that
6 there's any evidence for a threshold, but there
7 should be an opportunity to exclude labeling of
8 incidentals if they do fall below some demonstrated
9 threshold.

10 DR. LEWIS: I'll wrap my last two
11 questions into one large question, although they're
12 not entirely similar. The first is isn't it time
13 that out of the three issues discussed today, this,
14 meaning Panel III, holds the most risk for the food
15 allergic consumer? Is the industry doing anything
16 to prioritize this as the first issue?

17 And then a second part of this: Mandatory
18 labeling is a zero sum equal expense for all
19 manufacturers. What incentives are there for
20 manufacturers to deal with the cost of voluntary
21 labeling?

22 MS. HILDWINE: Well, I'm not absolutely
23 certain that this is where the bulk of the problem
24 of undeclared allergens is. I think we spent a lot
25 of time in our second panel this morning talking

1 about good manufacturing practices, and let's say
2 significant levels of food allergens that are
3 undeclared in food products. So I think you've got
4 some good sense of what that's like from the report
5 of the FDA inspections.

6 So I think that certainly manufacturing
7 practices are really where we may need to spend a
8 lot of attention, you know, in resolving labeling
9 things.

10 The issue of undeclared allergens that may
11 be present in flavors, colors, spices and
12 incidental additives certainly is also a very
13 important issue because it involves undeclared
14 allergens and that's an important public health
15 concern. But whether that is top of the list I
16 think is still open to some discussion.

17 As to incentives for the food industry to
18 pursue voluntary labeling, nothing is quite as
19 effective in the food industry as what we call peer
20 pressure or the competitive marketplace, and
21 certainly we know from the experience of food
22 allergic consumers that they very much appreciate
23 when food companies go to the trouble of putting
24 food allergen information on their labels on a
25 voluntary basis.

1 More and more companies are doing this,
2 and they're beginning to be much more responsible
3 about the way they do this, and consequently, you
4 know, particularly if you're in a sector of the
5 industry where you compete with some major
6 companies that are already doing food allergen
7 labeling of an advisory nature, this is something
8 that you're considering because food allergic
9 consumers more often than not are calling you up
10 and asking you why isn't it there?

11 And in addition to the pressures of the
12 marketplace, the pressures of consumers certainly
13 have something of an impact on what the food
14 industry does.

15 DR. LEWIS: Any last comments or questions
16 from anyone else on the panel? If not, what we'll
17 do now is turn to the last component of our
18 program, the public comment.

19 Just a couple of announcements and
20 reminders before we do that. The first is that for
21 these issues, the FDA docket is still open. People
22 who are interested in submitting written comments
23 on these particular topics are more than welcome to
24 do so. The docket is still open.

25 Secondly, I need to be very clear about

1 what are the issues that are being addressed today.
2 It is food labeling. It is not latex gloves. It
3 is not celiac sprue, and it is not restaurant
4 labeling. Those are not topics of today's
5 discussion.

6 What we'll do at this point is take a 60
7 second stretch break while this podium is lowered
8 down so that our speakers will be able to make
9 their comments from the floor. So bear with us for
10 60 seconds while we arrange up here and then we'll
11 be right back with our first speaker.

12 [Whereupon, a short recess was taken.]

13 DR. LEWIS: All right. The procedure is
14 that we will go down the list of persons who have
15 registered to speak. We ask that you very briefly
16 introduce yourself. You are being timed for three
17 minutes. I do apologize if I mispronounce your
18 name. I'll try the best that I can. You can
19 correct me once you do get up there, but again it's
20 three minutes and we would appreciate your moving
21 along appropriately.

22 The first on my list is Victoria Geduld.

23 MS. GEDULD: My name is Victoria Geduld.
24 I'm a concerned citizen and mother and I am with my
25 daughter Nancy Geduld, who is six years old and a

1 student. Due to time constraints, I will not go
2 into a lengthy of history. Suffice it to say that
3 Nancy loves playing with her friends and sisters
4 and going to the park.

5 It's a sunny day in August and rather than
6 doing all these things on this Monday, she chose to
7 sit with me because the most important thing in her
8 life is safe food. Misleading and unclear labels
9 can kill her and she knows this firsthand.

10 My daughter Nancy has an acute
11 anaphylactic reaction to peanut proteins which is a
12 fancy way to state the simple fact that trace
13 amounts of a simple and common food can kill her.

14 A few years ago, Nancy ate a chocolate
15 Kellogg's Rice Krispie treat that said nothing of
16 peanuts or peanut traces on the label. After a few
17 bites, she said, Mommy, this has peanuts. I read
18 the label. Nothing. She began to swell. I gave
19 her medicine and we were fine. Within a few
20 months, these same treats began to carry the label
21 "may contain peanuts."

22 The traces must have been small. Were the
23 traces larger, we know from history, Nancy would
24 have had an injection and she would have been
25 hospitalized, if she had survived. At four years

1 old, Nancy learned not to trust labels.

2 Foods must be labeled in plain English so
3 that Nancy at six and myself, her father,
4 grandparents, relatives, teachers or caregivers can
5 read the label and know what's inside. If I have
6 as a consumer have a question regarding the food, I
7 should have a number on the label to call.

8 In a recent example, the outside container
9 of the same Kellogg's chocolate rice krispie treats
10 said that they did not contain nuts, the
11 individually wrapped treats inside said "may
12 contain peanuts." As it turned out, the line had
13 been changed and made peanut free, and the labels
14 on the outside had been changed to reflect this.

15 The individual wrappers inside had not. A
16 confused consumer should be able to contact a food
17 manufacturer. Small packagers can get waivers from
18 the government, but luckily there are few who would
19 qualify. Most packages are large and should be
20 required to provide access to the company in the
21 case of an accidental ingestion or an emergency or
22 a question.

23 In addition, any information about the
24 ingredients in the food should be listed in the
25 ingredients section of the label. "May contain"

1 warnings do not help at the bottom of a package if
2 the ingredients are printed on the top. We had a
3 near accident in such a case. The warning was next
4 to the company's address, catty-corner to the
5 ingredient section.

6 If it has to do with the ingredients, put
7 it in the ingredients listing. With the purchase
8 of food for an allergic individual, there is a
9 ripple effect. For the millions of allergic
10 people, there are tens of millions who are
11 affected. Think of the number of people who are
12 involved in feeding a single child.

13 All these people will be served with
14 government legislation demanding accurate and
15 readable labels. In addition, all these people
16 will be unnecessarily inconvenienced by a "may
17 contain" label spread on packages. No matter what
18 manufacturers must not be allowed to put a warning
19 label on foods because it is easier or more
20 convenient than actually monitoring the food
21 supply. The government must ensure that labels are
22 accurate, not just slapped on.

23 In order for my daughter to trust her food
24 supply and get back to the business of being a
25 child, any allergens must be included in the

1 ingredients section. There can be no exemptions.

2 Thank you.

3 [Applause.]

4 DR. LEWIS: Thank you. Next please Pamela
5 Hughes. If Pamela Hughes is not here, we will move
6 to Joseph LaRochele.

7 MR. LaROCHELLE: My name is Joe LaRochele
8 and I would like to tell you what it is like to
9 have a life threatening food allergy and why it is
10 so important to have accurate and dependable food
11 labels. I'm a 21 year old who lives in Dairy, New
12 Hampshire and a senior at St. Anthem College in
13 Manchester, New Hampshire.

14 Besides having asthma, I'm also deathly
15 allergic to peanuts and tree nuts. If I eat even a
16 trace of these, I don't just get a stomachache. In
17 my short life time I have had more than ten severe
18 allergic reactions. When I was 13, I almost died.
19 I had a chocolate chip cookie that contained
20 walnuts. My symptoms started with a simple
21 stomachache, but after less than one hour, I
22 started having hives, itchy mouth and throat,
23 breathing problems. All the while my throat
24 started to close and I began to lose consciousness.

25 Doctors said that if I wasn't not a mile

1 from the hospital, that I probably wouldn't be
2 standing here right now. After two to three
3 injections of epinephrine, two shots of benedryl,
4 two nebulizer treatments and a oxygen mask, I was
5 released from the hospital five hours later when my
6 condition stabilized.

7 Every minute of my life I must be on guard
8 by reading all ingredient levels with how to read a
9 label card handy and my epinephrine in the case of
10 a severe allergic reaction.

11 It is critical that food labels be
12 accurate, clear and dependable to help me avoid
13 potential life threatening allergic reactions
14 because peanuts and tree nuts often show up
15 unexpectedly in the most unlikely of places.

16 Besides reading all ingredient labels, I
17 am constantly watching for product recalls because
18 of undeclared peanut or tree nuts. Because of
19 that, I give high priority to the Food Allergy and
20 Anaphylaxis Network's special food allergy alerts
21 that notify me in the event these things happen.

22 In the last few months in my state, an ice
23 cream manufacturer recalled product with undeclared
24 pistachios, a cereal maker had undeclared almonds,
25 potato chips with undeclared peanuts, brownies with

1 undeclared almonds, yogurt raisins with undeclared
2 peanuts, and I could go on.

3 This simply underscores the fact they all
4 don't get it right all the time. I would just like
5 to briefly comment on the "may contain" statements.
6 I never eat foods that say may contain peanuts or
7 tree nuts, processed in the same facility as
8 peanuts or tree nuts, or processed on shared
9 equipment.

10 I'm grateful that some manufacturers have
11 alerted me to the potential presence of an
12 allergen, but would prefer they take the necessary
13 steps to prevent cross-contamination in the first
14 place.

15 In recent years, I have seen many more
16 products with these statements on the label, a
17 trend that is limiting my choice for foods that I
18 can safely eat. Thank you.

19 [Applause.]

20 DR. LEWIS: Thank you. Next, please,
21 Julie Reinhard.

22 MS. REINHARD: My name is Julie Mendel
23 Reinhard. I am the mother of a three-year old
24 peanut allergic son. I am here not only on behalf
25 of my family, but on behalf of 2,945 consumers

1 representing 27 states who have signed a national
2 grassroots petition seeking regulations to make
3 food labels more accurate.

4 Since I learned about my son's allergy the
5 hard way, in the emergency room as doctors battled
6 to save his life, I have been challenged with
7 keeping him in a peanut free environment. This
8 means that I must read the label of every product
9 that comes into our home and indeed every label of
10 food my child may eat or come into contact with
11 outside the home.

12 But that's just the beginning. After
13 reading the food label, I must call the
14 manufacturer to determine if the food has been made
15 on shared equipment and therefore has the
16 possibility of cross-contamination.

17 This is because manufacturers do not
18 reliably state whether peanuts are or are not in
19 the product. Furthermore, even after I call the
20 manufacturer, I often do not get accurate
21 information. Sometimes I leave a message on an
22 answering machine that can go unanswered for a
23 period of weeks, and sometimes forever.

24 Other times I talk to a consumer rep who
25 reads from a written policy statement, but won't

1 send it, and is unable to answer basic questions.
2 Often I have to make at least three calls before I
3 even talk to an informed person. The first call is
4 typically to get the phone number of the company.

5 Worse, it is the rare occasion when I am
6 told that the risk of cross-contamination is de
7 minimis. Therefore, I am unable to rely on the
8 label itself to know if the food is safe for my
9 son, and yet strict avoidance is the only sure way
10 to keep him safe.

11 In addition to the FDA's inspection,
12 research at the University of Nebraska documented
13 that peanut residues were detected in 21 out of 111
14 products with either precautionary labeling on
15 peanut listed as a last ingredient and in 33
16 percent of foods with no labeling in any form.

17 The researchers concluded that quote,
18 "Despite vigilant monitoring of food ingredient
19 statements by peanut allergic individuals,
20 significant levels of unlabeled peanut residues can
21 be encountered in food products."

22 Finally, a study published in 1997 found
23 that while the threshold dose of peanut protein
24 varies, as little as 100 micrograms provoked
25 symptoms in some peanut sensitive individuals. For

1 each of these reasons, I strongly urge the FDA to
2 prescribe regulations requiring manufacturers to
3 use plain English and commonly understood terms in
4 the ingredient statement like egg, milk and peanut,
5 rather than a scientific term, and to adopt the
6 proposed ingredient facts label put forth by CSPI.

7 Further, I implore the FDA to mandate that
8 allergens contained in natural flavors and spices
9 be listed in a parenthetical after the general term
10 is used, and to clarify the incidental additive
11 regulations by stating that those containing
12 allergens are significant and therefore not exempt
13 from label declaration including substances
14 migrating to food from equipment.

15 Third, I ask you to adopt the allergen
16 control procedures recommended in the attorneys
17 general citizen petition.

18 Finally, it is with profound gratitude to
19 the cochairs of this national grassroots campaign
20 that I submit the following petition to the FDA for
21 its careful consideration. Here it is:

22 (1) Put allergen regulations as an "A"
23 priority on their 2002 agenda;

24 (2) Prescribe allergen control procedures
25 for companies to follow in cleaning equipment to

1 reduce or eliminate the unintentional presence of a
2 known food allergen in the finished product;

3 (3) Mandate precautionary labels on foods
4 if allergen control procedures and GMPs do not
5 eliminate the unintentional presence of a known
6 food allergen and the presence of such allergen
7 poses a risk to human health;

8 (4) Inspect manufacturing plants to
9 determine if they are complying with the laws and
10 regulations; and

11 (5) Punish companies who are not in
12 compliance with the laws and regulations.

13 As the governmental body responsible to
14 protect the health and safety of our Americans,
15 these relatively simple measures can profoundly
16 impact the safety of millions of Americans who
17 suffer from food allergies. Thank you.

18 [Applause.]

19 DR. LEWIS: Thank you. Next we have
20 Elizabeth Carus and in order not to surprise you
21 folks, after Elizabeth Carus, we would be
22 addressing Gayle Rubin. Is Elizabeth Carus here?

23 MS. CARUS: My name is Elizabeth Carus,
24 and in addition to being severely allergic to
25 wheat, I also have celiac disease. Prior to being

1 diagnosed with celiac disease, I was trying to
2 follow a wheat-free diet. I know how to read the
3 labels. I know what to look for, and until I went
4 and had to follow a gluten-free diet, I was
5 obviously missing a lot of hidden wheat.

6 Upon going on a gluten-free diet, I ceased
7 having asthma problems, which included going to the
8 emergency room quite a few times, and obviously
9 there was enough in the food that's hidden even
10 after calling companies to have given me problems.

11 When I call companies to verify whether
12 things are wheat free and gluten free, the biggest
13 problem I have beyond being told yes, it is, having
14 the allergen so I can't eat it at all, is to find
15 out that the company will tell me that they don't
16 know if there's cross-contamination, not because in
17 their company they have a problem, but they don't
18 know from their suppliers. And when the company
19 tries to find out from their suppliers, because
20 they do want to know whether it's gluten free since
21 that's where I'm at, it can take them months.

22 They can write many letters and in the end
23 they can say we don't know because we can't find
24 out from our suppliers. And that to me is a big
25 problem. And that's probably about half the

1 companies I call is what I find out. And these
2 are companies that want to be able to tell me that
3 things are okay, that are being careful about
4 telling me what's in their food, and they don't
5 feel comfortable telling me because they don't know
6 if there might have been a cross-contamination.

7 And basically that's what I wanted to pass
8 on to you about that with the food products and
9 things.

10 [Applause.]

11 DR. LEWIS: Thank you. Next is Gayle
12 Rubin and following Ms. Rubin, if she's here,
13 Judith Schreiber.

14 MS. RUBIN: I brought some props. Hi. My
15 name is Gayle Rubin, and I'm here supporting the
16 celiac support groups. It says something different
17 on your listing. I'm not sure what that was.

18 Anyway, what I wanted to tell you is that
19 gluten intolerance of celiac disease is a genetic
20 disease that affects between one in 150 or between
21 one in 250 Americans. And that is basically new
22 information, and if you take that and you add that
23 to--figure out from what our total population is,
24 you're looking at 1.5 million people roughly.

25 If you take the related disorders, such as

1 Addison's Disease, other allergies, asthma,
2 arthritis, attention deficit disorder, autism,
3 cancer, diabetes, epilepsy, irritable bowel
4 syndrome, lactose intolerance, mental disorders,
5 multiple sclerosis, osteoporosis, psoriasis,
6 scleria, sleep disorders, which affect another six
7 or seven million people, you're talking about a lot
8 of people who need label clarification.

9 That's basically the reason I want you to
10 understand it's not--somebody made mention of--it's
11 not about celiac disease. It's not about celiac
12 disease. It's about people who have to know what's
13 in the foods they eat. It's a lot of people.

14 And as you do know, or you probably
15 already know, celiac disease requires a strict
16 adherence to 100 percent gluten-free diet for life.
17 That includes trace amounts, and we can't have it.
18 So I did want to show you a picture of what
19 celiac--I've taken this out of a medical book.
20 It's actually Fishbind's, and I wanted to show you
21 a picture of what it looks like, when you don't
22 adhere to 100 percent gluten free diet.

23 That comes about because the villi, which
24 is the way you normally ingest food are stunted,
25 they're cut off, and then what happens is, you

1 know, you can't get food. You start malabsorbing.
2 You can't get nutrition to yourselves so I mean
3 it's not minor.

4 The other part, I guess, of that is that
5 there's many, many places of hidden sources of
6 gluten that are found in ingredients of processed
7 foods, and I can show you, you know, these Lays
8 potato chips are totally gluten free. These Lays
9 potato chips are not gluten free. And without
10 calling the manufacturer, you don't know that.

11 Another type of example I brought you is
12 this is a package from Europe, and this is what it
13 looks like when they say it's gluten free. They
14 actually have this little wheat symbol with like a
15 no smoking slash through it, and they have that
16 sign one, two, three places on the package, plus in
17 five languages it's written gluten free, and it's
18 written one location, two locations, on the top. I
19 mean so there are six locations on a label that our
20 American manufacturers say there isn't enough room
21 on the package to put anything. So I will cut off
22 at that point. Thank you.

23 [Applause.]

24 DR. LEWIS: Thank you. Judith Schreiber
25 and then I believe Peter Skinner following.

1 MS. SCHREIBER: Hello. My name is Judy
2 Schreiber. I'm a senior public health scientist in
3 the New York State Office of the Attorney General
4 Elliott Spitzer. And I am here today to offer our
5 comments on the important public health issues of
6 labeling food products containing allergens.

7 As one of nine states' attorneys general,
8 our office submitted a petition to the FDA to amend
9 its regulations on food labeling and manufacturing
10 practices to better protect consumers from exposure
11 to potentially life threatening food allergens. We
12 are grateful that the FDA is taking consumers'
13 concerns seriously and has made strides to address
14 these important public health issues.

15 In the May 2000 petition, the attorneys
16 general asked that the FDA: (1) require food
17 manufacturers to label products with actual or
18 possible presence of allergenic substances in
19 foods; (2) require food manufacturers to provide a
20 toll free number to enable consumers to contact
21 knowledgeable customer service representatives
22 about the ingredients contained in the foods; (3)
23 to require manufacturers, food manufacturers, to
24 declare natural and incidental additives derived
25 from the big eight allergens; and, finally, to

1 require food manufacturers to adopt good
2 manufacturing practices aimed at preventing
3 cross-contact with allergenic substances.

4 Regarding some of the questions that you
5 posed for this hearing, we do believe that
6 mandatory language is the only way to assure that
7 the label contains the necessary information upon
8 which the consumer can make an educated choice
9 about the safety of the food for their family's
10 circumstances.

11 Two, we do recommend that the labeling and
12 good manufacturing processes be exercised--the FDA
13 should exercise its authority and adopt the
14 recommendations in the attorneys' general petition.
15 The New York State Attorney General is considering
16 future steps if the FDA neglects this important
17 public health role.

18 Finally, we agree with the FDA that the
19 declaration of allergenic ingredients and
20 incidental additives in flavoring, spices and
21 colors is necessary for consumer protection. The
22 petition of the attorneys' generals recommends
23 amending certain parts of the regulation, and we
24 will be submitting written comments elaborating on
25 where we feel these changes could be made.

1 We strongly urge the FDA to codify its
2 policy, to specifically state that incidental
3 additives that are food allergens are not exempt
4 from labeling and must be declared in the
5 ingredient statement on the label.

6 We also urge the FDA to require mandatory
7 labeling to appear prominently and conspicuously on
8 the information panel so that consumers can readily
9 identify where that information is located.

10 A speaker earlier today said that the FDA
11 product recall program for allergenic contamination
12 demonstrates that the system is working. I would
13 say that that same example shows, in fact, that the
14 system is broken and that it must be fixed. Having
15 to recall products or having to have children and
16 adults go to emergency rooms for care is not a
17 preventative public health measure, and I urge that
18 the system being broken, let's fix it. Let's give
19 consumers the life line they need by having
20 adequate labeling on food products. Thank you.

21 [Applause.]

22 DR. LEWIS: Thank you. Is Peter Skinner
23 with us today?

24 MS. SCHREIBER: No. He was unable to make
25 it. His wife went into the hospital.

1 DR. LEWIS: Thank you. And our next
2 scheduled speaker is Catherine Tretheway.
3 Following Catherine Tretheway will be Javier
4 Trujillo Arriaga.

5 MS. TRETHERWAY: Hello. My name is
6 Catherine Tretheway. I am an attorney and I
7 assisted the New York State Attorney General in the
8 preparation of the petition which has been the
9 subject of today's discussion. More importantly, I
10 am the mother of a five year old daughter who has
11 a life threatening allergy to peanuts.

12 I am also an active member of a support
13 group for families who are dealing with peanut and
14 nut allergies. I asked to speak today because I
15 think it is important that the FDA know the source
16 of this petition. This is truly a document
17 prepared by consumers for consumers. In drafting
18 the petition, I not only drew from my own
19 experiences as the parent of a food allergic child,
20 but also from the experiences of the many parents
21 with whom I have talked or corresponded with during
22 the recent years that I have started my work on
23 food allergy issues. Many of those parents are in
24 the audience today.

25 The petition is not a wish list for food

1 allergic consumers. Rather it represents what
2 consumers truly need to protect themselves and
3 their loved ones from unintended consumption of
4 food allergens.

5 We need better manufacturing practices to
6 avoid cross-contamination. We need accurate
7 labels. We need clear and easy to read labels. We
8 need phone numbers on the labels so we can contact
9 manufacturers with our questions. Above all, we
10 need consistency in labeling and manufacturing
11 practices so that my mother-in-law, my child's
12 babysitter, and others, and especially my own
13 daughter, can look to one spot on a food label and
14 readily and quickly ascertain whether a food is
15 safe.

16 As the parent of a food allergic child, I
17 appreciate the efforts of the Food allergy Issues
18 Alliance in issuing guidelines for better good
19 manufacturing practices and labeling. However,
20 even after all our discussion today, I can only
21 conclude that consistency in labeling can only be
22 achieved through regulatory reform. I urge the FDA
23 to adopt the proposals set forth in the attorneys'
24 general petition. Thank you very much for your
25 time.

1 [Applause.]

2 DR. LEWIS: Thank you. Next Javier
3 Trujillo Arriaga, if that person is present. If
4 not, Claudette McIntyre. Neither Claudette
5 McIntyre or Javier Trujillo Arriaga. Then the next
6 is Ron Barenburg.

7 MR. BARENBURG: Thank you. My name is Ron
8 Barenburg. I'm from a company named Lynx Street.
9 We're involved in bar code symbology. There's a
10 new bar code called reduced space symbology and
11 composite symbology that allows more information to
12 be put into less space.

13 Now, next one, please. I'm sorry. That's
14 the wrong one. I'll go from here. Just forget it.
15 How reduced symbology and composite symbology,
16 which are globally recognized as bar code
17 standards, can alert consumers to allergies in food
18 products. Today manufacturers use what's called a
19 UPCA code. That's the bar code sticker that's on
20 every product. The upgraded version of it approved
21 by the Uniform Code Council is also a UPCA bar
22 code, but what's different about it, it is a
23 portable database in that it can contain a lot more
24 information.

25 Right now it's available today. It can be

1 implemented by manufacturers phasing into RSS-UPCA
2 bar codes. Retailers can upgrade or change their
3 scanners on their normal scanner cycle. Today's
4 UPCA bar code contains only the manufacture number
5 and the product ID number. With the allergen
6 warning using RSSCS-UPCA bar code, the following
7 can contain this information. This is what today's
8 bar code looks like. That's what's on every
9 product that comes to market.

10 With using and phasing into as an adjunct
11 to the warning level in human readables, scanned
12 with the same UPCA code, it can say warning:
13 contains eggs. If you wanted to put a warning:
14 contains eggs and best used by date, it would be a
15 similar label. It would just be a little larger.
16 All these can be put into the same space that a
17 normal UPCA code can use today.

18 The benefits to the retailer. Besides the
19 obvious concern for their customer's health, it
20 would provide evidence that the consumer was given
21 documented warnings with receipt of their purchase.
22 Retailers will eventually upgrade anyway to support
23 produce at variable weights and measures converting
24 to RSS-CS.

25 The benefits to the consumer are obvious.

1 Besides having the "may contain" warning in human
2 readables, the scan bar code would print it out on
3 the receipt alerting the consumer to the allergen
4 warning, and if the consumer has a question, a
5 checkout clerk would scan the product and verbally
6 advise about any allergen danger before purchase.

7 In conclusion, RSS bar codes can provide
8 more information in less space, not only for
9 allergen warnings, but for best used by dates,
10 contraindications for other foods or drugs, and by
11 providing batch and lot numbers, trace contaminated
12 foods more quickly.

13 I was drawn to this when I went to do some
14 research on this and realized when I picked up with
15 my 57 year old eyes a label and tried to read it,
16 and I didn't have my reading glasses, how nice it
17 would be just to be able to scan it at the register
18 and see it in big letters, and I think it would
19 help consumers tremendously. Thank you.

20 [Applause.]

21 DR. LEWIS: Thank you. Next, Joanie
22 Janicki. And following Joanie Janicki would be
23 Cliff Blaker.

24 MR. JANICKI: That's a bit of a
25 discrepancy here. J. Janicki.

1 DR. LEWIS: J. Janicki. Our apologies.

2 MR. JANICKI: Regulation 21 CFR 1001.4(a)
3 deals with food designation of ingredients, and the
4 problem here is that the criteria are fairly vague.
5 The regulations above, the FDA states that natural
6 flavors and/or artificial flavors may be listed in
7 a vague manner. As an example of this would be the
8 problem that most commercial products contain
9 certain ingredients such as modified food starch
10 and/or natural flavorings. It is not possible to
11 tell by this type of labeling what ingredients the
12 product actually contains such as corn, potato or
13 wheat which are common allergens.

14 This type of labeling can have serious
15 ramifications to individuals with food allergies
16 and celiac disease. I along with many other
17 individuals who have food allergies urge the FDA to
18 require manufacturers to list all the ingredients
19 including trace amounts.

20 As a first step, my recommendation would
21 be to simply add a line after the words "modified
22 food starch natural." Instead of modified food
23 starch or garlic oil rather than spices. In
24 Europe, they specify yes or no gluten and have a
25 sign in front of the package similar to that of a

1 has one place to go to look for the information on
2 the product label.

3 Now, there is one area where I think my
4 experience as a businessman makes me an expert and
5 that's the talk about voluntary compliance rather
6 than mandatory compliance.

7 Now, I think the voluntary efforts that
8 have been spoken about today should be applauded,
9 but they cannot really eliminate potential
10 problems. Some companies will be more proactive
11 than others, but there will always be companies
12 that will drag their feet and not comply.

13 It's the nature of industry to resist
14 regulation and to minimize costs. What we have
15 here is a balance between cost and public health
16 and it's the FDA's mandate to decide in favor of
17 public health.

18 When statements were made about advisory
19 labeling with the "may contain" wording, I think
20 that what was identified as unavoidable
21 cross-contamination in many cases really means
22 contamination that's too difficult to avoid or too
23 expensive to eliminate. Again, I think it's the
24 FDA that has the responsibility to protect the
25 public and not leave these critical decisions up to

1 individual companies to make, and I urge the FDA to
2 keep that in mind.

3 Thank you.

4 [Applause.]

5 DR. LEWIS: Thank you. Next Jorge
6 Hernandez Baez, followed by Gustavo Trevino. Mr.
7 Baez, Mr. Trevino? Martin Shunemann. Anne Bailey.
8 Mary Thorpe. Mary Thorpe will be followed by Anne
9 Clarke.

10 MS. THORPE: My name is Mary Thorpe. I'm
11 currently working at the Center for Celiac Research
12 at the University of Maryland Baltimore, and I'm
13 also representing myself as a person who is
14 attempting to follow a gluten-free diet and thus a
15 wheat free diet.

16 And as such, I can speak for other celiacs
17 around the country. I would just like to focus on
18 some of my frustrations in following food labels
19 that haven't been touched on very well. Gayle
20 Rubin mentioned secondary sources, and reading
21 labels myself I notice that some cans of tomato
22 paste list wheat flour as an ingredient. Don't ask
23 me why it has to be there, but it is.

24 And then when I look at a jar of spaghetti
25 sauce of barbecue sauce, it has tomato paste as an

1 ingredient, I'm left to wonder does that tomato
2 paste have wheat flour in it or not. Sometimes you
3 might see a parentheses that tells the ingredients
4 in that secondary ingredient, but usually you do
5 not. And that's something I haven't heard touched
6 on very much.

7 So this indicates that some manufacturers
8 are voluntarily doing this, but others are not.
9 The same thing goes for soy sauce. Again, soy
10 sauce has wheat in it most of the time. When soy
11 sauce is a secondary ingredient, you don't know.
12 So we have to avoid these things unless the
13 labeling were there.

14 And I think that's what we'd like to ask
15 for today is that the labeling be there so that we
16 know and can make the choices for these sources of
17 things. There are many products. Somebody just
18 mentioned modified food starch. This is a question
19 mark. You don't know the source of the food
20 starch.

21 Some manufacturers are voluntarily saying
22 modified corn starch so we can make an informed
23 choice, but we'd like to see everybody doing that.
24 Or say modified wheat starch if that's what it is.
25 But just let us know.

1 There are other products like citric acid,
2 MSG, stabilizers, monodiglycerides, dextrans, that
3 can be made from different sources--corn, sugar or
4 wheat--and we would like to know the source for
5 those properties. All you have to do is put it in
6 parentheses--(from wheat)--for each one. It
7 wouldn't take up much space.

8 Alcohol and vinegar are controversial, but
9 they may have wheat origins. There should not be
10 protein products in those substances, but there
11 might be. Some people are explicitly sensitive.
12 We don't know the threshold of tolerance and so not
13 knowing, we'd rather err on the side of safety.
14 And just let us know what the source is so we can
15 make our informed choice.

16 So whether it comes down to voluntary
17 compliance by manufacturers or FDA codification, I
18 would agree with many who would say that the
19 manufacturers are trying, but we're still not there
20 yet. It's been excruciatingly slow, and we'd like
21 to see whatever is needed to speed up the process.
22 We would hope the regulations wouldn't slow it
23 down, but we just want it to be done. Thank you.

24 [Applause.]

25 DR. LEWIS: Thank you. Next is Anne

1 Clark, to be followed by Esah Yip.

2 MS. CLARK: Good afternoon. My name is
3 Anne Clark. The FDA has made the presence of
4 allergens in food high priority. This is a good
5 thing. Labeling food that contains peanut or tree
6 nut allergens is a very good thing.

7 Labeling food that has or may have been
8 handled with natural rubber latex gloves is not an
9 acceptable solution or labeling as contains or may
10 contain the incidental food additive allergen NRL.
11 Currently natural rubber latex, or NRL, is approved
12 by the FDA as an indirect food additive in light of
13 the over 500 NRL lawsuits working their way through
14 the American justice system concerning wrongful
15 death, product liability, workmen compensation, and
16 American with Disabilities accommodation.
17 Manufacturers of NRL gloves have already begun the
18 labeling process.

19 Standard wording reads something like this
20 one:

21 In the unlikely event of an allergic
22 reaction to these latex gloves, discontinue use and
23 consult your health care provider. Caution: this
24 product contains natural rubber latex which may
25 cause allergic reaction. Great for food handling.

1 It says that on there. This product meets the U.S.
2 Department of Agriculture specifications for food
3 handling.

4 Now, I have seen and submitted to the USDA
5 advertisements for NRL gloves which claim to be
6 USDA approved and USDA accepted. I've been told by
7 the USDA that they do not approve products. There
8 is no such thing.

9 These gloves which I purchased contain a
10 warning, a label, that really disturbed me. Some
11 individuals may experience an allergic reaction to
12 natural rubber latex products. Discontinue use if
13 any reddening, burning or irritation is
14 experienced. This manufacturing company will not
15 be liable to individuals who experience allergic
16 reactions to natural rubber latex.

17 Now, this manufacturer understands that
18 their product can harm. Those of us who have
19 experienced or witnessed someone having an allergic
20 reaction to food handled by latex gloves understand
21 these gloves can harm. There are safe, affordable
22 alternatives. The allergens that are transferred
23 on to food do not add to the nutritional value,
24 preservation or flavor enhancement of the food.

25 This is important. We know of no way with

1 current scientific knowledge to determine a protein
2 threshold level that would be safe for all users
3 and would not trigger any allergic reaction to NRL.

4 Furthermore, the current FDA food code
5 alerts the food service industry of the potential
6 for serious adverse reactions from latex to latex
7 sensitive individuals. Gloves made of NRL must be
8 declared an unsuitable utensil for food handling.
9 NRL approval must be amended so that gloves made of
10 NRL are not an approved indirect food additive.
11 Labeling in this instance is not the solution.
12 Thank you.

13 DR. LEWIS: Esah Yip or Anita Klein?
14 Next, Carol Roberts. Carol Roberts is here.

15 MS. ROBERTS: My name is Carol Roberts.
16 I'm a 62 year old grandmother who has had many
17 allergies to deal with most of my life underlined
18 by celiac, but I'm not going to speak to that today
19 at all. I'll send some information in. I would
20 like to make a very simple suggestion and as I read
21 through all three areas, and as I know that I've
22 experienced just about every single one of the
23 things that have come up in one way or another, I
24 used to teach school, elementary school, and I used
25 to be an elementary school principal, and I've

1 worked in the corporate world and done diversity
2 training and done many things.

3 But what I thought about is we have a lot
4 of people in this country who don't speak English.
5 We have a lot of people in this country who don't
6 know how to read. We have a lot of people in this
7 country who don't have any knowledge whatsoever
8 about what hydrolyzed protein or caseinate or any
9 of these things are.

10 And I proposed a question to one of the
11 panelists before who is not here about the
12 integrity of whether or not if a person is
13 allergic, say, to eggs, will they be allergic or
14 sensitive to any byproduct from eggs? The answer
15 was yes. And so, therefore, why do we need any
16 other words except "eggs" on a label in terms of
17 food being contained?

18 What I did was take a little bit of time
19 and I used pictorial chart. And this is just an
20 idea and a suggestion of taking the different food
21 allergens of the eight allergies and I took and did
22 a diagram of each one of them that's understandable
23 by children. In the next column, I put the
24 contains fish or seafood, listing the types,
25 contains wheat or byproducts in words, and listing

1 all of the different names.

2 The symbols can have checks through them,
3 next to them, into them, whatever would make the
4 most sense and be least confusing to those who
5 looked at a label. So each one of these things in
6 terms of the terminologies that have been developed
7 by each of the groups that are working on this
8 could be incorporated into a glossary, put into a
9 simple pamphlet.

10 These charts could be done in such a way
11 that you have them in a very organized simple way.
12 They could be laminated, put into posters, put into
13 grocery stores, hospitals, nursing homes, anywhere
14 where anyone is affected by these kinds of things
15 in terms of it.

16 In terms of signaling where they go, using
17 caution, yellow label--everyone knows yellow is
18 caution--put it there right on the label right
19 there and put the words in there with a little
20 picture that says what it is, or use a stop sign,
21 which is also a universal safety sign which
22 children understand, so that if anyone just picks
23 up that product, they know that they need to go and
24 look at information on that label.

25 And so I would just suggest a very simple

1 adage like this to be able to simplify it down.
2 You've got eight allergens. Use those eight words.
3 We really don't need all the rest of them.
4 Manufacturers could help by leaving a lot of
5 products out of--a lot of these additives and so on
6 out of their products in the first place and let's
7 get back to basics and good nutritional food.

8 [Applause.]

9 DR. LEWIS: Thank you. Next, Rebecca
10 Dugal, and following Rebecca Dugal, Anne Whelan.
11 Rebecca Dugal. I take it this is Rebecca Dugal.

12 MS. DUGAL: This is Rebecca Dugal. I just
13 wanted to say a few words while she's getting set
14 up. We have some slides.

15 DR. LEWIS: Please continue.

16 MS. DUGAL: I wanted to thank the FDA for
17 hosting this panel. I think it's wonderful that
18 we're kind of moving along with food labeling and
19 to all of the panel participants. I also wanted to
20 thank my daughter for urging us to come and for not
21 letting up on me in terms of making sure we could
22 make the trip down here from New Jersey and help
23 her with her presentation. This is something
24 that's very important to her since she was about
25 four in terms of being able to read the labels and

1 be in control of her own situation.

2 When she used to go to play dates, even
3 where the people were trained to read the labels,
4 she would say can you read it to me so I can hear
5 it because there are some words I need to know,
6 that I know to look for. It's also important in
7 terms of not the people who are only food allergic
8 and their caretakers, but all of her friends who
9 want to be able to sit with her or provide her
10 snacks, and it's very difficult when they're trying
11 to make the effort to be nice and to be inclusive
12 to say, well, you don't really understand. There
13 are ten scientific terms you have to look for. So
14 don't bother, we'll take care of it.

15 And here's Becky.

16 MS. REBECCA DUGAL: Hi. I'm Rebecca
17 Dugal. I live in Allendale, New Jersey. I'm
18 entering fourth grade at nine and a half. I have
19 anaphylaxis to peanuts, peas and lentils. I'm also
20 allergic to wheat, eggs, apples and tomatoes. I
21 believe that people need to be able to read labels
22 very easily.

23 Living with food allergies and labels is
24 hard. It's difficult in general to go places and
25 do things where food is involved. Most people

1 can't read the labels for peanut allergens unless
2 my parents have trained them. All the different
3 names for peanuts make it confusing. Ingredient
4 labels are hard to read since they are small and
5 have many scientific names such as hydrolyzed
6 vegetable protein.

7 People for whom English is not a first
8 language and children have a particularly hard
9 time. Even if my parents read the labels, they are
10 never sure whether there will be
11 cross-contamination since only some manufacturers
12 use "may contain traces of."

13 Most adults I am with prefer just not to
14 give me food. I cannot eat at play dates because
15 of the labels. Snack times at school and camps are
16 very stressful since we can't trust the labels.
17 It's scary to try new food since we never know if
18 cross-contamination is involved.

19 Since the labels aren't clear, I don't eat
20 many foods I may be able to eat. Babysitters are
21 difficult to trust with reading labels. My peanut
22 allergic friends and I have reacted to seemingly
23 non-allergic foods where the labels have not been
24 clear cross-contamination exists.

25 Breads, candies, baked goods, other nuts

1 and snack foods are some of the foods I have just
2 described. As a result, I don't eat many
3 manufactured products in these categories since I
4 don't want to take the chance of having a reaction.

5 Food labels should be made readable.
6 Mistakes can have serious outcomes. There needs to
7 be a consistency in the use of "may contain" and
8 "manufactured in a facility containing."

9 Lettering should be large enough to read
10 easily. Highly allergic foods should be listed
11 separately and not included in flavorings, spices,
12 colorings.

13 Symbols should be used for the eight most
14 commonly allergic foods in addition to the actual
15 ingredients. Symbols would be easy to implement
16 and make labels more understandable. Foods would
17 be easily identified as containing one of the eight
18 allergens. Symbols would inform the consumer to
19 read the detailed ingredient list more carefully.

20 Non-English speakers and children would be
21 able to use the symbols easily. Training of
22 non-allergic adults would be simple and they would
23 have confidence in knowing whether a food is
24 dangerous. Standardized symbols would reduce the
25 risk of mistakes and horrible reactions.

1 Simple symbols will be the easiest to
2 recognize and take up less space than words. Here
3 is an example of the peanut symbol and wheat
4 symbol.

5 The next steps you should take: you should
6 aggressively pursue the use of standard symbols;
7 make font symbol size large enough to read easily;
8 agree on a standard use of "may contain" statements
9 always with the eight most commonly allergic foods
10 separately; educate the manufacturers and
11 consumers; and review labeling of cosmetics,
12 medicines and in restaurants, including food
13 service packages.

14 You can help make my allergies easier to
15 cope with. Thank you.

16 [Applause.]

17 DR. LEWIS: Thank you very much, Rebecca,
18 and to her mom as well. Next is Anne Whelan, who
19 would be followed by Colleen Parr, if she's here.
20 If not, Leila Leoncavallo.

21 MS. WHELAN: Good afternoon. My name is
22 Anne Whelan and for the last six years I have
23 published Gluten-Free Living, a national newsletter
24 for people who like myself are gluten sensitive.

25 Without going into the details of the

1 disease, as you know, it has a cure, the gluten
2 free diet, using cure in the sense of remission as
3 long as there is no gluten in the diet. There is
4 no true for celiac disease in the sense of a
5 complete resolution. Once a celiac, always a
6 celiac.

7 At diagnosis, we are advised to follow a
8 life long gluten-free diet. Gluten is found in
9 wheat. In fact, wheat is probably the main source
10 of gluten for American celiacs. It's also found in
11 rye, barley and derivatives of these grains. Wheat
12 is far and away the most problematic because wheat
13 seems to be everywhere in this country and to be
14 found in the vast majority of American foods.

15 For the record, barley, too, can be
16 difficult. Rye is relatively easy to avoid. We
17 have to become proficient at reading labels and as
18 we've all learned this morning, that is not easy.
19 The best case example where wheat is concerned is
20 modified food starch. We would like to see
21 modified wheat starch, modified corn starch.

22 In this area, another issue that comes up
23 for celiacs is spelt. Spelt, kamut, and triticale,
24 or triticale, are three less common grains that
25 celiacs have to avoid. Only spelt is problematic.

1 In plain language, spelt is a form of wheat. It
2 may not bother some of those who are allergic to
3 wheat, but it does bother those who are sensitive
4 to wheat.

5 Some food processors now label foods that
6 contain spelt as wheat free, and sometimes even as
7 gluten free. Neither term is correct and it should
8 not be permitted as it is misleading and dangerous.
9 It relates here because of the inclusion of wheat
10 on the list of allergens, and the understanding,
11 which may not be universal, that spelt does not
12 have the allergenic potential that wheat does. So
13 spelt should be labeled as spelt, and a product is
14 not wheat free or gluten free if it contains spelt.

15 I think specific source or plain English
16 terms should be mandatory, not voluntary, for all
17 the good reasons that we've heard this morning.

18 The phrases "made on shared equipment" or
19 "made in a dedicated facility" are a little
20 different. To a celiac, "dedicated" would mean
21 gluten containing products are not processed in the
22 same facility. And "made on shared" would mean
23 shared referring to gluten-containing products. To
24 a certain extent, those are helpful to us. They
25 don't tell us about gluten content, but they do.

1 tell us about contamination, and celiacs are
2 particularly interested in contamination because
3 the effects of gluten can be cumulative.

4 Helpful those these phrases may be, they
5 should not substitute for disclosure. Celiacs have
6 long hoped for source declarations of the contents
7 of flavoring, spice or color. They're three of the
8 main ingredients that make us uneasy. I think
9 there should be a generally applicable policy in
10 this area. I know with flavorings that the problem
11 of trade secrets is sticky. Processors should be
12 able to keep their secrets secret. That's what
13 celiacs hear when they call a food processor to
14 find out what's in it.

15 At the same time, we should be able to
16 know what's in our foods and to feel comfortable
17 about the foods that we eat.

18 In conclusion, the celiac community is
19 thankful for the opportunity to speak here and
20 looking forward to working with the FDA and with
21 the other groups on better labeling for people who
22 are celiac. I would like to thank the FDA, the
23 CPSI, and particularly the FAAN for all they have
24 done in the wheat area which helps us enormously.
25 Thank you very much.

1 [Applause.]

2 DR. LEWIS: Thank you. I believe Colleen
3 Parr is no longer with us and will not be speaking.
4 You are here? Is this Colleen Parr?

5 MS. LEONCAVALLO: No, I'm Leila
6 Leoncavallo.

7 DR. LEWIS: You're Leila Leoncavallo.

8 MS. LEONCAVALLO: Leila Leoncavallo.

9 Before I begin, I just want to disclose that I am a
10 former employee of CSPI, but today I'm here solely
11 representing myself and my daughter who is three
12 years old and suffers severe allergies to both
13 peanuts and shellfish.

14 I would just like to focus my remarks on
15 the issue of precautionary labeling. And I
16 certainly support good manufacturing practices to
17 clean up the factories as much as possible to
18 ensure that allergens are eliminated or reduced,
19 but at the same time I think that it is still
20 imperative that labeling occur even in instances
21 where the factory is cleaned, because I want to
22 make those decisions for my daughter.

23 We just heard Ms. Katic state earlier that
24 cleaning will not succeed in removing all
25 allergens. We've also seen numerous instances of

1 food recalls where presumably large companies or
2 companies that presumably would be cleaning up the
3 allergens from their machines shouldn't be having
4 to recall their foods after the contamination takes
5 place.

6 For example, Kellogg's--this is a company
7 that does not label foods on shared equipment and
8 presumably employs good manufacturing
9 practices--they had two major recalls in the past
10 year. And I don't want my daughter to be a guinea
11 pig to find out when food is safe and when it's not
12 safe. I want to see the label in place in addition
13 to the good manufacturing practices by all these
14 companies.

15 I know a lot of people have expressed
16 concerns with the issue of reducing food choices.
17 My daughter's food choices are already reduced. I
18 have to call all of these companies to find out
19 whether this is on dedicated equipment or not. Her
20 choices are reduced. I just want her to have safe
21 food choices. I don't think that having voluntary
22 guidelines is going to make a bit of difference.
23 It has to be regulated.

24 The label must be readable and it must be
25 reliable. As many people have mentioned today,

1 children are having to read the label, caretakers
2 are having to read the label, family members are
3 having to read the label, and it's impossible to
4 fully educate people as to what terms to look for
5 or where to look for on the label unless it is
6 regulated and reliable and easy to read.

7 Labeling must be mandatory or the
8 situation will simply be no different than the
9 status quo. We will still need to call companies
10 to get this information. This method is not only
11 time consuming and frustrating, but it's often
12 unreliable, and I just want to share a couple of
13 examples from my experiences in talking to
14 companies, and these are not just small companies.
15 These are major companies that you think would know
16 better.

17 I have a letter from April 2001 from
18 Quaker responding to whether or not their Quaker
19 Life cereal is safe for my daughter to consume,
20 whether it's made on dedicated lines, and they say,
21 and I quote: "Although we would like to help you
22 find products that meet your special dietary needs,
23 we are not able to provide the information you
24 requested. Unfortunately, the federal labeling
25 laws are not designed to indicate the presence of

1 peanuts."

2 Then I have this. These are notes from my
3 conversation with Kellogg's when I inquired about
4 their labeling policies. They say for their
5 cereals, you must look under the "best before date"
6 for these letters, B, L or S. If it says K, it's
7 not a nut-free plant.

8 Their regular and Double Chocolate Rice
9 Krispie Treats are on dedicated lines but not in
10 nut free plants. If I could just continue.
11 Scotchie and Caramel Rice Krispie Treats are on the
12 same line as peanut butter. Pop Tarts could be
13 made on the same line. Nutragrain Bars and Twists
14 are made in a nut-free plant. Nutragrain Squares
15 are on dedicated lines, but not in nut-free plant.
16 Pancakes are in a nut-free plant, but waffles are
17 not in a nut-free plant, and you must call every
18 six weeks to verify.

19 [Laughter.]

20 MS. LEONCAVALLO: Given this, I just don't
21 know how we can rely upon any type of voluntary
22 system, and I think if we have voluntary guidelines
23 in place, great, you know, that's one step forward,
24 but I'm still going to have to call the companies,
25 and I'm still going to get the same responses.

1 Thank you.

2 [Applause.]

3 DR. LEWIS: Thank you. The next on our
4 list is Andrew Finkestein. And following Andrew
5 Finkestein would be Daniel DuBravec.

6 Are either of those prepared to present?
7 Is this Daniel DuBravec?

8 MR. DuBRAVEC: Dan DuBravec.

9 DR. LEWIS: Please go ahead. Following
10 Daniel DuBravec will be Lise Borel.

11 MR. DuBRAVEC: Hi. I'm Dan DuBravec.
12 I've been a chairperson for the CSA USA, the Celiac
13 Sprue Association, for about six years in Boston
14 and now in Northern Virginia.

15 And again I appreciate you forming this
16 panel and allowing us to speak and I think it's
17 also great that there are so many people, you know,
18 representing the Celiac Sprue and bringing up our
19 cause. I've been taking notes kind of through the
20 session here, and these are some of the statements
21 I've heard today:

22 "Is looking into," "starting baseline
23 surveys," "suggesting guidelines," "encouraging
24 members to declare," "devoting energy to," "needs
25 to look into further," "contemplating issue,"

1 "looking at practices," "area that needs
2 attention," "struggling with for decades."

3 My main point I want to get across is that
4 I think the time is now for the mandatory food
5 labeling. I think the opportunity for voluntary
6 labeling has been there and there has been some
7 effort, but as you've heard from so many people,
8 it's just not there. And I think the time to act
9 for is now.

10 And as a chairperson, I speak to people
11 all the time. I've spoken to hundreds that are on
12 the wheat-free/gluten-free diet, and, you know,
13 they're always asking me what I can eat, and we try
14 to, you know, we contact the manufacturers and we
15 also get information from them. You know it's
16 information that they often do have and one area
17 and concerning cross-contamination, and I'm not
18 sure was addressed, but it probably included, was
19 the part concerning packaging.

20 You know we thoroughly look at labels, and
21 we go in the frozen food department and we pick up
22 a package of frozen broccoli, let's say. Now, it
23 could say it contains broccoli, right, and water.
24 You would never assume or even think that in
25 packaging that it may be dusted with flour, but

1 that does happen, and in terms of--I mean I have a
2 sheet right here telling me that. You know I came
3 in. I was just so shocked, and I had been with CSA
4 for a long time, and this was even surprising to me
5 that, you know, just buying frozen vegetables that
6 I have to be cautious about that.

7 So I, you know, mandatory labeling,
8 please. And, you know, "may contain," even though
9 it may eliminate many products that may or may not
10 contain it is very helpful for people who are on
11 such a sensitive gluten-free diet. Thank you.

12 [Applause.]

13 DR. LEWIS: Thank you. Next would be Lise
14 Borel. And if Lise Borel is not here, I understand
15 Wendy Reinhardt will be substituting for Dave
16 Schmidt.

17 MS. REINHARDT: Hi. My name is Wendy
18 Reinhardt. I'm with the International Food
19 Information Council Foundation, and just a quick
20 note, as we consider many ways to try to make food
21 allergy more understandable for consumers. The
22 International Food Information Council would like
23 to make everyone aware of our foundation resources
24 which are informational resources on food allergy.
25 Particularly outside you can get a copy of our

1 latest IFIC Review: Understanding Food Allergy,
2 which is a reference white paper for opinion
3 leaders.

4 And you can also get a copy of our
5 consumer brochure, Understanding Food Allergy,
6 outside. These resources as well as many other
7 resources related to food allergy are available
8 outside and then also on our website, ific.org.
9 Thanks.

10 [Applause.]

11 DR. LEWIS: Thank you. Next on our list
12 is Jerry Shier. And following Jerry Shier would be
13 Kimberly Scott.

14 DR. SHIER: Good afternoon. I'm Jerry
15 Shier. I'm a board certified allergist
16 immunologist in private practice in Rockville and
17 Silver Spring, Maryland, as well as an Assistant
18 Clinical Professor at George Washington University
19 School of Medicine.

20 Today, I'm representing the American
21 Academy of Allergy, Asthma and Immunology. This is
22 the largest academic organization in the United
23 States representing physicians who care for
24 individuals with allergic diseases. Food allergy
25 is of great concern to the Academy, so much so

1 there's a special academic section within the
2 organization to further its members' education,
3 monitor research, and create treatment guidelines.

4 There are approximately six to seven
5 million Americans with true food allergy, with
6 children being the largest group. Food allergy is
7 the leading cause of anaphylaxis outside the
8 hospital. Anaphylaxis is a full-bodied allergic
9 reaction that can occur in minutes. Symptoms
10 including hives, welts on the skin, asthma like
11 symptoms, gastrointestinal symptoms, cramping,
12 diarrhea, bloody stools, swelling of the lips, eyes
13 and tongue.

14 An estimated 200 deaths occur each year
15 from anaphylaxis from foods. There are
16 approximately 30,000 emergency room visits from
17 food allergic reactions. In my practice, I hear
18 about food allergic reactions on a daily basis.
19 Since there is no cure, the physician's goal is to
20 teach the patient how to recognize and manage an
21 allergic reaction.

22 But more important is preaching strict
23 food avoidance. Part of the avoidance is vigilant
24 label reading. The subject of label reading is why
25 we're all here today. I have no other treatment

1 recommendations other than avoidance. In the case
2 of asthma, another common allergic disease, I can
3 preach avoidance of airborne allergens, but I also
4 have numerous medicines to prevent the symptoms,
5 medicines to treat active symptoms, and a method
6 to desensitize patients to allergens that
7 precipitate their symptoms.

8 It's clear from this comparison to other
9 allergic diseases that the consumer's ability to
10 identify food allergens is their only treatment
11 because the use of medicine is not the treatment of
12 food allergy, it is the treatment of either an
13 accidental or unknowing exposure that led to an
14 acute, potentially life threatening allergic
15 reaction.

16 Our goal should be to prevent this from
17 occurring. The American Academy of Allergy,
18 Asthma, Immunology is in full support of the easy
19 identification of the most common food allergens on
20 all labels. These include milk, egg, wheat, soy,
21 tree nuts, peanuts, fish and shellfish.

22 What do I mean by easy identification?
23 Let consumers know that these allergens are in the
24 products they are purchasing by using the real name
25 of the food: milk, egg or wheat, not casein,

1 ovalbumin or farina. This should not be Russian
2 Roulette. The FDA must make it mandatory that
3 major food allergens appear on labels if they are
4 used as an ingredient in a way that our food
5 allergic patients can easily identify the allergen.

6 What does "ingredient exempted from
7 declaration" mean? If the food allergen is in a
8 processed food, what's labeled as such. Major
9 allergens are found in ingredients labeled as
10 flavoring or spices or colors. Low levels of
11 allergens can be responsible for an allergic
12 reaction which sometimes can be life threatening.

13 Please just let me finish. The FDA needs
14 to require food manufacturers to place the names of
15 major food allergens on labels regardless of the
16 amount.

17 Finally, I applaud the companies that have
18 voluntarily instituted labeling that a major food
19 allergen may be present, even though it's not a
20 known ingredient. This is usually due to the use
21 of shared equipment to produce a food that does and
22 one that does not have a food allergen.

23 Unfortunately, there are companies now
24 that have been begun labeling foods as having major
25 allergens, purely on a liability basis, versus a

1 true risk. In addition, there is no uniformity on
2 how the information is presented.

3 The American Academy looks to the FDA to
4 create standards for the food industry's proper use
5 of these statements and consistent verbiage of
6 these statements so that we can educate our
7 patients.

8 In conclusion, although I sit here today
9 representing a large body of health care providers,
10 I can tell you first hand that labeling systems
11 need fixing, and that there are many Americans,
12 especially parents of children, that have a fear
13 that is indescribable, a fear of food that can be
14 paralyzing.

15 The solution appears simple. If the major
16 food allergen is present, label it so we can all
17 understand it. If there is a real contamination,
18 then label it in a uniform way. The food allergic
19 individual is already restricted from eating
20 outside the home because it's so difficult to
21 identify all the ingredients and the potential for
22 cross-contamination in restaurant foods. So let's
23 make eating at home safe for those with potentially
24 life threatening conditions. Thank you.

25 [Applause.]

1 DR. LEWIS: Next is Kimberly Scott, to be
2 followed by Sarah Gitlin.

3 MS. SCOTT: Good afternoon. My name is
4 Kimberly Johnson Scott, and I appreciate this
5 opportunity to provide oral comments on allergen
6 related labeling issues. Today I am speaking both
7 as a mother of Sidney Scott, our energetic 21 month
8 old, and as the co-founder of the SOS Foundation.

9 On March 27 of this year, my husband and I
10 were shocked to learn that our daughter Sidney had
11 a life-threatening allergy to peanuts, tree nuts
12 and eggs. In a moment, the security we had
13 previously felt in selecting food for our family
14 evaporated into thin air.

15 The exact same labels upon which we had
16 previously relied and which as parents we found
17 reassuring suddenly took on a potentially life or
18 death prominence in our household. Questions
19 replaced confidence.

20 What does it mean when "contains peanuts"
21 isn't on the label, but "natural flavorings" is?
22 Did I read over those ingredients with 15 syllables
23 in it and did not recognize that it was an egg
24 protein because I didn't see the word "egg"? Why
25 would the label say "may contain nuts" when I read

1 the label ten times and I didn't see nuts anywhere?

2 While devoting time to reading food labels
3 clearly and deliberately is a small sacrifice to
4 make for those we care about, we need the FDA to
5 help empower parents by requiring clear and
6 comprehensive labeling of products. Through our
7 desire to help our daughter and other similarly
8 situated families, my husband Stuart and I have
9 co-founded the SOS Foundation, a not-for-profit
10 organization which has pledged financial, emotional
11 and practical support to those who struggle to meet
12 the challenges of living with a chronic condition.

13 In order to help improve the lives of
14 individuals with food allergies, SOS will serve as
15 an additional vehicle to-advocate for accurate food
16 labeling, practical food labeling legislation,
17 effective research and increased awareness. In the
18 coming year, the SOS Foundation will join forces
19 with organizations and individuals who have long
20 dedicated themselves to this effort. We hope to
21 work in partnership with the FDA in bringing
22 together industry, consumer, medical and scientific
23 groups for the purpose of better educating the food
24 industry and enhancing the level of public
25 awareness of the public health risk of incomplete,

1 inaccurate, inconsistent, and incomprehensible
2 labeling of food products.

3 I would like to briefly comment on the
4 specific matters under consideration at this
5 meeting. A condition such as anaphylaxis is
6 unpredictable enough without the added stress of
7 trying to decipher food labels. Plain English in
8 the labeling of food ingredients is critical to
9 empowering individuals to take control of their
10 condition, restoring a certain amount of
11 independence and equally as important is the power
12 and freedom it gives to friends and relatives of
13 non-food allergic individuals to make responsible
14 selection of food items to share with or entertain
15 their food allergic friends and relatives as will
16 responsible use of advisory labeling.

17 When a food company manufactures five
18 products without nuts but also manufactures one
19 with nuts, and places advisory labels on all six
20 products, this greatly reduces the already limited
21 choices of those who are searching for a list of
22 can haves in a world of cannot haves.

23 Finally, we must realize that listing the
24 major food allergens must not be limited to those
25 found in significant amounts, but also extend to

1 those found in trace amounts. This boils down to
2 an issue of trust. Can I trust what is on the food
3 label is actually what's in the product?

4 With that trust comes the opportunity to
5 regain the power to monitor and maintain one's
6 health to the best of their ability until the day a
7 cure is found. This is a chance to be free of the
8 agonizing choice of whether to risk an anaphylactic
9 reaction every time you take a bite.

10 With the FDA's help, we have before us the
11 opportunity to release those who suffer from this
12 condition from a food prison so that they may not
13 just eat to live, but that they may also eat and
14 live. I thank you for this opportunity.

15 [Applause.]

16 DR. LEWIS: Next will have Carol Schragar.
17 Sarah Gitlin, first. Sarah Gitlin is here.

18 MS. GITLIN: Good afternoon. My name is
19 Sarah Gitlin. I am ten years old. I am deathly
20 allergic to peanuts, tree nuts and fish. When I
21 learned to read, five years ago, in kindergarten, I
22 started with Dr. Seuss, Mother Goose, and
23 ingredients labels.

24 [Laughter.]

25 MS. GITLIN: I knew that Dr. Seuss and

1 Mother Goose wouldn't lie to me, but ingredients
2 labels, I couldn't be so sure. I have to be able
3 to trust these labels. For if I eat something I'm
4 allergic to, even a tiny trace of it, and couldn't
5 get proper treatment, I could die within minutes.

6 I try very hard only to eat what I know is
7 safe, not to risk it if the food might contain
8 anything I'm allergic to. But who would guess that
9 a common popcorn brand would use the words "natural
10 flavors" to mean peanuts? And who would guess that
11 the words "vegetable protein" and "plant protein"
12 would be food companies' code words for tree nuts.

13 These words as well as incomplete
14 ingredients labels are life threatening for food
15 allergic children. That is why I am here today to
16 urge the FDA to enact regulations that require
17 ingredients labels with an accurate list of every
18 ingredient in the product.

19 Some food companies already do this
20 voluntarily. And that's great. But I need 100
21 percent to do it. My life depends upon it. I'm
22 not asking you to tell food manufacturers to change
23 their recipes. I'm not asking you to tell them
24 what they can or can't add to their products. I'm
25 not asking you to tell them that they have to

1 change their manufacturing processes.

2 All I'm asking you to do is to make them
3 tell me what recipes they're using, tell me what
4 they already add to their products, tell me whether
5 they're manufacturing processes result in adding
6 even traces of the food I am so deathly allergic
7 to.

8 Reading ingredients is a large part of
9 food allergic children's lives. So large that the
10 Food Allergy and Anaphylaxis Network published this
11 story:

12 A mother was trying to teach her food
13 allergic child not to talk to strangers. So, she
14 asked her daughter, if a stranger in a car pulled
15 up and offered you a candy bar, what would you do?
16 Without missing a beat, the little girl responded I
17 would ask them to read me the ingredients.

18 [Laughter.]

19 MS. GITLIN: That girl knew what was
20 really dangerous. Because food allergies are so
21 dangerous, food allergic kids and their families
22 around the country urge you to protect our lives by
23 requiring ingredients labels to be complete,
24 accurate and in plain English so that we can really
25 know what's in the food we are eating. Thank you.

1 [Applause.]

2 DR. LEWIS: Thank you, Sarah. I now
3 believe it's Carol Schrager.

4 MS. SCHRAGER: Good afternoon. I'm
5 Sarah's Mom, and I'm a member of the Food Allergy
6 Initiative and the Food Allergy and Anaphylaxis
7 Network.

8 Raising a food allergic child without
9 complete and accurate ingredients labeling is like
10 walking through a mine field. No matter how
11 carefully you watch your step, you never know when
12 there will be an unexpected explosion. It's hard
13 enough to avoid the dangers that we know about, but
14 it's impossible to rest easy when we know that
15 there are dangers hidden in foods that Sarah might
16 eat, but we don't know which foods and we don't
17 know which dangers.

18 So we turn to you, the Food and Drug
19 Administration, the agency charged with protecting
20 American lives by regulating the practices of food
21 and drug manufacturers.

22 We need you to help us protect the lives
23 and health of the seven million Americans with food
24 allergies by enacting regulations that are
25 stunningly simple, the kind of regulations that

1 most Americans assume already exist, the kind of
2 regulations that most people are shocked to find
3 out do not already exist.

4 What we urge you to require is merely
5 this: that every packaged food has a label that
6 states in plain English every ingredient that is in
7 the product, both ingredients that are part of the
8 recipe, including spices and natural flavors, and
9 ingredients that are unavoidably present because of
10 cross-contamination with other foods.

11 These regulations would save lives with
12 virtually no downside for food manufacturers. Yes,
13 it may cost the manufacturers a little bit more to
14 assure that their labeling is accurate, but such
15 costs are trivial when balanced against the
16 precious irreplaceable lives of our children. And
17 remember, the number of Americans with food
18 allergies is growing exponentially. So
19 manufacturers actually have a lot to gain from
20 these regulations because the market for their
21 products will expand.

22 As food allergic consumers and their
23 families and friends who now will not buy products
24 that are said to contain, for example, natural
25 flavors will feel safe consuming them once they are

1 assured that the allergens are not present.

2 Under the regulations we support, food
3 manufacturers would not have to change a single
4 thing that they do. They could include any or all
5 allergens in any or all of their products. They
6 could process foods on shared equipment without
7 cleaning the production line in between runs. They
8 could do anything they want to do, anyway they want
9 to do it. All that we ask you to require them to
10 do is tell us what they're doing and tell us how
11 they're doing it, so that we can make intelligent
12 choices to protect our children's lives, so that we
13 can walk through a field with our children without
14 fear of an explosion.

15 Thank you very much for listening to our
16 concerns and to giving my daughter a close-up and
17 personal lesson in participatory democracy. We
18 appreciate it very much.

19 [Applause.]

20 DR. LEWIS: Thank you. Next we have
21 listed Barbara Solan. And following Barbara Solan,
22 we have Ben Wilson.

23 MS. SOLAN: Thank you for the opportunity
24 to speak. I don't usually do podiums. I think
25 democracy is a wonderful opportunity and to stand

1 here and say what I think that I've waited a long
2 time to say is very, very gratifying.

3 First of all, I'm the mother of an
4 asthmatic food anaphylactic daughter. I've been
5 reading labels for 11 years and I do that probably
6 about five times a day, 365 days a year, many, many
7 labels. Prior to becoming a professional label
8 reader, I was a nurse in the U.S. Public Health
9 Service, and I worked in the Indian Health Service
10 and at the National Institutes of Health, and I've
11 been involved in quality assurance.

12 Two of the things that I tried to bring
13 with me to this meeting are my common sense and my
14 creative problem solving. And as I listened today
15 and as I have read every article I have found on
16 the topic, I have some comments to make.

17 First of all, we need to do something
18 because the 2001 Healthy People statement says that
19 we're going to reduce food anaphylactic deaths in
20 the next decade so we need to address that issue.
21 It's out there. It's a goal. It's a national
22 priority.

23 Second of all, the FDA is a public health
24 agency and it is charged with protecting American
25 consumers by enforcing the FDCA and other related

1 public health laws. It's a charge.

2 The other thing that is very gratifying to
3 me is--and the first time that I have heard in all
4 my years--is that someone has finally declared food
5 allergens as a serious public health issue, and I
6 can't thank you enough for that.

7 I think it's important to look at the
8 demographics of what's going on in our society as
9 we address these issues and not just look at
10 today's issues. We've got a growing immigrant
11 population. We've got a growing allergy issue, and
12 I think we shouldn't just look at what's right on
13 the table, but where we're headed.

14 And to specifically the comments about the
15 questions you all asked. I favor plain labeling
16 with the eight allergens. I want it bolded. It's
17 like reading the newspaper, The Washington Post
18 with no headlines, and that's what we do all the
19 time. If you give us some headlines, it helps us.
20 It's very tedious. It's also not safe.

21 I think that certain font size should be
22 addressed, and I think we need to pay attention to
23 the contrast background and materials used in
24 products for labeling. Some things are pretty
25 difficult to read. I do not like asterisks. I

1 find them confusing. And I think they will only
2 muddle the issue.

3 I like the General Motors--General
4 Motors--I come from the Detroit area--General Mills
5 model that says food allergy consumer or allergy
6 information: contains nuts, soy. That helps me.
7 And I'll go very quickly on this. I think we need
8 to set priorities. There's a lot of issues about
9 food allergies. I've lived with them for 11 years.
10 Some are bigger than others. And we should grab
11 the big ones. We should think out of the box and
12 we should be creative in our problem solving.

13 Thank you.

14 [Applause.]

15 DR. LEWIS: Thank you. Next we have
16 listed Ben Wilson, who will be followed by C.
17 Gordon Brown.

18 MR. WILSON: I'm Ben Wilson and I'm
19 Director of Regulatory Compliance for Sensient
20 Flavors, a food flavor company. I'm feeling a
21 little at risk on this side of the audience this
22 afternoon.

23 [Laughter.]

24 MR. WILSON: But we need some help from
25 FDA as well. I answer the questions from our

1 customers and from their customers, the consumers,
2 about allergens and what's contained in a food, but
3 the questions I get go much beyond the simple
4 allergens. Today people want to know about GMO,
5 they want to know about organic, they want to know
6 about consumer interests in different things, they
7 want to know about specific issue products.

8 They're very important to these people
9 that ask them. In some cases, they're
10 significantly life threatening like allergens, but
11 the responses that we give and when trying to
12 provide the information seem to confuse the food
13 companies. They will ask us does this product
14 contain soy? And we will answer yes, but the soy
15 that's in it may be a partially hydrogenated
16 soybean oil, has no protein, has no allergen issue.
17 It's been highly refined, it's been modified, the
18 proteins are gone.

19 That may be of interest to a specific few
20 who have a different type of soy reaction. It may
21 be of interest to our customer because he's looking
22 at a GMO issue for soy. He may be looking for
23 Europe. We need some clarification from FDA as
24 they look into these products and allergen and
25 either guidelines or rules or whatever, but what

1 are the allergens, what are the things that we need
2 to talk about?

3 Are we going to talk about the big eight
4 in general as allergens, not the big eight of soy,
5 which contains a lot of things that aren't
6 allergens, or of corn, which is an issue, but for
7 most people not an allergen? Wheat--is that an
8 allergen issue to wheat or is that an issue of
9 sprue celiac where it includes the glutens, the
10 spelt, the rye, the barley?

11 Does this include different extracts which
12 may or may not have allergen potential? We need to
13 make that clear. That needs to be very clear in
14 what we're doing of whether we're addressing
15 allergens, whether we're addressing big groups, and
16 that's it. And that's what I want to say for
17 today. Help us help the food manufacturer put the
18 correct information on the label by giving us some
19 clear guidelines of what we're talking about and
20 what the things are of concern. Thank you.

21 [Applause.]

22 DR. LEWIS: Thank you. Next, we have C.
23 Gordon Brown, who would be followed by Carolyn
24 Garrett.

25 MR. BROWN: Thank you very much. I'm

1 Gordon Brown, Senior Vice President of Scientific
2 and Regulatory Affairs for the International Dairy
3 Foods Association. We thank you very much for
4 providing this forum and for providing an
5 opportunity for us to get our positions out there.

6 The following comments are made on behalf
7 of the International Dairy Foods Association. IDFA
8 is the nation's leading trade association
9 representing the dairy industry. Our member
10 companies manufacture the entire range of dairy
11 products and include processors, manufacturers,
12 marketers, distributors and suppliers.

13 IDFA consists of three constituent
14 organizations: the Milk Industry Foundation, the
15 International Ice Cream Association, and the
16 National Cheese Institute.

17 Member companies in these groups account
18 for 85 percent of the dairy products consumed in
19 the United States. IDFA is a member of the Allergy
20 Issues Alliance, the coalition of food trade
21 associations and a leading food allergy consumer
22 group. IDFA helped develop new guidelines for
23 clear labeling of allergenic compounds.

24 IDFA strongly supports implementation of
25 these guidelines, encourages disclosure of

1 allergenic ingredients in clear and simple language
2 and is dedicated to assisting dairy processors to
3 prevent cross-contamination.

4 Our commitment to the allergen initiative
5 is demonstrated through our member outreach.
6 Although dairy processors are conscientious about
7 compliance with labeling requirements for
8 allergenic ingredients, IDFA still urges all
9 members to review their policies and verify that
10 they are operating within the new allergen
11 guidelines and we provide a whole lot of one on one
12 contact and information to our members who request
13 information.

14 Further, we recommend that member
15 companies follow the following recommendations:

16 (1) Review formulations to identify the
17 presence if any of the eight major allergens;

18 (2) Contact ingredient suppliers to
19 determine if ingredients they supply contain any
20 allergens including components of flavors, colors,
21 incidental additives and processing aids that may
22 not be required to be labeled.

23 We also suggest they review their current
24 labels to ensure that if any allergens are present,
25 they are included in the ingredient declaration in

1 terms that are easily understood by consumers.

2 Number four, advisory statements should
3 not be used as a substitute for good manufacturing
4 practices. Only use advisory label statements such
5 as "may contain" blank when all of the criteria
6 established in the allergen guidelines are met, and
7 I won't go into those now, but they are available.

8 The dairy industry has a good track record
9 on the allergen issue. Recalls for undeclared
10 allergens and dairy products are rare. However, we
11 are committed to continuous improvement and this is
12 demonstrated by our efforts to educate our members
13 on the important issues through a variety of
14 outreach programs.

15 These programs include (1) providing
16 publications to member companies on implementation
17 of the voluntary food allergen labeling guidelines;
18 (2) instructions for labeling manuals for milk,
19 cheese and ice cream; (3) we provide workshops on
20 allergens and proper food labeling on a periodic
21 base. These are open to the entire dairy industry
22 as well as ingredient suppliers. (4) We provide
23 education for ice cream manufacturers through a
24 workshop entitled "Ice Cream Best Practices" to
25 explain the allergen issues and industry's labeling

1 requirements.

2 In summary, the dairy industry is
3 committed to maintaining the safety of its products
4 and is currently engaged in this effort to make
5 sure that we protect the health of allergenic
6 consumers. Thank you.

7 [Applause.]

8 DR. LEWIS: We now have Carolyn Garrett on
9 our list. Carolyn Garrett is not here. That
10 completes my list of registered speakers. So I
11 believe that the meeting is now coming to an end.

12 My closing comments are quite succinct. I
13 think this was an extremely useful meeting for the
14 agency. I felt a lot of important information was
15 obtained by us.

16 Again, the docket is open so comments are
17 still possible to this particular series of
18 questions by the agency. I do want to thank all
19 the members of the panel who provided some very
20 useful discussion points for us, as well as the FDA
21 support staff who made this meeting possible.

22 So thank you very much. The transcript
23 will be available in about a month and information
24 on obtaining that is in your Federal Register
25 documents. Thank you.


1 [Applause.]

2 [Whereupon, at 3:20 p.m., the meeting was

3 adjourned.]

C E R T I F I C A T E

I, **VICTORIA S. McLAUGHLIN**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



Victoria S. McLaughlin

VICTORIA S. McLAUGHLIN