

DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE

ONCOLOGIC DRUGS ADVISORY COMMITTEE

Tuesday, March 4, 2003

8:36 a.m.

ACS Building
Center for Drug Evaluation and Research
5630 Fishers Lane
Rockville, Maryland

P A R T I C I P A N T S

Consultants (Voting)

Victor M. Santana, M.D., Chairman
Thomas H. Perez, M.P.H., Executive Secretary
Alice Ettinger, R.N., Association of Pediatric
Oncology Nurses
Jerry Finklestein, M.D., University of California,
Los Angeles
Patrick C. Reynolds, M.D., Los Angeles Children's
Hospital
James Boyett, Ph.D., St. Jude Children's Hospital
Henry Friedman, M.D., Duke University
Susan Cohn, M.D., Northwestern University
Nancy Keene, Independent advocate

Oncologic Drugs Advisory Committee Members

Jody Pelusi, F.N.P., Ph.D., North Arizona
Hematology & Oncology Associates
Gregory Reaman, M.D., Children's Hospital National
Medical Center, Washington, D.C.

Guest Speakers (Non-Voting)

Malcolm Smith, M.D. Cancer Treatment & Evaluation
Program, National Cancer Institute, NIH

International Guests (Non-Voting)

Francesco Pignatti, M.D., European Medicinal
Evaluation Agency (EMA)
Katherine Cheng, M.D., Medicines Control
Agency, U.K.
Anne Mathieu-Boue, M.D., Agence Francaise de
Securite Sanitaires de Produits de Sante
(AFSSAPS)
Gilles Vassal, M.D. AFSSAPS, Institut Gustave
Roussy, France
Harald Schweim, M.D. Bundes Institut fur
Arzneimittel und Medizinprodukte (Bfarm)
Mark Bernstein, M.D., Health Protection Branch,
Canada

Industry Guests (Non-Voting)

Anne Hagey, M.D., Abbott Laboratories Global
Oncology Development Group
Alan Melemed, M.D., Eli Lilly

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order and Introduction - Victor M. Santana, M.D., Chair	4
Conflict of Interest - Thomas H. Perez, M.P.H., Executive Secretary	5
Welcome - Richard Pazdur, M.D., Director, Division of Oncology Drug Products, and Steven Hirschfeld, M.D., Ph.D., Medical Officer, Division of Oncology Drug Products	2-
History of Pediatric Labeling - Steven Hirschfeld, M.D., Ph.D.	20
Case Studies of Pediatric Submission for Oncology Products	
- Anne Zajicek, Pharm.D., M.D.	36
- Ramzi Dagher, M.D.	40
- Steven Hirschfeld, M.D., Ph.D.	42
- Susan Honig, M.D.	44
- Alla Shapiro, M.D.	47
Committee Questions to the Presenters	50
Open Public Hearing	93
Edward J. Allera, B.S. J.D. Buchanan & Ingersoll	94
Summary of Abstract - Steven Hirschfeld, M.D.	103
Discussion of Questions to the Committee	111
[Lunch]	
Discussion of Questions to the Committee	158

1 P R O C E E D I N G S

2 DR. SANTANA: Good morning. We have been
3 convened today by the FDA to give them some
4 specific guidance related to issues of pediatric
5 labeling for oncology products. And as I
6 understand the format today, Dr. Hirschfeld will
7 first give us an overview of the history of
8 labeling as it relates to the FDA and its
9 regulations, and then we will move on to some
10 specific case studies that they want to discuss
11 with us to bring out some issues that hopefully
12 will provide them with further guidance on how to
13 approach this in the pediatric oncology arena. And
14 then we will have later this morning an open public
15 hearing, and I believe so far there is one
16 individual who wishes to address the committee.

17 With that, I want to welcome everybody
18 this morning. We have robust representation from
19 some international guests, and we want to welcome
20 them, too, and people from across the border, too,
21 Dr. Bernstein.

22 And with that, I will let then Tom read
23 the conflict of interest, and once we're done with
24 the conflict of interest, I want to go around the
25 table and everybody introduce themselves.

1 Thank you.

2 MR. PEREZ: Thank you. The following
3 announcement addresses the issue of conflict of
4 interest with respect to this meeting and is made a
5 part of the record to preclude even the appearance
6 of such at this meeting.

7 The topic of today's meeting is an issue
8 of broad applicability. Unlike issues before a
9 committee in which a particular product is
10 discussed, issues of broader applicability involve
11 many industrial sponsors and academic institutions.
12 All participants have been screened for their
13 financial interests as they may apply to the
14 general topic at hand. Because they have reported
15 interests in pharmaceutical companies, the Food and
16 Drug Administration has granted general matters
17 waivers to the following special government
18 employees which permits them to participate in
19 today's discussions: Drs. James Boyett, Susan
20 Cohn, Ms. Alice Ettinger, Drs. Jerry Finklestein,
21 Henry Friedman, Jody Pelusi, Gregory Reaman,
22 Charles Reynolds, Victor Santana, and Susan Weiner.

23 A copy of the waiver statements may be
24 obtained by submitting a written request to the
25 agency's Freedom of Information Office, Room 12A-30

1 of the Parklawn Building.

2 In addition, Ms. Nancy Keene and Dr.
3 Malcolm Smith do not have any current financial
4 interests in pharmaceutical companies; therefore,
5 they do not require a waiver to participate in
6 today's discussion. Because general topics impact
7 so many institutions, it is not prudent to recite
8 all potential conflicts of interest as they apply
9 to each participant. FDA acknowledges that there
10 may be potential conflicts of interest, but because
11 of the general nature of the discussion before the
12 subcommittee, these potential conflicts are
13 mitigated.

14 In addition, we would like to disclose
15 that Dr. Anne Hagey owns Abbott stock and other
16 pharmaceutical company stock as part of her mutual
17 funds and 401(k) retirement fund. She also has
18 company-granted stock options. Additionally, she
19 is a full-time employee of Abbott Labs and a
20 relative is employed by the pharmaceutical company.

21 Dr. Alan Melemed is a full-time employee
22 of Eli Lilly and Company and has part-time
23 employment with Indiana University School of
24 Medicine.

25 In the event that the discussions involve

1 any other products or firms not already on the
2 agenda for which FDA participants have a financial
3 interest, the participants' involvement and their
4 exclusion will be noted for the record.

5 With respect to all other participants, we
6 ask in the interest of fairness that they address
7 any current or previous financial involvement with
8 any firm whose product they may wish to comment
9 upon.

10 Thank you.

11 DR. SANTANA: Anybody else who wants to
12 make any disclosure?

13 [No response.]

14 DR. SANTANA: Thank you, Tom.

15 Could we start our introductions beginning
16 with the left side of the panel, please?

17 DR. HAGEY: Good morning. Anne Hagey,
18 pediatric oncologist, Abbott Laboratories.

19 DR. CHENG: Good morning. I'm Katherine
20 Cheng. I'm from the Medicines Control Agency,
21 which is the U.K. regulatory authority. I'm also a
22 pediatrician by training but not in oncology.

23 DR. SCHWEIM: Good morning, everybody.
24 I'm Harald Schweim from the Bfarm in Germany. I'm
25 heading this institute. I'm educated as medicinal

1 chemist and as medicinal informatics.

2 DR. VASSAL: Good morning. I am Gilles
3 Vassal, pediatric oncologist and pharmacologist,
4 working in France in a cancer center called
5 Institut Gustave Roussy in Villejuif. I'm in
6 charge of new drug development in pediatric
7 oncology and chairman of the European program
8 called Innovative Therapies for Children with
9 Cancer.

10 DR. BERNSTEIN: Mark Bernstein. I'm a
11 pediatric oncologist at the University of Montreal
12 and a Children's Oncology Group member.

13 DR. MATHIEU-BOUE: Good morning. I'm Anne
14 Mathieu-Boue from the French agency for evaluation
15 of medicinal products called AFSSAPS. And my
16 background is oncology/internal medicine.

17 DR. PIGNATTI: Francesco Pignatti from the
18 European Medicines Evaluation Agency in London.
19 I'm a medical doctor and biostatistician.

20 DR. MELEMED: Alan Melemed, pediatric
21 oncologist, Eli Lilly and Company, as well as
22 Indiana University School of Medicine.

23 MS. ETTINGER: I'm Alice Ettinger, and I'm
24 a certified pediatric nurse practitioner, New
25 Brunswick, New Jersey.

1 DR. BOYETT: James Boyett, biostatistician
2 from St. Jude Children's Research Hospital.

3 MR. PEREZ: Tom Perez, executive secretary
4 to this meeting.

5 DR. SANTANA: Victor Santana, pediatric
6 oncologist working at St. Jude Children's Research
7 Hospital.

8 DR. REAMAN: Gregory Reaman, pediatric
9 oncologist, Children's National Medical Center,
10 George Washington University, chairman of the
11 Children's Oncology Group.

12 DR. PELUSI: Jody Pelusi. I'm an oncology
13 nurse practitioner at Northern Arizona Hematology &
14 Oncology Associates.

15 DR. REYNOLDS: Pat Reynolds. I'm in
16 pediatric oncology at Children's Hospital, Los
17 Angeles.

18 DR. FINKLESTEIN: Jerry Finklestein,
19 pediatric oncologist, representing the American
20 Academy of Pediatrics.

21 DR. FRIEDMAN: Henry Friedman, pediatric
22 and adult neuro-oncology, Duke.

23 DR. COHN: Susan Cohn, pediatric oncology,
24 Children's Memorial Hospital in Chicago.

25 DR. SMITH: Malcolm Smith, pediatric

1 oncology at Cancer Therapy Evaluation Program. I'm
2 the program director for the Children's Oncology
3 Group.

4 DR. HIRSCHFELD: Steven Hirschfeld. I'm a
5 pediatric oncologist at the Food and Drug
6 Administration in the Center for Drug Evaluation
7 and Research in the Division of Oncology Drug
8 Products and the Division of Pediatric Drug
9 Development.

10 DR. GOOTENBERG: Joe Gootenberg. I'm a
11 pediatric oncologist in the Center for Biologics in
12 the Division of Clinical Trials Design and
13 Analysis.

14 DR. PAZDUR: Richard Pazdur, FDA, Division
15 Director of Oncology Drug Products.

16 DR. SANTANA: Thank you. Richard, I want
17 to go ahead and give the microphone to you so you
18 could address the committee, or Steve, either one
19 of you.

20 Okay. Let's go ahead and get started, and
21 I think Steve Hirschfeld will give us an overview
22 of the history of pediatric labeling.

23 x DR. HIRSCHFELD: Since Dr. Pazdur gave me
24 the honor to welcome and greet everyone, on behalf
25 of Dr. Pazdur and the members of the Division of

1 Oncology Drug Products, I welcome all of you and
2 especially appreciate the participation of some of
3 our colleagues who have traveled enormous distances
4 to be here for a short but we hope very productive
5 and important discussion.

6 In order to frame the questions and the
7 discussion, it's important to know the origin and
8 sources and rationale between what is called
9 labeling and pediatric labeling and how we got to
10 where we are today and why we're asking the
11 questions we're asking.

12 Labeling, as such, was the first of the
13 major principles that guided the establishment of
14 food and drug law in the United States. That was
15 in 1906, and it was in response, as all of the
16 major principles were in response, to public health
17 crises involving children. And while there were
18 many public health crises that led to a call for
19 labeling, one of the key events was the sale of a
20 preparation that was to treat colic in infants.
21 And the sale of this preparation was investigated
22 because it was considered an effective product--the
23 infants would go to sleep--but they wouldn't wake
24 up. And there was a magazine time published in
25 Philadelphia called Collier's Weekly, and it had an

1 issue that featured on the cover a skull and
2 crossbones that implied that there was something
3 wrong with what was being sold to children. And
4 the particular product that was used as the case
5 was something called Mrs. Winslow's Colic Syrup.
6 And when the ingredients were examined, it turned
7 out to be largely morphine.

8 So this led to a response by the Congress
9 of the United States where people who were
10 interested in in some way regulating the sale of
11 medicinals combined their efforts with women who
12 were interested in getting the right to vote, and
13 there was then through this coalition a number of
14 laws that were passed. And the critical one was
15 that products had to be labeled according to their
16 contents.

17 This was challenged in court, but the
18 Supreme Court of the United States upheld the
19 authority of the United States Government to
20 declare that products that are sold for interstate
21 commerce must have their contents properly labeled.
22 And as a quick review, the other principles that
23 evolved were, in 1938, in response to many children
24 that died, as well as adults, because of a
25 preparation of sulfanilamide that was put into a

1 solvent that turned out to be toxic led to the
2 establishment of the Food, Drug, and Cosmetic Act
3 in 1938. And that was further amended in 1962,
4 again, because of a health crisis involving
5 children, and this time on a global basis. And
6 that is a principle which we have tried to
7 encompass in this committee, to have a global reach
8 and global basis, because not only are children
9 everywhere and products are everywhere, but with
10 the mobility of society and the interactions that
11 we all have here in the 21st century, it is
12 critical that we not act in isolation.

13 So the principle that was established in
14 1962 was efficacy, and that led to what has been
15 the longest-running experience in evidence-based
16 medicine, because the law reads that investigations
17 must support the claims that would be approved by
18 the Federal Government. And the regulations, which
19 are derived from the law, state that adequate and
20 well-controlled trials must be used to support the
21 claims that would be approved for interstate
22 commerce by the United States Government.

23 So pediatric information began to occupy
24 the discussions and the procedures in food and drug
25 law beginning in the 1970s. So recall 1962 was the

1 amendment which established efficacy, and in 1974,
2 Congress passed the National Research Act and
3 established the National Commission for the
4 Protection of Human Subjects of Medical and
5 Behavioral Research. And this was--at the same
6 time, concurrently, a report was commissioned by
7 the Food and Drug Administration from the American
8 Academy of Pediatrics which has played an essential
9 and critical role in the evolution of drug law and
10 medicinal product development for children in this
11 country and, by extension, in the rest of the
12 world. And this report was entitled "General
13 Guidelines for the Evaluation of Drugs to be
14 Approved for Use during Pregnancy and for Treatment
15 of Infants and Children."

16 The commission that was established in
17 1974 began to focus rather early in its
18 deliberations on pediatric research because there
19 were scientific and ethical concerns. One of the
20 concerns that came at the time was not for the
21 evaluation of medicinal products, but actually for
22 the administration of educational testing. And in
23 the 1970s, the department that we now know as
24 Health and Human Services was then Health,
25 Education, and Welfare. Subsequently, the

1 education functions were separated into a new
2 department, but the charge to the commission
3 involved that educational role.

4 So they issued a report in 1977 as a
5 result of a series of public hearings and
6 consultation with expert advisers entitled
7 "Research Involving Children." Almost
8 concurrently, the Food and Drug Administration
9 issued a guidance which was based on that American
10 Academy of Pediatrics report called "General
11 Considerations for the Clinical Evaluation of Drugs
12 in Infants and Children." And we will touch on the
13 content of that in a moment.

14 Again, 1977 being a productive year for
15 trying to frame pediatric research, the American
16 Academy issued the first statement on ethical
17 conduct in pediatric studies.

18 So the report that the American Academy
19 produced and that the FDA then transformed into a
20 guidance document had an emphasis on unexpected
21 toxicities. It also had an emphasis on adequate
22 and well-controlled trials, and it said reasonable
23 evidence for efficacy should exist prior to study
24 in infants and children, and active or historical
25 controls were preferred over placebo, questioning

1 the ethics of placebo. Placebo studies were
2 addressed in a mt of the Pediatric Subcommittee of
3 the Anti-Infectives Advisory Committee with a
4 special ethical session a few years ago. And those
5 parameters that could apply to pediatric studies
6 have been published and posted on the Internet.

7 There was also a suggestion that studies
8 should occur in decreasing age order, so first
9 adults, then adolescents, and then younger
10 children, and then, if studies were warranted,
11 infants and neonates.

12 In 1979, the Food and Drug Administration
13 issues its first regulation on pediatric use, and
14 that was in a subsection of the product label
15 that's called precautions. Precautions are
16 considerations and limitations on the use of a drug
17 for whatever the claim may be. So, to clarify, the
18 Food and Drug Administration does not approve
19 products. It approves the use of products, claims
20 about the use of the product. And the product
21 label is intended to describe the method on the use
22 of that product so that if one follows that method,
23 the use would be considered safe and effective.

24 In 1983, there was the issuance of the
25 recommendations of the national commission in

1 federal regulation on the protection of all
2 experimental subjects, but there was special
3 attention paid to subcategories, and the
4 subcategories were prisoners, pregnant women, and,
5 last, yes, children. And the regulations
6 encompassed some of the limitations and some of the
7 categorization of how one should view children who
8 enrolled in clinical studies. And the critical
9 aspect to this was that there was, for the first
10 time, the delineation of risk categories and the
11 anticipation of risk and contemplation, at least,
12 of benefit versus risk in designing a study and
13 even in allowing it to proceed.

14 A little more than a decade later, in
15 1994, there was a revision of the regulation on the
16 product package insert in the pediatric use, and
17 there was a new section added which allowed the use
18 of extrapolation as a basis for establishing
19 pediatric use. And the FDA issued a guidance on
20 this in 1996, and, concurrently, the American
21 Academy of Pediatrics issued an update on its
22 ethical statement.

23 So the 1996 guidance considers
24 extrapolation of the disease course in adult and
25 pediatric patients should be similar; and if the

1 effects of the drugs, both beneficial and adverse,
2 in adult and pediatric patients could and should be
3 described. And critical references should be
4 included.

5 Now, guidance documents are not binding.
6 They just reflect agency thinking but, in general
7 should be considered the default position. And
8 variations from what the guidance recommends
9 generally should be justified or have some other
10 extenuating circumstances.

11 And this committee has examined in great
12 detail the issue of extrapolation in pediatric
13 oncology, holding meetings on hematological
14 malignancies, on solid tumors and CNS malignancies,
15 and then examining in detail the types of studies
16 that should follow from using extrapolation and
17 thinking of children with cancer as both the
18 participants in the experiment and the
19 beneficiaries indirectly and ultimately from the
20 studies.

21 In 1997, just to continue the evolution,
22 the Food and Drug Administration Modernization Act,
23 which didn't modernize very much in terms of our
24 facilities--I still had the same computer--did
25 allow some updates in terms of process, took a

1 principle which was evolved from the orphan drug
2 program, which was to provide a financial incentive
3 in the form of prolonging of the period of
4 marketing exclusivity, and applied that to
5 pediatrics as a remedy for the exclusion of
6 children in the studies that led to the claims for
7 approved products. And in 1998, a pediatric rule
8 was issued which mandated pediatric studies under
9 particular circumstances, which this committee has
10 discussed in great detail.

11 And in 2001 was the issuance of interim
12 text for an adaptation of the Health and Human
13 Services Subpart D regulations extended to
14 FDA-regulated research because the previous
15 discussions on protection of human participants in
16 clinical studies was limited by design to studies
17 that were funded by the Federal Government. But
18 with the evolution of pediatric investigations and
19 with the relative explosion in the number of
20 pediatric studies and the varied sources of
21 funding, there was a need, which was supported by
22 many parties, to have regulations which could also
23 cover children in those studies.

24 And then in 2002 came the Best
25 Pharmaceuticals for Children Act--and I always have

1 to think of our European colleagues who have
2 developed the Better Pharmaceuticals Act for
3 Children or some paraphrase to that, but they're
4 similar in scope and in intent--which renewed the
5 pediatric incentive program and asked for the study
6 of off-patent drugs, which is a very active
7 program, and then specifically mandated the public
8 dissemination of pediatric information. And one of
9 the vehicles for that is the product label.

10 The product label is also known as the
11 product package insert, and the regulations on
12 product package inserts have several sections.
13 They are a description of the product, the clinical
14 pharmacology, the approved indications and
15 usage--and, again, I will point out these represent
16 claims based on data that the FDA has reviewed and
17 found to be safe and effective, and is not a
18 commentary on all potential uses of the drug or
19 even on what might be considered common uses. This
20 is restricted to claims that the FDA has reviewed
21 and found to be safe and effective.

22 Then come a series of graded limitations
23 on these claims. The first are contraindications,
24 which means conditions or a population where the
25 product should never be used. Then are warnings,

1 which are one grade below, which require careful
2 monitoring and careful evaluation and consideration
3 of whether the product is appropriate for a
4 population identified in a warning section. And in
5 oncology, most of the products carry warnings which
6 state the degree of toxicity and state the need for
7 having specialized physicians prescribe and
8 administer the product and, although it's not
9 stated explicitly--it's implied--specialized
10 nursing staff, too.

11 And then come the precautions which are
12 then a series of limitations which comment on
13 typically different subpopulations--patients with
14 renal impairment, patients with hepatic impairment,
15 geriatric patients--and here is where the pediatric
16 use section is located typically.

17 Then there are the adverse reactions,
18 which all patients in one form or another could
19 anticipate, and then there's a section called drug
20 abuse and dependence, which is often left out,
21 certainly not included in oncology drugs; an
22 overdosage section; and, finally, we get to the
23 dosage and administration section. And this is the
24 dosage and administration which relates back to the
25 approved indications and usage and not any other

1 dosage or administration regimens. And then lastly
2 comes the how supplied.

3 There are additional label sections which
4 are considered optional in the regulations, and
5 these are animal pharmacology or animal use
6 sections; toxicology; clinical studies, which have
7 been routinely included in oncology approvals; and
8 references, which, again, in the realm of oncology,
9 have tended to refer to the safe handling and usage
10 of the drug product.

11 The principles of the product label, as
12 stated in the regulations, are that the labeling
13 shall contain a summary of the essential scientific
14 information needed for the safe and effective use
15 of the drug, and in parentheses, for the approved
16 claim.

17 Secondly, the labeling shall be
18 informative and accurate and neither promotional in
19 tone nor false or misleading in any particular, and
20 the FDA has an entire division which oversees the
21 language in the product labels, and product
22 labeling language can be used in promotion and
23 advertising, and there is a direct linkage,
24 therefore, to the words that are used to describe
25 the safe and effective use and the words which

1 might be used for promotion.

2 And, thirdly, the labeling shall be based,
3 whenever possible, on data derived from human
4 experience. Conclusions based on animal data but
5 necessary for safe and effective use of the drug in
6 humans shall be identified as such and included
7 with human data in the appropriate section of the
8 labeling. And this provision has become
9 particularly timely when a number of products which
10 are intended to treat catastrophic events and
11 illnesses, such as poisons from organophosphates or
12 other types of untimely events, are now being
13 approved on the basis of animal data.

14 There's a section in the product
15 labeling--in the Code of Federal Regulations, Part
16 201, Subpart B, paragraph (c), section (iv) reads:
17 "If there is a common belief that the drug may be
18 effective for a certain use or if there is a common
19 use of the drug for a condition, but the
20 preponderance of evidence related to the use or
21 condition shows that the drug is ineffective, the
22 Food and Drug Administration may require that the
23 labeling state that there is a lack of evidence
24 that the drug is effective for that use of
25 condition." And I'd like the committee to bear

1 this clause in mind in reviewing the case scenarios
2 and in considering the subsequent discussions.

3 The pediatric use section under
4 precautions has eight subsections to it, and not
5 all are necessary to be used, but they're all
6 available to address if the circumstances warrant
7 it. The first is the definition of who is a child,
8 and as defined in this case as birth to 16 years of
9 age. But we should note that in other settings for
10 clinical studies, for instance, in the consenting
11 or participation of a child in a study, a child is
12 defined as of minority age in the jurisdiction
13 where the study is occurring, which in most places
14 is 18 years.

15 Secondly, if there is a pediatric
16 indication different from adult indication, it
17 should be listed under indications and usage and
18 dosage and administration. So to comment on this,
19 if we are considering the same indication in adults
20 and children and we are considering using
21 extrapolation particularly, then the indication
22 that is stated in indications and usage need only
23 be stated in that section, with perhaps some
24 qualifications of ages, and does not need to be
25 repeated separately for children. However, if the

1 pediatric indication is different, then it needs to
2 be stated so.

3 The pediatric use section should cite any
4 limitations as well as appropriate information in
5 contraindications, warnings, and elsewhere in
6 precautions. For example, what I didn't mention
7 earlier, there's a section under precautions for
8 pregnancy, and there are categories of pregnancy
9 warnings and pregnancy precautions that the agency
10 has evolved and is continuing to revise which
11 address potential risks to an unborn child.

12 Thirdly, for pediatric use based on
13 adequate and well-controlled studies, which is
14 always desirable but not always feasible, for an
15 approved adult indication, they should be
16 summarized in pediatric use with additional
17 information in dosage and administration, clinical
18 pharmacology, and clinical studies. Pediatric use
19 will also cite limitations as well as appropriate
20 information in contraindications, warnings, and
21 elsewhere in precautions.

22 Adequate and well-controlled studies in
23 pediatric oncology have not been submitted to the
24 agency over the last quarter century or so, and
25 there's a recent publication which comments on

1 this, although we now see that there is greater
2 interest and we anticipate that we will be seeing
3 adequate and well-controlled studies for pediatric
4 oncology submitted.

5 However, again, if adequate and
6 well-controlled studies, which means studies that
7 independently, by themselves, would support safety
8 and efficacy without additional information, if
9 those are not feasible or possible or reasonable,
10 then pediatric use may also be approved on the
11 basis of adequate and well-controlled adult studies
12 with other information supporting pediatric use.
13 In such cases, the agency will have concluded that
14 the course of the disease and the effects of the
15 drug, both beneficial and adverse, are sufficiently
16 similar in the pediatric and adult populations to
17 permit extrapolation from the adult efficacy data
18 to pediatric patients. And this, while it sounds
19 like it gives you information, in fact, to
20 interpret is rather difficult. So Dr. Bill
21 Rodriguez, I, and some other colleagues have been
22 working for the last year and a half on attempting
23 to put a framework and a process and an analysis
24 which we hope could be broadly applicable to how
25 one can use data and what kinds of data to support

1 extrapolation.

2 The next section, additional information
3 supporting pediatric use must ordinarily include
4 data on the pharmacokinetics of the drug in the
5 pediatric people for determination of appropriate
6 dosage, and in this case, we have specialists in
7 the FDA that we are dependent and reliant on to
8 help us interpret the pharmacokinetic data. But
9 they can only do it if they get the appropriate
10 data to do their analyses. And other information
11 (that may be used)--and the parentheses is mine;
12 otherwise, the rest of the text here is verbatim
13 from the regulations--such as data from
14 pharmacodynamic studies of the drug in the
15 pediatric population; studies supporting the safety
16 or effectiveness of the drug in pediatric
17 patients--that is, one age group to another--or
18 pertinent premarketing or postmarketing studies or
19 experience may be necessary to show that the drug
20 can be used safely and effectively in pediatric
21 patients.

22 This section states that if the
23 requirements for a finding of substantial evidence
24 to support a pediatric indication or a pediatric
25 use statement have not been met, the pediatric use

1 section shall state "Safety and effectiveness in
2 pediatric patients below the age of"--and then
3 whatever the youngest patients that have been
4 studied is entered--"have not been established."

5 Now, convention says 18, but often studies
6 don't have patients that young, and so the
7 statement is often rewritten to state, "Safety and
8 effectiveness in pediatric patients have not been
9 established," and not set an age frontier in that
10 case.

11 Pediatric use will also cite limitations
12 as well as appropriate information in
13 contraindications, warnings, and elsewhere in
14 precautions. So bear this statement in mind in the
15 subsequent discussion.

16 The sixth of the eight sections states
17 that the absence of substantial evidence for any
18 pediatric population, the label shall state,
19 "Safety and effectiveness in pediatric patients
20 have not been established." And this is the
21 general case for the specific case that was in the
22 previous section.

23 If the use of the drug in premature or
24 neonatal infants, or as we like to say in the
25 Division of Pediatric Drug Development, the orphans

1 of the orphans, or other pediatric subgroups, is
2 associated with a specific hazard, the hazard shall
3 be described in this subsection of the labeling,
4 or, if appropriate, shall be stated in
5 contraindications or warnings, depending on the
6 severity and the impact. And there are
7 International Conference on Harmonization
8 guidelines on what constitute serious adverse
9 events, and these are the general principles which
10 would be adhered to.

11 And now, lastly, if the sponsor believes
12 none of the above apply, then alternate wording may
13 be proposed. So this gives not only the sponsor
14 but it gives the Food and Drug Administration the
15 option to propose alternate wording.

16 And if the drug product contains one or
17 more inactive ingredients that present an increased
18 risk of toxic effects to neonates or other
19 pediatric subgroups, a special note of this risk
20 shall be made generally in the appropriate section.

21 So we have had labeling changes, and I
22 bring these up just to demonstrate that the
23 initiatives that the FDA has been working with the
24 community at large on getting pediatric studies
25 done and getting the information in and reviewed

1 has led to labeling changes. And based on our most
2 recent public statistics, there are at least 12
3 that could be ascribed to the pediatric rule along,
4 and 48 or maybe 50, depending on how one counts
5 these things, because sometimes two products which
6 are the same will have label changes, from the
7 exclusivity or incentive programs.

8 So to review the label options for
9 pediatric data, there's precautions, which has a
10 specific pediatric use section; then there are
11 dosing information and indication, if warranted;
12 clinical pharmacology, clinical studies,
13 contraindications, and warnings all as options.

14 The way pediatric data can be submitted to
15 the FDA--and this is submitted voluntarily, it can
16 be submitted voluntarily in response to a written
17 request or by whatever mechanism it comes
18 in--generally would come through two procedural
19 pathways: as a new indication either for a new
20 product, or as a supplement, or as is known in some
21 of the other regions of the world as a variant for
22 pediatric patients: or, alternatively as a label
23 change with clinical data. That is, the sponsor's
24 proposing to change the label and submitting
25 clinical data that would support that label change.

1 So the rationale for the questions to the
2 committee this morning are that Federal Government
3 initiatives are aimed at developing therapeutics
4 for pediatric patients and including product
5 information in the approved package insert or
6 product label. One of the criticisms of the
7 earlier incentive program was that studies were
8 being done and data were being submitted to the
9 FDA, but no one outside the sponsor or the FDA
10 would know what those data were. And Congress was
11 aware of that and specifically addressed that in
12 the Best Pharmaceuticals for Children Act. So that
13 if resources are committed to generating pediatric
14 data, those data should benefit children.

15 Although the majority of children with
16 cancer in the United States are treated on
17 protocols from the National Cancer Institute
18 supported study groups, the majority of products
19 used in children with cancer are used without dosing
20 and safety information in the package insert. The
21 package insert and product label are synonymous.

22 And the U.S. Congress has indicated that
23 pediatric use information should be included in
24 product labels as one of the mechanisms to public
25 disseminate information about pediatric use.

1 Now, the questions have various types of
2 scenarios. One is if there is the same adult and
3 pediatric indication, and previously this
4 committee, specifically in November 2001,
5 recommended that to extend efficacy from an adult
6 indication to a pediatric population, pediatric
7 dosing studies and a demonstration of clinical
8 proof of concept should be performed.

9 If a product is approved for an adult
10 disease or condition that also exists in children,
11 therefore, consider what information from pediatric
12 studies you would consider necessary and
13 appropriate to be in the product label.

14 If the adult and pediatric conditions are
15 different, and if pediatric dosing information and
16 proof of concept data exist for a pediatric disease
17 or condition that does not exist in adults,
18 consider what information, if any, should be
19 included in the product label. So proof of concept
20 means a study or studies that by themselves
21 independently could not establish safety and
22 efficacy. They're informative, they're ethical,
23 they're scientifically valid, but they cannot
24 independently support safety and efficacy. That
25 would be the framework that we're using proof of

1 concept in, and we will give the specific examples
2 in the case discussions.

3 An example might be that a product is
4 approved for second line colorectal cancer in
5 adults and pediatric data are available for dosing
6 and pharmacokinetics in a single arm Phase II study
7 showing a modest response rate in 20 pediatric
8 patients with refractory neuroblastoma. Now, there
9 is no product that fits this profile, so you
10 shouldn't be trying to deduce what it might be.
11 But with such renowned authorities as Dr. Reynolds
12 and Dr. Cohn on the panel, I thought it was
13 appropriate to bring up a neuroblastoma case.

14 A third scenario would be lack of
15 activity. If dosing, safety, and lack of activity
16 information are available from studies that
17 enrolled children with cancer, consider what
18 information, if any, be included in the product
19 label. An absence of activity in diseases other
20 than the approved indications have not been
21 included, certainly not routinely--and, in fact, I
22 couldn't find a single example--in the label for
23 oncology products for adults. So to be specific,
24 if a product is approved for, say, colorectal
25 cancer and there are studies that were done in

1 brain tumors that showed that the product was not
2 active in brain tumors--and I have to address Drs.
3 Boyett and Friedman because they, too, represent
4 the cutting edge of CNS malignancy treatments--then
5 it has not been the practice of the agency to
6 include those negative data in the product label.

7 If there are no pediatric data, that is,
8 we know nothing about the product, when no efficacy
9 or safety data are available in pediatric patients,
10 we would like you to consider if a statement that
11 safety and efficacy have not been tested in
12 children be included in the product label.

13 And we are now going to review for you
14 some case studies which have come before the
15 agency, and after the presentation of the case
16 studies, you're welcome to ask me or my colleagues
17 any questions that you may have before we begin the
18 session addressing the questions.

19 So these case studies--

20 DR. SANTANA: Steve, before you start, I
21 am going to take a point here--

22 DR. HIRSCHFELD: Sure, take your
23 prerogative.

24 DR. SANTANA: Yes, to ask just a point of
25 clarification. The pharmaceutical act for children

1 mandates that we provide information. It doesn't
2 tell us how that information is to be provided.
3 We're making an assumption here that most of the
4 information for practitioners and consumers, in the
5 medical field or for patients, is through the
6 label. But are there not other mechanisms in which
7 information can be made available to those
8 populations, particularly when there is negative
9 data that's important that necessarily does not
10 relate to the indication in the label? And if so,
11 what are those additional mechanisms?

12 DR. HIRSCHFELD: The Best Pharmaceuticals
13 for Children Act does address some specifics, and
14 it contemplates having information in the label, as
15 you pointed out. It also states that when
16 pediatric supplements are submitted to the Food and
17 Drug Administration, a summary of the clinical
18 review and the biopharmaceutical review be posted
19 on the Internet.

20 There are other provisions for including
21 pediatric data, which are referenced in Best
22 Pharmaceutical Act, which include under some
23 circumstances data being entered in the Federal
24 Register. But as you point out, there is a fair
25 amount of interpretation, and we apply the

1 interpretation to convey the intent. But they
2 specifically state that the publication of FDA
3 reviews are to be posted on the Internet and, thus,
4 made publicly available.

5 I could take any other questions after the
6 case studies, if that can proceed, and the case
7 studies represent real examples which have come to
8 the Oncology Drug Division, and these have all been
9 in response to FDA-initiated written requests. And
10 my colleagues and I will share with you the
11 pertinent aspects of the case, but we will not
12 identify the drug products. I know that there are
13 people in this room who may have participated in or
14 initiated or read or are in some way familiar with
15 the studies, but we ask you not to reveal, even if
16 you think you know what the product being referred
17 to is.

18 So the first case study will be presented
19 by Dr. Anne Zajicek, who is a board-certified
20 pediatrician and also has a Pharm.D., which is a
21 very potent combination, and we've appreciated her
22 efforts. And I will note for Dr. Santana and Dr.
23 Boyett that part of Dr. Zajicek's training was at
24 St. Jude.

25 DR. ZAJICEK: A while ago. Thank you.

1 Good morning. I'm presenting Case No. 1, and this
2 is a case illustrating issues of dosing and proof
3 of concept that were submitted by the applicant.
4 This is the case where pediatric and adult diseases
5 are the same.

6 Two Phase I dose-finding studies in
7 children with hematologic malignancies were
8 submitted by the applicant. Part of the data came
9 from the original NDA, and part of it came from the
10 supplemental NDA.

11 The size of the data set consisted of 39
12 patients that could be evaluated for safety and
13 efficacy: 31 came from the supplemental NDA and 8
14 from the original NDA. And for the pharmacokinetic
15 studies, there was a data set of 33 patients: 27
16 from the supplemental NDA and 6 from the original
17 NDA. And I must compliment the applicant on this
18 data set. It was gorgeous. I was very well done,
19 well planned, very nice data set.

20 The type of information submitted included
21 safety data, pharmacokinetic data, correlations
22 between pharmacokinetic and pharmacodynamic
23 parameters, and proof of concept.

24 For the results, the safety was similar to
25 adults. The maximum tolerated dose was not reached

1 during the dose escalation phase of the study.
2 Pharmacokinetic parameters were similar to adult
3 values in the pediatric data set. There was no
4 PK-PD relationship found, as it had been with the
5 adult section, although it must be stated that
6 because of the size of the data set, it's hard to
7 make--you know, it would have been surprising,
8 actually, probably to get a PD-PK relationship for
9 the size of the data set. And proof of concept was
10 submitted by applicant. Remissions were induced in
11 the same malignancy in pediatric as in the adult
12 patients, although, again, in a more limited number
13 of patients. And remissions occurred in
14 approximately the same proportion as adults as
15 well.

16 For comparison between adults and
17 children, there were the same side effects in
18 pediatrics as in adults, but typically at a lower
19 grade than in the adults.

20 In the adult population, there was a nice
21 PK-PD relationship between exposure and the Day 28
22 white blood cell count. Now, you can talk about
23 exposure in different ways. In this case, they
24 used area under the concentration-time curve to
25 make the correlation. And there was as well a lack

1 of clear dose proportionality. In the adult data
2 set, when the dose was increased by a certain
3 percentage, the AUC was also increased by about the
4 same percentage. This was not the case in this
5 population. But, again, we're talking small
6 numbers here.

7 The starting dose in the pediatric
8 population was chosen to provide similar exposure
9 to adult doses. So they took the adult dose,
10 divided by typical adult body surface area, which
11 is around 1.73 meters squared, and that was the
12 starting dose. And then there was a 30-percent
13 escalation for the different doses. And there was
14 a lack of relationship between dose and exposure in
15 this population. There was an overlap between the
16 AUCs for the different doses.

17 This figure illustrates this point. This
18 is, on the far side, the adult area under the curve
19 with the standard deviation bars. So here, again,
20 these aren't real numbers, but the AUC for the
21 adult dose was about 1, and the standard deviation
22 you can see with the pink bars. The Pediatric Dose
23 1 was designed to provide the same exposure as the
24 adult dose. Pediatric Dose 2 was a 30-percent dose
25 escalation from Dose 1, and what's apparent

1 statistically and also just by looking at it is
2 that these are all the same AUCs. So it makes it a
3 little bit difficult to judge which is the correct
4 dose, for that matter also for trying to give a
5 pediatric dose that provides the same exposure as
6 the adult dose. You would be hard-pressed to pick
7 one dose versus the other one.

8 For issues and conclusions, this is the
9 first time extrapolation has been used for
10 approval. But, again, the challenge is in finding
11 the right pediatric dose, again, because of the
12 sort of overlap in the areas under the curve for
13 the different doses.

14 Thank you.

15 DR. HIRSCHFELD: The next case will be
16 presented by Dr. Ramzi Dagher.

17 DR. DAGHER: Good morning. In this case,
18 dosing and limited clinical safety information was
19 provided in a situation where the disease exists
20 both in adults and children.

21 The study that was provided was a Phase II
22 PK study in malignant and non-malignant
23 life-threatening conditions, which included
24 hematologic and non-hematologic malignancies as
25 well as immune deficiencies. The data set included

1 24 patients ranging in age from 5 months to 16
2 years.

3 For safety information, clinical adverse
4 events and laboratory abnormalities were reported;
5 the hard data were submitted and reviewed.

6 Multiple sampling was conducted in each patient
7 with initial dosing based on body weight and
8 subsequent adjustment based on the pharmacokinetic
9 and pharmacodynamic information.

10 Generally, the safety profile that we
11 observed in the pediatric data set was similar to
12 that known and described for adults. The
13 pharmacokinetic and pharmacodynamic information
14 suggested a dosing model based on population PK
15 analysis in which one dose would be used for
16 children less than or equal to 12 kilograms and a
17 different dose for children greater than 12
18 kilograms body weight.

19 Comparing the pediatric and adult
20 situations, the pediatric data indicated the need
21 for higher dosage in smaller children in order to
22 achieve the same exposure as that in older children
23 or adults.

24 The outcome in this situation and issues
25 to keep in mind: In this situation, limited safety

1 information and dosing guidelines were added to the
2 special populations, pediatric section of the
3 label.

4 I think Steve is presenting Case No. 3.

5 DR. HIRSCHFELD: I'd like to acknowledge
6 in Case No. 2 the very thorough and innovative PK
7 analysis that Dr. Brian Booth performed, and Dr.
8 Booth has been a strong supporter of our pediatric
9 initiatives, as well as his colleagues. And in
10 this case, I'll acknowledge in advance the PK
11 analysis that Dr. Anne Zajicek performed.

12 So Case 3 is based on dosing and proof of
13 concept data submitted for pediatrics, with
14 preliminary activity in a disease found only in
15 pediatric patients with the approved indications
16 for diseases found only in adults. So a mismatch
17 between the approved adult indications and where
18 activity was seen in pediatrics. The types of
19 studies were a Phase 1 dose-finding study in
20 children with solid tumors and hematological
21 malignancies and a Phase II open label, single-arm
22 study for response rate in children with refractory
23 or relapsed solid tumors.

24 The size of the data set: for Phase I,
25 there were 48 patients--30 solid tumor and 18

1 leukemia--ranging in age from 1 to 15 years. And
2 for the Phase II, there were 108 patients ranging
3 in age from less than 1 year to 15 years.

4 The type of information submitted were
5 safety, PK, PK-PD, and proof of concept.

6 The results were that the safety profile
7 was similar for adults; however, an MTD was not
8 reached for leukemia, and an MTD for solid tumors
9 was higher than the approved adult dose.

10 The PK parameters were similar to adult
11 values; however, there was no relationship between
12 exposure and nadir white blood count which we
13 considered to be a pharmacodynamic indicator of
14 dosing due to maximum suppression at the lowest
15 dose administered. And proof of concept showed
16 consistent tumor responses seen in one class of
17 solid tumors.

18 Comparing then between children and
19 adults, there were higher doses that were tolerated
20 in children, and responses seen in some pediatric
21 malignancies that are not found in adults. So the
22 conclusions are that the disease where activity was
23 demonstrated in children is a pediatric disease
24 that is rarely found in adults, and the approved
25 indications are diseases found almost exclusively

1 in adults. Therefore, little overlap. Just what
2 we like to have on a two-armed study, if you want
3 to show a difference between the arms.

4 The extrapolation of efficacy, however,
5 cannot be used. Product labeling did not include
6 the submitted pediatric data, and the product is
7 currently not approved for use in children.

8 And to present the fourth case, it's my
9 pleasure to call on my colleague, Dr. Susan Honig.

10 DR. HONIG: Thank you. Case 4 is a
11 situation where we had dosing information and
12 response data, but the studies were negative and
13 there was no evidence of activity.

14 We received two general types of studies.
15 We got a Phase I dose-finding trial that was
16 conducted in pediatric patients with both solid
17 tumors and hematologic malignancies. As you can
18 see here from the size of the data set, most of the
19 patients entered had solid tumors. There were 25
20 evaluable children with solid tumors, 4 evaluable
21 children with hematologic malignancies, and it is
22 just worth noting in the conduct of the study that
23 there were an additional 17 patients that were
24 treated that, for various reasons were inevaluable.
25 They ranged in age from 2 to 17.

1 We also received a Phase II open-label
2 study that was performed in solid tumors, and as
3 you can see here, this was a stratified Phase II
4 study with three different tumor subtypes
5 deliberately planned into this trial. The primary
6 endpoint was response rate, and the three general
7 tumor types that were looked at were CNS tumors,
8 soft tissue sarcoma, and neuroblastoma. The study,
9 as I mentioned, was designed to enroll at least 14
10 patients in each of the three subsets, and I've
11 listed here the actual accrual per strata. The CNS
12 and sarcoma arms, each enrolled 21 patients;
13 neuroblastoma, only 4, and I'll show that a little
14 bit more in a minute. And in this study, patients
15 up to age 21 were eligible because of the types of
16 patients that developed these tumors, particularly
17 the sarcomas.

18 The information that we received included
19 safety data. In this trial, as is typical in many
20 trials of this sort, only adverse events that were
21 attributed to the drug by the principal
22 investigator were collected and submitted. We
23 also, though, received from the applicant all of
24 their available postmarketing pediatric safety
25 reports to round out the safety profile.

1 For PK-PD, there were PK-PD studies done,
2 but we received an abbreviated study report. We
3 did not actually receive the primary data for this
4 portion. And, similarly, for efficacy, we received
5 abbreviated clinical trial study reports as opposed
6 to every piece of primary data.

7 What were the results? The safety profile
8 was generally similar in children as in adults.
9 The Phase I trial did identify an MTD for children.
10 There was a recommended Phase II dose that was
11 identified and then used in the Phase II study.
12 PK-PD results, as I said, were presented in
13 summary.

14 One point worth making about all of this
15 is that even though there was an MTD and a
16 recommended Phase II dose, when the Phase II study
17 was actually conducted, it was found that the Phase
18 II recommended dose was too toxic and the dose was
19 lowered during the course of the trial.

20 The efficacy results are listed here for
21 you. In two of the three strata the response rate
22 was zero, and in the third strata, the sarcoma,
23 there was one complete response, one partial
24 response seen. And as I mentioned, there were only
25 four neuroblastoma patients enrolled. That was

1 because, on the whole, the study was deemed to show
2 lack of efficacy, and it was not considered
3 appropriate to continue to enroll to full accrual
4 in the neuroblastoma arm.

5 The comparison between children and
6 adults: Once the recommended Phase II dose was
7 adjusted for the initial toxicity seen, the
8 toxicity profile was generally similar in adults
9 and children. And as I said, the dose, once
10 adjusted, ended up being the same in both groups as
11 well.

12 In terms of issues and conclusions, how
13 were these results handled in labeling, a very
14 brief description of the study was placed in the
15 label, and negative efficacy data were included,
16 but we did not include specific PK or dosing data.

17 So, with that, I'd like to introduce Dr.
18 Alla Shapiro who will present the last case.

19 DR. SHAPIRO: Thank you. The last case is
20 an illustration of the drug that was approved for
21 adults, but failed to demonstrate efficacy in
22 similar disease in children. This drug, however,
23 showed efficacy in another disease in children.

24 Two Phase I studies were presented to the
25 FDA for review, and both studies intended to

1 evaluate pharmacokinetics and dose determination
2 data in patients with non-CNS and CNS refractory or
3 relapsed solid tumors. One single-arm Phase II
4 study was submitted to evaluate efficacy in
5 advanced CNS cancers. Size of data set: Phase I
6 studies included 82 patients, ranging from 3 to 17
7 years old, but pharmacokinetic data were available
8 only for 19 patients. Phase II study included 122
9 patients, ages from 3 to 17. For Phase I and for
10 Phase II trials, patients were stratified based on
11 previously received treatment.

12 Type of information submitted included
13 safety results, pharmacokinetic and pharmacodynamic
14 data. Multiple sampling in each patient were
15 obtained based on body surface areas. Efficacy
16 data also was submitted.

17 Results showed that toxicity profile was
18 similar in adults and children. Pharmacokinetics
19 data showed that these parameters were independent
20 of previously received treatment. And no
21 relationship between age and clearance was
22 established. Efficacy, 122 patients were assessed
23 for efficacy. A total of six--overall response was
24 six: one complete response and five partial
25 responses were observed.

1 Comparison between children and adults
2 revealed similar clearance and volume of
3 distribution values. Response to therapy appears
4 to be different, worse in children. And the unique
5 aspect of this situation that--of this scenario
6 that responses occurred in a different histological
7 subtype from an adult disease.

8 Issues and conclusions: The drug was
9 approved for an adult disease that also exists in
10 children, but did not show efficacy in this
11 disease. Responses were seen in a disease that
12 occurs primarily in children, and for this disease
13 there is effective therapy. Saying that, our
14 question is: What information, if any, should be
15 included in the labeling?

16 Thank you.

17 DR. HIRSCHFELD: The last slide is quite
18 difficult to read, but you have it as the very last
19 page of your handout, and it is a summary of these
20 five cases in a chart form, comparing the various
21 parameters that were presented.

22 There is, I think, an unstated message
23 from these five case histories, and that is, until
24 this year, 2003, we could not have presented five
25 cases to you. And the fact that we have five cases

1 to present to you is, I think, testimony to the
2 effectiveness, and maybe the safety, of having the
3 pediatric initiatives in place, and that we can say
4 that there are drugs which are being used in
5 clinical studies in children with cancer, which was
6 not the situation when this committee was meeting
7 to the same degree that it is now, that there was a
8 time lag which had been discussed before, and our
9 perception is that that time lag has been
10 decreasing. But I'll ask Dr. Malcolm Smith if he
11 has that same impression.

12 DR. SMITH: I think you're clearly seeing
13 an increase in submissions to the label, and in
14 certain instances we're certainly seeing
15 agents moving more quickly into the pediatric
16 population. We appreciate the support of
17 pharmaceutical sponsors when that does occur.

18 But there is a history of studying agents
19 in children in a systematic manner that goes back
20 three or four decades. And so, you know, we've
21 developed these data for decades in the pediatric
22 oncology research community, my predecessors and
23 everyone around the table and their predecessors.
24 It simply hasn't been included in the label.

25 It brings a question that I had, Steve,

1 and perhaps you or others could address this.
2 We're talking about the product label, but more
3 generally, what are the sources of data that a
4 diligent treating physician can use or should use
5 to make decisions about how to use drugs, either
6 alone or in combination, to treat his or her
7 pediatric cancer patients?

8 DR. HIRSCHFELD: I'll take that as a
9 rhetorical question to the group at large, but
10 we're all aware there are multiple sources of data,
11 but we also know that there are varying qualities
12 to the data. And I think that there are some in
13 this room that may address that, and that the
14 Congress of the United States has put the
15 responsibility and authority in the Food and Drug
16 Administration for quality review of the data, and
17 there is an implicit understanding that if data
18 have been reviewed with the technical expertise and
19 the disinterest that--our part of the review
20 process, that there's a credibility factor to those
21 data.

22 DR. SMITH: But are there other data that
23 the diligent treating physician could use to make
24 justifiable decisions about how to use a drug other
25 than the data that you've described that you've

1 reviewed?

2 DR. HIRSCHFELD: Rick might want to
3 address that.

4 DR. PAZDUR: The answer to that question
5 is obviously yes. I think, you know, we as the FDA
6 have put a lot of time and energy into the product
7 label, and I've discussed this before with this
8 group. The product label means many things to many
9 people, and that's one of the problems that we may
10 have with the product label. It represents a
11 licensing agreement, as Steve says, between the
12 Federal Government and the sponsor. Hence, every
13 word that goes into that label is carefully
14 scrutinized. Every p value has to have consistency
15 with other labels. So there's a high level of
16 review that has gone into this.

17 The review that we do of the material
18 obviously is to a level that is not done in just a
19 peer-reviewed journal because no peer-reviewed
20 journal--I should say very few would actually take
21 the raw data and reconstruct survival curves, send
22 out investigators to the sites to document that the
23 information was correct and accurate.

24 So I think there's other ways that people
25 could get that information, and I think we would be

1 foolish to think that all pediatricians are just
2 looking at this label and deriving all their
3 information. Likewise, in adult oncology, the
4 product label has a use, but many other
5 information--other routes of professional education
6 are available, and I think we have to keep that in
7 mind, obviously, when we're making these decisions.
8 What is the practicality of including certain
9 amounts of information in the label?

10 DR. SANTANA: Dr. Boyett?

11 DR. BOYETT: You bring up the
12 peer-reviewed literature, which is a good source,
13 but there's a publication bias there. And you do a
14 Phase II-type trials, 0 and 14 rule or some other
15 study like that, it turns out to be negative. And
16 I have been frustrated by the fact that some
17 investigators are reluctant to even write up and
18 try to publish their results. And I think it
19 should be published, and I think that one of the
20 things that we could do is to stimulate
21 investigators, that if they're funded to do a
22 particular trial and it turns out to be negative,
23 they at least ought to submit it to the
24 peer-reviewed literature.

25 DR. PAZDUR: I couldn't agree with you

1 more. In fact, it's not only negative Phase II
2 trials, but negative Phase III trials that are very
3 important. One finds either an omission of them or
4 such a lag time between the submission that the
5 information almost becomes irrelevant, even though
6 most of the in-the-know oncologists know the data
7 that is in there. So I couldn't agree with you
8 more on that.

9 DR. SANTANA: Dr. Bernstein?

10 DR. BERNSTEIN: I'd like to raise another
11 point. Malcolm was talking about the information
12 available to the assiduous treating physician, but
13 there's another use for the label as well, and
14 certainly north of the border, the other use for
15 the labeling information is for submission of
16 protocols to other health authorities. And in
17 those situations, Health Canada, for instance, is
18 very interested in what's available on the product
19 label and is very happy when there's pediatric
20 information available on the product label, and it
21 makes certainly the life of the treating oncologist
22 north of the border much more simple if there is
23 such information on the product label. And that
24 may or may not be true in other jurisdictions as
25 well.

1 DR. SANTANA: Dr. Cheng?

2 DR. CHENG: I'd agree that it's very
3 encouraging that these data have been submitted,
4 and I'd just ask you to clarify. Were these data
5 requested by the FDA, these studies? Or did these
6 come in voluntarily? And if there was any
7 discussion with the FDA, did you discuss the design
8 and the types of studies, how much input was put in
9 at the FDA level?

10 And then the other question is more just
11 because coming from the U.K. I'm not familiar with
12 the U.S. system. When you were talking about
13 public dissemination of information, does the
14 product label get to the patients as well, or is
15 primarily aimed at the physician?

16 DR. HIRSCHFELD: Excellent questions. I
17 might just try to address those before we continue
18 with the discussion.

19 These were responses to FDA-initiated
20 written requests, and the process of a written
21 request is that we outline the types of studies and
22 the type of information we would like to see
23 because there's a perception that there's a public
24 health need and that this fulfills an information
25 gap.

1 The product label, as we will probably--as
2 the discussion evolves this morning, you'll see, as
3 Dr. Pazdur pointed out, can mean many things to
4 many people. But, above all, it's a statement of
5 the agreement between the sponsor and the FDA on
6 what the product is claimed to do and the data that
7 support that claim. And it is used potentially as
8 a primary source of patient information, but there
9 are other routes. The FDA has been encouraging the
10 development of what are called patient package
11 inserts, which are modifications of the formal
12 legalistic product label, in order to impart
13 important information. And then for particular
14 products, there are white papers and other
15 documents that the FDA will produce, and then there
16 are many other sources of information to patients.

17 DR. SCHWEIM: I have one question for
18 clarification. In your presentation, you presented
19 Part 201, Subpart B, indications and usages, and in
20 this paragraph, there is used a common belief and a
21 common use. I think it's very complicated to
22 clarify what means this in this sense, what's
23 common in this sense, consensus conferences and so
24 on. Would you please comment on that for me?

25 Then I have a remark. I'm also not very

1 familiar with the situation in the U.S., but I
2 wanted to give you some views of the German
3 situation. In Germany, we have three types of
4 information. One is the package leaflet. The
5 second one is the health professional information.
6 And the third one are brochures done by the
7 pharmaceutical companies for advertising and so on.

8 The first one I mentioned and the second
9 one I mentioned is according to the German drug
10 law. The third one is according to the Advertising
11 Act amendment to the German drug law. And number
12 one and number two always indicate only information
13 which is proved by the German comparison
14 institution to the FDA, and there the
15 pharmaceutical companies are not allowed to add any
16 advertising or not proved information.

17 I think in Germany we do not have such a
18 type of Freedom of Information Act, especially not
19 for prescribing-only drugs. This information,
20 prescribing-only drugs, information is only in the
21 health professional information. And if any
22 representative from a company is visiting a doctor
23 and tries to inform him about new indications, new
24 products, he is forced to let the information with
25 the health professional information in his office.

1 He must hand it over. In any case, it's according
2 to the German law.

3 And by this type of dividing the
4 information, we have an act, it's a European
5 regulation for the Best Understandable Information
6 for Patients Act, I would translate it, and in the
7 health professional information there, such
8 information as, for example, clinical trials with
9 failures, clinical trials which have not the right
10 results, can be mentioned, and they are not
11 mentioned in the public information for the
12 patient.

13 So I think the principles to have as much
14 information as possible about the drug to be used
15 is obvious. But this type of dividing the
16 information, addressing health professionals in
17 another way and addressing the public, I am very
18 pleased with.

19 DR. HIRSCHFELD: Can I address the first
20 part?

21 DR. SANTANA: Yes.

22 DR. HIRSCHFELD: Thank you for your
23 comments and your informative response, Dr.
24 Schweim. The regulations are written so that they
25 can be flexible, and there are words that are used

1 which allow case-by-case interpretation. So in
2 this context, the word "common use" or "common
3 belief" is sufficiently vague that presumably
4 whatever determination needs to be made can be made
5 on the case-by-case basis.

6 DR. SANTANA: Dr. Reynolds? Then
7 Ettinger, then Pelusi, in that order, please.

8 DR. REYNOLDS: I think that Mark Bernstein
9 made an interesting point, and that is that the
10 label can, in fact, impact outside of this country.
11 And I just wanted to make sure that the committee
12 and the agency recognized that there were some of
13 these impacts. A good example of this is
14 13-cis-retinoic acid, which is used off-label in
15 this country and basically throughout Europe for
16 treating neuroblastoma, in fact, has a labeled
17 indication for this in Italy, which I found
18 interesting that they chose to do this. But the
19 drug has no use outside of neuroblastoma in Japan
20 because it doesn't have an (?) problem. So the
21 Japanese cannot get this drug, and the Japanese
22 can't bring it in because their government looks to
23 our label for indicated use, and since they don't
24 see it, then that makes the importation of the drug
25 difficult.

1 So I think that there are some governments
2 that do look at what happens in the labeling, and
3 the actual availability of a drug could be impacted
4 on by not having pediatric labeling indications.

5 MS. ETTINGER: I think that for the
6 patient, family, and the nurse, I think labeling is
7 most important. I know that as a nurse I always
8 read the package inserts. I find it invaluable to
9 know exactly what's going on or what went on to get
10 that drug to where it is.

11 From the patient/parent perspective,
12 they're reading labels, too. I always appreciate
13 the patient inserts that are supplied as a separate
14 entity.

15 On the other hand, I think that everyone
16 should--I think that the patients and their
17 families absolutely look at them as well, and
18 whatever is available on the Internet is always
19 looked at, whether it's from the company that
20 produces it or from any other source that families
21 can get. The more information that's available out
22 there I think is always important, particularly
23 from my perspective as a nurse.

24 DR. PELUSI: I agree with those comments.
25 To the colleague from Germany, I appreciate the

1 fact that in the inserts you have the negative
2 trial results as well. And I think that's very
3 valuable because for me, having patients and
4 families come in, again, they're always asking,
5 "But I hear we're using here and here and here,"
6 and yet there is no real definitive place where
7 those negative results can be seen. And sometimes
8 they fell like, well, perhaps you just don't know
9 that you can have access to it or it's used in a
10 different setting. So I think that's very
11 important in the labeling as well.

12 And the package inserts, I think for
13 patients specifically, really would help
14 tremendously.

15 DR. PAZDUR: The patient package insert I
16 think is really a critical thing. Anybody that
17 takes a look at these product labels realizes
18 they're somewhat--they're getting somewhat
19 unmanageable. You know, it's sometimes hard even
20 for us to find out where the indication is, and
21 there are initiatives in the agency to really kind
22 of modernize the label and make it a little more
23 user-friendly with an abstract, perhaps, and those
24 have been ongoing.

25 One of the things that I want to

1 emphasize, remember, we're not talking about
2 pediatrics in isolation here. And I think when we
3 take votes and have this discussion, we have to
4 understand that oncology is a bit different bird
5 than the rest of medicine in the sense that we do
6 have a tremendous amount of off-label use in adult
7 oncology as well, obviously, in pediatric oncology.
8 And, therefore, what we put in the label, we have
9 to have an understanding of how useful it would be.
10 If we start putting every negative Phase II trial
11 in a label, this could become quite unmanageable,
12 and especially when one sees, you know, some of the
13 more common drugs might have maybe up to 15, 16
14 different types of tumors that are studied or types
15 of indications. So exactly what to put in there,
16 we really need to have a further discussion on and
17 what would be its importance, because it doesn't
18 just affect pediatrics but would have a wider
19 trend.

20 So in the deliberations that we're going
21 to be discussing, I really would like people to
22 keep that in mind. We're not acting just in
23 isolation here with pediatrics, that if we start
24 putting in information based on two out of eight
25 patients treated with a certain disease in

1 neuroblastoma, would we put that information in for
2 two out of eight patients treated with metastatic
3 colon carcinoma?

4 You know, here, again, we want to get
5 information out, but there is some commonality and
6 some precedents that this could set, and we really
7 have to be cognizant of that also.

8 DR. SANTANA: I want to kind of follow up
9 on that, because I was struck, since you guys
10 presented five very informative cases and each case
11 has its own unique aspect to it, I was struck by
12 Case No. 4 in that the indications were completely
13 different in that the preponderance of evidence in
14 terms of the numbers of patients was not very big,
15 but a decision was made to include negative data in
16 the label, which would go contrary to some of the
17 discussion we've had so far. And obviously it's a
18 case for discussion, but I was curious to know how,
19 based on the current environment, how that decision
20 was made. Maybe one of you could clarify.

21 DR. HIRSCHFELD: I want to make what I
22 believe is a critical point of information in that
23 while data can exist from many sources--and I
24 appreciate Malcolm's pointing that out to us--in
25 these particular cases, these are data that are

1 generated because the FDA requested it, and these
2 are data that in most cases are being in some way
3 subsidized by the taxpayers, which is all of us, in
4 the form of the financial incentives the company
5 receives.

6 So in these cases, I believe the data not
7 only deserve consideration which would be different
8 from other types of data, but because there's been
9 this public trust in the regard, there's an
10 obligation to use these data in the most effective
11 way.

12 DR. SANTANA: My point, Steve--and that's
13 what I tried to say a little bit earlier this
14 morning--is that we're really talking either taking
15 a very conservative view of what the product label
16 is, and then trying to introduce these issues, for
17 these issues into the label, or a more liberal
18 approach or a rethinking of what the label should
19 be based on these pediatric initiatives. But I
20 also recognize what Richard said, that this goes
21 beyond pediatrics in terms of the label content.

22 So though I do recognize that we all have
23 an interest in this, both scientifically,
24 ethically, and financially, maybe for some of this
25 data the label is not the correct vehicle to convey

1 the information to the public. That's the point I
2 am trying to make, that I think we are either very
3 protective of the label in the way we view it as a
4 community, and if that's not the correct mechanism
5 to provide the information that we're being funded
6 to provide, we then need to discuss what are those
7 other mechanisms so that the public and physicians
8 get that information.

9 So I'm not saying the information should
10 be put away and not listened to. I'm just
11 questioning--and hopefully it will come out in the
12 discussion--whether the label is the right vehicle.
13 That's my point.

14 DR. HIRSCHFELD: I think you've summarized
15 exactly the crux of the whole discussion.

16 DR. SANTANA: Hopefully some other people
17 have something to say.

18 Dr. Finklestein?

19 DR. FINKLESTEIN: First a comment for
20 Richard and then some questions for Steve. The
21 comment for Richard is the American Academy of
22 Pediatrics feels very strongly that labeling is
23 important for the general child. So I realize
24 we're discussing oncology, but pediatrics needs
25 labeling badly. This is extremely important.

1 Now, for Steve, a couple of things. One
2 is I wondered if you could--and they're a series of
3 questions. One, I wonder if you can quantitate
4 over the last three years the number of cases that
5 have now come to your attention because of our
6 interest in oncologic drugs for children and the
7 submissions made by the pharmaceutical industry.

8 Second, do you have any handle on how many
9 oncology drugs have been approved for labeling in
10 pediatrics; namely, what is that total number?

11 And the third thing is really for the last
12 case. How do you define in the FDA "effective"?

13 DR. HIRSCHFELD: I'm going to punt on that
14 last question because that's a whole discussion
15 unto itself. But the short answer to the last
16 question is: Live longer, live better. And we
17 have many discussions and publications on that
18 theme.

19 But to back up, we have issued
20 approximately 30 written requests for pediatric
21 studies in oncology, and as far as we know, they've
22 all been accepted and are being acted on. We have
23 received the five that you've seen in response, and
24 they're continuing to come in. And we have
25 effected labeling changes in a subset of those

1 five, as you've seen, with a couple still pending.

2 We have some programs under development
3 which will be coming in with a pediatric indication
4 as the first approval. And overall, depending on
5 how one counts pediatric indications, but Dr.
6 Pazdur, Dr. Smith, Dr. Peter Ho and I have a
7 manuscript which tabulates these in various ways.
8 And if there is a mention of a pediatric disease
9 somewhere in the product label, then it comes out
10 to be about 16 products. But formal indications,
11 it's actually fewer than 10, and the last time
12 prior to this year that we had a submission was in
13 1990.

14 DR. PAZDUR: I wanted to follow up on
15 Jerry's comment. By no means am I discouraging--I
16 want to make that real clear--any inclusion in the
17 pediatric label. I think there is a great need for
18 information, but I think we have to as a group
19 tackle with these difficult problems.

20 One of the concerns, obviously, if you're
21 putting in relatively preliminary data, two out of
22 14 patients that got a response in a particular
23 tumor, are you giving a de facto indication to the
24 sponsor by including that data? So I think you
25 have to be--and would that potentially actually be

1 deleterious in precluding further study and real
2 studies to be done if they already have a claim?

3 One of the things I'd like to bring out
4 is, you know, one of the areas we're very careful
5 about and concerned about the labeling is
6 promotional claims that sponsors make. Because of
7 the nature of pediatrics--and I'd like some
8 discussion on this--I'm really not that concerned
9 because the pediatric patients, especially
10 pediatric oncology patients, basically have a
11 different type of practice--or pediatric
12 oncologists have a different type of practice much
13 more involved in protocol applications than, say, a
14 claim that a sponsor would make in the treatment of
15 breast cancer based on two out of eight patients
16 having a response in breast cancer and then trying
17 to make some claim that this was active in breast
18 cancer. I think the same promotional concerns,
19 although theoretically could be there, perhaps
20 don't apply that well in a realistic arena to
21 pediatric oncology. And I'd just like to get some
22 feeling on that from some of the people.

23 DR. SANTANA: I can comment from my own
24 perspective, and others that are more senior can
25 comment, too. I think, you know, as you well know,

1 pediatric oncology is primarily clinical
2 investigating, protocol-based, so that the
3 impact--I can speak for myself, and I think the
4 people at St. Jude, the impact that promotional has
5 on which drugs we choose to study or how we choose
6 to do our studies is, at best, negligible. It
7 really has no major impact.

8 But we need to be cautious about that
9 because the field could change, you know, 50 years
10 from now. But I think currently it's a very
11 negligible impact.

12 Greg, do you want to comment on that?

13 DR. REAMAN: I would certainly agree with
14 that. My only reservation would be in the setting
15 of recurrent disease. Certainly in newly diagnosed
16 patients, in the context of front-line therapy, I
17 think promotion would have a little impact. But in
18 the setting of recurrence, I would anticipate some
19 potential problems.

20 DR. SANTANA: Dr. Melemed?

21 DR. MELEMED: I wanted to reiterate the
22 value of pediatric--or the package insert in regard
23 specifically to the pharmacokinetics, the dosing.
24 Somewhat ironically, in all other indications it's
25 a very valuable resource for pediatric oncologists

1 to look at. Unfortunately, in oncology there's
2 very little guidance and, therefore, we have to go
3 to other sources to get that. So I think in that
4 area, it's very important to get some sort of
5 guidance on how you use these drugs, even though
6 they may be for a potentially different indication
7 than what it's approved for.

8 DR. SANTANA: Dr. Schweim?

9 DR. SCHWEIM: I would again tell you
10 something about the German situation, especially on
11 the topic of label use. In Germany, 90 percent of
12 all children are treated on protocols like in the
13 U.S., and they are treated in clinics. But then we
14 have the follow-up with the outpatient problem that
15 I would tell you about. The German situation can
16 be described that 90 percent of the inhabitants are
17 insured for health occurrences via a governmental
18 based insurance system. And, therefore, it's very
19 complicated that our court of social affairs has
20 said that drugs only can be reimbursed if they are
21 used according to the labeling. That's not the
22 problem--that's not the problem for inpatient
23 because there is another system working, but for
24 outpatient, it's a very bad situation because the
25 oncologist for outpatients has the problem that he

1 cannot be reimbursed for the treatment he has for
2 the children coming from the clinics, and he also
3 is not reinsured if he makes any failure as a
4 clinician because the insurance company only
5 insures them if they're using in the correct way of
6 the approval. And we have tried to figure out how
7 many cases there are, and I think it's only 20
8 percent where the treatment is occurring to the
9 labeled indication. And I think it's not the
10 severest problem in oncology, pediatric oncology,
11 but it's much more worthwhile to figure it out in
12 other indications that we have lots of problems
13 with that.

14 And then I have a question. You mentioned
15 the problem with the package leaflet. I think
16 according to the ICH harmonization process and the
17 CTD comments, it's absolutely obvious that all the
18 items to be mentioned are in the correct place.
19 And they follow up something like a queuing in the
20 system, and I think to follow up very precisely
21 these CTD comments, not having (?) with
22 advertising situation and so on from the company is
23 very useful for patients, for parents of patients,
24 for nurses to read the package leaflet as
25 information.

1 So in Germany, we have decided that all
2 other information must be in a black box--not a
3 black box as a warning box, but a black box as an
4 advertising box. There they can state some further
5 information which must have to do with the usage of
6 the drug and, two, must be approved by the agency.
7 They're not allowed to use any wording on their
8 own.

9 DR. HIRSCHFELD: Just as a point of
10 information, CTD that Dr. Schweim referred to is
11 Common Technical Document from the International
12 Conference on Harmonization.

13 DR. CHENG: Thank you. Getting back to
14 Dr. Pazdur's comments about off-label use and
15 inclusion of negative data, I would encourage
16 the--obviously I think we have to be pragmatic
17 about the size of the studies and what goes in.
18 But, on the other hand, we also have to take into
19 account that often certainly in the U.K., U.K.
20 press, and concerns that health professionals,
21 pediatricians, and parents have is that drugs
22 haven't been tested at all in children. So at
23 least if there was some data, I think that would at
24 least allay some of their anxieties, albeit
25 negative, but obviously I think to put in two out

1 of eight, I think we would have to have a
2 case-by-case discussion for each one. I think it
3 has to be interpreted carefully. But, on the other
4 hand, overall I would encourage it because
5 certainly in the U.K. press, we get a lot of
6 children being tested or being used as animals
7 because drugs have never been tested in this
8 population.

9 As far as the label is concerned, I think
10 in the U.K. and Europe, the equivalent is the SPC,
11 the Summary of Product Characteristics, and I would
12 agree with Dr. Santana that it may not always be
13 the appropriate way of communicating to health
14 professionals because they don't always read it.
15 However, I think it's still an important document
16 from a regulatory point of view, and it has to
17 be--it is the agreement between a regulatory
18 authority and the pharmaceutical company, and it
19 shows that that data has been submitted, the data
20 has been reviewed. It may be that it needs to be
21 supplemented by other communications so that it
22 reaches the health professionals and the nurses and
23 the patients.

24 Then one final question to you is
25 obviously these data, as I said before, are very

1 encouraging, but they don't fully answer all the
2 questions. What means do you have in the U.S. to
3 go back to the companies and say what plans do you
4 have for further study to answer the unanswered
5 questions that have been raised by these studies?

6 DR. SANTANA: Steve, do you want to
7 address that?

8 DR. HIRSCHFELD: Yes. We have hopefully
9 just our interest and our persuasive abilities at
10 hand. We don't have other tools, other regulatory
11 tools, but we hope that, again, because we view the
12 pediatric oncology community as a community, if we
13 have discussions with the Children's Oncology
14 Group, with our colleagues at the NCI, with
15 colleagues at some of the independent research
16 hospitals, further development could occur.

17 DR. SANTANA: Dr. Hagey?

18 DR. HAGEY: With regards to these five
19 case studies and dosing in particular, perhaps I
20 could ask for a little clarification as to why the
21 dosing information was really provided, it looks
22 like, only in Case 4. It appears that maybe
23 perhaps 450 children were tested, but yet the end
24 result is maybe only any dosing information only
25 included for one of those studies.

1 DR. HIRSCHFELD: If I could respond to
2 that, Dr. Santana. Case 4, actually, dosing
3 information was not provided in the label. If
4 we're referring to the same case, that's the one
5 where there was lack of activity. Yes, but you
6 meant--I think your point was how can so many
7 children have been involved in studies and yet it
8 doesn't quite make it to the label. And we
9 actually--and I'll ask Dr. Zajicek or Dr. Booth to
10 amend the comments, but on the whole, the data that
11 we submitted, the raw data, could be analyzed and
12 could be used to determine dosing.

13 Now, whether we decided to--so, therefore,
14 the studies in our view were informative and,
15 therefore, ethical. Whether those data made it
16 into the product label or not varied according to
17 the circumstances, and I should say that we haven't
18 taken final action on all of these cases. So there
19 may be more. But Case 2 was one where there was
20 information that we were able to include in the
21 product label, and I'll ask now Drs. Zajicek and
22 Booth if they have anything further to say.

23 DR. ZAJICEK: For Case 1, my understanding
24 is there's some plan, if the drug has an
25 indication, to put in the PK data. So it's likely

1 that that may go in.

2 DR. SANTANA: I thought she was talking
3 about Case 2. Were you talking about Case 2, just
4 for clarification? There's some confusion about
5 which case you were--

6 DR. HAGEY: Yes, Case 2 appeared to be the
7 only one where dosing information was included. I
8 incorrectly spoke as Case 4.

9 DR. SANTANA: Dr. Boyett?

10 DR. BOYETT: Richard, when you were
11 talking about two out of eight, et cetera, numbers
12 of response and trying to determine efficacy, if
13 these data were generated by a well-designed,
14 planned clinical trial, then the investigators
15 prospectively wrote down how certain observations
16 should be interpreted. And so we shouldn't be
17 talking about them out of the context of the
18 clinical trial in which they were generated. If,
19 in fact, the study said that two out of eight would
20 result in concluding that the drug had no activity,
21 then certainly I think that was an indication that
22 should go into the label, and perhaps that's what
23 happened with Case No. 4, where what was written in
24 the label actually interpreted what was
25 prospectively decided before the clinical trial was

1 run.

2 I have a question about Case No. 1. You
3 write down that really the concern is trying to
4 choose the proper pediatric dose, and I'm not sure
5 I know what the definition of "proper" is.

6 DR. ZAJICEK: The applicant--the doses for
7 the pediatric--the initial dose, the starting dose,
8 was designed to have the same exposure as the adult
9 dose. So the thought was that if the adult
10 exposure, you know, was X for that, the adult dose,
11 then if the pediatric dose had the same exposure
12 and it was effective, then that would be the
13 correct dose. The problem has been the overlap.

14 DR. BOYETT: Well, actually, is that a
15 well-formulated question to address, anyway?
16 Because there's variability in exposure amongst the
17 adults--

18 DR. ZAJICEK: Absolutely.

19 DR. BOYETT: --who got the same dose. So
20 you could say that we want a pediatric dose that
21 achieves the same exposure as in 50 percent of the
22 adults or 75 percent or something like that. But
23 to say the same exposure seems to me like that's
24 not well formulated either.

25 DR. ZAJICEK: I don't argue. It's a

1 complicated question about what the right dose was,
2 and, again, we're talking about a small population,
3 you know, a small number of pediatric patients, a
4 small number of everything. And we're still
5 discussing this. So you're right, and we're not
6 sure what the right answer is.

7 DR. SANTANA: Dr. Smith?

8 DR. SMITH: We've heard how much both
9 patients and nurses and physicians desire
10 information in the label or in other places that
11 they can get access to. When we're talking about
12 the label, though, the implications of not updating
13 the label in a timely manner, I wonder if you've
14 considered those, particularly as we think of the
15 patient, the family that reads the label, the dose
16 that their child is receiving is different, is
17 being used--rather than single agent, is being used
18 in combination. And because the label hasn't been
19 updated, you know, it's not reflecting what their
20 child is receiving. This creates confusion and
21 sometimes hostility and difficulties, when, in
22 fact, again, as you mentioned before, there may be
23 good reasons that that dose is being chosen, that
24 there are other sources of data that the treating
25 physician has had access to that justify the dose

1 or the combination that's used.

2 I wonder if you could comment on the
3 implications for not updating the label in a timely
4 manner and whether it would be possible to include
5 some disclaimer that, you know, there may be
6 additional data that aren't included in the product
7 label that the physician may have access to, to
8 guide the appropriate use of the drug in children.

9 DR. PAZDUR: This is a very difficult
10 question, you know. We rely basically on companies
11 to submit data to update the product label, and as
12 I said, in oncology there is rampant off-label use.
13 If you take a look at the dose--the label on 5-FU,
14 if somebody was using that as a treatment guide for
15 the treatment of metastatic colon cancer, it would
16 be totally irrelevant. I don't think it's been
17 updated since the mid-1960s.

18 We started doing this, and really the
19 manpower basically to start updating and reviewing
20 all of these labels to make them as if they were,
21 quote, treatment guides for a disease or the way
22 the drug--every possible indication or how the drug
23 is being used, it is very difficult, it is very
24 time-consuming--would need a huge expenditure of
25 people and time and probably almost a doubling of

1 the staff, just using a figure out of the air here.

2 And you then get into a situation where
3 you have varying levels of evidentiary proof in the
4 label. For example, data that we took for the
5 submission, the original NDA, have constructed the
6 survival curves, have audited this data, and then
7 perhaps might include data that we get from a
8 publication where we don't have access to that
9 primary data.

10 So it becomes a very difficult situation,
11 and labeling has been outdated, and, again, this is
12 a major problem. But it really would require a
13 tremendous amount of resources to address this
14 issue, to make it current, and then how to continue
15 to make it current.

16 DR. REAMAN: I concur with Malcolm that it
17 is a major problem, and I also understand the
18 magnitude of the problem in trying to continuously
19 update the label.

20 Alternatively, would there be an option
21 for sort of a general disclaimer to the label or in
22 every label, that there may be clinical trials that
23 are evaluating different doses of this same drug in
24 perhaps a different schedule? That may prevent
25 some of the concerns that Dr. Smith has raised.

1 DR. HIRSCHFELD: I just would like to
2 amend some of the previous comments and state that
3 the definition and use of the label as a document
4 is perhaps beyond the scope of what we wanted to
5 ask this morning, and what we'd like to get some
6 focus to is, given that we've requested pediatric
7 data, how should those data be mapped onto a
8 product label in different circumstances? And if
9 we have particular cases that fit patterns, then
10 would those patterns help guide us into fulfilling
11 what we've been given as a mandate, which is to
12 dissemination the information that we've asked for?

13 DR. SANTANA: I agree with that, Steve. I
14 think what I'm hearing Malcolm and Dr. Reaman say
15 is somewhat different. It's saying, yes, you've
16 gone out through whatever mechanisms the FDA has to
17 request sponsors to do these studies in pediatrics.
18 And now you're going to be receiving that data
19 derived from those studies that were part of the
20 request, and now you're trying to decide how that
21 information, if it's valid or not, makes it into
22 the label. I don't think we disagree with that.

23 I think what I'm hearing is you have to
24 recognize that in pediatric oncology, by the nature
25 of what we do, which is clinical investigation,

1 there is concurrent therapy that is going on that
2 you are not going to be able to reflect in the
3 label in a timely manner, no matter how much effort
4 you have, but you need to give the oncology
5 community a way and the families a way to recognize
6 that there is a concurrent, ongoing discussion of
7 this product and its indications in pediatric
8 oncology and give us that tool so that parents do
9 become better informed.

10 I think that's what they're saying.
11 They're not saying, you know, which studies you
12 choose or don't choose that were not part of the
13 written request. I think we all agree that you
14 went out there with a written request, you're going
15 to get that, you're going to evaluate it and make a
16 decision. But you have to recognize that there's
17 another body out here of ongoing research and
18 investigation that's occurring, and you need to
19 give us that tool as part of the label. I think
20 that's what we're saying. I think we're getting a
21 little bit more into the summary issues.

22 I want to take a couple more questions,
23 and then I want to take a break. I think Drs.
24 Reynolds, Pelusi, Vassal, in that order.

25 DR. REYNOLDS: I just wanted to mention

1 something we haven't discussed, and that is that
2 there are a number of drugs that are used in adult
3 indications that are then taken to the pediatric
4 setting in myeloablative therapy, and that would
5 totally change the pharmacology and the use of them
6 and the safety and a variety of issues. So I think
7 that's a separate category and something that we
8 need to think about as to whether or not labeling
9 indications for use in that context would be
10 appropriate.

11 DR. SANTANA: Dr. Pelusi?

12 DR. PELUSI: My comment just got back to,
13 again, the issue that Malcolm brought up in terms
14 of the labeling because, again, patients and
15 families really do look at that. And so if there
16 was a disclaimer--but also there may be another
17 mechanism, whether it's the PDQ or whatever, in
18 terms of what are the current things going on that
19 may be a nice bridge for patient education and
20 consumer--because, again, it's the whole issue of
21 safety and expectations for consumers.

22 DR. SANTANA: Dr. Vassal?

23 DR. VASSAL: Yes, I agree with Dr. Santana
24 about his comment, but I would like to highlight
25 the fact that there is a lifestyle--a life after

1 the labeling for the drug, and it's important that
2 the labeling data encouraging the use, the wide use
3 of the drug in pediatric oncology should be
4 evaluated in protocol, prospectively evaluated in
5 protocol. And the key issue is how these negative
6 results are available in order to avoid duplication
7 of studies, providing that these studies with
8 negative results have been conducted with
9 appropriate and adequate methodology. And my
10 concern about most of the cases here is for the
11 negative data, there are enough data to say with
12 this dose, this schedule, this drug is not active
13 in this disease.

14 The proof of concept is important when the
15 disease is the same in adults and children.
16 However, when we are considering pediatric tumors,
17 we do need strong data to say it is not active or
18 it is active. And I would say that it is important
19 to make possible a larger number of patients in
20 such studies to really provide the important data,
21 because, otherwise, we will give some information,
22 it's positive, it's not positive, it's active, not
23 active, and it will not be strong data for the
24 patients. So the negative results are important,
25 need to be provided, but they need to be

1 statistically available and strong.

2 DR. SANTANA: Dr. Mathieu?

3 DR. MATHIEU-BOUE: Thank you. First of
4 all, thank you for the clarification for Case 4,
5 because I had the same concerns previously
6 mentioned. And I would like to make a general
7 comment. I fully support my colleagues from U.K.
8 And, of course, we need to have in the product
9 label any kind of relevant information for clinical
10 use and for the nurse or for the family, for the
11 patient, as a kind of guidance for use as you
12 mentioned. But I have some concerns about the
13 implementation in the product label of very limited
14 data because sometimes, and especially maybe it's a
15 European concern only, but some limited data
16 mentioned the SPC or product label would in some
17 cases limit or decrease the accrual of ongoing
18 trials. And I think we have to keep that in mind.

19 I have also some other comments with
20 regards to the negative study, negative results
21 study. I think that, of course, the whole
22 community needs to have them published, but there's
23 a comment we can have between agency, regulatory
24 agency. Maybe we could encourage, officially
25 encourage the publication of negative results

1 either through classical publications with strong
2 recommendations, official recommendations--this is
3 a point for discussion, of course--but also as you
4 mentioned, it's very important to have for the
5 public the data when they have been reviewed. When
6 negative have been reviewed, I think this is very
7 important to let them know. But I think we could
8 encourage to help them either through public
9 reports through European system, for example, on
10 the Net or somewhere else. So it's two types, two
11 means, two tools, I would say, to publish the
12 negative results.

13 And my last comment is about the
14 combination trial, and I'd like to have the--trial,
15 I mean use of chemotherapy and so on, and I'd like
16 to have the discussion today about what do we need
17 in the product label about the combination use.

18 DR. SANTANA: Dr. Ettinger?

19 MS. ETTINGER: I just wanted to comment as
20 well about the disclaimer idea and suggestion,
21 something about discussion of ongoing research. I
22 think that's very important, and I don't think we
23 should discount the importance to insurance
24 companies, as you have mentioned. And we
25 constantly are being asked--I am in that position

1 in what I do--from insurance companies. And I do
2 believe that they do read that, not so much just
3 for billing purposes, as they suggest when we speak
4 with them, but also to see that 5-FU or whatever,
5 I'm using that as an example, hasn't been updated
6 for how many years. And I think that a disclaimer
7 might help in there as well with some form of
8 reference material to say there is ongoing research
9 to indicate the use.

10 DR. SANTANA: Dr. Schweim?

11 DR. SCHWEIM: I would like add three
12 comments, the first one on the disclaimer
13 discussion. In Germany, we would reject such a
14 disclaimer. While it is indicated there are
15 ongoing trials of it, there is other information
16 available which the doctor might have used, because
17 to our point of view it's too paternalistic an
18 approach of medicine. The goal is the informed and
19 decidable patient and, therefore, he must have
20 access to full information depending on the age of
21 the child or the decision of the parents.

22 The second comment I would like to make is
23 about update of the package leaflets and the
24 informational data. In the German drug law, the
25 pharmaceutical company has an obligation for

1 paramount observation of the market and the use of
2 the drug in the health professional society. And
3 if there is any change, they must be forced to
4 do--to make a variation procedure and to include
5 this new information in their package leaflet
6 voluntarily--voluntarily in brackets; and if they
7 don't do so, then we have a renewal procedure of
8 five years where the agency themselves can change
9 the package leaflets so that we try--we do not
10 always succeed, but we try to update the package
11 leaflets as often as possible so that it's always
12 on the active basis. And any changes have been
13 indicated by printing down the date of the change
14 on the package leaflet as information for the
15 patient.

16 The last item, the publication of negative
17 data. I totally agree with my colleagues. It's a
18 very, very need to have publication of this. In
19 Germany, we have the problem that all ongoing
20 clinical trials and their results must be sent to
21 my agency, and then they are stored in a database,
22 and that's it. We are not allowed to publish this
23 data. We are not allowed to give scientists access
24 to these databases on behalf of the Intellectual
25 Property Rights Act because in very seldom cases

1 negative data results to further indications and so
2 on, and so the companies have succeeded that these
3 databases are absolutely confidential.

4 So I appreciate very much the Freedom of
5 Information Act of the U.S. because we collect our
6 information for the patient via the USA.

7 DR. SANTANA: A point of clarification for
8 me just so I understand. But if the German study
9 groups participate in multi-international studies,
10 then you are obliged to provide that information,
11 right? Is it only just for studies sponsored--

12 DR. SCHWEIM: It's only for--the situation
13 for the sponsor. The agency is filing the data and
14 is only obliged to use it in pharmacovigilance
15 cases. This is the only exception we have. All
16 other informations are not allowed to be published
17 via the agency, but they are waived from other
18 sources, for example, in a multi-country clinical
19 trial from the U.S. or from other countries who
20 have similar Freedom of Information Acts.

21 DR. SANTANA: Dr. Melemed, you have a
22 point?

23 DR. MELEMED: It's a comment in regard to
24 Malcolm's statement. I think the question is: Is
25 it better to have something in the label regarding

1 dosing and pharmacokinetics that may be outdated or
2 to have the opposite that is there, that pediatric
3 safety and efficacy cannot be established?

4 DR. SANTANA: Ms. Keene?

5 MS. KEENE: I just have a couple of
6 general comments on the conversation that's
7 occurred to this point. I am in favor of full
8 disclosure and as comprehensive information as
9 possible on the label, on pediatric labels. I
10 understand we're not operating in isolation. I
11 understand that adult labels could become, you
12 know, as long as a football field, but that's not
13 the case in pediatrics. So let's put the
14 information that we have on the label so that
15 parents can make informed decisions.

16 I'm going to think more about the
17 disclaimer concept, although my first response is I
18 wouldn't be in favor of it, namely because most
19 drugs that are currently used in pediatric oncology
20 are not on the labels. They're off-label use.
21 It's a matter for communication between physician
22 and family and explaining to them what's on the
23 label, why it's on the label, what is the evolution
24 of the trial that has been proposed for the child.
25 And often, as you all know because you do this

1 every day, you explain to families who want this
2 level of detail--some do and some don't, but the
3 ones that do, you explain to them what the
4 evolution of the trial is, the reason this trial is
5 being proposed for their child, and what the
6 information, we hope, will be learned from that
7 trial. And then give them all the information
8 that's available and let them make an informed
9 consent.

10 I also am not in favor of a few of the
11 discussions that have come up about alternate forms
12 of providing information, especially about negative
13 results. It is very hard to find things in the
14 Federal Register. It's very hard in some cases to
15 find things on the FDA website. I think that if
16 we're going to put information, we should put all
17 the information on the label and let people find it
18 in one place and then go to their physician, have a
19 discussion about the proposed treatment, and make a
20 decision.

21 DR. SANTANA: Dr. Finklestein?

22 DR. FINKLESTEIN: I have a suggestion to
23 help quantitate--and I have to give credit to Pat
24 Reynolds because he gets stuff before they're
25 published, and the article that Steve referred to

1 that you and Malcolm and Rich published actually
2 quantitates these drugs, lists what's labeled, what
3 isn't labeled, and maybe after the break, Mr.
4 Chairman, if Steve would perhaps--undoubtedly you
5 have it on slides because you always have
6 everything on slides--could show this. I mean,
7 we'll find out what's really in the labeling
8 situation?

9 DR. SANTANA: Do you have that
10 information?

11 DR. HIRSCHFELD: I could share it orally.
12 I didn't bring slides on that with me. But I do
13 want to address some of the points--

14 DR. FINKLESTEIN: After lunch.

15 DR. HIRSCHFELD: Yes. I want to
16 state--what I'm going to say is my personal opinion
17 and shouldn't be interpreted as the voice of the
18 U.S. Government in this regard. But I think that
19 to consider the product label as the all-purpose,
20 up-to-date, thorough monograph is not desirable in
21 terms of the actual intent of the product label,
22 which is a licensing statement on the use of those
23 data that have been reviewed by the Food and Drug
24 Administration. I think to include a blanket
25 disclaimer that there are other uses and other

1 doses available and please find them out is an open
2 invitation for all types of promotion, and I'd like
3 Mr. Allera, when he gives his comments, if he might
4 respond or comment on that particular point.

5 I would request that although we hear loud
6 and clear the need for up-to-date, accurate patient
7 information available, for our purposes what we're
8 trying to seek advice on is we have a body of data
9 and we would like to get the advice on how we
10 should best handle those data that we do have. And
11 the other data, which are in other settings and in
12 the parallel universe, might be a very interesting
13 subsequent discussion.

14 DR. SANTANA: I think we're going to go
15 ahead and take a break. Make sure you get back on
16 time. We'll take a 15-minute break, reconvene 5
17 minutes to 11:00. Thank you.

18 [Recess.]

19 DR. SANTANA: Let's go ahead and
20 reconvene. We now have an opportunity for our open
21 public hearing session. Only one individual has
22 requested to address the committee, and that is Dr.
23 Allera. So, Dr. Allera, if you could please come
24 to the podium.

25 I lost him. He was just here a few

1 minutes ago. We'll give him a couple more minutes.

2 Then after Dr. Allera, there was a
3 consensus from the committee that Dr. Hirschfeld
4 present some additional information from a recent
5 publication, so we will give Dr. Hirschfeld the
6 opportunity to address the committee again.

7 So, Dr. Allera, please, could you identify
8 yourself?

9 MR. ALLERA: My name is Edward Allera.
10 I'm counsel to the National Cooperative Oncology
11 Groups of NCI, also an attorney that represents a
12 variety of clients before FDA. And I'm appearing
13 today pro bono to discuss these issues of dealing
14 with oncology and oncology data based on these.
15 Dr. Hirschfeld and I spoke over the last several
16 weeks, and perhaps trying to look at perhaps the
17 larger picture that you as a practical matter raise
18 today. So he asked me for my thoughts.

19 I'm an ultimate pragmatist, and I believe
20 we need to develop a system that makes available
21 all information about oncology drugs either in the
22 labeling of the drug products or some publicly
23 accessible documents that provide a rating system
24 for the drug products, such as FDA's Orange Book.
25 Clinicians, patients, and their families and

1 friends, insurance companies, and others are being
2 exposed to a cacophony of information about
3 oncology drug products. The noise comes from
4 variable sources, is of disparate quality, and is
5 often unfettered. We need to consider a rating
6 system, I think, that would clarify the quality and
7 quantity of the data. Such an approach could be
8 communicated perhaps clearly and concisely to
9 interested parties, and it hopefully would create
10 an incentive for additional research that could be
11 used to support reimbursement. Such an approach is
12 consistent with the historic approaches of FDA's
13 regulation of information, especially as that
14 authority has been refined by the courts.

15 Now, Dr. Hirschfeld, as always, gave a
16 very thoughtful presentation and went through the
17 history of FDA regulation and the statutes. I
18 think it's interesting that most recently--and he
19 mentioned the '62 act, which added adequate and
20 well-controlled investigations to the statutory
21 definition and gave FDA the authority over drug
22 advertising.

23 That was an interesting era where you had
24 basic media, radio and television networks,
25 newspapers, and national magazines, and it was

1 expensive to provide that information. Regulation
2 was straightforward. We were also pre-Medicare,
3 pre-Medicaid, pre-cable television, pre-computer,
4 let alone pre-Internet. Health care information of
5 any kind was generated in limited amounts, was
6 accessible through limited means. Health care
7 professionals and government were accorded a
8 deference that's almost unfathomable today.

9 In the early 1970s, FDA through rulemaking
10 established the format for the package insert and
11 drug labeling. And one goal of that revision was
12 to provide health care professionals and others
13 with a standardized format for comparing the data
14 that FDA had analyzed and reached a conclusion
15 about. Data from clinical and other trials as well
16 as relevant studies of new drugs were submitted to
17 FDA, and only that data deemed appropriate were
18 included in labeling and characterized by the
19 agency. Also, you had a very nice, controlled
20 clinical system.

21 That simple system began to crack in the
22 1970s and 1980s with the so-called patient package
23 insert. After that came direct-to-consumer
24 advertising. Then the courts began to limit FDA's
25 ability to regulate truthful information about

1 drugs, holding that the agency's constrained by the
2 rules that apply to the regulation of commercial
3 speech.

4 For almost 30 years, information about
5 drugs was limited, and that information was
6 available only through the FDA filter. For the
7 past decade, however, that model has not been true.
8 Formularies, both public and private, are the norm.
9 Therapeutic decisions are made routinely on the
10 basis of economics. Economic decisions are made on
11 the basis of data comparisons that FDA would never
12 permit pharmaceutical companies to make.

13 So today we face a new paradigm. Through
14 technical advances, information of all quality and
15 quantity and veracity are available. Data are
16 available from chat rooms and unregulated sources,
17 from true believers and charlatans. Patients and
18 their families have, we have found, an insatiable
19 appetite for information about their diseases,
20 particularly as they become more life-threatening.

21 Negative data are often not published or
22 released. The courts have recognized the rights
23 and the needs of the public to receive information.

24 We also have a coalescence of technologies
25 and products that are subject to potentially

1 differing legal standards. We have drugs, devices,
2 biologics, all coalescing in therapy and all being
3 used. Practitioners are pressed for time to
4 evaluate these data, and payment for these
5 treatments is critical to the patients. The
6 information must be available, therefore, in a
7 manner that's useful to payers.

8 Most importantly, I think, patients,
9 although they must be informed, they must be
10 alerted to worthless and misleading, or worse,
11 data. I think perhaps the most important thing we
12 can think about is preventing people--having people
13 have a clear view as to the quality and quantity of
14 data.

15 In the U.S., we've created a fabulous
16 oncology research machine that has both public and
17 private arms. The cooperative groups of NCI enroll
18 about 35,000 patients in clinical trials. The
19 number is about half of the total oncology
20 patients, so we have a nice private sector arm.
21 For children, it's estimated, as we've discussed,
22 about 90 percent are on clinical trials, and these
23 trials are designed to provide improvements of the
24 existing standard of care.

25 But for adults, it's estimated only 3 to 5

1 percent of oncology patients are enrolled in such
2 trials. A congressional report of several years
3 ago indicated that about 70 percent of oncology
4 drug use is off-label, but much of this usage, of
5 course, is accepted standard of care among
6 oncologists. So we need to develop a system, an
7 information system that addresses the needs of the
8 patients and practitioners within the real world of
9 research guidelines and the need to encourage
10 enrollment in controlled clinical trials and push
11 the standards of care and cure rates even higher.

12 Congress attempted to restrict the
13 dissemination of information about off-label uses
14 by FDA in the Food and Drug Modernization Act of
15 1997, and the court rejected those restrictions.
16 But that's only one movement in this symphony of
17 information that's available. The courts have held
18 and believe that the world can no longer be seen
19 only through the prism of FDA. Decisions, critical
20 decisions about life and death and payment are made
21 on the basis of information or data that may have
22 never been fully analyzed or critiqued by the
23 agency. I'm a big believer in the old Buckminster
24 Fuller adage that there's no such thing as negative
25 information, so we need to think about a procedure

1 that provides everyone with the information
2 available in a useful form so that it can be used
3 in thoughtful decisionmaking processes.

4 Procedures are also necessary that
5 encourage the submission of information to FDA and
6 others for review, and such a system should provide
7 an incentive toward enrollment in clinical trials,
8 in my view. For oncology drugs, affirmative
9 reimbursement decisions are already made on the
10 basis of data that may not meet FDA's statutory
11 standards. Nevertheless, Congress and others have
12 concluded that such decisions are appropriate.

13 With the appropriate process, the failure
14 to participate could be reviewed in the decision,
15 and people can then weigh the decision of failing
16 to submit the information for FDA review or
17 inclusion in the information system. And objective
18 response rates, as you've discussed, need to be
19 clearly identified, perhaps, and assessed so people
20 can recognize what a real effective rate is.

21 A negative result in a small study may
22 reflect an absence of power, and a clinical trial
23 where anecdotal claims of great effectiveness may
24 have zero merit. Data are generated from a
25 spectrum of studies, from adequate, well-controlled

1 clinical trials through the range that we've seen
2 discussed here.

3 So we need some mechanism. FDA's
4 regulation established a content and format for the
5 labeling of prescription drugs, as Dr. Hirschfeld
6 mentioned. Contained within that format, I think,
7 is the germ of a model for this area. There would
8 be a rating system based on data. If one looks at
9 the discussion of pregnancy effects and
10 teratogenicity in the regulations, perhaps we
11 can--it has an alpha system for rating the quality
12 and quantity of data. That system rates drugs in
13 various numeric or alpha categories: A, if
14 adequate and well-controlled studies have failed to
15 demonstrate a risk of pregnancy; B, if reproductive
16 studies have failed to demonstrate a risk and there
17 are no adequate and well-controlled studies in
18 pregnant women; C, if animal studies have presented
19 a risk, and it goes on through D and X.

20 So for patients and the needs of insurers,
21 a system is used that--perhaps that system is too
22 primitive, but ASCO has a system, the National High
23 Blood Pressure and Education System have a program.
24 So in these discussions, I think perhaps an
25 alpha-numeric system where one rated the necessary

1 data that gave it an alpha and a numeric as to the
2 veracity of it might be useful.

3 I think that information need not be
4 restricted to the labeling. FDA, for example,
5 posts on a monthly basis the therapeutic
6 equivalence ratings of generic drugs in the Orange
7 Book on their website which gives people an idea as
8 to which drugs are therapeutically equivalent. So
9 it is not a system that is completely out of the
10 blue, and as you've discussed today, there's so
11 much information out there from a variety of
12 sources that perhaps, in my view, a rating system
13 that's alpha-numeric is useful and will provide a
14 mechanism for dealing with the difficulties you
15 face, particularly from pediatric oncology, which
16 could be used perhaps as a primer system for this.

17 By the way, I wanted to introduce Ajoy
18 Matthew, who's Director of Regulatory Affairs now
19 for the Children's Oncology Group and who will be
20 very active in this area.

21 Thank you very much.

22 DR. SANTANA: Thank you, Dr. Allera.

23 [Applause.]

24 DR. SANTANA: Anybody else in the audience
25 who wishes to address the committee, this is the

1 opportunity to do so.

2 [No response.]

3 DR. SANTANA: If there are no additional
4 public comments, then I'll invite Dr. Hirschfeld to
5 give us this long-awaited summary that we keep
6 talking about. Steve?

7 DR. HIRSCHFELD: Thank you. This is a
8 pre-print of a paper that will be appearing in the
9 Journal of Clinical Oncology in the March 15th
10 issue, and the Journal of Clinical Oncology is the
11 clinical journal from the American Association of
12 Clinical Oncology.

13 The purpose of this study was to examine
14 regulatory experience in the approval of pediatric
15 oncology drugs, and I'll just summarize the
16 abstract and show you two tables, and I think that
17 will convey the information that the committee was
18 interested in.

19 The method was a retrospective review of
20 FDA archival documents, published literature, and
21 in some cases some interviews with the people who
22 were involved in the studies. And the summary is
23 that over 100 drugs have been approved, plus
24 another 15 to 20 biologicals, but in this case, we
25 restricted our universe to the applications that

1 have gone through the Division of Oncology Drug
2 Products.

3 Of the over 100 drugs, only 15 have
4 pediatric use information in their labeling, and
5 according to a summary that Archie Bleyer of MD
6 Anderson, University of Texas, published several
7 years ago, there are 30 to 40 drugs which are
8 commonly used in pediatric oncology of this
9 universe of 100 approved drugs. And, therefore,
10 these 15 represent less than 50 percent of the
11 drugs commonly used.

12 In the past 20 years, there have been six
13 submissions to the FDA for pediatric oncology
14 indications, and the rest of the paper is a
15 discussion of these submissions. So I'll show you
16 the key data tables.

17 This table is a listing of the 15 drugs
18 that have pediatric use and pediatric dosing
19 information in the label, and anyone familiar with
20 the field will notice that these 15 drugs more or
21 less recapitulate the history of pediatric and
22 oncology drug development from approximately 1952
23 to 1970.

24 Since then, the following submissions have
25 occurred between 1980 and 2001, which was our

1 cutoff date for the analysis here. And of those
2 submissions, you can see that there was one new
3 molecular entity that was approved in 1990 as
4 salvage therapy for acute lymphocytic leukemia, and
5 there were two submissions, one for daunorubicin
6 and one for methotrexate, that were approved as
7 supplements. And these are old drugs.

8 What we were looking for and hoping to
9 stimulate by this study, by our initiatives, and by
10 dissemination of the information through
11 publications such as this and through other fora is
12 to be able to write in, we hope, the very near
13 future another paper which would say recent
14 submissions to the FDA on pediatric oncology drug
15 approvals.

16 I'll take any questions on the data or the
17 study.

18 DR. FINKLESTEIN: Steve, there's another
19 table, which I know is long and may be hard to
20 show, which is Table 2. For example, when you have
21 a column in there that says approved indication,
22 does that mean within that indication--I mean, it's
23 more than the 15 drugs. Am I correct?

24 DR. HIRSCHFELD: The criteria for
25 including the 15 drugs was when there was both an

1 approved indication and approved dosing. So in the
2 1950s through the 1980s, as the evolution of how to
3 apply the concept of adequate and well-controlled
4 studies evolved, it was possible to submit pooled
5 data on a variety of patients with malignancies and
6 describe response rates. And we included these
7 historic data because the product label mentions
8 the pediatric disease, even if the data by
9 contemporary standards would not be considered
10 persuasive.

11 In the 1980s, the Oncologic Drug Advisory
12 Committee began to hold its discussions, and
13 there's a series of discussions which support the
14 notion that efficacy in oncology should translate
15 into patient benefit, and the approval standards
16 from the mid-1980s forward have been in continuing
17 evolution of that concept of patient benefit.

18 The approved indications in these
19 instances refer to the historic standards and
20 shouldn't be misinterpreted as the contemporary
21 standards applying.

22 DR. FINKLESTEIN: So, for example, the
23 germ cell tumors do not list either carboplatin or
24 cisplatin as approved; prednisone has no rating for
25 leukemia--just to let everyone know where we sort

1 of stand. There's a whole emptiness put there, and
2 some of Henry's brain tumor drugs aren't listed
3 either.

4 DR. HIRSCHFELD: Right, and this is
5 precisely the point, and all that white space
6 between those yeses represent the gaps in
7 information and the absence of submissions for
8 review.

9 DR. SANTANA: Any further comments or
10 questions to Dr. Hirschfeld? Dr. Smith?

11 DR. SMITH: I would just amend Steve's
12 comment slightly. In some cases, they may
13 represent gaps in information, but in some cases,
14 they simply represent gaps in submission. There's
15 plenty of information in the published literature
16 or, you know, from cooperative group clinical
17 trials. And so it's again the issue of the
18 importance of recognizing that, at least in the
19 imperfect world we live in, you know, there are
20 multiple sources of information that are used to
21 make decisions about appropriate treatment.

22 DR. SANTANA: Any further comments? Dr.
23 Vassal?

24 DR. VASSAL: Yes, just a short comment to
25 highlight the fact that the situation is clearly

1 the same in Europe. I did a survey in October 2002
2 to look at the approvals in terms of marketing
3 authorization at the EMEA, and out of 280 medicinal
4 products that were granted on October 8, 2002, 26
5 were related to cancer or related malignancy
6 conditions. And only two out of these 26 had
7 appropriate labeling in terms of pediatric use.

8 This is not all the anti-cancer compounds
9 registered in Europe, but those centrally
10 registered clearly are in the same situation, poor
11 and no information about pediatric use. And the
12 sentence, "Safety and effectiveness have not been
13 established in the pediatric population," is
14 clearly something we don't want to see anymore.

15 DR. SANTANA: I want a point of
16 clarification from the agency that may shed some
17 light when we get into the questions. When written
18 requests and exclusivity guidelines are applied to
19 a product, that is, the agency goes out and says do
20 these studies in these pediatric patients under
21 these conditions, and the sponsors do that, when
22 the information comes in, is that interpreted as a
23 mechanism for a supplemental NDA? Is there a link
24 between those two processes? Answer that first,
25 and then I'll lead to the next one. How is that

1 information interpreted from the regulatory
2 perspective?

3 DR. HIRSCHFELD: There are two mechanisms
4 to submit the information to the agency in response
5 to a written request. The first mechanism is as an
6 NDA supplement, and that would imply that the data
7 that are contained in those study reports would be
8 sufficient to support a new indication. The second
9 mechanism is as a labeling supplement with clinical
10 data. And there the implication is that the
11 questions have been answered, and what we have to
12 then contemplate and wrestle with is of those data,
13 how much of it should actually go into the label,
14 and that's the focus of what we're asking you this
15 morning.

16 DR. SANTANA: Okay, good. So it leads me
17 to my second question, which is: If it's viewed as
18 information for a supplemental NDA, and the agency
19 finds that the information is just not there and,
20 therefore, the sNDA can't be approved, that
21 information never makes it to the label, because
22 technically the sNDA was not approved?

23 DR. HIRSCHFELD: No, it still could make
24 it in the label, depending, again, on--we
25 could--if, let us say, the data don't support

1 approval for that indication, but the data still
2 tell us something about safety or tell us--because
3 it's a negative study, or in some other way
4 informative, it's quite possible, even reasonable,
5 to consider putting it in the label in appropriate
6 sections. And I will go back to say that the
7 pediatric use section of the label is not the
8 comprehensive summary of the use of the drug in
9 children. It's a subsection under the precautions,
10 and it's intended to state any limitations or other
11 considerations in using the drug in the pediatric
12 population.

13 DR. SANTANA: Then, given that position,
14 why when a sponsor comes to the agency with the
15 required studies for the exclusivity, why does the
16 agency struggle with what information goes into
17 changes in label or not? Why not adopt the
18 principle that these were studies that were
19 requested by the agency, they were obviously
20 reviewed ahead of time, whether they're positive or
21 negative, provide complete or incomplete
22 information, why is that information--why are we
23 struggling with the discussion of trying or not
24 trying to put that information in the label?

25 Do you see what I'm getting at? If there

1 was a process where we requested the information
2 independent of the end result, what we're saying is
3 we're committed to that information. And within
4 the review process, if that information is valid,
5 why is that information not put in the label?

6 DR. HIRSCHFELD: Well, Dr. Santana, I
7 think that's a very beautiful introduction to
8 asking the questions, because perhaps by the end of
9 an hour or two, we could have a consensus on that
10 point.

11 DR. SANTANA: Okay, good. So for the
12 purpose of the record, I have to read the questions
13 to the committee, and what I'd like to do is
14 hopefully before lunch--we'll take a break at 12
15 o'clock--at least try to discuss Questions 1 and 2,
16 and then we'll take a brief lunch break at 12:00.
17 The original schedule said lunch from 12:00 to
18 1:00. I think we could do 12:00 to 12:30 if the
19 committee agrees, and then reconvene at 12:30 to
20 see if we could complete this in a more timely
21 manner for the afternoon.

22 So everybody has a copy of the questions,
23 and for the record, I will read the introduction,
24 and then pose the questions for further discussion.

25 The Federal Government initiatives are

1 aimed at developing therapeutics for pediatric
2 patients and including product information in the
3 approved package insert or product label. Although
4 the majority of children with cancer in the United
5 States are treated on protocols from the National
6 Cancer Institute-supported study groups, the
7 majority of products used in children with cancer
8 are used without dosing and safety information in
9 the package insert. Given that the United States
10 Congress has indicated in the Best Pharmaceuticals
11 for Children Act of 2002 that pediatric use
12 information should be included in product labels as
13 one of the mechanisms to publicly disseminate that
14 information, please consider each of the following
15 situations:

16 If adequate and well-controlled trials in
17 children that independently establish safety and
18 efficacy are submitted to the FDA as a New Drug
19 Application (NDA) or as a Biological Licensing
20 Application (BLA) or as a supplement to an NDA or
21 BLA, then product labeling would follow standard
22 procedures. The situations that follow describe
23 circumstances when information other than adequate
24 and adequate and well-controlled trials sufficient
25 to independently establish safety and efficacy are

1 submitted.

2 The first questions pertain to the
3 situation where a product is approved (safety and
4 efficacy established) for an adult indication and
5 the same disease or condition exists in a pediatric
6 population.

7 Previously this committee, the Pediatric
8 Subcommittee of the Oncologic Drugs Advisory
9 Committee, at a meeting held in November 2001,
10 recommended that to extend efficacy from an adult
11 indication to a pediatric population--that is,
12 using extrapolation--pediatric dosing studies and a
13 demonstration of clinical proof of concept should
14 be performed.

15 So Question No. 1: If a product is
16 approved for an adult disease or condition that
17 also exists in children and extrapolation is used,
18 consider what information you would consider
19 necessary and appropriate to be in the product
20 label. Factors to consider may include dosing,
21 safety information, proof of concept data regarding
22 clinical effect in children, separation of
23 pediatric and adult safety data if differences
24 exist.

25 I'll start with a comment on that. I

1 think--I don't want to assume anything, but I think
2 the intention of dosing is that there would be
3 pediatric data on schedules and pharmacokinetics,
4 that that encompasses that broad category?

5 DR. HIRSCHFELD: We would not issue a
6 request, and, in fact, prior to the incentive
7 program, it was still in the regulations that
8 pediatric data must include pharmacokinetic and
9 safety information.

10 DR. SANTANA: And schedules.

11 DR. HIRSCHFELD: Correct.

12 DR. SANTANA: Of how the product was used
13 in that population.

14 DR. HIRSCHFELD: Correct.

15 DR. SANTANA: Comments? Does everybody
16 agree that that's sufficient additional information
17 that should be put into the label? Yes?

18 DR. HAGEY: For dosing, just to clarify,
19 is this to be an MTD or should this be, quote, a
20 sanctioned efficacious dose? Because there are
21 distinctions between the two.

22 DR. HIRSCHFELD: I think whichever--we
23 were asking for advice, so if you feel it would be
24 appropriate and useful to have both an MTD and the
25 dose which was able to demonstrate pharmacodynamic

1 properties in the proof of concept data that we
2 could extrapolate or use extrapolation, then that
3 would be a consideration. So I would ask for some
4 discussion on that.

5 DR. SANTANA: Dr. Bernstein?

6 DR. BERNSTEIN: I think that MTD
7 information and the toxicity is seen--that
8 dose-limiting toxicities would be useful
9 information to include in a product label, although
10 Dr. Reynolds' comments need to be taken into
11 consideration, that is, those considerations are
12 different if it's used in a standard dose in a more
13 standard kind of single-agent or multi-agent
14 regimen, or if it's used in the myeloablative
15 context. So they're different. But I think that
16 that information is useful.

17 I also think it would be useful, if it
18 exists, to have a dose that dose provide a
19 pharmacodynamic endpoint so that you can show
20 some--or if efficacy has been shown.

T3A DR. SANTANA: Dr. Ettinger?

21

22 MS. ETTINGER: I was going to say the same
23 thing, that I think it's very important to know the
24 context in which it was used. And so I'd say both
25 need to be addressed.

1 DR. SANTANA: Dr. Boyett?

2 DR. BOYETT: In the Pediatric Brain Tumor
3 Consortium, we actually have a trial now looking at
4 a dose escalation scheme where the endpoint is not
5 the maximum tolerated dose, but the dose that
6 achieves a biological--measurable biological
7 endpoint. And so, you know, if that was the
8 endpoint of the study, that dosing information
9 should be provided.

10 DR. SANTANA: Ms. Keene?

11 MS. KEENE: Does safety information
12 include adverse effects? It does. Okay.

13 DR. SANTANA: Dr. Reynolds?

14 DR. REYNOLDS: Does safety information in
15 this context include late effects and things like
16 secondary malignancies that might be associated
17 with the use of--

18 DR. HIRSCHFELD: If those data were
19 available, yes. The anticipation would be that at
20 the time of early submission, those data would not
21 be known, but yes.

22 DR. PAZDUR: The point that I just wanted
23 to bring out, I think the answer whether one
24 studies an MTD and includes that information or a
25 more pharmacodynamically directed dose really

1 depends on the development picture of the drug,
2 obviously. If one is taking a look in the whole
3 development plan of the drug in adults and the
4 whole emphasis is on an estimation of a targeted
5 dose, a plasma dose, et cetera, that would interact
6 with a target, then one might not want to take that
7 to the MTD. So I think that this has a tremendous
8 contextual or having to be in the context of how
9 the drug is being developed, and that's kind of the
10 most important thing, I think, because we're seeing
11 many agents that are not going to an MTD. And to
12 say, well, we need an MTD in children would not be
13 an appropriate situation, obviously.

14 DR. SANTANA: Yes, I think that that's why
15 somebody on this side of the room made the comment
16 that it should also extend to the proof of concept
17 principle, the pharmacodynamics relate to some
18 other endpoint.

19 DR. PAZDUR: When we were asking the proof
20 of concept data regarding clinical effect in
21 children, you were after actually some clinical
22 data in children, and we'd like to ask people what
23 their thoughts about that would be and what would
24 constitute a proof of concept.

25 DR. SANTANA: Dr. Vassal?

1 DR. VASSAL: Yes. Regarding dosing in
2 this situation, I think the information should be
3 very precise, especially in the case where the dose
4 in children is higher than the dose recommended in
5 adults. And this is illustrated by Case No. 2 you
6 showed previously. And I think there should be
7 enough data to really give the information about
8 higher doses used in children, especially in young
9 population.

10 DR. SANTANA: Dr. Schweim?

11 DR. SCHWEIM: If the dosing is found by
12 calculation, in Germany in the health professional
13 information the method how this has been calculated
14 would be added, by weight or by skin square meters
15 and so on. In the official package leaflet, it
16 would not be included. But I would recommend to
17 have some information for the doctor about the
18 method of calculation.

19 DR. HIRSCHFELD: I'll just add that that
20 was used in Case No. 2, and that is, I think, a
21 good paradigm to follow. And I would also point
22 out that in the 100-plus drugs that have been
23 approved for adults, many of them are approved in
24 combinations and not approved as single agents.
25 And the combinations are noted in the label, and

1 specifically the doses. So the components of the
2 combinations are noted in the product label. So if
3 there's pediatric circumstance, I just would raise
4 the question: If, let us say, Phase I data exists
5 as a single agent but the use is in a combination,
6 how would the committee feel about including which
7 components of the information?

8 DR. SANTANA: I would argue that you
9 include both and you distinctly identify them as
10 separate so that people don't confuse them. But
11 you should include both.

12 DR. PAZDUR: If the dose is determined by
13 calculation, what do people think about actual
14 clinical experience looking at that dose? Don't
15 forget, this will be going out and being announced
16 as the dose to be used in children. Do people feel
17 that there should be some clinical experience? And
18 that's getting down to this proof of concept that
19 not only deals with the clinical effect, the
20 response rate in children, but the safety of the
21 dose. Because, heaven forbid, you know, that our
22 calculations for all we know about a drug may not
23 be 100 percent, yet here, again, it's in the label.
24 People can have widespread use. It could have
25 international repercussions. Obviously people take

1 a look at our label. And no child would have ever
2 received that dose.

3 What is the feeling on this? I sometimes
4 am uncomfortable about that.

5 DR. SANTANA: Dr. Finklestein?

6 DR. FINKLESTEIN: I'd like to take a step
7 back because I think we have to have a little
8 overview here. If we labeled methotrexate when I
9 started oncology, the way we're using it today is
10 completely different. So I'm very concerned about
11 also the fact that labels can't change very
12 rapidly. I am very concerned about what dose will
13 be placed in the labeling because, as you point
14 out, it may change and this will be disseminated
15 throughout the world and whatever--for some
16 reason--for some of you--none of you,
17 probably--maybe Greg, maybe not--we used
18 methotrexate 6-MP, vamp, and bryche (ph) and all
19 kinds of heavy doses that people don't even know
20 what these acronyms stand for anymore, but had it
21 entered the labeling in those days, it would be
22 completely different.

23 Therefore, I'd like to get back to a
24 phrase which will help me in my discussions for the
25 rest of the day that actually our Chair suggested

1 and was pointed out both from across the continent
2 and we know here in the United States: 90
3 percent-plus of young people under the age of 14
4 are on protocol. Our discipline is a protocol
5 division discipline. I'd feel comfortable--and I
6 don't know whether the FDA could do this. I'd feel
7 comfortable knowing that in our discussions there's
8 also an agreement that somewhere in the label it
9 will indicate that children with cancer are treated
10 on approved research protocols. If we had that
11 kind of information to let us know that the
12 information is going to change and it's ongoing,
13 that would make me feel a little better with the
14 disclaimers, and it would be certainly informing
15 the public that whatever they read, they should
16 also discuss it with their clinical research
17 oncologist, because that's what we are.

18 I need something in there to make me feel
19 comfortable when we enter into the discussion of
20 labeling.

21 DR. SANTANA: Dr. Reaman?

22 DR. REAMAN: I agree, Jerry, in concept,
23 but just a correction. I don't think that 90
24 percent of children in this country are on
25 protocols. Ninety percent of eligible patients

1 under the age of 15 are probably on clinical
2 trials, but there are a number of patients with
3 cancer for whom we don't have clinical trials and
4 who are treated off-label with some of the drugs
5 that we're talking about.

6 When I made the comment earlier about a
7 disclaimer, I wasn't suggesting that we make a wild
8 disclaimer invalidating any of the dosage
9 information that might be provided in the label.
10 But I would certainly agree that if there is
11 difficulty in updating the information in the
12 label, then there has to be a comment that the dose
13 is indication-specific and schedule-specific and
14 that there may be other doses that are being
15 evaluated within the context of clinical trials.

16 DR. SANTANA: Dr. Friedman?

17 DR. FRIEDMAN: Just to answer Richard's
18 question, extrapolation analysis, prediction,
19 correlation is wonderful. You need three patients.
20 You need the hard data to have any kind of
21 confidence. You're not going to disseminate a
22 disaster.

23 DR. SANTANA: Dr. Smith?

24 DR. SMITH: The question of proof of
25 concept, you know, presumably the agents that we're

1 seeing are primarily going to be the single agents
2 that have shown activity and gotten approval or
3 some interesting combination. And to show in a
4 general way that the same type level of activity
5 that was observed in the adult cancer with that
6 diagnosis is also observed in children, i.e.,
7 something like a Phase II trial that has 20 or 30
8 or 40 patients and the toxicity feasibility data,
9 you know, allows you to demonstrate some
10 comparability between children and adults, or at
11 least to see what the toxicity profile is. So in
12 my mind that would be a kind of proof of concept
13 for most of the drugs that we'll be seeing.

14 DR. SANTANA: Dr. Boyett?

15 DR. BOYETT: I'm sitting here having some
16 trouble now with the MTD going on to the label
17 because the truth of the matter is the classical
18 definition of MTD is a function of the dose levels
19 that you set out to study. And what might be more
20 informative is the dose level that's unacceptably
21 toxic because the definition of the MTD
22 traditionally is the previous lower dose level that
23 had acceptable toxicity when the higher one had
24 unacceptable toxicity. We're running some trials
25 where the distance between, if you will, the

1 unacceptable toxic dose and the one that perhaps
2 empirically we would call the MTD, it's a broad
3 range. And so, you know, I don't think the MTD
4 classically is well defined. Maybe the dose that's
5 unacceptably toxic is well defined.

6 DR. SANTANA: Dr. Smith, do you want to
7 address that?

8 DR. SMITH: In what we were just saying,
9 there would be a proof of concept, a Phase II
10 study, and you're going to take some dose for it.
11 And so to describe that dose, that schedule, I
12 think is what, you know, would be most useful to
13 have in the label.

14 DR. BOYETT: If you're using the label for
15 that purpose. But if you're using the label for
16 safety, maybe by telling people the dose that's
17 unacceptably toxic, it gives them an upper bound to
18 stop when you get there.

19 DR. SMITH: Certainly that could be
20 included as additional information, but the dose
21 that you're using and that you have the most
22 experience with I think would provide the most
23 useful information.

24 DR. SANTANA: Dr. Melemed?

25 DR. MELEMED: I'm somewhat uncomfortable

1 separating the pediatric safety doses, and one of
2 the questions I have is if you're then having
3 significant differences from a Phase II compared to
4 a large Phase II data that you have with adults,
5 how do you compare that? I mean, are you seeing
6 differences? Is this to give an idea where the
7 differences are?

8 I understand safety has to be in there,
9 but you don't want to make comparisons in a small
10 Phase II of proof of concept compared to a larger
11 Phase III.

12 DR. SANTANA: I mean, I think that's a
13 valid point. Actually, I was in a different
14 discussion yesterday where we were talking about
15 adverse event reporting and mechanisms of that, and
16 one of the points I made in that discussion was
17 that when I look at adverse event data, I'm looking
18 for two things. I'm looking for the unique adverse
19 events, the unique things that may be particular to
20 that population, and you have to have a way of
21 identifying those. And then the other information
22 that I look at, because that's the reality, that
23 there's going to be a lot more data in adults than
24 there ever will be in children, so I want some
25 comparative mechanism where I could say this

1 toxicity is more frequent in this population, in
2 the adults versus kids, or vice versa, recognizing
3 that the database for the pediatric population is
4 going to be very limited and it's going to be
5 historically different in different types of
6 patients.

7 But what I want from the safety
8 perspective is to be able to make that comparison.
9 So I agree with you that I think, you know, you
10 have to be careful what data is and how you
11 interpret it. But I think it's useful as a
12 practicing physician to look at the separation of
13 adults and pediatrics when it comes to safety data,
14 recognizing the limitations of that, because that's
15 what would be useful for me as a practicing
16 physician to note the differences, recognizing that
17 the differences may be somewhat invalid based on
18 the data set that you have.

19 DR. PAZDUR: One of the aspects I just
20 wanted to bring us is perhaps this would be a case
21 where pharmacodynamic relations and some PK
22 information could help us feel comfortable about a
23 discrepancy in the dose. But one of the things
24 that kind of rings in my mind as we discuss dose is
25 what Steve mentioned in some of his introductory

1 remarks. Remember, the dosing that we generally
2 put in for an adult indication--for the adult dose
3 reflects the indication that is being studied here.
4 And this puts us kind of in a Catch-22 situation
5 because we don't have sometimes a pediatric
6 indication as such.

7 So it's kind of a gray area that we're
8 dealing with because the dose may vary for the
9 indication that one is using, potentially the
10 degree of toxicity; the risk/benefit relationship
11 may vary. And I think it's important for people to
12 understand that the dose that we're giving in that
13 dosage administration reflects clinical trials for
14 a specific indication. And this is relatively
15 uncharted territory that we're just giving a
16 pediatric dose for general use without an
17 indication.

18 DR. HIRSCHFELD: But in this case, this
19 first question is focusing on where you would be
20 contemplating giving the same pediatric indication
21 as the adult indication. And when we get to the
22 other questions, other situations will come up.

23 DR. SANTANA: Any further comments?

24 MS. KEENE: Has there been any thought to
25 considering putting a last updated function on

1 labels like you have on the PDQ? So when people
2 read the label they know when it was last updated,
3 if that label has been updated within the last six
4 months or the last six years

5 DR. HIRSCHFELD: That's always on the
6 label, just as it is in Germany.

7 MS. KEENE: It is?

8 DR. HIRSCHFELD: Yes. Micro-print.

9 [Inaudible comments off microphone.]

10 DR. SANTANA: The comment was--and I think
11 it's a very good comment--that the label should
12 reflect the timeliness of the data, and I think the
13 remark that you hear around the table was that a
14 lot of us find it difficult where it currently is
15 located and how it's presented. So that's
16 something else to consider, but separate from this
17 discussion.

18 Dr. Smith, you had another comment?

19 DR. SMITH: Just related to that, even if
20 there were a date, you wouldn't know that the
21 pediatric section had been updated, and so, you
22 know, if this were possible, you know, to know what
23 sections were updated, maybe there's--

24 DR. HIRSCHFELD: I'd like to address that.
25 Our friends and colleagues in the Pediatric Drug

1 Development Division are posting all the time the
2 pediatric updates. And we not only post them to
3 make them available, we have to report them. So
4 for pediatric data, separate from all other label
5 changes, there are several mechanisms that are, I
6 think, relatively easy found to indicate that
7 pediatric information has been updated.

8 DR. SANTANA: Dr. Gootenberg?

9 DR. GOOTENBERG: I just wanted to maybe
10 expand and clarify something that Nancy mentioned
11 that probably everybody here is well aware of, and
12 that is that there is another government entity
13 whose mission is to disseminate comprehensive and
14 up-to-date information regarding oncology drug use
15 and clinical trials, and it has a very specific
16 pediatric oncology subgroup, and that's the
17 National Cancer Institute's PDQ, which has a
18 pediatric editorial board, a separate pediatric
19 editorial board, and meets monthly to go over and
20 review literature data and clinical trials that are
21 ongoing. It's organized more by disease than by
22 drug, but it has the mission to have an updated
23 compendium, and it's online.

24 DR. SANTANA: Before we leave this
25 question, though, I want to get back to a comment

1 that Dr. Pazdur made regarding this issue of
2 population PK and deriving suggested doses without
3 hard, fixed doses. I want to have a little bit
4 more discussion about that because, as I read Case
5 No. 2, Case No. 2 was an example of precisely where
6 population PK was used to decide between this
7 weight and that weight, these are the doses that
8 are to be used. But after hearing your comment,
9 I've become very sensitive of the pitfalls of that
10 without truly demonstrating that the actual doses
11 patients are receiving are safe.

12 Does anybody else feel that way? Can we
13 have a little bit more discussion on that point?
14 There's something there that you said that bothered
15 me, and I want to reflect it. And I don't know how
16 to fix it except to be honest and say this dose was
17 a derivative dose based on this information rather
18 than a dose that was obtained from a Phase II
19 single study or Phase III study. Maybe that's the
20 way around it, but I think the clarity of that
21 message should be made.

22 DR. PAZDUR: I guess the thing that really
23 makes me uncomfortable about this, we go through a
24 tremendous amount of work to review these
25 applications, to verify the dose, to verify

1 accuracy of information, and then we have a dose
2 for pediatrics that nobody ever used, that we
3 think, from the best of our science and
4 calculations, et cetera, and extrapolation, is a
5 safe dose but nobody has ever used that dose. And
6 this is not unique to pediatrics. In other subpopulations,
7 for example, we've debated this, for
8 example, in calculating doses in renal failure
9 patients, what to put in the label, or hepatically
10 compromised patients. And it always has been a
11 degree of angst for me to include that information
12 if nobody's gotten a dose. It perhaps reflects a
13 healthy skepticism about the accuracy of some of
14 these calculations and assumptions.

15 DR. SANTANA: Dr. Reynolds?

16 DR. REYNOLDS: I would just say that I
17 think that if you're going to put on a label a
18 calculated dose with no pediatric data, I would
19 agree with Henry, I mean, you've got to have
20 pediatric data. So if you feel compelled to put
21 such a dose, it should be correctly identified as a
22 derivative dose in which there is no pediatric data
23 to support it. And then you could have in addition
24 an addendum to the label, once pediatric data was
25 available, that would allow you to then label an

1 actual pediatric dose.

2 DR. PAZDUR: Should it even be put in?
3 That's the question, because it encourages people
4 to use it. That's the issue here. Yes, you could
5 make all of these disclaimers.

6 DR. REYNOLDS: I would agree with Henry.
7 No.

8 DR. BERNSTEIN: Maybe I read Case No. 2
9 incorrectly, but it said that there were--what's
10 described is that there were 24 patients treated
11 between the ages of 5 months and 16 years. And so
12 I absolutely grant that this is a limited data set,
13 but the way I read it, anyway, it's more than
14 simply a derived calculated dose. In other words,
15 some child actually got that dose and it was safe
16 for that child. Not a lot of children got that
17 dose, but there were some children who got that
18 dose.

19 DR. HIRSCHFELD: If Dr. Booth or Dr.
20 Dagher are here, I think--is Dr. Booth here? No.
21 Dr. Dagher can address that explicitly, but in
22 essence, there are children who got the dose.

23 DR. DAGHER: Yes, there were children who
24 received either dose. The issue was that you had a
25 starting dose that, a priori, was decided on based

1 on the age and size. And then, subsequent, there
2 were dose modifications based on the exposure,
3 which, without going into the detail of this
4 particular product, is not unusual in this regimen
5 that is used in certain settings that I outlined,
6 the hematologic malignancies, immune deficiencies,
7 et cetera. And there were then dose modifications
8 made subsequently.

9 So what we actually inserted in the label
10 is not just those two cutoffs that I showed for the
11 two different recommended starting doses that are
12 clearly labeled as recommended starting doses. We
13 actually then had guidelines for dose
14 modifications, which included also the formula that
15 is suggested, et cetera, et cetera, all the issues
16 that you've--or many of the issues that you've
17 raised. So that wasn't part of the presentation,
18 but all those issues were taken into account.

19 Now, one point I want to address that was
20 brought up before, Malcolm brought up the issue of
21 a disclaimer. In this particular case, we clearly
22 recognized that there's an issue where you have
23 dosing information provided in a situation where we
24 clearly felt that, you know, there's not enough
25 data to support a new efficacy supplement or a new

1 indication. So the way we dealt with that is--we
2 did, you know, several things. One, we made sure
3 that that information was provided in the pediatric
4 subsection, nothing in the indication. The second
5 thing is that in that subsection, in the beginning
6 part of that subsection, the first statement is
7 that the efficacy of the drug in the pediatric
8 setting has not been established.

9 Another element that somebody brought up
10 earlier was, you know, if there's a concern about
11 combination use, concern about maybe misinterpreting the
12 context in terms of we're providing a
13 dose, but how does that fit in with the clinical
14 context where there are many different uses?

15 In this case, as in Case No. 4, where
16 Susan mentioned that they provided a very brief
17 description of the trial, we did that in this case,
18 too. In that pediatric subsection, the special
19 populations section, we did provide a brief
20 description of the clinical study which provided
21 starting doses used, planned and used. This was a
22 combination setting, so there was information about
23 the combination context, and a very brief
24 description of the patient population, including
25 the age range, et cetera. So that's one way in

1 which we tried to address the issue that this
2 information has to be taken into context given the
3 limitations of the data that are provided.

4 DR. PAZDUR: I guess, you know, that
5 focuses nicely on this whole area and why we're
6 asking these questions. You know, everyone is for
7 more information about pediatrics to be included in
8 the label. That was the whole part of, you know,
9 since we started meeting two years ago to encourage
10 that. So nobody is against that. But we have to
11 put it in the issue of what information is
12 clinically useful to somebody, and if it isn't
13 clinically useful, could it actually be abused in
14 the sense of making erroneous decisions, treating
15 children in an inappropriate fashion, interfering
16 with further clinical development of the drug? We
17 want to include information, but I think in the
18 context of--in the discussions we have to say what
19 is the usefulness. Will somebody understand how to
20 use this drug and be better off for it rather than,
21 okay, let's just put everything in the product
22 label here. And the use of disclaimers, I don't
23 know, to be honest with you. It may be great for
24 cigarette packages, but I don't know how useful
25 they are, because when you see it in the product

1 label, there's an implicitness about perhaps it
2 should be used or could be used. I'm not against
3 putting in disclaimers, by any means, but I think
4 that we just can't say, well, if we don't know
5 anything, let's just put a disclaimer on it.

6 How useful is the information going to be
7 that we put in the label to making a clinical
8 decision? And that is really the whole context of
9 all of these questions.

10 DR. SANTANA: Richard, I interpreted the
11 disclaimer issue maybe a little bit different from
12 you. I interpreted the discussion that there is
13 data; it's limited data. You provide the
14 information that's more relevant to that indication
15 based on the data that you have. You can't deny
16 that data. And the disclaimer just indicates that
17 because the field is a clinical investigative
18 field, it's an evolving target, if you want to use
19 that phrase. It's an evolving issue, and people
20 should note that this dose that's recommended or
21 this safety profile based on this study is an
22 evolution. And you could use it in this context,
23 but you have to understand that there's a parallel
24 universe. That's what we're saying. We're not
25 saying disclaiming the first.

1 DR. PAZDUR: I guess, you know, one of the
2 questions that I have, information is always in an
3 evolutionary process. Where do we make that cutoff
4 before--yes, this is good enough to go into the
5 label or should there be further studies that are
6 done that really would give people more information
7 on how actually to use this. And this is a very
8 gray area of judgment, and that's why we're
9 bringing this up. And I think you could all see
10 the sense of uncomfortableness here. You could
11 have--you know, do you--after one Phase II study,
12 do you put that information in? Should you wait
13 for further information or duplication of it?
14 People are going to be making decisions based
15 on--not an inadequate database, but a database that
16 is in evolution. And that's true for all of
17 medicine as it goes on. Even when we approve the
18 drug, that drug is going to have a life and further
19 studies to be done.

20 But I guess this is the important aspect
21 that I want to frame all of these questions on, is
22 the clinical utility of the information that we're
23 putting in here and the safety aspects of putting
24 in information.

25 DR. SANTANA: Dr. Reaman?

1 DR. REAMAN: I have no concerns about the
2 safety aspects of the information. I do have some
3 concerns about the appropriateness or the
4 completeness of the clinical utility information.
5 And I would certainly agree that in medicine in
6 general, these databases are evolving. But I think
7 it's a little bit more dynamic in pediatric cancer.
8 So that if you were to include a dose from a Phase
9 II study, recognizing that we generally don't treat
10 childhood cancer with single agents, there may be a
11 different dose in a combination regime which may
12 also be different depending on the schedule in
13 which the agent is used.

14 So my only reason for mentioning the
15 disclaimer was to make it clear that the dose that
16 was in the label was the dose that resulted from
17 this Phase II trial of 22 patients with these
18 diseases and these were the toxicities, and
19 shouldn't be viewed as the recommended dose for
20 every patient with every possible malignancy, or
21 even the one for which there is the indication,
22 because there may be other contexts in which the
23 drug is used.

24 DR. SANTANA: Dr. Bernstein?

25 DR. BERNSTEIN: I'd like to support what

1 Greg said and also say that the label to me is also
2 part of the process, and the process is that the
3 Food and Drug Administration asks for a study to be
4 done for a pediatric indication, and that study is
5 done with a certain dose and schedule for a
6 particular indication. And so I think what we're
7 suggesting is that then that information be
8 incorporated in the label as the end of that
9 process, and that it's certainly far from all of
10 the information that's available, and the
11 information will be further developed, but it is,
12 nonetheless, the end of that process of initial
13 drug development.

14 DR. SANTANA: I want to move on to
15 Question 2, but before I leave this question,
16 because I think it frames the whole discussion,
17 maybe part of the struggle we're having is that the
18 label is a box, and now we have this additional
19 mechanism that we've gone out to request pediatric
20 studies, and now we're trying to fit that into this
21 box where that box was created for a very different
22 purpose. It was created for here's your drug, go
23 sell it, and make sure that people use it in the
24 right way and that we know when things are going
25 wrong. And maybe that's the struggle, that we're

1 trying to put this information into a box, and
2 maybe if we can't modify the box--and maybe this is
3 more of a philosophical discussion rather than a
4 practical discussion today. But maybe we should
5 revisit issues within the box that would allow
6 these pediatric studies that have limited data to
7 be reflected in that box carrying that unique
8 message, because I think that's the struggle. And
9 I agree with the agency. You guys approve
10 something for an indication, and you have to live
11 within that indication. And now we're having these
12 pediatric studies that we want to get done that we
13 have pediatric data. They don't quite fit that
14 mold, but, on the other hand, we have that
15 information that we can deny. But maybe that's a
16 separate discussion.

17 DR. HIRSCHFELD: I'd like to respond to
18 that. I think that the label is not necessarily a
19 box. It's just a template. It's just headings.
20 And you can put in whatever you believe is
21 appropriate for it. So I wouldn't want the
22 discussion to try to think of how we can revise the
23 content and format of labels because there are
24 mechanisms that have been tested that outside the
25 realm of oncology have been successful in conveying

1 pediatric information.

2 I think what we'd want to focus on is,
3 given that data has been submitted to us, how
4 should we map those data into the label? And in
5 that framework, then, if you wanted to move on to
6 the next question.

7 DR. SANTANA: I can give you--the quick
8 answer to that one is then if you went and asked
9 for the studies, the box should reflect all the
10 studies. Anyway, we'll move on to the second
11 question.

12 DR. PAZDUR: Let me address that.
13 Remember the pediatric plan which we devised, do
14 the Phase I studies and you could even get an
15 approval--I mean, exclusivity, rather, I should say
16 exclusivity if the results show that you cannot
17 continue. A lot of that was done to encourage
18 pediatric drug development and is somewhat
19 different from other areas in that we're really
20 kind of exploring areas here because we realize
21 when we constructed this whole pediatric plan that
22 the risk of pediatric oncology drug development is
23 probably much different than developing an
24 anti-hypertensive in kids, or something like that.

25 The problem here is, as in adult oncology,

1 you don't know in what indication this drug is
2 going to work, so you're kind of like let's do it
3 in neuroblastoma, let's do it in leukemia, let's do
4 it in brain tumors. If you've got an
5 anti-hypertensive, it's pretty clear how you're
6 going to develop that drug in a kid with
7 hypertension.

8 So the game plan was to be a little more
9 exploratory, and, granted, it was to increase
10 information and product labeling. But do we want
11 that level of exploration necessary reflected in
12 the product label? It is a little different. I'm
13 just asking the question.

14 DR. SANTANA: I agree with you, but we
15 need to find a way--I think that's what we're
16 saying here. We need to find a way--I mean, if you
17 go out there and request these studies, and I agree
18 they're not studies being requested for indication.
19 In some cases they are, but in general, they're
20 being requested to provide an additional mechanism
21 for pediatric data, for pediatric research, and so
22 on and so forth. If you have that data, you have
23 to somehow find a way to reflect it in the
24 information. That's what we're saying. And if the
25 label doesn't allow us--or maybe it does allow us,

1 like Steve says. If the label doesn't allow us,
2 then we should find other ways to have that. We
3 just can't say because it's just one Phase I study
4 or two Phase II studies that we're just not going
5 to reflect it anywhere. I think that's what we're
6 saying.

7 DR. SMITH: The question, though--you
8 know, the FDA has asked for Phase II studies. Are
9 we going to list every Phase II study and the
10 results from that in the label? And what does two
11 of 20 neuroblastoma and two of 12 med-(?) blastoma
12 mean? And does that provide useful information?
13 I'm not sure it does. I think that information
14 needs to be publicly available, and I think, you
15 know, the FDA--the challenge to me to the FDA would
16 be to find ways to make that information publicly
17 available, and the details that you really need to
18 be able to interpret, you know, what that Phase II
19 result means. But does it have to go into the
20 label?

21 I think safety and PK and things like that
22 may be different, but I'm not sure what benefit you
23 get from the label--to the label by including lots
24 of Phase II data. And there may be other ways to
25 provide much greater detail, and the FDA can make

1 that data that they've requested available to the
2 public.

3 DR. HIRSCHFELD: Well, I think you've
4 anticipated Question 3, so let's see if we can get
5 to Question 2 before we get to Question 3.

6 DR. SANTANA: So Question 2 is--let's go
7 ahead and deal with Question 2 before the lunch
8 break. If pediatric dosing and safety information
9 are available but the clinical proof of concept has
10 not been established, consider whether dosing and
11 safety information be included in the product
12 label. This circumstance could arise if studies
13 were done in children with diseases other than the
14 one that is being considered for an indication yet
15 extrapolation is being considered on the basis of
16 other evidence.

17 So the scenario is there is safety and
18 dosing information, but clinical data in support of
19 the indication or different indication is not yet
20 available, as I understand it.

21 DR. HIRSCHFELD: Well, this is an
22 extension of the same--of 1. The disease in adults
23 is the same as the disease in children. And let us
24 say the disease in adults is relatively rare and
25 the disease in children is vanishingly rare. You

1 could expect to get only a few patients.

2 DR. SANTANA: Rarer.

3 DR. HIRSCHFELD: Rarer. Okay. But it's
4 ethically and scientifically valid to test the drug
5 in other contexts, so you now do a study and you
6 have 25 patients, but only two or three have the
7 disease that you're trying to relate to the adults.

8 Now, you believe from other evidence that
9 the disease in children is the same in adults.
10 That's an assumption in this question. But you
11 don't have a robust data set to say, well, we've
12 proved it, we've taken 20 patients of this rare
13 disease and now we have a response rate of whatever
14 or a remission rate of whatever. You only have a
15 very few patients, but you have much broader data
16 that gives you dosing and safety. That would be
17 the situation that is being asked.

18 DR. SANTANA: I think I don't have any
19 issue with the safety data. I do have a little bit
20 of issue with the dosing data because of the
21 limitation of age groups and so on and so forth.
22 You see what I'm getting at? So I think the safety
23 data is extrapolatable, you know, if that's a
24 correct English word. But the dosing information,
25 how can you reach a conclusion of a dosing

1 information with two or three patients?

2 DR. HIRSCHFELD: Well, you wouldn't have
3 two or three patients. You'd have, we'll say, 25
4 patients that you have dosing information on, but
5 only two or three have the particular diagnosis
6 that you're trying to borrow from adults. And
7 this--I'll rephrase it. This is a question where
8 you have a very rare disease, and it's unlikely
9 that you could put together 25 patients with that
10 specific indication. But you can put together
11 pediatric data which would include some of those
12 patients.

13 DR. SANTANA: Dr. Reaman?

14 DR. REAMAN: So is the intent here to
15 provide a dose for this very rare disease in the
16 pediatric population? And what safeguard would
17 there be that this agent, which might be effective
18 in a different dose or schedule in other diseases,
19 might not be able to be tested?

T3B DR. HIRSCHFELD: That's exactly the 20
21 question. What we're asking for is some input into
22 that.

23 DR. SANTANA: Dr. Friedman?

24 DR. FRIEDMAN: Obviously you've got a
25 little puzzle, but I'm not sure why you'd ever want

1 to put any information in the label in the absence
2 of providing a pediatric situation, the absence of
3 pediatric clinical data. I guess that's where I'm
4 stumbling now.

5 DR. HIRSCHFELD: Yes, there's no absence
6 of pediatric clinical data. I'll try to be as
7 concrete as I can. Let us say we have a drug
8 that's approved for an adult brain tumor, and we
9 know the dose for that, and we know that there's
10 efficacy established. This tumor is very rare in
11 children. But you have done at your institute a
12 study of this drug in children that include many
13 kinds of CNS malignancies, and among that
14 population, you've established, you think with
15 reasonable confidence intervals, a pediatric dose.
16 You have some pediatric safety information. And
17 you have two or three of this very rare tumor type.
18 That would be the circumstance.

19 Should any of that information go into the
20 product label?

21 DR. FRIEDMAN: I think it should go into
22 JCO and not the product label.

23 DR. SANTANA: Dr. Hagey? Dr. Cheng?

24 DR. CHENG: I think that potentially that
25 information could go into the product label if it

1 was very clear on--if the statements were extremely
2 clear on exactly what the indication was and what
3 the indication for the very rare in the indication
4 for which children has been--where the assumptions
5 have been made needs to be very clear in the
6 product label if that were to go in the product
7 label.

8 I think we also need to take a step back
9 and try and look at what these pediatric
10 initiatives were aimed at. They were aimed to try
11 and increase the number of drugs that are labeled
12 for children, both within the U.S. and hopefully
13 internationally as well, because that is what the
14 crux of the problem is, that there are a very large
15 number of drugs that are used off-label or even
16 unlicensed. And the gold standard for the product
17 label is that there should be clinical studies, a
18 full-scale clinical trial program, and that would
19 be the gold standard in children as well. And what
20 we're thinking about here is where we don't achieve
21 that gold standard, how should that information go
22 into the product label? And although I sense and I
23 understand the clinician's anxieties about what's
24 going into the label, how that might be confusing
25 to prescribers, on the other hand, if we have got

1 sub-gold standard data, we should still aim to use
2 at least some of that in a way that is clinically
3 useful. And I think there's danger of trying
4 to--of, I suppose, getting away from what the
5 initial aim of the--or what I understand the
6 initial aim of these pediatric initiatives are.

7 DR. PAZDUR: Because we're really not
8 talking about giving an indication here as such.

9 DR. SANTANA: Dr. Melemed I think was
10 first, and then I'll go back--

11 DR. MELEMED: I have a question for Steve,
12 because this goes back to the label by
13 extrapolation. In that scenario, say have a
14 disease that's very rare, but you then have PK and
15 dosing label that you could potentially approve
16 that drug if there's a disease in pediatrics that
17 is similar or identical to that. So how does that
18 differ just because you don't have a burden of
19 proof, what you're saying. I don't think on the
20 extrapolation you require burden of proof in that
21 specific situation.

22 DR. HIRSCHFELD: I think you've framed
23 circumstance, so the difference between Question 1
24 and Question 2 is that in Question 1 you have an
25 unequivocal proof of concept study. In Question 2,

1 you have the lack of that proof of concept study.
2 And what we're asking is: Should you be silent
3 and, in essence, act as if those data don't exist?
4 Or should you--if you're comfortable with your
5 extrapolation criteria--which is another issue
6 altogether. But let's say we are comfortable with
7 the extrapolation criteria. What should you
8 include in the label?

9 DR. SANTANA: But there's a difference.
10 There's two scenarios. One is that you don't have
11 the population that you are ever going to be able
12 to establish the proof of principle, which is the
13 scenario you're presenting. And is that an
14 exception? Or the other scenario is just the
15 studies haven't been done, and do you have to wait
16 until those studies get done, you eventually do
17 have the population? I'm presenting it to you in
18 terms of graded scenarios because what applies to
19 one may apply to the next one, is what I'm trying
20 to get at. So the second scenario is that the
21 studies just haven't been done yet, but the
22 population exists, but somebody already has some
23 preliminary--you know, some dosing and safety
24 information, and why would you deny those not
25 putting it in the label, whereas the other ones you

1 would not deny them putting it in the label?

2 So I think the issue is: Does this
3 present such a unique population that you're never
4 going to get the proof of principle answer? That
5 is to me the question. And if the question is that
6 the population is so unique that you're never going
7 to get the proof of principle, no matter what you
8 do, then I think whatever data you have is
9 important, and you should put it in. If the
10 information is different, it's just that the
11 studies haven't been done or nobody wants to do
12 them, then I wouldn't do it. That's my vote on
13 that.

14 Dr. Finklestein?

15 DR. FINKLESTEIN: I agree with Victor, and
16 I'd like to give you more concrete examples:
17 malignant melanoma. You could have a drug that's
18 very active in malignant melanoma, a very rare
19 tumor in children, we'll probably never be able to
20 do a study. But we certainly would like to know
21 there's active drugs in malignant melanoma.
22 Carcinoma of the colon would probably be another
23 one, or GI carcinoma.

24 Then my question is: Is that the kind of
25 data you then go to an advisory board to get some

1 help for?

2 DR. HIRSCHFELD: I think we're here right
3 now.

4 DR. FINKLESTEIN: But in terms of
5 specifics. In other words, what I'm saying is I
6 agree with Victor. I'd like to know there's data
7 on malignant melanoma in the label, or GI
8 carcinoma.

9 DR. HIRSCHFELD: I think those are
10 excellent examples, Jerry, and would be the
11 paradigm that's being asked.

12 DR. SANTANA: Dr. Hagey?

13 DR. HAGEY: In terms of safety
14 information, since presumably most of these drugs
15 are already marketed in adult drugs, I think it
16 would be useful to request that the sponsor
17 interrogate their postmarketing safety database and
18 provide sort of an analysis of the safety data
19 available to date in the pediatric population, and
20 see if they can tease out whether any differences
21 do exist between the adult and pediatric patients
22 that have received the drug.

23 DR. SANTANA: Dr. Reaman?

24 DR. REAMAN: I guess I would just question
25 Richard's statement that this isn't for an

1 indication, but it really is an implied indication.
2 So would it not be interpreted as such by the
3 public? And I have difficulties with that, quite
4 honestly.

5 DR. PAZDUR: That is a dilemma, and that
6 is part of the internal discussions at the FDA that
7 we're having on an ongoing basis. Is this
8 basically an indication that you're giving somebody
9 without--with a very minimal database that somebody
10 could not say that these are adequate and
11 well-controlled trials? I don't think we would put
12 it in in the indications section. That's what I
13 was getting at. But here, again, as Jerry had
14 mentioned, you do want more information in the
15 package insert. So this would be a consideration,
16 and there is some tension here, obviously.

17 DR. REAMAN: And the information would
18 just be limited to safety and dose and no statement
19 about efficacy if it's in two of 20 patients that
20 happen to have this particular diagnosis.

21 DR. HIRSCHFELD: That's what we're asking
22 for some input on.

23 DR. REAMAN: I think it would be terribly
24 misleading to put in detailed information that
25 would only confuse the public to some extent when

1 the proof of principle information doesn't meet the
2 criteria that we would generally use for proof of
3 principle. Including safety and dose information I
4 don't think would be a problem if that data is
5 actually sufficient quantity and quality.

6 DR. PAZDUR: But aren't you then kind of
7 just not addressing the issue here? Because why
8 are you putting dose and safety information if
9 there's no reason to use the drug?

10 DR. REAMAN: Because you've requested a
11 study and there's dosage and safety information.

12 DR. PAZDUR: Okay.

13 DR. REAMAN: But there also isn't
14 information on its efficacy.

15 DR. HIRSCHFELD: Except by extrapolation.

16 DR. REAMAN: Maybe.

17 DR. HIRSCHFELD: Well, if you believe the
18 extrapolation and you have already demonstrated it
19 in adults, then--that's the assumption.

20 DR. SANTANA: Dr. Vassal?

21 DR. VASSAL: If I take the previous
22 example about melanoma, on the patient and
23 physician point of view what is important is to
24 have the information that this drug is active in
25 adults, there are some data about safety and

1 dosing, and when the patient arrives in my
2 consultation, I know this drug has been studied,
3 even though there is no data of efficacy in this
4 patient. And the major point is when such a
5 patient is seen by a physician, the drug can be
6 proposed to the patient in such a way that the
7 information from this patient can be benefit for
8 all the patients. And I think this is the way
9 maybe we should look at the labeling, about the use
10 of the label of the drug by the physicians and the
11 parents.

12 DR. SANTANA: One last question and then
13 we'll break for lunch. Dr. Boyett?

14 DR. BOYETT: Steve, I think you should let
15 the reader do the extrapolation, and the label
16 should not go beyond what you have defensible data
17 for. And if you've got safety and dosing
18 information, let it be that. I mean, they already
19 use it off-label anyway. At least you're giving
20 them some more information that's based on fact.
21 And you talked about early on that everyone in the
22 label you have to check all the data, information,
23 so why would the agency want to go beyond what they
24 have information to support? Let the reader do the
25 extrapolation. They'll do it.

1 DR. HIRSCHFELD: May I respond?

2 DR. SANTANA: Yes.

3 DR. HIRSCHFELD: Okay. I think the issue
4 is not whether we would automatically give an
5 indication because we believe in the biological
6 basis of the extrapolation. What we're asking is:
7 In this unusual circumstance, what information
8 should go in? And I think what I'm hearing is
9 dosing and safety should go in. And it's going to
10 already have the adult efficacy data in there. And
11 then if we were to describe and say of the 30
12 patients that were studied, there were two that had
13 melanoma, and just leave it at that, that might be
14 something to--or maybe we shouldn't say that at
15 all.

16 [Inaudible comments off microphone.]

17 DR. HIRSCHFELD: Okay. But that would be
18 the kind of information that we were asking advice
19 on. So I provoked that intentionally to clarify
20 that point.

21 DR. BOYETT: Your study doesn't sound like
22 a Phase II trial, incidentally. It's got too many
23 patients--too many different diagnoses with too few
24 patients.

25 [Inaudible comments off microphone.]

1 DR. PAZDUR: So not include any
2 preliminary Phase II trials, three out of 14, one
3 out of 14.

4 DR. SANTANA: Exactly. There you go.

5 DR. PAZDUR: Okay.

6 DR. SANTANA: It's the same statement.
7 There's no definitive activity established in
8 pediatrics. All we have is this Phase I safety
9 data derived from these studies. You're passing no
10 judgment.

11 Okay. So, with that, we will conclude.
12 And can we reconvene at quarter to 1:00? Is that
13 reasonable for most people?

14 [Whereupon, at 12:20 p.m., the
15 subcommittee recessed, to reconvene at 12:45 p.m.]

1 AFTERNOON SESSION

2 [12:50 p.m.]

3 DR. SANTANA: So to continue our
4 discussion, we'll reconvene with Question No. 3,
5 and this question pertains to the situation where
6 there is not a linkage between an adult indication
7 and data from pediatric studies. And the question
8 is: If pediatric dosing information and proof of
9 concept data exist for a pediatric disease or
10 condition that does not exist in adults, what
11 information, if any, should be included in the
12 product label?

13 An example is provided, and the example is
14 a product is approved for second-line colorectal
15 cancer in adults and pediatric data are available
16 for dosing and pharmacokinetics, plus a single arm
17 Phase II study showing a modest response rate in 20
18 pediatric patients with refractory or relapsed
19 neuroblastoma. And an editorial note is that there
20 is no existing product with this profile.

21 And the factors that are suggested that
22 may be included include dosing, safety information,
23 and clinical response data.

24 So here is a situation where there is
25 pediatric Phase I data, safety data, and a limited

1 Phase II study with some activity in a completely
2 different disease than the adult indication.

3 Comments? Questions? Yes?

4 DR. HAGEY: I think that within the
5 reality of the world we live, most of the drugs
6 developed are in adult indications for which there
7 isn't a pediatric counterpart, for example, breast,
8 lung, colon, ovarian, prostate cancers. And due to
9 the fact that pediatric drug development is
10 typically going to lag eight to ten years behind
11 the adult data, I think during that ten-year period
12 it would be useful to have some information, just
13 the basic information in terms of safety
14 information and whatever dosing has been done
15 available.

16 DR. SANTANA: So your comment is that the
17 minimum data, if any, is to be included in this
18 scenario would be the safety information of the
19 pediatric studies and relating that safety
20 information to the doses that were used, not doses
21 in terms of efficacy but doses in terms of the
22 safety profile.

23 DR. HAGEY: And, in addition, the safety
24 profile should include an interrogation of the postmarketing
25 safety database.

1 DR. SANTANA: Dr. Vassal?

2 DR. VASSAL: I am comfortable with the
3 proof of concept when the disease is the same in
4 adults and children. I am not comfortable with
5 proof of concept when the disease is specific to
6 pediatric patients. And this is my concern of
7 having efficacy data which are not enough in terms
8 of numbers, which is the case of some of the cases
9 we were shown before, which may indicate that the
10 drug is active but strong evidence--there is not
11 strong evidence that it is the case. So to me, it
12 would be important on these early Phase II data,
13 large Phase II data, several tumor types within
14 these data--within the study, sorry, to make
15 possible--to increase the number of patients, even
16 by enlargement of the number of
17 participation--center participation to the study to
18 really have the strong evidence that there is X
19 percent response rate in this disease and this can
20 be provided in the label.

21 So proof of concept in a specific
22 pediatric disease is something I'm not comfortable
23 with.

24 Maybe I was not clear. Sorry.

25 DR. SANTANA: Because the issue here is

1 that they've requested specific trials to be
2 conducted by the sponsor, and that's the data they
3 have.

4 DR. VASSAL: Yes. So the question is: Is
5 the study adequate to answer the question?

6 DR. SANTANA: Hopefully it is, that if
7 they're well-designed, you know, studies that have
8 undergone rigorous review.

9 Dr. Bernstein?

10 DR. BERNSTEIN: Right, that's pretty
11 much--it's a reflection of what Dr. Boyett said
12 before, that it depends if the study has been
13 previously designed and approved and the study
14 goals have been met, then it would be reasonable to
15 include that data in the label. And
16 certainly--however, what's most important--I would
17 agree with what the two previous speakers said.
18 What's most important would be to include the
19 toxicity and safety information.

20 DR. SANTANA: How would you then respond
21 to comments made earlier from the FDA that
22 potentially providing clinical response data in a
23 disease for which the drug is not indicated for or
24 commercially labeled for, would that lead to
25 difficulty in terms of people misinterpreting the

1 indication, et cetera, et cetera? I heard that
2 comment earlier this morning, that the FDA--part of
3 this question is that the FDA is concerned that
4 this is not what this drug was developed for, this
5 is not the indication. Why should the label
6 provide information in a completely different
7 disease? And is that a green light to suggest that
8 this is a new indication? Do you want to respond
9 to that?

10 DR. BERNSTEIN: Well, again, the study
11 would have been done in a specific response to a
12 request for a study, and the request for a study
13 would have included the Phase I and then some
14 preliminary Phase II. And so I think including
15 that data is simply including the information that
16 was generated in response to a request letter from
17 the Food and Drug Administration. So, yes, I think
18 it would be reasonable to include that information,
19 assuming that the study had achieved its designated
20 endpoint.

21 DR. SCHWEIM: I would like to comment on
22 the remarks in the records. In the European
23 Community and in Germany, it would be possible in
24 this case, if you have enough safety data, to have
25 time-limited access, time-limited approval, and the

1 company has to submit additional data if they want
2 to prolong this period of time. And I think this
3 is the classical case in which we in Europe would
4 give such a time-limited access and would refuse
5 the ongoing approval if there is not any further
6 data submitted.

7 DR. PAZDUR: I don't think that's what
8 we're talking about. That is our accelerated
9 approval provisions, and I think what we
10 interpreted this is that this falls below that
11 level, your threshold, below the radar here for
12 accelerated approval.

13 DR. SANTANA: Dr. Smith?

14 DR. SMITH: The data need to be publicly
15 available. I'm less optimistic than Mark, perhaps,
16 that meaningful data can be explained, you know, in
17 a short paragraph or a few sentences in the label
18 about the activity, and all the information that
19 would really be needed to interpret in the Phase II
20 data. And, you know, this information could be
21 available in other ways and perhaps
22 referenced--referred to in the product label that
23 at the FDA website at a certain URL there are
24 details of the Phase II experience, without
25 actually including it in the product label. This

1 might also be a way to update that information more
2 quickly so that today you have, you know, 14
3 patients with neuroblastoma, a year from now you've
4 gotten really excited about it and you've treated
5 60 patients, and as opposed--there may be greater
6 facility to update the kind of Phase II information
7 that is, as everyone has said, evolving over time.

8 DR. SANTANA: Dr. Reynolds?

9 DR. REYNOLDS: At a previous meeting, we
10 decided that we weren't going to lower the bar for
11 approval of agents in pediatrics just because there
12 are smaller numbers of patients. I think that
13 putting information on activity in trials that
14 aren't enough to meet standards in a label is, in
15 effect, lowering that bar in another way. And I
16 would suggest that we not do that.

17 I agree very much with Dr. Smith's
18 comment, though, that I think data needs to be
19 available, so it would seem to me that we're not
20 going to lower the bar on safety data and dosing
21 data, and that could be put in the label. And if
22 there is not enough of a controlled study to say
23 this can be used in a disease, then we can put a
24 statement that additional data on the use in
25 investigational settings of this agent can be found

1 at the following website, and the FDA could compile
2 that data. Because the reason I suggested that way
3 is that there's a feeling, at least from a lot of
4 us, that when a product label is a stamp of
5 approval by the agency that says this really is the
6 gold standard, and I think that you could take the
7 information on--you could have information on that
8 stamp of approval that says there's additional
9 information without blessing it with that stamp and
10 provide that via the website.

11 DR. HIRSCHFELD: I would then ask a
12 further question. If the FDA label is a certain
13 standard of evidence, and if there's dosing
14 information in there--and safety information, but
15 if there's a dose that you open this package insert
16 and it says, "The dose in children is..." but you
17 have no other information, would that be
18 informative, particularly if it's approved for a
19 disease in adults that doesn't exist in children?
20 And would it be safe to include that? I just raise
21 that as a question.

22 DR. SANTANA: The details are the
23 important thing, and I think it was expressed very
24 well by Dr. Hagey, that I think the intent is that
25 you provide the safety information and that the

1 safety information is provided in the context of
2 the doses that were used, not that those are the
3 doses that you're recommending for efficacy and for
4 treatment. It's a different twist. It's not a
5 play on words. It's really the reality that you
6 present the safety information based in the context
7 of the doses that were used. There is no judgment
8 that this is the appropriate dose to produce
9 response or lack of activity.

10 I think if you think about it that way,
11 then I think you could providing dosing information
12 not in the dosing area of the package--of the
13 label, but in a different area, which is all
14 related to safety. And I think you can circumvent
15 that issue that people would misinterpret it.

16 DR. HIRSCHFELD: Thank you for addressing
17 that.

18 DR. REYNOLDS: Steve, I would just answer
19 that by saying that if you establish that a dose is
20 safe, you know, in a well-controlled Phase I trial
21 and perhaps with some Phase II as well, then can't
22 you put safely on a label a dosing--that this dose
23 is safely established for pediatrics? What you use
24 that dose for is a different issue, including
25 investigations will be ongoing, but at least people

1 who are trying to use this off-label and maybe
2 off-investigation would have the established safe
3 dose.

4 DR. SANTANA: Dr. Vassal?

5 DR. VASSAL: In recent years, there have
6 been different schedules evaluating children than
7 the one approved in adults. So what would be the
8 type of information available in terms of safety
9 and dosing in this situation?

10 DR. SANTANA: Dr. Reaman?

11 DR. REAMAN: I think as Dr. Reynolds
12 mentioned, instead of giving specific information
13 on efficacy, and if you're going to provide safety
14 and dosing, it would have to be a safe dose in the
15 schedule that was used in this limited trial, not
16 precluding that other schedules may also--or
17 other--investigation of other schedules may also
18 give rise to safe doses and more effective doses.

19 DR. HIRSCHFELD: In my job description as
20 a provocateur, let me then--

21 DR. REYNOLDS: You excel exceptionally.

22 DR. HIRSCHFELD: I'll ask you for my next
23 rating. Thank you.

24 If there's dosing information, the
25 interpretation of safety in oncology is very

1 different than what safety is in any other context.
2 And safety in oncology could still result in rather
3 marked, in fact, severe adverse events--they might
4 be transient, but certainly there would be Grade 1,
5 Grade 2 adverse events.

6 So if we put a dose and we put safety
7 information, would that not potentially encourage
8 someone to give a child that dose and that safety
9 information even if there was no efficacy? So it
10 becomes the ethical question. If you're providing
11 an adverse event profile and a dose, but you say
12 nothing else--and I'm asking it as a question. I'm
13 not advocating it. I just want to make sure we've
14 explored this thoroughly. Where would you lean--or
15 where would that lead you ethically?

16 DR. PAZDUR: Could I add a follow-up
17 question to that to add to the provocation here?
18 One of the problems that we see with even
19 accelerated approval of drugs that in one of our
20 concerns that may have very modest activity is that
21 may prevent further drugs from being developed in
22 that area. Would it have--what would be the
23 downside of putting, let's say, clinical trial
24 information into the label that we've been
25 debating? I don't see the downside being one of

1 promotional activities to the pediatric oncologist.
2 That simply is not there. But one thing that I
3 could see potentially is off-label use and
4 potentially interfering with ongoing clinical
5 trials and other trials looking at other agents,
6 for example, in this disease. Because, in essence,
7 you've already declared a therapy in that disease.
8 It's in the product label, and people would say,
9 you know, it's here, this drug is going to be given
10 in this dose.

11 So do you have a problem that this
12 could--providing either dosing information without
13 a diagnosis--without an indication or providing the
14 full clinical information could actually be doing
15 more harm than good for the development of the
16 field?

17 DR. COHN: I was just going to say that,
18 you know, so many of our drugs are used off-label,
19 anyway, so whether you have an indication or you
20 don't have an indication, it just doesn't seem to
21 matter. So if indeed a physician is going to take
22 a drug off the shelf and use it, I think to provide
23 safety information is a good thing to do.

24 DR. REAMAN: Especially in the context
25 that the efficacy data is not available, or is

1 available but is extremely limited.

2 DR. SANTANA: Dr. Smith?

3 DR. SMITH: Steve and I think Richard are
4 both saying the efficacy data are not available,
5 but the other proposal was that, in fact, they are
6 available. They're available in substantial detail
7 so that someone can really understand better what
8 the two out of 20 or the three out of 15 means and,
9 you know, what type of patients they were, how long
10 the responses lasted, and so on.

11 So it's not that you're not providing that
12 information. It's actually that you're providing
13 more of it for people to base their decisions on.
14 And I think there's probably less risk if you
15 separate out a simple three out of 15 on the label
16 and specifically say neuroblastoma, there's
17 probably less risk of the promotional aspects
18 compared to the alternative of just, you know,
19 stating that the response--the Phase II data are
20 available in detail at the following--at a certain
21 place.

22 DR. SANTANA: You're actually arguing that
23 Phase II data should be included--

24 DR. SMITH: No, I'm arguing that they
25 should be included, but not in the label. But they

1 should be available to the public. You know, to
2 physicians, to families, they should be available,
3 but that they shouldn't be summarized in two or
4 three sentences that really oversimplify what, in
5 fact, is a very complex discussion.

6 DR. SANTANA: Dr. Melemed?

7 DR. MELEMED: I'd like to address Dr.
8 Hirschfeld's concern that by having a dose would
9 actually encourage usage. I have a hard time
10 imagining oncologists looking for a label to find a
11 usage for the drug. It would be more of I need a
12 patient with this disease, I need to know how to
13 give it, and looking at the label for that
14 information. So I know you put it out as a
15 provocative question, but I have a hard time taking
16 that a step further to see how it would be used
17 that way.

18 DR. SANTANA: Dr. Vassal?

19 DR. VASSAL: As I said before, the label
20 is not the end of the life of the product, and
21 clearly we do need additional data afterwards. And
22 the point is: Is the information in the label such
23 that it will encourage the use of this drug outside
24 any protocols by anyone, or will it give
25 information and encourage people to propose to

1 patients and parents to be registered in such
2 trials? So this is a question of--is it the end,
3 or how can we promote further evaluation of this
4 drug in sufficient numbers in Phase III, including
5 in standards and (?) to stop, we have the drug,
6 that's it, but really to go forward with it.

7 DR. SANTANA: Dr. Finklestein?

8 DR. FINKLESTEIN: I'd feel more
9 comfortable--and I'm coming back to something we
10 discussed this morning, and I don't know if my
11 colleagues here agree, but I think they do. Is the
12 FDA willing to put in the label that pediatric
13 oncology is a protocol-driven discipline, or some
14 word to that effect? Because--or a research-driven
15 discipline? Because if indeed you have something
16 in there regarding the label, then all these other
17 comments become a little moot because safety data
18 would be helpful, and as long as you are putting in
19 the label that we are protocol-driven discipline,
20 or words to that effect, you will be actually
21 putting in the label, which is the policy statement
22 of the academy and in actual fact is the way things
23 are happening on this side of the ocean as well as
24 the other side of the ocean.

25 DR. SANTANA: Let me address that. Let me

1 take a minute. For the purpose of discussion, I
2 would argue that that would be coercive to the
3 physician who does not believe in clinical trials.
4 I'm preaching to the wrong crowd. We all around
5 this table believe in clinical trials. But we have
6 to remember that I think the position of the FDA is
7 how the products are used by the community at
8 large, not just this community, pediatric oncology.
9 So somebody could come and say--take your argument
10 and say that putting such a statement in the label
11 would actually be very coercive and unwarranted.
12 It's a comment. I'm not disagreeing with you. I'm
13 just saying--

14 DR. PAZDUR: We do not regulate the
15 practice of medicine, period.

16 DR. SANTANA: Exactly.

17 Dr. Boyett?

18 DR. BOYETT: I want to echo what Malcolm
19 said. I don't think you can put sufficient
20 information in the label to interpret three out of
21 20. Three out of 20 may be a negative result, and
22 only until you understand what the design of the
23 clinical trial was that gave rise to those data can
24 you interpret it. So I don't think--I'd disagree
25 with putting three out of 20 in there and calling

1 that clinical information.

2 DR. SANTANA: Dr. Reynolds?

3 DR. REYNOLDS: Both Malcolm and I have
4 suggested that maybe a repository of information
5 that is centralized as a supplement to the label
6 could be useful. Is there any plans for doing such
7 a thing, or is any possibility for such plans being
8 developed by the FDA?

9 DR. HIRSCHFELD: I could address that.
10 That's something that several people have been
11 thinking about for, oh, the last five or six years
12 at a minimum, you know, have a website for every
13 label, have some web address where you'd have
14 www.fda.gov/, the name of the drug, and you'd
15 always get the updated information, having dynamic
16 labels. But there are a lot of practical barriers
17 and resource barriers to doing that.

18 So the short answer is yes, it's been
19 considered, it's being considered, but the
20 likelihood of something being implemented in a
21 relatively short time frame is not great.

22 DR. REYNOLDS: Well, if I could just take
23 that one step further, then, and say that I
24 understand why this hasn't been implemented given
25 the scope and the size of that, but it would seem

1 to me that maybe one way to pilot this would be for
2 the FDA to work with CTAP and the Children's
3 Oncology Group to do this in the setting of
4 pediatric oncology for those drugs that are being
5 used off-label in pediatric oncology, to provide a
6 centralized website where meaningful information is
7 conveyed about the use of those specific agents.
8 This would be a limited approach to this and allow
9 you to see what the impact of doing such a thing
10 would be.

11 DR. HIRSCHFELD: I'm not sure we would
12 have the authority to publicize the off-label uses.

13 DR. SANTANA: But you do currently,
14 though, for the drugs that you're reviewing under
15 the written request. You are posting in your
16 website--I can't remember the exact location, but
17 you are posting in your website your determination
18 first and then the data. Are you not?

19 DR. HIRSCHFELD: Yes, this is correct.
20 Our reviews are posted on the website, and
21 summaries of the pediatric information are posted
22 on the website.

23 DR. SANTANA: I think the comment is: How
24 do you make that more accessible and available to
25 the public at large?

1 DR. PAZDUR: In the context of practicing
2 medicine, remember, we're treating a disease here,
3 and the product label is not a treatment guide for
4 a disease. It is basically a marketing agreement.
5 Number one, it provides information about a drug,
6 and I'd hate to get into a situation where we're
7 trying to contrive this product label to be the
8 be-all and end-all of treatment of a disease. If
9 you have a disease, go read about the disease, and
10 there are multiple treatments, and this has to be
11 placed into the context of combination
12 chemotherapy, ongoing protocols, other off-label
13 uses, et cetera, that are out there, different
14 combinations which will never get into the label
15 because they don't isolate drugs effects.

16 So I think it's important that we, you
17 know, see exactly what we're doing with this
18 product label. It is not a treatise for how to
19 treat osteosarcoma because there is a mention of
20 osteosarcoma in the product label.

21 T4A DR. SANTANA: Agree, Richard. I was just
22 trying to address the point Malcolm made that once
23 we conclude for this question, the information that
24 we believe would be relevant would be the safety
25 information and the dosing and relevance to that

1 safety, that all of that other information needs to
2 be made available in some other--

3 DR. PAZDUR: But I wonder if more
4 appropriate sources for--you know, like the--you
5 know, there are treatment guidelines. The NCCN, or
6 whatever it's called, have, you know, guidelines on
7 how to treat. I don't know if they have them in
8 pediatrics, but adult diseases, for example,
9 first-line treatment for colon cancer, second-line
10 treatment, third-line, if people would not be--if
11 that's what we're really trying to frame here, and
12 that can't be framed with a product label,
13 basically, without having a misconstruing of the
14 label.

15 DR. SANTANA: Dr. Reaman, then Dr. Pelusi.

16 DR. REAMAN: I didn't see the request for
17 information really being one of treatment
18 guidelines or how to treat a particular disease,
19 but really one of demonstrating what the current
20 data are as related to ongoing investigations and
21 evaluations. So I think having this information
22 available is good. I would question: Can you make
23 it available? Is this not proprietary information?
24 So can you make it available to the public?

25 DR. HIRSCHFELD: The short answer is yes,

1 and it is available certainly and posted on the
2 Internet in our reviews. What we're asking here
3 is--

4 DR. REAMAN: But that's with the review.
5 I mean--

6 DR. HIRSCHFELD: Right. Well, that's the
7 context for it, actually. The question that we're
8 asking here is should it go into the label. But
9 making the information available, assuming that
10 that's not an issue.

11 DR. SANTANA: Dr. Pelusi?

12 DR. PELUSI: When I look at Question 3, I
13 think I've heard the same thing, is people are
14 comfortable with the dosing and the safety
15 information going in in that context. But the
16 question again comes up in terms of I think the
17 public really looks to you, and that's what we have
18 the FDA for, is for the issue of safety. And so
19 the question is in children who have a reoccurrence
20 or who may be treated not in a clinical trial, and
21 where I come from, in rural settings may not have
22 access by choice because they don't have
23 transportation, that type of stuff, is this whole
24 issue of where do they find the information,
25 whether it's a patient guide that is in addition to

1 this label or whether it's a website.

2 But I think that it is important for the
3 public to say we do look to you for safety, so can
4 you be that repository of good information to
5 continue? Because people will look all over the
6 Internet, and, again, that becomes an issue as
7 well.

8 So I think that there is this question of
9 it doesn't fit in the package insert is a good one
10 because it's just going to come up over and over
11 again.

12 DR. SANTANA: Dr. Reynolds?

13 DR. REYNOLDS: I'm sensitive to what
14 you're saying, Dr. Pazdur, and I also was not
15 suggesting in any way that we are trying to ask you
16 to provide treatment guides. But the bottom line
17 is that from the outside community the FDA is
18 really the centralized repository of information
19 about pharmaceutical agents. And I think that
20 whereas there's certainly guides within--the NCI
21 has them and there's books, there's textbooks, and
22 there's review articles for people to look at on
23 diseases. But those are complex issues, and it
24 doesn't break it down by a particular drug. And
25 having it organized in a fashion by drug with

1 studies that were linked to that drug, if they were
2 focused on that particular drug, I think would be a
3 useful thing to have.

4 DR. HIRSCHFELD: I'll ask our regulatory
5 colleagues whether the perception of your agency,
6 each of you, is as the repository of safety
7 information and drug information.

8 DR. SANTANA: We'll start from one end and
9 go up the row. Dr. Pignatti?

10 DR. PIGNATTI: Thank you for the question,
11 and I've listened to the various arguments. I
12 think, if I have to summarize what my view is on
13 our perception on these issues so far, it's that we
14 have been rather more conservative. The first
15 point is the agency needs to make up their mind
16 whether the drug can be used safely and effectively
17 in a certain population. Once that is established
18 on the basis of the data submitted, then this
19 should be further qualified with appropriate
20 statements on dosing and safety and so on.

21 As long as the agency has been unable,
22 based on the data submitted, to make up their mind
23 if the drug is truly safe and efficacious, then it
24 has not been perceived as the role of what we would
25 call labeling in Europe, the role to disseminate

1 this highly valuable scientific information, but
2 which is just maybe a window on a rapidly evolving
3 field, and there are better qualified associations
4 and places where this discussion could take place.
5 And this is the official view that we have
6 consolidated in our guideline. It's true, it keeps
7 coming up every time a product is discussed, but in
8 the end we have not yet found a reasonable
9 justification to deviate strongly from this.

10 Of course, one wants to be as pragmatic as
11 possible.

12 DR. SANTANA: Dr. Mathieu?

13 DR. MATHIEU-BOUE: In addition, I could
14 say that as we are less flexible, for us the
15 discussion is very strange because what we call a
16 product label, which is SPC as defined this morning
17 by our colleague, is linked to an approved drug in
18 an approved indication. So many situations you
19 have discussed are far away from our concerns if we
20 want to be very conservative. But I don't know,
21 I'm not sure I'm very clear, but when we have a
22 summary of the product, a characteristic in one
23 indication, this means the indication is approved.
24 So we need to put the data we have, safety,
25 efficacy, and it could happen that if we have

1 not--well, if we have only limited data, then we
2 can mention that only limited data in such
3 indication or in the sub-indication are the label
4 or only safety data are the label. But we have
5 appropriate section well defining our guidelines
6 for the SPC, and we don't need to have--we have to
7 follow the rule and the guideline. But most of the
8 situations we have discussed today are outside of
9 the scope of our guidelines.

10 I am not sure I am very clear.

11 DR. SANTANA: You were very clear. I
12 understood it.

13 DR. MATHIEU-BOUE: But I would say from a
14 physician point of view, I could say unfortunately
15 they're out of the scope, because, of course, we
16 would be very interested to add many things, but
17 it's not the scope of the SPC. That's why this
18 morning I made a comment that probably the
19 regulatory agency has a kind of power to make
20 strong recommendations to publish studies or to
21 have a public report on the Net or things like
22 that. But it's not the scope of the SPC.

23 DR. SANTANA: But trying to address Dr.
24 Hirschfeld's question, does the public, both the
25 physician, medical community, and the patients,

1 view the agency as a repository of data that they
2 could look into?

3 DR. MATHIEU-BOUE: Then we need to go in
4 some details about Europe's system. We have the
5 centralized procedure, and then when a drug is
6 approved, we make public a European Public Report,
7 which is called EPR, and which is available on the
8 Net some weeks after the approval. Then we have
9 different rules according to different countries
10 for the other procedures. But when the drug is
11 centrally approved, we have some a central, common
12 SPC, and this is common and the same for all the 15
13 members of the European Community.

14 For instance, in France, the SPC is
15 available through the compounded package and in
16 some books restricted to the physician. But if
17 somebody requires the entire text of the SPC to the
18 agency--that's a French example--with a written
19 request we can send the SPC. But it's not a very,
20 I would say, neither transparent nor flexible
21 situation. So we have some differences in culture
22 for that, and we have a very strict guideline for
23 the SPC. We don't have the same transparency that
24 you have. So one can regret, one can say it's
25 better. But for this particular situation, medical

1 condition, I would say from physician point of view
2 sometimes we would like to get more flexibility.

3 DR. SANTANA: Dr. Vassal?

4 DR. VASSAL: One comment, since I'm not
5 part of any agency, so I will let my colleague go.

6 DR. SCHWEIM: Okay, and then I would add
7 some comments from the German perspective. The
8 situation pointed out by our French colleague for
9 centralized procedures is correct, and for the
10 rest, I must say the answer can't be clear. It
11 depends. It depends because we have a publicly
12 available database with all information about the
13 drugs we have that are not confidential, like
14 composition of the substances and so on. We have
15 also the SPC in the system, and we have also the
16 data from the pharmaceutical manufacturers
17 associations. We have three of them. They're all
18 together combined in the database. But up to now,
19 this is not very often used by the public. It's
20 very often used by the companies themselves and by
21 the health professionals, but not for the public.
22 And I think this is according to the fact that we
23 do not have completely finished the implementation
24 of the User-Friendly Package Leaflet Act, what we
25 have in the regulation in the European Community up

1 to now.

2 But I think as a situation for the
3 perspective of the agency to be a trust center, and
4 Germany is increasing, as I pointed out in the
5 early morning, the social court has told the public
6 that only drugs that are approved for the
7 indication will be reimbursed by the insurance
8 companies. And I think if this is widely spread,
9 the public will much more often use our databases
10 to look upon the data.

11 The last item to mention, the political
12 situation is a little bit different because of
13 budgetary restrictions. We have several additional
14 lists in Germany dealing with the topic of
15 reimbursement, and they are subsidiarily used as
16 scientific information. We have a positive list.
17 We have a negative list. They are created by the
18 government for reimbursement purposes, but they're
19 partly used by the clinicians and the physicians as
20 scientific information.

21 So it's a little bit confusing, the
22 situation, but I think the main answer to your
23 question is, yes, we are on the way to be a trust
24 center for the public.

25 DR. SANTANA: Dr. Cheng?

1 DR. CHENG: Thank you. I would think that
2 in the U.K. the SPC, or product label, I'm not sure
3 that it's seen as the repository as such, but it's
4 certainly seen as the document whereby studies have
5 been submitted by companies and have then been
6 reviewed and assessed and then gone into the SPC.

7 As far as pediatrics is concerned, you in
8 the U.S. are much further ahead than we are in both
9 U.K. and Europe. In Europe, there is the intention
10 that there will be some legislation forthcoming
11 along the lines of the U.S. legislation, but it's
12 going to be a couple of years yet before that comes
13 on board.

14 However, I think within the current
15 European guidelines and in the SPC, even if a drug
16 isn't indicated in that particular indication
17 section for children, there is allowances for us to
18 put specific pediatric statements in other sections
19 of the SPC if that information has been submitted
20 and has been assessed and deemed to be appropriate
21 to go in. And I know, for example, certainly at
22 the U.K. level, there have been a number of
23 examples where we have looked at the FDA list,
24 exclusivity list, and asked companies to submit
25 data that was submitted to the U.S. and ask them if

1 they could submit to the U.K. for assessment by the
2 U.K., and then certain statements have gone into
3 the SPC. So maybe a pediatric PK statement will
4 have gone into a certain section, but not as an
5 approved indication as such. But I think it is
6 generally knowledge that the SPC is a document
7 that--how do you explain it? Steven has explained
8 it already, that it's a document between the
9 regulatory--a licensing document between the
10 regulatory authority and the company and isn't seen
11 as the totality of information that's available on
12 that drug. And it's well recognized that there is
13 other information that's available in the
14 peer-reviewed literature.

15 DR. SANTANA: Thank you, all of you, for
16 your comments and review.

17 I think we've covered this question rather
18 extensively, so we'll move on to the fourth
19 question. The question pertains to the situation
20 where there is no evidence of clinical benefit in a
21 pediatric oncology population and there are data of
22 a lack of activity. So the question is: If
23 dosing, safety, and lack of activity information
24 are available from studies that enrolled children
25 with cancer, consider what information, if any, be

1 included in the product label. And the factors may
2 include: number one, a statement restricted to
3 stating that no meaningful clinical activity has
4 been observed; a statement to the effect of the
5 number and the diagnoses of the patients enrolled
6 in these studies; and the third statement
7 potentially could be dosing information.

8 This reminds me a little bit of Case No.
9 4.

10 DR. HIRSCHFELD: I would add that it's
11 implicit that if any information would go in, that
12 safety information would accompany it. So that's
13 implicit and you needn't comment further on that
14 point.

15 DR. SANTANA: Comments on this question?
16 Mark?

17 DR. BERNSTEIN: Well, Malcolm has left so
18 I'll speak for Malcolm.

19 [Laughter.]

20 DR. BERNSTEIN: It goes back a little bit
21 to what's been previously said, that is, it would
22 be very useful to have dosing and safety
23 information if at some point there is an identified
24 database to which people can have access by
25 clicking on the right site. Then that would be the

1 simplest answer to the question. Just go to the
2 studies and the studies would then outline what's
3 been shown in terms of efficacy or, in this case,
4 inefficacy.

5 In other words, we would include dosing
6 and safety information or the dose and schedule
7 used in the label, and then refer people to the
8 appropriate site where the information about the
9 activity or inactivity would be available. That
10 would be the simplest solution.

11 DR. SANTANA: Dr. Reaman?

12 DR. REAMAN: But I think there should be a
13 statement that there's no demonstrated activity,
14 and not include in the label any of the dosing,
15 which I think would only be of interest to clinical
16 investigators, probably.

17 DR. SANTANA: So let me understand you.
18 You're saying that only the first statement should
19 be included, statements B and C should not be
20 included? Based on your comment, there should--

21 DR. REAMAN: Yes.

22 DR. SANTANA: --just be just one general
23 statement.

24 DR. REAMAN: Yes, that in the studies
25 performed, no clinical efficacy was established.

1 DR. SANTANA: But no further information
2 provided.

3 DR. REAMAN: Correct.

4 DR. BERNSTEIN: You wouldn't even include
5 dose or schedule and safety?

6 DR. REAMAN: I guess in describing the
7 study, I would say at the dose and schedule
8 utilized. But I think I would use whatever central
9 repository becomes developed as the source for the
10 dose information.

11 DR. SANTANA: Dr. Boyett?

12 DR. BOYETT: I think this is pretty
13 complicated, because meaningful clinical activity
14 is going to vary from disease to disease, and
15 you're covering a lot of territory when you say
16 there's no clinical indication for any oncology
17 cases or any cancers seen in children. That's a
18 pretty broad spectrum. I think you have to be very
19 specific about it. Simply giving the number and
20 the diagnoses of the patients on the study, again,
21 as I said earlier, may not tell the whole story.
22 You've got to know more about what the design of
23 the study was and how you came to this conclusion
24 that there's no clinical activity. If it's totally
25 dead, that's one thing, but that's usually not the

1 case. So I think this is more complicated than
2 putting together the information, I think.

3 DR. HIRSCHFELD: What information would
4 you suggest, Dr. Boyett?

5 DR. BOYETT: I'm not sure I can say at the
6 moment.

7 DR. SANTANA: I think that speaks,
8 though-- [inaudible - off microphone]--general
9 statement; whereas I think what you're saying is
10 there needs to be a general statement but there has
11 to be some specifics about the patient population
12 so that people have an idea that it was tested in
13 these populations, not taken as a blanket
14 statement. Did I understand you correctly?

15 DR. BOYETT: This goes back to what was
16 said before. You've got to have the schedule and
17 the doses that were actually studied because,
18 depending on the schedule, you know, and actually
19 how it was given, whether it's an oral drug or IV
20 or how it was given, that makes a difference. You
21 just can't summarily just write it off.

22 DR. SANTANA: Dr. Reaman?

23 DR. REAMAN: And just to clarify, I did
24 say that you had to give those specific pieces of
25 information. And I assume that the study that

1 would be requested by the agency would be a
2 definitive Phase II study in a specific disease,
3 not in pediatric cancer in general.

4 DR. SANTANA: Well, but yes and no,
5 because my interpretation was on Case 4.

6 DR. REAMAN: I wasn't just using Case 4.

7 DR. SANTANA: No, no, but Case 4 was not a
8 specific disease but was a conglomerate of Phase II
9 different strata, and the final conclusion was in
10 all of these strata there was a lack of activity.

11 DR. BOYETT: Well, one of the strata was
12 inadequately investigated.

13 DR. SANTANA: That's true. That's
14 correct.

15 DR. HIRSCHFELD: Right. Just to refresh,
16 Case 4, I believe there were 108 patients? 122?
17 Well, we'll say over 100 patients, and--

18 DR. REAMAN: 71.

19 DR. HIRSCHFELD: Yes, okay. Thank you. I
20 just don't have it in front of me. Seventy-one
21 patients with, I believe, one complete response and
22 one partial response. And in the case that the
23 strata was closed prematurely, that was a decision
24 taken by the investigators that it would be not
25 ethical to proceed given the lack of activity in

1 the other strata.

2 DR. BOYETT: But actually, I don't even
3 think you can interpret the one response, because
4 you told me that you changed the dose, you lowered
5 the dose. And since these were a two-stage design,
6 in fact, going beyond the first stage, you probably
7 got your responses at the higher dose, which is
8 always unacceptably toxic. So, you know, I don't
9 think there's enough information here to interpret.
10 I wouldn't know what to tell somebody from this.

11 DR. SANTANA: Dr. Reynolds?

12 DR. REYNOLDS: Steve, I worry about this
13 making statements that no meaningful clinical
14 activity has been observed, you know, in a study of
15 pediatric oncology when I don't think that a drug
16 would be necessarily tested in all potential
17 settings in pediatric oncology. And, therefore
18 you're somehow--you're pre-empting or doing a
19 pre-emptive strike, if you will, against the
20 possibility of finding an indication for it in
21 pediatric oncology. It would seem to me that if
22 you don't--it's like my mother taught me not to say
23 anything--you know, if you can't say something
24 good, don't say anything at all. And it may be
25 that in this setting--it may be in this setting

1 that that's the way the label should be approached.
2 If you don't have meaningful data, you shouldn't be
3 putting anything on there, and you shouldn't be
4 putting a dose on if there's no possibility of an
5 indication in the label. That doesn't mean that
6 years later that couldn't be established by
7 clinical trials and then incorporated in the label
8 later.

9 DR. SANTANA: Dr. Bernstein?

10 DR. BERNSTEIN: It still goes back to the
11 question of whether you would include anything or
12 nothing. I agree with what you say, Pat, that if
13 you can't say anything nice, you shouldn't say
14 anything. But you do have some dosage and--dosing
15 and safety information, which is neutral, in a way.
16 It's neither nice nor not nice, but it's just not
17 clear to me that you shouldn't make it available at
18 all.

19 DR. REYNOLDS: Well, I agree with you on
20 that standpoint, but there's nothing wrong with
21 making it available. One of the visions I've been
22 having on this is that we'll get all our drugs
23 packaged inside of what looks like a roll of toilet
24 paper, but that's actually the label. You have to
25 actually roll it out to see all the information.

1 [Laughter.]

2 DR. REYNOLDS: So that was the only reason
3 I was suggesting a minimalist approach. But I
4 think really, though, the key thing is that I don't
5 think we should be making statements that there's
6 no use for this drug unless we've really proven
7 across the board in pediatrics there's no use for
8 it.

9 DR. SANTANA: Dr. Reaman?

10 DR. REAMAN: This question says "in a
11 pediatric oncology population." It doesn't say "in
12 the pediatric oncology population." So I
13 interpreted this to mean in a specific disease
14 setting in an appropriately designed and conducted
15 trial, if there's no activity, why shouldn't the
16 label say there's no activity? You've asked for
17 the study to be done. The study's been done. The
18 data are available at this dose and schedule. The
19 drug has no activity.

20 DR. SANTANA: Ms. Ettinger?

21 MS. ETTINGER: And I agree with Greg
22 completely because I think that also gives informed
23 consent. I think it really speaks to what was
24 done. It doesn't preclude other studies or other
25 entities being investigated, but I think it

1 explains exactly what happened. And I think it
2 should be clearly delineated, with the safety, you
3 know, information available.

4 DR. SANTANA: Dr. Mathieu?

5 DR. MATHIEU-BOUE: It's a question. In
6 this particular case, in No. 4, you have requested
7 the data, the study and the data. But what would
8 be your recommendation, I mean all of you, if in
9 such a case you wouldn't have requested the study?

10 DR. HIRSCHFELD: I think that would be the
11 next subcommittee hearing.

12 DR. SANTANA: If you haven't requested the
13 studies but somebody brought you the information?
14 Is that what you're saying?

15 DR. MATHIEU-BOUE: Well, my question is
16 the same information would exist, but the case
17 wouldn't be the FDA has requested such a study.
18 Would you recommend exactly the same or not?

19 DR. SANTANA: I mean, ethically, if you're
20 aware of information, you should make it public and
21 you should use it. You shouldn't hide it, no
22 matter where it came from, as long as it's valid.

23 DR. MATHIEU-BOUE: The information, the
24 public information is not obviously in the product
25 label. That's the key point. To me it's not

1 obvious that it has to be in the product label. I
2 do agree with you.

3 DR. PAZDUR: But we have many negative
4 studies in adult indications. We don't have a
5 whole listing of the study--unless we are given the
6 information, and even then we don't put it in the
7 label. Why would somebody be coming to us with
8 negative information unless there was a big safety
9 concern? For example, there was the one slide that
10 Steve had. If there was a perception that the drug
11 was active in a disease, and now we have new
12 information that it no--you know, that decision was
13 in error, that would be a particular situation.
14 But, in general, we don't have listings in the
15 label of drug X is inactive in this disease, this
16 disease, this disease, this disease, this disease.

17 DR. SANTANA: Dr. Boyett?

18 DR. BOYETT: Steve, I think I can answer
19 the question now maybe what I think, and it goes
20 very close to what Greg said. But when you put in
21 there about meaningful clinical activity, you know,
22 statistically you can't rule out any. What you
23 have to put is with what confidence level you are
24 that the level of activity is below some threshold.
25 That's what has to be put in there so you can

1 interpret that, and maybe you want to supplement
2 that with the number of patients. But, again,
3 interpreting like Greg did is specific to a
4 particular pediatric population, a particular
5 disease, and not just say there's no--that there's
6 a general lack of clinical activity. It's got to
7 be specifically listed for each disease that it was
8 adequately tested in, and what level of activity do
9 you rule out? You never say that it's actually
10 zero.

11 DR. HIRSCHFELD: Hence, the "meaningful,"
12 because we know we couldn't. It's something you
13 only approach asymptotically. I agree with you,
14 Jim. So would you suggest then putting confidence
15 intervals?

16 DR. BOYETT: I like confidence intervals.
17 [Laughter.]

18 DR. SANTANA: That's what he gets paid to
19 do.

20 Dr. Hagey?

21 DR. HAGEY: I would agree that I think a
22 statement to this effect should not be in the
23 label. It's not in there for adult indications,
24 and there are too many to list. But with the
25 caveat that if it is being used, for example, a

1 company reviews their sales data and realizes that
2 20 percent of sales are going toward an indication
3 where it's clearly not efficacious, then a
4 statement should be issued to that effect in the
5 label. And I think that the website everybody's
6 mentioning can also--if it's going to include the
7 positive data, it might as well also include the
8 negative data, because you have to be balanced in
9 the data that you do present in the label.

10 DR. SANTANA: Dr. Cheng?

11 DR. CHENG: I take the opposite view. I
12 take the view of--sorry, I can't see your surname.
13 Can I call you Greg? I would agree with Greg's
14 view, provided that the statement was specific and
15 it was very clear in what patient population and
16 what disease. Perhaps it's the wording that is
17 causing us to struggle. Perhaps the wording could
18 be improved. And I realize that we don't list
19 every negative indication for adults, but in
20 children, it at least gives us the information that
21 the drug has been studied at all, which is
22 certainly an improvement on no study at all.

23 If we start putting information in other
24 aspects, I would worry that there's too many
25 different places to look for it, and where do

1 you--how would Dr. X sitting in surgery know
2 whether to look on the FDA website or on the
3 product label or in Medline or wherever? If it was
4 in the product label, at least that would be clear.

5 DR. HIRSCHFELD: Just before the--these
6 are, again, studies that the FDA has requested as
7 opposed to a summation of all available knowledge.

8 DR. SANTANA: Dr. Reaman?

9 DR. REAMAN: And I think this is an
10 opportunity to respond to a particular
11 congressional request to provide information about
12 pediatric studies. And I think it also extends the
13 definition of safety information, because generally
14 these drugs have significant side effects
15 associated with them. And I think it goes to
16 safety to say that a drug that has no activity
17 that's been well studied but does have associated
18 toxicity, I see no problem in putting that in the
19 label and would support it.

20 DR. SANTANA: I did hear, though, a couple
21 of committee members kind of opposed to that view.
22 Would it be helpful if we took a vote? I've heard
23 a couple committee members feeling that--I think
24 there's some majority that says some information
25 should be in the label, as you've heard the

1 discussion. But I heard at least two strong
2 statements that say that they would not--no
3 information--

4 [Inaudible comment off microphone.]

5 DR. SANTANA: Okay.

6 DR. HIRSCHFELD: We're soliciting
7 comments, and then we get into the issue of who can
8 vote and who can't vote and whether--

9 DR. SANTANA: Okay. I just wanted to--

10 DR. HIRSCHFELD: But I appreciate your--

11 DR. SANTANA: --thinks that we need to
12 resolve this by a vote, we can do it. If you just
13 want to hear both sides of the story, I think
14 you're getting that.

15 Dr. Reynolds--

16 DR. PAZDUR: And I think they were well
17 founded, i.e., we want to define the population, we
18 want to, you know, be specific when we say it has
19 no activity, you know, it's not just a blanket
20 statement. So I think it's helpful to us.

21 DR. SANTANA: Dr. Reynolds?

22 DR. REYNOLDS: I just want to ask--you
23 know, I think Greg's comments refining this are
24 very good. I just wanted to clarify if putting
25 that kind of negative data into a label is in any

1 way perceived as burdensome to the industry. And
2 maybe industry could comment on that. Is that
3 extra work for them, in other words? Is that an
4 issue that--

5 DR. PAZDUR: Well, we asked--I think the
6 important thing that differs this from my previous
7 statements about many negative adult studies, we
8 asked for this. Okay? And, therefore, they got a
9 report back.

10 DR. REYNOLDS: So the specific thing that
11 you asked when you specifically put that in. So in
12 essence, then, it is--that's the clarification. It
13 is no real extra work. They have to incorporate
14 that into the label. The work has already been
15 done.

16 DR. HIRSCHFELD: Yes, and they would get a
17 six-month sales extension on exclusivity, which is
18 not to be trivialized.

19 DR. REYNOLDS: Right. I just wanted to
20 clarify that point. Thank you.

21 DR. SANTANA: Dr. Vassal? Since you came
22 from so far away.

23 DR. VASSAL: Thank you. Just if I come
24 back to Case 4, which illustrates the point, before
25 the request by the FDA, maybe in this label was

1 written the sentence, "Safety and efficacy was not
2 tested or evaluated in children." Right now it's
3 no longer the case. So clearly for me, the safety
4 data acquired in these Phase II studies should be
5 available in the label.

6 On the other side, if we consider
7 efficacy, clearly considering the large number of
8 tumor types, especially the case of neuroblastoma,
9 which was earlier stopped because of many, many
10 reasons, I think it's very difficult to say there
11 is no activity because there is maybe not enough
12 data to really demonstrate that there is no
13 activity. So to me, in this situation it would be
14 important to have the safety data clearly
15 available, and the sentence showing that at the
16 moment there is no evidence or not enough evidence
17 of activity, but maybe not detailed on all the
18 different tumor types with not enough data to--

19 DR. PAZDUR: I think we hear that clearly,
20 that there has to be some scientific precision and
21 not to make a blanket terminology of no clinical
22 activity in pediatric oncology here.

23 DR. SANTANA: And I think Steve did
24 preface his question--

25 DR. PAZDUR: And that's really--

1 DR. SANTANA: --that safety--

2 DR. PAZDUR: --science. That's not even a
3 regulatory--

4 DR. SANTANA: And that safety information
5 would be inherent in this information, too. Okay.
6 Let's move on. I think we did reach a consensus on
7 that, or at least some comments.

8 Let's read the last one, then. The
9 following question pertains to the situation where
10 there is no efficacy or safety data available in
11 pediatric patients. And when no efficacy or safety
12 data are available in pediatric patients, consider
13 if a statement that safety and efficacy have not
14 been tested in children be included in the product
15 label.

16 My comment to this goes back to a
17 discussion we had earlier this morning, which is
18 the rapidity and timing of the update of the label.
19 I'm concerned that such a blanket statement when
20 there are currently studies that are ongoing that
21 potentially could change the statement once that
22 information becomes available, what is the
23 commitment to turn that around in a reasonable way
24 that the public and the practitioners could be
25 informed that there is now information? So I'm not

1 so worried about the statement. I'm just worried
2 that once you put that statement here in the
3 discussion this morning, that statement may stay
4 there for eternity, and it may no longer be true
5 six months from now. So what is the mechanism to
6 get that taken care of in a time fashion to change
7 it?

8 DR. HIRSCHFELD: Well, the context here
9 would be because we're trying to focus this on--we
10 are asking for data and then what to do with it.
11 If we ask for data and don't get any data--

12 DR. SANTANA: There's no data.

13 DR. HIRSCHFELD: Yes. What should we do?
14 Should we say there are no data? Or should we say
15 something else? That's the nature of it. It's not
16 to say in the known human experience there are no
17 data. The question is we've made a request for
18 data, there are no data, and we don't foresee data.

19 DR. SANTANA: Comments? Dr. Schweim?

20 DR. SCHWEIM: If this situation would
21 occur in Germany, we were forced as an agency to
22 point out this sentence in the package leaflet.
23 We're forced in any cases where there is no data
24 for children available that we do not have any
25 data, there's a special paragraph in the German

1 drug law only for the purpose for children. It's
2 not necessary for pregnant women or other
3 specialized groups of the population. But for
4 children it's necessary. If there is no data, we
5 have to state it out.

6 But I would add a further comment. I
7 think the main problem is the update method you
8 have for SPC or package leaflet in the U.S. In our
9 system, we have an automatic update if there is any
10 new data available, and the company is forced to
11 present this data, even if they collect it from the
12 literature--not only if they collect it from their
13 own clinical trials. And I think if you would have
14 established such an update, automatic update
15 period, this would be a less problematic situation.

16 DR. SANTANA: Dr. Finklestein?

17 DR. FINKLESTEIN: It's a two-part
18 question. What do you do now? Say you don't
19 request the information and a drug is submitted and
20 there's no pediatric data, what do you do with the
21 label right now?

22 DR. HIRSCHFELD: We have the default
23 statement, which is safety and efficacy have not
24 been--or safety and effectiveness have not been
25 established--

1 DR. FINKLESTEIN: Then why would that
2 default statement not apply to Question 5?

3 DR. HIRSCHFELD: Well, we're just trying
4 to, in effect, parse it out a little finer. The
5 first case, you could have data that exist but
6 someone chooses not to submit it, and we would say
7 that statement, it hasn't been established. Or you
8 could have negative data, and you could say safety
9 and effectiveness have not been established. Or
10 you could have no data. You don't have the ability
11 to distinguish among those possibilities.

12 The regulations allow alternate wording,
13 and so we were just requesting some advice from the
14 committee. The default statement we find is
15 perhaps not sufficiently informative, and here's a
16 case where we might be able to adjust that.

17 DR. FINKLESTEIN: The second part of the
18 question has to do with a comment from our
19 colleague from Germany. This is the era of
20 Internet and electronic transmission, and what are
21 your plans in terms of updating information so that
22 everyone can obtain it in a more--there's a phrase.

23 DR. SANTANA: Timely manner?

24 DR. FINKLESTEIN: Well, friendly manner.
25 There's an Internet phrase you use, virtually

1 effective, concurrently, and all other terminology.

2 In other words, putting it in a--

3 DR. SANTANA: Realtime.

4 DR. FINKLESTEIN: Yes, realtime. Thank
5 you. Putting it in a label that then gets killed,
6 that's for 1940s. What are your plans now for the
7 year 2003?

8 DR. HIRSCHFELD: That's a broader agency
9 policy question, but it's an issue that I know the
10 Commissioner has expressed particular interest in.
11 There are some initiatives underway, and it's going
12 to require cooperation among investigators getting
13 data to the pharmaceutical sponsors and
14 pharmaceutical sponsors getting the data to the
15 FDA. So it's going to be a system solution.

16 DR. SANTANA: Dr. Cohn? And then Dr.
17 Hagey.

18 DR. COHN: I was just going to follow up
19 on your point, which was that things change. And
20 so to say that there's no data available at this
21 point in time won't necessarily be correct a couple
22 weeks from now. But since you have dates on your
23 labels, can't you say as of this date no safety and
24 efficacy data are available, and then everybody
25 will know what the last update is, and hopefully

1 eventually it will become realtime and, you know,
2 you'll have it as of last Monday instead of as of
3 six years ago.

4 DR. SANTANA: My comment was also related
5 to a comment I heard earlier this morning from
6 Richard about a specific drug that hadn't been
7 updated for 20 years, although there was a lot of
8 information. So I wanted to press the issue that
9 in pediatric oncology, if there are studies that
10 need to be updated that are providing information,
11 that at least in this arena we establish a
12 mechanism where that doesn't take us 20 years to
13 get it back in the label. That was my point. It's
14 just a comment to the agency of the importance, at
15 least in this field, if we're going to make these
16 kind of statements in pediatric oncology where the
17 focus is right now, that we be cognizant of the
18 need to move very quickly so that the label
19 reflects what actually has happened.

20 DR. PAZDUR: And it can. You know, I
21 didn't mean that it's a static document that never
22 changes. Obviously we get updates on our INDs,
23 which the European system doesn't even have,
24 looking at--right? You guys don't have an IND
25 mechanism?

1 [Inaudible comment off microphone.]

2 DR. PAZDUR: Okay. So, you know, we get
3 regular and routine safety updates. We have trials
4 that are coming in, and obviously the product label
5 would be changed with those trials that the sponsor
6 submits. But also we have a vast postmarketing
7 safety system looking at drugs that are marketed,
8 and if we have clues that there are safety issues,
9 then these have to be investigated, and we have
10 conferences with--not only inside the U.S. but
11 internationally and with the sponsors to take a
12 look at this. So I don't want to infer that it is
13 totally a static document here.

14 DR. HAGEY: Perhaps I could ask what's
15 done now in the case of pregnant women in
16 situations where perhaps safety and efficacy in
17 pregnancy has not been established, then when you
18 do get some information, how timely is that update?

19 DR. HIRSCHFELD: The short answer is it's
20 variable, but there's a certain urgency that's
21 perceived about it, and, again, I think the
22 operative process is to make it a cooperate
23 process. What was the source of those data? Was
24 it picked up through postmarketing surveillance?
25 Was it picked up through a published study? Was it

1 picked up through anecdotal information? How
2 reliable is it? Discussions with the sponsor would
3 follow as soon as the signal is detected. And then
4 there have been actually--not only is the label
5 updated, but there are postings on the website and
6 in some cases "Dear Doctor" letters are sent out.

7 DR. SANTANA: Dr. Boyett?

8 DR. BOYETT: One minor question. You
9 interpret the words "are available" for point No. 5
10 as meaning that no efficacy and safety data have
11 been received by the FDA? Is that the way that's
12 to be interpreted?

13 DR. HIRSCHFELD: For this particular
14 question, correct. And this would be not because
15 there are no data that we're unable to find, but
16 we've requested data. And usually if people have
17 any data, no matter what the source, with the
18 financial incentive waiting for them just to send
19 it in, they usually manage to find whatever is
20 available.

21 DR. SCHWEIM: As a part of old Europe, I
22 have a question of clarification.

23 [Laughter.]

24 DR. SCHWEIM: Steve, if I understand you
25 correct, you said that it could happen that the

1 company has negative data but is not willing to
2 send it to you so you can publish it. If this is
3 the case, it's totally opposite to the German and
4 the European situation. If a pharmaceutical
5 company has negative data, they must submit it.
6 Otherwise, they will be punished by the law. So if
7 you have indicated on a German or European package
8 leaflet there is no data available, it means there
9 is no data available. It does not mean that a
10 company has negative data and doesn't present it.

11 DR. HIRSCHFELD: Right. The clarification
12 would be if there's any safety issues, we have the
13 authority to demand the information regarding the
14 safety issue. That would be a public health
15 question.

16 The concept here would be that there's an
17 incentive for the company to provide data in any
18 fashion, even negative data, because negative data
19 can, nevertheless, be in the economic risk/benefit
20 equation a highly favorable undertaking. So the
21 anticipation would be that it's likely that there
22 are no data.

23 DR. SANTANA: I'm taking this maybe a
24 little too far, but what would happen in a
25 situation where you grant a waiver because, you

1 know, you're not going to study the drug? I mean,
2 this statement--

3 DR. HIRSCHFELD: That would be the
4 pediatric rule, Dr. Santana, and in this case--

5 DR. SANTANA: I take it back.

6 DR. HIRSCHFELD: Okay.

7 DR. SANTANA: Further discussion on that
8 point?

9 DR. HIRSCHFELD: Thank you.

10 DR. SANTANA: Dr. Boyett?

11 DR. BOYETT: I'm just trying to understand
12 this again. This seems like a dialogue between you
13 and a specific company, okay, so that's the only
14 communication. Do you have blinders on? And if
15 there's published data out there in JCO that was
16 done by COG, you know, in some other setting, I
17 mean, wouldn't you take advantage and use that
18 information?

19 DR. HIRSCHFELD: The short answer is if
20 it's a safety concern, absolutely. If it's for
21 other reasons, then we can ask--we can make a
22 company aware of data. Other people can submit
23 data. And if there are data--we don't have
24 blinders, in effect. What we do, though, is we try
25 to encourage data to be submitted so it can be

1 verified. And the context for this question is
2 that we and no one else apparently can identify
3 data. There's lots of data that might exist that
4 might be unpublished or unaccessible. But if it's
5 publicly available data, that's certainly something
6 that's accessible to everyone.

7 DR. SANTANA: Dr. Reynolds?

8 DR. REYNOLDS: Steve, maybe you could
9 clarify for us in the setting you're talking about
10 where someone else can, quote-unquote, submit the
11 data. What about drugs that are now off patent and
12 that may be made by two or three different
13 companies? I must say the Cooperative Group has
14 data on one of those drugs. Can the Cooperative
15 Group then submit the data, and then does the
16 labeling take place for all companies that are
17 making it on a generic basis?

18 DR. HIRSCHFELD: That's a highly plausible
19 scenario.

20 DR. SANTANA: Any other comments or points
21 of discussion before I make a comment?

22 [No response.]

23 DR. SANTANA: Well, I'm not going to do a
24 summary because we, I think, covered all the cases
25 and the questions rather thoroughly. I just want

1 to say again from the pediatric oncology community
2 how grateful we always are to the FDA to listen to
3 what we have to say and that we think you are
4 partners with us in this endeavor. I specifically
5 want to thank Steve and Richard for their
6 involvement and allowing us to express our views,
7 as we do so well. Thank you.

8 DR. HIRSCHFELD: Thank you.

9 [Whereupon, at 2:01 p.m., the subcommittee
10 was adjourned.]

11 - - -