

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
NONPRESCRIPTION DRUGS ADVISORY COMMITTEE

8:07 a.m

Thursday, June 12, 2003

Versailles Ballroom
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

ATTENDEES

COMMITTEE MEMBERS:

LOUIS R. CANTILENA, JR., M.D., PH.D., Chair
Director, Division of Clinical Pharmacology
and Medical Toxicology
Uniformed Services University of the
Health Sciences
4301 Jones Bridge Road
Bethesda, Maryland 20814

KAREN M. TEMPLETON-SOMERS, PH.D.
Executive Secretary
Supervisory Health Science Administrator
Advisors and Consultants Staff
FDA/CDER/HFD-21
5600 Fishers Lane
Rockville, Maryland 20857

LESLIE CLAPP, M.D.
Main Pediatrics
2800 Main Street
Buffalo, New York 14214

FRANK F. DAVIDOFF, M.D.
Editor Emeritus
Annals of Internal Medicine
43 Garden Street
Wethersfield, Connecticut 06109

JULIE A. JOHNSON, PHARM.D.
Professor of Pharmacy Practice,
Pharmaceutical Sciences and Medicine
College of Pharmacy
University of Florida
1600 SW Archer Road, Room MG58
Gainesville, Florida 32610

Y.W. FRANCIS LAM, PHARM.D.
Associate Professor of Pharmacology
and Medicine
The University of Texas Health Science
Center at San Antonio
7703 Floyd Curl Drive
San Antonio, Texas 78284-6220

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

SONIA PATTEN, PH.D., Consumer Representative
2932 37th Avenue South
Minneapolis, Minnesota 55406

DONALD L. UDEN, PHARM.D.
Professor of Pharmacy Practice
University of Minnesota, College of Pharmacy
7-159 Weaver-Densford Hall
308 Harvard Street, S.E.
Minneapolis, Minnesota 55455-0343

HENRY W. WILLIAMS, JR., M.D.
Department of Community Health & Family
Practice
Howard University College of Medicine
520 W Street, N.W.
Washington, D.C. 20059

ALASTAIR WOOD, M.D.
Assistant Vice Chancellor
Professor of Medicine and Pharmacology
Vanderbilt University School of Medicine
550 Robinson Research Building
Nashville, Tennessee 37232-6602

CONSULTANT: (Voting)

THEODORE G. TONG, PHARM.D.
Director, Arizona Poison Control System
Associate Dean, College of Pharmacy
University of Arizona
Mable & Warner Streets, Room 341
Tucson, Arizona 85721

ATTENDEES (Continued)

GUEST SPEAKERS: (Non-voting)

ANTHONY S. MANOQUERRA, PHARM.D.
Director, San Diego Division
California Poison Control Center
Professor of Clinical Pharmacy
University of California-San Diego
Medical Center
200 West Arbor Drive
San Diego, California 92103-8925

WILLIAM O. ROBERTSON, M.D.
Medical Director, Seattle Poison Center
Washington Poison Center
Professor, Department of Pediatrics
University of Washington
155 NE 100th Street, Suite 400
Seattle, Washington 98125-8011

TOMAS JOSE SILBER, M.D.
Director, Adolescent Medicine Fellowship Program
Director, Office of Ethics
Professor of Pediatrics
George Washington University
Children's National Medical Center
111 Michigan Avenue, N.W.
Washington, D.C. 20010

MILTON TENENBEIN, M.D.
Professor of Pediatrics, Pharmacology and
Therapeutics, Medicine and Community Health Sciences
Director, Manitoba Poison Control Centre
Director, Emergency Services
Children's Hospital, Winnipeg
University of Manitoba
Children's Hospital
840 Sherbrook Street
Winnipeg, Manitoba, Canada R3A 1S1

ACTING INDUSTRY REPRESENTATIVE: (Non-voting)

GEORGE A. BLEWITT, M.D.
6902 St. Annes Drive
Fayetteville, Pennsylvania 17222

ATTENDEES (Continued)

FOOD AND DRUG ADMINISTRATION STAFF:

JONCA BULL, M.D.
TIA FRAZIER, R.N.
CHARLES GANLEY, M.D.
CURTIS ROSEBRAUGH, M.D., M.P.H.
ARLENE H. SOLBECK, M.S.

ALSO PRESENT:

KATHERINE McCOMAS, PH.D.
ROSE ANN SOLOWAY, R.N., MSED, DABAT
ARMOND M. WELCH, B.S. PHCY

C O N T E N T S

CONTINUE OVER-THE-COUNTER STATUS OF
IPECAC SYRUP

* * *

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Dr. Karen Templeton-Somers	9
INTRODUCTION TO THE ISSUES by Dr. Curtis Rosebraugh	11
IPECAC SYRUP: REGULATORY HISTORY by Ms. Arlene H. Solbeck	15
A REVIEW OF IPECAC SYRUP by Dr. Anthony S. Manoguerra	27
SYRUP OF IPECAC: OTC OR NOT OTC? by Dr. Milton Tenenbein	68
SYRUP OF IPECAC - One Advocate's Perspective by Dr. William O. Robertson	101
IPECAC ABUSE by Dr. Tomas Jose Silber	123
OPEN PUBLIC HEARING by Mr. Armond M. Welch	140
COMMITTEE DISCUSSION	144
QUESTIONS TO THE COMMITTEE	175

P R O C E E D I N G S

(8:07 a.m.)

1
2
3 DR. CANTILENA: Good morning everyone, and
4 welcome to the June 12, 2003 meeting of the Nonprescription
5 Drugs Advisory Committee. My name is Dr. Lou Cantilena,
6 head of clinical pharmacology at the Uniformed Services
7 University. I'll be chairing today's meeting.

8 We would first like to introduce the committee,
9 and what we'd like to do is actually start on this end, and
10 if you can introduce yourself and say who you are and where
11 you're from. How about if we start over on this end and
12 then hopefully we will straighten your mike out.

13 DR. BULL: Good morning. Jonca Bull. I'm the
14 Director of the Office of Drug Evaluation V at the Food and
15 Drug Administration, the Center for Drug Evaluation and
16 Research, Office of New Drugs.

17 DR. GANLEY: I'm Charlie Ganley, Director of
18 the Division of Over-the-Counter Drugs.

19 DR. ROSEBRAUGH: Curt Rosebraugh, Deputy
20 Director of the Division of Over-the-Counter Drugs.

21 DR. LAM: Francis Lam from the University of
22 Texas Health Science Center in San Antonio. I'm a member
23 of NDAC.

24 DR. PATTEN: Sonia Patten. I'm the consumer
25 representative on NDAC. I'm from Minnesota and I'm an

1 anthropologist teaching at Macalester College.

2 DR. UDEN: I'm Don Uden from the University of
3 Minnesota, College of Pharmacy, and a member of NDAC.

4 DR. WOOD: I'm Alastair Wood from Vanderbilt
5 University.

6 DR. TEMPLETON-SOMERS: Karen Somers, Executive
7 Secretary to the committee, FDA.

8 DR. DAVIDOFF: I'm Frank Davidoff. I'm an
9 internist, formerly editor of the Annals of Internal
10 Medicine, and I'm a member of the committee.

11 DR. WILLIAMS: I'm Henry Williams, Howard
12 University, Department of Community Health and Family
13 Practice. I'm a member of NDAC.

14 DR. TONG: Good morning. I'm Ted Tong. I'm
15 from the University of Arizona, College of Pharmacy. I'm
16 an invited consultant to the committee this morning and
17 afternoon. I'm a professor of pharmacy practice,
18 pharmacology, toxicology, and public health at the
19 University of Arizona, and I'm also the Executive Director
20 of the Arizona Poison Information Center.

21 DR. JOHNSON: Hi. My name is Julie Johnson.
22 I'm from the University of Florida and I'm a member of the
23 Nonprescription Drugs Committee.

24 DR. CLAPP: Leslie Clapp, pediatrician, Main
25 Pediatrics in Buffalo, New York, and I'm a member of NDAC.

1 DR. BLEWITT: George Blewitt, acting industry
2 liaison representative for NDAC.

3 DR. CANTILENA: Thank you.

4 We'll now have the reading of the conflict of
5 interest statement by Dr. Somers.

6 DR. TEMPLETON-SOMERS: The following
7 announcement addresses the issue of conflict of interest
8 with regard to the meeting and is made a part of the record
9 to preclude even the appearance of such at the meeting.

10 Based on the submitted agenda and all financial
11 interests reported by the committee participants, it has
12 been determined that all interests in firms regulated by
13 the Center for Drug Evaluation and Research present no
14 potential for an appearance of a conflict of interest at
15 this meeting.

16 We would like to note that Dr. George Blewitt
17 is participating in this meeting as an acting industry
18 representative, acting on behalf of regulated industry.
19 Dr. Blewitt would like to disclose that the Consumer Health
20 Care Products Association is paying for his travel expenses
21 and honorarium for his attendance at the meeting.

22 In the event that the discussions involve any
23 other products or firms not already on the agenda for which
24 an FDA participant has a financial interest, the
25 participants are aware of the need to exclude themselves

1 from such involvement and their exclusion will be noted for
2 the record.

3 With respect to all other participants, we ask
4 in the interest of fairness that they address any current
5 or previous financial involvement with any firm whose
6 product they may wish to comment upon.

7 Thank you.

8 Dr. Katherine McComas of the University of
9 Maryland would like to address you for a few minutes.

10 DR. MCCOMAS: Thank you and good morning.

11 I'm here today conducting a study on public
12 attitudes and understanding about the conflict of interest
13 procedures that the FDA uses to monitor and manage real or
14 potential conflicts of interest of its advisory committees
15 and its members. This is a study that's being conducted
16 across multiple advisory committee meetings, across
17 multiple centers.

18 For those of you in the audience, there's a
19 questionnaire which I've distributed on your chairs. It
20 takes about 15 minutes to complete. If you have a chance
21 to complete it today, there's a box outside the door you
22 can drop it in. Otherwise, there's a business reply
23 envelope that you can mail it back to me at no cost to
24 yourself.

25 I've also distributed questionnaires to the

1 advisory committee members. Again, I greatly appreciate
2 your time in completing it and sending it back to me. The
3 more responses we get, the more reliable and valid the
4 results will be, and it will help us to offer feedback to
5 the FDA on what the public knows and understands about its
6 conflict of interest procedures.

7 If you have any questions, I'll be around to
8 answer them, and please feel free to contact me if you'd
9 like a summary of the results. Those will be freely
10 available to all and any who are interested.

11 Thank you very much.

12 DR. CANTILENA: Thank you.

13 We'll now have Dr. Curt Rosebraugh introduce
14 the topic for discussion today.

15 DR. ROSEBRAUGH: Good morning. I'm Curt
16 Rosebraugh, the Deputy Director of the Division of Over-
17 the-Counter Drug Products, and on behalf of the division,
18 I'd like to welcome the members of the Nonprescription
19 Advisory Committee to today's meeting regarding the over-
20 the-counter status of ipecac syrup.

21 By way of introduction, I'd like to briefly
22 give some background and describe the purpose of the
23 meeting, outline our agenda, introduce the speakers for the
24 morning session, and review the discussion points for the
25 afternoon session.

1 Ipecac syrup has been available as an over-the-
2 counter drug product since 1965. Prior to regulations that
3 allowed OTC marketing, whether ipecac syrup should have OTC
4 status was controversial because it was felt that it should
5 only be used under medical supervision. At the same time,
6 it was recognized that its use in poison emergencies
7 necessitated easy and quick access.

8 At that time, during its deliberations, the FDA
9 sought expert recommendations from poison experts and
10 medical societies. It was the unanimous recommendation of
11 the American Academy of Pediatrics, the American
12 Association of Poison Control Centers, the American Medical
13 Association, and the Medical Advisory Board to the FDA that
14 ipecac syrup should be sold without a prescription so that
15 it would be readily available for emergency treatment of
16 poisoning. However, that recommendation did come with a
17 caveat that it would be labeled such that it stated, before
18 using, call a physician, the poison control center, or
19 hospital emergency room.

20 I think it's probably safe to say that since
21 that time ipecac syrup has been thought of as a vital
22 component in the strategy for preventing childhood
23 poisoning deaths.

24 Now, however, the OTC status of ipecac syrup
25 has been called into question by some medical societies and

1 poison experts. These societies and experts suggest that
2 there are several factors that merit a reevaluation of the
3 current nonprescription status of ipecac syrup. These
4 factors that they cite include that the use of gastric
5 emptying has been declining significantly over recent
6 years, and that there is in their view insufficient
7 evidence of the benefits of therapy, and that this is
8 coupled to a possibility of mortality and morbidity from
9 adverse events and abuse and misuse issues associated with
10 the ready availability of ipecac syrup.

11 So with that as a background, the purpose of
12 the advisory committee meeting today is to provide a public
13 forum for discussion and review of the over-the-counter
14 status of ipecac syrup. There will be several
15 presentations from distinguished speakers for the committee
16 to consider during its deliberations.

17 The first speaker will be Arlene Solbeck from
18 within our Division of Over-the-Counter Drug Products.
19 Arlene will be reviewing the regulatory history of ipecac
20 syrup.

21 This will be followed by three guest speakers,
22 Drs. Tenenbein, Manoguerra, and Robertson. They will be
23 giving us their review of the published literature
24 regarding the use of ipecac syrup as a treatment for
25 poisoning.

1 This will then be followed by Dr. Silber who
2 will give us his review of abuse and misuse issues
3 associated with ipecac syrup.

4 During the presentations, the NDAC committee
5 members should consider the information and use the
6 question and answer session immediately after each
7 speaker's presentation to prepare to address the following
8 discussion points.

9 First, the committee will have a general
10 discussion over the role of gastrointestinal
11 decontamination and poison management. This will be
12 followed by three questions, the first of which is, is the
13 availability of emergency medical treatment, rural versus
14 urban setting, clinically relevant to whether ipecac syrup
15 is used for gastrointestinal decontamination in poison
16 management? Second, is the evidence available in the
17 literature of adequate quality and quantity to establish
18 the risk/benefit ratio of ipecac syrup for over-the-counter
19 use? And finally, should ipecac syrup retain OTC status
20 for use by consumers to treat accidental poisoning?

21 And now that the stage is set, I'd like to
22 introduce the next speaker. Arlene Solbeck is an
23 interdisciplinary scientist within the Division of Over-
24 the-Counter Drug Products. She is the lead reviewer and
25 primary author for the OTC Poison Treatment Rulemaking, and

1 she will present the regulatory history of ipecac syrup.
2 Arlene?

3 MS. SOLBECK: Thank you, Curt, and good
4 morning. This morning I'm going to provide you with some
5 regulatory history on FDA's review of the safety and
6 effectiveness of ipecac syrup, an OTC poison treatment
7 drug.

8 What I'm going to discuss includes, first, an
9 overview of the OTC drug monograph process. Then the
10 regulatory history for ipecac syrup beginning in 1965 and
11 leading up to the current 1985 rulemaking, a tentative
12 final monograph or proposed rule, and I will show some
13 proposed labeling from that rulemaking. I will also
14 mention some of the issues from the public comments that we
15 have received back about the 1985 TFM that are guiding us
16 in our preparation of the final monograph and conclude with
17 a summary.

18 The OTC drug review, which was begun in 1972 to
19 evaluate the safety and effectiveness of all OTC drugs, is
20 commonly referred to as the monograph process. It is an
21 active ingredient-based review. Rather than evaluate each
22 specific product, FDA determined that it would be more
23 practical to determine products by class and to review each
24 class by their active ingredients. For example, ipecac
25 syrup is an ingredient in a class of products for poison

1 treatment.

2 The final monograph or final regulation states
3 the conditions for marketing a product containing these
4 ingredients for a specified use or uses and also states the
5 required labeling. Ipecac syrup under discussion today is
6 regulated as part of the monograph process.

7 This slide provides a little more information
8 about the monograph process. There are generally three
9 phases. First, a panel or panels with experts in specific
10 drug area is convened to discuss safety and effectiveness
11 data and to hear presentations from the agency, industry,
12 and other interested parties in a public meeting like this
13 one. Then the panels present a report to the FDA with
14 their recommendations. The FDA then publishes the panel's
15 report, and this is the advance notice of proposed
16 rulemaking, or the ANPR.

17 After public comment, comments from industry
18 and other interested parties, and any new data that is
19 submitted is evaluated, the agency proposes a tentative
20 final monograph or proposed rule which contains the FDA's
21 proposed position for regulating that particular class of
22 OTC drugs.

23 Finally, after another comment period, the FDA
24 follows the same process in reviewing the new information
25 that has come in and develops a final monograph, or final

1 rule, which is the final regulation for that particular
2 drug class. At this point in time, FDA is developing the
3 final monograph for ipecac syrup, and so today's
4 discussions will be considered in developing that
5 rulemaking.

6 Now we'll move to some regulatory history
7 beginning with FDA's 1965 regulation. Ipecac syrup has
8 been available as an over-the-counter drug product in an
9 emergency treatment for use in poisonings under 21 C.F.R.
10 201.308 since October 27, 1965. Note that 1965 was before
11 the OTC drug review began. Although controversy existed
12 about whether ipecac syrup should be OTC, because it was
13 felt that it should be only used under medical supervision,
14 it was also recognized that the immediate availability of
15 ipecac syrup for use in poisoning emergencies necessitated
16 quick and easy availability for consumers. So the FDA
17 obtained the views of medical authorities, and it was the
18 unanimous recommendation of the American Academy of
19 Pediatrics, the American Association of Poison Control
20 Centers, the American Medical Association, and the Medical
21 Advisory Board of the Food and Drug Administration that
22 ipecac syrup be available for sale without a prescription
23 in 1 fluid ounce containers. And so the Commissioner of
24 Food and Drug determined that it was in the public interest
25 to put ipecac over the counter.

1 The recommendations made in 1965 are shown in
2 this slide. The ruling said that the label must have in a
3 conspicuous manner boxed and in red letters the following:

4 Before using, call physician, the poison control center,
5 or hospital emergency room immediately for advice. It also
6 recommended that the usual dosage be 15 mls in persons over
7 1 year of age, that it not be used in unconscious persons,
8 and that it not be administered after certain kinds of
9 poisons, particularly strychnine, corrosives, and petroleum
10 distillates.

11 Following the 1965 regulation, as part of the
12 OTC drug review, the Advisory Review Panel on OTC Laxative,
13 Antidiarrheal, Emetic, and Antiemetic Products, which is
14 the LAEA Panel, reviewed ipecac syrup, and in its report
15 published in the Federal Register in 1975, classified it as
16 a category 1 safe and effective emetic to induce vomiting
17 in case of poisoning.

18 The panel added to the 1965 rulemaking a dosage
19 for infants under 1 year and some further warnings and
20 directions, and put a package limitation size of more than
21 30 milliliters on the product.

22 But then in 1978, FDA published a tentative
23 final monograph with the tentative conclusions on comments
24 submitted in response to the 1975 panel's report. The
25 recommendations from this rulemaking, which differed from

1 the 1965 rulemaking, are shown on this slide. For
2 instance, the dosages were expanded to include one for
3 infants under 1 year and one for infants over 1 year,
4 children, and adults. The rulemaking also included the
5 kinds of liquids and the amount of liquids that should
6 follow the ingestion of ipecac and also what liquids not to
7 drink after ipecac, particularly milk or carbonated
8 beverages. Also the directions included to administer a
9 second dose after 20 minutes if vomiting hadn't occurred
10 and not to administer in semiconscious or unconscious
11 persons. The directions also included a drug interaction
12 precaution, not to administer activated charcoal before
13 successful vomiting had been produced by ipecac syrup.

14 And the warning about not using after
15 contraindicated poisons were ingested remained the same as
16 the 1965 rulemaking, as well as the labeling of the
17 principal display panel with the instructions to definitely
18 call a health professional for advice before using.

19 In 1982, the FDA published the recommendations
20 of another advisory review panel, the OTC Miscellaneous
21 Internal Drugs Panel, or the MI Panel. It is not usual
22 procedure to have another advisory panel review an
23 ingredient, particularly after a tentative final monograph
24 has already been issued, but in this case a kit containing
25 ipecac syrup needed to be reviewed and was given to the MI

1 Panel for review. The Miscellaneous Internal Drugs Panel
2 was given this assignment. The MI Panel concurred with the
3 Laxative Panel about ipecac syrup and proposed that
4 activated charcoal, as well as ipecac syrup, be classified
5 as safe and effective to treat acute toxic ingestion.

6 And this brings us to the 1985 tentative final
7 monograph. Because of the overlap between the emetic
8 tentative final monograph and the Miscellaneous Internal
9 Drugs Panel report, the agency decided to combine the two
10 rulemakings and to publish a single TFM. So in 1985, FDA
11 published the tentative final monograph, Poison Treatment
12 Drug Products for Over-the-Counter Use, containing FDA's
13 tentative conclusions and proposed labeling on both ipecac
14 syrup and activated charcoal as poison treatment drug
15 products.

16 And here are some highlights from the 1985 TFM.

17 FDA was concerned that the label be brief enough to read
18 and understood in emergency situations, yet contain
19 adequate warnings and directions for the consumer in case
20 professional emergency help could not be reached quickly.
21 Therefore, FDA proposed to devise the label into two
22 distinct sections.

23 First, as shown in this slide, the FDA proposed
24 that the principal display panel contain the following
25 directions in red letters, boxed in a conspicuous place to

1 read: If possible call a poison control center, emergency
2 medical facility, or health professional for help before
3 using the product. Also, if help couldn't be reached
4 quickly, follow the directions. Of note here, is that the
5 agency recommended calling for professional help first,
6 particularly if ipecac is contraindicated for certain
7 poisonings and for use in certain situations.

8 However, the agency proposed that for times
9 when professional help cannot be contacted, the consumer
10 should go ahead and use the drug according to the
11 directions and not delay treatment.

12 The TFM also stated that labeling should
13 provide space for consumers to write down emergency
14 telephone numbers.

15 The second part of the label contains the
16 warnings and directions, as shown in this slide. The
17 agency recommended that companies use a wraparound label to
18 provide more label space for larger print, but said that a
19 package insert would not be acceptable because it might
20 become separated from the product.

21 The proposed dosages, as shown in this slide,
22 were expanded to place adults and children 12 years and
23 older in one category and children 1 year and under 12
24 years in one category, children 6 months to under 1 year in
25 another category. And the drug is not recommended for

1 children under 6 months. You can see that the recommended
2 dosages have been expanded from the earlier proposals in
3 which there was only one dosage for children and one dosage
4 for adults.

5 The rulemaking also recommends the amounts of
6 liquid to be administered after each dose. The rest of the
7 directions state to drink water or clear liquids after
8 ingesting ipecac. Milk should not be given. To repeat the
9 dosage if vomiting doesn't occur within -- and this time it
10 was changed from 20 to 30 minutes -- and to keep patients
11 active and moving to maintain the consciousness of the
12 patient.

13 This slide contains a list of the suggested
14 warnings. Do not use in persons who are not fully
15 conscious replaces the old do not use in people who are
16 unconscious or semiconscious. Also, do not use if certain
17 contraindicated poisons have been ingested, such as
18 turpentine, corrosives, and petroleum distillates, and also
19 do not administer milk.

20 In 1985, the TFM also proposed directions for
21 use of poison treatment kits in which ipecac syrup is first
22 used to cause vomiting, and then after vomiting has
23 occurred, activated charcoal was given to help absorb any
24 remaining toxic substance. So a drug interaction
25 precaution was included to read: Do not give activated

1 charcoal until after the patient had vomited, unless
2 directed by a health professional. And this is because
3 simultaneous use of these products reduces their
4 effectiveness and may also pose a safety problem.

5 Now, this is a typical label for ipecac syrup.

6 This product was purchased recently at a local pharmacy
7 and I reproduced the label for this slide. The label does
8 not have to be in drug best format until May 16, 2005. So
9 you notice that it isn't in drug best format. Even though
10 manufacturers do not have to comply with panel
11 recommendations before completion of the rulemaking, this
12 manufacturer has labeled their product according to the
13 1985 TFM with all the instructions, directions, and
14 warnings that were shown in the prior slides.

15 Well, what happened after the TFM was
16 published? FDA received comments from poison control
17 centers, hospitals, medical centers, medical schools, trade
18 associations, manufacturers, law firms, and individuals.
19 The FDA received a number of comments that supported OTC
20 availability of ipecac syrup for treating accidental
21 poisonings and stated that OTC availability of ipecac is in
22 the public interest medically and financially.

23 However, there were some concerns about the
24 safety aspects of using ipecac syrup that were included in
25 some of those comments and are shown on this slide. FDA

1 received comments expressing concern that consumers know
2 exactly what to do in what order so the poisoning is
3 properly managed, things such as exactly how to use the
4 poison treatment drug, in what order, how many times to
5 repeat them, what are the maximum dosages, when to give
6 charcoal after ipecac, and so on so that consumers act in a
7 responsible manner.

8 Several comments expressed concerns about the
9 use of ipecac in babies between ages 6 months and 1 year.
10 The primary concern was the aspiration and dehydration that
11 can be caused after the vomiting. Similar concerns were
12 also expressed about the elderly.

13 And finally, FDA also received comments that
14 called for strong warnings against misuse and abuse, and
15 this related to prolonged or repeated use in eating
16 disorders.

17 Currently the labeling for ipecac syrup clearly
18 states that it is for the treatment of poisoning and OTC
19 marketing is limited to 1 ounce containers. But although
20 the labeling clearly states the purpose of the product,
21 there is a concern about misuse by individuals who are
22 seeking a way to control their weight to stay thin.

23 In addition to the issues raised in the
24 comments to the 1985 TFM, the medical literature and some
25 poison control and clinical toxicology societies have

1 indicated that the safety and efficacy of ipecac syrup for
2 the use as an over-the-counter emetic in the management of
3 poisoning should be reevaluated. There have been clinical
4 studies since the 1985 TFM which have raised questions
5 about whether ipecac is of any benefit as a poison
6 treatment drug.

7 And here are some examples of some
8 organizations with differing recommendations on the use of
9 ipecac syrup. The American Academy of Clinical Toxicology
10 and the European Association of Poison Centers & Clinical
11 Toxicologists issued a position statement in 1997 after
12 reviewing the scientific literature and stated that the
13 data are lacking to demonstrate that ipecac improves the
14 outcome of poison patients. This position has been
15 endorsed by the American Board of Applied Toxicology and
16 the Canadian Association of Poison Control Centers.

17 However, the American College of Emergency
18 Physicians and the American Medical Association, among
19 others, still recommend keeping a 1 ounce bottle on hand in
20 the event of an accidental poisoning.

21 So in conclusion, FDA is in the process of
22 completing the final monograph for poison treatment
23 ingredients. Ipecac syrup and activated charcoal are the
24 only two ingredients classified as category 1 for poison
25 treatment and both are regulated by the monograph process.

1 One of the important questions for us today is whether, in
2 light of recent data and information, ipecac syrup should
3 remain OTC.

4 Thank you.

5 DR. CANTILENA: Thank you, Ms. Solbeck.

6 We'll now go into the presentations, and Dr.
7 Rosebraugh will introduce the speakers. Our plan will be
8 to have ample time for you to question each speaker at the
9 completion of their talk. Dr. Rosebraugh?

10 DR. ROSEBRAUGH: We're going to have a little
11 schedule change. The first speaker will be Dr. Anthony
12 Manoguerra. Dr. Manoguerra is Professor of Clinical
13 Pharmacy and Associate Dean for Student Affairs at the UCSD
14 School of Pharmacy and Pharmaceutical Sciences and Director
15 of the San Diego Division of the California Poison Control
16 Center at UCSD Medical Center.

17 He received his Pharm.D. degree from the
18 University of California, San Francisco in 1971 and has
19 been actively involved in poison research since that time.

20 He's a diplomat of the American Board of Applied
21 Toxicology and past President of the American Association
22 of Poison Control Centers.

23 He is widely published on poison therapy and is
24 the lead author on a new guideline due for release soon
25 regarding the use of ipecac syrup in out-of-hospital

1 management of ingested poison. The development of this
2 guideline is a joint project of the American Association of
3 Poison Control Centers in collaboration with the American
4 Academy of Clinical Toxicology and the American College of
5 Medical Toxicology.

6 Dr. Manoguerra?

7 DR. MANOQUERRA: It's a real honor to be
8 invited to speak with you today.

9 As Dr. Rosebraugh mentioned, I came to the
10 attention of the FDA as a result of co-authoring a
11 guideline that is currently in its final draft phase, and I
12 wanted to initially talk about that guideline's project.

13 It's a joint project of the American
14 Association of Poison Control Centers, the American Academy
15 of Clinical Toxicology, and the American College of Medical
16 Toxicology. And it's funded by a project grant from the
17 Maternal and Child Bureau of the Health Resources and
18 Services Administration of the Department of Health and
19 Human Services.

20 These are the members of the panel at the
21 present time. It was put together to be representative of
22 the interdisciplinary nature of toxicology, as well as the
23 representatives from across the country.

24 The panel's charge is to review literature
25 evidence, to develop a draft guideline. That guideline is

1 then circulated for secondary review, and I believe that is
2 how the FDA became aware of the ipecac guideline, through
3 the secondary review process. The committee then
4 incorporates the review comments from that secondary
5 review, then develops a final guideline representing
6 consensus of the panel for approval by the boards of the
7 sponsoring organizations.

8 The purpose of the guideline is to produce
9 consistency in patient management between poison control
10 centers across the country, and the project is to be based
11 on the best interpretation of the available literature.
12 And public policy decisions are to be left to the
13 sponsoring organizations.

14 Now, I make a point of that because in the
15 draft guideline on ipecac, the consensus panel made a
16 recommendation that OTC status of ipecac be reviewed by the
17 FDA. On secondary review and on further discussion, the
18 consensus panel felt that they probably overstepped their
19 charge in making that recommendation and that policy
20 decisions should be left to the sponsoring organizations.
21 So the final draft of the document will not contain that
22 statement.

23 We have completed one guideline, and the ipecac
24 one is the second guideline that we're currently working
25 on, and we're working on three additional ones. The goal

1 is to have about a dozen guidelines completed by the end of
2 this next year.

3 As I said, the ipecac guideline is not yet
4 complete. The final draft is currently being written for
5 approval by the panel, and I want to point out that my
6 comments today are based on the review of the literature,
7 the initial drafts of the guideline, the panel discussions
8 that were held, and my personal experience over the past 30
9 years. And I want to point out that my statements do not
10 represent the official policy of any of the sponsoring
11 organizations at this time. I'm hopeful that the
12 sponsoring organizations will accept the consensus panel's
13 recommendations, but that hasn't occurred yet.

14 Pediatric exposures reported to poison control
15 centers over the last 16 years have increased
16 substantially. This is from the American Association of
17 Poison Control Centers national toxic exposure surveillance
18 system, and you can see that from 1986 -- I didn't go back
19 all the way to 1983, but you can extrapolate those numbers
20 back even further from when the system began. In 1986,
21 there were about 700,000 cases reported to poison control
22 centers, and that has now, in the last few years, grown to
23 approximately 1.5 million cases a year.

24 If you contrast that with the use of ipecac by
25 U.S. poison control centers over that same time period, you

1 can see that the use of ipecac has declined substantially.
2 In 1986, there were about 150,000 uses of ipecac by U.S.
3 poison centers, and in the latest year for which we have
4 data, it was about 16,000 uses of ipecac by poison centers.

5 I need to point out that these are cases in
6 which ipecac was used. I've not seen the data yet,
7 although I've requested it and I'm told that it was e-
8 mailed to me yesterday, as to whether the poison center
9 recommended the use of ipecac in these cases or if health
10 professionals or individuals used ipecac without the poison
11 center's recommendation. So I hope to have that data very
12 soon.

13 One of the questions that I was asked to
14 address is what is the role of gastrointestinal
15 decontamination in poison management, and I have to admit
16 that this is one of the most controversial topics in
17 clinical toxicology over the past 10 to 15 years. I began
18 my work in poison centers in 1974, and at that time, I can
19 tell you that this was not a controversial topic. It was
20 generally agreed that any procedure that we did to remove
21 stomach contents was going to benefit the patient. And it
22 wasn't until about the last 15 years that this attitude was
23 questioned, and as the work has been done, I think my
24 attitude has changed and I think the attitude of many in
25 the poison center world and the clinical toxicology world

1 has changed as well. I have to point out that there is not
2 complete agreement but that there is general consensus that
3 has been developing in recent years.

4 In general, emesis and lavage are now rarely
5 being used. Gastric lavage is rarely being used in
6 emergency room situations and the use of emesis, as I've
7 shown, has declined substantially. More activated charcoal
8 is being used in the hospital situation. The use of
9 activated charcoal in the home situation has not been very
10 successful. The use of cathartic agents, which was also
11 something else that we recommended at that time, has just
12 about totally been abandoned. These trends are supported
13 by the bulk of the literature evidence that's available,
14 although highly rated evidence is lacking on all of these
15 areas of discussion.

16 Numerous studies have demonstrated that
17 activated charcoal appears to be superior to ipecac-induced
18 emesis or gastric lavage in reducing the absorption of
19 ingested materials in experimental situations. However,
20 there is no convincing evidence in my opinion and I believe
21 in the opinion of many others that emesis, gastric lavage,
22 or activated charcoal positively affect patient outcome.
23 I'll review some of that data for you in just a minute.

24 The problem that we're faced with, though, when
25 we review this literature, if we apply the standard rating

1 systems that are being used for evidence-based medicine,
2 such as the Oxford Rating System, for example, none of this
3 work has very high evidence ratings. And some of that has
4 to do with just the design of the studies that are used in
5 this work is classically thought not to be the highest
6 level of evidence. It's very difficult to do a double-
7 blind, controlled study in this area. So the studies don't
8 come out with very high evidence ratings.

9 Most of the studies are animal studies,
10 retrospective case series, or volunteer studies that use
11 low doses of marker materials, and then measure the amount
12 of material that's either been removed or the amount of
13 material that's been absorbed after the induction of emesis
14 or gastric lavage or the use of activated charcoal.

15 I'd like to summarize, though, the information
16 that is available on the effectiveness of ipecac syrup.
17 Ipecac does make approximately 85 percent of people given
18 the drug vomit after the first dose, and of those given two
19 doses, the number increases to 95 percent. So it was
20 stated earlier that the standard recommendation has been to
21 give a dose of ipecac along with 4 to 6 ounces of water,
22 and if the patient doesn't vomit in 20 to 30 minutes, then
23 the dose of ipecac should be repeated. These are the
24 numbers that result from following that recommendation.

25 The onset of emesis is typically within 20 to

1 30 minutes of that first dose administration. If a patient
2 requires a second dose, it's typically been within 5 to 10
3 minutes of the administration of that second dose.

4 The amount of material removed by ipecac has
5 huge inter-subject variability. If given within 5 minutes
6 of ingestion, which is how most of the volunteer studies
7 have been conducted, either ipecac has been administered
8 simultaneously with a marker agent or within 5 minutes of
9 the administration of the marker agent. If given within 5
10 minutes, it removes somewhere between 0 and 80 percent of
11 the administered material, with a mean of about 25 to 30
12 percent. So a huge inter-subject variability.

13 There is a rapid reduction in removal of
14 materials with ipecac with time such that in the studies
15 that if the ipecac is not administered within 30 minutes of
16 the marker material, it's no better than the control
17 subjects.

18 There are seven papers that have been published
19 that examine the impact of emesis, gastric lavage, and
20 activated charcoal on the outcome of poisoned patients.
21 Most of these authors concluded that there was no
22 difference between the treatments and that activated
23 charcoal was the most effective -- excuse me. They either
24 reported that there was no difference between the
25 treatments or that activated charcoal was more effective

1 than either emesis or gastric lavage. If you examine each
2 of those studies closely, just about all of them had
3 significant methodological flaws that make interpretation
4 and applicability of the results difficult.

5 My conclusion is that there's no conclusive
6 evidence that ipecac or any of the other decontamination
7 procedures, gastric lavage, or activated charcoal,
8 positively affect patient outcome.

9 So that leaves us with two camps that look at
10 this data. There's the glass is a quarter full camp, which
11 says, if I give ipecac, I can get 25 to 30 percent of
12 whatever my patient has ingested out, and that's really
13 good. And then there's the glass is three-quarter empty
14 camp which says, if I give someone syrup of ipecac, I can
15 only get out 25 or 30 percent of ingested substance at
16 best. And you will find people in the poison center world
17 that are in both of these camps.

18 You'll probably get the impression, after
19 hearing my presentation, that I'm in the three-quarter
20 empty glass camp. After having been for many, many years
21 in that first camp, I have done a complete turnabout in my
22 position on ipecac, as my experience has grown over the
23 last 30 years.

24 What are the risks of ipecac syrup use? It's
25 another issue that this committee must address.

1 Considering the thousands of doses of ipecac that have been
2 administered over the past 30 or 40 years -- I talked about
3 in 1986 there were 150,000 doses administered by U.S.
4 poison centers. We don't know how many total doses were
5 used that poison centers didn't hear about. So if you look
6 at the large numbers of doses that have been administered
7 and the occurrence of adverse events that have been
8 reported, we can say that ipecac is safe when used
9 therapeutically. The numerator of adverse events is low.
10 The denominator of use is very, very high. So I think we
11 can conclude that it is a safe agent.

12 Some of the adverse events that have been
13 reported, however, include -- and what I've done is I've
14 summarized these percentages from a number of different
15 studies that have looked at adverse events. Sedation and
16 drowsiness occurs in about 12 to 25 percent of patients
17 given ipecac. Diarrhea occurs in about 17 to 30 percent of
18 patients given the drug. Prolonged and repeated emesis,
19 defined as vomiting beyond 1 hour after administration,
20 occurs in about 10 to 18 percent of people given the drug.

21 Some less common adverse events that have been
22 reported in the literature, and these are primarily case
23 reports. Aspiration pneumonitis from aspiration of stomach
24 contents following vomiting. There are Mallory-Weiss tears
25 and esophageal and gastric perforations that have been

1 reported. Pneumomediastinum, gastric rupture,
2 diaphragmatic rupture, a case of intracranial hemorrhage in
3 an elderly patient given the drug, and there are a few
4 cases of allergic reactions manifested as rash and
5 urticaria following the administration of ipecac. As I
6 want to emphasize, these are case reports and these adverse
7 reactions are extremely rare.

8 As far as dose-related acute toxicity from
9 ipecac, it has not been reported following the single use
10 of ipecac syrup or even multiple use -- short-term use of
11 ipecac syrup.

12 Acute toxicity with ipecac has only been
13 reported following the ingestion of the fluid extract of
14 ipecac, which is no longer available. The best I could
15 determine is that production of this agent ceased in 1970,
16 and its removal from the market occurred following a number
17 of deaths that were reported with the use of this agent.
18 It was intended to be diluted by pharmacists into the syrup
19 form before it was administered, and the cases of acute
20 toxicity occurred when the fluid extract was given instead
21 of the syrup form.

22 Chronic dose-related toxicity. I understand
23 we're going to have a presentation on abuse of ipecac later
24 on. Emetine is one of the alkaloids in ipecac. The two
25 major ones are emetine and cephaeline. There are at least

1 a half a dozen other alkaloids that have been identified in
2 the preparation as well. Emetine has well-documented,
3 chronic, dose-related effects on both skeletal and cardiac
4 muscle leading to myopathy. The pattern of myopathy seen
5 with chronic ipecac syrup administration is similar to that
6 seen when emetine is used therapeutically, and the
7 assumptions have been made, therefore, that the toxicity
8 that you see following chronic ipecac use or abuse is
9 related to the emetine content. But there are other
10 alkaloids such as cephaeline, psychotrine, emetamine, and
11 others whose contribution to the toxicity is not really
12 known.

13 Now, we do know that these alkaloids in ipecac
14 do get absorbed, and I'll just quote one study here for you
15 that looked at this in 1984 where they measured the
16 absorption of emetine and cephaeline in 10 adult patients
17 given a 30 milliliter dose of ipecac syrup, and they
18 measured the alkaloids in the emesis that was recovered,
19 and they measured alkaloid levels in the plasma of the
20 volunteers. The recovery of the alkaloids in the emesis
21 averaged 45 plus or minus 33 percent, huge variability in
22 the amount that was removed in the emesis. And alkaloid
23 levels were measured in the plasma of all of the subjects
24 in varying amounts. There was also a huge variability.
25 That correlated with the amount that was recovered. Those

1 patients that vomited up the majority of the alkaloids had
2 the lowest absorbed levels, and vice versa. The conclusion
3 of this study was that all patients given ipecac will
4 absorb the alkaloids, but that the extent of absorption is
5 highly variable.

6 Emetine is excreted totally by the kidney, and
7 unchanged emetine can be detected in the urine 40 to 60
8 days following the administration of a single dose of
9 ipecac. There have been several papers published, one very
10 dramatic one in a child who was accidentally administered a
11 larger than normal dose of ipecac, several doses acutely,
12 and emetine levels were detected in that child's urine 62
13 days after administration of that single acute use of
14 ipecac.

15 Ipecac has also been used in a condition called
16 Munchausen syndrome by proxy where a child has been used as
17 the mechanism for unusual and use of medical care by an
18 adult. There are nine published papers describing 13 cases
19 where ipecac was used in this fashion by caregivers. 6 of
20 the patients did not develop myopathy and had resolution of
21 their gastrointestinal symptoms which was the primary
22 reason why they were taken in for health care. However, 2
23 patients developed skeletal muscle myopathy and recovered.
24 5 developed skeletal and cardiac myopathy, and 3 recovered
25 and 2 of the children died.

1 As far as ipecac syrup abuse is concerned,
2 there are 17 papers in the United States literature that
3 report 20 cases of patients with eating disorders who
4 developed cardiac and skeletal muscle myopathy following
5 use of ipecac syrup, and I need to emphasize that this was
6 not single use of ipecac syrup or even short-term use of
7 ipecac syrup. This was multiple administrations daily for
8 periods of months. There were 4 deaths in the literature
9 from ipecac syrup abuse in this fashion.

10 But I need to point out that there are other
11 deaths that have been reported in the news media that are
12 not in the medical literature. For example, one of the
13 most famous ones was Karen Carpenter, the singer back in
14 the 1980s, who died from ipecac abuse. Her case is not
15 included in the cases that are reported in the medical
16 literature, so that are a number of cases that have been
17 reported in the lay press that never made it into the
18 medical literature.

19 There are two papers that attempted to quantify
20 the extent of ipecac abuse in patients with eating
21 disorders. One is a paper that looked at 851 patients
22 attending an eating disorders clinic. On questioning of
23 those patients, 7.8 percent had used ipecac at least once,
24 4.7 intermittently, and 3.1 percent on a chronic basis.

25 In another study, 622 patients in an eating

1 disorder clinic reported that .09 percent of patients
2 between the age of 9 and 19 years of age reported the use
3 of ipecac, and 3.8 percent of women between the ages of 20
4 to 46 years of age had used ipecac.

5 Another thing that has been questioned in the
6 literature is when ipecac is readily available, is it ever
7 used inappropriately. I was only able to find one paper
8 that looked at the appropriateness of use of ipecac by
9 physicians, and the author concluded that the use of ipecac
10 was inappropriate in 20 percent of the cases where
11 physicians initiated the use of ipecac prior to the contact
12 with the poison center. Their conclusion was that these
13 uses were inappropriate because the drug was used in
14 situations where the drug was contraindicated, and if you
15 go further into the paper, most of those contraindications
16 were the use of ipecac in patients who had ingested drugs
17 where the loss of consciousness could be anticipated during
18 the time period when the patient would be vomiting from the
19 ipecac.

20 I was not able to find any papers that did a
21 systematic examination of the appropriateness of the use of
22 ipecac by the general public. As far as I know, that work
23 has not been done. However, there are a few case reports
24 of children who had ingested corrosive agents where the
25 caregiver administered ipecac to those children.

1 I think before you can talk about when ipecac
2 might be used, I think you need to talk about when it
3 should not be used. So I'd like to spend just a few
4 minutes discussing that as well.

5 When is ipecac syrup contraindicated? And this
6 goes actually beyond the contraindications that are on the
7 label of ipecac, and I think these are generally accepted
8 contraindications in the poison center world. It should
9 not be given when patients are comatose, when they're
10 lethargic, when they're having convulsions, or when they're
11 unable to protect their airway and aspiration of stomach
12 contents may occur as a result of their inability to
13 protect their airway.

14 It should not be used when the substance
15 ingested is a corrosive agent.

16 It should not be used when the substance
17 ingested is a petroleum distillate of low viscosity with a
18 high aspiration risk. Now, there is some controversy in
19 this area. There are some people who feel that the use of
20 ipecac in petroleum distillates is acceptable. I happen to
21 be one of those people who feels that it is not an
22 acceptable risk considering the fact that absorption of
23 petroleum distillates from the gastrointestinal tract is
24 not a significant route of toxicity. The toxicity occurs
25 primarily by aspiration, and therefore why risk an

1 additional aspiration by inducing vomiting in these
2 particular situations?

3 It is also contraindicated when the substance
4 is likely to cause a loss of consciousness or coma, or
5 convulsions are likely to occur while vomiting is taking
6 place. So vomiting typically begins about 20 to 30 minutes
7 after the administration of ipecac, and typically occurs
8 three or four times over the next 30 to 60 minutes after
9 vomiting ensues. And if the substance is likely to cause
10 the loss of consciousness or the onset of convulsions in
11 that time period, then the risk of aspiration of emesis is
12 significant. Some of those materials are some of the
13 tricyclic antidepressants, isoniazid, some of the older
14 antihistamines. There's a large number of materials that
15 are ingested that fit into this category.

16 And lastly, when emesis may interfere with the
17 administration of an oral antidotal therapy, and the
18 example that's commonly used is the administration of
19 N-acetylcysteine in acetaminophen ingestions. If the
20 patient is vomiting from the administration of ipecac, it
21 obviously would be more difficult to get the patient to
22 take oral N-acetylcysteine.

23 So when might ipecac be used? We spent quite a
24 bit of time in the consensus panel discussions talking
25 about when might there be situations when ipecac would be

1 considered. First of all, it would be used when it's not
2 contraindicated. I think that is obvious. It can be used
3 when it could be administered soon after ingestion and no
4 later than 30 minutes after ingestion based on the evidence
5 that's in the literature. When removal of 25 to 30 percent
6 of an ingested dose may have a significant influence on
7 patient outcome because, in general, 25 to 30 percent is
8 what typically is seen as far as removal. And it also
9 might be used when there is a long delay in the anticipated
10 arrival of the patient at a health care facility, for
11 example, greater than an hour.

12 Now, when the consensus actually sat down and
13 tried to come up with examples that fit this scenario, we
14 couldn't come up with very many examples that fit this.
15 The only one that we were able to come up with was an
16 acetaminophen ingestion in a very remote environment, very
17 rural environment with poor emergency medical services
18 support where it would take a significant amount of time
19 for an EMS provider to get to the victim and a significant
20 amount of time for that victim to get to a health care
21 facility. Then we said, how often do you see severe
22 acetaminophen ingestions in children? And the answer to
23 that is we don't. So the net result is that the situations
24 where ipecac actually may be used are little to none in our
25 opinion.

1 What have we specifically done in San Diego? I
2 arrived there in 1977. Prior to that, I was director of
3 the poison center in Minneapolis, and there we used ipecac
4 extensively. We administered it, probably on average, 10
5 to 15 times a day. And we did that as well in San Diego
6 when I arrived there. As I said, I was a strong advocate
7 of the use of ipecac.

8 From 1977 through 1990, we had protocols that
9 specifically told the staff when they should use ipecac.
10 For example, we had one that said if a child ingested less
11 than 150 milligrams per kilogram of acetaminophen, we could
12 observe that child at home without any intervention. If
13 they took between 150 and 200 milligrams per kilogram of
14 acetaminophen, we would induce vomiting with ipecac, and we
15 would observe the child at home. And if the child ingested
16 more than 200 milligrams per kilogram, we would send the
17 child to an emergency department. This was standard
18 procedure in poison centers across the country to have
19 protocols that resembled these.

20 In 1990, we decided to completely eliminate the
21 use of ipecac. Since 1990, the poison center has not
22 recommended the use of ipecac to any caller into the poison
23 center. What we did with those children that we were
24 giving ipecac to, we put those into our "observe at home"
25 category. What we have found is that we have had no change

1 in the number of children that we had to send to the
2 emergency room as a result of eliminating the use of
3 ipecac. It's our feeling that what we ended up doing to
4 those children is that we were taking children who were
5 probably going to be asymptomatic or have very mild
6 symptoms and we were making them symptomatic by
7 administering syrup of ipecac to them and that we were
8 providing no benefit in their ultimate outcome because
9 those children were going to do fine anyway. As a result
10 of that, we have been strong advocates for eliminating the
11 use of ipecac by other poison centers as well.

12 I was also asked to address what are the
13 alternatives to the use of ipecac. One of the alternatives
14 is the use of activated charcoal in the home. There have
15 been a number of studies that attempted to look at how
16 useful the administration of activated charcoal would be in
17 the home situation, and in each of those situations, it was
18 discovered that administering charcoal to children by
19 caregivers is an extremely difficult thing to do. It's not
20 a very appetizing substance. It's very difficult to get
21 children to accept it even when a trusting parent
22 administers it, let alone a caregiver that the child
23 doesn't know very well. If you add on top of that the data
24 shows the proof of long-term benefit to the outcome of the
25 ingestion is lacking, we have not been strong advocates of

1 home use of activated charcoal.

2 You can restrict ipecac to prescription, and
3 that's one of the issues before the committee today. That
4 will decrease the availability of the material to public
5 for abuse and misuse, but it also reduces the availability
6 for use within that 30-minute time window where it may have
7 some effectiveness.

8 It will allow physicians to prescribe it for
9 specific patient situations. So if there is a physician
10 who has a patient in a rural environment where that
11 physician feels strongly that that patient should have
12 ipecac, the availability on a prescription basis at least
13 allows the physician the option of making it available to
14 that patient. I'm not a strong advocate of that, but I
15 think that's an alternative.

16 And it will also allow emergency medical
17 services providers to have it available in situations where
18 they think it may be useful. Again, I'm not a strong
19 advocate of that as well.

20 So what do I feel are the ultimate questions
21 that need to be addressed? The first one is, does the
22 benefit that accrues to poisoned patients through the use
23 of ipecac syrup outweigh the potential adverse events that
24 may infrequently occur? And does the benefit that accrues
25 to poisoned patients from the over-the-counter availability

1 of ipecac syrup outweigh the potential adverse events that
2 result from the improper use of the drug and the abuse of
3 the drug by patients with eating disorders?

4 You will get different opinions from different
5 people, some of which will follow me in my discussions here
6 this morning. I need to close my presentation by saying
7 that my answer to both of these questions is no. I don't
8 believe that there's enough benefit that accrues in either
9 of these situations to continue the over-the-counter
10 availability of ipecac.

11 That concludes my presentation.

12 DR. CANTILENA: Thank you, Dr. Manoguerra.

13 I would like to actually open this up to
14 questions from the committee, if you'll stay there please.

15 DR. MANOQUERRA: Sure.

16 DR. CANTILENA: Perhaps I can just start with a
17 couple of clarifying questions.

18 The studies that you talk about with
19 comparative efficacy between ipecac and charcoal are all
20 done in the setting of an emergency department in general.

21 Is that true?

22 DR. MANOQUERRA: The ones that looked at the --

23 DR. CANTILENA: The seven studies.

24 DR. MANOQUERRA: -- at the outcome, yes,
25 they're in emergency departments. That's correct.

1 DR. CANTILENA: Then charcoal I think
2 generally, if I heard you correctly, is not really a viable
3 option for the home use, especially in the toddler.

4 DR. MANOQUERRA: We have not been able to
5 successfully administer it to a child in the home.
6 Probably the best study that looked at that was one that
7 was done in Massachusetts where they actually sent people
8 out to the home with charcoal, had them give it to the
9 parent, and then observe the administration of it to
10 children, and they were unsuccessful in doing that as well.

11 DR. CANTILENA: Then just about the protocol
12 that you used in San Diego where you studied that. I can
13 imagine like an IRB you would say, well, what are the risks
14 and what is your safety net. But your safety net, I guess,
15 in that study as the investigator was that you would be
16 observing them and if they got into trouble, they would be
17 able to come into the emergency room.

18 DR. MANOQUERRA: That's correct.

19 DR. CANTILENA: So really in terms of the
20 safety net side of the protocol, if you're out in rural
21 America where you're an hour-plus away, then that probably
22 wouldn't have flown from a protocol standpoint.

23 DR. MANOQUERRA: The only thing that we changed
24 was that we eliminated the use of ipecac, and we took that
25 group and put it into our "observe only" category. All of

1 our follow-up procedures were the same. We do routine
2 follow-up procedures for all patients that we leave at
3 home.

4 I have to tell you that we have very rural
5 areas in our service area. If you get out of metropolitan
6 San Diego County, there are mountains and desert all the
7 way to the Arizona border, and our service area includes
8 all of southern California except Los Angeles. So there
9 are areas where there are 2- to 3-hour drives to the
10 closest medical facility, and we found that even in those
11 situations, eliminating the use of ipecac did not adversely
12 affect the patient.

13 DR. CANTILENA: Thank you for those
14 clarification points.

15 Questions from the committee. Dr. Uden?

16 DR. UDEN: Also the safety net for that study
17 is that children who ingest acetaminophen between 150 and
18 200 milligrams per kilo sulfate the drug versus
19 glucuronidate the drug, and there's very little risk
20 anyway. So why would you give ipecac when there's very
21 little risk of anything happening? I think that was your
22 biggest safety net by making that decision.

23 DR. MANOQUERRA: It wasn't just for
24 acetaminophen. I used that as my example, but we had
25 protocols for cough and cold preparations. We have

1 protocols for any ingestion that a child would get into
2 where we had cutoffs for observation and cutoffs for
3 ipecac. The acetaminophen was an example. It's actually a
4 bad example because we can probably leave all the kids at
5 home and nothing bad is going to happen to them. We have
6 learned that over the years.

7 DR. UDEN: I have a couple other follow-up
8 questions. On your graphs where you looked at the
9 pediatric exposures reported to U.S. poison centers, over
10 the years that are in that graph, are the substances that
11 pediatric patients are exposed to relatively the same and
12 so the substances they're exposed to have not changed over
13 those 14-15 years?

14 DR. MANOQUERRA: We didn't do a breakdown of
15 that. My experience has been that the substances, if
16 anything, have gotten safer over the last 15 or 20 years
17 and not more toxic.

18 DR. UDEN: And then my final question is about
19 the seven outcome studies. Did they really look at the
20 substances which were ingested in those studies? My
21 question is, were the substances in general that were taken
22 were taken in too low amounts and were really not toxic?
23 Therefore, the outcomes would not be any different than
24 doing nothing.

25 DR. MANOQUERRA: First of all, they were all

1 adult studies. They were not pediatric studies. I think
2 that's an important thing to point out. No. Excuse me.
3 There may have been one pediatric study.

4 I talked about the methodological flaws. One
5 of the problems with some of the studies that have been
6 pointed out as the best examples actually are that the
7 patients who were the least sick patients were in either
8 the emesis group or the activated charcoal group, and the
9 sicker patients were in the gastric lavage group. And so
10 they really weren't comparable groups that were looked at.

11 That's why my conclusion is that there's really no
12 evidence that any of them provide positive benefit because
13 you really can't tell the difference between the different
14 groups. They're not comparable groups.

15 DR. UDEN: Thank you.

16 DR. CANTILENA: Dr. Tong, then Dr. Davidoff.

17 DR. TONG: Thank you.

18 Dr. Manoguerra, thank you for a very thorough
19 review of what the data shows. I'm interested in your
20 issue about the syrup of ipecac abuse. In the cases that
21 you looked at, were there details on how the syrup of
22 ipecac was obtained? Was it through the usual channels?
23 Was it stockpiled? Was it through the OTC distribution of
24 syrup of ipecac at pharmacies and health centers?

25 DR. MANOQUERRA: I don't recall in any of the

1 case reports there being any description of how the patient
2 obtained it.

3 DR. TONG: So these are large amounts of
4 ipecac. We're not talking about unit doses of 30 mls.

5 DR. MANOQUERRA: Well, the only form that's
6 available, as far as I know, is the 1 ounce bottle of
7 ipecac. So I would assume that the patients obtained it as
8 1 ounce bottles. But in all of those cases, they were
9 people who ingested it multiple times a day for months to
10 years.

11 DR. TONG: The gastric emptying is an intuitive
12 reaction of a parent when a child has ingested something.
13 Is there a concern that if syrup of ipecac were not
14 available with all the prerequisites that's placed on it
15 when it's given on the label, that inappropriate use of
16 other materials that are out there, salt water, peroxide,
17 foreign objects, will become more of a problem for us in
18 the poison control centers to deal with?

19 DR. MANOQUERRA: I really can't say. It has
20 not been a problem in San Diego for the last 12 years that
21 we've been not using ipecac. We haven't seen a single case
22 of salt water administration as an emetic in that time
23 period. We have had people trying to gag their kids with
24 their fingers during that time period, maybe 20 or 30 cases
25 in 12 years.

1 DR. TONG: So in your teaching of clinical
2 toxicology to your medical pharmacy and nursing students
3 about poisoning, gastric emptying in the home following
4 ingestion is no longer part of the discussion?

5 DR. MANOQUERRA: That's correct, and we don't
6 advocate emesis or lavage in the emergency department at
7 all. We still do advocate activated charcoal
8 administration in the hospital.

9 DR. TONG: One other comment. In Arizona, we
10 also have great distances in terms of patients and homes,
11 and when they call the poison center -- and we receive
12 70,000 calls a year for information and treatment referral
13 and assistance. We too also are experiencing a limited use
14 of syrup of ipecac, but we don't have people give ipecac
15 and then get in the car because during that trip, when the
16 child is vomiting, it could create a serious problem. So
17 we do find syrup of ipecac, limited use in an extended
18 distance from a health care facility, to actually keep them
19 in a home and do exactly what you're doing, managing at
20 home. So perhaps your panel might consider at least the
21 Arizona experience there. We do not put people in cars
22 after they've given children ipecac.

23 Good job, and thank you.

24 DR. MANOQUERRA: Actually we did have a case of
25 a mother who got into an automobile accident while she was

1 attempting to catch the emesis in a basin driving the car
2 with her child sitting next to her on the car seat.

3 DR. TONG: And given what you said, the child
4 would have been better off at home.

5 DR. MANOQUERRA: Right. The child now had an
6 ingestion and an accident, rather than just an ingestion.

7 DR. TONG: Because we do have homes in Arizona
8 without cars. So that is a limitation for us also.

9 Thank you.

10 DR. CANTILENA: Dr. Davidoff.

11 DR. DAVIDOFF: Thanks.

12 Yes, I was also impressed that these controlled
13 studies were primarily done in emergency rooms, which makes
14 it really difficult to extrapolate to home use, as you
15 point out. But that raised the question in my mind as to
16 whether there was any evidence, which will obviously have
17 to be case-controlled sort of evidence, looking at the
18 patients who do present to emergency rooms or, if possible,
19 if they could be followed at home, with the cases being
20 those that had received ipecac and the controls obviously
21 being the ones that had not, to look at the outcomes in
22 that fashion, but the ipecac having been administered at
23 home rather than in the emergency room setting. Are there
24 any data of that sort? I would think that would be useful.

25 DR. MANOQUERRA: No, I don't believe there are

1 any studies like that in the literature.

2 DR. CANTILENA: Dr. Clapp, then Dr. Johnson.

3 DR. CLAPP: Several questions. For the 16,000
4 cases that were reported as having used ipecac, perhaps not
5 under the advisement of the poison control, but it came to
6 the awareness of the poison control, of the 1.5 million
7 that you said in the recent study, do you have any idea of
8 the nature of the ingestion of those patients?

9 DR. MANOQUERRA: I had requested that data and
10 I was informed that it was e-mailed to me last night. So I
11 don't have a breakdown of that information.

12 DR. CLAPP: I thought you might know the nature
13 of the ingestion. I thought you just didn't know who
14 advised it.

15 DR. MANOQUERRA: No.

16 DR. CLAPP: So the second question I have is,
17 of course, it's a dose-related phenomenon when you talk
18 about a 25 to 30 percent reduction in the toxic burden that
19 the patient who ingested the toxic substance has with
20 ipecac if they use the ipecac within 5 to 30 minutes,
21 presumably, of the ingestion. But you addressed
22 acetaminophen, and I think as a pediatrician, we all pretty
23 much accept that acetaminophen toxicity is not as worrisome
24 as we thought maybe 15 years ago. But how about
25 salicylates or iron, and would you find that to be

1 something that would be an indication for certainly home
2 use?

3 DR. MANOQUERRA: If you're in that one-fourth
4 glass full category, those are the arguments that people
5 use. If you get 25 to 30 percent of a potentially lethal
6 dose of a salicylate out of a child, then that may make it
7 a sublethal ingestion.

8 DR. CLAPP: Or iron as well.

9 DR. MANOQUERRA: Well, I'll talk about iron in
10 just a second. My feeling with salicylates is that I would
11 rather that parent spend the time getting that child to the
12 hospital where we could do more definitive treatment than
13 giving ipecac at home because I think that actually slows
14 the parent down from getting the child to the hospital. By
15 administering the ipecac at home, the child is vomiting.
16 That time period -- and then like Dr. Tong mentioned, you
17 have a vomiting child in a car on the way to the hospital,
18 I think it actually makes the whole scenario much more
19 difficult to deal with. I would just rather they put the
20 child in the car, go to the hospital where we could do more
21 definitive care.

22 I used to be a strong advocate -- when we
23 started to eliminate the use of ipecac, iron was the one
24 thing I advocated its use in until we had two deaths with
25 iron poisonings, both of which had been given ipecac and

1 both of which had significant amounts of iron remaining in
2 their stomach after being ipecaced, after being lavaged.
3 Both children went on to die. It convinced me that I would
4 rather have spent that time getting the child to the
5 hospital and doing more aggressive things such as whole
6 bowel irrigation, for example, which I will admit the
7 efficacy has not been proven as well, but I would rather do
8 more vigorous methods of trying to move that iron through
9 the GI tract and begin the treatment process than to
10 administer the ipecac at home.

11 DR. CLAPP: How about lavage in that
12 circumstance? You say it didn't help.

13 DR. MANOQUERRA: None of us use lavage tubes
14 large enough to remove iron tablets from a child's stomach.

15 DR. CLAPP: Thank you.

16 DR. CANTILENA: Dr. Johnson?

17 DR. JOHNSON: I'm just curious about what it
18 was in 1990 that caused you to basically change your
19 protocols that included ipecac and remove those.

20 DR. MANOQUERRA: The medical director and I sat
21 down and we said to ourselves it really looks like ipecac
22 does not seem to be providing us with any benefit. Why
23 don't we stop using it for a while and see what happens?
24 And so that's what we did. We stopped using it and we've
25 never reinstated it back in again. It was just a matter of

1 us sitting down and looking at some of the outcomes that we
2 had observed and making that decision.

3 DR. JOHNSON: And was that a fairly
4 controversial move at that point in time?

5 DR. MANOQUERRA: In 1990, I would say it was
6 very controversial. I think if we did it today, it would
7 not generate as much controversy.

8 The staff were very resistant to it because the
9 use of ipecac -- it's just intuitive. Someone ingests
10 something. You give them something to make them vomit it
11 back up again. It's got to be working. That was the
12 general feeling that everyone had. And the data was
13 starting to come out, some of those early studies were
14 starting to come out questioning the effectiveness. So we
15 just decided not to use it anymore at that point.

16 DR. CANTILENA: Yes, we have a follow-up from
17 Dr. Clapp and then from Dr. Tong.

18 DR. CLAPP: Have there been studies done about
19 accessibility? Because that's my greatest concern with the
20 ipecac perspective, is those who live in remote or rural
21 areas. You say you have it represented in your patient
22 population in San Diego. What's your level of confidence
23 that those who absolutely don't have emergency medical
24 services available within an hour's drive or have
25 absolutely no accessibility by car, who have clinics set up

1 on a revolving basis in rural places in the United States?

2 I'm very concerned about that population and their
3 accessibility to health care after having an ingestion.

4 DR. MANOQUERRA: Well, I don't know if we're
5 unique compared to the rest of the country, but even in the
6 rural areas, we have very good ambulance and emergency
7 medical services that can get to patients usually within 30
8 minutes to an hour, even in the very remote areas. There
9 are volunteer ambulance services where there aren't paid
10 ambulance services. So that has not been an issue for us
11 even along the Colorado River area where it could be a 3-
12 hour drive to a hospital. We're able to get paramedics to
13 them usually within 30 to 45 minutes. And if they need
14 transport right away, we can get a helicopter to them very
15 quickly as well.

16 DR. CANTILENA: Dr. Tong, then Dr. Blewitt.

17 DR. TONG: Dr. Manoguerra, Ms. Solbeck
18 described the statement, the gastrointestinal
19 decontamination statement, that the Academy of Clinical
20 Toxicology and the European poison centers had approved,
21 and that was in 1997. It did not say that ipecac could not
22 be used or should not be used in the home. Did it not
23 actually say ipecac is a more practical agent if home
24 decontamination was to be administered?

25 DR. MANOQUERRA: I don't remember the exact

1 wording, but I believe it said that there was no evidence
2 for or against the effectiveness of ipecac on patient
3 outcome is I believe exactly what it said.

4 DR. TONG: The question was impact on outcome,
5 although everything that you did say about its efficacy,
6 safety, adverse reactions were in the statement. I just
7 was curious because it did not say not to use, but it was
8 just pointing out what you point out.

9 DR. MANOQUERRA: I think Dr. Tenenbein, when he
10 gives his presentation, may be a better person to ask
11 because he was involved in the development of that
12 statement.

13 DR. TONG: Thank you.

14 DR. CANTILENA: Dr. Blewitt, then Dr. Wood.

15 DR. BLEWITT: Yes. Thank you for that very
16 detailed presentation. I appreciate it.

17 I had three questions, the first of which is
18 related to the 25 to 30 percent removal based on the
19 clinical pharmacologies that were performed. Your quarter-
20 full statement.

21 DR. MANOQUERRA: Right.

22 DR. BLEWITT: And that is that I wondered how
23 you derived that statement because I looked in the package
24 at a number of clinical pharmacology studies which showed
25 varying results, some of which were very good. I agree

1 there was a fair amount of variability even within the
2 studies. But I also felt that a lot was related to study
3 design, as well as the substrate that was used. I was
4 wondering whether using the 25 to 30 percent figure wasn't
5 putting a lot of apples and oranges together to come up
6 with that number.

7 DR. MANOQUERRA: Each of the studies did look
8 at a different substance. Most of them were volunteer
9 studies, and the ranges varied between 0 percent recovery
10 and 80 percent recovery, if you look at the individual
11 volunteer recoveries. If you look at it overall and you
12 put all of the studies together, you come out with a figure
13 that's in that 25 to 30 percent range. Now, whether that's
14 apples and oranges or a fruit basket, it's just the way you
15 want to look at it, and that's the way I looked at it.

16 DR. BLEWITT: Is that a mean or is it a median?

17 DR. MANOQUERRA: I don't think any of the
18 studies on average showed more than about 40 percent
19 recovery.

20 DR. BLEWITT: I'd have to go back, but I
21 thought some were much more.

22 DR. MANOQUERRA: I don't believe there were any
23 that were more than about 40 percent, and many of them were
24 less than that.

25 DR. BLEWITT: My second question concerned the

1 rural environment and whether the emphasis on the rural
2 environment isn't to the exclusion of other situations that
3 could even happen in an urban environment or suburban
4 environment where there would be inadequate access or the
5 inability to access emergency care, if there's a snowstorm
6 or if you're in New York City at rush hour and can't get a
7 cab at that time, and whether it isn't more broad-based
8 than simply the rural areas.

9 DR. MANOQUERRA: That may be the case. I think
10 the issue is whether you believe the benefit that you're
11 going to get from administering it outweighs the risk.
12 I've given you my opinion and you'll get other opinions
13 this morning as well.

14 DR. BLEWITT: Okay, sure.

15 Then the final point was that in your slide on
16 alternatives you mentioned restricting ipecac to
17 prescription. You mentioned that it would decrease the
18 availability for abuse or misuse, but it would also reduce
19 the availability for use within 30 minutes of ingestion.

20 DR. MANOQUERRA: Yes.

21 DR. BLEWITT: So aside from other issues, even
22 making it a prescription product on that basis would create
23 some difficulties.

24 DR. MANOQUERRA: Yes, it would. If you believe
25 that it should be given within 30 minutes, it would reduce

1 the availability in those situations.

2 DR. BLEWITT: And then the question becomes, if
3 that's the case, is the real issue whether the ingredient
4 should be available at all in the marketplace. Does it not
5 take you there?

6 DR. MANOQUERRA: I wasn't ready to take it that
7 far, but if you want my opinion, I think we could get along
8 very well without it.

9 DR. BLEWITT: Don't you believe that there are
10 situations where in certain instances the ability to have
11 it in the home could potentially save a life?

12 DR. MANOQUERRA: I personally don't believe
13 that that's the case. But it occurred to me when you were
14 mentioning what I had on my slide, putting it on
15 prescription would not necessarily decrease the
16 availability in that first 30 minutes because if somebody
17 doesn't have it, whether they bought it over the counter or
18 got it by prescription, they're still not going to have it
19 in 30 minutes. So limiting it to prescription does not
20 change whether it's available in 30 minutes. A physician
21 could prescribe it for them and give it to them so that
22 they have it in that 30-minute time period.

23 DR. BLEWITT: It potentially limits whether
24 people are going to go through the trouble of having it in
25 their house if they have to go through a physician to have

1 it. But that raises a different issue.

2 DR. MANOQUERRA: Right. There was a paper just
3 recently published that looked at if a patient didn't have
4 it in the home and were sent to a pharmacy to get it, how
5 long it took them to get to the pharmacy, administer it to
6 the child, and then for vomiting to take place. The
7 conclusion of that paper was it's not worth the time to
8 send them to the pharmacy.

9 DR. BLEWITT: It's too long.

10 DR. MANOQUERRA: It's too long. Right. But
11 that was a procedure that poison centers did for a number
12 of years, and I think something that most of them have now
13 abandoned.

14 DR. BLEWITT: Thank you.

15 DR. CANTILENA: Thank you.

16 Dr. Wood, and then Dr. Lam.

17 DR. WOOD: I guess this question probably
18 should be addressed to the FDA. What data do we have on
19 the sales of ipecac and the trend line of the sales?

20 DR. CANTILENA: I think they're looking for
21 that now, Dr. Wood.

22 DR. ROSEBRAUGH: We're all looking at each
23 other, but I think we have something here for you.

24 DR. CANTILENA: How about if we come back to
25 that? Perhaps we can have Dr. Lam, and then we'll come

1 back to Dr. Wood.

2 DR. LAM: In the study that you reviewed from
3 the literature, in terms of a certain amount of the
4 ingestion being vomited by the patients and the general
5 feeling that ipecac is not very efficacious, is there any
6 data on the time frame of presentation to the emergency
7 room? Is it more than 30 minutes, more than an hour, and
8 more than 2 hours? Because the longer it takes for the
9 patient to go to the emergency rooms, obviously the lower
10 will be the efficacy. And if a patient intentionally
11 overdosed, why would he or she want to get to the emergency
12 room earlier?

13 DR. MANOQUERRA: A couple of issues there.
14 First of all, the emergency room studies were not -- the
15 percent recovery were not done in patients in emergency
16 rooms. The outcome studies were done in emergency room
17 patients. The percent recovery studies were done in
18 volunteers in a controlled experimental environment where
19 they were given a marker substance and then the ipecac was
20 given at a time interval after the substance was
21 administered. In those studies it showed that beyond 30
22 minutes, the amount that was recovered was about equivalent
23 to control patients that were not given ipecac at all.
24 That is kind of an unusual way to say it.

25 They weren't measuring the amount vomited back

1 up. They were measuring the blood levels achieved when
2 they were given the marker substance. So the blood levels
3 achieved in patients in the control groups, if ipecac was
4 given more than 30 minutes after ingestion, those blood
5 levels were similar. Is that clear?

6 DR. LAM: And I would assume that there's no
7 pediatric data in terms of the recovery?

8 DR. MANOQUERRA: No. It's all adult volunteer
9 data.

10 DR. CANTILENA: Dr. Wood, are there other
11 issues that you want to -- there aren't. Usually we have a
12 sponsor here and they usually have that information at
13 their fingertips.

14 DR. WOOD: There is a response from industry.
15 Are they here?

16 DR. CANTILENA: That's going to be handled at
17 the open public.

18 Dr. Tong, did you want to ask one more?

19 DR. TONG: I don't have an answer to Dr. Wood's
20 question, but I'm a pharmacist and I've been in poison
21 centers for 30 years. I've given out cases of ipecac but
22 have never sold one. So I'm not sure sales really is an
23 indication of what's out there because I'm imagining some
24 of the ipecac that I gave out are now second generation
25 children that are still in the medicine cabinets of homes.

1 So I'm not sure what sales might specifically reflect.

2 DR. WOOD: Well, I don't agree actually. I
3 think it's critical to know that because if you look at the
4 slide that was shown on the use of ipecac by U.S. poison
5 centers, if we find the sales are increasing and at a time
6 when the slide on page 2 of the talk shows that the
7 decrease from 14,000 down to virtually 0, then I think that
8 speaks volumes to where that ipecac is going. It's going
9 to abuse. So that is a critical piece of data to have and
10 I think we need that.

11 DR. CANTILENA: Dr. Rosebraugh, are we going to
12 have that information soon, or should we --

13 DR. ROSEBRAUGH: We can't release exact
14 numbers, but what we can say is over about the last 4 years
15 unit dosages have decreased by about half.

16 DR. CANTILENA: All right.

17 I think actually what we'll do, because of the
18 schedule, is why don't we take our break now and resume in
19 15 minutes with our next speaker.

20 (Recess.)

21 DR. CANTILENA: If the committee can take their
22 seats please, we'll get back to the program.

23 Dr. Rosebraugh will introduce our next speaker.

24 DR. ROSEBRAUGH: Our next speaker will be Dr.
25 Milton Tenenbein. Dr. Tenenbein is Professor of Pediatrics

1 and Pharmacology at the University of Manitoba. He is the
2 Director of Emergency Services for the Children's Hospital
3 in Winnipeg and Director of the Manitoba Poison Control
4 Center. Both positions he has held since the late 1970s.

5 He received his undergraduate and graduate
6 education, including pediatric residency, all at the
7 University of Manitoba.

8 He has been the former chair of the section of
9 Pediatrics with the Canadian Association of Emergency
10 Physicians, is on multiple pediatric and emergency medicine
11 editorial boards and is widely published on the field of
12 emergency medicine and poison control. He has received
13 numerous awards, including the American Association of
14 Poison Control Center's Micromedex Award in 1991 for
15 outstanding research in the field of toxicology. Dr.
16 Tenenbein is currently the immediate past President of the
17 American Academy of Clinical Toxicology.

18 DR. TENENBEIN: Well, thank you very much,
19 indeed, for that kind introduction.

20 First, I would like to apologize to the chair
21 and the committee and the audience for my late arrival.
22 There was an unfortunate problem with my hotel reservation,
23 and as someone just commented to me, my life is now
24 complete because I know that there is a place called
25 Gaithersburg.

1 (Laughter.)

2 DR. TENENBEIN: I wouldn't call it a rural
3 setting, apropos of some of the discussion that I heard as
4 I entered the room regarding Dr. Manoguerra's presentation.

5 But I found the comment about getting a taxi in Manhattan
6 from one of the panel quite interesting, having visited
7 there many times because my brother lives there. You can't
8 get a taxi in suburban Gaithersburg.

9 (Laughter.)

10 DR. TENENBEIN: It took a half an hour for it
11 come, and then it was a handicap, wheelchair access
12 vehicle. And he found out where I wanted to go, and he
13 refused to take me because he had to be somewhere in a half
14 an hour to transport someone in a wheelchair.

15 So much of my trial and tribulations. I'm sure
16 you're probably bored by them by now. So it's time to
17 start my presentation.

18 I've entitled it Syrup of Ipecac, OTC or not
19 OTC? Because this is this committee's concern. So that is
20 the question I guess. If I try to go to the bard and say
21 whether 'tis nobler in mind to suffer the slings and arrows
22 of home ipecac, or by opposing them, end them, it might be
23 a way to go about it, but I don't think my English lit
24 teacher would appreciate that.

25 My objectives then are to discuss the need for

1 OTC status of syrup of ipecac, which is this committee's
2 charge and specifically to discuss four specific questions
3 that were posed to me by the committee. These questions
4 were: what is the role of gut decontamination?

5 What is the role of ipecac in gut
6 decontamination? And question two had three subquestions
7 to it. 2a, what are the benefits and risks of ipecac?
8 What is the literature assessment of these benefits and
9 risks? And what about remote populations?

10 Question 3 is what is the abuse potential of
11 syrup of ipecac. I'll just touch on that in passing
12 because I know there's another speaker who will be dealing
13 with that in detail.

14 And what are the alternatives to ipecac?

15 I think it is best to start with the burden of
16 disease for the population under discussion, which is
17 children under the age of 6. The most recent data
18 available is the American Association of Poison Control
19 Center's annual report of 2001 in which they reported 1.2
20 times 10 to the 6th -- that's 1.2 million -- exposures in
21 children under the age of 6. This is a reasonably steady
22 figure that this organization reports annually. Note the
23 term "exposures" because immediately there's this
24 perception that these are poisonings. These are not
25 poisonings. These are exposures. They're potential

1 exposures. These are telephone calls to poison control
2 centers.

3 Poisoning death is unusual under the age of 6.
4 There were 500 per annum in the 1940s, which is the chief
5 impetus for the formation of poison control centers in the
6 1950s. There were 25 or so in 1997, and annually there are
7 less than 25 per year. That's been the experience for the
8 last decade or two. So we're not dealing with a major
9 disease. In fact, most of these phone calls to poison
10 control centers involved exposures to subtoxic doses.

11 But, nevertheless, with this fall-off of 500 to
12 25, poison prevention is a success story. I'd like to
13 review the reasons for the success because it's important.
14 Why has it gone down from 500 to 25? Could ipecac be
15 responsible for that?

16 Well, certainly one of the most important
17 reasons is child-resistant closures on the medications and
18 consumer products. There are all sorts of data to support
19 this intervention as effective published over the last 20
20 years or so.

21 Constituent reformulations. Both industries,
22 the pharmaceutical and the consumer product industry, have
23 an impetus to remove poisonous substances from their
24 products for obvious reasons, if only for risk management
25 other than altruistic reasons.

1 Anticipatory guidance which we as pediatricians
2 and family practitioners are charged to do, and of course
3 most of us do do during well-patient visits.

4 Public education. Certainly an activity of
5 poison control centers, public health nurses and other
6 agencies with the mandate to provide public education.

7 Legislation. There is some very important
8 legislation that, over the last 30 years or so, has
9 resulted in decreased toxicity of pharmaceuticals and
10 consumer products, not just the requirement for child-
11 resistant closures, but limiting the amount of
12 acetaminophen in children's products and also of aspirin in
13 children's products are good examples of legislation.

14 Poison control centers certainly have
15 contributed to this decrease in morbidity and mortality.

16 Product formulation and poison treatment
17 databases. When we started in poison control -- when I say
18 "we," just all of the speakers and some of the panelists --
19 we recall the pre-Micromedex POISINDEX days where our
20 information databases were not databases. It was just a
21 patchwork quilt of information on 5 by 7 recipe-size cards
22 in file drawers. The database, which is now a standard
23 internationally, has certainly resulted in improvements in
24 care to poison patients.

25 Sophisticated medical treatment resources.

1 When I began my career in pediatrics, there were no per se
2 pediatric emergency departments or pediatric intensive care
3 units. So the ability to deliver specialized care to the
4 very sick poisoned children certainly has improved over the
5 past three decades.

6 New antidotes have contributed to the decreased
7 morbidity and mortality, but only to a minor degree.

8 And finally, safer medications. Dr. Manoguerra
9 made that comment in one of the answers to the question
10 regarding, over the years, have the medications stayed the
11 same. No, they're safer. Indeed, I'll give a few
12 examples. One of the major problems for morbidity and
13 mortality in the '40s and '50s were non-barbiturates
14 sedative hypnotics. That was replaced by the
15 benzodiazepine family in the late '60s and early '70s, and
16 it's virtually impossible to die after an overdose of an
17 oral benzodiazepine. Aspirin was a serious problem in
18 children in the '50s and '60s. With the advent of
19 acetaminophen, that solved that particular problem. Other
20 medications that have come and gone include theophylline.
21 Tricyclic antidepressants are certainly on the wane because
22 of SSRI medications.

23 So in summary, the two most important reasons
24 for decreased morbidity and mortality in young children
25 from poisoning are child-resistant closures and safer

1 medications. This indeed is responsible for the decreased
2 morbidity and mortality.

3 It's also important to know that morbidity and
4 mortality figures in other countries in the western world,
5 be it Canada, the United Kingdom, or Western Europe, for
6 poisoning of small children are really no different than
7 the USA, and this is the only country in the world that has
8 ipecac in the home.

9 Poison treatment then. Gastrointestinal
10 decontamination is a cardinal principle in the management
11 of the overdose patient. It has been for decades and
12 decades, actually for generations and generations. The
13 traditional hospital management has been a so-called
14 gastric emptying procedure. I actually have a lot of
15 difficulty with this term. I never use it except in
16 presentations such as this because it's giving more credit
17 to this procedure than it is due because it does not empty
18 the stomach. One of the questions that Dr. Manoguerra
19 received began with the term "gastric emptying." Studies
20 have very clearly shown that whether you're doing a gastric
21 lavage or a syrup of ipecac-induced emesis, immediately
22 after the ingestion in adults -- and there are pediatric
23 data available actually, young children, pediatric data
24 available -- that they do not empty the stomach. Indeed,
25 the 25 to 30 percent that was quoted is the best that we

1 can expect according to pediatric data.

2 Having said that, the traditional hospital
3 management consisted of either syrup of ipecac-induced
4 emesis or gastric lavage, followed by a toxin adsorption
5 procedure, which was typically activated charcoal plus or
6 minus the administration of a cathartic. Research over the
7 past two decades or so has changed this.

8 I should say, though, that poison treatment in
9 the home -- ipecac became an obvious intervention. It
10 became an intuitive intervention, not based on any
11 research. In the 1960s, it was thought to be a good idea
12 and it was promoted. Indeed, as everyone in this room
13 knows from the material that was circulated, ipecac was
14 granted OTC status by the FDA in 1965. Indeed, of course,
15 that was controversial at that time. It's become a
16 standard. Now the shoe is on the other foot. It's
17 controversial to relinquish that status.

18 Ipecac in the home became a policy of the
19 American Academy of Pediatrics earlier than was stated
20 here, but that's the last reaffirmation that I could find
21 in their literature. It's a mainstay of their anticipatory
22 guidance and poison prevention. TIPP is the injury
23 prevention program which is offered to all pediatricians in
24 the USA, and ipecac in the home is promoted in that. It's
25 promoted in other injury prevention publications of the

1 academy and in poison prevention brochures of the academy
2 and other agencies. It's also an official policy of the
3 American Association of Poison Control Centers.

4 Support for ipecac in the home, though, is
5 under review, I think as this committee knows. Both the
6 American Academy of Pediatrics and the American Association
7 of Poison Control Centers are reviewing this policy, and
8 it's anticipated by me and by many others that these two
9 groups will rescind this recommendation in the near future.

10 Now, how did this all change? The hospital
11 treatment. How did that change where the so-called gastric
12 emptying procedures, ipecac-induced emesis and gastric
13 lavage, are no longer recommended? The research, as I said
14 a moment ago, has really been going on since the early
15 1980s addressing the treatment of poisonings in the
16 emergency department. This culminated in a consensus
17 statement which was published in 1997, as Dr. Tong
18 mentioned. There were five papers and this was a consensus
19 of the American Academy of Clinical Toxicology and the
20 European Association of Poison Centers and Clinical
21 Toxicologists.

22 I should give the following advice, that I was
23 one of the panel of these papers. So if people disagree
24 with it, they may indeed feel that I'm not exactly being
25 objective about this.

1 These five position papers were on ipecac,
2 gastric lavage, charcoal, cathartics, and whole bowel
3 irrigation. Taken together, these five position papers
4 retired ipecac, lavage, and cathartics from the
5 armamentarium of treatment of poisoning in the emergency
6 department, and it advocated charcoal as first-line
7 therapy. The importance of all of this is it certainly set
8 the stage for the discussion of ipecac in the home.

9 Having said all this, all of the material that
10 was reviewed in these five position papers are not relevant
11 to treatment in the home because treatment in the home, at
12 least in theory, can begin immediately after the ingestion.

13 Treatment in the hospital in practice begins considerably
14 later. The mean time for arrival of a young child to an
15 emergency department after an ingestion is approximately 1
16 hour. The mean time for arrival of a teenager or an adult
17 is anywhere between 2-and-a-half and 4 hours, depending on
18 which study you look at.

19 Indeed, the AACT/EAPCCT position papers deal
20 with the treatment of poisonings within the first hour for
21 the effectiveness of GI decontamination. They make the
22 point that beyond 1 hour, that GI decontamination is
23 probably not effective.

24 The specific paper dealing with ipecac of these
25 five -- and I quote -- "its routine administration in the

1 emergency department should be abandoned." There was no
2 definitive statement on ipecac in the home, and I can tell
3 the committee and the audience that was done on purpose.
4 It was difficult enough to get consensus for the emergency
5 department treatment in one document. Therefore, we chose
6 the politically expedient path of not dealing with ipecac
7 in the home in this statement.

8 Nevertheless, this statement generated
9 considerable thought, discussion, and debate regarding
10 ipecac in the home for obvious reasons. If we're saying it
11 doesn't work in the hospital, it's a reasonable question to
12 ask, does it work in the home?

13 This thought, discussion, and the debate is not
14 new. In 1981, Dershowitz wrote, "The ipecac story is but
15 another example of a seemingly sensible preventive health
16 strategy being universally recommended and widely accepted
17 before its efficacy and validity has been established."
18 It's efficacy and validity has never been established. So
19 we're dealing with a treatment that intuitively seems
20 sensible, for which there's no data to support its use, and
21 essentially treating, in many cases, a non-disease because
22 most of these children do not have a toxic amount on board.

23 What can we say about the efficacy? There are
24 no data that support benefit for the patient from ipecac in
25 the home. I recognize quite well, as do most people in

1 this room, that a lack of evidence doesn't mean that there
2 is no evidence.

3 There are data that support lack of benefit for
4 the patient treated with ipecac in the hospital. I got the
5 feeling that Dr. Manoguerra reviewed those clinical
6 studies. Unfortunately, I was not present for that review.

7 But again, as I said a few moments ago, those clinical
8 data in emergency departments are not relevant to this
9 discussion.

10 But what can we say about ipecac performance?
11 There are, indeed, data available in young children under
12 the age of 5. This is the study that was done in the 1960s
13 which was a very interesting study. The mean amount
14 removed from these children, after ipecac-induced emesis,
15 was 28 percent. The range was 0 to 78 percent. This was a
16 small group of children, 13 done in a hospital in Texas.

17 The way that this was done was very
18 interesting. These were children who had all ingested
19 aspirin and were in the emergency department because of an
20 overdose of aspirin. Immediately before giving the ipecac,
21 they were given a measured dose of milk of magnesia. All
22 of the emesis was collected from these children, and the
23 amount of magnesium was quantified in the emesis. So what
24 we have is essentially the model of treatment in the home.

25 So these data are very relevant. Obviously, the

1 limitation to these data is the small sample size.

2 Other data that I could present are data that I
3 published specifically relevant to iron, and that came up
4 in the previous question period. Iron is a unique poison
5 because it shows up by x-ray. I've published several cases
6 of children who have ingested iron. When they presented to
7 the emergency department, we took an x-ray, counted the
8 iron pills in the stomach, then gave the patient ipecac,
9 counted the iron pills after they finished vomiting, and
10 the same number of pills were still there.

11 We went farther than that. We then did gastric
12 lavage in these patients and have x-rays to show the same
13 number of pills are still there. None of these pills were
14 stuck or adhering to the gastric mucosa. Some people might
15 wonder. Nor were there any bezoars or concretions.

16 Finally, we went on to whole bowel irrigation
17 for these patients.

18 Again, more evidence that ipecac does not empty
19 the stomach. What ipecac actually does is it brings up
20 mostly the supernatant, the liquid where the solid material
21 stays in the stomach. This has been shown by
22 radionucleotide scan studies in humans, and there's all
23 kinds of animal research that, of course, you have to take
24 with a grain of salt, dogs given barium and x-rayed before
25 and after ipecac. Clearly ipecac does not empty the

1 stomach. This is where this 25 to 30 percent figure comes
2 from.

3 So in this study, there's obviously a poor and
4 unreliable performance. In adults, the human volunteer
5 studies -- and we've contributed several of these to the
6 literature, as have many other people, including several
7 people in this room. At 5 minutes, there's anywhere from
8 51 to 83 percent removal in adults. In 30 minutes,
9 anywhere from 2 to 59 percent removal.

10 Again, there was a question about apples and
11 oranges, but I think that's very important actually because
12 you want to look at a wide breadth of different substances
13 to get a better feeling. In other words, you want to have,
14 as Tony mentioned, a fruit basket to look at all of these
15 different substances to get a general feeling for
16 performance, not just for one test marker substance such as
17 acetaminophen or aspirin, but for many. So we have this
18 variability and unreliability.

19 What are the adverse effects? Well, it's an
20 understatement to say that emesis is unpleasant. All of us
21 have experienced emesis I'm sure, and it's no fun. If
22 taken in the context that it's therapeutically beneficial
23 to a young child, then we can justify this particular
24 adverse effect, but if there is no benefit to the child,
25 then the commonly used epithet "can't hurt/might help"

1 should be viewed in a different context.

2 Persistent vomiting -- and that's defined as
3 emesis longer than 2 hours -- has been shown to occur in 13
4 to 17 percent of subjects.

5 Diarrhea in 8 to 13 percent of subjects.

6 Lethargy in 12 to 21 percent of patients
7 receiving ipecac. This is important because one of the
8 things we do as clinicians to monitor patients is their
9 level of consciousness. So if we're in a situation where a
10 patient's level of consciousness is decreasing, it might be
11 confounded by our intervention, the ipecac. In other
12 words, it might be iatrogenic rather than as a complication
13 of the poisoning. So it complicates, in that context, the
14 management after the ingestion.

15 Then there's an inability to tolerate
16 subsequent therapies such as activated charcoal which there
17 is consensus now that activated charcoal is more effective
18 than ipecac, and we wouldn't want to delay the
19 administration of activated charcoal. N-acetylcysteine in
20 this country is -- the only FDA-approved route of
21 administration is orally. And whole bowel irrigation is
22 important for a very small, limited number of poisonings,
23 with iron being the most important one.

24 A word about iron. Another intervention that
25 has really decreased the morbidity and mortality from iron

1 is, again, a simple, primary prevention intervention and
2 that's with iron now mandated in the United States to be
3 available in blister packs. The data collected by the
4 American Association of Poison Control Centers has shown a
5 rapid falloff in iron poisoning deaths in young children.

6 What about inappropriate use? It's frequently
7 used when not indicated in two contexts, by lay persons who
8 do not follow the directions on the bottle, do not use
9 unless consulting with a poison control center or a
10 physician, but also there are data in the poison control
11 literature where poison information specialists or
12 physicians, upon finding out that there is ipecac in the
13 home, decided, well, you might as well use it because it
14 can't hurt, it might help. Again, if it wasn't in the
15 home, it wouldn't have been used kind of a thing. So those
16 are the two types of inappropriate use. I should say the
17 two types of frequently used when not indicated.

18 It's occasionally used when contraindicated
19 such as a caustic ingestion or what have you. The
20 literature to support this is very sparse. It's anecdotal.
21 It's case report. But indeed, these types of scenarios
22 need to be considered if we're questioning the role of
23 ipecac in the home to begin with.

24 What about misuse? Again, you'll be hearing a
25 lot more about bulimia and eating disorders. That's well

1 documented. There's really no argument about that, that it
2 occurs and that this is not rare. Unless the presenter has
3 some specific data regarding either incidence or prevalence
4 data, I certainly don't have those data. So I'm not aware
5 of being able to quantify that, and I think it would be
6 unlikely that we could quantify that.

7 And very rarely there are reports in the
8 literature of Munchausen syndrome by proxy, which I think
9 you all are aware that's the caregiver, typically the
10 mother administering ipecac to the child and then taking
11 the child to the hospital saying there's persistent
12 vomiting to get attention and care for the family unit.
13 This, I'm sure, is quite uncommon. I've actually reviewed
14 that literature and found several case reports.

15 What can we say about the use of ipecac? These
16 data on this graph are the figures published in the annual
17 reports of the American Association of Poison Control
18 Centers, and they are the percentage of phone calls for
19 which they've recommended ipecac. The data were first
20 published in 1985 and the last available report is 2001. I
21 think this graph speaks for itself. Our experts are no
22 longer recommending it. In the first year, they were
23 recommending it for 15 percent of all poisonings, and in
24 2001 -- you can see every year it has gone down -- .7
25 percent. So quite clearly, this is becoming a therapy of

1 the past.

2 I can't help but wonder the few times that it
3 is being recommended -- and I know this will translate to
4 15,000-25,000 cases, which seem like a lot, but in the
5 context of 1.2 million cases, it's just a drop in the
6 bucket or in the ocean perhaps.

7 Another purported benefit of ipecac in the home
8 is that if you use it in the home, you'll prevent a visit
9 to the emergency department. In other words, you do the
10 entire treatment in the home. That will prevent a visit to
11 the hospital, the time, the expense, the anxiety, the
12 stress, and what have you. This is often cited as an
13 advantage of ipecac in the home. It is indeed an assumed
14 benefit that there would be decreased hospital visits.

15 There are data which are soon to be published.
16 These data are in press so I can't give you the specifics
17 of these data. They will be published in the Journal of
18 Pediatrics within the next few months. The conclusion from
19 this study, which is a study of the American Association of
20 Poison Control Center's database, looking at several
21 hospitals and poison control centers across the United
22 States, that home use of ipecac was very weakly associated
23 with increased, not decreased, referral to the emergency
24 department. Now, this increase in referral was not
25 statistically significant, so it would be fair to say that

1 there was no effect in decreasing emergency department
2 visits. So like many other things in medicine, when a
3 purported benefit is finally studied, it's found not to be
4 true.

5 The author of the study was Randy Bond, who's
6 in Cincinnati, who is a medical toxicologist and a
7 pediatric emergency medicine physician.

8 So what are the alternatives to ipecac in the
9 home. The obvious one that comes to mind is charcoal in
10 the home. The shortcomings of charcoal in the home is that
11 it is poorly accepted by young children. In the emergency
12 department for children under the age of 5, almost always
13 it's administered by nasogastric tube. I've had 27 years
14 of experience of treating these children myself in
15 emergency departments, and I've never been able to give --
16 and that's completely never -- the full oral dose of
17 charcoal to a child. I've been confronted on many
18 occasions by a nurse who said, let me try, I can do it, and
19 he or she has failed on each occasion. When I make this
20 comment during presentations, I will always get some
21 comment from the audience saying that they've done it, they
22 can do it. I remain to be convinced on that point.

23 The other issue is that ipecac sediments during
24 storage over long periods of time, and we can anticipate
25 that the storage in a home would be much longer than an

1 emergency department. Having said that, when I give ipecac
2 in my emergency department, the nurse knows that she'll
3 receive the wrath of Tenenbein if I don't see her shaking
4 that bottle before she gives it. It can take on the
5 consistency of a briquette suitable for use in your
6 barbecue.

7 It's messy. What about caretaker acceptance or
8 other issues? These are issues that I speculate about.
9 Having said that, there is published experience. Tony
10 referred to some of it I believe; at least, it seemed that
11 he did in the answer to one of his questions. But there
12 are three full articles and three other abstracts that
13 haven't been published as articles in the literature. The
14 therapeutic dose was not given in greater than 50 percent
15 of the children. The mothers couldn't get the charcoal
16 into the child. I should say if we have trouble with an
17 experienced emergency nurse, who is cool, calm, and
18 collected, who's tried to do it in an emergency department
19 and can't -- we have to give it by the tube -- in a crisis
20 situation, a mother with the perception that if I don't get
21 this antidote into my baby, he'll die, this poor
22 performance is not unexpected.

23 Tony did refer to a study done in
24 Massachusetts, which was one of these abstracts that never
25 saw the light of day as an article, quite a long time ago.

1 I call it the SWAT team study. If the travel time from
2 the poison control center to the home was short enough, an
3 experienced nurse went to the home, taught the mother how
4 to give the charcoal, gave the charcoal to the mother, and
5 she administered to the child, and the mother was not able
6 to get the therapeutic dose into the child.

7 The other point is that when they looked at the
8 home versus ED administration of charcoal, they were able
9 to get the charcoal into the -- it took 35 minutes from the
10 time of ingestion to get the charcoal into the child. And
11 the most critical time is the first 30 minutes. In the
12 hospital they can do it in 65 minutes. So the question
13 that has to be asked but, of course, we can't answer is, is
14 there a clinically important significance to the patient
15 for this extra 30 minutes of delay when the rapid falloff
16 has already occurred from the experimental studies and data
17 that are available to us?

18 So what can we say of charcoal in the home?
19 This was reviewed in an article in Clinical Pediatric
20 Emergency Medicine in the year 2000. They reviewed most of
21 the data that I've described. Some of the data that I've
22 described was published since that time. And the
23 conclusion of that review is that it's premature to
24 recommend this intervention.

25 So what are my conclusions then? My

1 conclusions are, in fact, to discontinue ipecac in the
2 home, as has been done in several areas, as we've heard
3 earlier, and that it's premature to use charcoal in the
4 home. We're in the same situation with charcoal where we
5 were when Dershowitz made that 1981 quote that this is a
6 seemingly sensible intervention, but the efficacy and
7 adverse effects are far from characterized.

8 So now I'd like to try to answer the four
9 questions that were posed to me. Question 1, what is the
10 role of gut decontamination in general? It's very limited
11 and it's really confined to the first hour after ingestion
12 for there to be any benefit. Serious poisonings then
13 presenting to the hospital within 1 hour would be the role
14 of gut decontamination.

15 Question 2, what is the role of ipecac in gut
16 decontamination? In my view it has no role in any
17 environment.

18 The subquestions of question 2, 2a, what are
19 the benefits and risks of ipecac? The speculated benefit
20 is removal of the poison, but as I hope I've shown you from
21 the data available to us, it does not remove the poison.
22 It at best removes 25 to 30 percent of the poison if given
23 immediately afterwards. I guess I could say if a child has
24 ingested two times the lethal dose of a poison, taking out
25 25 percent will not benefit that child. Surely everybody

1 in the room can come up with a hypothetical situation of a
2 child on the bubble, so to speak. The problem is that we
3 cannot define these children. The histories are inaccurate
4 in all cases, and how wide or narrow that bubble is is open
5 to speculation as to whether we would save a life. That
6 would mean that we would have to have someone who perhaps
7 has taken barely one lethal dose, and if we reduce that by
8 25 percent, the patient would still be pretty sick, perhaps
9 requiring intensive care in a tertiary care institution.
10 We have so few of those. As I said, there are less than 25
11 deaths per year in this country, and most of those deaths
12 are deaths discovered long after the event when ipecac
13 would not have meant a difference anyway.

14 The risks I've already characterized. We've
15 quantified the vomiting, diarrhea, and lethargy. We cannot
16 quantify the poor tolerance of subsequent oral therapies
17 and the inappropriate use and frank misuse.

18 2b, what's the literature assessment of the
19 benefits and risks? There's no literature at all
20 demonstrating benefit, as I've said earlier, and as I've
21 just said, we've quantified the adverse effects. All the
22 other effects are just anecdotal reports in the literature.

23 Question 2c, what about remote populations?

24 Again, I don't like to use the word "rural" either.

25 Perhaps the best term is "access to care." In my practice

1 situation in my catchment area, I manage the care of
2 children in remote Indian villages. We call them reserves.
3 You call them reservations. They are 700, 800, 900 miles
4 away, and the only access to them is by airplane. We don't
5 use helicopters because they're inefficient. We use jets,
6 air ambulance jets. We don't keep ipecac in those
7 locations. There are nurses there. We just don't feel
8 that it's useful at all.

9 The point being again, it's counterintuitive to
10 say that ipecac doesn't work, but quite frankly, it
11 doesn't. So whether you're next door to a hospital or
12 you're 3 hours away from the hospital, for whatever reason,
13 traffic jams in Manhattan or in a place where there's only
14 access by an airplane, it's not going to work there either.

15 So to me this whole argument is a non-argument. Efficacy
16 does not improve with distance from care is my point that I
17 wish to make regarding question 2c.

18 Question 3 is, what is the abuse potential of
19 syrup of ipecac? Again, we're going to hear a lot more
20 from a person more expert than I. There certainly is
21 occasional use for eating disorders in people with bulimia,
22 and there's rare abuse of ipecac in a Munchausen syndrome
23 by proxy scenario.

24 And finally, question 4, what are the
25 alternatives to ipecac? In the hospital, it's activated

1 charcoal. At home it's to call the poison control center
2 for care.

3 So my summary and conclusion is since the use
4 of ipecac in the home will no longer be recommended and
5 since there is a potential for its misuse and abuse, it
6 makes no sense for it to remain as an over-the-counter
7 drug.

8 Thank you for your kind attention.

9 DR. CANTILENA: Thank you, Dr. Tenenbein, for
10 an outstanding presentation.

11 I would like to just start with one clarifying
12 question and then open it up to the committee. The study
13 that you quoted, your study with iron where you used the
14 radiologic endpoints to examine the efficacy of ipecac --
15 how long after ingestion did you administer the ipecac, and
16 were there any other endpoints in that study in terms of
17 amount of iron absorbed, et cetera or clinical outcomes?

18 DR. TENENBEIN: Well, the time after the
19 ingestion of iron is not important because the iron we
20 documented, objectively documented, as being present in the
21 stomach. So the goal of ipecac is to remove the iron from
22 the stomach. So the time since ingestion in this
23 particular situation is not relevant, with respect.

24 The reason why you want to give the ipecac in
25 the home is to get it out of the stomach. As long as you

1 know that the stuff is still in the stomach and you're
2 administering the ipecac, that's the desired endpoint.
3 It's not a surrogate endpoint.

4 The second question is were drug levels done.
5 All of these patients that we described had a series of
6 gastrointestinal decontamination procedures done because
7 several of them had lethal amounts of iron on board. So we
8 did other interventions following it, the gastric lavage,
9 as I've indicated, which incidentally we also showed to be
10 not effective. And then we did a procedure called whole
11 bowel irrigation. Actually that was the thrust of the
12 study. It was the original study to demonstrate that whole
13 bowel irrigation had a potential role in iron poisoning.
14 Indeed, we followed the serum iron levels in that scenario,
15 and of course, they didn't go up because we got the poison
16 out of the gut. That was the thrust of the study. The
17 study was not to specifically to study the efficacy of
18 ipecac, but the study of the efficacy of whole bowel
19 irrigation.

20 DR. CANTILENA: Right. But I guess you would
21 that as you increase the time from ingestion of any tablet,
22 including iron, the probability of efficacy from ipecac
23 would go down? Are we in agreement on that point?

24 DR. TENENBEIN: Not necessarily. If you're in
25 a situation that you can demonstrate that the iron is in

1 the stomach, the role of ipecac is to get it out of the
2 stomach. So that's independent of time.

3 DR. CANTILENA: Right. But other studies have
4 shown that if you wait more than 30 minutes or 60 minutes,
5 the chance of success from ipecac is extremely low as
6 opposed to giving it like if you're in the home, Johnny
7 gets into mom's iron, and you give the ipecac within 5 or
8 10 minutes. The prior probability of success would be
9 decreased. So that in your study if it had been an hour or
10 more since the iron was ingested, you would not expect the
11 ipecac to really work anyway. Is it true?

12 DR. TENENBEIN: No. No, I wouldn't agree with
13 that at all actually. Again, I'll go back to the point.
14 The role of ipecac, the goal of ipecac is to get the poison
15 out of the stomach. All you need to be confident of is the
16 poison is in the stomach, and then you can test whether the
17 ipecac is working.

18 I think it's important to separate -- we were
19 talking about apples and oranges and fruit baskets earlier.
20 The data that showed ipecac loses its effectiveness after
21 a half an hour are not based on iron. They're based on
22 acetaminophen. Acetaminophen is specifically designed to
23 have a rapid dissolution. Iron is not designed to have a
24 rapid dissolution. So the tablets dissolve. They pass
25 from the stomach into the intestine much quicker. Indeed,

1 what we're really showing here is that there's a different
2 dissolution rate.

3 But I think we're kind of getting mired down
4 between these two specific poisonings. But I think the
5 important point of my x-ray data is to show that if a
6 poison is in the stomach in a tablet form, ipecac is not
7 that effective. So I would generalize that to say if
8 acetaminophen is present in the patient's stomach 5 minutes
9 after ingestion and you give the ipecac then, it's
10 reasonable for me to conclude, I believe, that it would be
11 relatively ineffective getting those tablets out as well.

12 DR. CANTILENA: I understand your point. I
13 guess what I'm trying to sort of get at is the fact that
14 iron is a known substance for concretions. Unless you
15 really have endoscopy, you're not really sure what's
16 actually there. You can see the shape of tablets, if you
17 will, in a concretion unless they're spread out throughout
18 the gastric pouch.

19 DR. TENENBEIN: Indeed, we demonstrated a lack
20 of concretions by x-raying these patients in three
21 different planes and changing the orientation of the
22 tablets to each other. We clearly demonstrated that
23 concretions were not present.

24 DR. CANTILENA: Okay.

25 Questions from the committee? Dr. Wood?

1 DR. WOOD: I'd like to change the conversation
2 a little bit about the way we're thinking about this. The
3 putative indication for ipecac is to improve the outcome in
4 poisoning, and the evidence that it does that is
5 nonexistent. So it doesn't improve the outcome in
6 poisoning.

7 When we say it like that and you also have to
8 recognize this is by orders of magnitude the most toxic
9 substance available over the counter, and when we talk
10 about it and we show slides of the side effects of ipecac,
11 we should include the fact that 85 percent of the patients
12 who receive this -- maybe 95 percent of the patients who
13 receive this -- had the adverse effect of severe vomiting.

14 Now, the reason I say it's an adverse effect is
15 the goal is to improve the outcome of poisoning. If it
16 doesn't do that, then the vomiting becomes an adverse
17 effect. So the risk/benefit ratio here is, by any other
18 over-the-counter drug, appalling. We've got a drug for
19 which there's no evidence it works, and uniformly produces
20 severe vomiting.

21 So I think we need to avoid stepping into the
22 trap of assuming that because its putative mechanism of
23 action is by causing vomiting that we shouldn't count the
24 vomiting as an adverse effect. The therapeutic goal that
25 we're aiming for is improved outcome, not vomiting. If we

1 fail to achieve the therapeutic goal of improved outcome,
2 then the vomiting becomes an adverse event.

3 Getting into speculation about where or when or
4 in what circumstances it might work seems to me to beg the
5 question. If somebody has good data that it does work in
6 some specific geographic or therapeutic area, then we ought
7 to see that. In the absence of that, we should assume, as
8 we always do, that that means it doesn't work.

9 DR. CANTILENA: Other questions from the
10 committee?

11 So what you're saying, Alastair, is vomiting is
12 an adverse event even though it's the mechanism of action.

13 DR. WOOD: Let's take acetaminophen, for
14 example. The options in therapy are to give
15 N-acetylcysteine or a syrup of ipecac. N-acetylcysteine,
16 if it produced vomiting in 95 percent of patients, we'd
17 view that as an adverse event. We certainly have excellent
18 data on the efficacy of N-acetylcysteine. We have no data
19 -- at least I'm unimpressed by data of the efficacy of
20 ipecac.

21 So I think we have to examine that in the same
22 way as we would with any other drug. If someone came in
23 here and said there's a drug that can control arrhythmias
24 by producing vomiting, which is not so outrageous an idea
25 as one might think, we wouldn't accept that the vomiting

1 shouldn't be counted as an adverse event in its therapy.
2 And that's not as facetious a suggestion as you might
3 think. There's plenty of data to support an increase in
4 vagal activity as a means of controlling some arrhythmias.

5 DR. TENENBEIN: Indeed. If I am allowed an
6 interruption. You're quite correct. Dr. Robertson will
7 recall that ipecac was a recommended treatment for
8 supraventricular tachycardia in young infants because of
9 its vagal effects. So for those of us pediatricians who go
10 back that far, indeed it was used in that fashion, and the
11 vomiting was -- as questioner says, it's not just
12 speculation. It's indeed true.

13 DR. WOOD: That was my point actually, yes.

14 DR. CANTILENA: Any other questions? Dr.
15 Blewitt?

16 DR. BLEWITT: This isn't a headache or an upset
17 stomach. This is an overdose. In this case, we get caught
18 up in semantics. The adverse effect is the intended
19 effect. So I don't think we should be confused by that.

20 DR. WOOD: Well, but we need to be careful.
21 This is not a drug which works in deliberate overdose.
22 That's important to remember that. If it were to work at
23 all, the time it would work would be in the immediate post-
24 ingestion period. People who deliberately take drugs to
25 poison themselves usually don't present to receive ipecac

1 in that immediate period. That is why we've slipped into
2 this discussion about children, because the assumption
3 there is that the child doesn't take it with the self-
4 destructive intent, but ends up with taking it and is
5 observed, and then an intervention can be made.

6 Now, we know what the mortality is from that
7 situation in this country. Some years ago it was 50
8 children. So 150,000 children ended up vomiting from
9 ipecac and 50 died from overdoses, and there's no evidence
10 that that number would have been -- we know that number has
11 gone down as the use of ipecac has gone down with it. So I
12 don't think the data supports that.

13 DR. CANTILENA: Any other questions?

14 DR. BLEWITT: Well, I'll just make one final
15 point. I still think that the database is lacking in terms
16 of efficacy in the home use situation. And how you're
17 going to accomplish that I don't know because the amount of
18 usage is so low at this point. It would be very difficult
19 to conduct a study that would give you any reasonable
20 endpoints given the limited amount of use at present.

21 DR. CANTILENA: I think that's really the
22 essence of the questions for this afternoon. We're really
23 looking at a very small segment of the population, and
24 children and settings that we've been talking about. So I
25 think that actually, for me anyway, is really the essence

1 of the whole argument. There's no question that we should
2 not be using this in the setting of emergency rooms and the
3 like, but it really comes down to those issues that I think
4 everyone has framed. And I look forward to that discussion
5 this afternoon.

6 Any other questions for the speaker?

7 (No response.)

8 DR. CANTILENA: Very good. Well, then thank
9 you very much, Dr. Tenenbein. It was a very enjoyable
10 talk.

11 Dr. Rosebraugh, who is our next speaker?

12 DR. ROSEBRAUGH: The final presentation of the
13 day, at least regarding the use of ipecac syrup in
14 poisoning, will be given by Dr. William Robertson. Dr.
15 Robertson is a professor with the Department of Pediatrics
16 at the University of Washington in Seattle and is the
17 Medical Director of the Seattle, Washington Poison Center.

18 He received his medical degree from the
19 University of Rochester in New York, completed his
20 pediatric residency at Yale University prior to moving to
21 the University of Washington where he has been since 1963.

22 Over his career at Washington, he has twice
23 served as acting Chairman of the Department of Pediatrics,
24 spent a decade as the Associate Dean of the University of
25 Washington School of Medicine, and was the Chair of the

1 American Association of Poison Control Centers from 1988 to
2 1990.

3 He is also widely published in the area of
4 poison therapy, including authoring the chapter on
5 poisoning in the 18th Edition of the Merck Manual of
6 Diagnosis and Therapy.

7 Dr. Robertson?

8 DR. ROBERTSON: Thanks very much, Curt.

9 With reference to this particular topic, I must
10 begin with a disclaimer that it's been kind of a hobby for
11 almost 50 years, and those 50 years, I couldn't help but
12 think, as Dr. Wood raised an important question, and that
13 is, is emesis itself an adverse reaction? Of course, it
14 is. It's just like the adverse reaction when we used
15 mercurial diuretics. The adverse reaction was it paralyzed
16 the kidneys' ability to absorb water, but we used it
17 therapeutically.

18 The emesis response had been extensively used,
19 not for the supraventricular tachycardia but for routine
20 treatment of croup. The kid who has croup who vomits,
21 instantaneously after the vomiting, the croup will
22 temporarily disappear. Now, the question is, which do you
23 like least? The vomiting or the croup? And until we found
24 Hemophilus epiglottitis to confuse us on this, we used it
25 routinely.

1 I'm going to go and show you some slides -- I
2 hope everybody can see them -- and make a couple of points
3 and give you some food for thought. I would say that some
4 of the figures that my predecessors have given, you can
5 look them up and you'll see that the 28 to 30 percent is a
6 selected choice. Many of the choices in those five
7 guidelines that were set up and approved by two groups were
8 a little bit subjectively selected. I'm going to
9 subjectively select some things too. So what's fair in war
10 is fair all the way around.

11 The second thing is I'd call your attention to
12 the fact that neither the American Association of Poison
13 Centers nor the American Academy of Pediatrics ever
14 endorsed those five guidelines. So we have now reached a
15 stage of maturity in the field of toxicology where we have
16 groups of competing guidelines like everybody else has, and
17 I think we have to be careful about that.

18 I'd make the point that it wasn't until the
19 late 1700s when chemistry, as we know it today, got
20 started. Only in the 1820s could you measure that iron was
21 there. If you haven't read the book about mauve, you've
22 got to read it because that's the origins of organic
23 chemistry when they took coal tar, spilled some phenol and
24 some other things on it and inadvertently came up with
25 purple dye. That was the start of the dye industry, the

1 nutritional industry, the pharmaceutical, the plastics, and
2 the petroleum industries, all beginning in the 1860s.

3 When I began in the poison center business --
4 and it began roughly 50 years ago -- there were 1.2 million
5 chemical entities known to man and a couple of years ago,
6 the American Chemical Society's register showed it's more
7 than 47 million. Despite all those terrible chemicals that
8 are out there -- and think about this because of its
9 implications for the general public -- notice that the
10 mortality rate from accidental poisoning has gone down. I
11 pride myself with being pretty good on three and maybe four
12 of these 47 million, but it's access to information that
13 we've become more reliant on.

14 A poisoning episode has several things: a
15 susceptible host, a toxic chemical. And I mention the
16 toxic chemical with quotes around it, and this will come up
17 in a few minutes in another setting for you to think about
18 really the use of the word "toxic." You've got to have a
19 sufficient dose. There's no question that you have to have
20 a sufficient dose. If the dose gets cut to 50 percent of
21 what it was that the child -- and here I'm primarily
22 talking about children -- took, it's going to be less toxic
23 on a probability basis than it would if he had the whole
24 thing. And that's really what we're talking about, is
25 potential probability. You've got to have a route of

1 exposure, meaningful absorption, and an intact response
2 mechanism.

3 If you look at some of the things we know, we
4 take for granted. For some of you, I apologize but I think
5 it's important to think about it. The susceptible host.
6 You can alter the susceptibility, for example, to
7 diphtheria toxin, by immunizing the host. For other toxic
8 chemicals, you can precipitate them, and they will change
9 the chemical format and they're not toxic anymore. With
10 sufficient dose, you can ban and outlaw it. PCBs. They're
11 a dead issue. They're gone. They're not being
12 manufactured anymore.

13 You can limit exposure with supposedly
14 gastrointestinal decontamination. We'll come back to that.

15 Curtailed adsorption, diatomaceous earth or
16 charcoal, or Mount St. Helen's ash -- no, no. Mount St.
17 Helen's ash is the perfect non-adsorptive agent. We
18 thought we had money in the bank out there in Washington
19 and it doesn't work.

20 And the last one is you can block the response
21 system with various receptors.

22 Now, one of the things that Dr. Wood also asked
23 -- and this relates a little bit to Milt's talk about using
24 iron. Iron, as far as I'm concerned -- he probably
25 couldn't have picked a worse substance to try and measure

1 or estimate efficacy of treatment. But he did choose it.
2 I would make the point that a number of chemicals are now
3 being put in slow, not like acetaminophen, but release
4 tablets. And this, for example, Prozac. You don't have to
5 take it three times a day. You can take it once a week.
6 And Aterol and other things for my attention deficit
7 disorder. I don't have to take it three times a day. You
8 don't have to give it in school. You can take it once a
9 day and some of the slow release occurs in the gut. Some
10 of the slow release occurs after it's been absorbed. So
11 there may be a reason for thinking, yes, the urgency of
12 immediate treatment is important, but there may be some
13 instances where other treatments have been used.

14 There's one adult -- and a number of these
15 testimonials before Medline went into a search -- that was
16 1966. One adult vomited 210 aspirin tablets. He or she
17 changed their mind after they took too much aspirin. It's
18 possible. It's only anecdotal. I just mention it.

19 Now, these are the things that people have been
20 talking about, the garden hose lavage. And I use garden
21 hose as a negative term. I would mention people have
22 agreed it's not used anymore, but then they added one other
23 thing, and they use a nasogastric tube. That's a garden
24 hose lavage tube, that after the child arrives in the
25 emergency department, you can't persuade them to drink the

1 charcoal there either, so you stick a tube down his throat,
2 and then you stick the charcoal in that way. Whether
3 that's an adverse response, the uncomfortableness of that
4 procedure, or not, I'm going to leave up to you.

5 The other things you've heard a lot of talk
6 about it. The whole bowel lavage. Those who would purge
7 us who led to the evolution of homeopathy 200 years ago are
8 still anxious to purge us periodically.

9 Now, the question was posed before, what about
10 the drugs, the chemicals that are eaten by kids? And these
11 are the leading exposures of kids for the last 15 years or
12 so. This came out of the 2002 data. Cosmetics, cleaning
13 stuff, analgesic agents, foreign bodies, topicals, goos
14 that you put on, plants, and cough medicines. Virtually
15 nobody wastes their time with any GI decontamination for
16 cosmetics. You don't do it for cleaning stuff because it
17 might be dangerous. You don't do it for foreign bodies
18 because it's not going to help. And you don't do it for
19 the topicals, and nowadays you don't do it for the plants.

20 We don't do it for hoards of things that back in the 1950s
21 we didn't know was it toxic or wasn't it toxic. And those
22 espousing the precautionary principle said, if you can't be
23 sure, try to decontaminate the stomach or make them throw
24 up. That was the mission that the early treatment of use
25 of decontamination -- that was the question that was trying

1 to be addressed.

2 Now, let relate a little story about ipecac and
3 me, and it's true. And it's in publication. You can go
4 read it in 1993. I began as an intern in 1949. That may
5 seem like eons ago, and I may appear like Methuselah, but I
6 wasn't here at the turn of the century. The first day I
7 was assigned to work in a clinic and in that clinic you
8 covered the emergency department because there were no
9 physicians assigned to the university hospital emergency
10 department. At 8 o'clock I got called to go down there.
11 Some poor little kid had licked an ant cap, an ant cap
12 being a bottle cap that was impregnated with some stuff
13 called plastic wood and the plastic wood was impregnated
14 with arsenic, and the child licked it.

15 Well, if I knew then what I know now, I would
16 have forgotten it and given him a hit in the head and told
17 him don't do it again, go home. But the precautionary
18 principles said and the boss said wash out his stomach. We
19 did gastric lavage which entailed wrapping him up, hanging
20 his head over the edge of the table backwards and sticking
21 a gastric tube down him, and rinsing his stomach out. I
22 got through, talked to the parent. Everything seemed fine.
23 Went home.

24 11:30 that morning, I get called to go down
25 there again. Same kid, same problem, same treatment.

1 And believe it or not, at 5:00 he was back for
2 a third time. Now, you say what was the matter with the
3 mother. The mother was a reasonable person. He went up a
4 ladder and got it out of a cupboard the third time. He
5 apparently didn't make an association between what he was
6 doing and what we were doing.

7 He fought like a wounded eagle the first time.
8 The second time he gave a fair fight. The third time he
9 just looked at me, and I see people looking at me that same
10 way today and I'm always wondering, is he going to come up
11 behind me and get even? I just don't know.

12 That was my original contact. That same day in
13 an adjacent crib, two kids were being treated with ipecac
14 to make them vomit for the croup, and I said to the boss,
15 why don't we make them vomit to empty the stomach out? He
16 says, it doesn't work. I said, what do you mean it doesn't
17 work? They don't vomit. I said, well, how come those kids
18 vomit and the ones who ingest don't? He said, I don't
19 know, but the books say they don't vomit. And the books
20 said that they didn't vomit at the time.

21 It took me about seven years before I could get
22 an agreement that we could look at this particular
23 question. I had to go to three different institutions, and
24 we were finally able to do the study, an experiment on
25 children no less -- and, you know, we like children as

1 pediatricians -- back in Columbus, Ohio. And that was the
2 first documentation, not that 85 percent of the kids vomit,
3 but that 97 percent of the kids vomit. And the other
4 studies that have been done show it's between 95 and 99
5 percent, not the 85 percent. This is of the kids. Of the
6 adults, the same thing.

7 I should also remind people nobody, absolutely
8 nobody, in their right mind ever said you should routinely
9 use either ipecac at home or in the emergency department.
10 Using it on 100 percent of patients who overdose is
11 psychosis, but it's a popular thing. You say, well, we're
12 not going to use it routinely. I hope you never did.

13 If you look here, it says that "do something"
14 mentality was important. Harry Shirkey, a pharmacist and a
15 physician, was the one who led the charge back in 1965 that
16 got the use of ipecac endorsed. Prior to that time, the
17 fluid extract was being used, and if you look at the
18 toxicity of the fluid extract, that had some associated
19 with it, and the mistakes made in mixing it up led to three
20 of the deaths that I published about. So I've seen the
21 down side of the fluid extract, and we've had the only
22 documented case of the syrup leading to a death. The syrup
23 was administered because a child ate a flower of a plant.
24 The precautionary principle is what tripped the treatment,
25 and he had a negative outcome. That I'm just stressing.

1 Finally, I would be remiss if I didn't mention
2 this. In the early 1970s, helicopter transport of
3 emergency patients became popular. It was pushed by the
4 regional medical program, the Debakey program, and what was
5 going on in Chicago and in Baltimore got a lot of
6 publicity, and the specialty of emergency medicine began.
7 The specialty of emergency medicine for very good reason
8 made first class citizens out of emergency physicians.
9 Prior to that time, it wasn't a group, and usually in most
10 communities it was the youngest person in town who was
11 moonlighting because he needed to make some money while he
12 built up the practice.

13 One of the first things the emergency
14 physicians understandably and justifiably did was said they
15 don't practice telephone medicine. The poison centers
16 practiced telephone medicine. Ipecac is telephone
17 medicine. Charcoal is not telephone medicine. You've got
18 to come into my institution and let me feed it to you or
19 stick it down a tube. So I see a conflict of interest in
20 the backgrounds of some of the studies, and if you look at
21 those charcoal studies done in emergency departments, it
22 was ipecac plus charcoal versus charcoal alone. No
23 difference. Ergo, use the charcoal until finally somebody
24 said, how about ipecac plus charcoal versus charcoal versus
25 nothing?

1 In the last two years you haven't heard much
2 about it, but it's been publicized nothing does just about
3 as much good in the emergency department as does the
4 charcoal. The reason is simple. We studied ipecac back in
5 1960 and 1961. It took then 69 minutes on average for 214
6 children to get to the emergency department. That same
7 study was replicated in Tacoma, in Spokane, and in Seattle
8 in 1989. Not in the boonies. In cities. The mean time in
9 1989 was 71 minutes. So it's an hour to get to the
10 hospital.

11 We just completed another study in the State of
12 Washington, as well as in the Spokane region, that found
13 after you get there, to get the activated charcoal into
14 stomach is an additional hour. So as an alternative, it's
15 not the best alternative, and it costs a lot of money.

16 I put down the last thing here is looking at
17 the non-poisons, ranging from plants to hormones to
18 botulinus toxin. The nicest thing that's happened to me in
19 my 50-year career happened last year when more than a
20 million people paid in excess of \$500 an injection to have
21 the worst biological toxin known to man stuck into their
22 foreheads, and nobody got sick.

23 This has implication for what the American
24 Chemical Society has found that when you use the word
25 "chemical," 92 percent of the population says it's toxic,

1 it's bad, it's hazardous, it's waste, when it's really not.

2 I'm a bag of chemicals. You're a bag of chemicals.

3 They're usually pretty good, and we're finding more and

4 more are not all that toxic.

5 Now, here's the data that I would simply

6 repeat. The mean time till delay with children in emesis

7 has been 15 to 20 minutes. The recovery for markers and

8 using different markers makes a difference -- that 13-

9 person study that Colonel Corby and his charcoal advocates

10 down in Texas did -- they used magnesium. And magnesium,

11 unfortunately like aluminum and calcium, has some

12 adsorptive characteristics to gastrointestinal mucosa which

13 makes it a lousy marker because it's stuck there. It can't

14 come out. So the 25 percent, you've got to be a little bit

15 cautious about. The 85 percent was done with radioactive

16 substances, and you may have to be a little bit cautious

17 about that.

18 Four people now have looked at groups of

19 children and made an assumption that they are in one large

20 group. Some of them were treated with ipecac at home

21 because the parent had it. Some of them weren't because

22 the parent didn't have it. After these kids all got into

23 hospitals, they compared the blood levels of the ones who

24 got the ipecac with the ones that didn't get the ipecac.

25 On average, there was about a 50 percent reduction between

1 the ones who didn't get the ipecac and the ones who did get
2 the ipecac. So it wasn't 25 percent. It was closer to 50.

3 DR. WOOD: A 50 percent reduction or a 50
4 percent difference?

5 DR. ROBERTSON: 50 percent reduction, 50
6 percent lower level. 33 down to 16.

7 DR. WOOD: In the two groups.

8 DR. ROBERTSON: In the two groups.

9 DR. WOOD: So a 50 percent difference, not a 50
10 percent reduction.

11 DR. ROBERTSON: Well, how do you look at it?
12 It's not a 100 percent reduction. 16 is 50 percent of 33,
13 or 50 percent difference. It went down.

14 The complications relatively rare, and yes,
15 there are some. But I would just say -- and I checked it
16 out again last week -- we in the Seattle area used ipecac
17 for an entirely different purpose and that was to treat the
18 chronic alcoholic to go through Pavlovian conditioning.
19 And you were admitted for 14 days to the Shick Shadel
20 Sanitarium. Six times a day you went into a little bit of
21 a cubicle. It was glass-lined with your favorite booz.
22 You sniffed it. You looked at it. You sipped it, and then
23 you were given ipecac, and you'd throw up into the basin.
24 And you did this six times a day for 14 days. By the end
25 of the 7th day, 50 percent of the people who walked into

1 that room threw up. By the end of the 14th day, when they
2 got near their stuff, they threw up. And it was pretty
3 effective. They had a surprisingly high recovery rate.
4 This was done to take care of people within industry, not
5 the poor people on the street, but within industry where
6 they wanted to get people really off the stuff.

7 Then two people didn't wash their hands when
8 they went to lunch over in Austria, and antabuse came
9 along, and antabuse made chemical conditioning as opposed
10 to Pavlovian conditioning the thing to do.

11 If you look at the total number of doses, they
12 had adults treated with ipecac more than 300,000 times.
13 Several of the people did have some emesis with bleeding,
14 but they've had some portal changes anyway. Nobody had any
15 severe problems. What I'm saying is that the rate of
16 complications is remarkably low.

17 Now, let me talk a little bit about some
18 evidence-based medicine issues. Remember in 1949 75
19 percent of the children in the United States got Calomel,
20 mercurous chloride, for teething. Calomel is a dangerous
21 poison. Teething is a nonexistent entity, and some people
22 will argue with me about that. But that was a very popular
23 thing to do. Look at all the treatments we've used from A
24 to Z for constipation and the other types of ointments that
25 we've used for diaper rash. You can use almost anything

1 that you want for infantile colic, and I have treated four
2 kids within the last month who have been given a
3 grandparent's medication by mistake instead of some
4 anticholinergic that was prescribed in a tablet form before
5 they were 3 months of age for colic, and we made them throw
6 it up.

7 Dilution for poisoning was the treatment used
8 last year for more than a million patients treated on the
9 telephone by the Association of Poison Centers. I haven't
10 heard anybody anytime talk about the efficacy or the
11 worthwhileness of dilution as a treatment from the poison
12 center that feels it has to do something. It's probably
13 not harmful, but I don't have the slightest evidence that
14 it does any good.

15 And I already mentioned the terrible botulinus
16 toxin.

17 So let me conclude with my thesis. I keep it
18 simple stupid program. 80 percent of toddler ingestions --
19 that's 18 months to 3-and-a-half years of age -- are
20 recognized -- two different studies -- in less than 10
21 minutes by the parent. If they call the poison center, it
22 takes 5 minutes, and if they then have to find the ipecac
23 they have in the home -- and in some of the promotional
24 campaigns, we've been able to get better than 75 percent of
25 the homes to claim that they have the ipecac there. We've

1 had two pharmacy students go out to the home and find
2 remarkably high compliance. They're going out to the home.

3 They ask could they see the bottle to see if the bottle
4 had passed the expiration date. We've studied that. True,
5 the expiration is not a valid one about emesis. It still
6 produces emesis as long as 24 years after it's been
7 bottled. Anyway, that's going to take you that time.

8 You're going to then have a choice: stay home
9 or go to the hospital. If you vomit at home, or if you
10 vomit in the car, assuming there are two adults there --
11 and you've got to be psychotic to drive with the kid. It's
12 like a kid who's having a seizure in the death seat beside
13 you. I don't want to be on the road when that person is
14 there. So if there are two adults or a teenager, they can
15 hold a bucket in front of the kid. Anybody here who has
16 had kids -- we had five of them. Emesis is a common
17 occurrence in 2- to 3-year-olds, and they know how to hit
18 the bucket. And observe in either location, and you don't
19 have to do anything else.

20 The final one is a hypothetical thing to think
21 about. Assume there are 4 million toddlers in the United
22 States every year, and assume, as we did a study, that each
23 of those toddlers puts more than 12 non-food items in his
24 or her mouth every day. Make that assumption. You
25 calculate that 360 days a year. That's 17 billion

1 exposures per year, ingestions, not exposures through the
2 skin, but ingestions. Assume that only 1 in 100,000 of
3 those would qualify for ipecac at home. That's 17,000.
4 Assume that no ipecac was available and therefore they had
5 to go to the hospital. They go to the hospital at \$400
6 apiece, you're talking about \$6,800,000.

7 In contrast, assume that you put a \$2 bottle of
8 ipecac in everybody's home and they are one-kid families.
9 That's \$8 million. Pretty close in terms of expenses. If
10 the prior sibs had it, you don't have to repeat it for
11 this. So it's going to be less expensive. And I would
12 urge you think about the cost/benefit implications as well
13 as not just the benefit/risk implications.

14 On that point, I think I'll shut up and would
15 be glad to answer any questions, or try to.

16 DR. CANTILENA: Thank you very much, Dr.
17 Robertson. A very nice presentation and summary.

18 Open for questions from the committee. Ted?

19 DR. TONG: Dr. Robertson, you're one of the
20 leaders in poison control center development, and I know in
21 Seattle the tremendous success with getting ipecac into the
22 homes. What about the issue of misuse and inappropriate
23 use of ipecac? Is that an issue in your community? Is
24 it's something that's addressed? Because there's so much
25 ipecac being promoted. Much of your ipecac in fact, I

1 think, are given out and not sold at pharmacies.

2 DR. ROBERTSON: The hospital pays for it, but
3 the hospital then gives it out.

4 In the past, both the profession of pharmacy,
5 as well as a number of the physicians and a number of the
6 institutions, did join with us in alerting the public. We
7 used a sticker, a "Mr. Yuk" sticker. We used the syrup of
8 ipecac, and we use other things, all aimed at getting
9 compliance with child-resistant containers. Our board of
10 pharmacy monitors this compliance, and we've been able to
11 get a very low noncompliance of the requirements of the
12 board. You've got to sign a specific statement if you
13 don't get a --

14 DR. TONG: I was curious about the abuse and
15 the complications.

16 DR. ROBERTSON: We've not been able to find any
17 difference in the relative frequency either of the
18 Munchausen's -- and one of our people is one of the authors
19 in that area, and he's looked at it -- and/or the
20 teenagers. Yes, we do have teenagers, but they don't get
21 the ipecac from the bottle that their parents took home 14-
22 18 years before.

23 It's a major problem. Our ephiatricians, the
24 adolescent medicine people, our psychiatrists, and we in
25 the poison centers are attempting to try and do something

1 about it, but you and I know what's the epidemic of the
2 '90s and 2000. Obesity. It makes SARS look like nothing.

3 DR. CANTILENA: Dr. Uden?

4 DR. UDEN: Dr. Robertson, you were here for Dr.
5 Manoguerra's presentation, and in his he had referenced
6 seven studies where apparently these seven studies are what
7 the poison control center organizations are using and maybe
8 the Academy of Pediatrics is going to be using to disavow
9 any knowledge of ipecac in the future.

10 DR. ROBERTSON: It never existed.

11 DR. UDEN: Okay. So given those seven studies,
12 are any of those studies in your mind worthwhile to support
13 not giving ipecac as we are discussing it here?

14 DR. ROBERTSON: Two of the studies were done
15 and warrant a careful look at them, a man named Curtis and
16 another one named Albertson.

17 The ones by Kulig and the group at Rocky
18 Mountain didn't document the time expiration between the
19 overdose and when they did their various entities. And if
20 it's been more than 2 hours, it couldn't possibly show any
21 significant differences, and they didn't run any controls
22 against nothing.

23 I don't think he alluded to Dr. Manoguerra's
24 two studies, the most recent one from last year, that
25 looked at a large number of patients where there was a

1 control of no treatment, not just no treatment in the
2 asymptomatic patients, but no treatment at all, and
3 couldn't find any benefit of the charcoal.

4 I would add one other one that he didn't talk
5 about, and that was published in Pediatrics a year ago in
6 January, published in Pediatrics, 115 administrations of
7 charcoal at home and then followed by 229 after the study
8 was done. And the kids ate the charcoal every single time.

9 I can't get 30 kids to eat a bar of chocolate. And the
10 300-plus kids who take charcoal at home says that
11 journal, in my opinion -- and I've said it in print --
12 ought to have its review process carefully analyzed.

13 DR. UDEN: So I guess the bottom line for where
14 you're standing on this situation, are you still going to
15 recommend that every household with a baby in it, an infant
16 in it, have a bottle of ipecac in the cupboard for a
17 potential exposure?

18 DR. ROBERTSON: If this group and the other
19 clubs come out and say that's not a good idea, I'm not
20 going to recommend it anymore. I want you to think I think
21 it's probably on a risk/benefit basis a worthwhile thing to
22 try to do. We're down to 25 deaths a year among kids.
23 We're having awful problems with teenagers. What we're
24 doing probably isn't doing a thing for the teenagers, and I
25 don't think the ipecac will either. So it may not be a

1 major issue.

2 DR. UDEN: Thank you.

3 DR. CANTILENA: Any other questions from the
4 committee? Dr. Lam?

5 DR. LAM: Dr. Robertson, the previous two
6 speakers basically have said that for patients or parents
7 living in a remote area, delaying it for them to actually
8 get to the emergency room is not an issue, and they cannot
9 put a finger on what particular medication would that be a
10 problem. I would like to get your opinion on that.

11 DR. ROBERTSON: The rural areas -- they're nice
12 places to visit. I wouldn't want to live there. The rural
13 areas are not going to be the first to follow technological
14 advances that go out there. They do pay attention to
15 health care warnings.

16 As you saw from the data I presented, we didn't
17 test the rural area. We tested the urban area and the
18 delay time until they get into hospital and until treatment
19 gets started is 2 hours. And McGuigan has shown the same
20 thing, and that was referenced up there.

21 Seven out of seven studies in children, all of
22 them, it was more than an hour after the kids got there
23 that they got the charcoal. Now, if I go in with a heart
24 attack, the emergency docs do something in 3 minutes. If I
25 go in with asthma, they've got a puffer in my face in less

1 than 2 minutes. As a group they haven't really addressed
2 the question how do they expedite the usage of charcoal.

3 I hope that answers your question. It reveals
4 a little bias up here. I thank everybody for their
5 attention.

6 DR. CANTILENA: Thank you, Dr. Robertson.

7 We have one speaker remaining. Dr. Rosebraugh,
8 would you please introduce our final speaker for this
9 morning?

10 DR. ROSEBRAUGH: Our final speaker is Dr.
11 Silber, and he will now address abuse and misuse issues
12 associated with ipecac syrup.

13 Dr. Silber is a professor of pediatrics with
14 George Washington University and is the Director of
15 Education and Training of the Section of Adolescent
16 Medicine at the Children's National Medical Center. He
17 received his medical degree from the University of Buenos
18 Aires and completed a pediatric residency at Thomas
19 Jefferson University in Philadelphia and a fellowship in
20 adolescent medicine at Children's National where he has
21 been since 1973.

22 He is a member of the board of directors of the
23 Society for Adolescent Medicine, is an adolescent medicine
24 health consultant to the Pan American Health Organization
25 and a panel member of the Adolescent Health Section of the

1 World Health Organization.

2 He's also widely published and is focused on
3 the areas of adolescent health with a particular interest
4 in eating disorders.

5 DR. SILBER: Thank you very much for giving me
6 this opportunity.

7 What I'm going to be discussing is the issue of
8 ipecac abuse, and if we look at what we're going to do
9 today, the review of ipecac syrup for over-the-counter
10 status, we have been dealing with the role in
11 gastrointestinal decontamination, risk/benefit ratio, role
12 in the treatment for populations with limited access, abuse
13 of ipecac, and alternative therapies. So it's abuse of
14 ipecac that I'm going to be discussing now.

15 As a definition, one could define ipecac abuse
16 as consistent with the repeated use of the syrup for the
17 sole purpose of self-inducing emesis as a method of weight
18 control.

19 It's actually synonymous in a way with an
20 adolescent and young adult population. People who have
21 used this consist of experimenters, people who already have
22 developed an eating disorder, the most common one being the
23 eating disorder not otherwise specified, those patients
24 with anorexia nervosa who have developed the purging type
25 complication, and patients with bulimia nervosa.

1 There are some characteristics that appear in
2 relationship to this. First of all, of course, the
3 behavior is secret, it's hidden. Nobody is to know about
4 it, last of all, the physician. It's addictive. Once they
5 have gotten into the cycle, it's difficult for them to
6 break it. There is denial. This is just something that I
7 do when my stomach is full and I don't feel well to help me
8 vomit, but I'm really not abusing this. And there's plain
9 lying about it when confronted with findings that are
10 suggestive of it. So it's a powerful event.

11 We don't know the epidemiology of self-induced
12 vomiting for all those reasons. We do know that in
13 anorexia nervosa, there is a lifetime prevalence of 0.1 to
14 1 percent. And we know that according to studies, between
15 8 percent of the lower end and 41 percent on the higher end
16 of individuals with anorexia nervosa will develop bulimia
17 nervosa. And the higher end is probably the most correct
18 one because they have the longest time of follow-up study.

19 The lifetime prevalence of bulimia nervosa is estimated to
20 be 3 percent. So between experimenters, eating disorders
21 that are beginning, anorexia and bulimia, you have a high
22 number of patients, or persons actually -- they often don't
23 become patients who self-induce vomiting.

24 The majority of them have no difficulties in
25 doing so, but there is a minority of patients -- and we

1 don't know the number -- that simply has difficulty in
2 inducing with a gag self-induced vomiting, and they just
3 can't do it. Yet, they feel a tremendous urge to do so and
4 discover that ipecac makes the difference.

5 One of the concerns we, of course, have is that
6 if they already have difficulty with vomiting, they may not
7 vomit all the ipecac. They take it repeatedly. A lot of
8 it gets absorbed, and of course, it's a poison.

9 So looking at the adverse events relating to
10 the use of ipecac, it's terribly difficult to know this.
11 Over-the-counter preparations do not require submissions of
12 adverse events to the FDA, and so the data are very
13 limited. However, those reports that we do have are
14 consistent with characteristic effects of ipecac that are
15 very well known and have been described.

16 Signs and symptoms of this ipecac poisoning, as
17 it accumulates, have been described recently by Lee and
18 reviewed by Karowski in Post-Marketing Safety Review, May
19 6th of 2003. These effects include recurrent vomiting,
20 diarrhea, abdominal cramping, muscle pain and stiffness,
21 muscle weakness, myopathy, erythema, urticaria, edema,
22 cardiomyopathy, cardiac insufficiency, cardiac arrhythmias.

23 And in their report, they report about 6 deaths. 4 of
24 those were due to ipecac abuse.

25 Now, we have reasons to think that many of

1 these patients with difficulties and problems will never be
2 reported. I have in my hand a letter sent to us that I
3 think really gives the feeling for this very sad situation.

4 The author of the letter says, on March 9 of this year, I
5 awoke to find that my 22-year-old daughter had died in her
6 sleep. She had been anorexic for three years and had been
7 holding her own. Approximately 6 to 8 weeks before her
8 death, she was introduced by a college classmate to ipecac
9 and was hooked. After her death, I found many bottles of
10 ipecac in her room, all bought at the local drugstore. And
11 she tells a bit more about the story. But you get the
12 feeling.

13 Do we know if this death was related to ipecac
14 use? We don't know. We do know that people that are
15 malnourished and take ipecac can get sleepy, can get
16 somewhat obtunded. There may be an aspiration or any of
17 the other complicating events. So that's what's haunts us.

18 There is out there something going on and it's being
19 hidden very well from us, and it's difficult to ascertain.

20 However, I think it's worthwhile to go over
21 each one of the side effects and problems a bit more in
22 detail.

23 Recurrent vomiting dentists will tell you will
24 certainly induce dental abnormalities. There's tooth
25 enamel that gets dissolved. Teeth become sensitive.

1 Cavities increase. There's periodontal disease. Teeth can
2 get lost, and parotid gland enlargement can occur.

3 Gastrointestinal abnormalities certainly have
4 been described, esophagitis, reflux, Barret's esophagus.
5 That is a dysplastic disorder that may predispose to cancer
6 of the esophagus. And, of course, lots of symptoms,
7 dysphagia, odynophagia, esophageal strictures can occur.
8 Mallory-Weiss tears have been described with hematemesis
9 and aspiration pneumonitis.

10 There are metabolic abnormalities, metabolic
11 alkalosis really being the most common one and one of the
12 ways that one can to suspect this issue. There's
13 hypokalemia which is fatigue, muscle weakness, polydipsia,
14 nocturia, abdominal pain, constipation, headaches,
15 palpitations, and renal pathology like in Barter's. They
16 can become dehydrated. They can get to be in shock. They
17 can have sudden death. This can occur with anybody who is
18 in this cycle, but of course, it can also occur in those
19 that get into this cycle with ipecac.

20 Diarrhea can lead to dehydration. Secretory
21 diarrhea has been described. Hemorrhagic colitis, pseudo
22 melanosis coli, and intestinal pseudo-obstruction are all
23 in the literature related to ipecac.

24 Myopathy. There's progressive weakness in the
25 proximal muscles. There's often myalgia. Patients can

1 lose deep tendon reflexes, can have swallowing
2 difficulties, and may have even slurred speech, all things
3 that can make one suspicious. In evaluating this, there
4 can be a persistent increase in phosphokinase and aldolase.
5 Electromyographic features of toxic myopathy can be
6 discovered with people who are abusing ipecac. And muscle
7 biopsy has been done in patients that astute clinicians
8 identified, and they have shown severe disruption of
9 sarcomeres sarcotubular lesions, and in electron microscopy
10 they have found foci of Z-band degeneration. And what's
11 most interesting and that really indicts ipecac in this is
12 that with cessation of the use of ipecac, these findings
13 were reversible and disappeared.

14 The cardiac abnormalities are, of course, those
15 that alarm the most. Cardiomyopathy has been identified.
16 Cardiomegaly has been shown, as well as tricuspid and
17 mitral valve insufficiency, decreased cardiac ejection
18 fraction, hypotension, arrhythmia, and as mentioned, death
19 as an outcome is a possibility, an unpredictable one.

20 If one suspects this and one does EKG studies,
21 there are a variety of findings. Sinus tachycardia, T wave
22 depression and inversion, prolonged PR interval and QTc,
23 atrial tachycardia, atrial premature beats, ventricular
24 tachycardia, and ventricular fibrillation are all described
25 in the literature.

1 When echocardiography has been done in these
2 patients, one has found ventricular dysfunction and reduced
3 ejection fraction, and even electron microscopy of the
4 myocardium has been done and showed zones of myofibrillar
5 lysis, fragmented fibers, irregular alignments or clumps of
6 Z bands here too.

7 Rare things that have been described,
8 pneumomediastinum, pneumoperitoneum, intestinal
9 perforation, hepatic toxicity, cerebral hemorrhages and
10 seizures, extremely rare.

11 A word about Munchausen syndrome by proxy. It
12 shares with the abuse, which is my theme -- but as a
13 pediatrician I can't forget this -- the secrecy, the
14 intention to obscure the facts, and an addictive tendency
15 to repeat it, but this is not abuse of ipecac. This is
16 really child abuse by poisoning. It's a criminal behavior,
17 and it is reported more, but it's probably under-
18 recognized. It's a severe recurrent pathology, and it
19 certainly has ended in some cases by causing the death of
20 the child.

21 Detection of this abuse really requires a high
22 index of suspicion. Many of the findings, symptoms, signs
23 that I described to you can be attributed to another cause
24 and be treated as if this is something else. We see this
25 all the time in our adolescent medicine program as patients

1 come to us in consult. So one has to have a very high
2 index of suspicion which I don't think we have yet in our
3 country, and perhaps events such as this may help to
4 publicize that. Yes, the laboratory can confirm the
5 suspicion, lead us, EKG, CPK-aldolase, and there is
6 confirmation methodology which is high performance liquid
7 chromatography to detect this. It can be detected in
8 serum. It can be detected in urine, and it has been
9 detected in tissues by pathologists.

10 So I have some recommendations.

11 The first one is that studies are needed to
12 determine the incidence and prevalence of ipecac abuse. We
13 don't know that.

14 We need to promote professional education about
15 ipecac abuse to facilitate early detection and treatment.

16 We have to develop prevention of this.
17 Depending on this risk/benefit ratio, this may include a
18 status change from over-the-counter to prescription
19 medication. And that's not my area of expertise, so I'll
20 let the body use some of the elements that I have presented
21 and balance them.

22 But another possibility is to have warnings
23 about the danger of abuse to be included. It may be
24 helpful that labeling indicate the maximum total dose or
25 maximum number of times the dose can/should be repeated,

1 which is not included in the labeling.

2 These are some examples of warnings. Use of
3 ipecac to repeatedly self-induce vomiting is hazardous to
4 your health. Prolonged use of ipecac is poisonous and can
5 induce, among others, muscle weakness and pain secondary to
6 muscle destruction. Ipecac toxicity can lead to cardiac
7 damage, electrolyte imbalance, and death. And if you are
8 or have abused ipecac, seek professional advice, or a
9 variant thereof.

10 Thank you very much.

11 DR. CANTILENA: Thank you, Dr. Silber.

12 Questions from the committee? Dr. Blewitt?

13 DR. BLEWITT: Dr. Silber, is it possible to,
14 just for the moment, separate cardiac abnormalities in
15 patients who have persistent vomiting or chronic vomiting
16 versus those who have taken ipecac?

17 DR. SILBER: Yes, it's possible.

18 DR. BLEWITT: In other words, do you see that
19 in both situations, or is it confined specifically to
20 ipecac? Cardiac abnormalities.

21 DR. SILBER: You can see cardiac abnormalities
22 in the people who self-induce vomiting because you often
23 have metabolic alkalosis with hypokalemia, and that type of
24 arrhythmia can be seen without the use of ipecac. However,
25 the myocarditis is never a complication of self-induced

1 vomiting.

2 I forgot to mention that the same way as the
3 devastating muscular illness disappears when ipecac stops
4 being used, the myocardial damage has been shown to reverse
5 when patients stop using ipecac.

6 DR. BLEWITT: Now, does that also occur with
7 chronic vomiters who don't take ipecac?

8 DR. SILBER: Myocarditis? No, it does not.

9 DR. BLEWITT: And the skeletal muscle changes.

10 DR. SILBER: Do not occur in patients who self-
11 induce vomiting without ipecac. It's a clear toxic effect
12 of the drug.

13 DR. BLEWITT: Thank you.

14 DR. CANTILENA: Dr. Davidoff, then Dr. Tong.

15 DR. DAVIDOFF: Yes. Dr. Silber, thanks for the
16 presentation, which was very enlightening.

17 But you did make the point that in your view
18 there was not really any quantitative evidence on the
19 prevalence or frequency of the use.

20 DR. SILBER: No. That needs to be studied.

21 DR. DAVIDOFF: But we heard earlier from Dr.
22 Manoguerra about two papers, and I'll just mention briefly
23 what he said on his slide. Two papers attempted to
24 quantify the extent of ipecac abuse in patients with eating
25 disorders. The first showed that out of 851 patients in an

1 eating disorders clinic, 3.1 percent used ipecac
2 chronically and 4.7 percent intermittently. And there was
3 another paper in which of 622 patients in an eating
4 disorders clinic, 3.8 percent of the women age 20 to 46
5 years of age used ipecac. Are you familiar with those data
6 and do you think they're relevant?

7 DR. SILBER: Yes, I think they're relevant, but
8 I think they are the tip of iceberg. That's really a small
9 number. But there's a large number of people with eating
10 disorders that will not see a doctor, and there are many
11 people who come to the clinician with symptoms that clearly
12 would indicate either an eating disorder or ipecac toxicity
13 but who don't admit to an eating disorder. So those that
14 come to an eating disorders program are a self-selected
15 group where people have already had the wisdom of
16 identifying them and referring them. Many are being
17 treated not in eating disorders programs but in the
18 community, and there's less sophistication there.

19 DR. DAVIDOFF: Well, if I may, I actually had
20 the opposite the impression, that is, that these are
21 actually quite large numbers. I mean, if 3 percent of the
22 population has experienced an eating disorder in their
23 lifetime and 3 percent of those are abusing ipecac -- and
24 there are how many million women in this country? It's
25 mostly a disease of women.

1 DR. SILBER: Yes.

2 DR. DAVIDOFF: That multiplies out to, in my
3 view, a very large number of people relatively speaking.

4 DR. SILBER: But my point is probably it's
5 more, but we don't know. And it really deserves to be
6 studied.

7 DR. CANTILENA: Dr. Tong.

8 DR. TONG: Dr. Silber, thank you for bringing
9 your experience to this group here and then also couching
10 your recommendations. I have a brief comment and then a
11 question.

12 The comment is that in the mid-1980s there was
13 a report in one of the pediatric journals -- you didn't
14 mention it here -- of adolescents who successfully
15 committed suicide with medicines, taking medicines
16 primarily with tricyclics and salicylates and a couple of
17 other things. What they pointed out in there was that the
18 majority were young women and that the taking of ipecac in
19 the manner that you described was not uncommon in those
20 successful suicides. The point being that they present in
21 the emergency room and to critical areas very, very
22 significantly impacted by electrolyte abnormalities, all
23 the things that you've commented on. I should have brought
24 the article. I thought it would be here. It's something
25 that we use to teach our students about how important

1 something like an over-the-counter, when it's used in a
2 manner that you described, can create problem.

3 My question to Dr. Silber is that is there any
4 data on the geographic distribution of this particular
5 condition. Is it seen in certain areas of the country more
6 frequently or maybe because reporting is better? I'm
7 asking that because maybe that way we can begin to see how
8 syrup of ipecac is being dispensed or given, all the
9 aspects of why this is a particular problem. Is it
10 national or do you think it might be more geographic? We
11 know that in certain areas with OTCs, Texas, Arizona, there
12 seems to be spots where this is more frequent. Do you find
13 that with syrup of ipecac?

14 DR. SILBER: Again, there are isolated reports.
15 We don't know the extension. Eating disorders programs
16 are usually developed in metropolitan areas. As a matter
17 of fact, many of them, because of insurance issues and
18 other complications, are going broke and there is a decline
19 in the eating disorders programs and to services that they
20 can give. So there's a large underserved area, and of
21 course, the metropolitan areas are the ones that have the
22 most reports on this.

23 DR. CANTILENA: Dr. Wood?

24 DR. WOOD: I just wanted to go back to what
25 Frank said. I drew the same conclusion that Frank did that

1 these numbers were pretty high. Are you disagreeing with
2 him? Because if so, I'd like to hear that run through
3 again. 4 percent seems to me a pretty high number for a
4 disease with as high a frequency as this.

5 DR. SILBER: No, no. I don't disagree at all.
6 What I'm saying is these are good studies with a biased
7 population which is the sickest of the sickest. So not
8 everybody that is self-inducing vomiting will be in the
9 same situation. However, for all the reasons that I said
10 before, it actually may be more. So the reason I'm
11 actually here to testify is because I think we have a
12 problem. I would love to quantify it, and I think it's a
13 serious problem. What we need to do is take this into
14 account and balance it with the information on how good and
15 effective this is to see if this ought to continue over-
16 the-counter or if what I presented and doubts about
17 efficacy may be sufficient grounds to make this a
18 prescription instead of over-the-counter. And I don't feel
19 capable to make that decision. I just want to contribute
20 to it.

21 DR. WOOD: So what you're saying is that you
22 think this is of relatively high frequency and that the
23 numbers that were in these papers are underestimating it.
24 Am I understanding that right? And that in your experience
25 with the patients you see, this is --

1 DR. SILBER: I think that is so. I cannot
2 prove it.

3 DR. CANTILENA: Yes, Dr. Patten.

4 DR. PATTEN: Thank you.

5 I have a question about socioeconomic
6 correlates with eating disorders and use of ipecac in the
7 context of an eating disorder. Has this been looked at?
8 We had Dr. Tong's question about geographic variables. I'd
9 like to ask the same question about socioeconomic.

10 DR. SILBER: In general, the victims of eating
11 disorders belong to affluent socioeconomic groups. Most of
12 my patients are children of physicians, professionals,
13 nurses, et cetera. But in the last 10 years, there has
14 been a change, and we are seeing what we call working class
15 anorexia, and we're seeing the eating disorders emerging
16 with some strength in minority groups, in African Americans
17 and Hispanics, et cetera. So the old stereotype of eating
18 disorders is getting old, and although it predominates in
19 the affluent population, it certainly is occurring much
20 more extensively among young people in the country now.

21 DR. CANTILENA: Thank you. Any other questions
22 from the committee of the speaker?

23 (No response.)

24 DR. CANTILENA: Very good. Well, Dr. Silber,
25 thank you very much for a very informative presentation.

1 DR. SILBER: Thank you.

2 DR. CANTILENA: We have reached almost on
3 schedule, just slightly ahead of schedule, the lunch break.
4 So why don't we adjourn for lunch and return at 1 o'clock
5 to start with the open public hearing.

6 (Whereupon, at 11:56 a.m., the committee was
7 recessed, to reconvene at 1:00 p.m., this same day.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (1:10 p.m.)

3 DR. CANTILENA: If I could have your attention
4 please, we'd like to resume the meeting.

5 The next agenda item for this meeting is the
6 open public hearing, and we have a few items and one
7 speaker for the open public hearing.

8 DR. TEMPLETON-SOMERS: We did receive a few
9 letters on this topic, and they're available in the desk
10 copy at the registration desk if people in the public would
11 like to see them. They have been provided to the members
12 of the committee, except for one which arrived later last
13 night. One letter is the one that Dr. Silber referred to
14 from the woman whose daughter died, anorexia and bulimia I
15 guess. And one is from a physician who recommends having
16 ipecac available. Then we do have a response from industry
17 to this meeting, and the response was prepared by Humco
18 Holding Group, Cumberland Swan Holdings, and Denison
19 Pharmaceuticals. This has been provided to the committee
20 and to the FDA. There are a few copies I think left out
21 there for the public if you're interested.

22 We also have one person who would like to speak
23 at the open public hearing.

24 DR. CANTILENA: Our single open public hearing
25 speaker will be Armond Welch, senior consultant with the

1 AAC Consulting Group, Rockville, Maryland. We have 5
2 minutes allocated.

3 MR. WELCH: Thanks for the opportunity. It
4 brings back old memories. I was the panel administrator
5 for the Miscellaneous Internal Panel, and we had many
6 meetings in these rooms across the hall. We had 17 overall
7 panels and, of course, many reports. But there are a few
8 things I observed here that I'd like to point out.

9 As you were advised earlier, the Laxative Panel
10 dealt with emetics, and they dealt with that not as a
11 poison control kit, and I'll deal with that a little bit
12 later.

13 Earlier, as you were advised and the notes show
14 that in '65 this was switched to over-the-counter, and that
15 was, as I recall, a period of time when FDA had the poison
16 control center. They were the focal point, and the poison
17 control centers, as they're constituted around the country
18 now -- some were in existence but not as much. But at that
19 time, FDA allowed the switch from Rx to OTC. FDA was
20 always slow to allow any Rx to OTC switch. So they dealt
21 further.

22 Now, the charge to the panel is -- as a lot of
23 the panelists know and maybe the speakers don't know, the
24 OTC review is a review of the active ingredient and not
25 necessarily of the dosage form. The only time the dosage

1 form is involved is if it affected the safety and efficacy
2 of the active ingredient.

3 OTC drugs are also described as GRAS and GRAE;
4 generally recognized as safe, generally recognized as
5 effective. What's not often appended to it, the
6 requirement, and not misbranded. It was a well-recognized
7 thing in my history of FDA -- and I joined in 1946 -- that
8 over-the-counter drugs -- you can't prevent misuse. You
9 can't prevent misuse of Rx drugs either, but that's one of
10 the problems our panelists had to deal with. I was the
11 second person in to the OTC review, and the doctor who was
12 in charge quit after two weeks. So I've had a long history
13 there.

14 There were seven voting members on those review
15 panels and they were diverse in background. We had two
16 pediatricians that were pretty well recognized in their
17 field. One was Dr. Sandy McCall from Group Health in
18 Seattle. Kind of a bit of humor here. He was also on the
19 vaginal drug product dealing with chlorophyll, and he said,
20 that stinking goat on yonder hill spends all day eating
21 chlorophyll.

22 When I spoke to Dr. Jay Arena about serving on
23 the panel -- he had been nominated by a group, and he asked
24 a little bit about it. And I said, well, we're dealing
25 with poison control kits. And he said, oh, when I got out

1 of medical school, I unfortunately made the statement --
2 and none of you are old enough to recall it. I recall it
3 as a younger person -- that mother, if your kid takes some
4 poison, give them tea and toast. Well, the panel didn't
5 deal with the tea very long. And Dr. Arena said, what I've
6 tried to overcome in the years since, that toast is not
7 activated charcoal. When the speakers talked about
8 charcoal here, I assure you they're talking about activated
9 charcoal.

10 The panel focused primarily on the fact --
11 well, in deciding whether something is GRAS and GRAE, they
12 took in the historical knowledge. There are a lot of
13 things where it's hard to do a well-controlled study like
14 you would expect to find under an NDA, but the panel was
15 allowed to apply their own expertise and knowledge. And
16 the knowledge and expertise of the panel at the time that
17 they met, '79 to '80 -- I've forgotten the exact dates --
18 is not the state of knowledge that you're dealing with now.

19 Like I said, they took up this. They did not
20 have to follow the Laxative Panel. And they did consider
21 it. They also considered the fact that FDA had put it OTC
22 many years before. But the individual panel could disagree
23 with any previous decision. And I must point out the
24 panel's report outside of the preamble and the legal
25 closing was always the panel's word. FDA employees could

1 not direct what they said.

2 The history on these panels are transcripts are
3 made, but they're not the official record. It would take
4 too long to edit them. So we depended on summary minutes.

5 Of course, summary minutes sometimes leaves some of the
6 things out.

7 I just want to emphasize that the panel's
8 concern was -- I have to tease the gentleman from Seattle.

9 You know, they can't use helicopters there. It's so rainy
10 and overcast all the time, where in San Diego they can use
11 helicopters. They don't have that problem.

12 They were concerned that people out in the
13 hinterland would have a poison control kit not to be used
14 until they contacted a physician or poison control center.

15 What a way to pass the buck to the poison control center.

16 Somebody would have to make a judgment. But that was the
17 whole basic philosophy, and that's the main point I want to
18 make here is that they thought the availability of this --
19 and in effect said, when you start having children, have a
20 poison control kit. If something happens, get a hold of
21 the poison control center or your doctor and find out what
22 to do. They were concerned about the time lag between the
23 episode and when you can get something in it. Maybe part
24 of the value is -- what do you want to call it?

25 Compassionate training. You know, it just sounds good.

1 Hey, you're doing something. Whether it's effective or not
2 I don't know.

3 I think that takes care of my points. Thank
4 you very much for your time. If I can be of any value in
5 anything historically, I'd be glad to. It's a good forum
6 here.

7 DR. CANTILENA: Thank you very much for your
8 comments.

9 Is there anyone else who at this time would
10 like to make a comment in the open public hearing to the
11 committee?

12 (No response.)

13 DR. CANTILENA: Okay. Seeing no takers, then
14 why don't we move to the interesting part of the afternoon
15 at least, which is the discussion. I think from my
16 perspective, we always try to do things based on the
17 available information and as much science as possible, and
18 then we talk about things in terms of OTCness criteria that
19 were established some years ago I think by Dr. Weintraub,
20 which looks at the safety in terms of assessing the risk
21 and the efficacy in terms of assessing the benefit and then
22 tries to also look at things such as the ability to self-
23 diagnose, appropriateness of the labeling, et cetera. I
24 think that's sort of the essence of our discussions, and
25 ultimately the questions are what really is the OTCness.

1 I just had a couple of questions for the FDA at
2 least initially, and then I'd like to open it up and we'll
3 actually go through and discuss categories by the
4 committee.

5 But, Curt, have you looked at or do you have
6 any information that would suggest what the impact would be
7 of changing this from OTC to prescription and the impact
8 specifically on access for perhaps financially challenged
9 subpopulations in rural areas? Do you have any way of
10 assessing impact in terms of access to the medication?

11 DR. ROSEBRAUGH: That's not something we've
12 really looked at, but something that you should consider is
13 if you do come to a conclusion that this should not be an
14 OTC drug, that does not automatically make it a
15 prescription drug. So that's something you need to
16 consider during your deliberations.

17 DR. CANTILENA: Okay. Could you expand on
18 that? Are you talking about like a behind-the-counter
19 category?

20 DR. ROSEBRAUGH: No. I'm going to try to give
21 you a simple answer to that. It's actually a lot more
22 complicated than what my brain can wrap around. We have
23 some regulatory people who can help.

24 But if you decide that it's not OTC, it does
25 not immediately go to prescription status. It would

1 probably require the filing of an NDA, and it would have to
2 be re-reviewed. Now, if the committee is saying this
3 shouldn't be OTC because we think the safety is such that
4 people can't use OTCness, that's one issue. If the
5 committee is saying it shouldn't be OTC because it doesn't
6 work, that's a whole different issue.

7 DR. CANTILENA: So let's just walk through a
8 couple of scenarios. For example, if we say that we're not
9 convinced there is adequate efficacy and we have concerns
10 for safety, we recommend it not be over-the-counter, then
11 would the process then be -- first of all, it's advice. So
12 then would the process then be that you would have to amend
13 the monograph with the comment period or can you
14 immediately have it removed as an OTC and then await the
15 filing of an NDA? So would there be a period of time where
16 you were unable to get this?

17 DR. ROSEBRAUGH: Well, like I said, since
18 you're starting to get into some complicated issues, I'm
19 going to turn it over to Tia Frazier who's one of our
20 regulatory experts.

21 MS. FRAZIER: I don't know about a regulatory
22 expert.

23 Some of the advice that we have would be
24 ongoing, depending on what the outcome of your
25 deliberations here are. But if ipecac was not covered

1 under the monograph, it would require a new drug
2 application, and there may be temporary or some period of
3 time -- we don't know how long -- before ipecac would be
4 available Rx. Before the drug was approved, it would not
5 be available in the marketplace.

6 DR. WOOD: Is it currently available by
7 prescription?

8 MS. FRAZIER: There are some new drug
9 applications for ipecac syrup, but the indication was
10 thought to be over-the-counter.

11 DR. WOOD: No, that wasn't my question. Is it
12 available by prescription right now?

13 MS. FRAZIER: Not that I'm aware of.

14 DR. WOOD: Do we know that for sure?

15 MS. FRAZIER: Can I tell you that I'll get back
16 to you?

17 DR. WOOD: Sure.

18 DR. CANTILENA: So if I understand, if you look
19 at sort of the history, the motivation for a company to
20 file an NDA, looking at the slides that we were shown in
21 terms of number of doses available, it's highly unlikely
22 that there's a market there. So then it would be, I guess,
23 almost like an orphan drug.

24 So the answer to the question, if I can be
25 clear, is that there would be a time -- if we raise

1 concerns of a significant level regarding safety, where you
2 felt compelled to remove it from the market for safety
3 reasons, there would be a period of time, which could be
4 years, before it were available anywhere in the United
5 States by Rx or OTC. And that would really sort of depend
6 on the timing of the filing of an NDA.

7 DR. ROSEBRAUGH: What I would say is if we were
8 going to take it off OTC status and we didn't consider it
9 an emergency to get it off, it would require rulemaking to
10 do, and that is a rather lengthy process. So there would
11 be plenty of heads-up for a company that they need to file
12 an NDA and get moving if they want it to be prescription
13 drug. So potentially there would not be a period of time
14 when it is not available, but we cannot force manufacturers
15 to file an NDA.

16 DR. WOOD: The answer to my question was we
17 don't know if it's available on prescription right now.

18 DR. ROSEBRAUGH: There actually is an NDA that
19 was inactive is my understanding. So as far as we know,
20 there's not an active NDA where it's available by
21 prescription.

22 DR. BULL: I would add, though, that the NDA
23 can be marketed OTC, that an NDA doesn't mean it's
24 prescription status. I think the question we're addressing
25 is whether or not if there is a recommendation that the

1 drug is not safe for OTC marketing and that means that it's
2 not going to fall under the OTC framework of GRAS and GRAE,
3 then there would have to be an alternative regulatory path
4 if there's a determination by manufacturers of maintaining
5 a market presence for the drug.

6 DR. CANTILENA: Does anyone else have any
7 questions of clarification in terms of regulatory process
8 from FDA? I wanted to handle that first just so everyone
9 was clear on what the possible outcomes were from an actual
10 regulatory standpoint.

11 Okay, then what I'd like to do is -- I'm sorry.
12 Dr. Williams?

13 DR. WILLIAMS: My concern is are those the only
14 two options that are available if we have some problems
15 with the efficacy or the potential abuse of the product.
16 Are those the only two solutions that we have? Continue
17 OTC or withdraw it?

18 DR. BULL: Excuse me. I invite Charlie and
19 Curt to chime in, but I think the determination we really
20 need the committee's input on is to address the clinical
21 science and the risk-to-benefit and to help us in terms of
22 assessing and providing your input and advice on its value
23 based on the information that's available and the
24 appropriateness of its current marketing schema.

25 DR. CANTILENA: Right. But I think really what

1 I was asking and what also Dr. Williams is asking is what
2 would be the consequences of answering the last question
3 regarding OTC status. In other words, would that result in
4 a lack of access to the product for a period of up to
5 years? Or what I'm hearing is that one possible outcome --
6 and please correct me if I'm wrong -- is that we would
7 recommend it's not safe for OTC, but then it would go into
8 a rulemaking process which can go on for a year or two or
9 more before an actual action occurs. Did I hear you
10 correctly?

11 DR. ROSEBRAUGH: Well, you're a little more
12 brave than I am in putting any kind of time limit on a
13 rulemaking, but rulemakings can be a very lengthy process,
14 yes.

15 DR. CANTILENA: In a case such as this, is
16 there a threshold that must be exceeded before you avoid
17 the process of rulemaking and you just say it's not safe to
18 be over-the-counter and we don't have to go through that
19 process?

20 DR. ROSEBRAUGH: I think the definition is an
21 imminent public health risk.

22 DR. CANTILENA: Any further points of
23 clarification from the FDA?

24 (No response.)

25 DR. CANTILENA: How about if we start with a

1 general discussion relating to the first discussion point
2 which is a discussion on the role of gastrointestinal
3 decontamination in the management of poisoning? Here I
4 would actually like to confine the discussion to really the
5 role of ipecac in this setting. I think it's sort of
6 beyond the scope to talk about activated charcoal, beyond
7 the scope to talk about gastric lavage, whole bowel
8 irrigation, and those sorts of entities. I think if I can
9 just open this up for general discussion about the role of
10 syrup of ipecac, if any, in your opinion in terms of
11 decontamination.

12 Dr. Wood, would you like to go first?

13 DR. WOOD: Yes. I'm concerned that we don't
14 fall into the trap of addressing surrogate endpoints that
15 are inappropriate. It seems to me the indication for
16 ipecac or anything else as a treatment of poisoning is to
17 improve the morbidity and mortality in patients who've been
18 poisoned. We've been caught innumerable times with what we
19 thought were reasonable surrogates and finding ourselves
20 trapped into treating the surrogates. So we didn't
21 recognize for a long time that it was inappropriate to
22 reduce the frequency of arrhythmias rather than recognize
23 we were trying to prevent sudden death or we were trying to
24 prevent cardiac mortality. So we zealously worked on
25 demonstrating the efficacy of antiarrhythmics by showing

1 that they reduced the frequency of arrhythmia.

2 So I think we've got to watch here that we keep
3 our eye on the ball and understand that the purpose of
4 giving the drug is to improve outcome in patients who have
5 been poisoned. I don't see any evidence that we've been
6 presented that the drug does that.

7 There's not even evidence that it consistently
8 improves the surrogate. When you start to think about it
9 as looking at that as improvement in outcome as your
10 endpoint, as I said earlier, you can't ignore the fact that
11 the drug produces toxicity in that almost everybody who
12 gets it has significant vomiting. That's not the endpoint.
13 That's a side effect. If we had another therapeutic
14 strategy that allowed you to reduce drug exposure with no
15 vomiting, we'd think that was better than this. So that
16 tells you what we're thinking about here.

17 So I think we need to be careful that we don't
18 get ourselves trapped into evaluating whether the drug
19 reduces arrhythmia frequency rather than looking at the
20 appropriate endpoint.

21 DR. CANTILENA: I would just comment that the
22 way I view it is if you assume that toxicity is
23 proportional to exposure and exposure relates to
24 absorption, in some of the studies, as was pointed out, the
25 average is closer to a 50 percent reduction if given very

1 early. Actually really the sort of scenario that I'm
2 focused on, Alastair, is having this available for
3 immediate use in the home within a few minutes of the
4 exposure. I would agree in the emergency department, no
5 role; after 30 or 60 minutes, no role, or no evidence of a
6 role. But there is pretty good data, as was pointed out,
7 that in some studies, the Bond study from '93, in pediatric
8 patients, an average of a 50 percent reduction in the
9 amount absorbed by actually looking at the concentration in
10 plasma.

11 So that's the surrogate that I'm focused on,
12 and if you say that that is your surrogate for efficacy,
13 we've approved things for OTC switch with much less than a
14 50 percent effect size.

15 DR. WOOD: Yes, but the effect size -- we've
16 got a mortality of whatever it is, 25 in this country. If
17 we went back to the days where 150,000 children were
18 getting this, 150,000 children got to vomit for a day and
19 in some cases longer for no proven benefit. It's
20 interesting. I'm not so sure we'd be so comfortable
21 advocating this therapy for adults where they might be more
22 able to make their own decisions as we are for advocating
23 this on behalf of children where it's easier to sort of say
24 to them, swallow this and we'll make you sick.

25 So I think we don't have evidence that it

1 improves outcome. We don't have compelling evidence it
2 even reduces plasma exposure in most cases. I think we've
3 got to be very cautious when we go with a drug that we
4 clearly know produces toxicity as part of its mechanism.

5 DR. CANTILENA: Any other comments from anyone
6 else in terms of the role of ipecac for home use as an
7 agent for gastrointestinal decontamination? Ted?

8 DR. TONG: Thank you, Lou.

9 Yes, I can support the committee's
10 recommendation that syrup of ipecac be removed from an OTC
11 status if that's the decision. Then what I would need to
12 do is to think about the so-called unintended consequences
13 of doing that in a community of families and children that
14 our poison center in Arizona serves.

15 Clearly what was presented this morning
16 suggests that the question answered is what difference does
17 it make. It certainly works if you hear Dr. Robertson and
18 his situation of 90 percent and all the way down to 30
19 percent in those cases. But does it make a difference? I
20 think outcome.

21 And the question that Dr. Alastair Wood is
22 pointing out is the outcome is pretty significant in terms
23 of adversity. Having children vomit all day wouldn't be a
24 desirable outcome, and in fact, I think all the speakers
25 here this morning would say it's pretty uncommon to have

1 intractable vomiting, but we've all seen that. We've seen
2 that in cases, and it's pretty unpredictable as who. And
3 that requires follow-up and particular careful management
4 and oftentimes even admission to an emergency room or to a
5 doctor because intractable vomiting is a very serious
6 problem.

7 We don't use ipecac in adults. When I say
8 "use," I'm talking about recommending in a poison control
9 sense because in the majority of ingestions, gastric
10 decontamination has extremely limited value and certainly
11 they're usually from overdoses, intentional suicide.
12 Again, managing a suicide at home is totally inappropriate
13 and not in any of the recommendations.

14 So I would have to think in terms of a poison
15 center practitioner and the people that we care for and the
16 caregivers that are given the information that we provide
17 in terms of the proper use of ipecac. I thought the FDA
18 did an extremely good job 20 years ago focusing on the
19 labeling and saying how important it was to have a learned
20 intermediary between the patient, the family, the
21 caregiver, the child, and the pharmacist, the source of the
22 ipecac.

23 I'm thinking if syrup of ipecac is not
24 available and used in the way that we normally use as
25 teaching clearly the label's instruction, and people would

1 be calling the poison center at least without -- the fact
2 that now we have a national 800 toll-free number for poison
3 control centers around the United States, thanks to the
4 American Association of Poison Centers, again, the question
5 about people are going to take it without calling, without
6 getting advice is going to be diminished because every year
7 in March we carry on campaigns about poison safety,
8 although fewer and fewer of our campaigns are focusing on
9 syrup of ipecac. Clearly our trend in the poison center in
10 Arizona mirrors what the national trend is. It's fallen
11 dramatically in terms of the amount of ipecac used. So
12 that's clear.

13 So I'm concerned about the unintended
14 consequences, which we will address as poison center
15 specialists and as poison control centers.

16 Again, well, what about in Arizona? We will
17 find ways to make sure that when children accidentally
18 ingest materials, that a home management is appropriate and
19 proper with proper follow-up care, that we'll find other
20 ways. Our message then will be not to do things, not to
21 use salt water, not to use peroxide. Isn't there some
22 chemical that can produce -- how about soap? That's a good
23 idea. I see my child drinking soap and he vomited in 10
24 minutes. Again, the inclination is get it out of the
25 stomach, and for all the data that's been shown, getting it

1 out of the stomach 30 minutes to an hour afterwards isn't
2 going to be very effective in terms of the outcome.

3 So I could support a committee decision about
4 saying that -- the OTCness I don't think has changed. I
5 think the OTCness still remains. I was on this committee
6 in '93 to '97. So I learned a great deal from Dr.
7 Weintraub and from the FDA staff and from Lou. Focus on
8 OTCness. In the discussions this morning, I'm going to try
9 to continue to do that and think about that.

10 I know you're going to have other questions
11 about misuse and abuse. So I'll just hold off.

12 I think the question is in my work in the
13 poison center, in my teaching of pharmacology/toxicology,
14 clearly gastric decontamination is limited. And I would
15 use the story of ipecac, the history of ipecac, and
16 whatever is going to happen as an example of how to think
17 in terms of a therapeutic agent. What is the outcome? So
18 it works. It's impressive when it works because I've had
19 ipecac thrown up all over my coat standing in the ER. I've
20 also seen what happened in adults, like the alcoholics who
21 got ipecac and shouldn't have and exsanguinated in the
22 emergency room for a Mallory-Weiss tear. So I know we
23 spent some time on history today, and I just hope that
24 whatever comes out of here, that there's enough of us to
25 convey that history to our students because this will come

1 back again in 20-30 years if we don't.

2 DR. CANTILENA: If I can just ask a follow-up,
3 Ted. Is your poison center still using ipecac at home?

4 DR. TONG: We still recommend ipecac at home,
5 but like I mentioned, our use has declined very, very
6 rapidly. If we use it more than 100 times, 200 times a
7 year, I'd say that's probably where our usage is. So it
8 reflects pretty much what the national data is. So we're
9 part of that declining trend.

10 But also, our outcomes are also very much
11 improved. And we've also accepted a higher toxic dose
12 ratio. I think Dr. Manoguerra and Dr. Tenenbein pointed
13 out that we're keeping people at home today a little more
14 liberally than we did 20 years ago.

15 The toxic time bomb is the one that we're
16 concerned about that oftentimes gets misplaced. We were
17 talking about acetaminophen. That's a toxic time bomb.
18 Well, the child took a couple of Tylenol -- or I shouldn't
19 use that word -- acetaminophen and nothing has happened.
20 So no big deal. But I think we all know that's a signal
21 for medical attention if a child has taken a significant
22 amount of Tylenol. But the point being that the person
23 looking says there's no problem with the child so there
24 can't be a toxic ingestion here.

25 So we continue to use it, but our usage has

1 been very much minimized. It's the distance. And I agree.
2 Rural maybe does not cover everything, but it's in
3 inaccessible situations where ipecac, if it's at home,
4 we'll recommend it. But we don't send people out to get
5 ipecac, nor do we send emergency personnel to the home with
6 bottles of ipecac. And we don't use ipecac in our
7 emergency room.

8 DR. CANTILENA: What would be sort of the
9 characteristics of a case in which you would strongly
10 recommend ipecac? In other words, if it's no longer
11 available by any route, who would we really be impacting in
12 terms of what you see in your practice?

13 DR. TONG: It will probably be the people who
14 already have ipecac in the home calling us and we're
15 telling them don't take it. And they say, but you gave
16 this to me five years ago, and my first son didn't use it,
17 but my third daughter has ingested the same thing or the
18 same situation. So we'll need to explain why now we're
19 telling them not to use it even though five years ago it
20 produced a good outcome.

21 In Arizona we have a lot of isolated regions in
22 northern Arizona in the Native American region, and we have
23 good public health physicians and public health caregivers
24 there. And ipecac seems to be a helpful thing for them
25 because then they get access to the patient, and again this

1 whole instruction, teaching them of how to manage something
2 when an accidental ingestion has occurred. I think the
3 ipecac ends up sort of being the information link. People
4 look at ipecac. They know to call somebody because it's
5 related to a poisoning problem.

6 DR. UDEN: Excuse me. But I'm still confused,
7 Ted. I mean, ipecac is going to work as well or poorly in
8 a rural area as it does in an urban area. So I'm having a
9 hard time understanding what makes rural any different in
10 terms of access or anything. If the stuff doesn't work or
11 doesn't work well, it doesn't work well in Blackduck,
12 Minnesota or in Minneapolis.

13 DR. TONG: So the point being that if it's not
14 going to be efficacious in terms of outcome, we shouldn't
15 even be recommending it in those areas. Well, that's
16 where, again, we know a large quantity of home ipecac is
17 stored. So again, it gives us reason to ask them usually,
18 do you have ipecac in the home. If they say no, we go on
19 and continue our description of how to manage the patient.
20 But very often because of our 30 years of effort in
21 getting ipecac into the home, we'll ask, do you have
22 ipecac, and they say yes, by the way, it's right here by
23 the telephone like you told us when the nurse visited us a
24 couple months ago. And then the whole question is, well,
25 don't do anything because it's not effective.

1 DR. WOOD: Yes, but we move on. I mean, just
2 because we used leeches at one time, we don't say, do you
3 have leeches in the home and use that. If we don't think
4 the drug works, I agree with Don, if it doesn't work in
5 Nashville, it doesn't work outside of Nashville either.
6 There aren't many drugs we have that are indicated for
7 rural Americans and not for urban Americans. That seems to
8 me just counterintuitive. If it doesn't work, it doesn't
9 work.

10 DR. TONG: The question was what I do in
11 Arizona and the situation in Arizona. That's what we've
12 been faced with. Sure, I appreciate that.

13 DR. CANTILENA: Right. So you don't see any
14 population or group of patients, pediatric or otherwise,
15 that would really be harmed by not having it available.

16 DR. TONG: Be disenfranchised or disadvantaged?
17 No.

18 DR. CANTILENA: But if I heard you correctly,
19 when I asked you when you use it, you're actually just
20 using it as a vehicle to establish the communication. But
21 I was sort of getting at what type of patients would you
22 actually recommend that they give the ipecac for at home
23 and then observe. Is there a specific population if you
24 looked through your exposure use, every time that you used
25 ipecac?

1 DR. TONG: No, not a specific population.

2 DR. CANTILENA: Okay.

3 DR. TONG: If it's one or two aspirin, that
4 type of thing, again that fits within the criteria of what
5 can be managed at home, which is again general agreement
6 with the business, then we'll go ahead and do it. But no,
7 not a specific population.

8 DR. CANTILENA: Dr. Blewitt?

9 DR. BLEWITT: Well, I would take issue with the
10 statement that the drug doesn't work. No one knows that
11 the drug doesn't work. The appropriate studies haven't
12 been done to look at outcomes in cases of overdose. The
13 studies that have been done have been done in a clinical
14 setting, as everyone has noted here. So the fact is that
15 it would appear, based on the clinical pharmacology, on
16 what data is available, that time is of the essence, and so
17 the ability to have it in the home where it may have some
18 effect is, I think, particularly useful.

19 Now, there are practicalities here. There is a
20 practicality, and that is if anyone considered removing
21 this product from OTC use, it requires, as we've heard, a
22 new drug application. My argument would be that perforce
23 this will remove it from the marketplace entirely because I
24 personally -- and I'm speaking for myself -- can't envision
25 any company with a market that is this small and small

1 companies undertaking all of the work that would be
2 necessary to go through the entire NDA process. They'd
3 simply take it off the market. I think that's the
4 practicality of it. So it's not OTC versus Rx. It's OTC
5 versus does it stay around at all. So I think that's
6 really what people have to address here.

7 I think, as Ted has said, there are possible
8 situations where it's of value, and it's still used not
9 only by Ted, but other poison control centers. I think
10 that a great deal of consideration has to be given to its
11 availability in the marketplace, just for that particular
12 rationale.

13 DR. CANTILENA: Thank you.

14 Dr. Clapp?

15 DR. CLAPP: There are several things that come
16 to mind in considering the efficaciousness of this drug.
17 First of all, from the history that we've heard and some of
18 the data that we've heard today, right now we're
19 approximately at 25 deaths annually with children secondary
20 to ingestion. Then I hear the 16,000 number of how many
21 calls came in or were received at the poison control center
22 having referenced ipecac. Whether or not they received it
23 on the advice of the poison control versus had administered
24 it and then informed poison control wasn't clear. That's a
25 huge drop with an increase of, I think it was, 1.5 million

1 calls to the poison control centers.

2 Dr. Tong references 100 to 200 cases in Arizona
3 that received ipecac, but I'd like him later to clarify the
4 indications for that, what were the clinical indications
5 for the advice as opposed to the fact that it was in the
6 home and the parents were perhaps using it as a vehicle to
7 access medical care. What were the medical considerations
8 given to advising those parents to use ipecac?

9 What the interesting consideration is, with the
10 advent of so many other safety precautions, from child-
11 proof tops to safer medications, we have very few
12 medications right now I think in pediatrics -- well, no,
13 that's not true. Perhaps children are ingesting less of
14 the highly toxic medicines as they were before because of
15 safety precautions.

16 So then we come down to what drugs are we
17 really fearful of and what is their lethal dose, what will
18 cause lethality in children. Once considering that, then
19 you have to consider what is the dose and timing of ipecac
20 and does it really reduce the lethal burden that that child
21 has in the ingestion. And we get back to the 25 percent or
22 was it one-quarter full versus three-fourths full cup.

23 My feeling is I heard something that stood out
24 today. Efficacy does not improve with distance, and I
25 think that's an important statement to consider. If we are

1 kind of quibbling over the dosages that the children are
2 receiving that are putting them at risk of death and then
3 ipecac is not the first drug of choice to decrease that
4 lethality, then we are talking about giving children a
5 medicine that's very inconvenient. And that's true.

6 And I liked what Dr. Wood said about if you
7 were an adult, would you take a medicine that made you
8 vomit regardless of the outcome? We do lots of things to
9 children that adults wouldn't tolerate, unfortunately.

10 Getting back to my point, I don't hear that the
11 efficacy is significant in reducing the outcome of
12 lethality in children. I hear that the medicine does make
13 you vomit, but I don't see, in reading this, convincing
14 evidence that the vomiting is reducing a significant amount
15 of morbidity and certainly no mortality with the
16 administration of ipecac. This is what I get out of the
17 presentations today.

18 So my biggest question was is there a certain
19 subcategory of people who we must be concerned about that
20 if they are not accessing ipecac will access no medical
21 care.

22 Then I come back to the 25 number. We could
23 ask the FDA to give us information of where these people
24 were. Are they rural people who have no access to medical
25 care? Or are they urban dwellers who just wouldn't have

1 responded to medical care had they used ipecac initially or
2 not? 25 is not a convincing number that sort of addresses
3 whether or not is working on a widespread basis. If it
4 were -- no, I shouldn't say that. I can say that the
5 number that poison control is advising to use ipecac
6 doesn't seem to be significant enough that that is
7 responsible for the decreased number of mortalities to 25.

8 DR. CANTILENA: Thank you. I think you had
9 about four or five questions.

10 DR. CLAPP: But the one I'd like is the
11 clinical indications, the specific medical indications for
12 which the Arizona poison control advises patients to use
13 ipecac.

14 DR. CANTILENA: Right, and I would actually
15 like to hear from Dr. Tong. I think I sort of asked him
16 that and he sort of dodged it twice. But I think we're
17 going to make him answer it this time, and then ask
18 actually Dr. Robertson if he can share his experience from
19 Seattle.

20 DR. TONG: Well, thank you for a tough
21 question. It reminds me of when I had to take the boards
22 for the American Board for Applied Toxicology, and we
23 should be on the hot seat because we're on it 200 to 300
24 times a day.

25 I'm just thinking of some examples. Since I'm

1 not on line making the response all the time, I'll give you
2 an example of like 1 or 2 tablets of Tylenol and it's very
3 certain that that's what we're talking about. One that I
4 recall the last time I was on the line was 20 to 25
5 children's chewable vitamins with some iron in it.

6 Again, the protocols for managing children who
7 have ingested potentially toxic materials are actually very
8 rigorously examined and overseen by the American
9 Association for Poison Control Centers. So that's the work
10 of people like Dr. Manoguerra, Dr. Robertson.

11 But again, they're the kind of situations where
12 exactly what was said here that if you left them alone,
13 they'd be okay. The real value of a poison control center
14 is the continuing follow-up, and the poison center staff
15 will ask questions like if there's no opportunity to follow
16 up, how do we deal with that. We have a medical director.

17 We have physicians in our poison center, and that's a
18 judgment call. I mean, every call involving an ingestion
19 is a judgment call. You know, how is the child doing? How
20 long was it? Is the caretaker able to manage through
21 directions over the telephone that particular situation?

22 So I'm still kind of dodging it. I don't have
23 a list with me to say here are the 10 things that we would
24 do in case of an ingestion.

25 But clearly, we all understand that and we

1 ascribe to what Academy and the Association are all looking
2 at and waiting for their decision. It's taken many years
3 and the deliberation continues.

4 So the example would be things that are not
5 anywhere near a medium to severe toxic situation. Clearly
6 anything that's not manageable in the home, and that list
7 is quite extensive, suspected abuse, again a care situation
8 that's unstable, a number of other things. So there are a
9 lot of reasons why managing a child who has ingested
10 something at home shouldn't be done.

11 DR. WOOD: Ted, I believe I want to try and
12 force you here. Is what you're saying that the group of
13 kids that you think should get this are the group of kids
14 who would do fine if they didn't get it? Is that fair?

15 DR. TONG: Yes, I'd agree. Sure. Those would
16 be situations where if we didn't give it, they didn't have
17 it at home, we wouldn't go rushing out --

18 DR. WOOD: So if they didn't have it at home,
19 you wouldn't give it to them and you wouldn't bring them
20 into the hospital.

21 DR. TONG: We'd still manage them at home,
22 sure. We give them calls back.

23 DR. WOOD: So what we do is we take somebody
24 who we believe who will get better spontaneously and we
25 make them sick. That's what I'm hearing. So we take

1 somebody who's going to do fine with nothing, and we make
2 them throw up a few times and we all feel better because,
3 you know, we've done something. But that's not medicine.
4 That's black magic.

5 DR. TONG: Well, I said I could practice the
6 poison control center without the assistance of syrup of
7 ipecac.

8 DR. WOOD: That's hardly a ringing endorsement
9 for a therapy, it seems to me. So I think if that's the
10 indication part, then that's a worry to me.

11 Then the second thing is if the other group
12 that's supposed to be treated with this are people who
13 we're not sure we can get back for a follow-up, I see that
14 as equally disturbing. If you've got a child who's taken a
15 potentially lethal overdose of acetaminophen and you have
16 doubts about their ability for follow-up, none of us would
17 believe that ipecac would be sufficient therapy on its own
18 without appropriate --

19 DR. TONG: No. I didn't mean to suggest that
20 we give people ipecac because we don't have follow-up. In
21 fact, that's a reason not to do that. I'm sorry to mislead
22 you on that one, but you're absolutely right. We don't do
23 that.

24 DR. TENENBEIN: (Inaudible.)

25 DR. CANTILENA: If you can hold that thought, I

1 actually will ask you to comment on that. But I was
2 wondering if I can get Dr. Robertson to comment on the
3 question that we've been asking Dr. Tong in terms of who is
4 really the ideal ipecac patient, and if it were not
5 available at all, Rx or OTC, who would be hurting the most.

6 DR. ROBERTSON: Let me address that last one
7 because there are other alternatives that haven't been
8 mentioned. In the '60s, there were a lot of studies using
9 various detergents, not the real alkaline or the real acid
10 ones, but others, and the emetic response is remarkably
11 good. So there is an alternative. It's better than soap.
12 We've studied gagging. Gagging isn't worth the time of
13 day. So if ipecac is not there, then one does have the
14 detergents.

15 I don't care whether it's ipecac or any emetic
16 agent -- and I've even talked about putting apomorphine in
17 capillary tubes and dropping it into the conjunctival sac
18 to induce emesis.

19 But the product of emesis can reduce the amount
20 that's available for absorption, and if I have a child --
21 an example would be -- who takes 10 calcium channel
22 blockers, 10 of them, and is 2 years old and I'm concerned
23 about this child, and I'm going to send him to hospital for
24 some appraisal, I would be inclined, if there were two
25 parents there, to introduce the ipecac to reduce the amount

1 that he's going to absorb by the time he gets to the
2 hospital. And that's going to be more efficient in my
3 book, as I read the data, than doing charcoal 2 hours
4 later.

5 With ipecac there hasn't been a single acute
6 death in 17 years in prepubertal kids. There have been
7 repeated doses that have done that, but there are a lot of
8 children, when they get to the hospital, even though the
9 amount is borderline, they go for 18 doses of treatment and
10 3 days of hospitalization and lots of opportunity for
11 mistakes. And if I can reduce the amount that that child
12 shows up with in the emergency department, that's going to
13 save him, quotes, child abuse by over-treatment, I think
14 that's a reasonable cause.

15 Now, am I saving a life? I doubt it unless he
16 has something really screwed up in the hospital.

17 The last thing, and I neglected to mention this
18 this morning. This is not science. What I've said so far
19 I think is science. But opinionairres were sent out to
20 poison centers and were sent out to the medical directors
21 of poison centers in the last couple of years, and there
22 was an overwhelming majority that advocated from both of
23 these -- and I can send you the abstracts for them -- that
24 yes, we keep the ipecac available.

25 But I tend to disagree with what Dr. Tong is

1 saying. I don't do it for appearance. I'm going to try
2 and get something done. If I can't persuade people over
3 the phone or by mail, life is tough.

4 DR. CANTILENA: Thank you very much.

5 Comments from Dr. Tenenbein and Dr. Manoguerra,
6 and then we'll go back to the committee.

7 DR. MANOQUERRA: Well, I have a lot of respect
8 for Dr. Robertson's experience, but I just have to comment
9 that the last child that I would want to give ipecac to is
10 a child who has taken a calcium channel blocker that is
11 going to have bradycardia, and the vagal response from the
12 ipecac very likely may make him asystolic.

13 I personally cannot think of a situation where
14 I would recommend ipecac at the present time.

15 Getting back to the question that was given to
16 Dr. Tong, I can reminisce back to when we stopped using
17 ipecac in our center. The hardest time that we had was
18 convincing the staff not to want to continue using it
19 because they were so comfortable doing it year after year
20 after year, that it was difficult to get them to stop. And
21 I think that's the same problem that Ted would have if he
22 went to his staff and said we're going to stop using ipecac
23 today. There are going to be those staff members who have
24 been giving it for -- I mean, children's chewable vitamins
25 with iron are totally nontoxic. There's never been a

1 serious poisoning with children's chewable vitamins with
2 iron. But my staff lined up at my door and said, what are
3 we going to do with all the kids who get into chewable
4 vitamins with iron? Are we going to stop giving them
5 ipecac? And we said, yes, we are, because all we're doing
6 is making them sick. I think we're taking kids who are
7 going to be completely asymptomatic, maybe a little upset
8 stomach, and we're making sure that they get an upset
9 stomach by giving them ipecac.

10 As far as the question about in what situations
11 is ipecac currently being given, I was told that the data
12 that I was e-mailed last night has that information in it,
13 being taken out of the AAPCC database.

14 In response to, I think it was, your question
15 about the deaths that are occurring, if you look at those
16 deaths, the vast majority of them are not orally ingested
17 medications. The vast majority of them are corrosives,
18 pesticides, petroleum distillates, carbon monoxide
19 exposures, therapeutic misadventures, children given 10-
20 fold overdoses in the hospital. They are those kinds of
21 exposures that are resulting in death. The typical child
22 who's ingesting mom's or dad's medication at home are not
23 ending up as fatal ingestions as they did 30 or 40 years
24 ago.

25 DR. CANTILENA: Any comments, Dr. Tenenbein?

1 Those are your comments. Okay, good.

2 Dr. Tong?

3 DR. TONG: There is one situation in Tucson --
4 well, in Arizona we have the monsoons, and if you monitored
5 the use of ipecac, you'll see a blip because there are
6 mushrooms that come up post monsoon. We know from our own
7 studies in our own area in our state, that children who
8 take the bite or two bites of the lawn mushroom -- it's not
9 the liver-damaging mushrooms -- and a good portion -- you
10 say, well, what's a good portion? 50 percent plus will
11 have GI upset, gastrointestinal upset. So I know our staff
12 will recommend syrup of ipecac in those situations, given
13 all the other caveats that we can call back to monitor and
14 they're not in a car, they're not locked out someplace. So
15 I think Dr. Manoguerra is correct.

16 I think the other thing is that even with
17 prescription medicines, the one or two tablets, it depends
18 on what that prescription medicine is. Clearly calcium
19 channel blockers I would agree in our experience also would
20 not be something that you'd stop at just giving ipecac and
21 monitoring at home. So there are situations case by case.

22 But since Dr. Wood was asking give me an
23 example. Plants, the small pieces of plants. We're not
24 talking about ingesting the medications. Again, we've
25 successfully managed ingestions of those kinds of

1 situations with syrup of ipecac, but we can treat them
2 without it too. You're correct.

3 DR. CANTILENA: If I could ask the committee if
4 you wouldn't mind just taking a quick 5-minute break. We
5 have to confer on a point of procedure. So if we can just
6 take a 5-minute break, we'll be back in exactly 5 minutes.
7 Thank you.

8 (Recess.)

9 DR. CANTILENA: If we can take our seats again
10 and resume.

11 We will actually move to the questions. As we
12 deal with the first question, I guess what I would like to
13 propose is we'll sort of go around the table, and if the
14 committee members can comment just on what the question
15 asks, which is what is their opinion regarding the role of
16 gastric decontamination in poison management. Important,
17 not important, unnecessary? That would be one way of
18 handling it.

19 And then question 3 is the one that we're
20 actually going to spend some time on and dissect that one
21 out.

22 Let's start --

23 DR. WOOD: Lou, are we only talking under
24 gastrointestinal decontamination ipecac? Are we also
25 talking about charcoal there?

1 DR. CANTILENA: Charcoal, lavage, ipecac, but
2 we are especially interested in syrup of ipecac, but just
3 sort of the general role of gastric decontamination.

4 Are there any questions or items that people
5 want to discuss before they give their opinion on that
6 question? Dr. Davidoff.

7 DR. DAVIDOFF: Well, I had a question that
8 really gets to the Bond study which has been quoted a
9 number of times which I think potentially may be a very
10 important bit of information. But it is a rather slender
11 reed. My question has to do with whether the study
12 corrected for the ingested dose of the toxin because if
13 not, then we don't know if this apparent 50 percent lower
14 blood levels in the patients who had gastric
15 decontamination -- whether you can attribute the lower
16 levels to the gastric decontamination. Does anyone know?
17 Do you know, Dr. Robertson, if the ingested doses were
18 comparable of the toxin?

19 DR. ROBERTSON: I've talked to Dr. Bond about
20 that, but I don't have the article with me, so I got to
21 trust memory.

22 When you ask the parents how much the kids eat,
23 the accuracy of that number is enormously varied. The
24 assumption that the group made was that all of the kids
25 came from one group and had a normal distribution curve,

1 and they got different estimates and felt that the
2 estimates for the ones who got the stuff and didn't get the
3 stuff were within the same ball park. That's what their
4 assumption was.

5 DR. DAVIDOFF: Thank you.

6 DR. TENENBEIN: This was a multi-center study
7 on historical data. The short answer to your question is
8 no because you don't know how much these children have
9 taken. What they were relying on is that the n, the sample
10 size, was large enough to correct for whatever errors there
11 might be in that both populations were similar. Having
12 said all of that, of course, all of these patients had
13 ingested nontoxic amounts.

14 DR. CANTILENA: Thank you.

15 Any other comments or questions? Dr. Johnson?

16 DR. JOHNSON: I guess I have a question perhaps
17 for the experts, and that relates to the available
18 literature on a do-nothing approach. It seems that there's
19 been a lot of, at least, suggestion that in most cases do
20 nothing is more than okay, and I think at least one of the
21 studies had a do-nothing arm. But I'm wondering if there
22 is more literature available that really discusses the
23 outcomes in patients where nothing is done in terms of
24 gastric decontamination.

25 DR. CANTILENA: Dr. Tenenbein, do you have a

1 comment?

2 DR. TENENBEIN: Well, again, the study that
3 you're quoting about the do-nothing arm is not relevant
4 because that was an emergency department. It wasn't soon
5 after.

6 The short answer to your question is there are
7 no specific data on that.

8 There are ways, of course, of analyzing the
9 data that are available to us. It's that poison deaths
10 have decreased. The use of ipecac has decreased, and no
11 other country in the western world has this intervention
12 and they don't have an epidemic of little children dying.

13 And that's the best that it gets. It just
14 doesn't get any better than that. And the type of data
15 you're asking for will never be produced. So it's a
16 decision of best practice based on those types of data.

17 DR. JOHNSON: So along with deaths decreasing,
18 are hospitalizations from ingestions also decreasing? Have
19 they decreased over time?

20 DR. TENENBEIN: Yes.

21 DR. CANTILENA: Any other comments?

22 (No response.)

23 DR. CANTILENA: Okay. Let's start actually
24 with Dr. Blewitt and if you can address the issue of a role
25 of gastrointestinal decontamination in poison management.

1 Obviously, we care about ipecac, but any other comments
2 that you'd have for the other modalities are welcome.

3 DR. BLEWITT: I'll confine myself to ipecac.
4 The evidence would support, at least clinical pharmacology
5 evidence would support, that time is of the essence for the
6 drug at all to be effective, and it does demonstrate that
7 there is efficacy in reducing the amount of ingested
8 material.

9 The database, as I've said before, lacks the
10 studies of effects of home use or even, frankly, of abuse
11 and misuse. But it does appear to work in the acute
12 situation. Outcome data is lacking clearly. Those kinds
13 of studies haven't been done, probably never will be done.

14 In my own opinion, it simply offers another
15 therapeutic modality option.

16 DR. CANTILENA: Thank you.

17 Dr. Clapp?

18 DR. CLAPP: I think I'd have to read more
19 specifically on activated charcoal and gastric lavage to
20 give an informed opinion.

21 As far as ipecac is concerned, it seems
22 efficacious in inducing vomiting. Now, it seems that the
23 question as to whether or not it is efficacious in reducing
24 the morbidity and mortality from poisonings doesn't seem to
25 be borne out with the evidence that I've been presented

1 with.

2 DR. CANTILENA: Dr. Johnson?

3 DR. JOHNSON: I too would prefer not to comment
4 on anything besides ipecac. I guess my assessment is that
5 while administration of ipecac very shortly after the
6 ingestion may numerically reduce the exposure, the plasma
7 concentration, it appears that it provides no benefit in
8 outcome. I guess I concur with Dr. Wood's assessment that
9 outcome is really what we're after, and if the outcome was
10 going to be good with nothing, then we're only creating
11 problems by administering the ipecac, even if the ingested
12 concentration does reduce slightly.

13 DR. CANTILENA: Dr. Tong?

14 DR. TONG: Well, on the issue of gastric
15 decontamination as a procedure, I clearly believe in it.
16 We're not talking about charcoal. I think you've heard me
17 say enough about syrup of ipecac. I agree that if we're
18 looking at outcomes, that's the question. It works but
19 does it change anything? And clearly what we've heard is
20 that it doesn't.

21 My feeling is I can practice poison control
22 centers without syrup of ipecac. If we were talking about
23 a home management gastrointestinal decontamination, ipecac
24 certainly is a practical agent if it were available. Thank
25 you.

1 DR. CANTILENA: Dr. Williams?

2 DR. WILLIAMS: As a topic of gastrointestinal
3 decontamination in a time-oriented fashion, I still have a
4 belief that syrup of ipecac will be satisfactory in a short
5 period of time from onset of installation to the time of
6 its action, which we're talking about 15 and certainly no
7 longer than 30 minutes. So I would continue the usage of
8 it in that format as a home preparation as an emergency
9 preparation, but certainly not as an emergency room effort.

10 DR. CANTILENA: Thank you.

11 Dr. Davidoff.

12 DR. DAVIDOFF: Well, I came into the meeting
13 pretty much convinced by the intuitive rightness of gastric
14 decontamination with ipecac and perhaps other things. I
15 guess after hearing the information presented today and
16 reading the papers, I'm much less convinced of its value.
17 Or maybe it's better to put it the other way around. I
18 think there almost certainly are a few kids who treated at
19 home with ipecac would probably be better off in terms of
20 either, say, a hospital course or even potentially, very
21 rarely, preventing serious morbidity or mortality. But
22 after hearing the presentations today, I'm impressed that
23 those numbers must be very, very small.

24 In relation to that, I think even though I
25 understand it's important and convenient to discuss these

1 questions in isolation, I think discussing gastric
2 decontamination by itself, without putting that up against
3 all the other issues that we'll get to in the other
4 questions, is artificial. So I'm reluctant to rely too
5 much on how I feel about decontamination alone.

6 DR. CANTILENA: I guess my feelings on this are
7 that as has been said, time is of the essence. I think
8 there is a role for the overall decontamination, but very,
9 very early on. I think lavage has pretty much fallen by
10 the wayside. Part of my practice involves medical
11 toxicology and I'm not sorry to see that go. But I think
12 in my mind there is still a role for this early on, very,
13 very early after the ingestion in the home, and I don't see
14 that role being occupied by activated charcoal. So we'll
15 get into the specifics later on, but that would be where I
16 stand at this point on that question.

17 Dr. Wood?

18 DR. WOOD: Like some of the others, I'm going
19 to confine what I say to ipecac. I think there's no good
20 evidence of beneficial therapeutic effect of ipecac.
21 There's clear evidence of toxicity, and I'm reassured about
22 the lack of real effect of ipecac from the San Diego data
23 that when they stopped using ipecac, there's not been an
24 outbreak of disasters in the San Diego area. Nor,
25 interestingly, have there been problems in most other

1 countries, including the UK, Canada, and most European
2 countries in which ipecac is not available over-the-counter
3 and not available in the home. So it's not like the
4 standard of care worldwide is that we use ipecac. So I'm
5 not persuaded that this has beneficial effects, nor am I
6 persuaded that removal of it would produce problems, and
7 I'm strengthened in that, as I said, by the San Diego and
8 international experience.

9 DR. CANTILENA: Thank you.

10 Dr. Uden?

11 DR. UDEN: When I came to this meeting, I was
12 in the "use it early" camp. I've had a lot of experience
13 back in my early pediatric days managing poisonings. But
14 I've been painfully torn away from that, I think, at this
15 meeting. You can use it early, but if it doesn't make any
16 difference in the outcome, you shouldn't use it at all. So
17 that's where I am at right now.

18 DR. CANTILENA: Dr. Patten?

19 DR. PATTEN: I defer to the experts on all of
20 these questions. Remember, I'm a consumer rep and an
21 anthropologist. However, there does seem to be a
22 tremendous amount of information accessible to us that
23 indicates that this is not a particularly effective kind of
24 procedure to use.

25 I guess I worry less than Dr. Wood does about

1 the experience of emesis on a child. There are all kinds
2 of medications that children get that have all kinds of
3 adverse side effects. As a mother, I can recall episode
4 after episode of profound diarrhea as a consequence of
5 administration of antibiotics, for example. So that part
6 doesn't worry me.

7 But I think I do agree with Dr. Wood that we
8 must think of the outcome. The outcome is what should help
9 us determine. And if the outcome is not improved by this
10 procedure, then I would not endorse it.

11 DR. CANTILENA: Dr. Lam?

12 DR. LAM: Based on the presentations and the
13 evidence, I think ipecac has some but limited efficacy, and
14 certainly has no impact or no study to show the impact on
15 morbidity and mortality.

16 I certainly have not heard so far that there is
17 one subpopulation that it would be harmful if we take
18 ipecac out of the management procedure. So I would say
19 that there is not much of a role. I wouldn't say no role,
20 but not much of a role in terms of the management of
21 poisoning.

22 DR. CANTILENA: Thank you.

23 Curt, was that an adequate discussion for that
24 point?

25 DR. ROSEBRAUGH: Yes.

1 DR. CANTILENA: Okay. Let's move on to the
2 next one, which is, is the availability of emergency
3 medical treatment, rural versus urban, clinically relevant
4 to whether of syrup of ipecac is used for gastric
5 decontamination? I guess the people who say that it has no
6 role, I think I know your answer. But for everyone else,
7 we can just go around. Basically what we're asking here is
8 does it make a difference to you. Does it impact your
9 opinion on the use of ipecac whether you're in the rural
10 environment or urban environment? And we'll have a yes/no
11 vote on this, and we'll start with Dr. Lam.

12 DR. LAM: I will say no, there's no evidence
13 that there's any difference between whether it's an urban
14 environment versus a rural environment.

15 DR. PATTEN: I would not completely reject any
16 differential. Although distance doesn't impact efficacy,
17 time may. And there is some information in the literature,
18 as I read it, a very short interval of time, 5 minutes,
19 perhaps not much more. And if you are 90 minutes from an
20 emergency medical center or if you are living in a part of
21 the U.S. that is now being so heavily impacted by cuts in
22 funding, local government aid, et cetera, first responders
23 or rural hospitals are taking a big hit. So whatever the
24 situation is now, it's going to grow worse. So I would say
25 clearly more research is needed, but it's that 5- to 10-

1 minute window of opportunity for people who are distant
2 from professional care that I'd worry about.

3 DR. CANTILENA: Dr. Uden?

4 DR. UDEN: I'd say no, and my comments are in
5 the transcript already about this.

6 DR. CANTILENA: Yes, but who reads the
7 transcripts? I'm just kidding.

8 DR. UDEN: I don't know. I don't.

9 (Laughter.)

10 DR. CANTILENA: Dr. Wood.

11 DR. WOOD: I would say no, but I would
12 supplement that by saying that I think we've got to be
13 awfully careful about advocating ineffective therapies for
14 the poor or the disadvantaged or rural dwellers. I come
15 from a rural state, and we certainly try to provide the
16 same standard of care to everybody whether they're city
17 dwellers or rural dwellers. I'd be very concerned about
18 the idea that we would have the children of the
19 disadvantaged being made nauseated when we wouldn't have
20 our own children doing that.

21 DR. CANTILENA: Dr. Wood, I would agree that we
22 would never suggest doing that, and actually I'm influenced
23 by my years of working with the poison control centers in
24 Kansas and New Hampshire. So I do believe, for the reasons
25 that were articulated by Dr. Patten, that there's a very

1 limited -- because of the time factor and because of the
2 setting, there may be -- so I'll help Karen by saying
3 that's a yes. But it's quite finite and has limits.

4 Dr. Davidoff.

5 DR. DAVIDOFF: Well, I would also reemphasize
6 what a number of people have said, which is that it's
7 perhaps less rural versus urban, that it is difficulties
8 getting to care, which can be all kinds of things besides
9 distance. And those can certainly apply in cities very
10 easily and probably do more often than in rural areas
11 because there are more people living in cities.

12 That said, I will try to be consistent with
13 what I said earlier, and that is that deep down I do
14 believe there are a very small number of kids who are
15 potentially benefitted by ipecac decontamination. But
16 those probably can be found equally in many parts of the
17 country.

18 DR. CANTILENA: Dr. Williams?

19 DR. WILLIAMS: My answer is no, not because of
20 urban or rural. My answer is time-oriented. I think being
21 a practitioner here in Washington, as well as being a
22 practitioner in rural Virginia, I think that time is of the
23 essence in both situations. So it's a no for difference,
24 but yes for the same reasons that we need something as an
25 intervention on an immediate time frame for the patient and

1 the family.

2 DR. CANTILENA: Dr. Tong?

3 DR. TONG: Well, I'd say no here based on the
4 fact that there are no data to show relevance, the
5 connection there. But clearly, it's been said here about
6 the time issue. But I'll stand by saying no because the
7 data is not there.

8 DR. CANTILENA: Dr. Johnson?

9 DR. JOHNSON: No, I don't believe that the
10 thought process is affected by rural or urban or time.
11 It's intuitively attractive to sort of think that way, but
12 I think if your assessment is that it doesn't change
13 outcomes, it doesn't matter where the person lives or how
14 far they are from health care.

15 DR. CANTILENA: Dr. Clapp?

16 DR. CLAPP: No, and Dr. Johnson articulated the
17 reasons very well. I agree.

18 DR. CANTILENA: So the yes votes were 3, the no
19 votes were 7 on that question concerning rural versus
20 urban.

21 Now, we're actually just going to ask a
22 question that's not really been listed for us, and it has
23 to do with several members were talking about outcome data
24 as it relates to adverse effects from ipecac. There were,
25 I guess, in the data that were shown, Dr. Tenenbein, 20,000

1 patients who received ipecac. Was that in 2001 or 2002?

2 DR. TENENBEIN: Those were not my data.

3 DR. CANTILENA: Whose data was that?

4 DR. MANOQUERRA: It was about 16,000 cases in
5 -- I don't remember if it was 2001 or 2002. 2001, about
6 16,000.

7 DR. CANTILENA: I guess what I would like to
8 suggest to the committee and propose as a question, if
9 seconded, would be to ask that the FDA obtain the actual
10 outcome data on those doses, on the 16,000, or perhaps even
11 go back for three years, and see what the outcome was from
12 the ingestion of ipecac to see if we had a significant
13 number of adverse events, to see exactly what -- favorable
14 versus unfavorable, or if it really, truly made no
15 difference. Because I know from that database there's
16 actually quite a bit of follow-up. If you call, then
17 you'll be called back. That's sort of a standard for the
18 poison control centers. So there is the opportunity to
19 obtain follow-up data. As I understand, Curt, you don't
20 have that information. Is it just from 2001 or you don't
21 have that information at all from any year?

22 DR. ROSEBRAUGH: I don't think we have it at
23 all.

24 DR. CANTILENA: So my proposal would be that we
25 ask FDA to obtain that and to use that information to track

1 actual outcome. If you think about OTCs, as has been said,
2 the reporting of adverse events is relatively low for the
3 OTCs. But here, I think you have an advantage in that at
4 least when ipecac is administered at the recommendation of
5 a poison control center, there's always a follow-up call.
6 Obviously, it isn't 100 percent follow-up, but it's pretty
7 darned good. So I think unlike an OTC drug that would be
8 used where it's totally voluntary, at least there's an
9 opportunity here for active follow-up.

10 So my proposal would be to the committee to
11 offer the question to the committee whether or not we
12 should recommend that they obtain that follow-up and use
13 that information as they assess the adverse effects from
14 ipecac.

15 DR. WOOD: I don't understand that question.

16 DR. CANTILENA: Okay, the question is --

17 DR. WOOD: Let me just develop it. The
18 database is going to be 100 percent of the people who got
19 it. Is that what you're saying? And what are you going to
20 compare that to?

21 DR. CANTILENA: Part of the criticism was that
22 the adverse effects of ipecac are under-reported because
23 it's an over-the-counter drug. My position is that it's
24 not your usual over-the-counter drug in that you have
25 active follow-up that occurs in a very high percentage of

1 people who actually are exposed to the drug when it's
2 recommended by the poison center, which is the vast
3 majority.

4 So you have an opportunity basically to
5 complement the adverse event system that exists, which is
6 under-reported, we know vastly under-reported. Now you
7 have the opportunity to at least look at a more complete,
8 in terms of outcomes -- we're not saying that we just want
9 to know if they had vomiting. That's also reported. But
10 we want to know exactly what happened. Did they have to go
11 to the hospital anyway? What was the outcome? Were there
12 serious adverse events? I think it's information that I
13 would certainly like to see.

14 I would have liked to have had that information
15 here for this meeting because when you had raised the
16 question sort of characterizing this as a high toxicity
17 drug in terms of an OTC, and I'm saying that we have a
18 situation we should take advantage of so when the FDA takes
19 our advice internally, I would like to recommend or at
20 least ask the question to the committee if they would like
21 to have the FDA consider that as a source of information
22 regarding adverse events and outcome.

23 DR. TENENBEIN: May I interject a point of
24 information?

25 DR. CANTILENA: Yes, Dr. Tenenbein.

1 DR. TENENBEIN: It's my understanding in
2 discussion with Dr. Manoguerra that the adverse effects of
3 ipecac are not systematically collected during those
4 follow-up calls. The data that are specifically collected
5 are the adverse effects of the poisoning. So although some
6 of those data may be collected, it would be under-
7 reporting.

8 DR. CANTILENA: But you would agree with me
9 that it is active collection of data. It's not like we're
10 just relying on spontaneous reports for over-the-counter
11 drugs like aspirin or ibuprofen.

12 DR. TENENBEIN: It's prospective collection of
13 data but not the data that you're interested in.

14 DR. CANTILENA: Well, but there is outcome
15 data. You do ask what happened to the subject.

16 DR. TENENBEIN: In the sense of did they suffer
17 toxic effects from the presumed poison, yes.

18 DR. CANTILENA: Right. I think that's valuable
19 personally.

20 DR. TENENBEIN: We know all of those patients
21 do well. We know that because they're not dying.

22 DR. CANTILENA: No, no. I'm not saying we're
23 looking for mortality. I'm saying we're looking for
24 additional information regarding outcome.

25 DR. WOOD: Let me justify what I said. I said

1 this was one of the most toxic over-the-counter drugs. And
2 I don't think we need any more information to know that. I
3 challenge you to come up with a drug that produces 95
4 percent nausea and vomiting in patients that's available
5 over the counter. I'm not sure that we need the FDA to
6 spend a lot of time coming up with a bunch more
7 information. I can't conceive of how we're going to get
8 data that helps us with that.

9 DR. CANTILENA: I guess that's the first time
10 I've heard you ask that we not look for more information.

11 (Laughter.)

12 DR. CANTILENA: Especially if it's free or if
13 it just involves Curt's time, which he has plenty of time
14 to do this.

15 (Laughter.)

16 DR. CANTILENA: I'm really actually surprised
17 by that because if we were about to approve an over-the-
18 counter analgesic and we had an opportunity to say we're
19 going to say -- we actually have a system in place where we
20 phone everyone who took a dose of this drug to see what
21 happened to them, I think this committee in the past would
22 be quite enthusiastic to at least have that information
23 looked at. So I'm somewhat surprised.

24 We have one comment from Dr. Silber, and then I
25 believe there's someone here from the American Association

1 of Poison Control Centers who has a comment as well. Dr.
2 Silber.

3 DR. SILBER: My comment is that there are two
4 aspects to information gathering. One is the information
5 that can easily be gathered and another one is the
6 information that is necessary to be gathered. The problem
7 here is that those individuals who use ipecac and actually
8 abuse ipecac in a secret or surreptitious way are the ones
9 that we are most interested in learning about the magnitude
10 of the issue. And the problem here is this is going to be
11 very difficult to obtain. Not that it shouldn't be done.

12 But the issue that I'm wrestling with in my
13 mind is the following. Is it worth it to put out the
14 effort to gather exact information about something that is
15 very dangerous or may it be worthwhile to take protective
16 measures without the complete data? I don't know how long
17 it would take to do the study that I'm advocating.

18 DR. CANTILENA: I think you may not have
19 understood what I was asking for. This really doesn't
20 address the abuse population. This addresses the adverse
21 event population. The data is already in hand. It exists.

22 It's already on file and we just have to obtain it and
23 analyze it.

24 DR. SILBER: No, no.

25 DR. CANTILENA: So it doesn't address your

1 population.

2 DR. SILBER: I know. I understood it. What I
3 meant by that is even if we get all that information, in a
4 way it would be incomplete if it's not presented in the
5 context of the total population, what's the numerator,
6 what's the denominator, in other words. This may be a
7 specific segment of people who are exposed to ipecac. It
8 may be useful, but it should be analyzed in the context of
9 the general situation.

10 DR. CANTILENA: Thank you.

11 Is there a comment from the American
12 Association of Poison Control Centers?

13 MS. SOLOWAY: Yes, thank you, Dr. Cantilena.

14 DR. CANTILENA: Your full name, your
15 association --

16 MS. SOLOWAY: I'm Rose Ann Soloway, and I'm
17 Associate Director of the American Association of Poison
18 Control Centers.

19 DR. CANTILENA: Any conflicts?

20 MS. SOLOWAY: None that I know of.

21 DR. CANTILENA: Okay.

22 MS. SOLOWAY: I wanted to make one point of
23 clarification about the data that you referred to, the
24 Toxic Exposure Surveillance System data. It's been
25 referred to several times today and especially in the

1 context of the 16,000 cases in which ipecac administration
2 was carried out in calendar year 2001. These are cases
3 that were managed by poison centers or about which poison
4 centers were consulted.

5 But the very specific point of clarification
6 was about the clinical effects and adverse effects that can
7 be learned about on follow-up. When cases are followed up,
8 not only are clinical effects, if any, associated with the
9 poison exposure categorized, there's also an opportunity
10 for the poison center staff to categorize adverse effects
11 due to treatment. So in cases where ipecac was
12 administered, if there were adverse effects as a result of
13 the ipecac, as opposed to a toxic effect of the substance
14 involved, they would be captured separately.

15 Thank you.

16 DR. CANTILENA: Thank you very much.

17 DR. UDEN: Dr. Cantilena?

18 DR. CANTILENA: I'm sorry?

19 DR. UDEN: Before she goes, can I ask a follow-
20 up, please?

21 DR. CANTILENA: Sure.

22 DR. UDEN: So is that information gathered by
23 somebody in the poison center in asking questions, do you
24 have muscle aches and pains, are you weak, are you tired?
25 How is that information -- is that just volunteered by the

1 family that you're calling, or do you actually proactively
2 ask certain things?

3 MS. SOLOWAY: It depends on the situation,
4 quite frankly. There are about 130 clinical effects
5 available to be coded, and so whether the information is
6 entirely volunteered or elicited as a result of questioning
7 really would depend on the circumstances of the exposure.

8 DR. CANTILENA: Thank you.

9 We have Drs. Clapp, Ganley, Davidoff, Johnson.

10 DR. CLAPP: If you are going to pursue finding
11 out more specifics about the 16,000, I think what would be
12 relevant to our consideration is to assess whether or not
13 there was a true clinical indication for use of ipecac with
14 that 16,000. We can find adverse effects, we can find out
15 if they vomited, but if we don't know whether or not it was
16 advised for a spurious reason or a reason that could have
17 been managed without ipecac, then we won't have a true
18 assessment as to even how appropriate it was to use it. So
19 I would like to have an addendum to your interest to add
20 that we find the clinical indication to the use of ipecac
21 in the 16,000, but then not only have that, but determine
22 as to whether or not it was appropriate.

23 DR. CANTILENA: I know that information is
24 collected in terms of indication. Dr. Tong or perhaps the
25 individual from the American Association, can you tell us

1 if there's a scoring or an evaluation of the
2 appropriateness of the recommendation? Is that already
3 automated or is that something that would have to be done
4 in addition?

5 DR. CLAPP: If I can interject, for example,
6 with the example of the mushrooms, if they're advising use
7 of ipecac for mushrooms in Arizona, if they didn't have the
8 ipecac -- was that an appropriate recommendation? I think
9 we need someone to determine whether or not, in fact, the
10 advisement of ipecac was appropriate in the circumstance of
11 the poison control center or have an algorithm that they
12 use.

13 MS. SOLOWAY: The short answer to that question
14 is that those data are not gathered as a part of this
15 process. Those would be issues addressed in the quality
16 assurance program at a poison control center level.

17 DR. WOOD: Is that right? I mean, what we
18 heard earlier was that the poison centers were likely to
19 come out with a recommendation that ipecac shouldn't be
20 used. Let's just take that for the moment. Then wouldn't
21 the answer to her question be that there would be no
22 indication?

23 MS. SOLOWAY: Well, I don't feel comfortable
24 speculating on behalf of the organization --

25 DR. WOOD: I understand.

1 MS. SOLOWAY: -- since we don't have a policy
2 at this point. However, if the consensus view was that it
3 was not indicated, then that is information that individual
4 poison centers would need to communicate to their own
5 staffs and incorporate into their protocols.

6 DR. WOOD: Well, let me turn the question
7 around. If the San Diego poison center has a position that
8 says it shouldn't be used, presumably the national
9 organization has not struck them off. So they can't right
10 now have operating procedures that say when it should be
11 used. Otherwise, they're out of compliance.

12 MS. SOLOWAY: There are in fact no operating
13 procedures at the national level right now, and part of it
14 is because of the very kind of discussion you're having
15 today. There are people who are evaluating the same
16 information and reaching different conclusions.

17 DR. WOOD: Sorry. I know I'm pushing you. So,
18 therefore, the answer to the question, will you be able to
19 evaluate if the indication was appropriate, is no. Because
20 if you can have such diverse indications where one group
21 doesn't use it at all and one group uses it widely in
22 Seattle, I don't see how you can have an approved
23 indication within your organization that would allow you to
24 come up with an answer that says it was appropriate or it
25 wasn't appropriate.

1 MS. SOLOWAY: Well, as I said, those data are
2 not part of the national data collection process, and they
3 are part of the quality assurance process in individual
4 poison centers not at the national level.

5 DR. CANTILENA: Drs. Ganley, Davidoff, Johnson,
6 Wood, unless you jumped ahead actually, Alastair.

7 DR. GANLEY: I think Dr. Clapp made the point
8 that I had an interest in because everyone has been
9 struggling, is there a population out there, and actually
10 looking at some of that data may give you a sense that
11 there may be a population out there that it did have effect
12 on. I think it's difficult to make that determination
13 without looking at the data of the people who did receive
14 ipecac and who recommended it, when it was given with
15 regard to the ingestion and things like that because it
16 seems clear that there's an appropriate time to give it.
17 And people question whether it's an outcome-based or a
18 surrogate-based benefit here. So I think that data may be
19 important to look at, and I think Dr. Clapp had covered
20 that in her comments.

21 DR. CANTILENA: Thank you.

22 Dr. Davidoff.

23 DR. DAVIDOFF: Yes. It seems to me that
24 there's quite a bit of agreement that if there is efficacy
25 for ipecac use, it is in a fairly small population now, and

1 even that's in some doubt. And the toxicity of ipecac
2 itself is also sort of an uncertainty. And it strikes me
3 that the action that the San Diego poison center took was
4 courageous and reasonable in many respects, but in some
5 respects it's disappointing because it seems to me that
6 that group was in a position -- any poison center is in a
7 position now -- to actually conduct a prospective study --
8 and I don't think it would take all that long,
9 particularly if there was a multicenter study and the
10 recruitment numbers went up rapidly -- once the potential
11 eligibility for reasonable use of ipecac was established on
12 the phone, that the patients were randomly assigned to get
13 ipecac or not. And then prospectively the data were
14 collected on both outcomes of the poisoning and of the
15 potential toxicity of ipecac.

16 That, it seems to me, would be actually
17 ethically probably more defensible than just plain stopping
18 it without having the data in hand to know what the
19 outcomes were likely to be. It seems to me that that would
20 be certainly acceptable ethically from the point of view of
21 what's known now about the potential efficacy or lack of it
22 and potential toxicity or lack of it because there's
23 equipoise.

24 It doesn't seem to me the FDA needs to be in a
25 huge hurry to make this decision, and waiting 6 months or

1 whatever it might take to do that study might be
2 reasonable. I know the FDA can't go ahead and suggest that
3 such a study be done, but I'd like to suggest it because it
4 seems to me if we came together in this room with those
5 data, we'd be in a lot better position to make these
6 decisions.

7 DR. CANTILENA: Thank you.

8 Dr. Johnson.

9 DR. JOHNSON: With regard to the question
10 you're posing, I guess I have sort of two views. One is
11 that adverse effects is really kind of a relative thing and
12 what's acceptable in terms of an adverse effect is related
13 to the efficacy. So toxicity with an antineoplastic that's
14 acceptable would be totally unacceptable in an
15 antihypertensive. In the absence of efficacy, anything
16 that occurs is a toxicity. So from that perspective, I
17 would sort of agree with Dr. Wood that we have a 95 percent
18 toxicity rate for this drug.

19 But if you want to sort of push that view
20 aside, then it would seem to me that if you're going to
21 request such data in the 16,000 who got ipecac, standing
22 alone, it would be hard to assess that so that you would,
23 if possible, need to try to collect another 16,000 matched
24 control group so that you could have some assessment in
25 terms of ER visits. Again, that sounds like a great thing

1 to do and I'm not sure, sort of like your suggestion, why
2 members of the poison control community have not done that
3 if it's something that's relatively easy to do.

4 As it relates to the tagging of information in
5 terms of adverse outcomes relative to the ingested toxin
6 versus adverse outcomes from ipecac, I'm curious how things
7 are put into one of those two boxes. I would presume that
8 the parent would not be able to make that judgment, and so
9 is it the poison control center person -- so this is a
10 question to poison control center people. Is it the poison
11 control center staff member who is making the assessment
12 that the adverse outcome was ipecac-related instead of
13 being related to the ingested toxin?

14 DR. CANTILENA: Dr. Tong, do you want to answer
15 that in terms of a follow-up database?

16 DR. TONG: In direct response to Dr. Johnson,
17 it would be our staff. It would be the individual who's
18 talking to the mother. It's often not the individual who
19 initially recommended the syrup of ipecac. As you know,
20 there's a continuous flow of people in the center.

21 I was thinking about all the suggestions here,
22 and it would be worthwhile if the association and all of us
23 who are in the business wanted to do that, the suggestions
24 I've heard around here.

25 I'm just reflecting back that we home manage

1 about 15,000 to 16,000 cases of children, and out of that
2 large group, we'll sort out 100. And that number is
3 declining to study, to evaluate, to come back to this
4 committee in a year or two years. It may be a situation in
5 our center where ipecac will not be used, but it would be
6 worth looking at if we want to pursue the study. But I
7 know that the association and academy has a lot on the
8 table, primarily trying to stay open. Poison centers like
9 in Arizona.

10 DR. CANTILENA: Yes, a comment, Dr. Manoguerra.

11 DR. MANOQUERRA: In my presentation this
12 morning, I mentioned that we had looked at our referral
13 patterns during the time that we used ipecac, and we did
14 this a few years ago. We looked at 10 years during the
15 time period we used ipecac and we looked at 10 years after
16 we stopped using ipecac. And there was no difference in
17 the percent of cases that we had to refer to the emergency
18 room before and after. So I think that's kind of what you
19 were getting to. It's not a controlled situation.

20 One of the things that I have asked for for the
21 consensus panel's deliberations is similar data from the
22 AAPCC looking at referral patterns in children who were
23 given ipecac versus those that weren't to see if there's a
24 difference between the two groups.

25 DR. CANTILENA: Dr. Wood?

1 DR. WOOD: Well, I'm always pleased, Lou, when
2 I can astonish you. I want to come back to that in a
3 second.

4 I want to sort of put the three poison center
5 directors on the spot and make sure that we're
6 understanding this right. As I understand your positions,
7 is there a specific subgroup that you feel there's data-
8 driven indication for ipecac? My understanding from each
9 of you is that your answer to that is no. Am I wrong? Am
10 I misunderstanding that?

11 DR. MANOQUERRA: That's my answer. I don't
12 know of a group where I would consider using ipecac.

13 DR. TONG: Dr. Wood, I said no.

14 DR. WOOD: Okay.

15 DR. CANTILENA: But in fairness, Dr. Robertson
16 said yes, and he's not here right now.

17 DR. WOOD: Well, he presented a lot of
18 anecdotes, but he certainly didn't present data to support
19 that position.

20 So I'm worried that we are sort of sitting
21 around this table divining subgroups that we might be able
22 to imagine would benefit when the three poison center
23 directors are unable to define one. So if there isn't a
24 data-driven group that they can define, I'm unclear how
25 anyone can define such a group. That's the first thing.

1 And then the second point, Lou, is that you
2 were astonished when I said I didn't think we should send
3 the FDA off to waste Curt's time collecting more data.
4 Although it's easy to waste the government's money, I
5 guess, the reason I'm somewhat hesitant or very hesitant to
6 do that is that I think if we lack evidence of efficacy, as
7 Julie said, then the risk/benefit ratio becomes infinite.
8 So we certainly know the risks of vomiting. That's well
9 described. If we have zero evidence of benefit, then it's
10 not the same as an effective analgesic that we're about to
11 approve. It's quite different. It's a drug for which we
12 appear not to be able to demonstrate benefit for which we
13 know toxicity. So I don't need a lot of additional data to
14 make a decision on that.

15 DR. CANTILENA: I understand exactly where
16 you're coming from, and in the next question, we're
17 actually going to address that specifically. And if you
18 say no efficacy, then it's the end of the conversation.
19 But we'll talk about that in just a minute.

20 Dr. Davidoff, did you have a comment?

21 DR. DAVIDOFF: Yes. Alastair, I think in
22 fairness you're making the statement that there's no
23 evidence of efficacy, but I think the fairer description of
24 the situation for home use of ipecac is that there's an
25 absence of evidence. It's not there's evidence of absence

1 of effect. So I think that you can't really make the claim
2 quite as strongly as you've made it.

3 I would agree with you from what I've seen that
4 if there is efficacy, it's probably limited to a very small
5 group that is yet to be defined, if it is there. But we
6 don't have the information because no one has really tried
7 to approach the study of that, and it's going to be really
8 tough to study. I do think the poison control centers
9 could be in the position to try to get close to that
10 information, but I don't think it's entirely fair to say
11 there's no evidence for efficacy.

12 DR. WOOD: Yes, that may be right, but no
13 evidence for efficacy in a setting, if this was vitamin C,
14 would be different from no evidence of efficacy where we
15 produce harm.

16 I said it before, but we're a lot more cavalier
17 doing things to children than we are in asking for consent
18 from adults. Just think of how we expect children to be
19 vaccinated compared to the adults stepping up to the plate
20 for vaccinations recently.

21 So I think you're right. Absence of evidence
22 is not the same as evidence of absence, but here we've got
23 a drug which clearly produces toxicity, clearly has the
24 potential for abuse and absence of evidence in that setting
25 is very disturbing.

1 DR. CANTILENA: So if I could, let me make the
2 motion that the FDA obtain from the American Association of
3 Poison Control Centers data on the exposures over one, two,
4 or three years, whatever is reasonable, for people who have
5 had syrup of ipecac. Let me modify it and say, if
6 feasible, that they obtain exposures of the same substances
7 in cases where the poison center did not recommend ipecac
8 and see if there's a difference in outcomes in
9 retrospective fashion and that they use that information to
10 help them internally as they look at this issue of over-
11 the-counter status.

12 So that's a rather lengthy motion. I
13 apologize. Is there a second?

14 DR. WILLIAMS: Second.

15 DR. CANTILENA: Any discussion? Dr. Clapp.

16 DR. CLAPP: Sorry. My perspective is not
17 necessarily getting a control group of the same ingestion
18 that didn't receive ipecac but having a group of
19 specialists or experts review the appropriateness of the
20 advice to receive ipecac because that colors the
21 perspective as to whether or not the 16,000 were -- I'm not
22 hearing an algorithm. I'm not hearing anything.

23 DR. CANTILENA: The only reason that I omitted
24 that was not to ignore your comment, which I think is
25 excellent. It's just that I feel that we would not be able

1 to achieve an agreed-upon set of criteria for the
2 appropriateness.

3 DR. CLAPP: If you have a child who has a
4 certain weight and got two extra strength Tylenol and
5 someone ipecaced them, you can tell whether or not that was
6 appropriate or inappropriate advice.

7 DR. CANTILENA: Actually I'm hearing that there
8 would be a difference of opinion. The only one that I
9 would say is if the child ingested nothing and was told to
10 take ipecac, everyone would say that was not appropriate.

11 DR. CLAPP: I see what you mean.

12 DR. WOOD: But if none of us can agree on the
13 indication, how can we have a drug for over-the-counter
14 use?

15 DR. CANTILENA: Stay tuned for the last
16 question.

17 Any further discussion on the motion?

18 (No response.)

19 DR. CANTILENA: Then I would like to ask the
20 question then, and this will be a yes or no vote to have
21 the FDA obtain that information and look at it in that
22 fashion to try to add to the information that they have to
23 help them with their ultimate decision on this issue. And
24 we can start with Dr. Lam.

25 DR. LAM: I guess if you strictly look at the

1 wording, should ipecac syrup retain OTC status for use by
2 consumers to treat accidental poisoning --

3 DR. CANTILENA: Dr. Lam, this is not the
4 ultimate question. There's a motion on the floor to have
5 the FDA obtain additional data from the American
6 Association of Poison Control Centers to analyze it. That
7 was the motion. I'm sorry. You jumped ahead.

8 DR. LAM: So whether they should or not?

9 DR. CANTILENA: Yes.

10 DR. GANLEY: Lou, can I just --

11 DR. CANTILENA: Yes.

12 DR. GANLEY: It may be better to put it in the
13 context of question number 3 where it asks for the
14 risk/benefit and someone's response may be that we would
15 like to see the information on 16,000 as their answer. But
16 I think there are already some folks who have made their
17 mind up, and there are others who may say, I can't make
18 that decision unless we get that additional information.
19 So I'm not sure that taking a vote on this is going to help
20 us much. I think question 3 is important to answer, and
21 then part of that answer may be I'd like the FDA to try to
22 get some of that information of the 16,000 before I would
23 make a decision or they may say I don't think it's
24 important to get that information and I can make a decision
25 here.

1 DR. CANTILENA: So you would like to do a
2 yes/no in terms of 3 as written, and then as a qualifier to
3 your answer include whether or not you want additional
4 data?

5 DR. GANLEY: That may be part of the answer.
6 Right.

7 DR. CANTILENA: Is that agreeable to the
8 committee, or do you want to do the vote on the floor? As
9 part of the vote? As part of the question? Who is in
10 favor of rolling the qualifier in for the question that was
11 just articulated concerning additional information from the
12 American Association of Poison Control Centers? Who would
13 like that rolled in as part of your qualifier for your
14 answer for number 3? A show of hands.

15 DR. WOOD: Isn't the issue if the answer to 3,
16 is the evidence available, is no then Charlie's question
17 becomes relevant. If the answer to 3 is yes, meaning that
18 the evidence is available to make that distinction, then
19 you don't need --

20 DR. CANTILENA: Right, but actually question 3
21 will be split into several parts, and that's what we were
22 talking about before on the break, to help to separate out
23 those who are interested only in the surrogate versus those
24 who are interested in only the outcome. So I think it
25 makes a difference in terms of whether or not the committee

1 feels it's relevant or important for the FDA to consider
2 this available data from the American Association.

3 DR. GANLEY: Lou, I think in terms of
4 Alastair's way is a good way, but to put in the caveat that
5 we talked about earlier, there are clearly some folks that
6 look at benefit in terms of outcomes and others who look at
7 it in terms of some surrogate whether it be decreasing
8 blood levels. And in their answer, they could give the
9 reasoning for that. We don't need to take a vote on each
10 individual thing.

11 Also, on the safety side, you could look at it
12 as the adverse events related to the intrinsic effect of
13 the drug, whether it be a Mallory-Weiss tear or not, versus
14 the safety of it with regard to abuse and misuse. So they
15 can mix all that in. We don't need an answer for each
16 individual question on that. People, I think, have had
17 enough discussion and they can give the answer and then
18 break it down in how they arrived at their benefit and how
19 they arrived at their safety assessment.

20 DR. CANTILENA: All right, but I guess my point
21 is I would like to make a case, if you will, for looking at
22 this available data that sits there by the American
23 Association of Poison Control Centers, and I feel that if
24 we do it that way, there's an opportunity for that not even
25 to be mentioned in all but a couple of the responses. So

1 that was the motivation for setting that aside as a
2 separate question.

3 So do you strongly object to that approach, I
4 guess is my question to you, Charlie and Curt. Because I
5 would rather have that as a -- and you can say yes/no or
6 only if this is going to go forward.

7 DR. GANLEY: I think Alastair had it right. If
8 you think there is enough data and you can make a decision,
9 then you don't need that 16,000, but if you don't think
10 there's enough data and you want that, I think it can
11 incorporate it into that question.

12 DR. CANTILENA: Right. But hypothetically
13 you're going to get an answer to question 3 which is not
14 unanimous and the point of my asking this question --

15 DR. GANLEY: That's okay if nothing is
16 unanimous.

17 DR. CANTILENA: Right.

18 DR. GANLEY: I think the discussion is more
19 important than a vote is the best way for me to say it.
20 The discussion of how people think and arrive at an answer
21 is more important than taking a vote.

22 DR. CANTILENA: So Charlie is avoiding a vote
23 and Alastair is avoiding data. This is truly an historical
24 meeting.

25 (Laughter.)

1 DR. CANTILENA: This is an historical occasion.

2 DR. GANLEY: You're taking a vote on number 3,
3 but the discussion of how they arrived at that is as
4 important as their actual vote. That's I think the best
5 way to characterize it. It's an important to get an
6 opinion, but I think the discussion and understanding how
7 people arrived at that decision and whether they think it's
8 important to have the additional data is the best way I can
9 characterize it.

10 DR. CANTILENA: All right. Well, let's phrase
11 it this way. Let's look at question 3 and let's say, is
12 the evidence available adequate to establish the
13 risk/benefit ratio of syrup of ipecac for over-the-counter
14 use?

15 When you look at the question of benefit, I
16 would like you to answer it such that the efficacy side
17 that you're concerned about, that you're using to base your
18 answer, is either the surrogate marker for decreased
19 absorption or for ultimate outcome. If that's what you're
20 using to establish your assessment of the benefit, I'd like
21 you to state that either way. In terms of risk, I'd like
22 you to talk about the risk that you're concerned of, the
23 adverse events versus the abuse factor.

24 And then if there isn't adequate evidence, you
25 can then comment on the kind of evidence that you'd like to

1 see. Dr. Davidoff's point of a prospective study I think
2 is quite good. I don't know who would fund that study and
3 what the impetus would be, but that would obviously be
4 something that we'd like to see.

5 So as we look at this, we'll go around and
6 we'll talk about the benefit. If the committee states or
7 if you're saying that there is no benefit, then basically
8 you're saying this product should be removed from the
9 market. OTC or Rx, it should not be out there. So that's
10 what the regulatory translation will be of a statement of
11 no efficacy. So that's why it's important for you to
12 specify what marker or what variable you're using to
13 determine efficacy.

14 So let's first do efficacy, and we'll start on
15 this side with Dr. Lam. If you can say whether or not the
16 evidence available in the literature is of adequate quality
17 and quantity to establish the benefit, that is, efficacy,
18 of syrup of ipecac and state whether or not you're using
19 the ultimate clinical outcome versus the surrogate marker
20 for the efficacy variable.

21 DR. LAM: In my opinion, there's no efficacy
22 and that is based on the ultimate outcome.

23 DR. CANTILENA: Dr. Patten?

24 DR. PATTEN: I do not think that efficacy has
25 been established or unestablished at this point. I look to

1 the clinical summary that we have where we learned that in
2 animal studies it has been efficacious in removing up to 60
3 percent of an ingested substance, and in clinical studies
4 the range is between 28 and 83 percent of removal of the
5 ingested substance. We're told clearly that effectiveness
6 dissipates over time. So I put all of those things
7 together and I come back to this initial period and I
8 wonder to myself if it is not, indeed, important in some
9 instances to remove that toxic substance as soon as
10 possible.

11 I think another important thing to look at
12 here, we are told that most studies exclude the use of
13 ipecac syrup in life-threatening intoxications. So it's
14 difficult to determine the benefit of ipecac syrup in those
15 situations. There's no information there.

16 DR. CANTILENA: So it's not a yes or no. It's
17 an either.

18 DR. PATTEN: Yes, I think it is not
19 established. Lack of efficacy has not been established.

20 DR. CANTILENA: So it's a no. Okay. Sorry. I
21 misunderstood.

22 Dr. Uden?

23 DR. UDEN: Mine would be a no and it's based on
24 -- not that ipecac doesn't cause vomiting. It does. But
25 it's based on the ultimate outcomes, and it's also based on

1 what I've heard from poison centers not using ipecac
2 anymore and Canada and Europe not using ipecac at all
3 anymore. I think the data that we were presented, the
4 seven studies -- there are holes in that data. Clearly
5 there are holes in that data. But if I look at the big
6 picture, given that information, I would have to say no for
7 efficacy.

8 DR. CANTILENA: Dr. Wood?

9 DR. WOOD: I would say no. The endpoints I'd
10 use are both the surrogate endpoint actually and the
11 ultimate endpoint. I think the evidence that it makes a
12 significant difference to the surrogate endpoint in terms
13 of exposure is limited and not convincing, and I think
14 there's an absolute absence of any evidence of efficacy in
15 terms of improved outcome.

16 DR. CANTILENA: Thank you.

17 I would actually say my view of the surrogate
18 data is that there is efficacy. I basically try to
19 standardize my approach to this question with other
20 applications that we've had where we've approved drugs for
21 over-the-counter status based on a p value and a very small
22 effect size. While the range for the effect size for
23 removal or the absorption includes 0, the central tendency
24 is always positive. I think that's an effect size. So
25 based on the surrogate, I would say it is effective. Based

1 on the ultimate outcome, I will say we have insufficient
2 data.

3 Dr. Davidoff.

4 DR. DAVIDOFF: Well, I may have misunderstood
5 how this question is being framed, but if we are talking
6 about the risk/benefit ratio, is there sufficient evidence
7 to make a judgment about the ratio, I would say there's
8 clearly sufficient evidence to decide that the risk/benefit
9 ratio is severely unfavorable for the use of ipecac. Even
10 though there may be some efficacy in a small subgroup, the
11 potential risks across the board are far -- it seems to me
12 the evidence is quite clear that they far outweigh the
13 potential benefit.

14 DR. CANTILENA: That's sort of the ultimate
15 question, but here we're trying to help FDA in terms of
16 dissecting out safety versus efficacy issues first and then
17 ultimately --

18 DR. DAVIDOFF: I'm sorry. So the question is
19 being more narrowly framed now on efficacy.

20 DR. CANTILENA: Right, because if it's not
21 efficacious, the regulatory options are it's off the
22 market, regardless of OTC or Rx. Then really the fourth
23 question is risk/benefit.

24 DR. DAVIDOFF: But I thought we had already
25 talked about efficacy a long time ago.

1 DR. CANTILENA: We have but we haven't actually
2 individually expressed our opinion in terms of the
3 surrogate versus outcome and whether or not you're
4 convinced about either one.

5 DR. DAVIDOFF: Okay, sorry. Well, I'll restate
6 it. I think there is not adequate evidence to rule out
7 efficacy in probably quite a small subgroup.

8 DR. WILLIAMS: I do not believe that there's
9 enough evidence to firmly establish efficacy, and I think
10 more study is definitely needed especially in the real-
11 world circumstance of people who are using it on a daily
12 basis, not anecdotal decisions of administrative policy.

13 DR. CANTILENA: So there is not adequate
14 evidence.

15 DR. WILLIAMS: No.

16 DR. CANTILENA: Dr. Tong?

17 DR. TONG: My answer would be no. In terms of
18 benefit, I see it as a surrogate marker of the indication
19 that there is removal. I can't base benefit on outcome for
20 all the reasons that we've already talked about.

21 In the risk balance, I don't consider an
22 adverse effect emesis.

23 DR. CANTILENA: We're just doing efficacy now.

24 DR. TONG: Okay. The answer is no.

25 DR. CANTILENA: So the answer would be no,

1 insufficient efficacy.

2 Dr. Johnson?

3 DR. JOHNSON: My overall answer is no. I think
4 there is probably some evidence suggesting that it's
5 efficacious in terms of reducing plasma concentration of
6 the ingested substance, but I view that as being somewhat
7 akin to a finding that's statistically significant but not
8 clinically significant. I don't believe there's any
9 evidence for outcomes being affected, and while it's clear
10 that we don't have perfect data, I also don't believe that
11 there's an absence of data. We have some data, and none of
12 those point to a difference in outcome. They may not be
13 sort of well-designed trials, but the data we have suggest
14 no evidence for outcome. It would seem that the drug has
15 been used long enough and widely enough that if there was
16 clear outcome differences, we would see those.

17 DR. CANTILENA: Dr. Clapp?

18 DR. CLAPP: No, and that's based on the
19 clinical outcome.

20 DR. CANTILENA: Comments by Dr. Blewitt.
21 You're non-voting, but would you like to comment on the
22 question of efficacy?

23 DR. BLEWITT: I'll repeat my earlier comments
24 that the surrogate data would appear to be supportive, but
25 the database is lacking in outcomes research studies.

1 DR. CANTILENA: If I'm correct, Dr. Davidoff,
2 you voted that there was evidence of efficacy but only in
3 the case of a surrogate?

4 DR. DAVIDOFF: No. I voted that the evidence
5 is inadequate to rule out efficacy.

6 DR. CANTILENA: I don't know if that's a yes or
7 no. It's inadequate to rule out efficacy, so there's
8 efficacy?

9 DR. DAVIDOFF: No. No, you can't claim there's
10 efficacy. It's like proving the null. It's very
11 difficult.

12 DR. CANTILENA: So we would count you as a no.

13 DR. DAVIDOFF: No on the specific question of
14 efficacy or on the adequacy of the evidence?

15 DR. CANTILENA: Efficacy, by either surrogate
16 or outcome data.

17 DR. DAVIDOFF: The question is, is there
18 evidence for efficacy? No, there is not evidence for
19 efficacy, but I will add as an addendum there's not
20 evidence to rule it out.

21 DR. CANTILENA: Okay. So there's data lacking.
22 I'll categorize that as a no, and then you guys can sort
23 that out. So it's 1 yes and 9 no. That was 3a.

24 Now, let's look at the risk side. Obviously,
25 for those of you who feel there's no efficacy, we know your

1 answer to the question, question 4. But we would like a
2 discussion in terms of risk. Is there adequate evidence of
3 risk, and if so, which troubles you the most? Is it the
4 adverse event? Is it the abuse? Is it the combination or
5 other factors?

6 So let's start over on this side. Dr. Clapp,
7 looking at adverse events, looking at sort of the risk side
8 of the risk/benefit component.

9 DR. CLAPP: Having recollections of ipecac-
10 induced emesis from residency days long ago, that's a
11 different type of emesis than your gastroenteritis emesis.
12 It's very forceful, hard retching. It's quite agonizing
13 for the recipient of ipecac from my anecdotal recollection.
14 But I do consider vomiting is not an innocuous phenomenon
15 for the person who's vomiting. It's an unpleasant
16 phenomenon. I'm also concerned about things like Mallory-
17 Weiss tears, I think the more common things that you see
18 from hard retching.

19 But in addition, I don't know if you want me to
20 discuss this, but I think the availability of ipecac and
21 the rise that we see in young women who are anorexic poses
22 a greater risk perhaps than the actual risk from the
23 medication being used for the intended purpose of gastric
24 decontamination. And that concerns me, the availability,
25 because I'm not convinced that the efficaciousness makes it

1 something to keep on the market.

2 DR. CANTILENA: Thank you.

3 Dr. Johnson?

4 DR. JOHNSON: I would concur with Dr. Clapp
5 that the thing that concerns me the most is the abuse of
6 the product and the adverse effects that result from the
7 abuse of the product. That doesn't mean to say that I
8 don't believe that the adverse effects from the intended
9 use or the therapeutic use of the product are not
10 important, but I think in the big picture the abuse issues
11 are of greatest concern from an adverse effect perspective.

12 DR. CANTILENA: Dr. Tong?

13 DR. TONG: Well, I've found that the adverse
14 events from the use of syrup of ipecac in the home to be
15 very low. In terms of the misuse problem, I think it's
16 serious, but the magnitude of the abuse/misuse is unclear
17 and uncertain.

18 DR. CANTILENA: So overall then you think that
19 there is significant evidence available for safety
20 concerns, which is the risk side of the equation.

21 DR. TONG: To use in the home management of
22 stomach emptying.

23 DR. CANTILENA: Thank you.

24 Dr. Williams?

25 DR. WILLIAMS: My view is the same, that I do

1 acknowledge that there is a risk for the abuse. However,
2 with the label of the product, I think that we still would
3 have safety. Efficacy, I don't have the information.

4 DR. CANTILENA: Dr. Davidoff, evidence of
5 safety concerns, elevated risk?

6 DR. DAVIDOFF: I think there's quite clear
7 evidence of safety concerns. The exact magnitude again
8 remains to be defined, but I think that the potential
9 numbers of abusers among what is often characterized as the
10 epidemic of anorexia/bulimia is very substantial. I
11 certainly can't disagree with Alastair's forceful point and
12 Dr. Clapp's and many other people's comments about the
13 toxicity of the drug when used as part of its sort of
14 therapeutic effect. So it seems to me that it is pretty
15 clear that even though there might be some subgroup in
16 which there is some efficacy, I think that the evidence is
17 quite clear that the risks and toxicities potentially
18 outweigh the benefits.

19 DR. CANTILENA: My vote would be yes, that
20 there is evidence of significant concern, and I think here
21 is where I would encourage the FDA to look at other sources
22 of information, such as we almost voted on regarding the
23 AAPCC information database regarding outcomes for
24 individuals who were exposed at the recommendation of the
25 poison center.

1 Dr. Wood?

2 DR. WOOD: Yes, I think there's evidence. I'm
3 concerned about all three areas of toxicity. I'm concerned
4 about the abuse potential. I'm concerned about the
5 toxicity from its primary pharmacological effect, and I'm
6 concerned about the toxicity that occurs from other causes
7 as well. I'd just echo what Dr. Clapp said. Vomiting from
8 ipecac is a pretty dramatic kind of vomiting. It's not
9 just feeling a bit nauseated. These people really throw up
10 vigorously.

11 DR. CANTILENA: Dr. Uden?

12 DR. UDEN: I really don't have much to add. I
13 do believe that there is risk. The magnitude in terms of
14 poison centers' data, 16,000 individuals were recommended
15 to have it, so at least we know a ball park number there
16 and have no clue of what the number for the
17 anorexic/bulimic population is, and I'm very concerned
18 about that.

19 DR. CANTILENA: Dr. Patten?

20 DR. PATTEN: I agree that there is evidence of
21 risk and my greatest concern would be the risk associated
22 with abuse.

23 DR. CANTILENA: Dr. Lam?

24 DR. LAM: I think the risk associated with
25 appropriate use of ipecac syrup is probably small, even

1 based on some of the anecdotal case reports. The major
2 concern obviously would be what has been iterated so many
3 times, is the potential abuse by some of our teenagers,
4 especially the female teenagers, because they either are
5 not aware of or chose to ignore the potential problem with
6 chronic usage of the ipecac.

7 DR. CANTILENA: And comments from Dr. Blewitt
8 on the question?

9 DR. BLEWITT: No, I don't have any comments.

10 DR. CANTILENA: So the vote is 10 say that
11 there is risk or safety concerns; 0 say no.

12 The last question basically is should syrup of
13 ipecac retain over-the-counter status by consumers. If I
14 could just ask the FDA to review for us sort of their
15 options chart. They had an options chart that they were
16 developing earlier. If the efficacy is nonexistent, then
17 the drug would not be available by either prescription or
18 over-the-counter. Would you run through the options in
19 terms of safety concerns, yes/no, just so we are able to
20 have a fully informed vote in terms of OTC status?

21 DR. ROSEBRAUGH: We were having a side
22 conversation. You wanted to go over this chart? Is that
23 what you're asking me?

24 DR. CANTILENA: Just the options. The only
25 part of the conversation that I share with the committee is

1 that if the vote is for no efficacy, or if you're feeling
2 is no efficacy, then there's no role for this in either OTC
3 or Rx. So you're basically going to remove it, or one
4 outcome would be that you could remove it from the
5 marketplace for safety concerns in the face of no efficacy.

6 Then you had other scenarios where you had yes for
7 efficacy and yes or no for safety in terms of what the
8 possible outcomes were, so that we know that if we say no
9 OTC, we would have an idea of what the other options were.

10 DR. ROSEBRAUGH: The only reason why I'm
11 asking, Lou, is you've already voted for the
12 efficacy/safety issues. This is sort of like bringing the
13 chart in after you've already had the vote.

14 DR. CANTILENA: Right. You don't have to show
15 the chart, just sort of run through the options that we
16 started to discuss at the beginning. If not OTC, what are
17 the options for the product other than removal from the
18 entire marketplace.

19 DR. ROSEBRAUGH: I think I can just summarize
20 it, Karen. If we had rulemaking, if internally we decided
21 that this should not be an OTC drug, so we passed
22 rulemaking to remove it as an OTC status drug, the option
23 to the industry would be to file an NDA for prescription
24 use. There are several things that could occur that can
25 get very complicated, so I'm not going to get into all of

1 them, but it could be a paper NDA filing where we re-review
2 the literature that's been published and try to decide
3 whether we think there's adequate efficacy and safety for
4 it to be a prescription drug. We would again have to
5 review the efficacy and safety, and we may try to find
6 other avenues like the AAPCC to see if they have data that
7 we could re-review.

8 But once again, the industry would have to file
9 the NDA. We can't make them do that. That's something
10 they'd have to do. So if it's not OTC any longer, the next
11 step would be somebody would have to file an NDA.

12 Is that what you wanted?

13 DR. CANTILENA: Yes.

14 DR. BULL: I think what we are interested in
15 here is getting input from the committee as to the current
16 framework on which drugs are marketed OTC and whether or
17 not for the average consumer who is faced with making a
18 self-medication choice or having a self-medication option
19 available at home as to the risk-to-benefit for what is
20 basically use that may take place without the learned
21 intermediary.

22 DR. ROSEBRAUGH: And I would just add it seems
23 to me that whether this went prescription or not really
24 should not enter into the thinking. Our decision should be
25 is this an appropriate drug for OTC use, regardless of what

1 would happen afterwards.

2 DR. CANTILENA: That's a helpful clarification.

3 I think we're starting at Dr. Lam this time.

4 Question 4, should ipecac syrup retain OTC status for use
5 by consumers to treat accidental poisoning?

6 DR. LAM: Given all the evidence that we have,
7 I would say no, except probably for that small proportion
8 of patients of that population that is yet to be defined,
9 and I don't really know how long it will take for us to
10 define it. Given the potential adverse effects and the
11 potential abuse potential of the drug, I would say that it
12 should not be available over the counter.

13 DR. CANTILENA: Dr. Patten?

14 DR. PATTEN: May I ask a question first?

15 DR. CANTILENA: Certainly.

16 DR. PATTEN: Where is the general population
17 getting recommendations from to purchase ipecac and keep it
18 in the home? Where does that information come from?

19 DR. CANTILENA: Dr. Tong, do you want to
20 comment on that?

21 DR. TONG: Well, it comes from a variety of
22 sources. I certainly have seen poison control centers
23 contribute significantly to that providing information,
24 pharmacy organizations, pharmacies actually providing them
25 without charge to the patients. But that activity has

1 diminished considerably, probably reflecting on what we've
2 heard earlier certainly on our own experience. But I know
3 that we work closely with other caregivers in the community
4 who come to us and ask about advice on syrup of ipecac.
5 Again, we've narrowed and narrowed and narrowed our
6 providing of the information, again limiting it to a fairly
7 narrow group of people who might need it.

8 I'm not sure if I answered it, but there are a
9 lot of people out there who are doing it, and we just want
10 to make sure that people who are giving it out are giving
11 appropriate information on it. And the critical thing is
12 what's on the label, which the group here and the committee
13 previous spent a lot of time looking at the labeling. Is
14 the labeling clear enough so that individuals understand
15 that they must get information about the appropriateness of
16 this use?

17 DR. CANTILENA: Dr. Clapp, did you have a
18 comment?

19 DR. CLAPP: At routine well visits for
20 children, the 12-month visit is where ipecac is addressed.
21 There's a program by the American Academy of Pediatrics,
22 the TIPP program, that gives anticipatory guidance to
23 parents about everything from firearms to poisonings to
24 certain types of avoidance behaviors for health and safety,
25 water in your house, everything. On the TIPP sheet for the

1 12-month visit is, have syrup of ipecac at home,
2 emboldened. This is going to take a lot of relearning for
3 pediatricians and family medicine providers I'm sure.

4 The information that I read here was very eye-
5 opening because ipecac had become of biblical proportions,
6 and it's pretty much assumed that this is what happens at a
7 12-month visit. But I think that pediatrics has not
8 reassessed it in years, and I see it's happening right now,
9 and appropriately so with the evidence we've seen. But
10 they'll be relearning because all the printed material that
11 is available at this point, even if you order it today,
12 from the American Academy of Pediatrics includes ipecac as
13 part of the 12-month visit.

14 DR. PATTEN: I'm going to vote to retain it OTC
15 and hope that this will be revisited when there is more
16 definitive information about efficacy, about the magnitude
17 of the risk of abuse, and so on.

18 DR. CANTILENA: Thank you.

19 Dr. Uden?

20 DR. UDEN: I've come a long way today and I
21 have to say no to this question. We're looking forward to
22 the public education, professional education that needs to
23 be done in the future.

24 DR. CANTILENA: Dr. Wood?

25 DR. WOOD: No, I don't think it should be

1 available OTC.

2 DR. CANTILENA: Dr. Davidoff?

3 DR. DAVIDOFF: I also don't think, on balance,
4 that it should be OTC.

5 DR. CANTILENA: Dr. Williams?

6 DR. WILLIAMS: I think it should retain its OTC
7 status. However, the appropriate data should be collected
8 to confirm whether or not this is true.

9 DR. CANTILENA: Dr. Tong.

10 DR. TONG: I feel that syrup of ipecac still
11 meets the principles of OTCness and should stay as an over-
12 the-counter available preparation, although I strongly
13 encourage our academies to look at the data and to address
14 what we've done here today because I think it is an
15 important issue to look at the evidence and any new
16 evidence that can be collected. But I will vote yes to
17 keep syrup of ipecac.

18 DR. CANTILENA: Dr. Johnson?

19 DR. JOHNSON: I vote no on retaining OTC status
20 and that's because I do not believe it meets OTC criteria
21 because of the abuse potential, particularly in light of
22 the relative or near complete lack of evidence for benefit
23 of the product.

24 DR. CANTILENA: Dr. Clapp?

25 DR. CLAPP: No.

1 DR. CANTILENA: I'm actually going to vote yes
2 for the following reason. It should stay over-the-counter
3 because again, we're applying the OTCness standards I think
4 fairly. I do accept the surrogate of decreased absorption
5 because it does relate back to exposure. Exposure does
6 relate to toxicity.

7 I am also troubled by the lack of ability to
8 prove outcome despite all the years that it's been on the
9 market, and I would hope that the associations, academies
10 would be able to put together a single prospective study
11 that showed no improvement in outcome in a prospective
12 fashion with home use of ipecac. And that would convince
13 me that it should not be on the market.

14 The vote tally for question 4 is 6 votes in
15 favor of no, it should not be over-the-counter, and 4 votes
16 that it should retain its OTC status.

17 Dr. Rosebraugh, any additional comments, any
18 further advice that you would like from us today?

19 DR. ROSEBRAUGH: I think I have to digest all
20 the advice we've gotten, but on behalf of the division and
21 the FDA, I'd really like to express our appreciation for
22 the thought and effort that the committee has put into
23 these challenging questions today.

24 DR. CANTILENA: Thank you very much. I want to
25 thank the committee and the FDA staff for really doing a

1 very nice job on the documents. One volume of high quality
2 is a lot easier to digest than nine volumes of less than
3 high quality, which we've had in the past.

4 It's also good to see Dr. Tong back after all
5 these years. Thank you very much for all your comments.
6 They were very helpful.

7 The meeting is now adjourned.

8 (Whereupon, at 3:45 p.m., the committee was
9 adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24