

at

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

Monday, September 29, 2003

8:35 a.m.

Hilton Hotel
The Ballrooms
620 Perry Parkway
Gaithersburg, Maryland

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Linda C, Giudice, M.D., Ph.D., Chairman
Shalini Jain, PA-C, M.B.A., Executive Secretary

MEMBERS

Susan Crockett, M.D.
W. David Hager, M.D.
Nancy W. Dickey, M.D.
George A. Macones, M.D.
Joseph B. Stanford, M.D.
Scott S. Emerson, M.D., Ph.D.
Vivian Lewis, M.D.
Larry Lipshultz, M.D.
Valerie Montgomery Rice, M.D.

SPECIAL GOVERNMENT EMPLOYEE VOTING CONSULTANTS

Robert G. Brzyski, M.D., Ph.D.
Adelina M. Emmi, M.D.
David L. Keefe, M.D.
Lawrence C. Layman, M.D.
James H. Liu, M.D.
James P. Toner, Ph.D.

ACTING CONSUMER REPRESENTATIVE

Lorraine J. Tulman, D.NSc.

FDA

Daniel Shames, M.D.
Shelley R. Slaughter, M.D., Ph.D.
Audrey Gassman, M.D.

C O N T E N T S

Call to Order and Opening Remarks: Linda Giudice, M.D., Ph.D.	4
Conflict of Interest Statement: Shalini Jain, PA-C, M.B.A.	6
Opening Remarks: Daniel Shames, M.D.	9
Ovulation Induction and Assisted Reproductive Technology--Background and Practice: David L. Keefe, M.D.	11
Questions from the Committee	34
Gonadotropins in ART: James P. Toner, M.D., Ph.D.	73
Questions from the Committee	116
Human Gonadotropins--Regulatory History: Shelley R. Slaughter, M.D., Ph.D.	147
Questions from the Committee	158
Open Public Hearing Dr. Robert Kirsch	173
Dr. Kurt Barnhardt	179
Mr. Sean Tipton	185
Presentation of Questions and Committee Discussion	190

P R O C E E D I N G S

Call to Order and Opening Remarks

1
2
3 DR. GIUDICE: Good morning. I would like
4 to begin our meeting this morning. This is the
5 FDA Advisory Committee for Reproductive Health
6 Drugs. I am Linda Giudice from Stanford
7 University and I am the Chair of the Committee.
8 Today, we will have a general discussion and
9 tomorrow we will have a product-specific discussion
10 with Sorono.

11 Some housekeeping issues before we have
12 introductions of the committee members. Please, if
13 you would turn your cell phones and beepers off or
14 at least put them on "Silent" so that the
15 proceedings are not disturbed. Rest rooms are down
16 towards the main desk.

17 So I would like to begin with introduction
18 of the committee members and perhaps we can start
19 on this side with Dr. Hager.

20 DR. HAGER: David Hager, University of
21 Kentucky.

22 DR. CROCKETT: I am Susan Crockett and I
23 am from Christus Santa Rosa in San Antonio, Texas.

24 DR. MACONES: George Macones from the
25 University of Pennsylvania in Philadelphia.

1 DR. LEWIS: Vivian Lewis from the
2 University of Rochester.

3 DR. TULMAN: Lorraine Tulman, University
4 of Pennsylvania, Consumer Representative.

5 DR. LIPSHULTZ: I am Larry Lipshultz from
6 Baylor College of Medicine in Houston.

7 DR. KEEFE: David Keefe from Women and
8 Infants Hospital and Brown in Providence, Rhode
9 Island.

10 DR. DICKEY: Nancy Dickey from Texas A&M
11 Health Science Center in College Station.

12 MS. JAIN: Shalini Jain, Executive
13 Secretary to the Advisory Committee for
14 Reproductive Health Drugs.

15 DR. EMERSON: Scott Emerson from the
16 University of Washington in Seattle.

17 DR. EMMI: Adelina Emmi from Medical
18 College of Georgia.

19 DR. STANFORD: Joseph Stanford from the
20 University of Utah, Salt Lake City.

21 DR. BRZYSKI: Robert Brzyski from U.T.
22 Health Science Center, San Antonio.

23 DR. TONER: Jim Toner, Atlanta Center For
24 Reproductive Medicine.

25 DR. RICE: Valerie Montgomery Rice,

1 Meharry Medical College.

2 DR. GASSMAN: Audrey Gassman from the FDA.

3 DR. SLAUGHTER: Shelley Slaughter from the
4 FDA.

5 DR. SHAMES: Dan Shames, FDA.

6 DR. GIUDICE: Thank you very much. Dr.
7 Layman just walked in.

8 DR. LAYMAN: Hi. Larry Layman, Medical
9 College of Georgia.

10 DR. GIUDICE: Thank you.

11 I would like to introduce now Shalini Jain
12 who will talk about the conflict-of-interest
13 statement.

14 **Conflict of Interest Statement**

15 MS. JAIN: Thank you, everyone, for
16 participating today. I would like to read the
17 conflict-of-interest statement for the Advisory
18 Committee for Reproductive Health Drugs for
19 September 29, 2003.

20 "The following announcement addresses the
21 issue of conflict of interest with respect to this
22 meeting and is made a part of the record to
23 preclude even the appearance of such at this
24 meeting. The committee will discuss issues
25 relevant to the conduct of clinical trials and

1 outcome measures for consideration of approval of
2 drug products for the indications of induction of
3 ovulation and pregnancy in anovulatory infertile
4 women and development of multiple follicles, and
5 pregnancy and ovulation women participating in
6 assisted reproductive technology, or ART, programs.

7 The topic of today's meeting is an issue
8 of broad applicability. Unlike issues before a
9 committee in which a particular product is
10 discussed, issues of broader applicability involve
11 many industry sponsors and academic institutions.

12 All special government employees have been
13 screened for their financial interests as they may
14 apply to the general topics at hand. Because they
15 have reported interests in pharmaceutical
16 companies, the Food and Drug Administration has
17 granted general-matters waivers to the following
18 SGEs which permits them to participate in today's
19 discussions; Dr. Scott Emerson, Dr. W. David Hager,
20 Dr. Larry Lipshultz, Dr. Valerie Montgomery Rice,
21 Dr. Susan Crockett, Dr. Adelina Marie Emmi and Dr.
22 James Liu.

23 A copy of the waiver statements may be
24 obtained by submitting a written request to the
25 agency's Freedom of Information Office, Room 12A-30

1 of the Parklawn Building. Because general topics
2 impact so many institutions, it is not prudent to
3 recite all potential conflicts of interest as they
4 apply to each member and consultant.

5 FDA acknowledges that there may be
6 potential conflicts of interest but, because of the
7 general nature of the discussion before the
8 committee, these potential conflicts are mitigated.
9 In the event that the discussions involve any other
10 products or firms not already on the agenda for
11 which an FDA participant has a financial interest,
12 the participants are aware of the need to exclude
13 themselves from such involvement and their
14 exclusion will be noted for the record.

15 With respect to all other participants, we
16 ask, in the interest of fairness, that they
17 address any current or previous financial
18 involvement with any firm whose products they may
19 wish to comment upon.

20 Thank you.

21 DR. GIUDICE: Thank you.

22 I would now like to introduce our first
23 speaker, Dr. Daniel Shames, who is the Director of
24 the Division of Reproductive and Urologic Drug
25 Products at the FDA.

Opening Remarks

1 DR. SHAMES: Thank you. I would first
2 like to welcome everybody this morning and I would
3 like thank Dr. Giudice and all our advisors and
4 consultants and speakers for taking time out of
5 their busy schedules to educate us about the issues
6 surrounding the use of drugs for the treatment of
7 female infertility.
8

9 I would also like to take this opportunity
10 to thank the two members of our division that were
11 most responsible for assembling and producing the
12 elements of what I believe will be a very exciting
13 forum. Both are fully trained and certified
14 reproductive endocrinologists and the division is
15 fortunate to have them. Thank you to Dr. Shelly
16 Slaughter and Audrey Gassman.

17 In our division, we regulate a diverse
18 group of drug products for such indications as
19 advanced prostate cancer, sexual dysfunction,
20 post-menopausal therapy, incontinence, BPH, among
21 others. I must say that, among all the indications
22 that we deal with, I find female infertility the
23 most challenging from a clinical-trial-design,
24 scientific and regulatory perspective.

25 As you know, FDA approves for marketing

1 safe and effective drugs. Safety and effectiveness
2 are demonstrated by adequate and well-controlled
3 clinical investigations. Science and drug
4 development have considerably advanced using
5 randomized, blinded, controlled-trial design. The
6 randomized clinical trial has revolutionized our
7 view of what are effective treatments for many
8 diseases such as cancer therapy and heart disease.

9 For female infertility, we want to make
10 sure we provide the public with drugs that are safe
11 and effective. This is accomplished by the
12 provision of evidence from properly designed and
13 conducted trials. The complex nature of clinical
14 treatment protocols and the rapidly changing
15 technologies and pharmacotherapy makes it a
16 challenge to establish standards for insuring that
17 clinical trials of drugs for female infertility are
18 appropriate.

19 There is an overriding charge for the
20 assembled experts attending today and tomorrow.
21 This charge is to inform the division regarding
22 elements that must be incorporated into clinical
23 trials for pharmacologic therapy of infertile women
24 so that these trials will provide the level of
25 evidence needed to conclude that these therapies

1 are, indeed, safe and effective.

2 With this information and input from all
3 appropriate sources including the pharmaceutical
4 industry, the division will write a guidance for
5 the clinical evaluation of drugs in this area
6 resulting in more rapid and efficient development
7 of pharmacologic therapy for female infertility.

8 Thank you.

9 DR. GIUDICE: Thank you very much.

10 We now have a guest speaker, Dr. David
11 Keefe, who will talk to us--who is the Director of
12 the Reproductive Medicine and Infertility Unit at
13 Women's and Infant's Hospital in Rhode Island. He
14 will talk to us on Ovulation Induction and Assisted
15 Reproductive Technology, Background and State of
16 the Art.

17 Dr. Keefe.

18 **Ovulation and Assisted Reproductive Technology**

19 **Background and State of Practice**

20 DR. KEEFE: Great.

21 [Slide.]

22 Thank you, Dr. Giudice and thank you for
23 the opportunity to come here today and share in
24 this very important forum.

25 [Slide.]

1 There are a number of aspects to my
2 presentation. I am going to give an overall
3 introduction to the ART procedures and, in
4 particular, in vitro fertilization and comment in
5 particular how they relate to the issues of study
6 design, particularly the study population, study
7 design and then, hopefully, give you a sense of
8 what I think is the future of ART, particularly the
9 issue of ART outcomes.

10 [Slide.]

11 Assisted Reproductive Technologies
12 actually encompass a variety of different
13 techniques. The one most commonly practiced in the
14 United States is in vitro fertilization with embryo
15 transfer. It is a little bit of a misnomer to call
16 it in vitro fertilization because probably the most
17 crucial part is the actual implantation which
18 follows. But convention calls it in vitro
19 fertilization.

20 In addition, gamete intrafallopian
21 transfer is a variation on this theme in which the
22 gametes are placed in the fallopian tubes or placed
23 after the fertilization has actually occurred,
24 zygote intrafallopian transfer or tubal-embryo
25 transfer.

1 These three are almost of historic
2 interest because they are not widely practiced.
3 There are rare indications and I am not going to
4 discuss controlled ovarian hyperstimulation with
5 intrauterine inseminations which some would put
6 under the rubric of assisted reproductive
7 technologies. Rather I am going to focus most of
8 the discussion this morning on in vitro
9 fertilization, the most widely practiced of the
10 assisted reproductive technologies in the United
11 States.

12 [Slide.]

13 The first step is to downregulate the
14 ovaries, typically done with oral contraceptive
15 pills or progesterone with gonadotropin-releasing
16 hormone agonists or antagonists, to first try to
17 synchronize the follicular cohort since the idea is
18 to superovulate the woman. The controlled ovarian
19 hyperstimulation step is typically done with
20 gonadotropins and monitored with a number of
21 ultrasound and estradiol levels.

22 Next, after the ultrasounds and estradiols
23 have identified a size of follicle and an estradiol
24 level consistent with oocyte maturation within the
25 follicle, then hCG human chorionic gonadotropin is

1 used to trigger maturation largely because hCG
2 serves as a kind of a surrogate for luteinizing
3 hormone, the physiologic trigger of oocyte
4 maturation. Just practically hCG has a much longer
5 half-life and is more practical, at least under
6 current technologies.

7 Retrieval is done under general sedation
8 and then fertilization is typically performed
9 transvaginally. Fertilization is effected either
10 with an incubation with the gametes in the test
11 tube or through direct injection of the sperm
12 through intracytoplasmic sperm injection.

13 Next, the embryos are cultured for a
14 number of days, between two and six days, depending
15 on protocols, particularly under the indications of
16 the patient. The embryos, then, are transferred
17 through a very non-invasive procedure in which a
18 very small flexible catheter is used to place the
19 embryos up into the uterus, typically without but
20 occasionally with a hatching procedure in which the
21 shell, the zona pellucida, around the embryos is
22 first thinned or breached.

23 Next, and finally, the luteal phase, that
24 second half of the menstrual cycle, is supplemented
25 since the retrieval had aspirated a number of the

1 follicular cells and the
2 gonadotropin-releasing-hormone agonists or
3 antagonists may have shut down their ability to
4 produce progesterone adequately initially. So
5 progesterone is administered either vaginally or
6 intramuscularly for a number of days.

7 [Slide.]

8 So just an overview here. You have the
9 follicle stimulation. I think this gives you a
10 sense of the time frame. This is a very drawn-out
11 procedure which may go up to six weeks for an
12 individual single cycle in which these follicles
13 are stimulated with gonadotropins after
14 downregulation and then the mature eggs are removed
15 with a 15 or 20-minute aspiration under general
16 sedation.

17 Then the eggs and sperm are joined
18 together in the fertilization step and the embryo
19 is cultured. So it gives you a sense of the
20 drawn-out nature of this procedure which is, I
21 think, particularly challenging for the couples
22 going through--when the woman often is working or
23 has a life outside the IVF cycle.

24 [Slide.]

25 The in vitro fertilization step also

1 involves a number of laboratory procedures, and
2 each of them provides a potential for an outcome;
3 the number of eggs retrieved. The next step is
4 that the eggs are stripped and they have to be
5 equilibrated in the culture media. And then
6 fertilization, how effectively was that performed?

7 Then the incubation provides at least up
8 to six days when each of the steps of embryo
9 development can be monitored in vitro. So these
10 processes can be broken down and a number of
11 metrics can be applied to each, useful for outcome
12 studies if one is evaluating the efficacy of the
13 various medications that are used.

14 [Slide.]

15 Controlled ovarian hyperstimulation is
16 going to be dealt with by the next speaker in much
17 more detail, but you can see at least these two
18 commonly used protocols, the GnRH agonists
19 protocol, first.

20 This is the one down below, the lower in
21 the panel here, in which this agonist is
22 administered in the luteal phase of the preceding
23 cycle that we are actually going to move forward on
24 the aspiration. Because there is an initial
25 stimulatory effect, the luteal phase is the most

1 refractory phase of the menstrual cycle to
2 gonadotropins. This flare effect is masked by the
3 high levels of progesterone in the luteal phase of
4 the antecedent cycle and then allowed to move, five
5 to seven days later, into the suppressive phase
6 when, then, the stimulation can begin and then,
7 finally, the hCG trigger.

8 The antagonists are direct inhibitors of
9 the GnRH receptor and, therefore, do not need to be
10 preceded by--or administered in the preceding
11 luteal phase. Instead, the gonadotropins are
12 initiated at the beginning of the cycle directly
13 and then the antagonist is added later towards the
14 mid-portion to later portion of the sort of mock
15 follicular phase finally hCG is triggering. So you
16 can see at each point along the way, a number of
17 potential markers.

18 [Slide.]

19 With regard to how we evaluate studies
20 now, the study populations that are used, it is
21 really important to note that there are--each of
22 these procedures done, in vitro fertilization,
23 intracytoplasmic sperm injury in donor egg, are
24 very, very different. These are different
25 procedures but they are administered in very

1 different patients. So it is very problematic to
2 group data from each of these.

3 [Slide.]

4 They really reflect quite different
5 pathology. The diseases that are being treated
6 with these oftentimes are not even reflected in the
7 diagnosis that may appear in the chart.
8 Intracytoplasmic sperm injection implies,
9 therefore--you know, it is a very significant sperm
10 problem and assumes, oftentimes, that there is a
11 normal egg complement although that also may be a
12 concomitant problem.

13 Donor egg, at least when it is
14 administered on the recipient side, means
15 presumably the eggs are actually quite healthy. So
16 there is a major difference in egg dysfunction with
17 in vitro fertilization having a higher likelihood
18 of poor egg reserve and function, more than
19 intracytoplasmic sperm injection, and egg donation,
20 of course, would have the least likelihood of
21 having dysfunctional eggs.

22 Indeed, egg dysfunction, also known as
23 ovarian reserve and very crudely estimated by the
24 chronological age of the woman, is the best
25 predictor in virtually every study that has ever

1 been published on the outcome of in vitro
2 fertilization, more important than the diagnosis,
3 more important, oftentimes, than the chronologic
4 age, itself.

5 Indeed, there are log-order differences in
6 pregnancy rates among groups of patients from a
7 woman who is in her mid-40s with a diagnosis, say,
8 of tubal disease as opposed to a woman in 20s. And
9 there may be women who are matched by age but
10 exhibit markedly different reproductive-aging
11 markers.

12 So egg dysfunction underlies much of the
13 outcome and has to be very carefully controlled in
14 any studies, either through inclusion-exclusion
15 criteria, case control or stratification.

16 [Slide.]

17 There are a number of issues regarding
18 study design, particularly efficacy measures and
19 the question of how we should define success and
20 what are safety endpoints are also quite important
21 in evaluating in vitro fertilization and the status
22 of IVF today.

23 [Slide.]

24 Of course, deliveries, the number of
25 babies that actually come out of a study, the

1 cycles that were initiated, is the gold standard in
2 any study. But this is, as you can imagine, costly
3 and the power needed to show differences when you
4 have something that is happening 20percent to
5 50 percent of the time is quite large.

6 So a number of surrogate clinical
7 outcomes, as well as surrogate biological outcomes,
8 are frequently employed in studies. Ongoing viable
9 pregnancies and clinical pregnancy rates, which is
10 essentially a sac with a fetal heart detectable,
11 are widely used as is biochemical pregnancy rate
12 which is the very earliest marker of a pregnancy
13 even before the sac or the fetal heart is
14 detectable, typically with just the beta hCG rising
15 appropriately, are widely used.

16 The surrogate biological outcomes that
17 have been employed in studies include the number of
18 follicles, the peak estradiol, the number of eggs
19 that are aspirated and the fertilization rate as
20 well as the embryo cleavage and morphology rates.

21 [Slide.]

22 The deliveries per initiated cycle, of
23 course, is the gold standard but you need huge
24 power to show differences between a study that--in
25 a study where the expected outcome is 30 percent.

1 This makes is very expensive. It is also difficult
2 to measure, at times, but it is important because
3 there may be huge patient-specific differences in
4 groups that are oftentimes subtle and not obviously
5 reflected in simple things like the FSH or the age.

6 [Slide.]

7 The surrogate clinical outcomes are closer
8 to the gold standard than the purely biological
9 ones. You need much less power because of this,
10 but they are clinically important outcomes as well.
11 There are differences, though, in miscarriage rates
12 according to protocols as well as with patients
13 that may make it less than desirable, less than the
14 optimal.

15 They also may be contaminated heavily by
16 clinical practices. For example, clinics vary
17 significantly in their level of cancellation, the
18 criteria they will use to not allow a patient to go
19 to transfer or not even to start a patient or, once
20 they are started, to cancel them. So there can be
21 a lot of confounders in any studies and these are
22 ones that clinicians have been very careful to
23 evaluate themselves when they look at the study.

24 [Slide.]

25 Looking at biological outcomes, they are

1 quite distant from the gold standard of the
2 pregnancies per cycle started but much more
3 sensitive. They may not reflect really clinically
4 important outcomes. For example, there are many
5 women that have a low response to controlled
6 ovarian hyperstimulation but they still have
7 excellent outcomes.

8 So if you were using just peak estradiol
9 or the number of eggs in a 25-year-old, that is
10 something very, very different than if she is a
11 45-year-old. There are subtle differences, as
12 well, in drug potencies on egg yield in estradiol
13 that may be interesting and significant
14 statistically but not so important clinically
15 because you just manage that by changing the
16 dosing.

17 [Slide.]

18 So how should success be defined with
19 assisted-reproductive-technology studies? It has
20 been proposed that there should be placebo
21 controls. It is a little hard to do that in a
22 situation in which, say, somebody is going through
23 in vitro fertilization because they have blocked
24 tubes.

25 [Slide.]

1 Rather, I think success should be defined
2 according to the pregnancy rate but also according
3 to a number of other issues which include things
4 like convenience and discomfort level. The
5 pregnancy rate is important but there are a number
6 of other factors.

7 You saw, at the beginning, when I
8 discussed the IVF proposals, how complex and time
9 consuming they are. So a study which showed
10 equivalence but convenience, as one of the
11 outcomes, was superior in one of the arms could
12 actually be a superior product. So convenience and
13 discomfort are very important.

14 [Slide.]

15 The other issue is that, with regard to
16 the importance of accepting sort of noninferior or
17 equivalent drugs, is that we really need
18 competition in this area. There are also a number
19 of patient-specific preferences. Options in
20 allowing patients to choose would be enhanced.
21 There would be an enhanced sort of customer
22 satisfaction.

23 For example, some patients prefer
24 vaginal-route progesterone over intramuscular
25 administration of progesterone, or vice-versa. So

1 it is important to have variation and different
2 options available for administration routes and
3 other factors.

4 An example of this would be, as I
5 mentioned, the vaginal progesterone versus
6 intramuscular and, of course, the differences in
7 the number of days that the agonist is administered
8 versus the antagonist. I showed you at the
9 beginning the very long cycle that the agonist
10 requires and the antagonist shortens that.

11 So, just look at pregnancy as the only
12 outcome would really miss important factors because
13 of the time cost and the convenience cost and the
14 comfort cost that couples accrue, particularly the
15 woman, as they proceed through IVF.

16 [Slide.]

17 Safety endpoints conventionally include
18 the ovarian hyperstimulation syndrome, miscarriage,
19 multiple pregnancy rate and ectopic pregnancy rate.
20 Because ectopic are so rare with IVF, I won't even
21 discuss them.

22 [Slide.]

23 But, obviously, ovarian
24 hyperstimulation--this is a life-threatening
25 condition, potentially life-threatening condition,

1 in which some factor emitted from the ovaries,
2 secreted from the ovaries, confers a vascular
3 permeability throughout the body of the woman and
4 can lead to ascites, large accumulation of fluid in
5 the abdominal cavity, pleural effusions,
6 instabilities in the hemodynamic system as well as
7 increased coagulation. There have actually have
8 been a number of deaths, strokes, loss of limbs
9 from clotting and vessels.

10 So this really sets the upper limit on the
11 controlled ovarian hyperstimulation that the woman
12 is going through for in vitro fertilization. There
13 is a pretty good correlation between the amount of
14 stimulation, the number of eggs, the peak
15 estradiol, sort of the number of lottery tickets we
16 buy each time we put the woman through IVF and this
17 sets the upper limit.

18 It constrains how high you can go. The
19 risk may be modified by lowering those peak
20 estradiols. A number of examples include recent
21 introduction of aromatase inhibitors and
22 luteinizing hormone which may alter the peak
23 estradiol and alter some of the estradiol-related
24 molecules, vascular endothelial growth factor, and
25 so on, that may be mediating the risk for control

1 of ovarian hyperstimulation.

2 So it may alter those, but not alter the
3 success rate. And that would be a big win.

4 [Slide.]

5 Miscarriage is a very common occurrence
6 following assisted reproduction as well as
7 following any pregnancy. It is quite common, even
8 in young women going through IVF. Up to 15 percent
9 of them undergo a miscarriage after conception. In
10 older women, women who are in their early 40s, up
11 to 70 percent of their pregnancies will end as a
12 miscarriage.

13 These rates are highly affected by
14 patient-specific factors--for example, the age of
15 the woman, her ovarian reserve--but also could be
16 influenced by a number of stages of the assisted
17 reproductive technology that she is undergoing.
18 The stimulation regimens theoretically could
19 influence the risk of miscarriage. Particularly
20 overstimulation with luteinizing hormone has been
21 shown to disrupt developmental potential of the
22 embryos, disruption of the normal luteal-phase
23 support as well as a number of laboratory-related
24 processes that we are not discussing this morning,
25 the culture media.

1 So this is a very important endpoint.

2 [Slide.]

3 Multiple gestations are major risks for
4 IVF. It is very common, between 15 and 50 percent,
5 depending on the aggressiveness of the center.
6 There are, of course, major obstetric pediatric and
7 public-health concerns from multiple gestations
8 including prematurity. Cerebral palsy risk is
9 increased threefold with twins and twelvefold with
10 triplets, Caesarian section rate from almost 100
11 percent with triplets to 40 to 50 percent with
12 twins, preeclampsia, gestational diabetes. The
13 list goes on. This is a major risk which has
14 actually reached public-health proportions.

15 It is affected by patient-specific
16 factors; for example, her age and her ovarian
17 reserve. It is affected by rather illusive
18 clinician practices, the number of viable embryos
19 transferred. It is obvious that the doctor is very
20 committed to optimizing the success rate, not only
21 for the patient but for his or her own center, and
22 it is a very tricky thing to figure out exactly how
23 many embryos to balance the needs of the couple
24 going through the procedure versus the bigger
25 concern about preventing multiple gestations.

1 Of note, monozygotic twinning is also
2 increased significantly after all forms of assisted
3 reproductive technology, not just after IVF, not
4 just after blastocyst transfer, but even after
5 clomiphene citrate ovulation and gonadotropin
6 ovulation and induction. This is a real
7 significant problem as well. While it is not
8 anywhere near as common, it approaches 3 to 4
9 percent in some IVF practices including blastocyst
10 transfer as opposed to the 1 in 800 baseline.

11 It is a significant cause of morbidity
12 because of the twin-twin transfusion syndrome that
13 can result from a monozygotic twin; that is, where
14 a single zygote or embryo later has split. It is,
15 by the way, more difficult to control through
16 modifying the number of embryos transferred because
17 you could put in one embryo and still end up with
18 monozygotic twins.

19 A number of other developmental anomalies
20 are just sort of appearing on the horizon. It is
21 more of a question of whether some of these should
22 be included as potential risk factors or safety
23 concerns with assisted reproductive technology. I
24 think it really just requires more studies before
25 we conclude about their importance.

1 But imprinting abnormalities, and
2 particularly the Beckwith-Wiedemann syndrome and
3 Angelmann syndromes have been implicated in
4 complications of IVF. Pregnancy-induced
5 hypertension is increased even when you control for
6 multiparity following in vitro fertilization and
7 Baha Subai and others have argued that one of the
8 etiologies of pregnancy-induced hypertension is an
9 imprinting abnormality.

10 So I think we just need more data before
11 we know. They tend to be quite rare to begin with
12 so it is a little hard to know to what extent what
13 we are seeing is a detection bias.

14 [Slide.]

15 Finally, just to look into the future of
16 where IVF is going and, particularly, assessment of
17 IVF, we really do need more randomized clinical
18 trials.

19 [Slide.]

20 We need multicenter networks doing these
21 randomized clinical trials. I think those of us
22 who deal with menopause patients are just
23 overwhelmed by the impact of the Women's Health
24 Initiative on our clinical practice and why can't
25 we get this far in fertile patients. They deserve

1 it. There should be more randomized clinical
2 trials to answer these questions.

3 In addition, we need more racial and
4 ethnic diversity in our clinical studies to ensure
5 generalizability, particularly as mandates for in
6 vitro fertilization coverage spread across the
7 country. I work in two states, Massachusetts and
8 Rhode Island, where we have a mandate and it is
9 really gratifying to see couples from all walks of
10 life coming through our practice, not just very
11 wealthy investment bankers, doctors and lawyers.

12 [Slide.]

13 In addition, we need to improve biological
14 surrogate markers. I think is going to come before
15 the other two. In particular, aneuploidy is
16 ubiquitous and it is related to assisted
17 reproductive failure. There is increased embryo
18 apoptosis or cell death. There is implantation
19 failure and miscarriage from aneuploidy that is
20 abnormal chromosome number.

21 This could, then, provide a meaningful
22 biological surrogate for outcomes. In addition, a
23 number of safety problems in in vitro fertilization
24 stem from attempts to overcome egg dysfunction and
25 its core aneuploidy through controlled ovarian

1 hyperstimulation. Essentially, what we are doing
2 is we are pushing harder and harder to get more and
3 more eggs in the hopes that we will find one or
4 two, and then we will put more and more in until we
5 finally find the right one.

6 But if we could figure out which of those
7 embryos are the developmentally competent ones up
8 front, we would avoid much of that. There is some
9 evidence that controlled ovarian hyperstimulation,
10 itself, may predispose to aneuploidy by
11 shortcutting sort of the normal selection process
12 of follicles and by altering the follicular
13 environment.

14 There are a number of new technologies on
15 the horizon to diagnose aneuploidy which will make
16 it practical. Just to give you an example, many of
17 the studies we read in the literature will use
18 high-quality embryos, or healthy-appearing embryos
19 or viable embryos as a marker, an outcome measure.

20 [Slide.]

21 This just shows you a number of
22 photomicrographs of a healthy-appearing embryo
23 that, on Day 3, was diagnosed with trisomy 21 by
24 preimplantation genetic diagnosis with normal
25 development of blastocyst. Indeed, there is some

1 evidence that certain trisomies are more likely to
2 reach blastocyst than others suggesting that
3 blastocyst development culture in vitro to this
4 later stage of Day 5 or 6 is not the answer and, as
5 we know, it also may introduce other complications
6 through enhanced stress on the embryo.

7 [Slide.]

8 Preimplantation genetic diagnosis is
9 probably going to improve the implantation rate.
10 This is a study from Gianaroli showing a doubling
11 of the implantation rate when he prescreened them
12 with a set of nine probes. It seemed to predict
13 the outcome.

14 [Slide.]

15 Here patients had failed IVF three times
16 and were, then, submitted to preimplantation
17 genetic diagnosis and were either found to have no
18 one or a greater than one normal embryo with these
19 limited number of probes.

20 [Slide.]

21 You can see, at each point, an increase, a
22 significant increase, in the birth per patient
23 suggesting, again, that this is going to be key.
24 Tony Pellicer in Valencia showed that you could
25 also reduce the pregnancy loss in IVF patients that

1 he had gone through.

2 [Slide.]

3 Gonadotropins are key to this. This is
4 where evaluating gonadotropins and how well they
5 do, we know that it is the gonadotropins that, of
6 course, when we stimulate the immature follicles,
7 develop and it is these spindles within the eggs
8 that are teasing apart the chromosomes here at
9 metaphase 1 lined on the metaphase plate. This is
10 when the aneuploidy first appears.

11 [Slide.]

12 You can see that there are abnormal
13 spindles. This is a shameless self-promotion of my
14 own research here for a moment, if you will--you
15 can see abnormal spindles by Battaglia in these
16 eggs from abnormal spindles.

17 [Slide.]

18 This just shows that we can actually image
19 this noninvasively using some pole technology that
20 we were involved with and, by doing this,
21 demonstrate improved development and improved
22 pregnancy rate when we do this noninvasive
23 investigation of the egg quality.

24 [Slide.]

25 In addition, there is a new area that we

1 are working on in which we look at some of the
2 chromosome structure and its propensity to
3 aneuploidy and we have shown a number of factors
4 that predispose to chromosome abnormalities in
5 embryos that can be predicted by this biological
6 marker.

7 So, in summary, then, in vitro
8 fertilization is improving. We have got still a
9 very complex proposal. We need it to be
10 simplified. We need studies that show which of the
11 better drugs--that use randomized clinical trials.
12 In the meantime, we need to continue to improve
13 these shorter-term biological markers as
14 surrogates.

15 Thank you.

16 DR. GIUDICE: Thank you, Dr. Keefe.

17 **Questions from the Committee**

18 The committee now has several minutes to
19 ask questions of Dr. Keefe, if there are any.
20 While people are gathering their thoughts, David, I
21 would like to ask you if you could please comment
22 upon the issues and challenges of placebo control
23 in ART treatment and also the issue of blinding.

24 DR. KEEFE: Okay. The issue of placebo
25 control is problematic in ART for a number of

1 reasons. In particular, a number of the treatments
2 that we now use in in vitro fertilization have
3 already been demonstrated to be better than
4 nothing. For example, the Canadian study has shown
5 that untreated infertility, unexplained
6 infertility, over the course of five years while
7 Canadians were waiting to get into the Canadian
8 health system had an expected fecundity of around 2
9 percent per month, which is significantly less than
10 what we experience through most of these
11 treatments.

12 In addition, a number of diagnoses for
13 which we now use in vitro fertilization would be
14 expected to have a zero percent pregnancy rate,
15 especially completely blocked, occluded fallopian
16 tubes. Finally, those with severe male-factor
17 infertility in which there is no sperm,
18 azoospermia, in which the sperm has to be extracted
19 from the testicle and has essentially zero
20 motility, or very low motility, and is only going
21 to get into the egg through a direct injection
22 route, I think it would be unethical to use a
23 placebo.

24 I think in other areas, where there is
25 questionable value, you can imagine the utility of

1 a placebo. Unexplained infertility when everything
2 else seems to be working but there is no pregnancy
3 is one example, a 2.5 percent pregnancy rate per
4 month. I think that is one area where there might
5 be some use of it, but, for the most part,
6 especially for in vitro fertilization, I think it
7 would be better to use existing technology as the
8 control and then add in the additional.

9 And then the second was a placebo and then
10 blinding? Blinding is difficult for in vitro
11 fertilization compared to placebo, obviously, but,
12 for in vitro fertilization with Treatment A as
13 opposed to Treatment B, it is very reasonable.
14 Blinding, of course, is a fundamental study design
15 and is always desirable.

16 I don't think that there is much impact of
17 blinding on the patient side. I don't think there
18 is much of a placebo effect here but, certainly, on
19 the clinician side where you can see the complexity
20 of the treatment regimens, where there is a great
21 potential for confounders to be introduced in terms
22 of the way the cycle is handled, it is a very
23 valuable strategy to be able to control for those
24 potential confounders in which the doctors may be
25 treating differently the treatment versus the

1 control group. So I think blinding would be very
2 valuable, particularly with medications in which
3 there is a potential to treat according to what you
4 think might be the best or the more desirable way.

5 So I think both blinding on the patient
6 and the doctor side was desirable although,
7 obviously, on the doctor side of it is more
8 important.

9 DR. GIUDICE: I think we all realize--

10 DR. KEEFE: There is a question. Do you
11 have a question?

12 DR. GIUDICE: I think we also all realize,
13 though, that in doing an ovulation induction cycle
14 or ovulation enhancement for ART, if there is a
15 placebo involved, that blinding is nearly
16 impossible because of the follicular response. In
17 monitoring a cycle with the placebo, if there is no
18 follicle response, it is very clear on ultrasound
19 and so that is an issue that I think is important
20 as we look forward to the subsequent discussions
21 that we will be having.

22 There are other questions. Yes?

23 DR. EMERSON: On one of your slides, you
24 remarked about the superiority of being--whether it
25 was necessary or not and you included in there

1 superiority against a placebo would potentially
2 have--would not be a necessity and due to
3 convenience of what? I guess I am not
4 understanding where you would not require
5 superiority against a placebo.

6 DR. KEEFE: The emphasis in that slide was
7 that superiority does not need to be demonstrated
8 to show superiority--if the outcome is pregnancy,
9 it is not necessary because there may be
10 superiority in other outcome variables such as
11 convenience, pain, and so on. So the emphasis
12 there was on the importance of taking a broad view
13 of the outcomes, not just pregnancy rate, or not
14 just ovulation, but also user-friendliness, the
15 intrusion on the person's life.

16 So the placebo issue, as I mentioned, I
17 think there is a limited role for placebo treatment
18 in most IVF studies at this point, although I could
19 imagine for ovulation induction, certain treatments
20 are unexplained in fertility, there could be a
21 limited role. So there, the importance of that
22 slide, or the point was that it isn't just
23 pregnancy outcome but also convenience and pain
24 would also be important outcomes.

25 DR. EMERSON: Another question. When you

1 were listing your potential for improved biological
2 surrogate markers, it wasn't immediately clear to
3 me whether this aneuploidy, as a predictive value,
4 could just be used to improve the efficiency of the
5 whole procedure rather than be a surrogate
6 endpoint, as itself. I mean, is there enough
7 evidence, really, to suggest that it, as a
8 surrogate, would be indicating whether the
9 treatments were successful or is that just a means
10 whereby we can improve the whole IVF process and it
11 is not really indicating the drug response.

12 DR. KEEFE: Those are good questions. We
13 don't have enough evidence at all. I mean, there
14 are only limited studies from Gianaroli's group,
15 from Santimine's group, that are suggesting its
16 potential value. I mean, we really need to do more
17 studies, larger studies.

18 But that really was looking to the future.
19 As we look forward, I think we will see new
20 technologies that allow us to look at all of the
21 chromosomes. Once you have a helpful predictor of
22 outcome, that, I believe, will become the most
23 useful marker of the outcome.

24 So, if you have something that is
25 predicting with high fidelity the implantation rate

1 of a given embryo, then that will become a useful
2 marker of how you are doing with that, and one of
3 those factors will be the treatment. It is true,
4 though, that, as even with IVF, itself, probably
5 the most important thing is not the drugs that are
6 used or the way they are used. It is the patient.
7 There is a huge patient-specific or
8 patient-dependent parameter that is difficult to
9 get our minds around and to measure.

10 I suspect that a lot of that will be
11 aneuploidy. Then, once you have that nailed down,
12 then you will be able to look at the potential
13 effects of drugs or stimulation regimens on that.
14 Until we do that, you know, we use things like age
15 or FSH. These are explaining about 10 percent of
16 the outcome in logistic regression equations. They
17 are almost noise.

18 They are the best we have but we don't
19 have a good understanding of the factors that drive
20 the outcome. My bet, and, again, this is pure
21 speculation at this point, that a lot of this will
22 be aneuploidy. It will be the propensity towards
23 aneuploidy. PDG is just the tip of the iceberg. I
24 suspect that SKY or comparative hybridization will
25 allow us to really get the handle on that and then,

1 once you have that level of determinism, then you
2 can look realistically at potential impacts of
3 drug-stimulation regimens on the outcome
4 meaningfully.

5 Of course, as it is now, you can just
6 randomize everything and it will all fall out. But
7 you have a lot of noise in the system and it makes
8 it very hard to do studies in a practical way that
9 shows anything.

10 DR. GIUDICE: Dr. Macones, Dr. Rice and
11 then Dr. Stanford.

12 DR. MACONES: Dr. Keefe, you had a couple
13 of slides about ovarian hyperstimulation. I was
14 wondering if you could give me some information
15 about how predictable it is based on estradiol
16 levels and, I believe, either follicle size or
17 number.

18 DR. KEEFE: So ovarian hyperstimulation
19 syndrome is a really, really adverse outcome that
20 is very hard to predict. So, for example, there is
21 a review by Mary Lau for Infertility and Sterility
22 about six or seven years ago in which he sort of
23 put it all together. Most of us use the cutoff
24 that he used which is 3500 picograms per ml of
25 estradiol at the peak to block the trigger, to stop

1 us from triggering. But that gives a predicted
2 risk of hyperstimulation of about 5 percent.

3 So we are acting very conservatively
4 because of the risk, the low risk, of a severe
5 outcome. Just as PGD, preimplantation genetic
6 diagnosis, should enable us to better understand
7 the things that are driving outcome, the increased
8 understanding of the pathophysiology of ovarian
9 hyperstimulation, I suspect, also, will allow us to
10 get around that outcome, adverse outcome--growing
11 evidence that vasculoendothelial growth factor is
12 one of the drivers of this vascular permeability
13 may allow us to use that as a maker.

14 But, in the meantime, most of us use a
15 very conservative cutoff to avoid the risk of the
16 severe sequelae of hyperstimulation even though it
17 is a quite rare outcome.

18 DR. GIUDICE: Dr. Rice?

19 DR. RICE: Dr. Keefe, you briefly
20 mentioned the SART database which I guess now is
21 sort of the SART-CDC database. I am wondering,
22 your comments on that database and how we can
23 effectively use it as to help us address some of
24 the outcomes or address any differences in product.

25 I was struck by the fact that I sat on a

1 thesis committee for one of our colleagues who was
2 getting his Masters about 1998, 1999 and he used
3 that database as the basis for his thesis and
4 really did show that African-American women who
5 participate in ART procedures, even when you
6 control for Day 3 FSH or age, had lower pregnancy
7 rates and this was never really brought out in a
8 real public manner.

9 So I am just wondering if we could better
10 utilize that database to help us address some of
11 these questions.

12 DR. KEEFE: That is a really good
13 question. I think the SART database is a
14 beginning. We have begun to answer some
15 interesting questions. There is a tension within
16 the community about the SART database. On the one
17 hand, some view it as sort of a threat, that they
18 are being exposed, the real size of their pregnancy
19 rates is being revealed before everyone else.

20 They will often argue that, gee, the
21 patients don't really want to see all these
22 numbers. It is very complex. On the other hand,
23 there is a huge potential. I think what we should
24 use--get a lot more information from that on the
25 patient side. There has been an argument that

1 there is too much on there already. Patients go to
2 it and they get just overwhelmed by so much
3 information in front of them.

4 I think that what we could do is we could
5 use, like, hyperlinks. If you want to know more
6 about the inclusion and exclusion criteria about a
7 specific clinic, that should be put in there. One
8 of the problems is there is a lot of variability
9 among clinics and who actually is going through.
10 That should be posted. It should be "buyer
11 beware." In other words, all information available
12 for those who want it and then you could have
13 hyperlinks which you click and that brings you into
14 inclusion and exclusion criteria for a given
15 clinic.

16 If the inclusion and exclusion criteria
17 are not published there, the patient should have
18 full rights to go through it, whatever particular
19 situation they have.

20 On the research side, I agree with you.
21 There are a lot of interesting questions that could
22 be asked. One of the concerns that I have had is I
23 am not sure how available that database is to those
24 who have questions. I have talked to a couple of
25 colleagues who have approached them and discussed

1 projects, and they kind of go into a queue.

2 I am actually just newly elected to the
3 Registry Committee for SART so that will be one of
4 the issues I will be bringing up is accessibility.
5 I think that the data should be transparent. It
6 should be accessible. It should be available.
7 People that have interesting questions like the one
8 you are raising should have a shot at it. Only
9 then, you know, we will be able to really take
10 advantage of it.

11 As we used to say, they have all the
12 answers but we have all the questions.

13 DR. GIUDICE: Dr. Stanford?

14 DR. STANFORD: Dr. Keefe, I wonder if you
15 could comment generally on the issue that these ART
16 protocols involve multiple complex regimens, as you
17 mentioned, multiple drugs with multiple different
18 scheduling of them. When it comes to investigating
19 and trying to establish the efficacy of a new drug,
20 you have all these other variables, all these other
21 drugs, drugs that you are using with it that may or
22 may not have been approved for that protocol or
23 that indication as well as the patient factors.

24 This may be something that we discuss
25 later as well and I would be interested in FDA

1 staff input, but I am just interested in your--what
2 perspective you would have on that if you are
3 trying to nail down, is this drug effective, when
4 you have all these other variables.

5 DR. KEEFE: That is a great point. I
6 think it was Alfred North Whitehead that said that
7 science is the art of the answerable. This is a
8 lot of art and not too much answerable. I agree
9 with you. It is such a complex moving target. But
10 that is why, in a blinding, at least with regard to
11 drugs, it would be very helpful because if all that
12 goes out in the wash, then it is less important.
13 But if those other things are being tweaked in
14 response to the biases on the part of the
15 investigators about the control versus the new
16 drug, you can imagine a lot of mess that would
17 create.

18 But I think if you can let it all fall out
19 in the wash, you could still find useful
20 information out of it. It is just the numbers are
21 just enormous when you have so much noise, so much
22 error, in the equation.

23 You are right. It is a very complex
24 experiment we are doing.

25 DR. GIUDICE: Dr. Toner?

1 DR. TONER: Just a point of information
2 regarding the question of the SART database. It
3 is, at this point, a joint effort with the CDC and,
4 to use those data, you need permission from the
5 CDC. That is doable. I have done it on a couple
6 of occasions.

7 But probably more pertinent to the
8 gonadotropin question is the fact that, at this
9 time, the database simply collects how many units
10 of FSH were used in that cycle. So you have
11 nothing about the brand, nothing about how much LH
12 was or wasn't part of it, or any concomitant
13 medications that certainly would influence outcome.

14 So I doubt that that database, in its
15 current form, will be of much use for this topic.

16 DR. GIUDICE: Dr. Crockett?

17 DR. CROCKETT: Yes. I am particularly
18 interested in the research that you presented about
19 the abnormal spindle formation and the aneuploidy
20 rates. It struck me, as I was listening to your
21 talk, when we talk about using control groups and
22 placebos and double-blinded studies, there is very
23 little discussion about comparing these outcomes to
24 what are normal, healthy, fertile, maximum
25 fecundability is as humans.

1 Particularly regarding the aneuploidy and
2 the miscarriage rate, could you just discuss the
3 ramifications of using our healthy human population
4 as a comparison?

5 DR. KEEFE: That is a good question.
6 Battaglia's research on spindles actually used
7 normal volunteers. He had an NIH grant and normal
8 volunteers were monitored and then their eggs were
9 retrieved under the spontaneous surge, hence the
10 low numbers in the study. It is hard to get a
11 single egg.

12 That is one of the few studies. Others
13 have used natural populations, natural cycling
14 populations, to look at aneuploidy rates. Placheau
15 has found 25 percent of eggs are aneuploid in
16 normal situations when they are aspirated. Also,
17 Pat Hunt has found similar rates with using
18 karyotypes.

19 There are no head-to-head comparisons, but
20 if you look at assisted reproductive technology
21 cycles, the rates go much higher, particularly as
22 you get into women who are in their late 30s and
23 early 40s. Using comparative genomic
24 hybridization, and SKY, the group in St. Barnabas
25 is finding the majority of eggs in women with

1 infertility have aneuploidy, so more than 50
2 percent, one study up to 70 percent, of the eggs
3 could be identified.

4 There is also a false-positive rate with
5 that. Hypoploidy is actually a missing chromosome
6 that washed off the slide. But, still, there is a
7 very, very high rate of aneuploidy in humans. One
8 wonders why any of us are walking around talking
9 like this because we are all survivors of this
10 massive struggle for existence.

11 Some have argued that, in fact, the human
12 has evolved as a mono-ovulator with subfecundity
13 because it is such a social species and there is
14 such an important role for socialization. You can
15 see the same pattern of reproduction in other
16 long-lived social species like whales and elephants
17 and primates.

18 So there are two parts to it. One is that
19 we are mono-ovulators and we sort of get programmed
20 in a very limited fecundability and, on top of
21 that, we live long. So you have sort of two hits.
22 You have a defective egg, or a low number of eggs
23 that are likely to be defective at any given time
24 plus a long period of time where wear and tear sort
25 of damages what minimal reserve we started with.

1 DR. CROCKETT: I guess what I am asking is
2 when we look at these reproductive technologies, is
3 our goal to overcome that or to match it.

4 DR. KEEFE: That is a good question. A
5 lot of people argue, well, gee, disease--aging is
6 not a disease. Aging is a natural process. But I
7 think that draws a distinction that doesn't exist
8 in the rest of medicine. For example, I have a bad
9 hip. No one has ever questioned that, as a
10 49-year-old walking into an orthopedic surgeon,
11 that I shouldn't expect to have a bad hip when I
12 work out every day and so on. Yet, no one
13 questions whether that should be covered by
14 insurance.

15 I work in a state where there is an IVF
16 mandate but almost every day I have to battle with
17 the insurers that a 38-year-old, 39-year-old,
18 40-year-old going through infertility with a high
19 FSH is going through a natural process.

20 Nature has never been thought to be
21 benign. I mean, nature causes diseases. This is
22 sort of the equivalent of a balanced polymorphism.
23 It is like sickle cell in certain populations that
24 lived in environments where that was advantageous.
25 I think reproductive failure in women was

1 advantageous at a certain time in history.
2 Currently, it is a disease. It gets in the way of
3 women who make great sacrifices in their lives to
4 do things for other people and then, at their stage
5 of life, are now prepared to complete a family and
6 nobody told them that, by the way, this is a
7 natural process, you should have done this instead
8 of go to law school or med school.

9 So this is a disease like any other
10 disease. You know, sure; it is a natural process
11 but it is a natural process which wreaks havoc. It
12 is a misadventure for our current society. It is
13 the other side of the contraceptive evolution and
14 one that we should, I think, attack with equal
15 vigor.

16 DR. GIUDICE: Dr. Brzyski?

17 DR. BRZYSKI: I would like to ask,
18 thinking about the different types of patients, you
19 mentioned a little bit about the different types of
20 patients that are treated with fertility
21 medications. Do you think that that should be
22 taken into account in terms of the safety and
23 efficacy outcome variables that we look at?

24 For instance, a specific example I was
25 thinking about is women with polycystic ovaries

1 have a high miscarriage rate. So you could
2 envision an intervention that might not be very
3 efficacious in terms of ovulation rate but may
4 significantly reduce miscarriage rate whereas that
5 might not be an outcome that might be of relevance
6 to someone, say, with hypogonadotropic
7 hypogonadism.

8 So I just wanted your opinion about
9 whether the outcomes that you look at should vary
10 from patient population to patient population or
11 should it be standardized across the whole drug
12 group?

13 DR. KEEFE: I agree with you there. There
14 are important diagnostic distinctions to be made.
15 The one you described is probably one of the most
16 important as well as the male-factor patients
17 that--there is a big difference between
18 hyper-responders, particularly those with a
19 polycystic-ovary-type dynamic in unexplained
20 infertility patients or patients with tubal disease
21 and what is going on inside their ovaries. So that
22 is a useful distinction.

23 I think less useful distinctions are
24 minimal endometriosis, mild male factor,
25 unexplained infertility. Those diagnostic

1 categories are much less meaningful. So it might
2 be useful to set up a series--sort of revise our
3 diagnostic categories as a field. We have been
4 very hazy in our thinking.

5 You wouldn't see this with cancer or heart
6 disease. You have different stages. You have very
7 clear-cut diagnostic categories. We tend to use
8 these textbooks that we all read back in, you know,
9 the first year of medical school about the causes
10 of infertility. Most of them are not really that
11 useful.

12 I think the one you mentioned is,
13 polycystic ovary syndrome, severe male factor.
14 Those really mean something. Complete tubal
15 disease, tubal obstruction, those are meaningful.
16 And then the rest, sort of the rest, of the
17 diagnoses have much less meaning in terms of
18 outcome. They are not anchored as much in--they
19 are not as valid.

20 DR. GIUDICE: Dr. Rice?

21 DR. RICE: I think Dr. Toner made a good
22 point when he captured really the essence of what I
23 was getting to that we probably don't ask all the
24 right questions of the SART database in order to do
25 the right research.

1 I want to ask you your opinion on this.
2 You are in a mandated state and I know the
3 insurance carrier there requires that you get a
4 certain amount of information on a patient before
5 starting her on an IVF cycle. Are you finding a
6 level of consistency by these insurance carriers
7 requesting similar types of information when you
8 are in mandated state versus when we are in a
9 non-mandated state where people don't have maybe
10 the same criteria for starting a patient on IVF?
11 Are you seeing any inconsistency?

12 DR. KEEFE: There is a lot of
13 inconsistency. It is a huge problem. We spend a
14 lot of time haggling over whether somebody, indeed,
15 merits IVF treatment or not. We have convened a
16 group, sort of working group, of the different IVF
17 programs to come up with a consistent series of
18 inclusion and exclusion criteria that we can all
19 agree on.

20 The problem there is that, even within our
21 group, there is a disagreement, particularly as you
22 get into the low-prognosis patients. Are those the
23 ones that should first be treated because they have
24 the least probability of conception on their own?
25 Or should they be the last that are treated? So

1 there is a lot of inconsistency.

2 DR. GIUDICE: Are there any other
3 questions from the committee? Yes; Dr. Shames?

4 DR. SHAMES: I just wanted to make a
5 comment sort of to prod the committee, and this is
6 early in the process, to start thinking a little
7 about the problems that we have as regulators. Dr.
8 Keefe brought up one point which makes me want to
9 use as an example. Is the point of doing a
10 noninferiority trial, say, for a drug in a
11 situation where the procedure or drug would have an
12 advantage of convenience or discomfort, et cetera,
13 et cetera, which we all certainly understand.

14 The problem for us, of course, is how to
15 measure the convenience and discomfort, how to
16 incorporate it actually into a statistical analysis
17 plan, et cetera, et cetera, on top of a
18 noninferiority trial. I am not asking for details.
19 I am just saying ultimately we want to sort of
20 standardize things which we will believe will help
21 move this process faster.

22 We need to standardize to be sort of fair
23 so when we discuss things like this, any of these
24 issues, we should have in our minds how we actually
25 are going to translate this into real trial designs

1 and analysis plans, things like that. That is my
2 comment.

3 DR. GIUDICE: That is an important
4 comment. We will get to Dr. Lewis in just one
5 moment. Along the same lines, in addition to
6 outcomes, there is also the issue of time for
7 getting to a particular outcome which, in
8 infertility therapy, some women respond very
9 quickly to gonadotropin stimulation. Others take a
10 very long time. Those are measurable outcomes.

11 On the patient side, there is also the
12 issue of getting to the clinic or getting to the
13 office, time away from work. So, somehow, I think
14 we also probably should keep that in mind.

15 Dr. Lewis?

16 DR. LEWIS: I agree with the points you
17 just made. the other thing I wanted to just
18 mention briefly about the SART database, while it
19 is useful to look at, it is all retrospective data
20 and it is not controlled. It is particularly
21 biased by the specific clinic and what their
22 criteria or prescribing habits might be as well as
23 their selection criteria which vary hugely.

24 So I don't think that it can substitute
25 for a well-designed, prospective trial.

1 DR. GIUDICE: Thank you for your comments.
2 Are there any additional questions from the
3 committee? Yes?

4 DR. EMERSON: I would just like to visit
5 the question of the gold standard that you listed
6 which was the number of live births per cycle of
7 therapy. I guess, you know, there are some worse
8 in terms of needing larger sample sizes and you
9 could be looking at the time until you had
10 successful live birth, so measuring the number of
11 cycles needed until someone got that. These have
12 some problems as well.

13 I guess what I am worried about most in
14 all of these is the ability of bias to creep in for
15 one treatment versus the other in terms of the
16 clinicians response to cancelled cycles or to not
17 trying further cycles with a patient. Could you
18 comment on that?

19 DR. KEEFE: That is a huge factor. In
20 clinical practice, it is an enormous factor,
21 enormous, because now, all of a sudden, any patient
22 can go to the web and pull down the statistics
23 which are a reflection, largely, I think, today,
24 with standardized media, with standardized training
25 for most of the embryologists, with standardized

1 protocols for clinical, a lot of the variability is
2 arising from the patient mix.

3 There is a growing practice of explicit or
4 implicit exclusion of the sickest patients which I
5 think is immoral. On the other hand, some would
6 argue that physicians are increasingly charged with
7 allocating scarce resources. But those are tricky
8 and difficult to balance. I don't think, from the
9 standpoint of the traditional mission of the
10 physician, it was our major commitment.

11 There is a growing practice of what I call
12 the Lake Wobegon effect where everybody is above
13 average in my practice so we are above average.
14 That is a problem.

15 There was an article in The New York Times
16 Magazine about healthcare about two or three months
17 ago. The only other area of medicine that has a
18 registry that is so visible as that for in vitro
19 fertilization is cardiovascular surgery. That is
20 exactly what is going on with cardiovascular
21 surgery.

22 There was an article by a cardiologist in
23 New York City about how difficult it is to get a
24 bypass on a patient who really needs it in New York
25 City today because the "best" surgeons don't want

1 to touch the poor guy. So that is a problem for
2 all of us in medicine.

3 I am the first one to believe in
4 consumerism. There is sort of an avalanche of
5 consumerism. That is great. But my response to
6 that wouldn't be to stop the data from coming but
7 to increase the flow. So, for the registry, I
8 think we would mandate inclusion and exclusion
9 criteria be attached through a hyperlink to those
10 clinic-specific SART rates so that, if a patient
11 goes there and they get cancelled, and they go on
12 the web and say, whoa; wait a minute. This isn't
13 on your exclusion criteria for who can go through
14 the next cycle, then they have a right to go
15 through again.

16 I think the AMA and ACOG, ASRM, all have,
17 in our ethical sort of statements, the right of
18 every physician to not treat a given patient as
19 long as it is standardized and mutually agreed upon
20 and it is explicit.

21 So I think the data should be there so
22 that couples can decide, up front, or women can
23 decide up front, whether they want to go to this
24 center that is extremely exclusionary versus
25 another which isn't and so they can go the whole

1 ride if they need with a given center. That is the
2 only way I think we can deal with it.

3 We can't have less data, less information.
4 We need more information. The argument that
5 patients don't want to see all this. They don't
6 have to. They can have a hyperlink.

7 DR. EMERSON: I guess one of the points I
8 was at was a more technical one. It is the concept
9 that, for instance, there can be differential
10 actions by treatment arm according to deciding to
11 cancel a particular cycle and not go forward with
12 the stimulation or whatever.

13 DR. KEEFE: That is a good point. In
14 terms of the outcome, if you were randomized,
15 again, that should fall out in the wash. You might
16 want to balance both arms of the treatment within a
17 given center because they tend to be
18 center-specific criteria. You also might want to
19 make explicit up-front the clinic's criteria before
20 they start to enroll patients.

21 DR. EMERSON: Although, it won't pick that
22 up if you are using as your denominator the number
23 of cycles. There is a lot of room to play with
24 number of cycles that you go through, both in terms
25 of sort of the--I always look at things from the

1 "intent-to-cheat" perspective and say, how can
2 people cheat on a particular thing to make
3 something look good.

4 If you set out to do it, what would you
5 do? And I say, well, gee, if I want my treatment
6 to look good, what I will do is, as soon as I hit a
7 patient that looks pretty hopeless from, for
8 instance, aneuploidy or whatever, that they do
9 that, if they are on the arm that I don't like, I
10 will just keep on sending them through cycles and,
11 if they are on the arm that I like, they won't.

12 Similarly--

13 DR. KEEFE: Can't you just deal with that
14 by, once they get included in the study, then they
15 get randomized after inclusion?

16 DR. EMERSON: The idea is--the problem is
17 using the number of cycles as a denominator
18 whereas, if you just used time, and said, we are
19 going to measure everyone as the time until you
20 achieved a live birth, and people who you cancelled
21 permanently after that, and you just refused to do
22 more cycles on them, well that is at infinity.

23 DR. KEEFE: The other way to do that is
24 just to include first cycles in the study which I
25 think, in terms of the way IVF works, is probably

1 not a bad idea because there is that huge source of
2 confounder which is whether they get to go through
3 it again, according to the policies.

4 But there is also a difference, not so
5 much first and second, but first and third, first
6 and fourth, in terms of the mix of the patients.
7 They are different people.

8 DR. GIUDICE: Yes; Dr. Stanford?

9 DR. STANFORD: I think there is another
10 potential concern. You talk about a gold standard
11 being live births per cycle initiated. But then
12 there may be--ART, obviously, there are certain
13 categories that you mentioned where it may be the
14 only way for a pregnancy, azoospermia, et cetera.
15 But there are other categories where it is becoming
16 more broadly used and there is debate about should
17 you go with controlled ovarian hyperstimulation
18 versus ART or metformin for certain categories of
19 PCO and all that kind of stuff.

20 I don't think you can make a fair
21 comparison on the per-cycle pregnancy basis. I
22 think, in those cases, you have to come up with
23 some kind of measure that is an overall--given a
24 course of treatment, whatever that is, which may
25 take longer on a non-ART side or a non--whether or

1 not you call controlled ovarian hyperstimulation
2 part of ART, but on a non-IVF side, you have
3 account for another course of treatment that may be
4 cheaper, may have less risk in some ways but may
5 take longer.

6 Could you comment on how you could compare
7 those in a randomized way?

8 DR. KEEFE: One study design that I think
9 has been underutilized is a crossover study.
10 Because the majority of our patients are not going
11 to get pregnant in the first cycle, and the first
12 and second cycles are not that different in terms
13 of the patient mix, one way to do it is to do a
14 crossover where each patient is their own control.

15 Of course, there are problems with that,
16 obviously, but one of the huge advantages is that,
17 as I mentioned, and I think everybody would agree
18 in this room who takes care of these patients, that
19 the biggest confounder is the patient, herself, her
20 eggs, her aneuploidy, whatever is going on inside
21 there.

22 There are fertile people and there are
23 infertile people. So if you randomize the patient
24 up front to Treatment A in the first cycle, or B,
25 and then flip it around on the other side, then I

1 think that is a very sensitive way to tease apart
2 some of these.

3 Of course, it gets around the issue of not
4 allowing them to go through because they are stuck.
5 I mean, they have got to do at least two cycles if
6 they don't get pregnant the first. If you get
7 pregnant with the first, obviously that creates a
8 problem; they don't get to the second, and how you
9 treat those.

10 But, given the problems with the other
11 study methods, you can imagine a way that that
12 could be a very powerful way to be able to put
13 together all these very complex factors that are
14 influencing the outcome.

15 DR. GIUDICE: Yes?

16 DR. TULMAN: I have a question about the
17 gold standard as well. You mentioned the gold
18 standard being a live birth. Could you comment on
19 adding the adjective of "healthy" live birth to
20 that?

21 DR. KEEFE: Okay. The issue of anomalies
22 with assisted reproduction is really important. I
23 put them in safety concerns. I think I would still
24 leave them as a distinction. I have, in my
25 practice, my office, last week a woman who

1 came--she is a physician, actually, an OB-GYN, who
2 brought her twins from IVF. Both have cerebral
3 palsy and she was coming back for some more.

4 I said, "How can you do this? You must
5 have a lot of stuff on your plate." She said,
6 "They are my babies. They are my kids. People say
7 they are abnormal. I love them. They are
8 beautiful." And she wants more.

9 Now, that is not everybody. I think there
10 is enormous value for somebody who has never had
11 children to have a baby. Not everybody receives
12 their cerebral palsy the way she did, but I would
13 still put down the birth of a child as a benefit.
14 I would put down the others as side effects, as
15 potential complications, and try to eliminate them
16 or reduce them.

17 We don't know all the mechanisms. We know
18 that the biggest component is the multiple
19 gestation. That is the biggest driver. But there
20 may be other abnormalities as well that arise from
21 imprinting abnormalities, problems with polarity.
22 This monozygotic twin thing is huge. It is not
23 just the zona hardening. There is a lot going on
24 there. They tend, overall, to be so rare that you
25 have to use a study like the Australian with 10,000

1 patients following in a registry over huge periods
2 of time before you find them.

3 So I would hold fast that we still are
4 looking at babies. I think the definition of a
5 healthy baby--it is important to put that in as a
6 complication, but, for the vast majority of
7 patients, and I think a number of studies have
8 looked at that, they are very happy with that.

9 DR. TULMAN: Just a follow up, if I may.
10 Is there any move within the FDA or CDC to
11 establish some sort of registry within the United
12 States of the children who were born as a result of
13 the reproductive technologies to follow them for
14 not only the problems that may be apparent at birth
15 or soon thereafter but in terms of a longer range,
16 in terms of other health problems?

17 DR. GIUDICE: Dr. Brzyski?

18 DR. BRZYSKI: I will comment on that. I
19 am the President of the Society for ART and so work
20 very closely with the CDC on the registry. That
21 discussion has been, at several levels. CDC has
22 certainly recognized in their publications the
23 issues of, say, adverse outcomes and the problems
24 that are associated with trying to identify adverse
25 outcomes such as birth defects, congenital

1 anomalies. That has been discussed in some of
2 their publications.

3 From the CDC's standpoint, well, let me
4 just say that from the consumer standpoint, that is
5 certainly an issue that I have discussed with
6 patient advocacy groups. There are sort of two
7 opinions about that. There is a group that
8 believes it is extremely important to collect this
9 information and another cohort that is concerned
10 about privacy issues and stigmatizing the children
11 born from ART technologies by sort of identifying
12 them or categorizing them in that way.

13 So it is definitely an issue that needs to
14 be discussed more, both from sort of a
15 philosophical standpoint and from a practical
16 standpoint in terms of the cost of generating such
17 an effort.

18 DR. GIUDICE: I am wondering, Bob, if you
19 could comment on the European experience of keeping
20 long-term outcomes compared to in the United States
21 because that may address some of the question.

22 DR. BRZYSKI: I don't have direct
23 experience with this, but there have been
24 discussions at the SART Executive Council level of
25 the experience in Belgium with ICSI outcomes. My

1 understanding is that it has been an extremely
2 expensive and time-consuming effort to collect
3 those data on ICSI cycles, even in a relatively
4 homogenous environment of a small country like
5 Belgium. Well, I guess it is not really that small
6 because there has been some--those patients have
7 probably traveled from various places.

8 But it has been a noteworthy effort to
9 collect those data but at a significant price.

10 DR. KEEFE: I think the European
11 experience has been an excellent one in terms of
12 generating very useful data for the rest of us to
13 use. We started on a small project that was funded
14 by the Rhode Island Foundation to look at outcomes
15 of IVF babies born in Rhode Island because we are
16 sort of a Mayo Clinic, the only IVF program for
17 this million-and-a-half people.

18 So we had a lot of potential there. About
19 three months into the study, we got a letter from a
20 very irate former patient who had a beautiful baby
21 from IVF but was, herself, a lawyer for the
22 American Civil Liberties Union. She was absolutely
23 livid that this letter arrived at her house, which
24 had been approved by our IRB, that her
25 mother-in-law had inadvertently spotted and

1 mistakenly opened because they have similar names,
2 threatening to sue us for revealing--the problem is
3 we are in the frontier here and we still have a lot
4 of sort of notions of individuality and freedom and
5 so on that it makes it a little harder.

6 They shut down our study. That one
7 example was enough to scare the IRB and the
8 hospital to shut it down and say, "No more." So it
9 is tricky, in America, where there is so much
10 concern of privacy and individuals' rights. I
11 think it is valuable information, though.

12 DR. GIUDICE: I think it is also important
13 to point out that there is no organized effort for
14 keeping track of babies born from gonadotropin
15 stimulation cycles as opposed to ART.

16 Dr. Layman, did you have a comment?

17 DR. LAYMAN: Yes; I had a comment. I
18 think it is important to try to collect the data.
19 I know it is difficult, especially with HIPAA. We
20 know that, in general, congenital anomalies are in
21 2 to 4 percent of all couples and that IVF
22 increases slightly sex-chromosome abnormalities and
23 some other aneuploidies, but I think, as far as the
24 issue of the imprinting disorders, if we don't
25 start getting a database, we will never know for

1 sure whether this is a real issue and whether it is
2 due to IVF or ICSI or both, or whatever.

3 So I think it is important to address
4 this, at least future SART collection data.

5 DR. GIUDICE: Thank you. Are there any
6 further questions? Yes; Dr. Emmi?

7 DR. EMMI: I just wanted to ask a
8 question, or if you would just comment. You had
9 said that different infertility diagnoses are not
10 quite so important. Would you just comment about
11 different institutions using different policies for
12 diagnosing conditions in in vitro, even, as far as
13 unexplained or male factor and how that might
14 affect the studies.

15 DR. KEEFE: The rigor of the diagnostic
16 categories used for IVF is very low. So you will
17 see frequently minimal endometriosis scattered
18 throughout. That is probably a much better marker
19 of whether the patient had a laparoscopy as part of
20 her workup than it is about her disease or her
21 prognosis.

22 Similarly, with unexplained, it depends on
23 how extensively you look for other factors. So, as
24 I mentioned, I think the most important diagnoses
25 are severe male factor, complete tubal occlusion

1 and polycystic ovary syndrome with insulin
2 resistance. These are the sort of diagnostic
3 categories which I believe, in the end, will hold
4 their own, at the end of the day, will still be
5 standing after critical review.

6 We, as a field, I think, should revamp our
7 diagnostic categories. I think we should also have
8 a dual classification for infertility, differing
9 staging for the level of infertility rather
10 than--age is a very, very poor predictor of
11 anything. Chronologic age is not a good predictor
12 of biological age.

13 Just look at anybody's clinic when you
14 look at patients who had an anastomosis failed or
15 who had a tubal ligation and they go through IVF.
16 They have a very good prognosis independent of age.
17 If you look at the registry, these studies that use
18 sperm donation clinics to look at the effects of
19 age on fertility, 45-year-old women still have sort
20 of a 30 percent pregnancy rate, 25 percent
21 pregnancy rate.

22 The fertility rate doesn't drop that
23 precipitously in the general population as it does
24 in the IVF placebo because the IVF population is
25 that subset of the general population that really

1 has it bad. I mean, clearly, age affects fertility
2 but the relative impact of age on a given--or
3 senescence, I should say, or aging rather than
4 chronologic age, is highly variable. That is the
5 key factor.

6 We should develop way more rigorous work.
7 I know Jim Toner's very important work on FSH is a
8 beginning, but there is a need for increasingly
9 precise measures of a specific patient's individual
10 senescence level or aging factor.

11 DR. GIUDICE: Dr. Shames?

12 DR. SHAMES: I just want to make a comment
13 that the FDA can and does ask sponsors, as part of
14 the approval process, to do some pregnancy
15 registries. However, this is voluntary and we
16 cannot compel them to do it.

17 The other problem, of course, is, in this
18 area, we are dealing with multiple drugs and
19 procedures, for that matter, so it is difficult, of
20 course, to tease out the cause of some particular
21 abnormality. But we can, in the process, ask
22 sponsors to do that.

23 DR. GIUDICE: Thank you. Dr. Rice?

24 DR. RICE: Why does the FDA have limited
25 authority in requiring that part of the

1 registration of a drug, approval of a drug, not
2 lead to a pregnancy registry?

3 DR. SHAMES: That is sort of a legal
4 question. There are certain things that we can and
5 cannot do by regulation. I mean, I would have to
6 go back and ask that, why we do not have regulatory
7 authority. It would have to be created as a
8 regulation or a rule which has not yet been done.
9 Whether we would even have the right to do that, is
10 a whole legal issue which I cannot speak to. But
11 we can certainly investigate that situation.

12 DR. GIUDICE: Thank you. Any further
13 questions? If not, I would like to thank Dr. Keefe
14 again and the committee members. Let's take a
15 break and return at 10:15. Thank you.

16 [Break.]

17 DR. GIUDICE: For the second half of this
18 morning's session, I would like to introduce Dr.
19 James Toner who is Director of the Atlanta Center
20 for Reproductive Medicine in Woodstock, Georgia.
21 He will tell us about Gonadotropins in ART.

22 **Gonadotropins in ART**

23 DR. TONER: Thank you, Dr. Giudice and
24 thanks for the invitation to attend this panel.

25 [Slide.]

1 I hope it leads to more streamlined ways
2 for us to understand what kind of efficacy
3 endpoints are expected of us in evaluating new
4 drugs. I was asked to talk about a few different
5 components of this and we will start, really, by
6 talking about in vitro in this country, kind of how
7 we have come in the first twenty years of use and
8 then tie in the approach we have taken on the
9 clinical basis to achieve these improved outcomes,
10 especially as regards to gonadotropin usage
11 patterns.

12 [Slide.]

13 As most of you in the room know, IVF has
14 been successful for almost twenty-five years now,
15 the first birth being in England and a birth
16 shortly thereafter in the United States in 1981 in
17 Norfolk, Virginia.

18 [Slide.]

19 The story within this country, ever since
20 that beginning, has really been one of improving
21 success rates, reduction of multiple pregnancy
22 rates, introduction of new therapies. It also, I
23 think, is a story of the advantage, in a way, of
24 the American system, the flexibility we have had as
25 clinicians in this country to incorporate new

1 treatments. To adjust the number of embryos going
2 back according to age and other things has led to
3 higher success rates in this country than certainly
4 in Europe.

5 [Slide.]

6 The simpler treatments include things such
7 as the ability to remove eggs transvaginally. It
8 used to always require a laparoscopy and a
9 transvaginal approach under a light sedation is
10 certainly an improvement and, also, the essential
11 abandonment of replacement of embryos into the
12 tubes, also through laparoscopy.

13 In the early days, most of us also asked
14 the women to come daily or nearly daily through the
15 whole stimulation process to evaluate their
16 response. That is not necessary anymore. Also, in
17 the beginning, essentially all the medications that
18 we needed to give were given by the intramuscular
19 route. Now, with the possible exception of
20 progesterone, almost none of them are.

21 So those have all been improvements in the
22 treatment simplicity.

23 [Slide.]

24 There has also been introduction of new
25 therapies. Initially, it was designed as a process

1 to deal with the problem of blocked tubes but it
2 has since turned out to be quite effective when
3 sperm numbers or quality are quite diminished, when
4 eggs, themselves, are diminished by really
5 substitution therapy, using donor eggs. When the
6 uterus has an intractable problem, a carrier can be
7 brought into the mix and that is a very successful
8 strategy.

9 Initially, surplus embryos were a problem
10 but cryopreservation is now quite an effective use
11 of those extra embryos. As Dr. Keefe alluded to,
12 genetic problems, either that come with age or
13 might have been there all along, in particular,
14 couples can be screened for with preimplantation
15 genetic diagnosis. None of these things were there
16 at the start.

17 [Slide.]

18 Now, the innovations over time have really
19 proved to be a fairly steady progression.
20 Cryopreservation in the early 80s, donor egg in the
21 mid-80s and then the attempts at putting the
22 embryos or eggs back into the tube, themselves,
23 where they normally would have been by GIFT and
24 ZIFT were widely practiced for a time but, as you
25 can see, are not common anymore. Co-culturing of

1 embryos, dissecting away the zona to some extent in
2 hopes of permitting sperm easier entry or simply
3 putting the sperm under the zona were early efforts
4 to achieve fertilization when sperm were a problem,
5 again, approaches that have since vanished for all
6 intents and purposes.

7 Those have been replaced by ICSI, the
8 direct injection of sperm into eggs. Hatching was
9 introduced in the mid 1990s, preimplantation
10 genetic diagnosis, again in the mid-90s. In
11 efforts to get around problems of diminished egg
12 quality, attempts have been made to either transfer
13 cytoplasm from healthy eggs into aging eggs or to
14 move the nucleus across to a healthy egg.

15 So, in the field, there really have been
16 steady innovations. The three that I have put Xs
17 next to are no longer permitted to be done in this
18 country until appropriate, I guess, safety studies
19 have been performed.

20 [Slide.]

21 Now, the focus of this meeting really is
22 gonadotropins. I wanted to illustrate, first, a
23 time line that illustrates when these gonadotropins
24 and the things that affect gonadotropins have been
25 introduced.

1 As many of you know, the urinary products
2 came first. hMG was available even years before in
3 vitro came into existence and was really the only
4 gonadotropin available for use in the earliest
5 years of IVF. In the mid-80s, a more purified
6 version, still urinary but with a dominance of FSH,
7 was released.

8 In the late 80s, Lupron, which is a GnRH
9 agonist was released and widely incorporated into
10 practice shortly thereafter. More and more
11 purified forms of FSH were also developed in the
12 mid-90s, again urinary at first and then
13 recombinant shortly thereafter.

14 The most recent development has been the
15 development of GnRH antagonists. It took us
16 actually years, on a clinical level, to figure out
17 how best to use the GnRH agonist and we are still,
18 I think, on a learning curve of the same sort when
19 it comes to understanding how best to use the
20 antagonists. But they have become adopted into
21 practice pretty widely.

22 [Slide.]

23 Now, kind of with that as a background,
24 let me describe to you what I think has been the
25 general trend of use of these medications over time

1 for the purposes of in vitro. The very earliest
2 success with in vitro was, in fact, in natural
3 cycles. That is how the cycles went in England,
4 initially. But the Jones, who tried to get it
5 going in the States, had a very poor experience
6 with that and, instead, moved quickly to Pergonal,
7 an hMG product and used, as you can see, at very
8 low dosages, 2 amps a day, typically.

9 It wasn't long thereafter that the
10 advantage of even stronger stimulation was
11 recognized because, other things being equal,
12 within a certain range of hMG use, the more you
13 give, the more eggs you can allow to grow or cause
14 to grow. So 2-amp-a-day protocols became very
15 uncommon and more typical dosages were 4 or even 6
16 amps of medication per day.

17 They were often blended with FSH in those
18 years although, in the early years, there was
19 typically always some use of hMG which contains not
20 only the FSH but the LH product, the LH hormone, I
21 should say.

22 When Lupron became available, it was first
23 employed as a suppressive drug starting in the
24 preceding luteal phase and seemed to very clearly
25 allow more eggs to grow, at least more synchronous

1 growth of eggs, so that, in most patients, simply
2 by adding this sort of a pre-treatment, you were
3 apt to get more high-quality eggs than if you went
4 without such a product, even with the same dose of
5 stimulation.

6 A few years later, it was discovered that
7 you could take advantage of the fact that the
8 agonists first cause a flare of gonadotropins and
9 that flare was then used to augment the stimulation
10 and was begun typically at the early part of a
11 menstrual cycle along with the other stimulatory
12 drugs to cause an even stronger response.

13 For a time, there was a lot of advocacy of
14 the use of pure FSH rather than the hMG-style
15 product through the mid-1990s but many clinicians
16 have since gone back to a blended protocol where
17 you are taking at least some LH in many cycles.

18 Birth-control pills were introduced in the
19 mid- to late 90s as a way to control the cycle
20 start time, especially in a case where you are not
21 going to use a suppression protocol of this sort.
22 Without PCO pretreatment, you really had very
23 little control of when the cycle would actually
24 start and the pills have been useful in that arena.

25 Then the most recent change has been the

1 introduction of the antagonists into clinical
2 practice which have largely been used simply late
3 in the stimulation to prevent the premature LH
4 surge.

5 [Slide.]

6 Now, with those medications being used in
7 the way that they have been used, there have been
8 certain trends over time. Clinics; we have,
9 obviously, many more treatment clinics in the
10 country now than we did in the early years which,
11 in turn, has led to many more cycles of treatment,
12 now over 100,000 such on an annual basis and many
13 more deliveries.

14 [Slide.]

15 But really, more importantly, is the fact
16 that the success rate per treatment cycle has
17 steadily climbed no matter which major kind of
18 treatment you want to consider. In vitro is here
19 in the middle, in green. In the early days, 15
20 percent of cycles produced pregnancy per transfer.
21 Now, 40 percent of them do.

22 The orange at the time is donor-egg
23 success rates which have doubled from 25 to 50
24 percent per try. And freezing, which didn't work
25 well at the beginning, in yellow here, now adds

1 about 20 percent or 25 percent advantage to the
2 cycle if you have had extras and can freeze them.

3 The other two little things in here are
4 the ZIFT and GIFT which were, at first, more
5 successful than standard IVF, at least on a
6 national basis, but, as the IVF success rate rose,
7 that advantage has disappeared and, in a sense,
8 killed off that therapy altogether. This shows the
9 proportion of cycles over time that were GIFT and
10 were ZIFT. You can see these things peaked in the
11 late 80s but, as the success rate between them and
12 standard IVF has increased, their usefulness has
13 gone down to the point where only 2 or 3 percent of
14 cycles are done by that method.

15 [Slide.]

16 One real success story has been--my
17 computer has frozen. I will tell you what that
18 slide said. I don't know why it wasn't working.
19 In the early days of in vitro, when sperm were not
20 normal, simply putting sperm in the eggs, sperm in
21 the same dish as the eggs, produced some
22 pregnancies but nowhere near the normal rate.

23 The short story is that this direct
24 injection of individual sperm into eggs, ICSI, has
25 taken a very low poor outcome that was happening

1 before the technique was introduced and erased that
2 problem altogether to the point where now, as long
3 as there is sort of one living sperm for every
4 living egg, it is as if there is no male-factor
5 problem at all in terms of pregnancy rates.

6 [Slide.]

7 This shows the incidence of multiple
8 pregnancies over the years as well which have been
9 highest in donor egg and lowest in frozen embryos
10 and mid-range, about 35 percent, in standard IVF
11 which is higher than we would like but I did want
12 to emphasize the problem of triplets and
13 quadruplets, at a minimum, has certainly been
14 decreasing. The last year for which we have data
15 is already four years ago but, even then, you could
16 see that the triplet rate was declining and, also,
17 and dramatically so, the quadruplet or worse rate.

18 [Slide.]

19 One factor in IVF success that continues
20 to be a thorn in all of our sides is this very
21 strong effect of a woman's age. Apart from
22 substitution therapy with donor eggs, we have not
23 really been able to lick this one with in vitro
24 fertilization. These are the published results on
25 the CDC's website from 2000, the top line being

1 pregnancy rate and the bottom line being delivery
2 rate.

3 You can see that, by and large, the
4 pregnancy rate holds together pretty well until the
5 mid-30s and then declines with each year, almost
6 being a zero percent pregnancy rate at 45.

7 [Slide.]

8 The flip side of that is miscarriage which
9 increases with those same years. Again, this is
10 not something that we have been able to work around
11 using in vitro fertilization.

12 [Slide.]

13 In those women with older age, the only
14 solution that has proved rather effective is to
15 become a recipient of donor eggs. This is an
16 example of a study actually done with this SART CDC
17 dataset over a three-year swath in which you can
18 see that the clinical and ongoing or delivered rate
19 among women using donor eggs stays good and high
20 until the late 40s. So this is a solution for
21 women who are running into difficulties with their
22 own eggs, not necessarily one that appeals to
23 everyone but it does work and it does tell us that
24 the problem of being 45 or 46 is not the uterus or
25 the body, in general. It is the eggs, themselves,

1 because, with substitution therapy, that probably
2 disappears.

3 [Slide.]

4 I also mention that in the United States,
5 we have had better success than in Europe with our
6 therapies. Again, the last year for which we have
7 got comparable data is '98. In that year, IVF
8 worked 10 percent better here than in Europe, donor
9 egg, 9 percent better and frozen cycles, 10 percent
10 better.

11 There is a cost to that, in part because
12 we, in the States, are typically putting back an
13 extra embryo or so and the cost is reflected here
14 in the multiple-pregnancy rates. In the U.S., as
15 in Europe, most pregnancies are still singletons
16 and most of the rest are certainly just twins.
17 But, in that year, we had a 6 percent triplet rate
18 and a 0.2 percent quadruplet rate.

19 [Slide.]

20 So that is kind of where we have come in
21 the States with success improving, getting a handle
22 on the problem of multiples, newer therapies and
23 simpler therapies. Now, I wanted to kind of move
24 our focus to considering the ovarian stimulation
25 component.

1 Obviously, IVF is a treatment process for
2 which there are many, many influences in terms of
3 ultimate success rate. Ovarian stimulation is one
4 of the things we have to do to make in vitro work
5 but it isn't the only thing that influences whether
6 or not in vitro works.

7 For the purposes of this meeting, I think
8 we want to focus on the ovarian response, the thing
9 that the gonadotropins can influence. For the next
10 several slides, that is what I am going to talk
11 about.

12 We need to understand, really, before
13 going any further, that most women, given the right
14 gonadotropin stimulus, can be made to produce
15 multiple eggs in a cycle. But there is quite a bit
16 of individual variation here. Some women can make
17 a lot of eggs. Some women can make very, very few
18 eggs. Those differences we consider to be
19 differences in ovarian reserve.

20 Since there is a wide range here, we have
21 to adjust to that range in terms of how we would
22 manage the stimulation, and you will see that as
23 the slides play out. All of this has implications
24 for how one might assess the efficacy of
25 gonadotropins which, again, is the point of this.

1 Let me use this as sort of an orienting
2 slide. I think we all know that women are born
3 with all the eggs they will ever have in their
4 life. Over their life span, those eggs are doled
5 out. Now, most of them are never ovulated. Most
6 of them never grow much at all. But, nonetheless,
7 they are lost. The rate of loss over time is
8 fairly constant but it is logarithmic so that
9 things go down by an order of magnitude at each
10 even interval.

11 Within all of the eggs that are available,
12 a very small proportion of those eggs are available
13 for recruitment at any one point in time. But that
14 percentage that is available is pretty much fixed
15 at all points in one's life and seems to be, as I
16 said, a very, very small number, a thousandth of
17 the percent, perhaps.

18 What that means is that, since a woman at
19 25 is apt to have still a very large number of
20 eggs, let's say, 100,000 altogether, whereas a
21 woman ten years later will have a tenth of that
22 number and a woman ten years beyond that, a tenth
23 of that number.

24 If you apply the expectation that a fixed
25 proportion are recruitable, what you see is that a

1 woman at 25, given a full stimulus to egg growth,
2 could make 100 eggs whereas you can give a woman at
3 35 the very same stimulus and get a tenth as many
4 eggs and, ten years later, the very same stimulus,
5 and get one egg.

6 So we need to understand that. I think
7 that is a very important thing before we decide how
8 studies would be done here to understand that there
9 really is a ceiling within a particular cycle of
10 ovarian reserve. There is a potential number of
11 eggs but, no matter how much drug you give, you
12 can't ever get past that number. You can't make a
13 45-year-old make 100 eggs. There is no way to do
14 it, given, at least, current technology.

15 [Slide.]

16 Now, with that in mind, clinicians try to
17 estimate what kind of an individual is this. Is
18 this an individual who has 100 eggs, potentially,
19 or an individual that just has ten or five or one
20 because then we are going to adjust the stimulation
21 strength to that expectation.

22 So, if a woman, in fact, could be arrayed
23 along this dimension of how many eggs are
24 potentially available, we are going to take a
25 different approach depending on where she happens

1 to lie along this dimension. For the purposes of
2 IVF, we generally would say that our goal would be
3 to get 10 to 20 eggs. We don't want 30 or 40
4 because of the risk of hyperstimulation and because
5 it seems that the eggs, then, aren't even as good
6 as they could be if you had fewer.

7 So we have a target for the number of eggs
8 that we bring into development, 10 to 20 for IVF,
9 maybe three to six for ovulation induction. To
10 reach these targets, we are going to do things
11 differently according to where we think the
12 individual woman is.

13 Perhaps the easiest case to understand is
14 this one. If we have a woman who could make 100
15 eggs, we want to be very, very careful and not
16 overdo it because, to get 100 eggs, will not only
17 make her sick but we won't be able to do a fresh
18 transfer. So, in women with a high ovarian
19 reserve, we are going to use very low dosages of
20 drug.

21 On the other hand, if we think we have got
22 a woman who has just two or three eggs, max, per
23 cycle, we are going to throw all the drug at her we
24 can because we really believe there is no danger in
25 overdoing it. We might as well get every blasted

1 egg that there is to get.

2 There are those in the middle where we
3 take kind of a middle course. Women in the middle
4 can surprise you. They can underdo it and they can
5 overdo it. So, sometimes, we guess wrong about
6 what is going to actually work out. But these
7 predictions of response do certainly influence our
8 choice of therapy.

9 One of the things that you have to notice
10 here is that there turns out to be an inverse
11 correlation between the dose employed and the
12 response observed. So it is kind of
13 counterintuitive. You are giving hardly any drug
14 here and you are still probably going to get 20
15 eggs or 30 eggs. You give four times as much
16 medicine over here and you are excited if you get
17 three, again, because of the underlying physiology,
18 that there is a limit in the terms of the number of
19 eggs that a cycle can produce.

20 Sometimes that limit is so low, we are
21 concerned that we may have to cancel. Sometimes it
22 is so high that we have to be very, very careful
23 not to overdo it.

24 [Slide.]

25 Again, clinically, how do we try to get a

1 handle on that? It tends to be two things that we
2 use clinically to assess what category of reserve
3 do we think this particular woman is. In those
4 with what we think is going to be low reserve, we
5 typically see very, very few follicles if we do an
6 ultrasound. We can hardly see any of these small
7 antral follicles.

8 When we look at the ratio between FSH and
9 LH in these women, it will typically be high. And
10 when we see this pattern, we think this is probably
11 the actual operating range of number of eggs that
12 we might get and, for that reason, use a very
13 strong stimulation protocol understanding that,
14 even if it works, we are not going to get 20 eggs.

15 At the other end of the extreme, if we
16 think a woman is apt to be one with many, many
17 eggs, 30 to 80, for instance, in a particular
18 cycle, the ultrasound might show some of those,
19 typically not all of them. But, on the ultrasound,
20 we see a very different pattern of many, many
21 little follicles. Here the LH tends to exceed the
22 FSH and, with that pattern, we are going to be very
23 gentle and hope that we don't overdo it.

24 Again, our goal is 10 to 20 in this case
25 but sometimes we shoot a little too high and find

1 ourselves getting 30 or 40 and would, for instance,
2 cancel if we have really guessed wrong and overdone
3 it.

4 Then the average patient, we see some
5 follicles. We see more typical FSH being around
6 the same level as LH and would use a middle-ground
7 stimulation in hopes of getting that 10 to 20.

8 [Slide.]

9 A consequence of these sorts of principles
10 is that, yes, dose does directly affect ovarian
11 response. No matter what category of reserve you
12 are talking about, if you go up in the dose within
13 that reserve, yes, you will get more of a response.
14 But the dose you typically would choose to use is
15 inversely correlated to what you judge the reserve
16 to be; namely, in the low responder, you are going
17 to use a lot of drug. In the high responder, you
18 are going to use hardly any.

19 So, unless, in a study, we control for
20 this, we are going to get it completely backwards.

21 [Slide.]

22 Now, the process of IVF is illustrated on
23 this slide and it really revolves around this
24 ovarian stimulation. That is the heart of all of
25 these efforts to get eggs. It typically involves,

1 for sure, some FSH and either LH as an injection or
2 LH from endogenous contributions to allow us the
3 appropriate level of steroidogenesis to go on.

4 These other things that are typically done
5 along with the heart of the stimulation are applied
6 to certain kinds of patients in hopes of either
7 better controlling the stimulation here or
8 augmenting it, as you will see in the slides that
9 follow.

10 [Slide.]

11 What I have just flashed up there in these
12 little red lines are supposed to be illustrative of
13 the change in the FSH levels that occur when you do
14 these things. So, when you give FSH injections,
15 for instance, the FSH level goes up. That is what
16 the point of it is.

17 [Slide.]

18 If you give luteal Lupron, you get this
19 initial little flare in endogenous FSH which lasts
20 a few days but then turns into a suppression of LH
21 below baseline and a suppression of FSH below
22 baseline. That is used in one particular patient
23 type I will discuss in a minute.

24 If, instead, you use this so-called
25 microdose flare, a very small dose of Lupron, you

1 get this initial flare but it stays up forever. It
2 really never turns into suppression and so can be
3 used to augment the stimulation throughout.

4 [Slide.]

5 Then the antagonist of GnRH shuts off
6 without any kind of a flare effect the FSH and the
7 LH secretion instantly and is typically used simply
8 to obliterate the potential for a premature LH
9 surge.

10 [Slide.]

11 So I am going to give you kind of an
12 example of how these are applied to patients of
13 different response types as we would use them in
14 our clinic and I think many clinics would do
15 something like this, if not the same thing, but
16 certainly for the same kinds of reasons.

17 Among low responders, what we are trying
18 to do is stimulate very hard, not only with the
19 exogenous use of gonadotropins but by getting the
20 body, itself, to contribute extra FSH by using this
21 dilute Lupron or microdose flare approach because
22 we can't really have too much FSH stimulation.

23 In the average patient, we have now
24 switched to antagonists because it minimizes shots.
25 You often reduce three weeks worth of shots by

1 making this shift. We still rely on the
2 gonadotropins as the primary vehicle for inducing
3 follicle growth and simply add the antagonist at
4 the tale end once the risk of a surge starts
5 entering into the picture.

6 And then the high responders are ones that
7 we really want to dampen down quite a bit and would
8 typically put them on pills in the month before and
9 also on Lupron which will downregulate their
10 endogenous secretion so that the only stimulation
11 they see, once they get underway, is that from the
12 gonadotropins. The dose of that gonadotropin is
13 apt to be a low dose as well.

14 If all goes well, we hopefully will see,
15 on ultrasound, a good number of follicles growing
16 and, upon retrieval, get eggs from most and we
17 would expect the majority, we hope, to be mature
18 and with placement with sperm, or injecting sperm
19 into those mature eggs, we would hope that most of
20 them would fertilize normally.

21 Among those that fertilize normally, some,
22 but not all, we would expect to divide normally
23 and, amongst those dividing normally, we are going
24 to put back some at the point of transfer. Now,
25 you can see there is a range here. The reason for

1 the range is that an embryo from someone who is 30
2 is much more apt to implant than an embryo from
3 someone who is 40 even when they look exactly the
4 same.

5 So there is a choice point here that
6 hinges a lot on not egg production, per se, but
7 rather on embryo quality which can have almost
8 nothing to do with egg production. If there are
9 extras, they can be frozen. Everyone with me on
10 that point?

11 [Slide.]

12 But I alluded to the fact the while we use
13 the gonadotropins to achieve a certain quantitative
14 response, namely 10 to 20 eggs, if it works out
15 well, there are other very important factors
16 relating to quality that come into play typically
17 past the point that we can readily observe.

18 Let me tell you what I mean. Consider a
19 32-year-old going through IVF and a 42-year-old
20 going through IVF. Unless this older woman is
21 really in dire straights, we still might be able to
22 get the same number of eggs from her as a
23 32-year-old if we get our stimulation approaches
24 correct.

25 So we get a certain number of eggs in both

1 situations and about 85 percent of them will
2 typically be mature and 70 percent of them will
3 fertilize and 60 percent of them will turn into
4 pretty good embryos three days out, and half of
5 those might go on to blastocysts. Those
6 percentages are apt to be exactly the same whether
7 or not we are talking about an egg that came from
8 someone 32 or 42.

9 But, beyond the point that we have got
10 these blastocysts, the age matters a lot. In the
11 32-year-old, putting two blastocysts back will give
12 a very high pregnancy rate and a relatively low
13 miscarriage rate whereas, at 42, the story is very
14 different, low pregnancy rate and high miscarriage
15 rate. Even though we started with the same
16 quantitative material, we ended up with a very
17 different outcome, at least as judged at the point
18 of pregnancy.

19 [Slide.]

20 Here is sort of a picture of the same
21 thing. What this shows is potentially two
22 different women. Let's say this is the 32-year-old
23 on the top row and this is the 42-year-old on the
24 bottom row.

25 Again, odds are we can probably get the

1 same number of eggs from both women. As the days
2 go along, the behavior of those eggs in the lab may
3 be indistinguishable. A good proportion of the
4 eggs will fertilize. A good proportion of those
5 that fertilize will divide and divide. So we may
6 end up in the very same position come the morning
7 of transfer. But, all along, there has been stuff
8 that is important that we have never been able to
9 observe; namely, which of these eggs are normal.

10 The younger woman is, statistically
11 speaking, much more likely to have a normal egg
12 than an older woman. Those differences are
13 invisible to us in the laboratory, by and large.
14 But, again, let's, just for argument sake, say this
15 32-year-old who made these twelve eggs really had
16 three good ones and nine klunkers. Of these three,
17 two fertilized and carried on and turned into two
18 blasts.

19 If we put these back, she is apt to get
20 pregnancy and she may even have twins. But the
21 42-year-old may only have had one good egg and it
22 didn't fertilize. It didn't interact with the
23 sperm appropriately and turn into a normal
24 karyotype.

25 So, even though we saw development, past

1 that first day, we really had no chance for
2 pregnancy left in that cohort of developing
3 embryos. So, again, it is important to understand
4 that there are really two categories of phenomena
5 going on here. One is quantity and the other is
6 quality.

7 We can control quantity to some extent by
8 our dosages but we can do nothing to the quality.
9 It is just part of the process that is largely
10 invisible to us at least clinically. Now, Dr.
11 Keefe alluded to some technologies that may be
12 brought to bear in upcoming years such as biopsyng
13 and embryo like this and testing its chromosomal
14 constitution.

15 If you could do such a thing reliably and
16 relatively inexpensively, then you might know that
17 there was really never any chance here and to
18 dissuade a woman like this from trying again. But,
19 at this point, it is not a widely practiced tool.

20 [Slide.]

21 The best predictor of the quality of an
22 egg or an embryo seems to be the age of the woman.
23 In in vitro, that quality decline is depicted here
24 out of a study of a number of years ago. Again, it
25 is important to understand that, and I may not have

1 said it clearly before, that, within the laboratory
2 evaluation process, a 42-year-old embryo typically
3 looks no different than a 32-year-old embryo. So
4 those age differences that are important in terms
5 of pregnancy outcomes are largely invisible to us
6 in the lab. The fertilization rate is the same in
7 older eggs. The development rate is the same
8 amongst older eggs.

9 But we know that the probability of one of
10 them taking is very, very different according to
11 age.

12 [Slide.]

13 So we have got quantitative and
14 qualitative effects that are strong influences on
15 pregnancy rate. The best predictor of the quality
16 seems to be the maternal age. The best predictor
17 of the quantity seems to be the ovarian reserve and
18 how you run your stimulation.

19 [Slide.]

20 In the end, you can see, in this slide,
21 that both factors are very, very important. These
22 are, again, some SART CDC data based on a study
23 that has been submitted for publication looking at
24 two-years worth of IVF data in this country broken
25 out not only by age but by an estimate of ovarian

1 reserve.

2 [Slide.]

3 We have already talked about ovarian
4 reserve. It turns out that, for most clinics, the
5 most convenient marker of ovarian reserve is FSH
6 and the higher the FSH is, the worse the reserve
7 is. FSH normally is under 10 always unless you get
8 into some egg problems and, in menopause, it is
9 going to be a 100 or more. So, in these normal
10 women trying to get pregnant, you always hope to
11 find the FSH to be low because then you hope that
12 you are really in a situation with high ovarian
13 reserve.

14 But, as that FSH goes higher and higher
15 and higher, the reserve goes lower and lower and
16 lower. You can see how important this is. Not
17 only within every level of FSH is age an issue, but
18 within every age, reserve is an issue. Okay?
19 These trends occur even though clinically we are
20 trying our darndest to counteract them.

21 The ones with high age or high FSH are the
22 ones getting the high stimulations, the very, very
23 strong dosages of gonadotropins and they are also
24 the ones in whom we are putting back every embryo
25 we can get our hands on. And yet, pregnancy rates,

1 even so, decline.

2 So these two factors of age and ovarian
3 reserve are really fundamental influences on
4 pregnancy.

5 [Slide.]

6 A general strategy clinically; we
7 typically would adjust the stimulation strength to
8 the predicted ovarian reserve in hopes of getting
9 into that sweet spot of 10 to 20 eggs. That is
10 what gonadotropins do for us.

11 But we also, then, at a later step, a
12 subsequent step, would adjust the number of embryos
13 to be transferred according to our estimate of
14 their quality. If they either are not good looking
15 or are from a woman of advancing age, we are apt to
16 put back more to try to compensate.

17 But, in a way, these are different
18 components of an overall treatment strategy. We
19 deal with this thing first, how much drug to give
20 in hopes of getting a certain number of eggs and
21 then, subsequently, how many embryos to return.

22 So I would argue that, in terms of
23 assessing gonadotropin efficacy, we have to
24 understand that both the FSH and the LH are playing
25 critical and complementary roles. I will go into

1 some of this in a minute. We certainly need FSH to
2 get the follicles growing, the eggs to develop.
3 This is the part that the clinicians are worried
4 about when they pick a protocol and adjust the dose
5 and strength of stimulation.

6 But FSH doesn't have a whole lot to do
7 with the production of the necessary hormones of
8 estradiol and progesterone. It can only help once
9 LH is in the mix. LH, on the other hand, is
10 necessary for estrogen production. Without
11 appropriate estrogen production and appropriate LH
12 tone, even though you can get follicles to grow,
13 you won't get pregnancies out of it.

14 So both are needed. Maybe, since both do
15 different things, we need different measures of
16 efficacy for these different hormones.

17 There has been an ever-increasing
18 understanding, I believe, at least clinically, that
19 LH does have a role to play and that there
20 certainly can be such a thing as too little LH to
21 foster appropriate follicle growth or healthy
22 follicle growth and there may, in fact, be too much
23 as well as is typical for very bad PCO patients.

24 So, for LH, we are typically, in a
25 clinical setting, trying to get kind of a

1 permissive dose of LH to allow everything else to
2 run the way it is supposed to and then titrate the
3 FSH dose up and down to get the number of eggs that
4 we would like.

5 [Slide.]

6 In considering this a little bit further,
7 I wanted to highlight a few of the things that
8 affect egg production. FSH, obviously, is sort of
9 the driver. But, as I just alluded to, other
10 things matter as well; LH tone during the
11 stimulation. That means a few things. How much of
12 the analogue are you using? Did you use
13 birth-control pills in the prior cycle? Are you
14 using hMG as your stimulating drug or pure FSH and
15 are you using metformin.

16 All of those clinical tools are affecting
17 LH tone and LH tone, in turn, affects what good
18 comes of the FSH you have been given. The use of
19 hCG as a trigger is also important and all of us in
20 clinical practice have probably had the unfortunate
21 situation where a woman goes through all the
22 trouble of taking stimulatory drugs for ten days
23 but doesn't take her hCG and we get zero eggs.

24 It also turns out that, at least within
25 our practice, the doc doing the retrieval will

1 affect how many eggs you get out of that ovary.
2 That is another thing to keep in mind as studies
3 are being designed.

4 [Slide.]

5 Let me talk for a moment about this LH
6 phenomenon. I know this is a very busy slide but I
7 think it helps us understand the ways in which both
8 FSH and LH are important to successful treatment.
9 This was a study in which the Ganirelix in a
10 preclinical trial was given at varying doses to
11 figure out how much of this GnRH antagonist was
12 necessary or desirable to achieve orderly
13 folliculogenesis.

14 These were cycles in which the stimulus
15 was pure FSH and there was no downregulation. So,
16 endogenously, there was a little bit of LH in the
17 mix. The Ganirelix was used to titrate that
18 endogenous LH. With a very little dose of
19 Ganirelix, your endogenous LH was still pretty
20 high, 3.6. But, as your Ganirelix dose as
21 increased ever higher, the effect on endogenous LH
22 secretion was ever stronger to the point where he
23 practically turned it entirely off. Okay?

24 Again, a fixed dose of FSH in all these
25 cases. Now, because you are no longer making LH at

1 these high levels, and LH is critical to
2 steroidogenesis, when you got deep into the
3 stimulation, and normally would be making a good
4 level of estradiol, instead, with high doses of
5 Ganirelix, you were making very little estradiol
6 because you had hobbled the system from being able
7 to produce estradiol at high efficiency.

8 Now, that influence had nothing to do with
9 your ability to, nonetheless, grow eggs. At all of
10 these doses of Ganirelix, the FSH still caused the
11 same number of eggs to grow. And, in fact, the
12 fertilization in the lab and the development of
13 those embryos in the lab was also unaffected. So,
14 for all you knew, it didn't matter that your
15 estrogen was high or low, or your LH was high or
16 how, because you ended up with the same number of
17 eggs and embryos at all of these doses.

18 But it did matter. It did matter, at
19 least to pregnancy outcome, and that is shown in
20 the graphing part here at the top. Let me first
21 talk about the dark-blue bars, the pregnancy rates.
22 You can see that they are pretty high up to the
23 dose of 0.25 milligrams but low thereafter. You
24 can also see, in the light-blue bars, that the
25 implantation rate or probability of an individual

1 embryo taking really paralleled the pregnancy rate
2 and was highest with this 0.25 dose.

3 Lastly, in the red, you can see that
4 miscarriage was a very common event when these very
5 high doses had been used. What does this tell us?
6 I think it tells us a few things. One of them is,
7 again, evidence that FSH is the driver for
8 folliculogenesis. No matter what your LH tone is,
9 you can probably still force egg growth with FSH
10 alone. But, in terms of clinical success, LH has a
11 huge role to play and there really may be a sweet
12 spot where you can have too much but, certainly,
13 too little LH to permit the eggs to be of any use.

14 [Slide.]

15 Another factor that can get in the way of
16 seeing clearly an FSH effect is metformin. This
17 is, perhaps, a good thing because we have known for
18 years and years that PCO patients in IVF may make
19 lots of eggs but still are less likely than many
20 others to get pregnant.

21 So Laurel Stadtmauer did this study a
22 couple of years ago in which a group of PCO
23 patients were randomized to go through standard IVF
24 either without any metformin or with metformin.
25 Metformin is an insulin-sensitizer that has now

1 been commonly applied to PCO patients and, in many,
2 will allow spontaneous ovulation.

3 But it also had dramatic effects on what
4 was seen in the IVF setting. First of all, those
5 on metformin had a dampened response to follicle
6 stimulation. Many fewer eggs were seen to be
7 growing. But this reduction had mostly to do with
8 the smallest of the eggs because, when you looked
9 at how many big eggs, big follicles, there were,
10 that difference was much smaller than the overall
11 difference.

12 At the point of egg retrieval, there was
13 no difference and even more favorable with respect
14 to metformin is the fact that, even though you
15 typically got the same number of eggs with or
16 without metformin, the number of mature eggs was a
17 much higher proportion if metformin had been used
18 and the fertilization rate was higher if metformin
19 had been used and the pregnancy rate was more than
20 twice as high if metformin had been used, again,
21 with the same exact FSH stimulation. So this is a
22 very significant modulator of response as well that
23 we need to track if we are going to be doing a
24 study asking about is this gonadotropin
25 efficacious.

1 [Slide.]

2 The last example in this area that I would
3 give is that, at least in our own practice,
4 depending on who the doctor doing the egg retrieval
5 is, you can end up with different egg counts.

6 This is us over a couple of years. While
7 the number of mature eggs in the end was hardly
8 different and certainly isn't statistically
9 different at all, this guy gets lots of immature
10 eggs that the other doctors probably leave behind.
11 So how would you control for this in a study?
12 Perhaps by focusing on mature eggs rather than eggs
13 altogether or hoping that the randomization will
14 take care of it, that Dr. C won't happen to do a
15 disproportionate amount of the retrievals on your
16 old drug or something.

17 But these are influences that kind of get
18 in the way of understanding efficacy.

19 [Slide.]

20 A couple of other things and then I will
21 be wrapping up. I think it is understandable to
22 everybody in the room that many factors, in fact,
23 affect outcomes here. We do things in terms of
24 stimulation to get eggs. Eggs become embryos. We
25 hope the embryos become pregnancy and pregnancy

1 becomes a delivery.

2 But the gonadotropins that are the topic
3 of discussion today here really are tightly linked
4 to eggs and less clearly linked to anything
5 downstream of that event, I believe. Again, the
6 gonadotropin response is affected by the LH tone as
7 driven by metformin use and OC pretreatment and
8 analogues and whether you gave the hCG. If you
9 don't, you are not going to get any eggs. So there
10 are a lot of simultaneous considerations here that
11 have to be accounted for or controlled for,
12 stratified for, whatever in terms of the
13 quantitative character of eggs.

14 But we also have to remember that there is
15 this parallel quality factor going on, that not all
16 eggs, even though they may look the same, are the
17 same in terms of their potential. Consequently,
18 age enters into the progression here at many points
19 past the egg retrieval. A 42-year-old with the
20 same number of embryos going back as a 32-year-old
21 is not as likely to get pregnant and is
22 substantially more likely to not deliver than that
23 other gal who had the same number of eggs to start
24 with.

25 And then there are a bunch of doctor

1 variables in here, too. Is it a good lab? Are the
2 culture conditions up to date? What day did they
3 do the transfer? Was it technically a good
4 transfer? How many were put back? What kind of
5 luteal support was given, et cetera, et cetera.

6 So, because of all of these sort of
7 downstream events, it can be very hard to clearly
8 link a medication event to one that is very far
9 downstream. And these are some of those other
10 downstream influences that I just alluded to.

11 Again, going back to this, one might have
12 the same starting point in terms of quantity but a
13 very different ending point in terms of quality. I
14 am unaware, frankly, that gonadotropin use actually
15 drives this difference which would be a pertinent
16 question if it did. But I am unaware that, to get
17 twelve eggs with a certain dose here and twelve
18 eggs with a different dose here is the driver for
19 what is normal and what is not.

20 [Slide.]

21 This is embryos that, in many clinics, are
22 put back, eight cells, three days along. But if
23 you wait a couple of more days, half of those that
24 looked pretty good on Day 3 would have not
25 progressed to Day 5 and would, therefore, have been

1 much more likely to implant and turn into a
2 pregnancy. So, when you put them back matters.

3 [Slide.]

4 How normal the cavity is matters, what
5 kind of an evaluation was done of the cavity. If
6 it is just a plain old ultrasound, this big polyp
7 in the cavity may have been overlooked. And,
8 again, the fundamental ones that drive this more
9 than anything else is our ability to estimate
10 ovarian reserve and fertility. They, more than
11 anything, can give you a heads-up about pregnancy
12 events, downstream events, past the point of a
13 certain number of eggs.

14 [Slide.]

15 As an example of how difficult these
16 things are to predict, I put this slide up. This
17 is old work but shows that--and Dr. Keefe alluded
18 to this--that, as you go from proximal events in
19 the process such as eggs to downstream events such
20 as delivery, your ability to predict who it is that
21 going to get enough eggs, embryos, pregnancies or
22 be delivered goes down and down and down.

23 [Slide.]

24 There is very, very weak predictive
25 ability of either, in a sense, age or FSH about who

1 is going to be successful; much more predictive
2 ability about eggs. In part, this is because of
3 the difference in the nature of these datapoints.
4 For instance, if you are able to pick an endpoint
5 which can be characterized as means, such as how
6 many eggs you got, as opposed to proportion such as
7 who got pregnant, your sample size requirements are
8 apt to be much, much lower when means are used,
9 even with the same difference to be detected.

10 [Slide.]

11 You could take a 30 percent bump in the
12 number of eggs that you were able to retrieve
13 because of a new gonadotropin but if you were
14 looking, instead, for that same 30 percent change
15 in pregnancy rate, if it was even there, you would
16 have to study almost three times as many patients
17 to see it.

18 [Slide.]

19 Now, another very important consideration,
20 though, is the fact that this benefit of high
21 ovarian response is often not even something you
22 can see in the fresh cycle. I don't know how to
23 overemphasize this point and I will just describe
24 the slide first and then try to say it a couple of
25 different ways.

1 In IVF, we typically try to get a lot of
2 eggs. But we are not going to put a lot of embryos
3 back. So we often are in a position where we, in
4 fact, have a few extras that we opt not to
5 transfer. Okay? Again, an old study, but really
6 not different in any of the newer studies, in the
7 old days of IVF, when the pregnancy rates generally
8 were lower, it really didn't matter whether we had
9 a few eggs or a lot of eggs to who got pregnant on
10 that occasion because we were only going to put
11 three of them back anyway, and we had three from
12 here and three from here and three from here.

13 So far as we could tell, everybody got
14 equally pregnant. The advantage of those extra
15 eggs really came, at least in this era, from the
16 fact that they could be frozen and transferred back
17 in later cycles. So it is clearly better to be in
18 this greater-than-ten category. Clearly, many,
19 many more people ultimately were pregnant.

20 But, if you had picked as your primary
21 endpoint fresh pregnancy rate, you would make a
22 false conclusion which is that it didn't matter how
23 many eggs you got when, in fact, it does. This
24 phenomenon has really been seen in all of the
25 comparative gonadotropin trials where you might get

1 an extra egg or two here or one fewer egg here on
2 antagonist.

3 It makes no difference in the short run
4 because you will always have enough embryos to go
5 back in the short run but it may hold a benefit
6 that appears a few months down the road. So this
7 is another reason to be a little concerned that if
8 the initial pregnancy rate becomes the primary
9 endpoint that you will lose some of the effect that
10 really may be going on with a new kind of a
11 gonadotropin in producing extra eggs or extra eggs
12 of good quality.

13 So, I think that is all I want to say.
14 Well, let me say this. I guess I would argue that,
15 at least as one of the endpoints, therefore, one
16 might want to look for endpoints that almost are
17 observable before you even get to the retrieval.
18 If the doctor can have an effect on how many eggs
19 enter into the laboratory, that is a problem.
20 Maybe you want to look for things that you can see
21 on ultrasound or measure in the circulation that
22 takes some of the operator dependence out of the
23 picture.

24 We can talk about what those might be over
25 the next couple of days. I think that's it. So,

1 again, my take is a little different, I think, than
2 Dr. Keefe's. Certainly, the goal of the treatment
3 called IVF is pregnancy. But the treatment,
4 itself, is multifaceted. There are different
5 components of that treatment.

6 One of the components is ovulation
7 induction. That component certainly does affect
8 what happens downstream but it isn't the only
9 important thing that affects who is going to get
10 pregnant. There are many other equally important
11 things.

12 To the extent that gonadotropins, their
13 efficacy is under consideration, it strikes me as
14 most logical to try to link it to what is really
15 happening with eggs being grown rather than those
16 other more distal events which are subject to many
17 other important influences.

18 Thanks.

19 **Questions from the Committee**

20 DR. GIUDICE: I would like to open this up
21 to the committee. Dr. Hager and then Dr. Crockett.

22 DR. HAGER: Jim, that's an excellent
23 presentation. Thank you. I have three questions
24 and I will ask them individually and let you
25 respond. You have indicated the benefit of

1 cryopreservation and freezing embryos. With the
2 current technology and work being done related to
3 cryopreservation of oocytes, can you kind of update
4 us on where that may take us also regarding ethical
5 considerations and so forth?

6 DR. TONER: Well, it would certainly be
7 nice to be able to freeze eggs. For women who
8 don't have a partner, for women undergoing cancer
9 treatments that may erode their ovarian reserve, it
10 would be a wonderful technology.

11 It could also be used even among couples
12 who are high-response type in which you may say,
13 okay, well, I am only going to inseminate eight
14 eggs and work with those for this transfer but then
15 freeze everything else. If you don't get pregnant,
16 we will thaw out a few, fertilize a few. But there
17 are ethical things that come these days from the
18 fact that we don't have an effective way of
19 freezing eggs.

20 You know, divorce happens. Embryos are
21 then stuck between an impossible situation.

22 DR. HAGER: Do you see a future for that
23 technology?

24 DR. TONER: Yes; uh-huh.

25 DR. HAGER: Okay. Second, regarding age

1 and retrievable eggs, if we could enhance the
2 number of retrievable eggs in an older population
3 of patients based on the information we have
4 regarding aneuploidy, would that truly be a service
5 and where do you see the future going regarding the
6 ability to retrieve better quality eggs in the
7 older patient?

8 DR. TONER: We don't have an ability
9 nowadays. Nowadays, all we can do is push with our
10 drugs and accept what eggs are developed and hope
11 they are not aneuploid. We could, as a first step,
12 screen them for aneuploidy and transfer only the
13 normal ones. That seems to be a short-term tool.

14 But, in the long term, obviously, it won't
15 be a good solution. We don't understand what it is
16 that makes an egg recruitable. At this point, we
17 just have to accept it for what it is. But there
18 may be some cocktail of factors that could take the
19 very limited supply of eggs from an older woman and
20 make a higher proportion of them available for
21 recruitment. But we don't know how that would work
22 at this time.

23 You know, take an ovarian biopsy and
24 inducing these primordials to grow in the lab is
25 something you can dream about but it isn't there

1 now and it really wouldn't get around the
2 aneuploidy problem. We think the aneuploidy
3 problem is sort of preexisting, absent something
4 like cytoplasmic transfer or nuclear transfer.

5 There is some question that the aneuploidy
6 may or may not be preexisting. Certainly, if it is
7 already an aneuploid egg, you are probably not
8 going to be able to do anything with it. But it is
9 known that eggs from older women don't have near as
10 many healthy mitochondria so they are kind of
11 underpowered. And it may be that some of the
12 aneuploidies developed in the growth process that
13 weren't really there at the beginning. So if you
14 could give them adequate energy, maybe it wouldn't
15 have gone awry at near the same rate.

16 That was the thought behind cytoplasmic
17 transfer and nuclear transfer. But that is not
18 permitted. We can't do that work at the current
19 time.

20 DR. HAGER: Finally, with the rate of
21 multiples decreasing, probably because of
22 limitations on transfer or self-imposed
23 limitations, is that information transmitted to
24 patients in a similar manner, do you think, by all
25 centers. Do you understand what I am saying?

1 DR. TONER: Is every center working to
2 reduce the number transferred or--

3 DR. HAGER: No. Is that information
4 being transmitted to the patients based on that
5 risk? Do you think that everyone is making that
6 information available, that risk?

7 DR. TONER: The risk of multiples within
8 that practice?

9 DR. HAGER: Not only within that practice
10 but based on the number transferred.

11 DR. TONER: Yeah; I think so. I don't
12 know that every center has a handout describing it,
13 but most centers that I am aware of, if not a
14 handout, have a discussion on the day of the
15 intended transfer about what happens if we put back
16 three, what happens if we put back two.

17 DR. HAGER: Is SART monitoring that?

18 DR. GIUDICE: Dr. Brzyski, do you want to
19 respond?

20 DR. BRZYSKI: No. Actually, I wanted to
21 ask another question. But I would say that, you
22 know, one of the--speaking about monitoring.
23 Everyone's program reports their multiple pregnancy
24 rate to the national--you know, that is published.
25 Also SART members, when a center undergoes

1 validation, which is a process whereby there is a
2 random sampling where cycles are examined by the
3 validation committee from SART. This is partly
4 supported by CDC to get a handle on data quality so
5 the records at the Center for that cycle are
6 examined and compared to the data that are
7 submitted to the CDC to test the accuracy.

8 Part of that visit for SART members
9 includes exploration of practice issues including,
10 again, a random sampling of cycles, were the number
11 of embryos transferred in that cycle consistent
12 with the SART ASRM guidelines for transfer and, if
13 not, was there some documentation of why there was
14 a variation from that practice.

15 That is one of the criteria that are used
16 to determine ongoing membership in SART. So that
17 is something that is done. Now, each year, about
18 10 percent of IVF programs are visited by
19 validation committee members. So there is a random
20 sampling of centers, also.

21 Does that clarify? Now, can I ask my
22 question? We talk about ovarian reserve clinically
23 as something palpable. But I wanted to ask you
24 your comments regarding the ability to determine
25 ovarian reserve in terms of the way that we can

1 determine--if someone has blocked tubes or no
2 sperm, it is a very black-and-white issue.

3 But what do you think our positive
4 predictive value or negative predictive value of
5 identifying problems with ovarian reserve is with
6 current technology? Is there a best test? Should
7 we be critical of current technologies in
8 determining that, what is in the future?

9 DR. TONER: Well, the best test is not one
10 that is used most times and really would be giving
11 everybody a lot of drug and seeing how many eggs
12 they do grow because that is what we are really
13 trying to get a handle on. But it is impractical
14 and expensive and it will make some women sick.

15 So, instead, we use other things. The
16 basal FSH level on Day 3 of the cycle is the most
17 widely used. We actually have also looked at LH
18 because the ratio, as I alluded to, seems to be
19 very predictive of response. We have known for
20 years that women with PCO have an LH, an
21 exaggerated level of LH, with respect to their FSH.
22 The people with low reserve are the opposite.

23 Another convenient metric for most of us
24 is simply the ultrasound. If we take a look at a
25 gal early in a cycle, how many of those little

1 follicles we see is also highly predictive of how
2 many eggs she can be made to grow. So those are
3 the primary clinical tools, I believe.

4 DR. GIUDICE: Dr. Rice and then we will
5 come to this side of the table.

6 DR. RICE: I enjoyed your presentation but
7 I do think there are some contradictory statements
8 that I would kind of like for you to help my
9 clarify. On one hand, you say that the number of
10 oocytes retrieved is important because it will
11 impact pregnancy rates, maybe not in that first
12 cycle but in subsequent frozen cycles if there are
13 enough embryos left over.

14 But then, on the other hand, you recognize
15 that regardless of the number of eggs retrieved for
16 an individual woman based on age, ovarian reserve,
17 et cetera, that there may be only one or two eggs
18 in that cohort that are ever going to lead to a
19 pregnancy.

20 So, when we look at gonadotropins, do we
21 only assess them for the number of oocytes they
22 produce or should we really be looking downstream,
23 looking at the fertilization rate of those oocytes
24 that are produced by that specific gonadotropin and
25 then, finally, looking at the pregnancy rate

1 because I think that is the big question of how we
2 should be judged, so when we set up these clinical
3 trials, we are asking the right question of that
4 gonadotropin.

5 DR. TONER: Yeah; I think that is why we
6 are all here. My own view is that the thing the
7 gonadotropins do is induce follicles to grow. The
8 potential follicle is kind of on the launching pad,
9 will be permitted to grow if they see enough FSH.
10 But the FSH that is used doesn't determine whether
11 or not they are aneuploid. That is determined by
12 other things, age being a convenient marker,
13 typically.

14 So, while obviously this is all being done
15 for the purpose of pregnancy, my fear is that if
16 you use pregnancy endpoints, then you would--in
17 terms of evaluating gonadotropin efficacy, you
18 would probably have to restrict the range or at
19 least stratify by those other dimensions such as
20 age and reserve that we know also matter and might
21 predict the aneuploidy piece.

22 DR. GIUDICE: Going up here. Dr.
23 Crockett, please?

24 DR. CROCKETT: Thank you. I also enjoyed
25 your presentation. I want to talk a little bit

1 more about FSH as a determinant of ovarian reserve
2 particularly from the standpoint of when you look
3 at the graphs about FSH declining with women's age
4 increasing, it looks like a nice linear graph. But
5 we know that each woman within that graph is, in
6 essence, an n within herself and that FSH and
7 ovarian reserve can fluctuate within a woman. For
8 instance, when a woman starts to go through
9 menopause, ovaries don't just decline gradually.
10 There are some cycles where they ovulate and some
11 cycles when they don't.

12 It is also my understanding that there can
13 be sort of transient ovarian failure in even
14 younger women where it appears like they are not
15 ovulating or their FSH may be elevated for a period
16 of time and then it goes back up to a normal level
17 and they are able to conceive.

18 Long way of asking a question, but my
19 question to you is how do you take these into
20 account in dosing the gonadotropins or should be we
21 taking that into account when we look at the safety
22 and efficacy of these medications?

23 DR. TONER: I think most of the clinicians
24 in the room would agree that there is some
25 month-to-month difference in ovarian responsiveness

1 and in measures of ovarian reserve. You might have
2 a high FSH this month not followed by a high FSH
3 next month. This cycle, with a certain dose of
4 stimulation, you might get ten eggs. Next month,
5 same stimulation, you might get 14 eggs. So there
6 is a bit of difficulty in this sort of one-to-one
7 mapping. Every cycle isn't the same.

8 But, at the same time, I think you would
9 get argument from a lot of the clinicians that
10 people move wildly from one category to another. A
11 woman who is given a strong stimulus one month and
12 makes four eggs is never going to make 20 eggs,
13 never, no matter what her FSH is next month or next
14 year.

15 At the same time, a woman who makes 40
16 eggs is never going to make two within that year
17 unless you hardly give her any FSH. So, in terms
18 of the full range of ovarian reserve, people tend
19 to stay where they are. Over time, they tend to
20 run down hill.

21 The problem of fluctuating
22 predictors--FSH, for instance, can be high one
23 month, low another--has led, again, most clinicians
24 to adopt the view that the highest one you ever had
25 is the real one because that tends to be the best

1 predictor or responsiveness. It has been done
2 three or four times in different studies.

3 If you have a high FSH of 14 this time,
4 and you say, well, that is a bad cycle, obviously I
5 will wait until it is low. And you wait until it
6 is low and they still don't make a normal number of
7 eggs. I am not sure that the ultrasound assessment
8 is subject to that level of variance, though. I
9 think the basal antral follicle count that a lot of
10 programs would do would be subject to much less of
11 this noisiness that FSH can have.

12 DR. GIUDICE: Thank you. Dr. Macones?

13 DR. MACONES: I think you just partially
14 answered my question. You presented a great slide
15 looking at retrieval rate by physicians suggesting
16 that looking at retrieval rate probably isn't a
17 great idea if we look at these gonadotropins.

18 Again, you suggest using ultrasound as a
19 primary measure, of course, assuming that
20 ultrasound is reliable and has good intra- and
21 inter-observer reliability. Is that the case? I
22 assume it is, but--

23 DR. TONER: I think it is until you get to
24 lots of follicles, lots and lots of follicles,
25 because then you will find some clinicians say, I

1 can't measure 45 follicles. I lost track of the
2 last three anyway. But I think, within the normal
3 operating range of zero to 20, if the question is
4 how many follicles are there and are they bigger
5 than 14, there would be a lot of reliability in
6 that kind of an assessment.

7 DR. GIUDICE: Dr. Lewis? Please go to the
8 microphone.

9 DR. LEWIS: Thank you. I enjoyed your
10 presentation. I think you raised a lot of
11 important issues one of which, of course, has to do
12 with aneuploidy screening. Aneuploid eggs, I am
13 sure, are very important but, at this point, we
14 don't have any way of assessing what baseline
15 aneuploidic rates are.

16 We believe they would increase with age
17 but we just don't know. So, to use that as a
18 measure of how effective a gonadotropin is I don't
19 think would be something we could practically do at
20 this point in time.

21 I do want to just comment on your slide
22 showing that having some frozen embryos would give
23 us another measure of efficacy of a drug, but I
24 think that is subject to tremendous
25 inter-laboratory variation. We did hear Dr. Keefe

1 say that if you had healthy embryos, they would be
2 frozen. That is something that is done in a lot of
3 centers and that is going to vary according to what
4 kind of quality to laboratory has, what kind of
5 culture system they are using, whether they are
6 freezing at the one-cell or blastocyst stage. So I
7 don't think that that would be something that would
8 be practically useful.

9 I wonder if you and, perhaps, people from
10 the FDA could comment on what kind of trial designs
11 are used in European centers where many of these
12 drugs are approved for use in ART cycles as they
13 may not be in the United States. What endpoints do
14 they use there?

15 Then, finally, just two small comments.
16 If you could also comment on assay variation, LH
17 and FSH. A lot of centers are using different kits
18 which may not give the same exact values. Lastly,
19 co-culture, you commented on as not being done
20 because it is not safe. It is really an aside, but
21 I do think that there haven't been safety concerns
22 raised about autologous co-culture of embryos and
23 there are a few centers that are doing that.

24 DR. TONER: I am not sure that there is
25 any evidence that it is unsafe. I think the

1 judgement was that it is not known and, until we
2 know, we won't proceed with the nonautologous.

3 The European trials; I have not been
4 inside the regulatory systems there. Typically,
5 what we see are the published studies which, as you
6 can imagine, typically report all the endpoints.
7 They are typically comparative trials with blinding
8 and multicenter and that kind of deal. What you
9 typically see in them is similar efficacy, again,
10 at least with respect to fresh pregnancy rates
11 because everyone is getting the same number of eggs
12 back, embryos back, even if they got very different
13 numbers of eggs initially and that you would see,
14 for instance, that antagonist trials have typically
15 shown two fewer eggs than in the other approach and
16 one fewer embryo than the other approach. But it
17 didn't matter to the pregnancy rate in the short
18 run.

19 The frozen rates, I wasn't arguing as
20 something that you probably should include for the
21 reasons you alluded to plus the reasons of years.
22 I mean, when are they going to get around to
23 getting them back--you never get an answer--but,
24 rather to show that the differences that may, in
25 the long run, be meaningful to the patient are

1 inapparent in the short run, if you use pregnancy
2 as your endpoint.

3 DR. GIUDICE: Dr. Slaughter, will you be
4 addressing any of the clinical-trial endpoints in
5 your talk today?

6 DR. SLAUGHTER: I will be addressing just
7 some of the applications that have come through to
8 the FDA and the actual endpoints that were used in
9 that trial, in those trials.

10 DR. GIUDICE: Okay. Thank you. Dr.
11 Layman?

12 DR. LAYMAN: I had a comment on the
13 ovarian reserve. According to John Collins, at
14 least, who has done a lot of the work on the
15 positive predictive value, at least what I heard
16 him say six months ago was that Cycle Day 2 or Day
17 3 FSH was the best predictor, the estradiol wasn't
18 good and HIPN-B wasn't good and I can't remember if
19 the clomiphene challenge was as good as FSH, but I
20 kind of think it was either not quite as good or,
21 because it is less involved, was preferred.

22 But the other thing to remember, of
23 course, that really the only part that is
24 predictive is that, if the FSH is high, you predict
25 a poor outcome. For some of the members of the

1 audience, if the FSH is normal, that certainly
2 doesn't guarantee a good stimulation. It is only
3 that if the FSH is elevated, there is a high
4 predictive value with a poor response.

5 DR. GIUDICE: Thank you for your comments.
6 Dr. Lipshultz?

7 DR. LIPSHULTZ: As the only urologist
8 here, I think I kind of keyed in on your statement
9 that with ICSI, if there are ten sperm available,
10 then, basically, there is no male factor. That,
11 unfortunately, is a general consensus and,
12 unfortunately, it is not true.

13 A man who produces ten sperm, obviously,
14 has a disease and deserves the same amount of
15 evaluation as the female. The question becomes one
16 of, given these patients who do have a disease
17 process, we know now that these normal-looking
18 sperm have a very high rate of aneuploidy and we
19 are learning each year of the increased genetic
20 problems that these men have that, in fact,
21 probably are one of the reasons why they are not
22 producing sperm.

23 So, your end result, then, will be embryos
24 with increased abnormalities and, perhaps, children
25 with increased genetic problems which goes back to

1 the question about how to look at this outcome in
2 terms of ICSI and IVF.

3 DR. TONER: Yeah; I grant you that I
4 oversimplified the system. I was trying to make
5 the point that, before IVF, before ICSI, was
6 available, men with few sperm were not well helped
7 by IVF at all but that ICSI has at least permitted
8 fertilization and pregnancy to be established.

9 While there are some concerns, admittedly,
10 that there are higher sex aneuploidy rates and
11 other things with the pregnancy outcomes,
12 themselves, still the biggest study is Van
13 Steerigum's and the rates of problems are not
14 astronomical. They are higher than the background,
15 higher than the reference population, but not "no
16 go" kind of rates, in my opinion.

17 DR. GIUDICE: First Dr. Emerson and then
18 Dr. Keefe.

19 DR. EMERSON: I guess my questions are,
20 again, relating to this question of the egg
21 production as being the endpoint. Do we actually
22 have evidence that says that this FSH regimen can't
23 affect the aneuploidy rates in these? Do we have
24 any baseline rates of what that should be and how
25 it has changed by the FSH regimen?

1 DR. TONER: Not that I am aware of. But I
2 would say that the rates that are observed line up
3 fairly nicely with the incidence of no pregnancy or
4 failed pregnancy in natural populations.

5 DR. EMERSON: Yes. But we are also
6 addressing the idea with new treatments. This is a
7 problem with any surrogate endpoint is that the
8 validity of the surrogate endpoint is an
9 interaction between the treatment and the disease.
10 So the idea is that, just because you have shown
11 that something is a perfectly good surrogate in one
12 treatment does not mean it would necessarily be in
13 another treatment and that we may have one regime
14 that doesn't cause aneuploidy and another one does
15 and that would be the pregnancy rate.

16 DR. TONER: Absolutely.

17 DR. EMERSON: I will note that, of course,
18 your evidence that you are saying that we need to
19 consider the effects of cryopreservation is, in
20 fact, based on the idea that it affects the
21 pregnancy rate, not the number of eggs produced.

22 But I guess my other concern, as we are
23 trying to talk in generalities here, is where there
24 are differences in the FSH-LH combination and that
25 we are talking about that, and where you have

1 suggested that the LH level has an impact, and I am
2 presuming--I am not too knowledgeable on the
3 nonstatistical aspects here--but the idea of the
4 uterine environment, the uterine effects, ought to
5 be able to be affected somewhat by the FSH and LH
6 and do we have very much data on how the
7 subsequent, the implantation of cryopreserved,
8 might differ from the fresh cycle and whether
9 any element of differences there can be the regimen
10 that goes in before the--for the harvesting of the
11 eggs relative to not having that cycle just before
12 the implantation.

13 DR. TONER: That model has not been very
14 instructive because two things are changing. I
15 mean, yes, you have a more natural endocrine
16 environment in a frozen cycle but you have also got
17 an embryo that was frozen. So the two things may
18 cancel one another out.

19 DR. EMERSON: A surrogacy.

20 DR. TONER: Yeah. Another model that has
21 been done to try to get the same answer involves,
22 for instance, egg donors who might take a few
23 embryos back, themselves, and give others, also
24 fresh, to another woman whose uterus has been
25 prepared in a more natural way.

1 There, the evidence, in small studies, has
2 been pretty contro--not controversial but there is
3 a group of three or four studies that I know of
4 that showed no benefit of them going back into a
5 more natural cycle and, two, showing a trend
6 favoring the more natural cycle as the better
7 environment.

8 You can also look at those who happen to
9 make a lot of estrogen and those who happen to make
10 a little and that will modulate the uterine milieu.
11 Again, that doesn't seem to be very instructive
12 because, even though the high levels might, other
13 things being equal, be averse, they typically come
14 from the women who are younger, making more eggs,
15 et cetera. So it is an important question but it
16 has been a tough one to answer.

17 DR. GIUDICE: Thank you. Dr. Keefe, you
18 had a comment?

19 DR. KEEFE: Sorry to ask this with my back
20 turned to you but a comment and then a question.
21 The comment is that you kind of circled around the
22 issue of nuclear transfer and cytoplasmic transfer,
23 especially in the context of your introduction
24 where you emphasized the freedom that ART developed
25 in the U.S. and the advantage of that.

1 I think it is important to put it on the
2 table. There is not a shred of evidence, not a
3 shred of clinical evidence whatsoever, that either
4 of those procedures ever made a single difference
5 in anybody's life. They were totally uncontrolled
6 studies. In the published study in Lancet there
7 were 17 patients in their mid-30s who had an
8 average of something like 18 embryos. These women
9 did not have egg dysfunction the way we are talking
10 about egg dysfunction.

11 The whole story is completely based on a
12 biologic rationale which is frail, very frail. I
13 mean, the energy theory is completely lacking in
14 evidence. Microtubules are stored with energy.
15 They don't need ATP from the mitochondria. The
16 mitochondria are quiescent. They have no cristae,
17 just almost zero oxygen consumption in eggs at the
18 time of fertilization in humans and mice.

19 There is no evidence whatsoever that there
20 is a positive ATP driving aneuploidy in any
21 mammalian egg that has been credibly deduced.

22 Conversely, you can imagine a number of
23 biologic rationales that would make this a very
24 dangerous procedure because it is very clear that
25 mitochondria are involved in apoptosis and killing

1 bad eggs that are predisposed towards aneuploidy.
2 In recent published studies from--like, Nature
3 Genetics had a paper two months ago that
4 polymorphisms in mitochondria determine
5 intelligence in mice.

6 I don't know exactly how they determine
7 intelligence in mice. I guess Mickey Mouse would
8 have starred in their intelligence testing, but it
9 is very important in brain development. Most
10 mitochondrial disorders, should they be passed
11 forward through this process, we, and others, have
12 shown small levels of mitochondrial DNA mutations
13 in eggs from infertile women would only appear
14 later.

15 So there is no way that this could be
16 considered safe. It is germ-line gene therapy. It
17 is very clearly germ-line gene therapy and somebody
18 should stop it, whether it is the federal
19 government, whether it is ourselves as a
20 profession. Since we didn't do it, I think it is
21 very important that somebody did it. Anyway, that
22 is an editorial comment.

23 DR. TONER: And that may all be true. I
24 agree that it is premature to be doing those
25 things. What I was trying to highlight, though,

1 really, is the fact that, for reasons that are
2 still mysterious, a 42-year-old egg, although it
3 looks like a 32-year-old doesn't behave like a
4 32-year-old egg. So all we have now for those
5 women is substitution therapy.

6 We don't have a way to remedy the egg and
7 those procedures that you allude to were conceived
8 in hopes that that would be a remedy. That's all.

9 DR. KEEFE: Yeah. I think we agree. The
10 question is should we consider frozen embryos a
11 benefit exclusively or should there also be
12 considered a side effect. Consider there are a
13 quarter of a million embryos that are in freezers
14 in the United States and I think up to 5 to
15 10 percent of those are abandoned. There is a
16 double-edged sword to the excess embryos.

17 I agree with you that it is very valuable
18 but, to sit down with a couple who has their twins
19 and now are figuring out what to do with these
20 embryos that are excess is kind of a
21 double-edged--how do you see that in terms of
22 trials?

23 DR. TONER: Kind of the same way but I
24 think it may be a bridge technology. Again, if we
25 could freeze the eggs, themselves, even among

1 married couples, that would really be the preferred
2 avenue so as to avoid these conundrums that we find
3 ourselves in.

4 But I think, again, my point was that if
5 the primary effect of a gonadotropin FSH is egg
6 production and one is stronger than another and
7 produces more eggs but it gets buried in the fact
8 that you are never going to put as many embryos
9 back anyway as to show the benefit, then you
10 wouldn't want to use the fresh pregnancy rate as
11 your only endpoint, or you would miss the benefit.

12 DR. GIUDICE: Dr. Emmi?

13 DR. EMMI: I had a couple of questions.
14 My first is you had spoken about ovarian reserve as
15 being the best predictor or how people will
16 respond. And then, later, you talked about PCO and
17 the fact that metformin will actually change the
18 response in a PCO patient and we all know that they
19 tend to be super-responders.

20 How would you factor that into a protocol
21 since they do tend to respond differently. Most
22 people treat them differently and start them on
23 different levels of gonadotropins.

24 DR. TONER: You might either require it
25 being used in all people who meet the criteria for

1 PCO or balance for it, you know, stratify by it, so
2 that you don't end up with one treatment having an
3 disproportionate number of people who happen to get
4 that adjunctive treatment.

5 DR. EMMI: You used as your main protocol
6 called the antagonist, you said, for the average
7 patient. How many programs in the country do you
8 think are probably--I mean, just average? Do you
9 have any idea how many are using that now?

10 DR. TONER: I would guess half or more are
11 using it some way or other.

12 DR. EMMI: But I mean in their average
13 patient population, I guess, is my question.

14 DR. TONER: Don't know.

15 DR. EMMI: Okay. Thank you.

16 DR. GIUDICE: Dr. Stanford and then Dr.
17 Rice.

18 DR. STANFORD: I guess I would just like
19 to amplify again on the comments of Dr. Rice and
20 Dr. Emerson in that I was a little bit confused
21 by--I thought I heard you say that we can't really
22 affect the egg quality by the gonadotropin
23 stimulus.

24 But then you had a clear study where the
25 amount of LH clearly did affect ovum quality,

1 whether the LH was in the optimum range. So, to
2 me, it seems that, at least in that way,
3 gonadotropin stimulation protocol does affect egg
4 quality. So, that raises, in my mind, questions
5 for using just pure number of eggs as an outcome.

6 DR. TONER: I don't think we know in that
7 Ganirelix trial whether the lower pregnancy, higher
8 miscarriage, was actually an egg effect or an
9 endometrial effect. So it could be that it did
10 nothing at all to the eggs that would have
11 otherwise grown as evidenced by embryo development
12 in the lab and had everything to do with the fact
13 that the endometrial development was deranged.

14 But I agree that, given the nature of the
15 structure of the study, there is no way to know
16 which it is because it could also be eggs that were
17 adversely affected.

18 DR. GIUDICE: Dr. Rice?

19 DR. RICE: I guess this is more of a
20 comment. I am sort of brought back to my early
21 work when we used our mouse model and were looking
22 at PMS and hCG and we were excited about the number
23 of oocytes that we could get and then we got
24 purified FSH and we were still excited about the
25 number of oocytes we could get.

1 Then we did a study where we looked at FSH
2 and then we looked at FSH as the trigger for
3 ovulation instead of HCGM. We were still excited
4 about the number of oocytes we got but our
5 fertilization rate dropped off significantly. So
6 you can't just stop at the number of oocytes that
7 you get. You have got to look downstream and then
8 account for the other variables that affect the
9 downstream outcome.

10 But just looking at the number of oocytes
11 is not enough when it looks at differences between
12 gonadotropins. You have got to look at those other
13 variables. Now, the question is how far downstream
14 do we look and then how can we account for those or
15 control for those confounders.

16 DR. GIUDICE: Did you want to comment, Dr.
17 Toner?

18 DR. TONER: I am not sure, in my own mind,
19 that it has to be downstream events. I think, if
20 you have the appropriate LH tone and the
21 appropriate embryology support, then you might see
22 a very clear FSH effect holding all those other
23 things constant that has nothing to do with
24 anything but how many eggs you get.

25 I think, in the studies where we have seen

1 problems, we have monkeyed with a part of the
2 system that didn't have anything to do with FSH,
3 really, in the first place. If you take away all
4 the LH and then have a bad outcome, it isn't
5 because the FSH was a problem. It is because you
6 mucked up with the LH side of the equation.

7 So I think it depends what kind of a--what
8 gonadotropin you are trying to examine. If it is
9 FSH, and you put parameters around what else needs
10 to be there for me to ask the question fairly of
11 FSH, that would include adequate LH. It would
12 include metformin for PCO, perhaps. It would
13 include adequate luteal support.

14 But, with that frame, then I think you
15 could potentially get a very clean answer about
16 what FSH is doing or not doing with endpoints not
17 past egg retrieval.

18 DR. KEEFE: I have a question.

19 DR. GIUDICE: Yes; one last question.

20 DR. KEEFE: Just a comment. It is hard to
21 do these studies looking at the effects of
22 gonadotropins on egg quality in humans but there is
23 a great study by Barry Bavister in the hamster
24 which has kind of a subfertility that approaches
25 that of the human. He took PMSG-stimulated hamster

1 donors and then he took naturally cycling donors,
2 and they were both fertilized in vivo. He then
3 transferrèd the zygotes into the horns, the right
4 and left horn, of the hamster and found that the
5 development of the naturally ovulated embryos was
6 severalfold that of--the implantation rate was
7 severalfold that of the PMSG-generated embryos
8 suggesting that there could be some detrimental
9 effects on development.

10 It is just harder to do those studies in
11 humans without the controls. But there is evidence
12 that, in normal donors undergoing controlled
13 ovarian hyperstimulation for egg donation who are
14 not split-cycle donors, they don't have,
15 themselves, infertility--they are normal unselected
16 donors--that they have about 40 to 50 percent of
17 their embryos that are aneuploid. This comes from
18 Tony Pellicer in Valencia where they have done PGD
19 in donors.

20 Frank Barnes who goes around the country
21 as the itinerant PGD biopsy--this is a sort of
22 business he has--has found similar experience
23 working with Santimine who has, again, it is a
24 reference lab for PGD--there is a growing evidence
25 that normal donors, unselected, have high rates.

1 What isn't known is if you just check
2 their eggs on unstimulated cycles, would they
3 approach that level, although Placheau had found
4 about 25 percent of oocytes. So there may well be
5 an effect. It is just hard to get that into a way
6 we can study it carefully.

7 DR. GIUDICE: Any further questions from
8 the committee? Okay. I want to thank Dr. Toner.
9 We will now adjourn. The committee will be having
10 lunch at the hotel restaurant. For the others, you
11 can also have lunch at the hotel restaurant and
12 also there are restaurant names available from the
13 front desk.

14 We will reconvene at 1 o'clock. For the
15 committee members, we have just distributed
16 questions from the FDA that we have been asked to
17 review for the afternoon session. Thank you.

18 [Whereupon, at 11:55 a.m., the proceedings
19 were recessed to be resumed at 1:00 p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:00 p.m.]

DR. GIUDICE: We are now starting. I would like to introduce Dr. Shelley Slaughter who is the Medical Officer Team Leader at the Division of Reproductive and Urologic Drug Products. She will be talking on Human Gonadotropins and the Regulatory History.

Dr. Slaughter. Where is Dr. Slaughter? She will talking about that very soon. While we are waiting for Dr. Slaughter to get to the podium, for the committee members, you have a list of questions. We have a session later this afternoon at 3 o'clock, a presentation of questions and committee discussion. We will have 13 questions with various subparts and we have about an hour and a half to go through those.

Some of them are--well, actually, the division has asked that we come up with recommendations for each of these questions. So we have a task ahead.

Dr. Slaughter, we have already introduced you in absentia so welcome to the podium.

Human Gonadotropins--Regulatory History

DR. SLAUGHTER: Thank you.

1 [Slide.]

2 This afternoon, I am going to present the
3 FDA's regulatory perspective on clinical trials of
4 human gonadotropin drug products. As Dr. Shames
5 said this morning, the purpose in convening this
6 meeting is for us to get some information from you
7 and some recommendations from you in order to craft
8 a Guidance Document for Industry.

9 [Slide.]

10 The Guidance Document for Industry
11 represents the agency's current thinking on a
12 particular subject. It does not create or confer
13 any rights for or on any person and does not
14 operate to bind the FDA or the public. An
15 alternative approach may be used if such approach
16 satisfies the requirements of the applicable
17 statutes, regulations or both.

18 [Slide.]

19 First up, I would like to thank Drs. Keefe
20 and Toner for their excellent presentations this
21 morning. I really could stand up here and just
22 throw the questions out, but I will spend just a
23 little bit more time. But thank you, Drs. Keefe
24 and Toner.

25 [Slide.]

1 Briefly, I will review some gonadotropin
2 drug products, give an overview of the clinical
3 studies for selected approved gonadotropin drug
4 products and, hopefully, all of the discussions
5 that you have heard today will lead to a very
6 productive discussion by the committee and we all
7 take your suggestions into consideration, as I
8 said, to craft our guidance document.

9 [Slide.]

10 There are two types of gonadotropin drug
11 products that are marketed, urinary-derived
12 gonadotropins and recombinant gonadotropins. This
13 is a somewhat busy slide, just gives a picture of
14 those approved products under both of those
15 categories.

16 [Slide.]

17 The approved indications are ovulation
18 induction in chronic anovulatory women and some of
19 the labels will actually say ovulation induction
20 and pregnancy in chronic anovulatory women and the
21 second indication, multiple follicle development in
22 ovulatory women for ART.

23 [Slide.]

24 The goal.

25 [Slide.]

1 In the 30 years since the FDA approved the
2 drug Pergonal, the technology use in the treatment
3 of infertility and the resulting clinical pregnancy
4 rates have improved. I think Dr. Keefe brought
5 this point out very well this morning. With that,
6 it is time for the FDA to reexamine the clinical
7 studies for gonadotropin drug products.

8 [Slide.]

9 The purpose of this overview that I will
10 present will not be to reexamine the data for
11 efficacy to make any reassessment of that data but
12 rather to give an historical perspective on the
13 study design, the efficacy surrogate endpoint and
14 analysis, and safety endpoints.

15 [Slide.]

16 I probably don't have to have this slide,
17 but I will just read it. "A surrogate endpoint is
18 the laboratory or physical sign that is used in
19 therapeutic trials as a substitute for a clinically
20 meaningful endpoint that is a direct measure of
21 how a patient feels, functions or survives and that
22 is expected to predict the effect of the therapy."

23 [Slide.]

24 The first of the gonadotropin drug
25 products to be approved was Pergonal. It was

1 approved on June 23, 1970 for induction of
2 ovulation. The second indication was added on
3 March 1, 1988 for development of multiple follicles
4 in ovulatory patients participating in an IVF
5 program.

6 [Slide.]

7 The data to support the efficacy and
8 safety of Pergonal came from literature reports of
9 IVF data representing the clinical experience with
10 192 patients at the Jones Institute over the period
11 of 1981 through 1984 and IVF data from Australia
12 and New Zealand from 1979 through 1984.

13 [Slide.]

14 The endpoint that was evaluated as this
15 literature was assessed was the mean number of
16 oocytes retrieved at time of laparoscopy.

17 [Slide.]

18 Metrodin, a FSH product, urinary FSH
19 product, was approved on September 18, 1986.

20 [Slide.]

21 The data to support efficacy and safety
22 for Metrodin was also a literature review of
23 retrospective data from five open-label,
24 noncomparative, clinical studies of ovulation
25 induction in 80 patients. There were observational

1 reports of ovulation and pregnancy. The medical
2 officer felt that Metrodin should not be approved.
3 However, this issue was taken before an advisory
4 committee in 1985 and the advisory committee
5 determined that, even though the data to support
6 Metrodin was sparse that, indeed, Metrodin should
7 be approved.

8 [Slide.]

9 The next drug the I will talk about is
10 Gonad-f. It is actually the first gonadotropin
11 drug product for which the actual data was
12 submitted to the FDA for review. However, we did
13 not see the protocols prior to submission for the
14 NDA, so we actually had no input into the study
15 design and conduct of those trials.

16 [Slide.]

17 The efficacy and safety data was from four
18 controlled studies. Two ovulation studies were
19 open-label, active comparator to the drug Metrodin,
20 phase III noninferiority studies in chronic
21 anovulatory women. The primary endpoint was a
22 cumulative ovulation rate, cumulative over three
23 cycles of treatment. It was defined by a serum
24 progesterone level greater than or equal to 10
25 nanograms per ml.

1 The IVF indication was supported by two
2 randomized, again open-label, active comparator,
3 phase III noninferiority studies in normal
4 ovulatory women. The primary endpoint was
5 follicles on ultrasound greater than
6 14 millimeters.

7 [Slide.]

8 Both of these trials--this is ovulation
9 induction trials, now. One was conducted in the
10 U.S. and one was conducted in Europe. As you can
11 see, these, as I stated before, are noninferiority
12 comparative trials. Both studies show that Gonal-f
13 was no worse than Metrodin by 16 or 21 percent, so
14 about 20 percent difference. The lower bound of
15 the 95 confidence interval suggests that it was no
16 worse than about 20 percent than Metrodin.

17 [Slide.]

18 There were, as I said, two IVF studies.
19 One was conducted in the U.S. and one was conducted
20 in Europe. This goes back to one of the questions
21 that was asked earlier this morning. This was mean
22 number of follicles. There was not much difference
23 in the results between the U.S. and the European
24 study. Again, the Gonal-f was no worse than
25 Metrodin by about three follicles.

1 [Slide.]

2 The next drug was Follistim. It came in a
3 little later than Gonal-f but received its
4 regulatory review approximately about the same
5 time. It was approved on September 29, 1997.
6 Again, actual data was submitted for review to the
7 FDA but we were not involved in the protocol in
8 terms of study design endpoints.

9 [Slide.]

10 Efficacy and safety to support Follistim
11 was from four controlled studies. One study
12 supported ovulation. It was a single or
13 assessor-blind study, active comparator to the drug
14 Metrodin, phase III, noninferiority trial in
15 chronic anovulatory women.

16 [Slide.]

17 The drug Follistim was actually better or
18 superior to its active comparator in terms of
19 ovulation rate with about 85 percent ovulation
20 rate.

21 [Slide.]

22 Three randomized assessor-blind, active
23 comparator to either Humegon or Metrodin, phase III
24 noninferiority studies supported the IVF indication
25 in normal anovulatory infertile women. The

1 endpoint that was evaluated was the mean number of
2 total oocytes retrieved.

3 [Slide.]

4 These three studies were all conducted
5 outside of the United States. Most of these were
6 European trials. The largest of the trials I have
7 indicated as IVF Study No. 1 actually showed
8 Follistim to be superior to its active comparator
9 in the total number of oocytes retrieved. The
10 point to bring out on this one is that this was a
11 trial that was, indeed, powered to show a
12 difference in pregnancy rate and it did, indeed,
13 show that.

14 I would just have you look at the numbers
15 because that is a point that we will be coming back
16 to.

17 Now, the lower bound of the 95 percent
18 confidence interval, as stated, showed that
19 Follistim was either better or no worse than its
20 comparators by about one oocyte.

21 Now, at this point, the point I would like
22 you just to take home is that we have been using
23 various surrogate endpoints for pregnancy. Our
24 sponsors and their advisors indicated to us that
25 trials could not be powered to show pregnancy or

1 would be difficult to power, and you do see what
2 the magnitude of the sample size that would be
3 needed to actually show pregnancy.

4 We, then, as I said, looked a surrogate
5 endpoints. Our question has always been what
6 difference would be clinically meaningful in these
7 surrogate endpoints; in other words, how do we make
8 the interpretation of the difference between the
9 drug and its active comparator.

10 [Slide.]

11 The safety evaluation on all the four
12 examples of drug products that I gave you, the
13 review looks strongly at ovarian-hyperstimulation
14 rate and multiple-birth rate.

15 [Slide.]

16 As you have heard, since these selected
17 gonadotropins were approved, IVF technology has
18 been broadened to include adjunct procedures such
19 as donor oocytes and intracytoplasmic injection and
20 there are more IVF clinics available leading to a
21 greater pool of patients for inclusion in studies.

22 [Slide.]

23 This brings me to the point in the
24 presentation where we will put the questions up.

25 DR. GIUDICE: Thank you.

1 DR. SLAUGHTER: We will actually put these
2 questions back up a little later, but just to have
3 it, I will read through the questions for you.

4 [Slide.]

5 Please discuss what enrollment criteria
6 should be used to adequately capture the population
7 to be studied for ovulation induction and for
8 assisted-reproductive-technology programs.

9 [Slide.]

10 Should enrollment criteria be stratified
11 by age for ovulation induction and for ART? Should
12 we stratify for use of adjunct procedures such as
13 donor oocyte or ICSI?

14 [Slide.]

15 Should our studies be blinded or not and,
16 if blinded, please discuss the merits of blinding
17 the assessor, the patient or both. Discuss the
18 merits of having placebo and/or active control arms
19 in the studies. If an active control is used,
20 discuss how you would define the noninferiority
21 margin.

22 [Slide.]

23 Discuss the advantages and disadvantages
24 of single versus multiple treatment cycles.

25 Discuss the advantages and disadvantages of

1 powering studies to detect a difference in live
2 birth rate or ongoing pregnancy rate.

3 [Slide.]

4 If studies cannot be powered to
5 demonstrate differences in live birth rate or
6 ongoing pregnancy rate, please discuss the clinical
7 relevance of the following surrogates; the rate of
8 patients with a presence of a fetal heart beat,
9 gestational sac, positive beta-hCG, ovulation rate
10 or follicular development rate.

11 [Slide.]

12 Is an intent-to-treat analysis appropriate
13 for ovulation induction? If you feel that it is
14 not, should cycles be analyzed per patient given
15 hCG? Likewise, for ART, is an intent analysis
16 appropriate? If not, should cycles be analyzed per
17 retrieval or per embryo transfer. Please discuss
18 which safety endpoints should be evaluated.

19 DR. GIUDICE: Thank you, Dr. Slaughter.

20 **Questions from the Committee**

21 DR. GIUDICE: Does the committee have any
22 particular questions regarding Dr. Slaughter's
23 presentation because we are going to wait to answer
24 these questions or discuss these questions during
25 the question discussion period later in the

1 afternoon. Yes; Dr. Stanford?

2 DR. STANFORD: I don't know if you know
3 this stuff off the top of your head, but it would
4 be helpful to me to know the GnRH agonists and
5 antagonists, what were their endpoints when they
6 were approved. What were the endpoints in those
7 studies to approve those products?

8 DR. SLAUGHTER: The agonists have never
9 been presented to the agency for consideration.
10 The antagonists also looked at oocyte retrieval.

11 DR. STANFORD: On Repronex, which is
12 another version of hMG, as I understand it, what
13 was the endpoint on that because its indication is
14 different. It lists with an agonist as opposed to
15 the others don't list that.

16 DR. SLAUGHTER: The history behind that is
17 that Repronex was originally approved as a generic
18 drug. They came back to the agency to ask for a
19 change in route of administration and, at that
20 time, they also presented new trials that utilized
21 GnRH agonist for downregulation.

22 Also let me say that the trials for
23 Gonal-f, I believe, were not done with agonists.
24 Two of the three trials for IVF for Follistim were
25 done with GnRH agonists.

1 DR. STANFORD: But they didn't put that in
2 the labeling. So was the endpoint of Repronex the
3 number of oocytes retrieved?

4 DR. SLAUGHTER: Yes.

5 DR. STANFORD: Okay.

6 DR. GIUDICE: Yes?

7 DR. EMERSON: In the Follistim IVF study,
8 what was the patient population, what was the
9 definition of infertility in the normal ovulatory?

10 DR. SLAUGHTER: I believe that it included
11 some male factor, I believe mild male factor, and
12 unexplained and tubal factor.

13 DR. EMERSON: And infertility was defined
14 by one year of--

15 DR. SLAUGHTER: Yes.

16 DR. EMERSON: Okay.

17 DR. GIUDICE: Dr. Hager.

18 DR. HAGER: Along that same line, is the
19 reason that there is not consistency across the
20 board is because you were not--the agency was not
21 involved in the protocols?

22 DR. SLAUGHTER: Yes.

23 DR. HAGER: You were not requested to be
24 involved in the protocols?

25 DR. SLAUGHTER: We did not receive those

1 protocols ahead of time to participate in them.
2 Subsequent to that, we looked at the trials for
3 Follistim and made some recommendations about
4 endpoints. Again, our recommendation, and Dr.
5 Bennett is seated in the audience, was to look at
6 take-home baby. We were told that that was not
7 feasible and so we recommended oocyte retrieval and
8 based our clinically meaningful difference on the
9 trials that we had up to that point.

10 DR. HAGER: So the use of oocyte retrieval
11 was an FDA recommendation.

12 DR. SLAUGHTER: Yes.

13 DR. GIUDICE: I have a question following
14 up on Dr. Hager's. Then, I guess for clarification
15 of the advice that you would like from this
16 committee, the immediate short-term goal is for us
17 to answer these questions and so a guidance
18 document would be developed for your interactions
19 with sponsors before they design their clinical
20 trials, when they have information that they are
21 bringing to you? Can you shed some light on this,
22 please.

23 DR. SLAUGHTER: What happens,
24 usually--usually, at this point, what we have is
25 the sponsors will come to us at a pre-IND stage and

1 talk to us about the indications or applications
2 that they would ultimately like to bring in. And
3 we have ability to interact with them as they are
4 opening their IND and study design and to make
5 recommendations to them at that point.

6 They generally do or do not take our
7 suggestions and conduct their studies. They come
8 in at the time that the studies have been completed
9 at phase III and have another discussion with us
10 before the NDA is submitted. There is also--it
11 depends on the stage of development they are with
12 their drug. We can have meetings with them when
13 they are very early at phase I, when they are at
14 phase II or at the phase-III development.

15 DR. GIUDICE: Thank you. Dr. Lipshultz?

16 DR. LIPSHULTZ: Just for my own
17 clarification, then, have the companies been coming
18 to you at different stages in terms of where they
19 have already gone with the product or do they all
20 come to you prior to institution of a protocol?

21 DR. SLAUGHTER: They have been coming at
22 different stages. Sometimes as some of the
23 examples I have shown you here, they never come to
24 us until they are presenting their application.
25 Sometimes, they come, depending on the drug product

1 and I am just giving a general overview now at a
2 very, very early stage before they ever go into
3 humans, and then work with us all along the
4 process.

5 Sometimes they come in after they have
6 already conducted some trials and to ask for
7 further advice. When they don't come in at all,
8 these are trials that have been conducted outside
9 the U.S.

10 DR. LIPSHULTZ: Do you foresee this
11 process changing and that is why this document
12 becomes important?

13 DR. SLAUGHTER: The trend now is for most
14 sponsors to come in with--to us for advice, now.

15 DR. LIPSHULTZ: Before they--

16 DR. SLAUGHTER: Before they submit the
17 application. We still do have some who come in at
18 a later stage, but we now have worked with a number
19 of sponsors who are coming in early during their
20 development and process. For the gonadotropins,
21 they generally come in to discuss a phase III
22 trial. There may be other drugs coming down the
23 line that may come at an earlier stage, but, yes,
24 the purpose is to give guidance to those--to the
25 industry conducting these phase-III trials.

1 DR. GIUDICE: Yes, Dr. Crockett?

2 DR. CROCKETT: I just have a question
3 regarding these guidance documents and the past
4 history and what you foresee in the future for
5 them. This is kind of a new thing to me. I am
6 interested in knowing do you have guidance
7 documents in place already for other drugs through
8 this division? Is this kind of a book that is
9 being put together of guidance documents.

10 Then my second part of the question is, as
11 we have seen through these presentations, as our
12 knowledge of science has grown, our recommendations
13 probably would have changed over the last ten
14 years. So my follow-up question is if we draft a
15 guidance document today, when does this committee
16 get to revise or look at it again and what does the
17 division or the FDA plan to do with this?

18 DR. SLAUGHTER: Okay. I will try to
19 answer all those questions in order. This is not a
20 new thing. Throughout the agency, we try to keep
21 our recommendations as uniform as possible and, in
22 order to do that, we do draft guidance documents.
23 In our division, we have numerous guidance
24 documents, some that, as you know--some of the
25 hormone-therapy guidance documents are now in draft

1 on the web.

2 What we intend to do with this guidance
3 document, we do realize that things change over
4 time which is why I am here today. But what we
5 intend to do with this is take your
6 recommendations, put a draft guidance together.
7 That guidance will be approved internally and then
8 will be put up on the web as a draft guidance for
9 comment from the public.

10 Our intent is not necessarily to bring
11 that draft back to the committee but, certainly,
12 the committee or anyone else would make comments to
13 that document at the draft stage and we would take
14 into consideration those comments.

15 DR. GIUDICE: Dr. Hager?

16 DR. HAGER: I'm sorry; I hate to belabor
17 this but it seems to me that what we are trying to
18 determine is are we going to make a difference. I
19 heard you make a comment earlier that the FDA
20 recommended to pharmaceutical manufacturer X a
21 change in protocol but that was not adhered to. At
22 that point in time, then, basically you wait until
23 the study is submitted without those changes in
24 protocol and then reevaluate the data; is that
25 correct? There is no intervention in between?

1 DR. SLAUGHTER: Let me try to answer that.
2 I put up these guidance documents are just that.
3 They are recommendations. They are not binding.
4 What we do in order to keep our recommendations
5 uniform is to draft these guidelines, these
6 guidances. If the sponsor chooses not to follow
7 our recommendation, then that becomes an issue that
8 we will look at in terms of whether it affected the
9 outcome and we felt there was any effect on the
10 outcome as we review the application for our
11 regulatory decision.

12 DR. HAGER: I understand that--I thought
13 there is some intervention process but I guess
14 there is not. There are no regulations--

15 DR. SLAUGHTER: No. The guidances are not
16 regulations. We would have to do that in order to
17 make them binding. We can only make them as
18 uniform as possible and give uniform advice and
19 have the sponsor voluntarily adhere to those
20 guidance documents.

21 DR. GIUDICE: Dr. Shames.

22 DR. SHAMES: The purpose--we cannot, once
23 we make recommendations for trials, compel anybody
24 to do that particular trial. We can only stop a
25 trial based on safety. So people are allowed to do

1 essentially within certain parameters any trial
2 they want to do. The guidance process is to make
3 everything more efficient for the industry, for us,
4 so that they know beforehand basically what we
5 want. Then we do work with them and try to tweak
6 their trials so that we, all along the process,
7 know what is going to happen.

8 Therefore, when we get the final
9 information, we have the information we need so it
10 is the most efficient way to get it done. Now, if
11 they choose to do it some other way, it is still
12 possible to get it approved but it is going to
13 be--it is a little riskier, certainly, on their
14 part. So we are trying to get the word out of what
15 is the best way to do it.

16 Now, in this case, of course, we are not
17 100 percent sure what is the best way to do these
18 trials so that is why we have convened this
19 advisory committee. Of course, when we don't know
20 what the best way and the company is telling us one
21 thing and--it makes the process less efficient.

22 So if we can all sort of agree on some
23 general parameters, then we can move forward
24 faster.

25 DR. GIUDICE: Thank you. Any additional

1 questions? Yes; Dr. Emerson?

2 DR. EMERSON: I am going back to these
3 previous trials that have been done, and knowing
4 that you gave us some background information that
5 were chapters out of various gynecology and
6 endocrinology books that gave estimates of the
7 rates of--pregnancy rates in infertile couples
8 after a year, I think. Are those widely
9 agreed-upon rates or are those, I guess they are
10 quoting something 11 percent pregnancy, fecundity,
11 rate after being infertile for twelve months. Is
12 that a widely agreed-upon rate? Thank you.

13 DR. GIUDICE: Dr. Stanford?

14 DR. STANFORD: I guess it goes without
15 saying that if there is a certain approach that
16 comes out as recommended in a guidance document,
17 that is basically what you are looking for in the
18 actual approval. I mean, that is basically what
19 you are saying is that if this is the endpoint you
20 are asking for in the guidance document, that is
21 also the primary endpoint you will look at when you
22 are actually approving. That is a fair statement?

23 DR. SLAUGHTER: Yes.

24 DR. STANFORD: One other question. This
25 may be a real--there may be no--I am just trying to

1 understand, I guess, the details of the actual
2 stated indications but I noticed that, for
3 Follistim and Gonad-f, they are both indicated for
4 both ovulation induction and ART but they list it
5 in different order. Is there any significance to
6 that, or is that just random?

7 DR. SLAUGHTER: That was just how that
8 fell out; yes. Let me just--I had a little bit
9 more on this I left off the questions, one of the
10 things that I left off from these questions. So it
11 will address one of your things.

12 These are the indications; induction of
13 ovulation or some of the labels do say induction of
14 ovulation and pregnancy or marked follicle
15 development and ART. We would like your comment on
16 the appropriateness of these indications given all
17 the discussions that hopefully you will have on the
18 endpoints and analysis.

19 Then, the last thing, and I apologize that
20 I left off this at the end, this came up this
21 morning about the SART data that is collected on
22 pregnancy and about pregnancy registries with
23 industry. We, as part of the process, can only
24 recommend that they maintain a pregnancy registry.
25 We would like to have your input on that, should

1 manufacturers obtain approval for ovulation
2 induction or ART who obtain approval maintain an
3 pregnancy registry. If you do feel that they
4 should, what information should be collected in
5 that registry and at what point in time should the
6 registry be terminated?

7 DR. GIUDICE: I have a follow-up comment
8 to Dr. Stanford and also Dr. Shames' comments.
9 Whenever a set of guidances are issued, not
10 specifically to the FDA but by an organization or a
11 body, very often they do not accommodate for
12 the--or they do accommodate for "one size fits
13 all." But, with the complexity of ART and
14 ovulation induction, I think it is an important
15 issue that this is another part of our discussion,
16 that while these may be recommendations, clearly
17 there should be some allowance for "one size does
18 not fit all" for thee particular medications and
19 indications.

20 DR. SHAMES: It says in our guidances that
21 these are only recommendations. In a field such as
22 this, we often are open to adding to the guidance
23 or changing the guidance periodically. We can keep
24 it even as a draft guidance and still keep it
25 public.

1 And we certainly recognize that there are
2 times when we--there is more than one way of doing
3 things. So that is a well-taken point.

4 Absolutely.

5 DR. GIUDICE: Thank you. Dr. Rice?

6 DR. RICE: I am assuming that, when I look
7 at Point 13, we would make a recommendation, if we
8 decided to recommend this based on future products
9 to be approved or is there any room for the current
10 list of products that are approved for these
11 indications to begin to maintain a pregnancy
12 registry or are you only looking to us for guidance
13 for future products that are going to be approved?

14 DR. SLAUGHTER: I guess I would answer
15 that as saying do you think these are necessary for
16 these drug products and, if you believe they are,
17 then I think we would certainly implicate them
18 first with future products but would have further
19 discussions on ART products that are already
20 approved. Dr. Shames?

21 DR. SHAMES: If the committee believes it
22 is important to have that, that will add weight to
23 our arguments to drugs that are already approved
24 that they might investigate doing that. We have no
25 way of compelling them to do that but we would be

1 interested in your opinions about whether they
2 should or shouldn't do it.

3 DR. GIUDICE: Thank you. I think we can
4 discuss that in more detail later this afternoon.

5 I would like to move on now to the Open
6 Public Hearing.

7 **Open Public Hearing**

8 DR. GIUDICE: Before starting this
9 session, there is a statement that I have been
10 asked to read, and that is that, "Both the FDA and
11 public believe in a transparent process for
12 information-gathering and decision-making. To
13 ensure such transparency at the Open Public Hearing
14 session of the advisory committee meeting, the FDA
15 believes that it is important to understand the
16 context of an individual's presentation.

17 "For this reason, the FDA encourages you,
18 the Open Public Hearing speaker, at the beginning
19 of your written or oral statement, to advise the
20 committee of any financial relationship that you
21 may have with any company or any group that is
22 likely to be impacted by the topic of this meeting.

23 "For example, the financial information
24 may include a company's or a group's payment of
25 your travel, lodging or other expenses in

1 connection with your attendance at the meeting.
2 Likewise, FDA encourages you at the beginning of
3 your statement to advise the committee if you do
4 not have any such financial relationships.

5 "If you choose not to address this issue
6 of financial relationships at the beginning of your
7 statement, it would not preclude you from
8 speaking."

9 So there are three individuals who have
10 requested time during--okay; are the three
11 individuals who have requested time present? I see
12 one hand, two hands. Two hands. Then let's begin
13 with Dr. Kirsch, please. If you would introduce
14 yourself, your affiliation and if you choose to
15 make any comments with regard to the opening
16 statement.

17 DR. KIRSCH: Thank you. Good afternoon.
18 My name is Robert Kirsch. I am a Director of
19 Regulatory Affairs at Sorono. I would like to make
20 a brief statement to the committee and we very much
21 appreciate your time this afternoon.

22 For more than 50 years, Sorono has been a
23 global leader in the development of treatments for
24 infertility and has been dedicated to helping
25 couples realize their dreams of parenthood. Sorono

1 is a company committed to cutting-edge research,
2 high-quality products and patient care.

3 Our complete portfolio of fertility drugs,
4 including Gonal-f, Cetrotide, Luveris, Ovidrel and
5 Crinone addresses patients' needs at every stage
6 of the reproductive cycle. Three of these
7 products, Gonal-f, Luveris and Ovadrel are
8 gonadotropin products manufactured using
9 recombinant DNA technology.

10 We appreciate the opportunity to provide
11 comments on the following important issues raised
12 by the FDA for discussion in consideration by the
13 advisory committee. These issues are endpoints
14 used in clinical trials; pregnancy as an endpoint,
15 and clinical pregnancy.

16 The design of clinical trials intended to
17 support the registration of new products and
18 indications is an important subject both to the
19 sponsors of such clinical trials--i.e.,
20 industry--and the FDA. Equally important is to
21 recognize that, although in certain patient
22 populations for which the underlying cause of
23 infertility has been clearly identified or which
24 has already been extensively researched, it may be
25 possible to agree on a single standard endpoint.

1 However, it is imperative to avoid a "one
2 size fits all" approach to research in the
3 constantly evolving area of infertility and
4 reproductive health. The first issue, endpoints
5 used in clinical trials; for each population and
6 indication studied, the endpoint chosen should
7 reflect the primary pharmacological action of the
8 drug. For indications involving ART, this may be
9 development of multiple follicles which can be
10 measured directly or indirectly as oocytes
11 retrieved.

12 In ovulation induction protocols, for
13 example, patients defined by the World Health
14 Organization as WHO2, an appropriate primary
15 endpoint may be P4. Conversely, in patients
16 defined as WHO1, P4 is not fully informative as it
17 does not provide full visibility to other critical
18 components of drug effect.

19 Therefore, for this population, rate of
20 follicular growth, estrogen production and
21 endometrium receptivity are critical measures of
22 drug efficacy and equally important to P4.

23 The second issue, pregnancy as an
24 endpoint. On the broader subject of pregnancy,
25 this is the ultimate desire of every patient and

1 her physician but does not necessarily reflect the
2 primary pharmacological action of the drug. For
3 those ovulatory patients whose cause of infertility
4 remains unknown and unexplained, as many as
5 25 percent of all infertile patients, pregnancy may
6 be an appropriate clinical endpoint.

7 For patients undergoing ART, there are
8 other pharmacological agents used during the
9 treatment regimen as well as multiple additional
10 confounding factors any of which may impact
11 pregnancy. These additional factors which are
12 beyond the control of any sponsor, must be
13 considered.

14 It is important to note, however, that
15 information on pregnancy has always been collected
16 and reported during gonadotropin clinical trials.

17 The third issue, clinical pregnancy;
18 regarding clinical pregnancy as an endpoint, the
19 above considerations remain. Clinical pregnancy is
20 defined by the National Registry, SART, by the
21 presence of an embryonic sac. Patients realize
22 that a pregnancy detected in its early stages is,
23 indeed, a pregnancy even though it may not always
24 proceed to a pregnancy confirmed by ultrasound or
25 even a live birth.

1 Beta-hCG is universally accepted as the
2 diagnostic test for early pregnancy and the
3 ultimate outcome of any pregnancy does not alter
4 the fact that a pregnancy was established.
5 Furthermore, in studies of our gonadotropin
6 products, there are many instances where a clinical
7 pregnancy has been confirmed by ultrasound with a
8 fetal sac but without heart beat. Given that the
9 majority of these same patients achieve a
10 successful pregnancy outcome resulting in a live
11 birth indicates that the most conservative
12 approach, ultrasound with fetal sac and heart beat,
13 could result in applying an excessively high
14 standard in terms of product registration.

15 In considering these types of endpoints,
16 one must realize that, in order to ensure that a
17 clinical trial is adequately powered to detect
18 statistical differences between treatment arms, a
19 primary endpoint of pregnancy, clinical or
20 otherwise, will require large numbers of patients
21 to be studied, potentially thousands per study,
22 creating additional hurdles when conducting
23 research in infertility.

24 This, in fact, would serve as an
25 additional deterrent to research in low-outcome

1 patient populations and in those patients who
2 suffer from rare conditions or diseases. Clinical
3 development programs will always have constraints
4 that are not present in routine clinical practice.
5 Importantly, one must determine how much is too
6 much to ask of a particular gonadotropin drug
7 product.

8 Ultimately, all divisions of the U.S. Food
9 and Drug Administration must make decisions on
10 approvability based on the overall benefit-risk
11 profile of the proposed product in relation to
12 treatment of patients and their respective
13 underlying disease. These considerations are never
14 black and white.

15 Therefore, in making recommendations which
16 may form the basis for a guidance-for-industry
17 document, we encourage that maximum consideration
18 be given to ensure adequate breadth and flexibility
19 in aspects of study design. This will facilitate
20 the introduction of new drugs, treatment of new
21 indications and improvements in the scientific and
22 manufacturing technologies employed in making these
23 therapies available to patients in the most
24 efficient manner possible.

25 Sorono has been a leader in the field of

1 research development and commercialization of
2 products used in the treatment of men and women
3 experiencing infertility for more than 50 years and
4 we intend to continue this commitment. We request
5 that the advisory committee consider carefully what
6 recommendations can be made in order to facilitate
7 the clinical development and availability of new
8 and innovative products which are both safe and
9 effective in the most expeditious manner possible
10 for these patients many of whom are currently
11 underserved.

12 I would like to thank you all again for
13 the opportunity to share Sorono's position with you
14 this afternoon on some of these important issues.
15 We look forward to hearing your recommendations and
16 to receiving FDA's draft guidance for industry.

17 Thank you very much.

18 DR. GIUDICE: Thank you. The next speaker
19 is Dr. Kurt Barnhardt.

20 DR. BARNHARDT: Good afternoon. It is a
21 privilege to be part of this as a public member.
22 My name is Kurt Barnhardt. I am an Assistant
23 Professor of Obstetrics and Gynecology, a
24 reproductive endocrinologist as well as an
25 epidemiologist at the University of Pennsylvania.

1 I direct the Clinical Research Center in our
2 department in the University of Pennsylvania and,
3 as such, have contacts with many industry supports
4 that sponsor our studies including, off the top of
5 my head, Wyeth-Ayerst, Parke-Davis, Organon and
6 Sorono.

7 I wanted to talk briefly and add my
8 comments on the idea of study design and outcomes.
9 As I mentioned, in my capacity as doing clinical
10 research, I have designed many of my own studies as
11 well as participated in many industry-sponsored
12 studies and I wanted to speak a little bit about
13 outcomes and study design in that aspect.

14 We all know, and we have heard some
15 eloquent talks that infertility is a very complex
16 subject and, often, I can have patients, I, in my
17 practice, that I treat for infertility without
18 gonadotropins and, oftentimes, I can treat them
19 with gonadotropins concomitant with many other
20 therapies as well.

21 As I want to point out, and as you have
22 heard before, there are many, many factors
23 influencing the ultimate success of the treatment
24 and it is not surely just the pharmacologic agent
25 that I choose.

1 I disagree with some of the verbiage that
2 was used earlier and I think it was more a mistake
3 that biochemical markers are surrogate markers of
4 infertility treatment. I think that what I would
5 like to say is that we would like to have, or, as a
6 methodologist, you want your outcome to be as close
7 to the direct action of the intervention as
8 possible, in this case, drug therapy. The purpose
9 of drug therapy is, again, in this case, for
10 multiple follicle development or having someone
11 ovulate that otherwise normally wouldn't.

12 After that takes place, there are many
13 factors that influence whether a women is going to
14 get pregnant. There are many other therapies that
15 we use allowing someone to pursue that goal. Some
16 women get insemination. Some don't. Some get
17 ICSI. Some don't.

18 Age, obviously, as you know, requires a
19 lot as the genetics of the woman, the receptivity
20 of her uterus and such. But the further downstream
21 we move from the use of the pharmacologic agent,
22 the more determinants are going to affect that
23 outcome. That makes it very difficult to design a
24 study based on a downstream outcome.

25 I mentioned that another reason that it

1 makes it difficult for these outcomes is, again,
2 the specifics of how we individualize treatment of
3 the woman or the couple with infertility. It,
4 again, varies very much on how we handle gametes,
5 the quality of our laboratory and many other
6 factors that are independent to the woman alone to
7 try to stratify in these outcomes or to try and
8 analyze these potential confounders afterwards,
9 again, makes it very methodologically difficult.

10 I guess the only analogy, as I was driving
11 down, that I could think of would be if I was
12 designing a new drug for the induction of labor, I
13 would hope that this drug would be judged on its
14 ability to induce labor, not on its C-section rates
15 and not on its perinatal mortality. Of course,
16 those are important aspects of the drug, but they
17 are not the primary endpoints of the drug under
18 study.

19 Another issue I wanted to bring up was
20 that the population is very specific and a very
21 savvy population and that certain studies are going
22 to be difficult to carry out practically in this
23 population even though they might be a very good
24 study on paper. A randomized, blinded trial,
25 although certainly the Cadillac of studies, can

1 have some difficulties in patients where high
2 drop-out for not getting the treatment they want or
3 for therapies that don't work is going to very much
4 influence the outcomes and the validity of a study.

5 The same could be said for the idea of
6 trying crossover studies or the possibility of
7 having multiple sequential cycles. Please
8 recognize that this really is an individual
9 population and the practicality of carrying out
10 such studies should be taken into account.

11 So the goal, really, was for me to say
12 please maintain some flexibilities in your
13 outcomes. I mean, currently we are talking about
14 gonadotropins where the goal of the therapy is to
15 induce ovulation in those that don't ovulate or to
16 induce multiple ovulation in those that do.

17 We could argue about what is the best test
18 for ovulation. Currently, probably, the best
19 compromise between reproducibility and invasiveness
20 is a serum progesterone. But, as we change and
21 that science advances, that also might change and
22 we might adopt some other better marker of
23 ovulation of follicular development.

24 Indeed, as our drugs change, as we go into
25 subpopulations of infertile populations, we might

1 be talking about improving egg quality rather than
2 egg number. If we are just talking about ovulation
3 as an endpoint, we are going to lose the robustness
4 of that additional information. Indeed, if we are
5 talking about a new fertility drug that, for
6 example--and I just made this up--maintains the
7 mitotic spindle, obviously, we can't have ovulation
8 as an endpoint for that kind of drug. So we have
9 to have flexibility on our study design to allow
10 for the specific indication of that drug.

11 So, obviously, as a clinician, I hope all
12 of my patients get pregnant and I strive to
13 maintain that. But I also know there is a lot more
14 than the pharmacologic agent I choose and the dose
15 that I choose that is going to influence that.

16 So, of course, I suggest that you collect
17 all pregnancy data as SART is collected and, of
18 course, miscarriage rates, ectopic pregnancy rates
19 and OHHS are important information. They are very
20 valuable information to decide whether a drug is
21 better or equivalent or no worse, and that is not
22 what I am addressing in the study design. But that
23 is information to compare and not, hopefully, the
24 primary endpoint to power a study.

25 So, thank you very much for the chance to

1 speak.

2 DR. GIUDICE: Thank you, Dr. Barnhardt.
3 Are there any additional comments or any additional
4 speakers for the Open Public forum? Yes?

5 MR. TIPTON: I am Sean Tipton, Director of
6 Public Affairs with the American Society for
7 Reproductive Medicine. Our President Elect, Marian
8 Dameler, was due to be here today and got detained
9 in York, Pennsylvania which, unfortunately--it is
10 better for people who are flying from farther away
11 because they are less likely to get hung up, I
12 think.

13 The ASRM does have a commercial
14 relationship with a number of players in the
15 pharmaceutical industry who may have an interest in
16 the deliberations of this panel. Primarily, those
17 will take the form of advertisement or sponsorship
18 of ASRM programs.

19 Thanks for the opportunity to offer our
20 views on ovulation-induction drugs. The ASRM is a
21 professional association of more than 8500 members
22 worldwide. Our members include the leading experts
23 in the field of reproductive endocrinology and
24 infertility many of whom are around the table and
25 in the room today.

1 As an organization, we have dedicated
2 considerable time and resources to issues
3 surrounding the use of ovulation-induction drugs.
4 We have developed patient-education materials. Our
5 practice committee has issued a number of related
6 reports and in our journal, Fertility and
7 Sterility, there are frequently works on
8 ovulation-induction drugs and we feel uniquely
9 qualified to offer a little insight and input into
10 the topic.

11 Ovulation-induction drugs are an essential
12 component of the modern treatment of infertility
13 with approximately one-third to one-half of all
14 infertile women who are having ovulation problems.
15 For many patients, ovulation-induction drugs help
16 with their ovulatory problems and, for others, the
17 drugs are used to maximize success of other
18 treatments such as in vitro fertilization.

19 Ovulation-induction drugs are used with
20 great effect every day by the members of the
21 American Society for Reproductive Medicine.
22 However, like all physicians, our members are
23 constantly seeking new and better ways to treat
24 their patients. Like any medication,
25 ovulation-induction drugs carry some risk, each

1 specific product carrying its own particular risk,
2 and we would support the approval of new products
3 with lower-risk profiles.

4 Many of the concerns, such as reports of
5 increased cancer risk following the use of these
6 products, have not stood up to increased scrutiny,
7 but it is increased scrutiny, more research and
8 better data that are essential for these products
9 as they are for any medication.

10 At present, one of the most serious
11 potential risks from the use of these drugs is
12 multifetal pregnancies. Multifetal pregnancies
13 carry huge risk for the mother and the subsequent
14 children. The ASRM practice committee has issues
15 guidelines limiting the number of embryos to
16 transfer in ART procedures to minimize the risk of
17 multifetal pregnancy. This work was done with our
18 affiliate, the Society for Assisted Reproductive
19 Technology.

20 In that case, the data were clear that the
21 number of embryos transferred could be reduced
22 without adversely affecting the prospects for a
23 successful outcome. Unfortunately, the data are
24 less clear on steps to recommend to prevent
25 multifetal superovulation pregnancies. Writing in

1 the January 2003 issue of Fertility and Sterility,
2 the current chair of our practice committee and his
3 immediate predecessor wrote, "Specific guidelines
4 for management of ovulation-induction cycles cannot
5 be offered because neither sufficient evidence nor
6 broad consensus exists. The ASRM practice
7 committee has no valid basis to offer specific
8 recommendations for cycle-cancellation criteria."

9 In short, the data are not conclusive.
10 Clearly, more data is needed, more research is
11 needed, more and better medications to provide more
12 and better options for the infertile patients in
13 this country are needed.

14 We look forward to the deliberations of
15 the committee and thank you for the opportunity.

16 DR. GIUDICE: Thank you. Are there any
17 further speakers or comments? Okay; we have a
18 choice, now, as a committee, either to take a break
19 or to begin discussing one of the thirteen
20 questions. Who would like to take a break? The
21 hands are gradually going up around the table.
22 Let's take a ten-minute break and then reconvene,
23 please.

24 [Break.]

25 DR. GIUDICE: Before we begin discussion

1 of the questions, there have been several committee
2 members who have expressed an interest in finding
3 out what input they may have with regard to the
4 final document. Dr. Shames, if you would make some
5 comments, please, as to what the process is.

6 DR. SHAMES: Okay. The process--in a
7 general sense, the advisory committee literally
8 advises us on certain issues, general issues,
9 specific issues. Ultimately, however, your advice
10 is advice. It is a recommendation. We have the
11 authority to make the decision or write the
12 guidance or whatever.

13 What will happen in this situation where
14 we are trying to write a guidance is, once we have
15 finished this and we will look at the
16 transcript--everything you say is being written
17 down. We will have a direct transcript of all
18 this. Our staff will write a guidance. It will be
19 called a draft guidance and will be posted
20 publicly.

21 You, everybody, anybody and everybody,
22 will have a chance to make suggestions regarding
23 our draft guidance including you. Now, you, as our
24 advisors, have somewhat of a special status in that
25 we can talk to you directly and you could recommend

1 to us directly. We can speak to you on a more
2 direct basis than other people.

3 But the pharmaceutical industry will make
4 recommendations. Public-interest groups will make
5 recommendations. And then we will ultimately, if
6 the process goes well, prepare a final guidance and
7 that will go on the web. We may ask you, again,
8 about the final guidance. We can do that. But,
9 ultimately, we are the ones that have the authority
10 to write the final guidance and put it out there.

11 So, if that is helpful. But, as advisors,
12 you have good access to us. So when the draft
13 guidance goes up, you should feel free to be
14 aggressive in telling us what you think. Okay?

15 DR. GIUDICE: Thank you for that
16 clarification. Dr. Slaughter, you have a comment?

17 DR. SLAUGHTER: May I also just say we
18 would like, also, that we will have access to you.
19 So we may seek your input further after this
20 meeting is over. So we would expect that we would
21 have continued interaction on this.

22 DR. GIUDICE: Thank you.

23 **Presentation of Questions and Committee Discussion**

24 DR. GIUDICE: The first question is a
25 request to discuss what enrollment criteria should

1 be used to adequately capture the population to be
2 studied for ovulation induction and for ART. This
3 is quite a loaded question. There are clearly two
4 groups, the ovulation-induction group and the ART
5 group, so, perhaps, we can start off with the OI
6 group bearing in mind the WHO1-WHO2 and also the
7 infertility population. So there are really three
8 subgroups within the ovulation-induction group.

9 So I open this discussion to the other
10 members of the committee, if someone would like to
11 begin to discuss the enrollment criteria for OI,
12 initially. Dr. Keefe?

13 DR. KEEFE: Rather than answer, I have a
14 question about the role of the FDA in ensuring sort
15 of the precision of a study, the generalizability.
16 I think I alluded to it in my presentation. A few
17 treatments in medicine today are applied to such a
18 narrow band of the American population which is
19 changing over time. But is it the role of the FDA
20 or does the FDA feel responsible for questioning
21 that?

22 For example, I work in a state where my
23 patients include sheet-metal workers as well as
24 heiresses from Newport, and I see big variations in
25 the way they metabolize drug, the way they--they

1 may be smoking or not, not telling you, body-mass
2 index, weight. So there are enormous things that
3 are tied, in part, to socioeconomic class, ethnic
4 background and racial background.

5 Should you be concerned that the studies
6 are coming out looking at investment bankers from
7 Manhattan and how they are going to apply to my
8 patient population? Is there part of your deal or
9 not? Do you care?

10 DR. SHAMES: That is part of our deal. We
11 do a lot to try to make sure that the
12 clinical-trial results are generalizable to the
13 general population. However, you can imagine that
14 is very, very difficult. And so we do the best we
15 can to make sure that the clinical-trial population
16 reflects the general population or the population
17 that is going to be using the drug. But it is
18 something that we cannot do perfectly.

19 DR. GIUDICE: Dr. Emerson?

20 DR. EMERSON: This is just a question to
21 cure my ignorance--well, not cure it, but alleviate
22 it. Ovulation induction, is that term ever used
23 for the idea of induction of menses in amenorrheic
24 women and would this indication be covered by that?

25 DR. GIUDICE: Other colleagues are

1 certainly welcome to respond. For women who are
2 not interested in fertility who are anovulatory,
3 there are other ways to either protect the
4 endometrium or to promote monthly withdrawal
5 bleeds, for instance, with oral contraceptives.
6 However, to promote a monthly bleed, one would not
7 use gonadotropins or antiestrogens, for instance.

8 DR. EMERSON: So it is just that
9 indication would not cover this.

10 DR. GIUDICE: No. I assume the rest of
11 the committee agrees with this? Thank you.

12 DR. SLAUGHTER: Also, from the FDA
13 standpoint, we do have indications for treatment of
14 amenorrhea so this would not cover that. This is
15 strictly for individuals seeking to become
16 pregnant.

17 DR. GIUDICE: Thank you. I hope all these
18 questions have not had the major purpose of trying
19 to avoid answering the first question. But I think
20 it is time. So who would like to begin the
21 discussion? Dr. Emerson?

22 DR. EMERSON: So, on those grounds, then,
23 it is very, very difficult for me to completely
24 separate all of these questions and answer only
25 one. So I do need to point out that I am going to

1 be answering this question with an eye towards what
2 I am also going to recommend as endpoints and that
3 a concept of a noninferiority trial would be very,
4 very appropriate in my mind for an endpoint of
5 pregnancy.

6 What that means is that when we are
7 enrolling patients, we have to make certain that we
8 have a patient population that is comparable to the
9 ones in which we made the judgment that the
10 existing active comparators are efficacious. So it
11 obviously wouldn't do to be comparing a new therapy
12 of ovulation induction in a population of women who
13 are normal ovulatory. It is an idea that we have
14 to apply some standards to say what is the level of
15 infertility when we are trying to do ovulation
16 induction in hypo-ovulatory women.

17 If we are trying to do ovulation induction
18 or hyperovulation induction in, for instance, egg
19 donors or the normal ovulatory women, then it is
20 important that we understand exactly what that
21 background is and that the active comparator is
22 working in that population, that we aren't ending
23 up just testing the new therapy against something
24 that is really acting as placebo.

25 So that would be--my most major criterion

1 is how do you define the population to make certain
2 that your comparison group is really gaining
3 benefit from the treatment that it is on rather
4 than it is just what they would be having
5 otherwise.

6 DR. GIUDICE: Thank you. That is very
7 well taken. Dr. Rice?

8 DR. RICE: I agree. I really do think
9 that when you look at the differences between
10 ovulation in patients who are presented for
11 ovulation induction and what input you would use,
12 that is typically different for us than a patient
13 presenting for ART. I think it is very important
14 for us to recognize that the criteria we set for a
15 drug to be able to induce ovulation would probably
16 be appropriate looking at a population who has
17 hypo-ovulation.

18 Some of our WHO criteria take that into
19 consideration. Again, that population base is
20 typically going to be different than an ART
21 population who generally may--a large percent of
22 those patients are already ovulatory and we are
23 trying to "superovulate" them.

24 So I think that, when we look at this, we
25 have to be cognizant of what our endpoint is. If

1 it is a patient who doesn't ovulate, then, clearly,
2 getting her to the point where she ovulates puts
3 her on the same basis as that normally ovulatory
4 person which is different than that person who is
5 presenting for ART or IVF who ovulates but you want
6 to increase your number of options when it comes
7 down to the number of eggs you want to be able
8 to--particularly be able to select that one out
9 that probably is going to go on to be a pregnancy.

10 DR. GIUDICE: Okay. I think I am hearing
11 basically the same thing. So, for WHO, we can
12 either begin with WHO1, WHO2, or dive right into
13 the infertility normal ovulatory group. Does
14 someone want to begin, because right now these are
15 the criteria for enrollment which is what the first
16 discussion is on. Does someone want to address
17 standard of care in terms of what we do in the
18 office when someone walks in with infertility? Dr.
19 Toner, are you going to rise to the occasion here?

20 DR. TONER: I guess, for the anovulatory,
21 apparently anovulatory, patients, we would want to
22 know that that, in fact, is the case by measuring
23 basal gonadotropins, by measuring progesterone, and
24 the hypothalamic style patient will have very low
25 FSH and very low LH at any old time you choose to

1 measure and, in conjunction with a history of
2 irregular absent menses, could probably be
3 diagnostic of that circumstance.

4 Progestin withdrawal? I guess you could
5 consider it. Absence of bleeding after progestin
6 is another hallmark of that circumstance.

7 DR. GIUDICE: And after ruling out any
8 organic causes, hypothalamic or pituitary. So that
9 would be more in the exclusion criteria. Yes?

10 DR. EMERSON: We would also need to talk
11 about the criteria for infertility, how do we
12 document the time period that the couple has been
13 infertile with a way that we do that because, once
14 again, we are dealing with active comparators that
15 have not truly been tried head-to-head against
16 placebo.

17 So we don't know what those rates are.
18 We are going to have to go on an understanding of
19 what that is and our best guess so the best numbers
20 that I gleaned from the background materials and
21 what we have talked today is that a fertile couple,
22 we would expect a roughly 20 percent fecundity rate
23 and that, after a year of infertility, it is
24 something down to 11 percent.

25 Yet, we saw roughly 20 percent in the

1 previously approved trial. So we are talking about
2 roughly a 10 percent difference in the fecundity
3 rate. As you are now going to look ahead trying to
4 guess what difference we would tolerate in an
5 active-comparator trial and still believe that we
6 would have had efficacy against placebo, because
7 that is sort of what a noninferiority or some
8 one-sided equivalence trial is trying to do, is
9 trying to guess what a placebo trial would have
10 done to make certain that, when we go out there and
11 say, this does work for ovulation induction and
12 going on into pregnancy, we would get that.

13 So, the mix of patients, if it is down to
14 11 percent after one year infertility, if it is
15 down to, I can't remember the numbers, 4 percent
16 after two years of infertility or so on, that is
17 going to be the criterion that we are using to base
18 this.

19 So we want to make certain that, as we
20 compare the active comparator, they haven't snuck
21 in a group that would actually have a lower rate or
22 a higher rate, that we are accepting enough worse
23 behavior that is actually taking us down to the
24 level that it is an ineffective treatment.

25 DR. TONER: Could I address that?

1 DR. GIUDICE: Dr. Toner.

2 DR. TONER: As a practical matter, though,
3 if the clinician has a very confident idea that
4 this is an anovulatory patient, we are not
5 comfortable waiting a year, any clock to run. If
6 we make the diagnosis, we want to treat now. So
7 that interval, for this type of patient, isn't
8 going to be appropriate and we won't get anyone
9 enrolled.

10 DR. GIUDICE: Thank you. Dr. Keefe and
11 then Dr. Layman?

12 DR. KEEFE: I was going to say the same
13 thing, that we are trying to establish the
14 diagnosis of an anovulatory infertility, the first
15 is the diagnosis of infertility and I agree with
16 Dr. Toner that you are not going to wait a year if
17 somebody is documented to be anovulatory.

18 But the documentation of anovulation, I
19 think in the spirit of keeping things generic and
20 broad, I would say clinically useful methods of
21 diagnosis of anovulation and they would include
22 periodic progesterone measurement, LH surge
23 detection, presence of amenorrhea and the absence
24 of uterine factor.

25 As well, as was already mentioned, but

1 just to include the importance of ruling other
2 causes of anovulation such as hypothyroidism and
3 hyperprolactinemia as well as primary ovarian
4 failure.

5 DR. GIUDICE: Dr. Layman?

6 DR. LAYMAN: I was going to reiterate some
7 of that as well. I think you need to exclude
8 hyperprolactinemia in both Group 1 and Group 2. If
9 somebody is amenorrheic and you think they have
10 Group 1, then I think they really need a
11 progesterone withdrawal to show that they are
12 hypoestrogenic so that they don't bleed.
13 Otherwise, it doesn't fit into hypogonadotropic
14 hypogonadism. Gonadotropins can be low or normal
15 in the face of that. Depending on what assay you
16 use, that is going to make a difference whereas, in
17 the other group, I think one thing we have to think
18 about is the prevalence of both disorders. Group 2
19 is much more common so that I think the guidelines
20 for Group 2 may--for Group 1, you don't want to
21 maybe be quite as stringent as Group 2 or you are
22 just not going to get enough patients.

23 DR. GIUDICE: Yes?

24 DR. LEWIS: Also, although it may go
25 counter to what you see in clinical practice, I

1 think that the patients in either Group 1 or Group
2 2 or the ART population, for that matter, should
3 pretty much be of relatively normal body-mass
4 index.

5 We know that extremes of obesity are quite
6 common, or even just garden variety obesity, are
7 quite common in the general population today but I
8 think it is up to the clinician to adjust for that.
9 When you are designing a clinical trial, I think it
10 is useful to have patients just be relatively
11 body-mass-index normal to glean the cleanest
12 information possible from the study.

13 DR. GIUDICE: Any comments about BMI for
14 WHO2 type patients?

15 DR. KEEFE: I have a question. I know
16 what you are getting at, like try to reduce the
17 variance and you would be able to better control
18 the study, but it seems to me that that might lose
19 some of the clinical value of the study. Those are
20 precisely the ones that are the toughest, and so
21 you get this beautiful result in the study.

22 As you move into the clinic, you might
23 bump up against some problems with that.

24 DR. LEWIS: Well, of course. But, in any
25 clinical trial, it is going to be quite different.

1 What you see published in the literature and what
2 it boils down to in clinical practice, we all know
3 that. That is the practice of medicine. If you
4 start out with a most difficult population, to
5 design your clinical trial, you may mask an effect
6 that might otherwise be quite useful to the rest of
7 the population. So, I think if you start with a
8 more ideal population and then try to apply it, see
9 how far you can push it one way or the other, you
10 are going to get a better result.

11 DR. GIUDICE: Dr. Rice?

12 DR. RICE: I think we know a lot more
13 information about the impact of BMI on ovulation.
14 So that may be something that you could consider
15 for a stratification based on BMI. That is one of
16 the things that I think would be very critical. If
17 you have a product that is coming out looking an
18 anovulatory patients that you want to stratify it,
19 not just on age, et cetera, but you also want to
20 consider BMI because I think that we all have
21 instances where we have seen patients who lose
22 weight who then become ovulatory. That is the only
23 thing that they have really changed.

24 So I think BMI could be used as a
25 stratification.

1 DR. KEEFE: I have a comment about the use
2 of the progesterone-withdrawal test as an inclusion
3 criteria. While clearly it is useful to test the
4 integrity of the uterine tract and the vagina, you
5 can have a negative progesterone withdrawal and
6 still have an ovulation. It is just listed as sort
7 of one sentence in Spiroff. But I have found it
8 very frequently, patients that are hyperandrogenic
9 will not withdraw.

10 So you might have to give them steroid,
11 presumably to decidualize their endometrium from
12 the high androgens. It is not clear exactly why
13 but it is very common that you will see that they
14 will have a negative progesterone withdrawal and
15 yet they are actually hyperestrogenic relatively
16 and they have a completely intact tract.

17 So, rather than say progesterone
18 withdrawal, maybe a steroid withdrawal which would
19 include the next step where you would probably give
20 them estrogen and then progesterone.

21 DR. GIUDICE: Dr. Layman and then Dr.
22 Rice.

23 DR. LAYMAN: I agree that some
24 hyperandrogenic women won't bleed. But I don't see
25 adding estrogen, how that will help, actually. But

1 I think the way you get around that, and it is
2 difficult in some PCOS women, to tell from
3 hypogonadotropic hypogonadism whereas, if you look
4 at hyperandrogenism, it may help you differentiate
5 since hypogonadotropic patients usually have low
6 testosterone. So that might be a way.

7 But I agree. It is not black and white
8 for the progesterone withdrawal. And it should be
9 like a good bleed. It shouldn't just be spotting.

10 DR. GIUDICE: Dr. Rice?

11 DR. RICE: I would just caution that the
12 ASRP Practice Guideline is probably going to be
13 coming out very soon with the recommendation to
14 remove progesterone withdrawal. So just
15 before--when you look at the draft document, make
16 sure that the guidelines haven't changed and the
17 utilization of progesterone withdrawal.

18 DR. GIUDICE: Have they substituted
19 something else for it?

20 DR. RICE: No. Just making a comment.

21 DR. GIUDICE: Thank you. Dr. Emmi?

22 DR. EMMI: In light of what is being said
23 about hyperandrogenicity and lack of withdrawal,
24 frequently those patients will have fecund
25 endometriums on ultrasound and that is a good way

1 of differentiating sometimes. If somebody is
2 hypoestrogenic, they don't have a lot lining as
3 opposed to people who have 20 millimeters of lining
4 on ultrasound. So that may be a point to look at.

5 DR. GIUDICE: This is an interesting
6 issue, whether or not ultrasound should be part of
7 the enrollment criteria, whether it is for
8 hypothalamic or Type 1 or for Type 2. Then, in
9 looking for age and ovarian reserve, whether that
10 is part of it.

11 So we can get into the infertility side in
12 just a moment, but I think also we do need to
13 consider the cost perspective of putting
14 ultrasounds in clinical trials which can be quite
15 costly, but certainly is something that can be
16 recommended. So what does the group think in terms
17 of ultrasound used adjunctively for entry criteria?

18 DR. EMMI: I don't necessarily think it
19 would be necessary in all patients. It depends on
20 what parameter you use to decide is a patient in
21 Group 1 or Group 2. I mean, if you are going to
22 use estrogen withdrawal, progesterone-withdrawal
23 bleed, then, if they don't bleed, you are either
24 going to look for hyperandrogenicity or you are
25 going to look for some other reason why they

1 haven't withdrawn and, at that point, perhaps,
2 adding it might keep the cost down.

3 DR. GIUDICE: Dr. Crockett?

4 DR. CROCKETT: Yes. I just wanted to
5 throw in my two cents about this whole question of
6 the anovulatory versus the infertility patients in
7 the enrollment. I think, as a group, when we are
8 looking at making guidelines, the less specific we
9 make the guidelines, the more applicable they may
10 be down the line.

11 If we are looking specifically at
12 anovulatory women that have, by definition,
13 whatever definition we come to, anovulation, we
14 shouldn't include things that pertain to other
15 parts of the fertility process in making inclusion
16 criteria. For instance, if we start talking about
17 endometrial lining, that is not necessarily an
18 indicator of ovulatory function or dysfunction. It
19 is more a function of fertility and the ability to
20 implant and carry the pregnancy.

21 So I would rather us kind of back up and
22 say let's look specifically at ovulatory and
23 anovulatory women for what they are and leave the
24 whole fertility side out of it.

25 DR. GIUDICE: Okay. Dr. Lewis?

1 DR. LEWIS: Actually, I think the reason,
2 the rationale, for bringing up endometrium was as a
3 reflection of estrogenicity; that is, the
4 endometrium would be thicker if there has been
5 ongoing estrogen production thus separating Type 1
6 from Type 2. At least, I think that is why it was
7 brought up.

8 But the only--well, another possible
9 complication of using ultrasound to differentiate
10 between the two is that you can see a
11 multifollicular pattern in both types and so some
12 centers, particularly in Europe, like to use
13 ultrasound to diagnose polycystic-ovary syndrome.

14 But that appearance can also be seen in
15 Type 1 patients, especially if they are younger.
16 So it is, I think, a little problematic to use
17 ultrasound as a primary criteria. But, obviously,
18 you have to use some sort of criteria to define
19 that the patient has low gonadotropins and low
20 estrogenicity. Maybe you could make it either/or,
21 either a negative progesterone withdrawal or thin
22 endometrium with a low circulating estradiol level.
23 That might be an option.

24 DR. GIUDICE: Okay. Yes; Dr. Keefe?

25 DR. KEEFE: This is really halfway through

1 the first question. Maybe we should just wrap it
2 up and say something like the committee recommends
3 that enrollment criteria include the presence of
4 oligomenorrhea and/or amenorrhea with some evidence
5 of lack of ovulation on the basis of blood tests,
6 progesterone, urinary tests, LH surge or other
7 methods which could include ultrasound.

8 DR. GIUDICE: Thank you. Also, for Type 2
9 patients, there was recently a nonconsensus
10 consensus conference in Rotterdam and I think, and
11 you are absolutely right, we are only halfway
12 through the first question, the whole Type 2 story
13 is, again, very, very complicated. For us to put
14 together today guidelines, I think, actually would
15 probably consume the rest of the afternoon.

16 So I would like to know from the group if,
17 perhaps, looking at the NIH guidelines in terms of
18 definition of PCOS which, then, could be applied to
19 enrollment criteria, if you think that would
20 suffice for the document.

21 DR. KEEFE: Yes. Sounds good.

22 DR. GIUDICE: Okay. Then, moving right
23 along; No. 2, should enrollment criteria be
24 stratified by age for--I'm sorry; we didn't finish
25 ART inclusion. Let's go back to that. I thought

1 we were out of No. 1.

2 DR. KEEFE: We are only a third of the way
3 through the first question. I forgot.

4 DR. GIUDICE: No. We have done WHO1 and
5 we have punted on WHO2.

6 DR. KEEFE: Right.

7 DR. GIUDICE: And now for ovulation
8 induction for infertility, the enrollment criteria
9 for that. This brings up many, many issues. If
10 you have someone who is 42, you are probably not
11 going to be doing this, or don't want them in a
12 study for looking at a gonadotropin product.

13 Also, if you have--there is a whole series
14 of things and people practice very differently.
15 The criteria that are normally used when a couple
16 comes in after twelve months, and, Dr. Emerson,
17 this is to address your question about the
18 definition of infertility, I think it is pretty
19 standard that one takes the definition of twelve or
20 more months of unprotected intercourse without a
21 pregnancy, but then the age issue comes into it and
22 whether--if someone comes in at the age of 40, one
23 doesn't usually ask her to go home and come back in
24 a year and then we'll talk about pregnancy and
25 fertility.

1 So, we do need to discuss the issue
2 of--and this will, also, then, have some relevance
3 for the second question. But, going to the first,
4 for ovulation induction for infertility, I would
5 like for the committee to address what types of
6 enrollment criteria they think are appropriate for
7 the FDA to have in trials. Dr. Layman?

8 DR. LAYMAN: To start it, I would think
9 you would have to pick some age group that would be
10 agreeable to everybody, and I will throw out 25 to
11 34, but some age group that is reasonable would be
12 one thing. The other would be maybe potentially
13 eliminate ICSI with a severe male factor, to take
14 ICSI out of it so that you are looking at
15 fresh-cycle, first-cycle, patients.

16 But I would think other diagnoses would be
17 reasonable to consider. I mean, I don't think male
18 factor would be unreasonable. But if you start
19 putting in ICSI with it, then you are getting in
20 more variables.

21 DR. KEEFE: I am really uncomfortable with
22 essentially disenfranchising a huge population, a
23 growing population, of the infertile population. I
24 don't think it is appropriate to, a priori,
25 recommend that a certain age group be studied. It

1 may well be that, in the future, pharmaceutical
2 companies find it to their advantage to target
3 certain drug regimens. I mean, from a financial
4 standpoint, that is where the money is; right?
5 That is where the growth is. That is where our
6 demographics are pushing us.

7 So you may want to, for a number of
8 reasons, if you are trying to document the efficacy
9 of ovulation, but, if we are looking at the
10 clinical endpoint, I don't think we should box out
11 this growing population right up front and
12 recommend that they be excluded.

13 I would say that we should tailor the
14 inclusion criteria according to age and that we
15 should include an age-specific diagnosis of
16 infertility, six months, 35 and older, twelve
17 months, younger than 35, which is sort of the
18 standard.

19 DR. GIUDICE: Dr. Emerson and then Dr.
20 Emmi.

21 DR. EMERSON: I think this idea that there
22 would be other issues that come up after the
23 therapy and all of the complicating things that
24 will have an effect on the event rate, but it is
25 randomized trial. I don't know of a disease that

1 doesn't have ancillary treatments following the
2 interventional treatment.

3 So, while you have to give thought to
4 whether your treatment might cause people to change
5 the ancillary treatments and so on, it is not an
6 issue. This just occurs in every single trial and,
7 by randomized, it ought to be equal if it is not
8 caused by the treatment.

9 DR. GIUDICE: Dr. Lewis?

10 DR. LEWIS: I think that, certainly, a
11 clinical definition of one year of infertility is
12 widely accepted and that is fine. But I think
13 there ought to be exceptions for ART if the
14 infertility is due to a tubal factor. If you have
15 occluded fallopian tubes, there is no reason to
16 wait one year.

17 So I think you should have evidence of a
18 normal uterus to be in the clinical trial and some
19 documentation about the fallopian tubes. Some of
20 the trials, I know, that have been done in Europe,
21 have excluded patients with hydrosalpinges because
22 that can have an impact on the trial and that might
23 be something that is reasonable to exclude.

24 You could exclude patients with ICSI.
25 But, in the United States, about 60 percent of--at

1 least 50 percent of IVF cycles include ICSI. Even
2 if the patient doesn't have proven fertilization, a
3 lot of centers will recommend ICSI on at least some
4 of the eggs.

5 So, rather than exclude all ICSI, you
6 might set some limits on it, ICSI with testicular
7 sperm, perhaps. ICSI in particular cases--maybe
8 Dr. Lipshultz has some input here to give, but I
9 don't think you would want to exclude all ICSI
10 because I think that would limit the population too
11 much.

12 I agree that you have to have age limits
13 and maybe 34 is a little Draconian, but maybe 38,
14 under 38? And I don't think 25--I think you could
15 go younger than 25. There are some patients that
16 would be reasonable to treat.

17 DR. GIUDICE: Dr. Emmi and then Dr. Rice.

18 DR. EMMI: I was going to make one of the
19 points that she made which is that something like
20 60 percent of the cycles in this country are ICSI
21 at this point so it is difficult to factor them out
22 and why ICSI is being done in those cases.

23 But I think, with the age matter, that may
24 be something that might be nice to stratify. I
25 think--I mean, if you look at one of the studies

1 that Dr. Toner was talking about with decreased
2 follicular depletion over time, I think that the
3 cutoff there is about 37 where you see a really
4 rapid decline in the number of eggs in the ovary.

5 Maybe you could make that, 37 and above--I
6 don't necessarily think that you have to stop at
7 38. I think you could go to 42 and just look at
8 that group as a separate group.

9 DR. GIUDICE: Dr. Rice?

10 DR. RICE: It is difficult for me to set
11 up enrollment criteria if I haven't established
12 what my endpoint is that I am going to evaluate.
13 If I am going to evaluate number of oocytes for a
14 different gonadotropin, then I pretty much know
15 that, regardless of age, I can give probably most
16 women enough medication and get equal number of
17 oocytes. But if I am going to set up fertilization
18 as my endpoint, then I would set my age of
19 enrollment differently if I wanted to say whether
20 Product A was better than Product B if I wanted to
21 be able to answer that question.

22 So you kind of got to say what your
23 endpoint is. I think Dr. Toner showed us some data
24 that says you can get a 42-year-old to act just
25 like a 32-year-old when we are looking at the

1 number of oocytes that are retrieved. But it
2 really comes down to the bottom line, a 42-year-old
3 doesn't get to take home a baby as often as a
4 32-year-old.

5 So there are other confounders that come
6 in there, but there are some variables that we may
7 not have control over. So I kind of have to know
8 what my endpoint is before I can say what my
9 inclusion criteria are going to be on several of
10 these issues.

11 DR. GIUDICE: I think this is where we see
12 one size does not fit all because, if you don't
13 know what your goal is, it is very hard to set up
14 the criteria.

15 The other issue we may want to discuss,
16 and perhaps not belabor the point, but is age
17 really the issue that we want to talk about or is
18 it ovarian reserve measured by other means, either
19 ultrasound, complement of small antral follicles,
20 ovarian volume, Clomid-challenge test, Day-3 FSH
21 and estradiol. There is a whole series of things.

22 These, again, I think one could put in,
23 and certainly the committee should discuss this,
24 but as we advise the FDA, these are all the things
25 that we deal with clinically that, as clinicians,

1 we are evaluating the performance of the ovaries in
2 response to these medications.

3 Dr. Toner?

4 DR. TONER: I think, in that regard, you
5 might want to set up sort of a stratification where
6 you define upper limit of normal and then you
7 define a borderline range, but then kind of a
8 "no-go" range as well, for the purposes of studies.

9 So, for instance, on the issue of age, you
10 might say that there is a group that is under 38.
11 Then there is a group that is from 38 to 41, 42.
12 But we are never going to study people past 42
13 because it is so futile.

14 On the ovarian-reserve side of the
15 equation, you might say, we are going to consider
16 normal, basal FSH under 10. 10 to 15 is the
17 ambiguous area where we expect some diminished
18 reserve but it may be okay. But we are not going
19 to enroll anyone with an FSH of 16 or better. You
20 know what I am saying? So there may be some
21 advantage to demarcating where things start to get
22 a little tough and where things become hopeless and
23 break them out that way.

24 DR. KEEFE: Can I comment?

25 DR. GIUDICE: Dr. Keefe and then Dr.

1 Crockett.

2 DR. KEEFE: I don't think the FDA--the
3 advisory committee should be in the business of
4 lowering our sites as a field. I mean, it is clear
5 that there hasn't been a lot of progress in this
6 area, but it is going to be the pharmaceutical
7 companies' problem if they don't account for these.
8 Their bias is going to be already to exclude these
9 patients.

10 So I don't see the advantage. I mean, if
11 you are trying to design the perfect study to show
12 an effect, yeah; you, yourself, as an individual
13 designing the study, would clearly want to narrow
14 the variance by selecting a very carefully chosen
15 population.

16 But we, as the advisory committee, I don't
17 think, should constrain the field by blocking that
18 out. Let's say I move forward five years and I
19 have come up with a new way of identifying that
20 subset of 5 or 10 percent of the 42-year-olds who
21 can get pregnant. Oh, but the FDA, though, has
22 this thing where you have to be under 38 or 37.
23 You know, that is not encouraged.

24 So, while you might want to control for
25 that, I think what we want to do is cast a broad

1 net for this.

2 DR. GIUDICE: Dr. Crockett and then Dr.
3 Lewis.

4 DR. CROCKETT: What he said. The other
5 thing I had to add to that is that I think, once
6 again, we are getting too narrow. What we couldn't
7 do ten years ago, now we are talking about
8 designing studies around today and, in ten more
9 years, we are going to have so much more technology
10 available that, for us to be talking about what we
11 want as stratification for endpoints and inclusion
12 criteria I don't, as a governing body, we should be
13 doing that.

14 DR. GIUDICE: Thank you. Dr. Lewis?

15 DR. LEWIS: I don't think we are saying
16 what population needs to be treated for
17 infertility. That is not the mission of the
18 committee or the mission of the FDA. It is simply
19 to talk about what criteria would be useful in
20 determining whether a drug is effective and safe or
21 not. It doesn't mean that we are saying that this
22 patient will never get pregnant. It doesn't have
23 anything to do with that or with saying what the
24 future of the field is going to be. It is simply
25 to try to help the FDA come up with criteria that

1 they can use with industry to design a study to say
2 whether the drug works or not.

3 I think if you start with a population
4 where you might reasonably expect to see a better
5 pregnancy rate, where it has been shown that a good
6 pregnancy rate exists now, then you will go
7 further. The trials will be more economical to
8 devise. The drugs will get to market sooner so
9 that we can use them in new populations who are
10 more difficult to treat.

11 So I think if you look at it that way, it,
12 perhaps, might have some utility.

13 DR. GIUDICE: Depending on the endpoint.

14 DR. LEWIS: Yes.

15 DR. GIUDICE: Dr. Crockett?

16 DR. CROCKETT: With all due respect, I
17 disagree with you a little bit on this. I think,
18 from a standpoint of a regulatory agency, if we say
19 to a drug company, we have a guidance that you
20 can't enroll somebody over the age of 42 because it
21 is futile, that we are going to severely limit the
22 free market and the development of that research.

23 I would rather not see that kind of cap
24 put on either for ovarian reserve or age. I think
25 it is too restrictive.

1 DR. GIUDICE: Dr. Hager and then Dr.
2 Dickey.

3 DR. HAGER: It would seem to me that what
4 we are saying is not to exclude but to stratify and
5 that way those populations are included but the
6 data are recorded accordingly. So I don't think--I
7 would agree that we would not want to exclude them
8 but we certainly would want to stratify those
9 populations.

10 DR. GIUDICE: Thank you. Dr. Dickey?

11 DR. DICKEY: I think David probably said
12 it very well. If we stratify, you can always add
13 new populations on either end or, for that matter,
14 new enrollment groups. What you want to avoid, I
15 think, is comparing apples and oranges. So, by
16 stratifying, you can begin to do that and still
17 push the edges of science as that opportunity comes
18 along.

19 DR. GIUDICE: Thank you. Dr. Rice?

20 DR. RICE: I think, though, you capture
21 all of this stratification if you begin to change
22 your endpoint because, right now, you have it where
23 all you have to do is show follicular development.
24 All of them showed that pretty evenly across the
25 board.

1 If you take that 32-year-old and that
2 42-year-old and you say, my endpoint has to be
3 fertilization, or it has to be live birth rate, in
4 order to get said whatever indication they are
5 going after, the stratification, then, begins and
6 it really starts to really compare the gonadotropin
7 compared to each other and compared to "placebo."

8 So I think you capture it. You keep your
9 inclusion broad. And then you capture it by
10 looking at your endpoints.

11 DR. GIUDICE: Dr. Hager?

12 DR. HAGER: Could we go to Question 8 and
13 come back? I mean, it keeps coming up.

14 DR. GIUDICE: Question 8.

15 DR. SHAMES: Sure.

16 DR. GIUDICE: I think we have pretty much
17 completed No. 1 because the ART piece, from what I
18 have heard, is very tightly connected to the
19 infertility, ovulation induction and infertility.
20 So we have done No. 1. And we can go to No. 8. We
21 have also done No. 2. Maybe we can just go down
22 some of the questions, here.

23 Should we stratify for use of adjunct
24 procedures such as donor oocyte or ICSI.

25 DR. LAYMAN: May I?

1 DR. GIUDICE: Yes.

2 DR. LAYMAN: Just in terms of ICSI, I mean
3 ICSI is not one thing. ICSI is many different
4 indications and to have one category of ICSI is
5 going to be asking for trouble because you are not
6 going to get the same rates if you do ICSI in a
7 program who does everybody because they are afraid
8 of missing a cycle and opposed to another one who
9 does testicular extraction because they have a lot
10 of male factor.

11 So ICSI has to be subdivided or else--you
12 know, when you are collecting data, or else you are
13 going to lose the meaning of the outcome.

14 DR. GIUDICE: So that would be stratified,
15 also, then.

16 DR. LAYMAN: Substratified.

17 DR. GIUDICE: Or substratified; yes.

18 DR. LAYMAN: But the answer would be yes
19 to No. 3.

20 DR. GIUDICE: Okay. Let me ask the FDA
21 members if we need a formal vote on any of these.
22 No. 1, we can't vote on. I think there is pretty
23 much consensus, at least from the nods around the
24 table, about criteria should be stratified by age
25 and stratifying use of adjunct procedures, and then

1 substratifying for ICSI.

2 Now, this is a very interesting question;
3 should studies be blinded or not and, if blinded,
4 discuss the merits of blinding the assessor, the
5 patient or both. Dr. Emmi?

6 DR. EMMI: I just wanted to make one
7 comment about Question 2 before we went on. Since,
8 when we discussed ovulation induction, we actually
9 discussed the difference in anovulators whether
10 they were criteria 1 or 2. Should that be for ART
11 as well?

12 DR. GIUDICE: Well, how about going around
13 the table or at least--how about if you rephrase
14 what you would like for people to either agree or
15 disagree with.

16 DR. EMMI: Well, what I propose is that
17 there is a difference in response in PCO patients
18 and IVF and differences in LH and whatever your
19 belief is whether it affects the endometrial
20 development or the number of follicles and should
21 they be stratified out as a separate group when you
22 are looking at ART.

23 DR. GIUDICE: I see nods.

24 DR. HAGER: I thought, as we were talking
25 about ART, that we were talking about those

1 divisions anyway, tubal factor, PCO, et cetera.

2 Was that not the consensus?

3 DR. GIUDICE: I don't think we actually
4 made it clear. But we should make it clear. The
5 indications for ART, certainly, are several. One,
6 with the PCO patient, in particular, is sort of an
7 interesting situation, those who fail, essentially,
8 monofollicular development or have had one or
9 several follicles without a pregnancy who then may
10 progress to ART is a very different population than
11 the woman who walks in at the age of 39 for
12 unexplained infertility where you start
13 gonadotropins and then you go to ART.

14 But it seems that the categories would
15 stratify out. Or you can put them in. It depends
16 on what the objective of the study is. But we have
17 talked about male factor, anovulators and we didn't
18 really discuss unexplained. But there is not
19 really too much additional information that we
20 would need to discuss unless someone has a burning
21 issue.

22 DR. KEEFE: One other diagnosis that may
23 bear or may merit stratification would be severe
24 endometriosis because there is growing evidence
25 from split-donor cycles that the donor, if she has

1 severe endometriosis, confers a reduced probability
2 of success on the recipient. Meta-analyses have, I
3 think, shown that there seems to be a fairly
4 consistently lower pregnancy rate but only in
5 severe endometriosis, as well as a low ovarian
6 reserve. They start to resemble older patients.

7 DR. GIUDICE: Dr. Brzyski?

8 DR. BRZYSKI: In unexplained category, are
9 we assuming that, as part of the screening for
10 enrollment, that individuals that have some measure
11 of ovarian reserve, because it is a fairly good
12 likelihood that there could be impaired ovarian
13 reserve in individuals with otherwise
14 unexplained--you know, patent tubes, normal male,
15 ovulatory. There is still a pretty high yield of
16 impaired ovarian reserve in that population.

17 So we are assuming that, in that
18 unexplained, that those would be screened and
19 identified and stratified by that? Is that a true
20 statement?

21 DR. GIUDICE: What does the committee
22 think?

23 DR. TONER: As the advocate of
24 ovarian-reserve screening, I think yes. You
25 honestly just need to do it. I mean, you do a

1 semen analysis to detect how many sperm are there.
2 You have got no other way to assess how many eggs
3 are there without looking, either in the blood or
4 on ultrasound.

5 DR. KEEFE: Can I answer? I agree
6 completely with what Jim said. We would think of
7 ovarian reserve as parallel to age. It is sort of
8 like reproductive age. And, as Jim showed, there
9 is an independent predictive value for each
10 separately and, together, they interact. So, I
11 would recommend we treat ovarian reserve like age,
12 similarly. So even with the outcome of ICSI, in
13 pure male factor, you can see a component of
14 ovarian reserve.

15 The question is how do you measure it
16 because our radioimmunoassays vary from center to
17 center. So you have to have either a central lab
18 or some standardized method. There are also
19 problems of the cycle dependency of it.

20 There will be new ovarian-reserve markers
21 coming out. Bularian-inhibiting substance has been
22 recommended by Themis as a very useful
23 cycle-independent marker secreted in the pre-antral
24 phase of follicle development. So I think there
25 will be, down the line, additional markers of

1 ovarian reserve that will have to be considered.

2 DR. SLAUGHTER: Just a comment. We do
3 typically request these studies when they do these
4 assays to be done in a central lab so that would be
5 included.

6 DR. GIUDICE: Thank you. Dr. Layman?

7 DR. LAYMAN: I just have a statistical
8 question to the statisticians here. If we get too
9 many groups, is that going to reduce our power? I
10 mean, that is why I was only saying a certain
11 group. But, you guys have to be the people on that
12 issue.

13 DR. EMERSON: So I am completely unable to
14 judge any of the criteria that you have just named
15 of what they mean medically, but, again, the
16 overarching principles here are in doing clinical
17 trials it that the enrollment criteria should be as
18 close as they possibly can be to the population
19 that you are eventually going to use this in.

20 That sometimes means that people that you
21 don't really think the treatment will be all that
22 beneficial in but it is going to be extrapolated to
23 that population, you need to see the safety data.
24 So you sometimes go ahead and include those
25 patients but then say they are not really going to

1 be in our efficacy population. We are just going
2 to make certain that it is still safe.

3 The other criterion is when you have an
4 active comparator, what I spoke to at the very
5 first. You need to make certain that it really is
6 an active comparator and it is not placebo as you
7 are setting those margins.

8 Then, in the stratification question, the
9 reason why we stratify is, first and foremost, to
10 gain precision. But there comes some point that,
11 if you are doing a multicenter trial, you can't do
12 too many levels of stratification. If the trial is
13 relatively large, you don't need to stratify and
14 you can still get the precision by adjusting for
15 it. It sometimes takes an argument to get it
16 through the FDA, but that is what should be being
17 done in those instances.

18 The other aspect is scientific credibility
19 of the results. If, by rights, by what we mean by
20 statistics, you don't have to stratify on anything.
21 But, if somebody sees that a large imbalance
22 occurred on the trial, they aren't going to trust
23 the trial. So those variables that are the most
24 predictive but don't go to too many cells; just
25 choose those that are.

1 From what I have heard, mainly, it is this
2 aging aspect, be it ovarian reserve or age as a
3 surrogate for ovarian reserve, and then the various
4 adjunct procedures, the indications for why we are
5 going to that sound like the largest ones.

6 And then you always have the site-specific
7 issues that you want to do the stratification
8 within site. That is going to be pretty much maxed
9 out. Really, even if you are going to a
10 thousand-patient trial, you don't want to stratify
11 on much more than those things.

12 That is my impression from what I have
13 been hearing. As suggested, I probably wouldn't
14 stratify on much more than that.

15 DR. GIUDICE: Okay. Thank you. Perhaps
16 we can now go to Question No. 4 regarding blinding
17 or not. Yes; Dr. Emerson?

18 DR. EMERSON: Blinding; I am a
19 statistician so you need a larger sample size and
20 you need to blind yourself. But the issue here
21 that I think is greatest is we have already heard
22 aspects of the doctor effect in getting the
23 follicles, that judgment goes into that concept.
24 Obviously, if they know what the treatment is and,
25 well, of course, this treatment produces more

1 follicles than the other and, therefore, we will
2 sample all of those more follicles that we see
3 here.

4 That is an issue. Also, the other thing
5 that I worry about is canceling a cycle, to decide
6 not to go with the stimulation. So I can't imagine
7 many things that the patient would--I think,
8 otherwise, we are only talking about fairly
9 objective criteria, so the patient we are not
10 worrying as much about. But it is all the
11 investigator, the clinician, that I would worry
12 about.

13 DR. GIUDICE: Dr. Emmi?

14 DR. EMMI: I wanted to know why
15 cancellation is such an issue.

16 DR. EMERSON: We are back to my "intent to
17 cheat." If I have a really good therapy that I am
18 sure works, but I start seeing a patient that it
19 doesn't look like it works, or that I am not liking
20 the way it goes, well, I will just not try that
21 patient very much more.

22 DR. GIUDICE: Dr. Rice?

23 DR. RICE: I think we also have to
24 remember that, particularly if we were looking at
25 embryo quality as an endpoint, that we still don't

1 have the most objective means for grading embryos.
2 So it is clear that we must have our embryologists
3 who are blinded as well so that we have some
4 objective information that will come out of that if
5 we are going to use embryology or embryo
6 development as a criteria.

7 DR. GIUDICE: That is a very good point.
8 Other discussion? Yes?

9 DR. EMMI: I think we are back to it is
10 getting very complicated because not every
11 institution uses the same criteria even for judging
12 embryos. You know, then you would have to
13 restrict, also--if you had somebody who wanted to
14 cancel, you would have to have cancellation
15 criteria. I mean, I just don't know how you can--

16 DR. RICE: That is the benefit of
17 blinding, that you can take care of some of these
18 subjective issues.

19 DR. GIUDICE: Dr. Hager and then Dr.
20 Keefe.

21 DR. HAGER: The only reason not to
22 double-blind the trial, in my opinion, would be if
23 you had a placebo arm because then there would be a
24 distinct difference. If you did not have a placebo
25 arm, would it not be more efficacious to

1 double-blind rather than single-blind?

2 DR. GIUDICE: Comments?

3 DR. EMERSON: I guess my response would be
4 that just purely logistically I think it is easier
5 to maintain a blind from the patient and not the
6 physician than vice versa. So I don't see any
7 reason not to keep the patient blind in that
8 instance, but it is just the assessor that I am
9 most worried about.

10 DR. KEEFE: Okay. Are we ready to move
11 onto question No. 5? Here we come now to the
12 placebo and active-control arms in the studies. If
13 an active control is used, discuss how you would
14 define the noninferiority margin. Dr. Hager?

15 DR. HAGER: My feeling is that, from an
16 ethical perspective, it is better to have an active
17 control arm in this population of individuals.

18 DR. GIUDICE: Other comments? Yes?

19 DR. TULMAN: Just from the person you are
20 trying to enroll is given a choice, a known choice,
21 between a placebo or the new investigational drug,
22 are you likely to get anybody to consent to be in
23 it? So, from a very real patient enrollment point
24 of view, if you are not offering a treatment that
25 at least is one standard of care versus the new

1 point, you are going to have a trial that will not
2 get off the ground.

3 DR. GIUDICE: That is a good point. Dr.
4 Brzyski?

5 DR. BRZYSKI: To my experience with
6 clinical trials, or not even in clinical trials, I
7 think patients tend to believe in technology and
8 the newest technology and so that would be one
9 reason to blind even in an active control arm, that
10 patients may cancel themselves if they are
11 randomized to the traditional therapy as opposed to
12 the new therapy which everyone believes will be the
13 better therapy. Otherwise, why would we be
14 studying it; right? So, it just again supports the
15 idea of blinding the patient.

16 DR. GIUDICE: Thank you. One more
17 comment? Yes, Dr. Keefe?

18 DR. KEEFE: I would also support not using
19 placebo and, instead, using a treated control
20 group. We now have published literature showing
21 the baseline fertility in untreated patients with
22 unexplained infertility and recently a paper came
23 out of Belgium where they looked at the spontaneous
24 pregnancy rate after one failed cycle of ICSI. So
25 these were people shown to have significant male

1 factor and then, subsequently, there was a very low
2 spontaneous pregnancy rate. Occasionally, people
3 would get pregnant.

4 So we have pretty good evidence that the
5 untreated group, at least from observational
6 studies, don't do well. So I agree; it is a little
7 bit problematic ethically to deprive treatment
8 through placebo. So control should include
9 treatment.

10 DR. GIUDICE: Okay. Thank you. No. 6 is,
11 discuss the advantages and disadvantages of single
12 versus multiple treatment cycles. Dr. Emerson?

13 DR. EMERSON: There was a second part to
14 Question 5.

15 DR. GIUDICE: Oh, sorry. You're right.

16 DR. EMERSON: Which is how--using the
17 active control, how do you use a noninferiority
18 margin. The issue here, and I already alluded to
19 this earlier and I am pretty much going to say the
20 same thing but I will try to say it quickly,
21 therefore, is that if we imagine that this
22 20 percent rate--I mean, these are the criteria to
23 use, whether we use other data to come up with
24 this.

25 But if we were having a 20 percent

1 fecundity rate in the previous--in what little data
2 we have from the controlled trials, and if we
3 believe that it should have been 10 percent or less
4 in an infertile population, the idea is how much of
5 a decrease will we accept. Making up a number of
6 roughly a 6 percent decrease or an 8 percent
7 decrease would be allowing for the idea that you
8 might actually have a mix of patients who were a
9 couple years out infertile and so that the 10
10 percent or 11 percent I was quoting was from the
11 12-month infertile rate. So that would still be
12 giving you this margin.

13 So then a noninferiority trial is saying,
14 we will feel confident at the end that, if we
15 declare the new trial noninferior, it is not more
16 than 6 percent noninferior. So we make a statement
17 like that with 95 percent confidence. But
18 something in the 6 percent to 8 percent range would
19 be what I would choose.

20 The reason not to go all the way down to
21 the boundary is, again, from the patient
22 standpoint. Would you want to go on a clinical
23 trial where you are saying that we are going to
24 tolerate keeping on testing you on this treatment
25 even when it is substantially below that level.

1 The criteria of how far I regard substantially
2 below is the secondary benefits the new treatment
3 may provide, that there may be less pain involved,
4 less logistical problems, whatever other conditions
5 might be the secondary endpoints of the trial.

6 DR. GIUDICE: Thank you. Dr. Rice?

7 DR. RICE: It clearly depends on what you
8 define as those secondary endpoints. If I look at
9 the trial now, and my primary endpoint is ovulation
10 induction and number of oocytes, my secondary
11 endpoint is pregnancy, then I will tolerate a lot
12 of inferiority dependent on the patient population
13 that I choose. So it depends, again, on those
14 clinical endpoints.

15 DR. GIUDICE: Yes?

16 DR. EMERSON: There is no question that I
17 was giving, as my example, the pregnancy endpoints.

18 DR. GIUDICE: Dr. Lewis?

19 DR. LEWIS: Yeah; I agree that obviously
20 it does depend on what your endpoint is. But also
21 it is going to depend on the patient's age and
22 their diagnosis and whether you are talking about
23 ART or ovulation induction, even a difference in
24 pregnancy rates because you are going to--the
25 variance is wider for some.

1 DR. GIUDICE: Okay. Dr. Hager?

2 DR. HAGER: Just a question. So are we
3 recommending built-in alarms in the study so that,
4 if we reach a noninferiority level of a certain
5 percent, then the study will be broken, the code
6 will be broken? Are we recommending that? Is that
7 what I am hearing?

8 DR. GIUDICE: Dr. Emerson?

9 DR. EMERSON: That is an issue that can be
10 really separated from--if you decide what your
11 hypotheses are that you want to test, then,
12 building in a sequential monitoring plan so that,
13 as early as possible, you identify whether you have
14 met that, can be addressed separately and almost
15 all IRBs are now demanding that be--FDA and NIH are
16 demanding that. It is my area of research so I
17 would be glad to talk to you about it.

18 DR. GIUDICE: Perhaps that line of
19 communication to the FDA can be kept open, then.
20 Dr. Toner?

21 DR. TONER: I was going to ask of Dr.
22 Emerson, I wonder whether--is there any way to
23 standardize or generate a rule for drug companies
24 to understand what would constitute noninferiority
25 based on expected percentages of success? I mean,

1 even if we stick with your pregnancy rate endpoint
2 and, let's say, nowadays, the pregnancy rate is 50
3 percent, as the expected, would you still want to
4 subtract the same absolute number of 6 percent or
5 would you allow it to slip down to 20?

6 DR. EMERSON: Would I want it to stay the
7 same? Absolutely not. Is there any way that we
8 can give some guidance? Well, the International
9 Conference on Harmonization of Clinical Trials has
10 a very long document on exactly this issue.

11 Every case is different and a lot depends
12 upon what the safety concerns are, what the
13 secondary gains are that you are trying to
14 anticipate. There may well be some people who are
15 willing to accept a lower fecundity rate which
16 might mean going through more implantation
17 procedures if they only have to go through one
18 ovulation procedure.

19 So, under those considerations, as you
20 design the trial, you have to consider all of the
21 side effects, all of the anticipated adverse
22 experiences, the gain that you might have, as you
23 go through those trials and what--we are back to
24 this issue of are you going to get people to come
25 on the trial. No trial is very efficient if no one

1 will be on it, and so you don't do that.

2 So it does have to be modified. The
3 issues have to be broad guidelines but, to the
4 extent that all the data that I have seen is the
5 table in the background material about what the
6 fecundity rates are, the longer you have been out
7 of fertile, and then the one comparator trial that
8 we had from the previously approved thing.

9 And then you have to go with that. So it
10 will change over time as you get more data.

11 DR. KEEFE: Can I comment on that?

12 DR. GIUDICE: Yes; one short comment.

13 DR. KEEFE: I think the discussion should
14 first focus on those cases in which there is no
15 expected benefit and then could we then do sort of
16 a power analysis with a two-tailed component in
17 which we are looking not just whether--you know,
18 what is the size of the sample we would have to use
19 to find a detrimental effect.

20 I think, conventionally, with power
21 analysis, you are looking at sort of 20 percent as
22 a reasonable detection rate. Is that done? Could
23 you do it that way where you are
24 essentially--because, otherwise, you are going to
25 be encouraging companies to make very tiny little

1 studies where there is no difference found and make
2 the assumption of noninferiority.

3 DR. EMERSON: Well, just to give you an
4 idea because I did just look this up to see what it
5 was. If you went, for instance, with the assuming
6 that a 20 percent rate was the active effect, and
7 you were willing to go down to a 14 percent rate
8 and still call that not sufficiently inferior, that
9 you do that, that would be about a 600 patient,
10 person, study, total, so 300 per arm.

11 If you took it down to 12 percent, that
12 would be about 150 or 160 per arm. So those are
13 the sorts of numbers, and that is--again, in a
14 noninferiority trial, we don't go with that 80
15 percent power. We really need 97.5 power. We need
16 to say, if it is below our threshold that we
17 consider acceptable, we want to be 97.5 percent
18 confident that we don't approve this thing that is
19 inferior. So our standards have changed. This is
20 the same thing, if we are using a 95 percent
21 confidence interval to judge this and that is what
22 those numbers are based on.

23 DR. KEEFE: From the standpoint of the
24 consumer, a lot of these people are paying out of
25 their pockets for this. I don't know if that

1 factors into it, but, as the patient advocates,
2 they really want to know if something is inferior
3 because this is coming right out of their pockets
4 if not their hearts.

5 So I would err on the side of caution
6 before new drugs were introduced that this
7 noninferiority has been established.

8 DR. EMERSON: I think one of the things
9 you will have is we are mostly, right now,
10 talking--or at least I am talking about--maybe I
11 should have clarified this--but I am talking about
12 the phase III studies, the very confirmatory
13 studies. We have got, presumably, some phase II
14 studies that are based on some of these surrogate
15 endpoints to argue we have good reason to be going
16 forward with this. And that is what you go forward
17 with the patients.

18 Again, it is the clinicians who are
19 involved with that, them knowing what sort of
20 enrollment they can get with the patient, what
21 seems fair for the patient, in their best judgment,
22 and then IRBs and DSMBs take on a role there, as
23 well.

24 DR. GIUDICE: That brings us right into
25 the sixth question about single versus multiple

1 treatment cycles. Any comments on that?

2 DR. KEEFE: I'd like to pick up where I
3 left off in my talk. I proposed that we consider
4 the advantages of a crossover design. I know there
5 are a lot problems with that but it seems everyone
6 agrees there are enormous patient-specific factors
7 at play here. So, while there is clearly a problem
8 and you have got a lot of people dropping out
9 because they only got through the first treatment,
10 especially if you have proper controls in terms of
11 two different treatments being done, a crossover
12 design would begin to touch on some of the enormous
13 variability from patient to patient. What do you
14 think, Scott?

15 DR. EMERSON: That was an interesting
16 proposal, as I talked earlier. I hadn't thought of
17 that issue beforehand, of doing the crossover
18 study, because I guess I had been thinking far more
19 of some of the other issues. The biggest problem I
20 would see with that is that patients dropping off
21 the study not because they had pregnancy--that, we
22 could handle--but more just the idea that we would
23 have one period of treatment for them and not--but
24 I guess I tend to think that we would gain more
25 power from actually taking multiple treatment

1 cycles on the same arm or, certainly, from my
2 endpoint of interest which would be more time until
3 we had a pregnancy and taking advantage of--again,
4 some of these treatments that are going to be
5 coming up will be trying to take advantage of
6 cryopreservation, and what will define your cycle,
7 only one fresh cycle or the idea that you might
8 have four cycles from a cryopreservation.

9 DR. GIUDICE: Dr. Hager, you had a
10 comment?

11 DR. HAGER: Merely, I was just going to
12 say it would seem to me that, if we do multiple
13 trials, you would need to quantify or in some way
14 stratify for fresh cycle versus frozen cycle.

15 DR. GIUDICE: Yes. Dr. Crockett?

16 DR. CROCKETT: The other thing I like
17 about the multiple cycles is, in the single-cycle
18 studies, I think there is more of a temptation to
19 blast the patient with as much as you can to get
20 the highest pregnancy rate. I worry about safety
21 in those patients. I think, if you allow for a
22 multiple-cycle trial, you can be more judicious
23 about your treatment options and maybe even start
24 lower with your gonadotropins and not put the
25 patient at as much risk in an effort to have a

1 higher success rate in your study.

2 DR. GIUDICE: We are talking, and I will
3 address this to Dr. Emerson--we are talking here
4 for the phase III, so your dose-finding studies
5 would have been done before then, I assume. Yes?

6 DR. EMERSON: But I think there are many
7 times that we, as a regimen, were testing the idea
8 of the whole regimen. So it is not--I mean, there
9 are a lot of other ancillary things that are not
10 related to the dose of this drug but the idea of
11 what other ancillary treatments they might be doing
12 or there are a number of implantations that they
13 might do at a particular time.

14 Again, if it is blinded, it is
15 post-randomization, that is okay. If it is caused
16 by the therapy, we would be doing that. So it is
17 sort of a level of if you were using--I am
18 imagining that if fresh were as good as cryo and
19 that you were more worried about multiple fetal
20 gestations, that it might be reasonable to start
21 out with two and see if that worked and then, the
22 very next time, do more. And your facilitating
23 that if you allow the multiple cycle whereas you
24 aren't allowing it if you do the single cycle.

25 DR. GIUDICE: Dr. Macones, Dr. Layman?

1 DR. MACONES: I would just echo that. I
2 think that, in my mind, in this case, I think we
3 really want to try to test what people do in
4 practice. Not being an infertility doc, but, as I
5 see it, if you have a first cycle that fails, you
6 go on to a second cycle. So to just limit it to a
7 first cycle, to me, makes no clinical sense at all.

8 DR. GIUDICE: That will stir controversy.
9 Dr. Layman?

10 DR. LAYMAN: I think, again, we have to
11 get back to the statisticians, then, because you
12 are going to have cycle dependency and that is
13 going to have to be controlled for in the study,
14 because if you have one person who has had four
15 cycles and one person who has had one, or would it
16 wash out in the randomization?

17 DR. EMERSON: It washes out in the
18 randomization. Particularly, again, if you are
19 looking at an endpoint such as time to live birth,
20 or time to pregnancy, viable pregnancy or whatever,
21 that is a concept. People who go through more
22 cycles, well, that is a deleterious effect, that
23 they won't look as good as the arm in which it
24 worked the first time.

25 But, working on the fourth cycle is better

1 than never working at all and so it will capture
2 the endpoint you like.

3 DR. GIUDICE: Dr. Toner and then Dr.
4 Tulman.

5 DR. TONER: My objection to the
6 possibility of multiple cycles is the lack of
7 generalizability. At least if you are living in a
8 nonmandated state, as I am in, most patients get
9 one try. And that's it because they are paying
10 their own way. To then come in with an offer of
11 four tries for free will induce different clinical
12 behavior on the part of clinicians. They will
13 maybe be more conservative. What you learn that
14 way won't reflect what actually happens.

15 So I would be very afraid of opening up a
16 different kind of a treatment strategy than
17 actually happens in practice.

18 DR. GIUDICE: Dr. Tulman?

19 DR. TULMAN: I just had two comments. One
20 is, by having a crossover, would you, in fact,
21 entice more people to enroll because, on some of
22 the cycles, they might be getting what may or may
23 not be perceived as the better treatment, although
24 it may not be the better treatment.

25 And the other question I had to respond to

1 Dr. Toner's last comment/ was that the current
2 practice of allowing one cycle may be based
3 somewhat on the scientific evidence, but if the
4 scientific evidence changes, then that might also
5 change what is allowable for reimbursement.

6 DR. TONER: I was only making the comment
7 that in Georgia--

8 DR. TULMAN: Okay; maybe I misread you.

9 DR. TONER: Most people can't afford a
10 second try. Even if it is to be encouraged on the
11 clinical grounds, they can't afford it. So, to now
12 offer it to a whole bunch of people who, in the
13 real world, would never have gotten past a first
14 try, introduces a different kind of an observed
15 effect.

16 DR. TULMAN: I guess I was just thinking
17 further in advance in that, if we became better at
18 doing this, and the cost were more allowable, and
19 then the science would advance enough such that it
20 might be that one trial might not do it but two
21 might be good. But if you have the evidence that
22 two is good, then that might eventually, somewhere
23 down the road, become a more reimbursable, or a
24 covered, benefit.

25 DR. GIUDICE: Dr. Crockett and then Dr.

1 Rice, Dr. Stanford and Dr. Lewis.

2 DR. CROCKETT: I really get a little
3 afraid when we start talking about letting finances
4 dictate how we are doing our medical science. I
5 understand completely what you are saying about the
6 practicality about funding for cycles. However,
7 the fact that a patient can't afford three cycles
8 should not dictate how our physicians are treating
9 those patients for infertility.

10 For instance, you know, our natural
11 fecundability rate is about 20 percent. It takes
12 most normal, healthy, fertile couples at least
13 three cycles to get pregnant, and that is normal
14 and healthy and okay. For us to be looking at a
15 medical technique that pushes that to 50 or 60
16 percent because that patient can't afford to come
17 back for those three tries, I don't really, from a
18 regulatory standpoint, want to encourage that type
19 of behavior.

20 So I would rather try to take that
21 economic or that time pressure out of our
22 recommendations for that kind of trial.

23 DR. GIUDICE: Dr. Rice?

24 DR. RICE: Dr. Emerson, what does it do
25 for the enrollment numbers if we use multiple

1 treatment cycles and then you can change the second
2 cycle based on information that you gather from the
3 first. If some of you all remember some of the
4 ovulation-induction trials with PCOS patients, if
5 they didn't respond to a low dose that first month,
6 the second month, you could bump it up, or you
7 could bump it down, depending on what the trial
8 was.

9 So what does that do to your enrollment
10 numbers?

11 DR. EMERSON: Well, first, I should say
12 that those numbers that I gave you on sample size
13 were based more on a binomial proportion, just did
14 they get pregnant or not, rather than what I would
15 truly advocate which is time to pregnancy, which
16 would be a more powerful idea.

17 I am not, therefore, as worried about the
18 multiple--the nongeneralizability because that will
19 fall right out from the analysis. You will see
20 that the time to pregnancy was this many episodes
21 and you would be able to judge that. If one arm
22 had a higher overall rate over a six-month period
23 but the other arm had a higher rate at the first
24 cycle--these are crossing survival curves and you
25 can use statistics that will identify that if you

1 want.

2 But whatever it is, that will come out.
3 So this idea of, then, your question of saying, now
4 this idea that we might tailor treatment, that we
5 would end up testing a treatment that allowed some
6 tailoring. Of course, we do this all the time in
7 diabetes. We don't prescribe a single dose of
8 insulin. We give a constantly modifying dose.

9 So it is possible to test such things if
10 that is the strategy that you wanted to test and as
11 long as it was blinded, it wouldn't hurt anything,
12 that we would be doing the same modifications on
13 both arms.

14 So it is this idea of using these multiple
15 times, we will be increasing our event rate,
16 particularly if we can switch into something that
17 is measuring the time as well as the probability of
18 it occurring, we will be getting more useful
19 information.

20 DR. GIUDICE: Dr. Stanford.

21 DR. STANFORD: I just want to comment
22 first that the single versus multiple cycle
23 advantages and disadvantages, again, depends on
24 your endpoints, not only your endpoints but your
25 adverse outcomes.

1 For example, just an example, if you had
2 two regimens and one got you pregnant faster in the
3 first cycle, but the other one got you the same
4 pregnancy rates or maybe a little more after three
5 cycles but with a lower multiple-pregnancy rate. I
6 think that would be a very important thing to
7 assess.

8 So my argument would be towards the total
9 overall pregnancy rate for a course of treatment,
10 whatever you determine that is, because then you
11 can look at things like what is your overall
12 multiple-pregnancy rate and maybe ovarian
13 hyperstimulation, other things that really should
14 be factored in.

15 DR. GIUDICE: Thank you.

16 Dr. Lewis? No. Okay.

17 DR. KEEFE: I have a question.

18 DR. GIUDICE: Dr. Keefe?

19 DR. KEEFE: It seems to me that multiple
20 cycles would be most helpful if our endpoint that
21 we were looking at was pregnancy rate, but, we can
22 all agree that that is an extremely expensive way
23 to bring a new drug to market. If we are going to
24 be using other markers, especially noninferiority,
25 it seems like to be able to fund three, four, five

1 IVF cycles--because what is going to happen is a
2 significant proportion of your patients are not
3 going to get pregnant in either group, even after
4 three and four cycles, particularly as you get into
5 the mid-30s.

6 So if we are going to use that, that is
7 ideal. That is perfect. That is the gold
8 standard. But, to have that burden, to put that
9 burden, on a new drug entering, it is a little
10 excessive, maybe.

11 DR. GIUDICE: I think we will probably get
12 into that discussion when we get to No. 8. Last
13 comment on No. 6?

14 DR. LAYMAN: I have one other quick
15 question. I mean, does it make a difference when
16 you are using multiple cycles? I have always been
17 taught, you know, the patient is the experimental
18 group if you are looking at the efficacy. Are you
19 saying multiple cycles is reasonable to look at the
20 time to event in addition to just efficacy? Is
21 that what I understand? Is that what you are
22 saying?

23 DR. EMERSON: With the multiple cycles, we
24 would have several choices. One is you could use
25 the cycle as the denominator and just adjust for

1 the fact that we have dependent observations, that
2 we have measured some people more than one cycle
3 and we would have to account for that in the
4 variability.

5 You might also have to decide how you want
6 to weight the fecundity rate. When I have five
7 observations on one person and two observations on
8 another, do I want to weight that 5 to 2 or do I
9 want to weight that, that is one person and here is
10 another person, which is more important.

11 But what I am suggesting is the time,
12 measuring the time until they have a live birth,
13 the fact that it is multiple cycles or not would be
14 dealt with the exact same way. It is No. 9, but on
15 an intent-to-treat analysis, the question is, once
16 we have randomized you to this, just what is the
17 time until you have had the live birth.

18 For some people, it is going to be at
19 infinity. It just never happens. But we know how
20 to analyze that data and we find that same event,
21 and then there is not this problem because that is
22 the unit that we are interested in, the patient.

23 DR. LAYMAN: So you are saying the key is
24 you correct for the--which has been the problem of
25 some studies in the past where there are multiple

1 cycles and there was no correction.

2 DR. EMERSON: Yes; absolutely.

3 DR. GIUDICE: Let's take Questions 7 and
4 8 together because they both have to with powering
5 of the studies. We have been requested to discuss
6 advantages and disadvantages of powering studies to
7 detect a difference in live-birth rate or on
8 ongoing pregnancy rate.

9 Dr. Emerson, do you want to make a comment
10 here?

11 DR. EMERSON: I am not sure that the cost
12 of this--of the numbers that I have been bandying
13 about are any greater than there are in a lot of
14 other diseases. So I think the importance of
15 answering the question that is the clinical outcome
16 is not subservient to trying to choose a surrogate
17 outcome that is not answering the question.

18 In no clinical trials are we just looking
19 for a pharmacological effect of the drug. There
20 are plenty of examples in the history of clinical
21 trials where the putative mechanism of the drug,
22 the drug did it. The antiarrhythmia trials; we
23 could give people drugs and decrease their
24 arrhythmias. Unfortunately, we also killed them.

25 The point was to find--we are trying to

1 treat a disease here. The disease is infertility.
2 We aren't trying to just see how many eggs we can
3 have from a particular ovulation. The people who
4 are wanting this treatment goes there. With an
5 infinite amount of money, I would love to study
6 every single step in the whole mechanism that might
7 lead from one particular treatment to a good
8 clinical outcome.

9 But no one is going to pay for it. Once
10 they find out that it is a good clinical outcome,
11 they are going to say, yeah, maybe you know the
12 mechanism, maybe you don't. But I have got the
13 outcome that I want. So we are at this stage now
14 where there is plenty of reason to suspect that
15 every treatment that we give may not have the same
16 surrogate value, that we might be intervening on
17 the whole endocrine pathway differently with one
18 treatment than another, so the idea, here, is that
19 if we stimulate ovulation or the additional ova
20 that we are stimulating equally as fertile as those
21 that we would get if we weren't stimulating as
22 many. We don't know those answers, so it is the
23 pregnancy rate that will answer it and, going in
24 this sense, since you have the active comparator,
25 that, by all appearances, works fairly well, it is

1 not really that big of a burden to be able to
2 demonstrate that you are not doing harm on the
3 important clinical outcome.

4 DR. GIUDICE: I think you have just opened
5 Pandora's box. Dr. Lipshultz and then Dr. Rice and
6 Dr. Stanford.

7 DR. LAYMAN: I'm sorry, but I have a
8 question on just what you said. So what you are
9 saying, then, is the only endpoint you think is
10 significant is the pregnancy.

11 DR. EMERSON: No. I think the primary
12 endpoint of these trials--for instance, I can
13 imagine a scenario in which a new treatment is not
14 as efficacious as another active treatment but has
15 other advantages. For instance, there may be
16 situations that I could imagine if you showed me
17 one treatment that had a higher rate of pregnancy
18 in the first cycle, and another treatment that had
19 still an overall cumulative effect that was about
20 the same, but in the first cycle, it wasn't, I can
21 imagine many scenarios in which I would accept
22 that.

23 What I was, instead, talking about was the
24 primary endpoint and ensuring that we do not have a
25 treatment that is not efficacious against placebo.

1 I mean, we are trying to estimate that in a
2 noninferiority situation with an active comparator.
3 The idea that we would not power a study so that
4 you could even be sure that it was not doing as
5 well as a placebo, I find not in good science at
6 this point.

7 You would like to make certain that, as we
8 are going forward and might approve a therapy, that
9 we would be certain that it is working better than
10 placebo. We won't be certain that it is working
11 better than the active comparator, necessarily.
12 But then we have other secondary endpoints that I
13 would certainly look at. It is just the guarantee
14 that we aren't doing worse than doing nothing.

15 DR. GIUDICE: Dr. Rice?

16 DR. RICE: I guess my question is more so
17 to the FDA. How do you maintain the equality if
18 you have previously approved products based on one
19 outcome and now, if this committee were to make a
20 recommendation to say now we want to use a
21 different endpoint. Someone new comes to the
22 market, how do you maintain that level of equality?

23 DR. SHAMES: Well, I think that we are
24 here because we think, in fact, in the past, we
25 have not--let's say this. Sometimes science

1 changes. Things change and we have to keep up with
2 the science and do what we can--do the best for the
3 public in terms of having the science that we work
4 with mimic the science in the community.

5 It is not unusual for things to change and
6 we are accused of being unfair. But this is not at
7 all the only circumstance where that happens. This
8 happens in other areas. Sometimes, we find safety
9 issues that we didn't know about two or three years
10 ago and we compel companies to do certain studies
11 that we didn't compel other companies to do. We
12 just find that we have to do that. We need to do
13 the best we can at the moment based on the science,
14 even though that is perhaps not what we did a
15 number of years ago.

16 DR. RICE: I think that, looking at
17 gonadotropins--I think you do reach a point with a
18 certain class of products that what comes onto the
19 market, there is a level of equality in
20 effectiveness of an endpoint that you used to use
21 but now the science has shown us that there are
22 more variables that go into that ultimate outcome.

23 I brought this up to hope that it was
24 based on all of the science that is coming out even
25 though our science in understanding ovarian

1 reserve, et cetera, is still growing, but that the
2 science perhaps does dictate that we look at
3 different endpoints.

4 DR. SHAMES: Absolutely, it should.

5 DR. GIUDICE: I would like to ask the
6 committee for some input in terms of what other
7 primary outcomes--does everybody agree, now, that
8 the primary outcome for, for instance, gonadotropin
9 therapy should be pregnancy? We have heard from
10 Dr. Emerson and I think this is where--and I think
11 Dr. Lipshultz's question addressed this. Dr.
12 Stanford?

13 DR. STANFORD: I would just like to
14 clarify. Are you talking about, Dr. Emerson,
15 chemical pregnancy, clinical pregnancy, or live
16 birth?

17 DR. EMERSON: Well, I guess my top choice
18 would be live birth. While I liked the suggestion
19 earlier that it should really be live healthy
20 birth, I think that there is trouble with healthy
21 birth. So my first choice would be live birth and,
22 if you have to take me to lesser things, it will be
23 going backwards from live birth.

24 DR. STANFORD: I would agree with that.

25 DR. GIUDICE: Dr. Keefe?

1 DR. KEEFE: If you looked at Dr. Toner's
2 graph at the relationship between miscarriage and
3 take-home baby, it seems to sort of parallel. So I
4 think it is a crude but good indicator of what is
5 going to happen. You could imagine a number of
6 interventions that might selectively perturb the
7 development of the embryo after that, but I think,
8 in general, on balance, it is probably the best
9 sort of practical measure we have short of live
10 birth. This would be clinical pregnancy or with a
11 heartbeat.

12 DR. GIUDICE: Dr. Toner and then Dr.
13 Lipshultz, and I saw another hand down here. Dr.
14 Hager.

15 DR. TONER: I would still vote for some
16 measure of follicular response, the primary
17 pharmacologic action of the drug in question. I
18 think, if we choose pregnancy, as I said this
19 morning, if a drug these days proved more potent in
20 egg production, it would be invisible to the fresh
21 pregnancy rate. So an apparent benefit is
22 completely invisible.

23 Now, sure; it could go the other way. But
24 we clinicians are actually in the business of
25 trying to get pregnancies and not trying to get

1 eggs despite what I might say otherwise and it
2 wouldn't be too long before an inferior product
3 with respect to the ultimate endpoint would come to
4 light and change practice.

5 Already, all the studies that have focused
6 on egg-production capacity have disclosed pregnancy
7 rates. So it is not like the data would be
8 unavailable if we stuck with the same endpoint as
9 has been used heretofore.

10 DR. GIUDICE: Dr. Lipshultz?

11 DR. LIPSHULTZ: It's really not a question
12 for you because, I mean. I am coming from a
13 specialty where we don't have such a long delay in
14 waiting for an outcome. But if you are a company
15 developing a drug and your outcome is nine months
16 after you start giving the medicine in question,
17 the drug in question, let alone the start-up time
18 and getting your patients enrolled, what are you
19 looking at, realistically, until you get
20 something--data together that is going to be
21 significant enough to present and, in the meantime,
22 science is changing and you are taking three years
23 to do a study, with the numbers you talked about.

24 I mean, if you just use live birth as an
25 endpoint, I am questioning just how long such a

1 study would have to take.

2 DR. EMERSON: In a time-to-event analysis,
3 you actually measure the amount of data you get by
4 the number of events. So the question is how long
5 do you have to go until you have so many events,
6 and you power it according to that. Of course,
7 cancer in clinical trials routinely takes years and
8 years and years. So this isn't anything that is
9 out of the ordinary in the experience of clinical
10 research.

11 DR. KEEFE: Can I respond to that one?

12 DR. GIUDICE: Yes; Dr. Keefe?

13 DR. KEEFE: There is a real practical
14 issue as well. People disappear. They got their
15 babies; like, sayonara, they are out of there. I
16 mean, the person who is treating for infertility is
17 not the one who is going to deliver the baby. So
18 it is really hard just to find these people. They
19 move. They go to different places. They deliver
20 at another hospital. So you can't get the data,
21 even in a really carefully controlled study.

22 One other point, though, about using the
23 egg number or follicle number, there are precedents
24 in which you get more eggs but there is a worse
25 outcome. Klaus Dietrich, for example, just looking

1 at a subset, those with lower response, has shown
2 that the flare, the old-fashioned flare, actually
3 gives you more eggs. It gives you higher estradiol
4 response, but a lower pregnancy rate than some of
5 the other methods.

6 So it is a little tricky just to look at
7 egg and follicle number. So I would say clinical
8 pregnancy is kind of a middle ground.

9 DR. GIUDICE: Dr. Hager?

10 DR. HAGER: I understand the
11 pharmaceutical perspective, the research
12 perspective, of power and design in trying to get
13 as many positives, if you will, regarding
14 follicular development, regarding oocytes. At the
15 same time, my patient population, they are not
16 interested in a chemical pregnancy test. Their
17 perspective is that they want to get pregnant.

18 At the same time, given my understanding
19 that if we have a gestational sac with fetal heart
20 motion, there is a 95 percent chance of carrying
21 that pregnancy. Then, I think that gives that
22 patient the same odds as a patient who conceives
23 outside of an assisted-reproductive-technology
24 situation.

25 So my perspective is that my endpoint

1 would be fetal heart motion. I realize that we
2 would all like to have a live baby but I don't
3 think that is the endpoint that I would like to see
4 with these medications. I would like to take it to
5 fetal heart motion.

6 DR. GIUDICE: Dr. Layman and then Dr.
7 Brzyski.

8 DR. LAYMAN: I think, to me, it is
9 different depending upon the implication. Like,
10 for ovulation induction, for Class 1 patients
11 versus Class 2 versus using for ART, for ART, it
12 seems more clear to me that it should clearly be
13 pregnancy. But, at the opposite extreme, I think
14 Class 1, I think it is not practical to use
15 pregnancy as the endpoint. You will never get
16 enough patients to do it.

17 So I think you have to sort of bear in
18 mind how common it is. I think Class 2 is a
19 tougher one. It is sort of in between and I don't
20 view all three of those the same.

21 DR. GIUDICE: Dr. Brzyski.

22 DR. BRZYSKI: I think I brought this up
23 earlier but especially in the PCO population, there
24 is a real problem with miscarriage rate and also in
25 infertile populations, not only in advanced but

1 just the infertile puts you at increased risk of
2 miscarriage even after the documentation of cardiac
3 motion on ultrasound.

4 So I think you do lose something, and you
5 can imagine an intervention that--I mean, there is
6 some scientific evidence now for an off-label use
7 of a ovulation-induction medicine that is used to
8 induce ovulation in PCOS patients. One of the
9 attractions to that is, because of the lower
10 miscarriage rate in PCOS patients. So that becomes
11 an important endpoint that you might lose if you
12 focus on cardiac motion or positive pregnancy test.

13 DR. GIUDICE: That does bring us into
14 Question 8, but I think Dr. Rice had a comment.

15 DR. RICE: I was just going to say, I
16 agree with Dr. Layman. I think it really does
17 depend on the type of patient you are looking at.
18 If I have an anovulatory patient, then I may have a
19 trial that really just looks at getting that
20 patient to ovulate as the endpoint. In that
21 patient population, that would be adequate because
22 of what the patient came to the table with.

23 But when you are looking at ART patients,
24 I think that it should be fetal-cardiac activity on
25 a ultrasound because, again, as Dr. Hager was

1 saying, there is 95 percent chance of success once
2 you have that.

3 I also would say to Dr. Keefe, I can bet
4 you, and I don't think I am wrong about this, that
5 most of the pharmaceutical companies know whether
6 or not those women that had a positive pregnancy
7 test delivered that baby. They track that
8 information. I would be surprised that they didn't
9 track some of that information.

10 The FDA sort of alluded to it earlier,
11 that they know they report some of that
12 information. At least, there is some information
13 regarding it; is that correct? So I would be
14 surprised that they don't know some of the outcomes
15 of that data. So I think that data is obtainable.

16 DR. GIUDICE: That I think we can get to
17 in Question 13. Let's move on. With regard to the
18 pregnancy or the outcome, we have already
19 discussed, not really follicular development rate
20 but follicle development. As you can see from
21 Question 8, the issue is, if you can't power to
22 demonstrate differences in live birth, or ongoing
23 pregnancy rate, then discuss the clinical relevance
24 of these surrogate markers. Dr. Crockett?

25 DR. CROCKETT: I just have a question

1 concerning our discussion of these endpoints. In
2 my mind, it seems like it would be easier to
3 separate out what we look at as endpoints for
4 efficacy versus endpoints that I would consider for
5 safety considerations.

6 For instance, for efficacy of an ovulation
7 drug, I think follicular development is an
8 acceptable endpoint. But I might want to know more
9 about that drug such as does it cause teratogenesis
10 of some kind. I am not aware of that being a
11 problem with any of our current medications, but it
12 is conceivable that, in the future, there could be
13 drugs that do that.

14 So it would probably be helpful for us,
15 from a safety standpoint, to follow out those
16 patients to a pregnancy or even beyond pregnancy
17 endpoint since we know that genetic factors can
18 carry even beyond birth. So I just wanted to raise
19 that question.

20 DR. GIUDICE: Thank you. Other comments?
21 Going through the list here, I think we have heard,
22 with anovulatory patients, that follicular
23 development--we haven't really defined follicular
24 development, whether we mean follicles of a given
25 size or estradiols over a given level or

1 endometrium over a given thickness. Would someone
2 like to make some comments about that? Or should
3 we include those as possibilities for
4 recommendations as we advise the FDA? Okay.

5 DR. KEEFE: In each of these, it seems
6 that, as you push back further, it makes it easier
7 to do and you need less power, but it always raises
8 the question of is there a downside to the
9 treatment later in development.

10 So, just to balance these--some, for
11 example, have argued that for ovulation induction,
12 all we need to do is show that they have ovulated.
13 But there are many ways to make people ovulate that
14 almost guarantee there would be a miscarriage. You
15 could drive the LH level so high that they may
16 ovulate but it is not going to develop.

17 So I would say that, in general, for most
18 of these things, to try to paint with broad
19 strokes, would be that these are useful indicators
20 of efficacy unless there is some scientific
21 evidence to suggest a detrimental impact at later
22 stages of development. So that would open the door
23 to concerns of--for example, we had an intervention
24 that drove up LH levels too high--that maybe, in
25 that, there might be a little bit more concern

1 about showing more than just ovulation, ovulation
2 with development of fetal heart, and then, if there
3 is some evidence of a treatment causing higher
4 rates of miscarriage after fetal heart, that
5 efficacy should be determined at a later stage of
6 development.

7 DR. GIUDICE: Okay. Yes; Dr. Emmi?

8 DR. EMMI: I remember reading a study a
9 couple of years ago that showed that it wasn't just
10 fetal heart. It was when, in the first trimester,
11 fetal heart was obtained, whether the pregnancy
12 would continue to go on. And that was based on
13 age. The older patients, if they didn't have the
14 fetal heart at about eight to ten weeks, had more
15 chance of a miscarriage.

16 So I don't think that you can just go
17 clinical pregnancy. I think you have to pick a
18 parameter. If you are going to pick clinical
19 pregnancy, you have to pick a time in the first
20 trimester for that ultrasound to be performed to
21 establish it.

22 DR. GIUDICE: Dr. Emerson?

23 DR. EMERSON: I have already stated that I
24 sort of wouldn't go beyond the presence of the
25 gestational sac, personally, and I would prefer not

1 stopping before fetal heartbeat. I do want to
2 point out that if your whole goal is that you think
3 that they can't get a sample size large enough to
4 detect this, you are also guaranteeing absolutely
5 that you won't have a large enough sample size to
6 be able to detect a meaningful rate of fetal
7 abnormality or miscarriage because if you don't
8 even have a large enough sample size to observe
9 that you have got a difference in pregnancies, by
10 the time you have reduced that sample size, you
11 have got a very small sample size of pregnancies to
12 work for.

13 Seeing zero events, zero events, your
14 confidence bound on a bad event rate is roughly 3
15 over n. The space shuttle went off 24 times
16 without a catastrophe and the next one blew up and
17 we now know that that rate is actually far higher
18 than you might have thought with just 24 no
19 failures.

20 So we are making a decision truly as you
21 want to power this down for the clinical endpoints
22 of interest is also we won't be able to detect
23 meaningful adverse-event rates.

24 DR. GIUDICE: Dr. Rice?

25 DR. RICE: I can't see why we would think

1 we would not be able to enroll enough patients in,
2 say, a trial because if you look at, just from the
3 Follistim data she showed, what was it, under a
4 thousand patients in those two arm, to detect a
5 difference. We had approximately 160,000 people
6 maybe last year who used--maybe 100,000 who used
7 gonadotropins, 40 percent of that for IVF, the
8 other percent just with ovulation induction.

9 So you are talking about 100,000 people in
10 a given year and we can't come up with 2,000 to
11 detect a difference in a product? That would seem
12 unreasonable. I can't imagine that.

13 DR. GIUDICE: That may be true for
14 pregnancy, for infertility, but if you get the
15 Kallmann's patient, for instance, there you
16 probably will not--I think what Dr. Layman was
17 referring to was the WHO Type 1 and even subsets
18 within that where getting enough patients for power
19 is nearly impossible with a few thousand worldwide.

20 DR. RICE: Clearly, there are going to be
21 some limitations when we get to specific disorders
22 like that. And we have all seen, in some of those
23 studies, you change your endpoint because you
24 recognize that the disease is so rare that you
25 could not potentially use pregnancy as the outcome.

1 But if we were looking at infertility, and
2 I would even venture to say we were just looking at
3 "anovulation," the anovulatory patient, that there
4 may potentially still be the opportunity with the
5 incidence we see the disease process in to enroll
6 enough patients.

7 DR. GIUDICE: I would like to ask the
8 committee, in terms of pregnancy rate for the list
9 here, what the consensus is in terms of
10 recommendation to the FDA, if pregnancy is going to
11 be an outcome. Is it positive beta-hCG? Is it a
12 sac on ultrasound? Is it a sac with a heartbeat?
13 Is it a sac with a heartbeat at six weeks or eight
14 weeks?

15 Are we going to give a definitive
16 recommendation or leave the list and have that open
17 for interpretation and discussion at the FDA by the
18 FDA? Dr. Keefe?

19 DR. KEEFE: I would advocate, as was
20 argued very persuasively, that a fetal heart is a
21 big quantum leap, once you have the fetal heart
22 detectable conventionally around six weeks. So I
23 would vote for the fetal heart. You know, on
24 balance, it is practical, doable, and it is a big
25 hurdle that the embryo has overcome.

1 DR. GIUDICE: Dr. Hager?

2 DR. HAGER: I think the other advantage is
3 that it is a reproducible event to measure.

4 DR. KEEFE: It is under the control of the
5 clinic that is doing the study.

6 DR. GIUDICE: Can we take a vote on this
7 one? Okay. Who is in favor in the definition of
8 pregnancy as the outcome for sac and fetus with a
9 heartbeat. Anyone opposed? Dr. Stanford.

10 DR. STANFORD: I would think that you
11 could set it as a minimum standard. That is what I
12 would be comfortable with. I think, in some cases,
13 where there may be safety concerns that go beyond
14 that, you would want to allow for having a higher
15 standard in some cases where there is a reason to
16 do so.

17 But, if you state it as a minimum
18 standard, I think I would be happy with that.

19 DR. GIUDICE: Okay. Yes; Dr. Hager?

20 DR. HAGER: Just a comment. I would think
21 that there would be a strong emphasis for follow up
22 and tracking, et cetera. I think we have already
23 conveyed that. So this would be a part of that is
24 what is the ultimate outcome and, also, I would
25 hope that we would encourage follow up regarding

1 anomalies and aneuploidy, et cetera.

2 DR. GIUDICE: Thank you. Let's move on to
3 Questions 9 and 10 regarding intention to treat.
4 So the first one; is an intent-to-treat analysis
5 appropriate for ovulation induction and, if not,
6 should cycles be analyzed per patient given hCG?
7 Any comments on that?

8 We have heard Dr. Emerson. Perhaps he
9 would like to restate his statement.

10 DR. EMERSON: You know, randomization--you
11 are only protected by randomization if you do
12 intent-to-treat. Otherwise, you are not.

13 DR. GIUDICE: Yes. Dr. Lewis?

14 DR. LEWIS: I think you have to use
15 intent-to-treat for both ovulation induction and
16 ART because also cancellation rates are very
17 important and, if a given drug is associated with a
18 higher cancellation rate for whatever reason, you
19 want to know about it. So I think the other
20 endpoints are really not so meaningful, or the
21 other denominators are not so meaningful, I should
22 say.

23 DR. GIUDICE: Yes; Dr. Toner?

24 DR. TONER: I would concur. I would just
25 argue, hopefully, for flexibility in the

1 stimulation arm because, if you constrain every
2 patient to get the same dose, and you are under on
3 some and over on some, you are going to get hardly
4 any to the finish line. And you will look like you
5 didn't do well, but it is because you had your
6 hands tied behind your back.

7 So I think that was the problem with some
8 protocols that have been done to date and would
9 need to be changed.

10 DR. GIUDICE: There seems to be pretty
11 good consensus among the group about
12 intent-to-treat. Going on to No. 11, to discuss
13 safety endpoints that should be evaluated. Dr.
14 Stanford?

15 DR. STANFORD: I will just say, again, I
16 think multiple gestation definitely ought to be on
17 the list. Certainly, there are others that we have
18 talked about, ovarian-hyperstimulation syndrome,
19 obviously ought to be on the list.

20 DR. GIUDICE: Others? Yes; Dr. Crockett?

21 DR. CROCKETT: I have a lot of concern
22 about this. I, in particular, am concerned about
23 the risk to the mother being given these drugs or
24 hyperstimulation, not just the immediate effects of
25 the ovarian-hyperstimulation syndrome but are there

1 oncogenic effects further down her lifetime. So,
2 while it may not be a factor that we need in order
3 to make a decision about certifying a drug for use,
4 it may be important to us, as an agency, to know
5 how those patients, both the maternal side and the
6 fetal side, progress 20, 30, 40, 50 years out.

7 And we ought to consider having a registry
8 of adverse events just like we do for many other
9 drugs, far out.

10 DR. GIUDICE: Thank you. Any other
11 comments? Dr. Hager.

12 DR. HAGER: Bringing up the clomiphene
13 situation long-term, I think, as Dr. Crockett has
14 said, there needs to be a definite registry not
15 only for immediate disease but also the long-term
16 oncologic effects. I would hope that would be
17 designed into all of these trials so that we not
18 only follow the infants but we also follow the
19 moms.

20 DR. GIUDICE: I think you both make very
21 important points and I think one of the major
22 questions is--actually, there were several
23 questions--who would run and have control over a
24 central registry. Would it be one individual
25 pharmaceutical company? Would it be the FDA?

1 Would it be the CDC? Would it be SART? Would it
2 be ASRM?

3 Complementary to that is the whole issue
4 of cost, who would fund the registry. These are
5 issues that I don't know that our committee can
6 solve, but I think, and this certainly bears very
7 much on Question No. 13--but this is something that
8 I think we need to at least discuss in a little bit
9 more detail because I think we are all aware of
10 these issues but, perhaps, we can be of some help
11 to the FDA in making some recommendations.

12 Dr. Lipshultz?

13 DR. LIPSHULTZ: Just a comment because I
14 randomized up against this recently. Apparently,
15 as physicians, we all have the duty to report to
16 the pharmaceutical company any adverse event we
17 find in a patient, no matter what they are taking,
18 related to that drug. I just don't think we, as
19 physicians, do that.

20 We find things. We have patients who
21 develop malignancies and we think it might be
22 related to something, and we never let the company
23 know because we are not part of a study. I mean,
24 these people, after they go through IVF are no
25 longer going to be part of a study, or part of a

1 group. They are going to move on with their lives
2 but they will all have doctors.

3 So I don't know whether it is anybody's,
4 any agency's or any subgroup's responsibility, as
5 it is the medical community's responsibility to
6 make sure that all adverse events are reported to
7 the pharmaceutical companies if you think there is
8 a connection.

9 DR. GIUDICE: Dr. Crockett and then Dr.
10 Keefe.

11 DR. CROCKETT: I think this is a
12 public-health problem. I don't think this is a
13 pharmaceutical-industry thing. Frankly, no
14 disrespect to our pharmaceutical people that are in
15 the audience, but I don't trust the drug companies
16 to take the whole public interest to heart. I
17 think that is why we have agencies like the CDC
18 that track other public-disease problems.

19 I would suggest that should become part of
20 our responsibility in that regard.

21 DR. GIUDICE: Okay.

22 DR. KEEFE: I agree. If you really want
23 it to work, you don't go to the pharmaceutical
24 companies. You don't depend on doctors. You put
25 it out there, maybe foundations, CDC, a number of

1 sort of public-interest groups, and the patients
2 will help us because they will start calling, they
3 will start reporting. They will say they have a
4 friend who had this or that, and all the chat
5 rooms. That is how to make it work. Then you
6 really have data.

7 There are registries available. The
8 Australians have a registry. They have published
9 extensively on long-term outcomes and they are not
10 finding a lot. But they are looking more at
11 cancer, I think the developmental anomalies,
12 aneuploidies, other issues regarding the offspring
13 and potentially other issues regarding the
14 patients.

15 But I think it has to be outside everyone
16 and of its own domain funded externally. Then it
17 will work.

18 DR. GIUDICE: Dr. Dickey, you had a
19 comment? And then Dr. Hager?

20 DR. DICKEY: Judging from some of the
21 other things that we fund externally to keep
22 registries, that doesn't work so well either. They
23 are dependent on state funding which comes and
24 goes. But I do think the whole conflict of
25 interest thing that we are so attuned to here

1 suggests, in fact, that it would probably be better
2 if it came from some centralized entity as opposed
3 to expecting the pharmaceutical industry to track
4 that.

5 I don't have a great deal of faith that
6 either doctors or patients, in fact, do a very good
7 job long after the fact of trying to correlate back
8 what happened. So I think you have to have a
9 little more specific guidance out there of who you
10 need to report to you and what kinds of things you
11 want reported and, perhaps, then chat rooms and
12 things can do it.

13 But very few of us, in fact, correlate
14 something back to something that happened twenty
15 years ago or thirty years ago. And I am not sure
16 how you get somebody to fund it.

17 DR. GIUDICE: Dr. Hager?

18 DR. HAGER: There is precedent. When
19 metronidazole was approved by the FDA as an
20 antianaerobic agent, there was some rodent data at
21 the time that indicated the potential for some
22 problems. I was at the CDC. We began a registry
23 at that time looking at deleterious effects in
24 newborn infants.

25 As you know, we have discontinued that

1 registry because there are none. But, there is
2 precedent for cooperation between the two agencies
3 to develop a registry and to follow that, and it is
4 a public-health measure.

5 DR. GIUDICE: Dr. Macones, Dr. Lewis and
6 Dr. Brzyski.

7 DR. MACONES: I agree that I really see
8 this as a domain of the pharmaceutical company to
9 put together a registry for this. I think I could
10 see this discussion happening with the NIH coming
11 up with, developing a long-term follow up for a
12 cohort of people who were exposed to ART drugs. I
13 think there is some precedent for a very successful
14 long-term follow-up physicians health study, nurses
15 health study, that have been remarkable.

16 I just can't see how a 40-year follow-up
17 study is going to be the responsibility of a
18 pharmaceutical company. I think this needs to be
19 independently funded and I think the NIH would be
20 very receptive to something like this.

21 DR. GIUDICE: Dr. Lewis?

22 DR. LEWIS: A registry could provide
23 enormously helpful information. I think you have
24 to be very careful about privacy concerns of
25 patients, particularly in this day and age with

1 HIPAA regulations. So it would have to be,
2 obviously, voluntary. I don't think it is
3 realistic to think the pharmaceutical industry is
4 going to pay for it.

5 One thing about patients recollections, it
6 has been shown quite clearly that if a patient
7 develops a disease, they recall very clearly every
8 single thing they ever took. So recall bias could
9 be quite a problem so you really do have to think
10 about doing it prospectively. But it is very
11 expensive and there are a lot of obstacles in the
12 way.

13 DR. GIUDICE: Dr. Brzyski?

14 DR. BRZYSKI: One point I would like to
15 raise is the issue that we really don't have good
16 information about complications, first of all, even
17 in the general population. There is a quote--I
18 tried to track down a reference for the commonly
19 cited rate of 2 to 4 percent for severe birth
20 defects. I can't find it. It is on the CDC
21 website, but there is no citation for, like, where
22 that rate comes from.

23 If you look--well, anyway. And then if
24 you look at infertile individuals who may have
25 other predispositions, genetically or

1 epigenetically, to have complications or problems
2 down the line, really, we have no information on
3 that population compared to, say, the ART
4 population where there is concern about, for
5 instance, birth defects in the children.

6 So when we look at a registry, if all we
7 have is a registry of people exposed to fertility
8 medications, I am not sure how to put that in
9 perspective in terms of the general population or
10 in terms of infertile individuals who were not
11 exposed to fertility medications. So now you are
12 doubling or tripling your effort to collect the
13 data on those other populations so you can make
14 some comparison about, well, is the rate of 2 per
15 10,000, is that bad or good compared to other
16 individuals.

17 DR. GIUDICE: Thank you. Another question
18 also is what type of information would be collected
19 for this type of registry. I think, certainly, now
20 that there are potentially three populations to
21 collect information on. This does bring up even
22 yet again a larger database but I would like to
23 hear--perhaps we can discuss, as a group, some
24 potential entries into this hypothetical database.
25 Dr. Crockett?

1 DR. CROCKETT: Well, let's start at the
2 lowest end which would be adverse events during the
3 pregnancy that you wouldn't have otherwise
4 expected. So I would want to know fetal anomalies
5 identified by ultrasound and a fetal-loss rate
6 beyond the first trimester, perhaps.

7 Beyond that, after birth, then tracking
8 out the infant or the baby, I would want to know
9 about specific diseases, particularly oncogenesis,
10 learning disability, diseases which we are
11 concerned about now with other drugs that we are
12 introducing into our pregnancy women. From the
13 maternal side, I have already mentioned,
14 oncogenesis, also.

15 DR. GIUDICE: Thank you. Dr. Toner?

16 DR. TONER: I was just going to mention,
17 kind of following up with what Bob had said, that
18 every state in the country has its own rules about
19 how to do birth-defect reporting. There is no
20 consensus about what is in the list, what is off
21 the list, who collects it, when it is observed. So
22 that is partly why Dr. Brzyski can't find a
23 reference, because it is done a hundred different
24 ways.

25 Probably the most simple thing to do would

1 be to go into a state that already does it across
2 the board and then parse them up by, are these IVF
3 babies or are these not so you don't focus undue
4 scrutiny on the IVFs and don't apply that same
5 scrutiny to everybody else.

6 As I understood the CDC, they were trying
7 to get such a thing to happen in Massachusetts
8 where there is potential for linkage. But in most
9 states, because of privacy concerns, you can't know
10 who the baby is, who the mom was and who got
11 treated. So it is going to be very tough, I think,
12 except in a state potentially like Massachusetts.

13 DR. GIUDICE: Dr. Keefe?

14 DR. KEEFE: The Dutch have done that, of
15 course, published. They looked at one particular
16 outcome which is adverse neurological sequelae and
17 found huge increases. Those were in group homes
18 where the most severely impaired live. And so
19 there is much less detection bias.

20 It is true there are problems, but there
21 is such precedence for it being such a large impact
22 and the vast majority of the abnormalities, by the
23 way, were linked to multiple births and
24 prematurity, as you would expect.

25 So now it looks like I think 2 percent of

1 all births in Sweden come from IVF babies. It is
2 going to grow in the United States. I think we
3 should start planning now. I think it has got to
4 be done. I mean, look at DES, diethylstilbesterol.
5 This was the previous generation's new technology.
6 It was some well-meaning, brilliant Harvard
7 professors of biochemistry and GYN who had this
8 tremendous theory of the value of estrogen in early
9 pregnancy which made a lot of sense then but, in
10 retrospect, is laughable, how naive it was and led
11 to some really adverse outcomes.

12 So I think it is way too big and way too
13 important to say it can't be done. It is just a
14 question of how, really.

15 DR. GIUDICE: But the specific question
16 from the FDA was whether the pharmaceutical
17 companies would need to be responsible for these
18 registries. What I think I have heard from the
19 group is that this is way bigger than any one
20 pharmaceutical company. Dr. Rice and then Dr.
21 Stanford.

22 DR. RICE: I agree with Dr. Keefe. I
23 think just because it is hard doesn't mean that we
24 shouldn't do it. I think we need to do it. I
25 think it is going to be valuable information that

1 is going to find invaluable as we look at long-term
2 outcomes. And I do think it is bigger than the
3 pharmaceutical companies. So I don't know if I
4 would put, per se, the burden on them to do it.

5 I don't know where the burden, per se,
6 falls but I think it definitely needs to be done
7 because we are treating too many women at this--we
8 are treating so many women at this point that, at
9 some point, we are going to get to a point where we
10 can actually detect some differences, and those
11 differences may be very significant in looking at
12 not only fetal outcomes but maternal outcomes,
13 also.

14 DR. GIUDICE: Dr. Stanford?

15 DR. STANFORD: Just a comment. It is
16 probably stating the obvious but maybe just a
17 comment that the FDA would welcome the assistance
18 or cooperation of the drug companies in these
19 efforts as opposed to you should do this, or this
20 has to be done somehow, and stating that that is a
21 desirably--obviously, that doesn't have any teeth,
22 regulatory teeth, but just as a statement of policy
23 that they would appreciate cooperation or
24 assistance in terms of tracking people from trials
25 or whatever that may come up.

1 DR. GIUDICE: Dr. Rice?

2 DR. RICE: And we are talking about a
3 registry for ovulation-induction patients and ART
4 patients because we get caught up in the ART part
5 of it but the ovulation induction is mostly what we
6 see. Our gonadotropins are usually patients who
7 use insemination or time to intercourse, et cetera,
8 and that. So we want to make sure that we are
9 talking about areas.

10 DR. GIUDICE: Yes; I am glad you clarified
11 that. Thank you. Dr. Brzyski?

12 DR. BRZYSKI: One comment, one
13 recommendation, I would have is I hear from my
14 colleagues that there is concern, and we have
15 talked about it here, concern about privacy. I
16 mentioned, myself, the stigmatization of offspring.
17 There are, perhaps, educational efforts that need
18 to be made in the patient populations and that
19 would be the efforts that professional societies
20 could make, patient-advocacy organizations could
21 make. Even the FDA might be able to inform the
22 public regarding the relevance of--you know, if a
23 registry is established, the importance of
24 participating in that and educating patients and
25 families and physicians about the public-health

1 impact of that registry.

2 DR. GIUDICE: Thank you. Yes; Dr.
3 Crockett?

4 DR. CROCKETT: I just wanted to add one
5 more thing to that. A registry is really helpful
6 but the other part of this is informing the patient
7 about what knowledge is available concerning the
8 medications that they are taking and what knowledge
9 is not yet available.

10 For instance, on the product labeling,
11 there probably should be a statement that makes the
12 patient aware of the safety data and efficacy data
13 that has been looked at and the terms of those
14 studies and the limits of those studies. If we had
15 done that with hormone-replacement therapy twenty
16 years ago, then we may have had a little less
17 trouble dealing with the questions of that whole
18 breast-cancer issue.

19 I think people, when the FDA says
20 something is approved, they have the assumption
21 that it is safe and it is efficacious for the nth
22 degree. If we are establishing safety for a short
23 period of time that we are looking at, it needs to
24 be made clear in the literature to the patients.

25 DR. GIUDICE: That is a very good point.

1 Dr. Rice?

2 DR. RICE: I think we ought to be very
3 careful about that because we can only use the
4 information that we have currently to make those
5 limited statements on safety. So we don't want to
6 be using level 2 or 3 evidence to say what safety
7 is. So you want to be using--you want to be very
8 careful about that because, when you used the
9 hormone therapy as an example, based on when the
10 information was presented to the FDA, when they
11 wrote those guidelines, that was the best
12 information they probably had then.

13 It changes over time. So I think that is
14 the key thing. You ought to keep the flexibility
15 so that you can change it as more--as the best
16 evidence becomes available.

17 DR. CROCKETT: So that again. That is
18 exactly right.

19 DR. RICE: I don't know how I said it.

20 DR. CROCKETT: The patient needs to know
21 that, though.

22 DR. RICE: Right. The patient clearly
23 needs to know this.

24 DR. CROCKETT: That this is based on our
25 best information right now and that it may change

1 over time.

2 DR. RICE: But I think there are
3 different--there is different quality of evidence.
4 If that was the case, then, we could take some
5 studies now and put a potential oncogenic risk to
6 taking infertility drugs. But many of us would say
7 that that evidence is not based on anything
8 prospective. And so you wouldn't want to put that
9 on the label to unduly scare the patient at this
10 point.

11 DR. CROCKETT: You misunderstood what I
12 said. Let me clarify.

13 DR. RICE: Okay.

14 DR. CROCKETT: I didn't want to say
15 specifically that there may be a risk of X, Y and Z
16 that has not been discovered yet. I mean merely
17 that we would tell the patient what has been
18 discovered and what the time frame is of that
19 discovery and that there may be, in a general
20 way--like you previously stated, there may be risks
21 in the future that are undisclosed at the time that
22 the drug was approved.

23 DR. RICE: But then you have to ask the
24 question which risk. You know, that is the thing,
25 which risk. There are several possible risks that

1 could be there.

2 DR. CROCKETT: There are a million
3 possible risks.

4 DR. RICE: So your possible risks needs to
5 be based on some good evidence.

6 DR. CROCKETT: No. There are a million
7 possible risks that we take with anything that we
8 take into our bodies, but the patients, when they
9 see something from the FDA that says it is
10 approved, the patient and the public think that
11 that means it is safe forever and ever. And we
12 ought to be able to tell them, no, we have looked
13 at the safety and efficacy regarding these things
14 in this short period of time, and there may be
15 things that we don't know about yet.

16 We don't have to list what those things
17 may be but we need to at least disclose the
18 limitations to what we know.

19 DR. GIUDICE: I think it is an obligation
20 of clinicians who are prescribing medications to
21 inform patients about risks and benefits and also
22 to give them the package insert so that they read
23 all of the information. But I think what you are
24 getting at is, in the package insert, some
25 statement should be made, perhaps more clearly,

1 that there are limitations to the evidence.

2 DR. CROCKETT: Yes. The whole reason I
3 thought to bring this up is because, if we are
4 talking about a registry, it would be helpful for
5 the patient, when they get that medicine, to know
6 that, if they have an adverse effect that was not
7 discovered at the time the drug was approved, that
8 they need to report it to somebody.

9 DR. GIUDICE: Yes. Thank you. We have
10 one more question to do and that is No. 12. Oh;
11 there was part of 13. At what point should a
12 registry be terminated. I think, perhaps, Dr.
13 Hager described quite well the CDC experience that
14 if there is no difference, then why continue to
15 have a registry and spend the money, et cetera.
16 Unless others have additional comments on that.

17 Okay. It states here that drug
18 manufacturers conducting studies for female
19 infertility currently obtain the following
20 indications; induction of ovulation in pregnancy
21 and multiple follicle development in ART. Please
22 comment on the appropriateness of these indications
23 given your discussions of endpoints and analyses.

24 I would like some clarification on exactly
25 what the question is. Are you asking us to comment

1 on other potential studies or are these the only
2 indications that should be sought?

3 DR. SLAUGHTER: These are the current
4 label indications that are either induction of
5 ovulation or induction of ovulation and pregnancy
6 or multiple follicular development and ART. Would
7 you comment on whether or not you would modify,
8 given all the discussions that you have had on the
9 endpoints, et cetera.

10 DR. GIUDICE: Dr. Emerson?

11 DR. EMERSON: I'm in favor of an
12 indication that indicates it is pregnancy when that
13 is the endpoint. So the only way that I could
14 imagine that the multiple follicular development
15 and ART indication should go forward is at the
16 point that we did develop the technology for
17 cryopreservation of oocytes. Well, then, that
18 would become the endpoint in itself. But I would
19 imagine that should happen when we also feel more
20 comfortable that that is the ultimate goal.

21 So I would want to add pregnancy on the
22 follicular development and I would like to make it
23 that it is induction of ovulation and pregnancy not
24 just induction of ovulation.

25 DR. GIUDICE: Dr. Stanford?

1 DR. STANFORD: I would support that. It
2 seems to me what we are basically saying is that
3 some drugs have been approved for ovulation
4 induction and pregnancy outside the IVF setting and
5 then other drugs in the IVF setting have been
6 approved, or sometimes the same drugs, simply for
7 follicular development.

8 It seems like make it the same standard.
9 Make it pregnancy across the board is what we are
10 saying, it seems to me. Now, I would also say,
11 though, that if we talk about some potential
12 exceptions to that, I am still--if there is some
13 kind of rare condition where there are not enough
14 numbers to do that, I think that may be another
15 discussion. But I think whenever you have got the
16 potential--I agree that the basic gold standard
17 should be the same across the two types of therapy.

18 DR. GIUDICE: Other discussion? There may
19 be rare instances where individuals may have
20 ovulation induction and even oocytes retrieval but
21 then elect not to have embryos transferred for the
22 purpose of establishing a pregnancy such as an
23 ongoing malignancy.

24 But, again, these are very, very rare. So
25 I don't know how that would be handled. I guess

1 one can certain prescribe medications off-label or
2 at least not for these indications but the issue is
3 really for the study. Yes; Dr. Emerson?

4 DR. EMERSON: But in that instance,
5 wouldn't they be doing this in order to have a
6 later pregnancy? The indication why they were
7 doing this for in the case of the cancer therapy
8 was to protect the idea that they might later want
9 a pregnancy.

10 DR. GIUDICE: Yes; but for a
11 pharmaceutical company, if the endpoint is
12 pregnancy and your patient population is a cancer
13 population, that may be a very long time before you
14 end up with an outcome.

15 DR. EMERSON: So I guess I am saying that
16 I wouldn't imagine them running a clinical trial
17 specific to cancer patients just prior to
18 chemotherapy. But, once you are confronted with
19 facing chemotherapy, it seems quite reasonable to
20 pursue something that would preserve your
21 childbearing potential and, if there was a drug
22 that did that, we have got that indication.

23 DR. GIUDICE: Other comments? Dr. Keefe?

24 DR. KEEFE: If we added that to the
25 indication, doesn't that sort of lock in pregnancy

1 as the only outcome that would be permitted in
2 terms of the acceptability of a new drug? It seems
3 to me that we just had that discussion earlier and
4 there was a little bit of debate about that. So I
5 am just a little bit concerned that that goes into
6 the indication.

7 We have a chemotherapy drug and we are
8 saying that life is the outcome. You might be able
9 to cure a cancer, but these are in 90-year-old
10 patients. It seems that multiple follicular--it is
11 very clearly connected to the outcome. I am sort
12 of voting for flexibility in that and I am just
13 afraid that we may lose flexibility if we put it
14 into the indication.

15 DR. SHAMES: Some companies could elect to
16 have pregnancy the outcome and some people could
17 elect to have follicular development. I mean--

18 DR. RICE: But if you were a company,
19 which one would you select?

20 DR. SHAMES: Depending on how the trial is
21 run, you need to match the trial to the indication.
22 To use your oncology analogy, we don't expect
23 everybody to get pregnant. It is a proportion of
24 people that get pregnant. Generally, we try to
25 match the indication to the clinical trial and what

1 is important. If pregnancy is important, that
2 would be the endpoint in a particular trial and
3 that would be the indication they got.

4 It is possible, under certain
5 circumstances, for some unusual circumstances, that
6 there would be others and that would be the
7 indication that they would get.

8 DR. GIUDICE: Dr. Toner and then Dr.
9 Slaughter.

10 DR. SLAUGHTER: I guess the only comment I
11 would have is that, as we talked about, should the
12 science advance to the point that we have a
13 surrogate that we really do think is a predictor.
14 It is possible to modify the guidance document at
15 that time.

16 DR. GIUDICE: Thank you. Dr. Toner, you
17 had a comment?

18 DR. TONER: I was wondering whether sort
19 of a hybrid indication such as follicle development
20 for pregnancy might better capture what the
21 gonadotropin part of this process is about. And to
22 say pregnancy would apply for any of the components
23 in the whole process that would pertain to the
24 progesterone we are using or the hCG we are using.
25 Why are we using it? For pregnancy. So maybe we

1 want to be a little more specific than pregnancy
2 undefined.

3 DR. GIUDICE: Dr. Rice?

4 DR. RICE: I guess I am confused. Didn't
5 we discuss this already? I mean, what did we vote
6 on? I guess I am confused about why we are
7 backtracking here back down this because I thought
8 that the whole--when everybody was polled, we were
9 saying fetal cardiac activity, et cetera.

10 DR. KEEFE: Since I am the one who brought
11 it up, let me explain why.

12 DR. RICE: Okay.

13 DR. KEEFE: I think once you put it in--it
14 is a different level. It is a different burden.
15 It is one thing to recommend that it be used as the
16 outcome. It is another to put it as an indication.
17 To me, it is another level of--another burden that
18 you are incurring. That is why I brought it up.
19 Not so much that we hadn't discussed it. I think we
20 did almost ad nauseam. But the question is do you
21 want to kick it up to this next level where it
22 actually enters the indication.

23 I agree with Jim. If you say "for"
24 pregnancy instead of "and" pregnancy, that one word
25 makes it so that you have the wiggle room that you

1 need to keep it an open shop and, at the same time,
2 reasonable. That's all.

3 DR. RICE: I think you are leaving a lot
4 of flexibility because if I was a pharmaceutical
5 company and I had the option, I would go for just
6 the follicular development and "for pregnancy"
7 sounds like my secondary endpoint which I am
8 already looking at.

9 So I guess I don't distinguish between the
10 two. I mean, either you are going to look at
11 pregnancy as your outcome by some measure or you
12 are going to continue to look at follicular
13 development. If we are going to continue to look
14 at follicular development, are we really going to
15 be ever distinguish between Product A versus
16 Product B versus anything else that comes on the
17 market if they only have to look at follicular
18 development, because we have pretty much shown
19 that, when you look at the different products,
20 there is not a great deal of difference in
21 follicular development.

22 You would have to decide, are we going to
23 raise the level of rigor here or are we not.

24 DR. GIUDICE: Dr. Stanford?

25 DR. STANFORD: It just seems to me the

1 main indication--and if the FDA staff disagree with
2 that, I would like to know, but it seems to me they
3 are the same.

4 DR. GIUDICE: Dr. Shames?

5 DR. SHAMES: The primary endpoint is the
6 indication. So if we decide that pregnancy is the
7 primary important endpoint, then it should be the
8 indication.

9 DR. GIUDICE: Dr. Layman?

10 DR. LAYMAN: I just have a point for
11 clarification. We said we agreed that fetal heart
12 beat was the indicator for pregnancy. But, in that
13 Question 8 that says, if we are not taking live
14 birth or ongoing pregnancy rate, is the way that
15 question reads. Did we mean--I'm not clear whether
16 we meant we want to say for pregnancy all of them
17 or just in that question, I mean, because it is not
18 clear.

19 I didn't get the feeling we agreed on what
20 was the best pregnancy to consider. It just says,
21 if you can't have enough power for live birth rate
22 or ongoing, which one. And we said heart beat.

23 DR. GIUDICE: Right. That was what we had
24 discussed. Now, there seems to be some ambiguity,
25 though.

1 DR. SLAUGHTER: I don't believe so. The
2 question was worded is live birth or ongoing
3 pregnancy to suggest something further along than
4 gestational sac with a heart beat. So I think we
5 clearly understand that that is what we are talking
6 about now, that we want gestational sac with heart
7 beat.

8 DR. GIUDICE: Right.

9 DR. LAYMAN: Right. I agree, but does
10 that mean for every study that is what we are
11 requiring? We are not requiring live birth or
12 farther on. That is what I am asking. Did we
13 agree to that?

14 DR. GIUDICE: We agreed to that. Dr.
15 Lewis?

16 DR. LEWIS: I thought the only exception
17 was for Type 1 anovulation where we agreed it would
18 be very difficult to power a study to look
19 at--okay.

20 DR. GIUDICE: Right. Dr. Stanford, you
21 had a comment?

22 DR. STANFORD: Sort of the next question;
23 it is sort of like there is an 8A question that is,
24 if the study cannot be powered for presence of
25 fetal heartbeat, and that might be the Type 1, then

1 what is an acceptable outcome in that case. It
2 sounds like that is the question you are putting on
3 the table.

4 DR. LEWIS: I wasn't really putting it on
5 the table because I thought we had already
6 discussed it. I thought we had already agreed
7 that it would--ovulation. I'm sorry; follicular
8 development.

9 DR. STANFORD: So, I guess, then the issue
10 is under what--if we all agree that fetal heart
11 beat is a reasonable standard in general, then,
12 under what conditions do you accept something else
13 as a main outcome, something less than that as a
14 main outcome, then, as an indication. We are
15 saying that Type 1, WHO Category Type 1,
16 anovulation would be one.

17 DR. GIUDICE: Right. Are we all clear on
18 that? Any further discussion? It is amazing we
19 got through all those questions. I would like to
20 thank everyone for their participation today.
21 Tomorrow's session begins at 8:30 in the morning
22 and the discussion will be NDA 21322 on Luveris.
23 For members of the committee, please bring your
24 green FDA binding document and your gray Serono
25 briefing documents.

at

304

1 [Whereupon, at 4:39 p.m., the meeting was
2 recessed to be resumed at 8:30 a.m., Tuesday,
3 September '30.]

4

- - -