

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

Tuesday, September 30, 2003

8:30 a.m.

Hilton Hotel
The Ballrooms
620 Perry Parkway
Gaithersburg, Maryland

PARTICIPANTS

Linda C. Giudice, M.D., Ph.D., Chair
Shalini Jain, PA-C, M.B.A.

MEMBERS

Susan A. Crockett, M.D.
W. David Hager, M.D.
Nancy W. Dickey, M.D.
George A. Macones, M.D.
Joseph B. Stanford, M.D.
Scott S. Emerson, M.D., Ph.D.
Vivian Lewis, M.D.
Larry Lipshultz, M.D.
Valerie Montgomery Rice, M.D.

SPECIAL GOVERNMENT EMPLOYEE CONSULTANTS (Voting)

Robert G. Brzyski, M.D., Ph.D.
Adelina M. Emmi, M.D.
David L. Keefe, M.D.
Lawrence C. Layman, M.D.
James H. Liu, M.D.
James P. Toner, Jr., M.D., Ph.D.

ACTING CONSUMER REPRESENTATIVE

Lorraine J. Tulman, D.NSc.

FDA

Daniel Shames, M.D.
Shelley R. Slaughter, M.D., Ph.D.
Audrey Gassman, M.D.
Kate Meaker, M.S.

C O N T E N T S

PAGE

Call to Order Linda Giudice, M.D., Ph.D.	5
Introduction of Committee	5
Conflict of Interest Statement: Shalini Jain	6
Discussion of NDA 21-322 (lutropin alfa for injection) Serono, Inc.	
Genetics of Hypogonadotropic Hypogonadism in Women Lawrence C. Layman, M.D.	8
Neuroendocrine Control of the Menstrual Cycle and Associated Disorders James H. Liu, M.D.	29
Questions from Committee	58
Opening Remarks Daniel Shames, M.D.	70
Committee Discussion	72
Sponsor Presentations (Serono, Inc.)	
Introduction and Regulatory History Pamela Williamson Joyce, RAC	85
Need for and Role of LH:HH Women with Profound Gonadotropin Deficiency Jerome Strauss, M.D., Ph.D.	94
Luveris Clinical Development Program Paul Lammers, M.D.	109
Clinical Perspective and Risk/Benefit Assessment Nanette F. Santoro, M.D.	135
Summary and Conclusions Pamela Williamson Joyce, RAC	143
Questions from the Committee	146
FDA Presentations	
Luveris: The FDA Perspective Shelley R. Slaughter, M.D., Ph.D.	185
Kate Meaker, M.S.	198

C O N T E N T S (Continued)

Questions from the Committee	210
Open Public Hearing	230
Presentation of Questions and Committee Discussion	241

1 P R O C E E D I N G S

2 Call to Order

3 DR. GIUDICE: Good morning. I am Linda
4 Giudice and I am the Chair of the Advisory
5 Committee.

6 Because we have new people in the audience
7 today, I would like for the members of the
8 Committee to please introduce themselves once again
9 as we did yesterday, beginning with Dr. Hager.

10 Introduction of Committee

11 DR. HAGER: David Hager, University of
12 Kentucky.

13 DR. CROCKETT: Susan Crockett, Christus
14 Santa Rosa, San Antonio, Texas.

15 DR. MACONES: George Macones from the
16 University of Pennsylvania.

17 DR. LEWIS: Vivian Lewis, University of
18 Rochester.

19 DR. LAYMAN: Larry Layman, Medical College
20 of Georgia.

21 DR. TULMAN: Lorraine Tulman, University
22 of Pennsylvania, Consumer Representative.

23 DR. KEEFE: David Keefe, Women and Infants
24 Hospital at Brown University.

25 DR. DICKEY: Nancy Dickey, Texas A & M

1 Health Science Center.

2 DR. GIUDICE: Linda Giudice from Stanford
3 University.

4 MS. JAIN: Shalini Jain, Executive
5 Secretary, FDA.

6 DR. LIU: James Liu from Case Western
7 Reserve University.

8 DR. EMMI: Adelina Emmi from Medical
9 College of Georgia.

10 DR. TONER: Jim Toner Atlanta Center for
11 Reproductive Medicine.

12 DR. MONTGOMERY RICE: Valerie Montgomery
13 Rice, Meharry Medical College.

14 MS. MEAKER: Kate Meaker, FDA.

15 DR. GASSMAN: Audrey Gassman, FDA.

16 DR. SLAUGHTER: Shelley Slaughter, FDA.

17 DR. SHAMES: Dan Shames, FDA.

18 DR. GIUDICE: Thank you.

19 As yesterday, we would appreciate it if
20 your beepers and telephones would be put to vibrate
21 or silent. I would like to begin the morning
22 session by introducing Shalini Jain, who will talk
23 about the conflict of interest.

24 Conflict of Interest Statement

25 MS. JAIN: Good morning and thank you for

1 your participation today. We are on a very tight
2 schedule, so I will quickly read the Conflict of
3 Interest, and I just wanted to let everyone know
4 that we are flip-flopping the first and second
5 presentations due to some presenter conflicts, so
6 Dr. Layman will be going first instead of Dr. Liu,
7 so there is a slight change in the timing of the
8 presentations this morning, but we will have both
9 speakers presenting.

10 The following announcement addresses the
11 issue of conflict of interest with regard to this
12 meeting and is made a part of the record to
13 preclude even the appearance of such at this
14 meeting.

15 Based on the submitted agenda for the
16 meeting and all financial interests reported by the
17 committee participants, it has been determined that
18 all interests in firms regulated by the Center for
19 Drug Evaluation and Research present no potential
20 for appearance of a conflict of interest at this
21 meeting.

22 In the event that the discussions involve
23 any other products or firms not already on the
24 agenda for which an FDA participant has a financial
25 interest, the participants are aware of the need to

1 exclude themselves from such involvement and their
2 exclusion will be noted for the record.

3 With respect to all other participants, we
4 ask in the interest of fairness that they address
5 any current or previous financial involvement with
6 any firm whose products they may wish to comment
7 upon.

8 Thank you.

9 DR. GIUDICE: Thank you.

10 Issue: Discussion of NDA 21-322

11 Luveris (lutropin alfa for Injection)

12 Serono, Inc.

13 DR. GIUDICE: I would now like to
14 introduce Dr. Lawrence Layman who is Chief of
15 Reproductive Endocrinology, Infertility, and
16 Genetics at the Medical College of Georgia in
17 Augusta.

18 Genetics of Hypogonadotropic Hypogonadism in Women

19 DR. LAYMAN: Thank you. Good morning.

20 What I would like to do is go through what
21 is known about the genetics of hypogonadotropic
22 hypogonadism, which has been an area of interest of
23 mine for a number of years.

24 [Slide.]

25 What I would like to do briefly is go

1 through normal pubertal milestones, the diagnosis
2 of IHH, and then talk about the mutations with the
3 prospective phenotypes for the hypothalamic genes
4 that are known and for pituitary genes that are
5 known.

6 [Slide.]

7 As everyone knows, GnRH in the
8 hypothalamus stimulates the pituitary to make the
9 gonadotropins FSH and LH, which then stimulate the
10 gonads to make steroids and gametes.

11 [Slide.]

12 Typically, these result in females who
13 have breast development and pubic hair development
14 around age 8 to 9, their growth spurt is about age
15 12, and menses begin approximately age 12.

16 In males, testes and pubic hair begin at
17 about ages 10 to 11 with penile growth about 13,
18 and the growth spurt at about 14.

19 [Slide.]

20 What is often considered delayed is in
21 females who have no breast development by 13 or no
22 menses by 15, and in males who have no testicular
23 enlargement by age 14.

24 [Slide.]

25 When hypogonadism is suspected, as

1 manifested by physical exam or low sex steroids,
2 one of the steps is to obtain gonadotropins, and
3 that helps classify where the defect is.

4 [Slide.]

5 If the gonadotropins are elevated in the
6 presence of low sex steroids, the patient has
7 hypergonadotropic hypogonadism or gonadal failure.

8 [Slide.]

9 Hypogonadotropic hypogonadism results when
10 there is a hypothalamic or pituitary defect in
11 which gonadotropins are low and sex steroids are
12 low.

13 [Slide.]

14 IHH is often defined as irreversible
15 absent puberty. In females, we usually use by age
16 17, who have amenorrhea, and usually, those
17 patients don't have breast development. Males, it
18 is generally age 18 with low testosterone.
19 Gonadotropins are low or normal, and there is no
20 CNS lesion by imaging, and there is normal
21 prolactin, thyroid, and adrenal function.

22 [Slide.]

23 Gonadotropin responses are very variable
24 to a single dose of exogenous GnRH, but Crowley's
25 group, among others, have studied LH pulsatility

1 patterns including Dr. Santoro, who is here, and
2 the most frequent pattern is the apulsatile LH
3 pattern, however, decreased frequency and decreased
4 amplitude have also been described, as well as a
5 nocturnal LH prepubertal pattern.

6 [Slide.]

7 As we know, the prospects for fertility
8 are very good with IHH. You generally induce
9 secondary sex characteristics with sex steroids,
10 the defect is hypothalamic or pituitary, and if
11 there is other pituitary failure, those hormones
12 need to be replaced.

13 For pregnancy, supplying the missing
14 gonadotropins or GnRH gives excellent cycle
15 fecundity rates.

16 [Slide.]

17 Looking at the genetics of this disorder,
18 it is very complicated. I am only going to mainly
19 speak about those in which IHH is the predominant
20 feature, but just to be aware there are a number of
21 syndromes in the on-line mendelian inheritance
22 database.

23 [Slide.]

24 What I would like to do is first talk
25 about the hypothalamic genes KAL1, FGFR1, and

1 NROB1. In addition, I will mention briefly leptin
2 and the leptin receptor, and then talk about the
3 pituitary genes for which there are mutations.

4 [Slide.]

5 The GnRH gene, now called GNRH1, is
6 clearly a pivotal gene in reproduction and it is
7 expressed in the hypothalamus among other places,
8 and its deficiency should lead to hypogonadotropic
9 hypogonadism since IHH is felt to be due to GnRH
10 deficiency.

11 There is a deletion of GNRH1 in the mouse,
12 however, none have ever been found in humans to
13 date, so although this is highly likely to occur,
14 one would think, none have been identified.

15 [Slide.]

16 Kallmann syndrome, which includes IHH plus
17 anosmia, was the first disorder to have the gene
18 identified. In addition, these patients can have
19 neurologic abnormalities, such as synkinesia, which
20 are mirror movements, visual abnormalities, renal
21 anomalies, and midfacial defects, and in the
22 original description, this was an X-linked
23 recessive disease affecting males.

24 [Slide.]

25 It is known that GnRH and olfactory

1 neurons migrate from the olfactory placode to the
2 hypothalamus, and two groups of investigators in
3 1991 cloned the gene by positional cloning, and
4 they identified it as KAL1, so that mutations in
5 this gene result in anosmia and GnRH deficiency.

6 [Slide.]

7 In some of the original papers, when clear
8 X-linked recessive families were studied, about 50
9 percent of these probands had mutations in KAL1,
10 and very interestingly, of these, half of them had
11 unilateral renal agenesis.

12 In looking at unselected Kallmann syndrome
13 males, only about 5 percent or less had mutations.

14 [Slide.]

15 When expression was examined in both the
16 chick and the human, the phenotype correlates
17 nicely with the expression patterns. Certainly,
18 the olfactory bulb with anosmia, some of the CNS
19 defects, because of the cerebellum and spinal cord,
20 and also renal anomalies correlating with renal
21 agenesis, it is also expressed in facial
22 mesenchyme, which does explain cleft palate, and
23 cartilage and limb bud, which can explain an
24 occasional club foot.

25 [Slide.]

1 Crowley's group has studied familial and
2 sporadic Kallmann syndrome and has found in general
3 about 12 percent of total Kallmann syndrome males
4 will have mutations. Whether they are sporadic or
5 familial, it is fairly similar. In normosmic IHH,
6 none of 42 did in their study.

7 [Slide.]

8 This gene is on the pseudoautosomal region
9 of the X chromosome with an inactive pseudogene on
10 the long arm of the Y, and it encodes the protein
11 anosmin-1, which is the protein that has neural
12 cell adhesion molecules.

13 Orthologs have been identified in numerous
14 other species including chicks, zebrafish, *C.*
15 *elegans*, and *Drosophila*, but it hasn't been cloned
16 yet in mice, but human antibodies detect it is
17 present and at least as of last night, I didn't see
18 it in Locus Link.

19 [Slide.]

20 The ortholog *CeKall* in *C. elegans* is
21 required for ventral closure and tail formation in
22 embryogenesis. It is involved in neurite
23 branching, and it is also known that the human *KAL1*
24 cDNA can compensate for the loss of this, which
25 suggests that this is a conserved function.

1 Anosmin-1 is a secreted molecule that
2 binds via heparan sulfate proteoglycans to its
3 receptor to induce axon branching and misrouting.
4 This is in vitro.

5 [Slide.]

6 There are several possibilities of how
7 Kallmann syndrome occurs. One is the absent
8 lateral olfactory track branches cause anosmia, and
9 the lack of GnRH neurons getting to the forebrain
10 causes IHH.

11 It is also possible that anosmia could
12 occur because of a lack of contact between
13 olfactory axons and the olfactory bulb.

14 [Slide.]

15 Another disorder in which there are
16 mutations is adrenal hypoplasia congenita and
17 hypogonadotropic hypogonadism. Originally
18 determined to be the DAX1 gene, it is now called
19 NROB1, but these patients have adrenal failure in
20 infancy usually to about age 10, and there are
21 certainly exceptions, and if they survive, these
22 patients get delayed puberty due to IHH.

23 It is X-linked recessive and mutations in
24 NROB1 gene appear to cause both defects. It is
25 expressed in the adrenal, hypothalamus, and

1 pituitary, and it's in the dosage-sensitive sex
2 region on the short arm of the X chromosome.

3 [Slide.]

4 This is a study from Jamison's group
5 suggesting that mutations have hypothalamic and
6 pituitary defects. The double mutations shown at
7 the top, one patient given exogenous GnRH had a
8 normal response to GnRH suggesting a hypothalamic
9 defect, however, with GnRH priming, had a minimal
10 LH response suggesting pituitary effects.

11 Similarly, with a different mutation,
12 there was no response to GnRH suggesting a
13 pituitary defect.

14 [Slide.]

15 In collaboration with Jamison's group and
16 Crowley's, we studied, John Achermann with Jamison
17 studied about 100 IHH males without adrenal failure
18 and sequenced the entire coding region and no
19 mutations were identified, suggesting that it is
20 very uncommon in IHH unless there is adrenal
21 hypoplasia.

22 [Slide.]

23 There has been a mutation in a few
24 females, one that is well documented, who had
25 hypogonadotropic hypogonadism. She did not have

1 adrenal failure, but she had skewed X inactivation.
2 Within that same family, there were two males who
3 had hypogonadotropic hypogonadism and adrenal
4 failure.

5 There is a female who has a missense
6 mutation that was presented at the American Society
7 of Human Genetics a year ago. This gene has been
8 proposed to have some function in the ovary, but a
9 study done by Jamison's group, a conditional
10 knockout, demonstrated that there was not an
11 ovarian determining gene, but is instead important
12 in spermatogenesis.

13 [Slide.]

14 Several other hypothalamic hormones are
15 important, as well. The leptin-deficient ob/ob
16 mouse has a phenotype consisting of obesity,
17 hyperinsulinemia, IHH, hypothermia, cold
18 intolerance, and elevated cortisol.

19 [Slide.]

20 In humans now, there have been several
21 mutations identified. Normally, there is a
22 correlation between the BMI and leptin, and leptin
23 deficiency is extremely uncommon in obesity.
24 However, several mutations have been identified.

25 The first was an early onset obesity.

1 None of these families had any children of pubertal
2 age, so this couldn't be examined, but in the
3 second paper by Strobel, IHH and obesity were found
4 due to a mutation, and the proband in this study
5 was a male who weighed 55, with a BMI of 55.8, a
6 low serum leptin, and he had a missense mutation in
7 the leptin gene and had two sibs with similar
8 phenotype, and this mutant in vitro was not
9 secreted from the cell.

10 [Slide.]

11 Likewise in obesity and IHH with elevated
12 levels of leptin, leptin receptor mutations have
13 been identified, several, and in this one, cause
14 protein truncation, and this also appears to be
15 autosomal recessive.

16 [Slide.]

17 Very recently, a second mutation, a gene
18 with mutations causing Kallmann's syndrome, which
19 as we know occurs in males and females, and this
20 group described mutations in an autosomal dominant
21 form in the FGFR1 receptor. They also termed this
22 KAL2.

23 It is interesting because gain of function
24 mutations cause craniosynostosis disorder, Pfeiffer
25 syndrome, and skeletal dysplasia, but these are

1 inactivating mutations.

2 [Slide.]

3 What they basically did was they found two
4 patients who had contiguous gene deletion
5 syndromes, who also had Kallmann's syndrome, and in
6 that region there were only three genes - FGFR1 was
7 the prime candidate and although by Southern blot
8 there were no mutations, upon sequencing, about 9
9 percent of patients had mutations, and these were
10 males and females.

11 Within these families, there is reduced
12 penetrance and variable expressivity making it very
13 difficult to follow.

14 Interestingly, some of these patients also
15 had cleft lip and palate, synkinesis just like in
16 X-linked recessive Kallmann's syndrome and
17 dentogenesis.

18 [Slide.]

19 These investigators hypothesized could
20 anosmin-1, the KAL1 protein, be the ligand for
21 FGFR1, and there is circumstantial evidence for
22 this, they did not study it in this study, but FGF
23 interacts with its receptor via heparan sulfate
24 proteoglycans, and so does anosmin-1.

25 In addition, KAL1 is expressed in

1 olfactory bulb in human, and in the mouse, FGFR1 is
2 expressed in the forebrain and is necessary for
3 olfactory bulb evagination, so circumstantial
4 evidence supports this possibility.

5 [Slide.]

6 Now, moving to the pituitary, there were
7 two papers that came out fairly simultaneously. A
8 French group described a patient with incomplete
9 pubertal development, incomplete IHH, and we found
10 mutations in a patient with no pubertal development
11 or complete IHH.

12 [Slide.]

13 The French group identified a male who had
14 absent puberty at age 18. He was hypogonadal, his
15 testosterone was 80 ng/dL, his gonadotropins were
16 low. There was normal frequency of LH pulses, but
17 decreased amplitude. Interestingly, he had a semen
18 analysis of 39 million although only 5 percent
19 motility.

20 [Slide.]

21 They found a mutation and then
22 demonstrated the function in vitro. To do this,
23 you have to look at several actions of GnRH. One
24 is binding to its receptor, and the next is the
25 signal transduction to IP3.

1 [Slide.]

2 This group identified two mutations.
3 missense mutation that reduced binding and then
4 subsequently, IP3 formation and efficiency, and the
5 second missense mutation also reduced IP3.

6 [Slide.]

7 We hypothesized that since when you treat
8 patients with GnRH, there is variable responses to
9 GnRH that GnRH receptor mutations would be
10 possible, and we screened 46 IHH patients using
11 denaturing gradient gel electrophoresis, and we
12 identified compound heterozygosity in one proband,
13 one family.

14 Both of these mutations, actually one was
15 the same the French group identified and another
16 missense we identified, and both of them decreased
17 receptor expression, binding was normal. The total
18 IP3 was decreased, as well as the efficiency of
19 IP3, so the EC50 was increased meaning it took an
20 increased GnRH agonist to stimulate IP3 production.

21 [Slide.]

22 This is the family showing these patients,
23 but what I want to point out is that the basal LH
24 levels were low in all of them, and it will be
25 easier to see on your handout. I apologize, this

1 is a little small.

2 But two of the patients had LH responses
3 that got over 12, and the other two had ones that
4 were about half that. So, there is phenotypic
5 variability within the same family.

6 [Slide.]

7 The prevalence of GnRH receptor mutations
8 is not entirely known. In our original study,
9 there was 2 percent. If you included normosmic IHH
10 with the female as a proband, it was 7 percent.
11 Although they didn't allow us to include in the
12 paper, we had originally screened 50 anosmics and
13 did not find mutations.

14 [Slide.]

15 Crowley's group has studied approximately
16 50, and they identified mutations in about 10
17 percent. In the small number of autosomal
18 recessive families, 2 of 5 had it, but again, in
19 anosmic or hyposmic, they found no GnRH receptor
20 mutations.

21 [Slide.]

22 At the Endocrine Society, we presented our
23 data on 165 IHH patients studied, and this includes
24 anosmic and hyposmic and euosmic patients. About 2
25 percent had mutations, and if there were two or

1 more affecteds in the family, it was about 7
2 percent, and about 5 percent if there were female
3 probands.

4 [Slide.]

5 So, at least about 15 mutations have been
6 identified. Most of these are compound
7 heterozygotes and they may affect binding and/or
8 signal transduction. The phenotype can vary from
9 complete IHH with no evidence of pubertal
10 development to partial IHH.

11 The patients to date don't have anosmia,
12 and the gonadotropin responses to GnRH are very
13 variable, in fact, there is even one pregnancy with
14 multiple attempts of stimulating the GnRH, and the
15 prevalence appears to be somewhere around 3 to 10
16 percent of normosmic IHH patients.

17 [Slide.]

18 Several other pituitary genes have also
19 been identified that cause hypogonadotropic
20 hypogonadism. It is known that an autosomal
21 recessive form of combined pituitary deficiency,
22 which causes a phenotype of short stature in IHH,
23 has been due to a gene mutation called PROP1.

24 This gene is important in growth hormone
25 prolactin, thyroid, and gonadotropins, and

1 occasionally ACTH is deficient. We screened IHH
2 males and females who had no evidence of pituitary
3 failure and found no mutations in this gene
4 suggesting it is more common in patients with short
5 stature and delayed puberty rather than just IHH.

6 [Slide.]

7 Another disorder of septo-optic dysplasia
8 in which there is agenesis of the corpus callosum
9 and panhypopituitarism along with some other CNS
10 abnormalities may be due to mutations in HESX1,
11 which is a homeobox gene expressed in Rathke's
12 Pouch, which is the primordium of the pituitary,
13 and autosomal dominant and recessive forms have
14 been identified in some of these patients.

15 [Slide.]

16 In finishing with the gonadotropins, there
17 are mutations in each of the gonadotropins. There
18 are several polymorphisms that have been described
19 in LH beta and there are two missense mutations on
20 the same allele that are present in infertile and
21 control patients, so they are probably
22 polymorphisms, but it is interesting that they can
23 interfere with the LH assay and that LH can be
24 unmeasurable using an IRMA assay where you have a
25 monoclonal antibody with the whole molecule and

1 measurable in an immunofluorescent antibody with
2 two antibodies against LH beta.

3 [Slide.]

4 The only real true mutation that I have
5 seen is one originally described by Axelrod,
6 studied by Jamison's group, in which they had a
7 male with delayed puberty, his testosterone is very
8 low, and interestingly, his gonadotropins are
9 elevated.

10 [Slide.]

11 When he was given testosterone, they were
12 able to induce secondary sex characteristics, but
13 even more interestingly, when they gave him hCG, it
14 restored his adult phenotype and he got sperm. So,
15 it suggested it was not an LH receptor mutation.
16 This was long before the days of it being cloned.

17 [Slide.]

18 Jamison's group found homozygous LH beta
19 missense mutation that was detected by
20 dimer-specific IRMA assay, but it was undetectable
21 by radio receptor assay, so they hypothesized that
22 this mutant LH was not capable of receptor binding.
23 This was an autosomal recessive inheritance with
24 normal in heterozygotes.

25 [Slide.]

1 There have also been several FSH beta
2 mutations in which the females have not had breast
3 development, are in partial breast development (1),
4 but all of them have presented with primary
5 amenorrhea, they all have low FSH and high LH, and
6 a low estradiol.

7 Their follicles do not go beyond the
8 antral stage, and, of course, they have
9 infertility, and the phenotype is similar in the
10 knockout mouse.

11 [Slide.]

12 Interestingly, they have an elevated LH,
13 however, they do not have hirsutism or
14 hyperandrogenism, and some studies that I don't
15 have time to go into suggest that maybe FSH is also
16 necessary to make androgens in addition to LH.

17 [Slide.]

18 In males, there have been several
19 mutations, as well. They have either had normal
20 puberty or absent puberty where testosterone is
21 either low or normal, but they likewise have a low
22 FSH and high LH.

23 However, unlike the mouse, these patients
24 uniformly have azoospermia, and we have not found
25 mutations in oligospermic males.

1 [Slide.]

2 Similarly, it is possible, the similar
3 argument that possibly FSH is necessary for
4 androgen production, as well, which we are
5 interested in testing.

6 [Slide.]

7 When these mutants are looked at in vitro,
8 we have studied all of the FSH beta mutants and
9 wild-type, as shown on the left, immuno and
10 bioactive FSH was studied, and when we generated
11 these mutants in Chinese hamster ovary cells in a
12 vector, one provided by Larry Jamison, another one
13 by a graduate student in my lab, we showed that
14 none of them had any immunologic and biologic
15 activity, probably interfering with dimer
16 formation.

17 [Slide.]

18 In summary, hypogonadotropic hypogonadism,
19 the genetics is still not really well worked out.
20 There are no GnRH1 mutations, so if they are
21 present, they are very uncommon.

22 KAL1 mutations appear to be present in
23 about 10 to 15 percent of males. Interestingly,
24 the KAL1 gene expression really explains some of
25 the associated anomalies and may be useful in

1 clinical management.

2 FGFR1 mutations could occur in about 10
3 percent of males with Kallmann's syndrome.

4 NROB1 mutations have generally been found
5 in patients who have adrenal failure and IHH, and
6 otherwise, it is not common.

7 In the GnRH receptor, there are mutations
8 in about 3 to 10 percent of patients, the phenotype
9 is variable, and it can occur on males and females.

10 Rarely, leptin and leptin receptors cause
11 mutations in obese IHH patients. That still leaves
12 most causes of inherited IHH unknown.

13 Thank you.

14 DR. GIUDICE: Thank you, Dr. Layman. I
15 understand that you need to leave. Do you have a
16 couple of minutes for questions?

17 DR. LAYMAN: Yes.

18 DR. GIUDICE: Are there any questions by
19 the committee members? Yes, Dr. Crockett.

20 DR. CROCKETT: Thank you for a very nice
21 presentation, very informative.

22 I have one question about the FSH beta
23 mutations that you mentioned. Am I to understand
24 that this patient may present as a PCO-type-looking
25 patient, but actually has some differences?

1 DR. LAYMAN: Actually, no, they are going
2 to present with delayed puberty with absent breast
3 development usually, maybe some breast development
4 and primary amenorrhea, but they don't bleed the
5 progestins, they are hypoestrogenic. Although the
6 ovary is a little multicystic, which I didn't go
7 into, on the patient we had, it is not a classical
8 PCO-appearing ovary, but actually, multiple small
9 cysts throughout the whole ovary.

10 DR. CROCKETT: Thank you.

11 DR. GIUDICE: Any other questions from the
12 committee?

13 Okay. Thank you very much.

14 Our next speaker is Dr. James Liu who is
15 from the Department of Reproductive Biology at Case
16 Western Reserve University, and he is going to talk
17 on Neuroendocrine Control of the Menstrual Cycle
18 and Associated Disorders.

19 Neuroendocrine Control of the Menstrual
20 Cycle and Associated Disorders

21 DR. LIU: Thank you very much. I was
22 asked to discuss the basic neuroendocrine control
23 of the menstrual cycle and focus and touch on some
24 of the associated disorders that result in low
25 gonadotropin states in which either GnRH or

1 gonadotropins would be amenable for ovulation
2 induction.

3 [Slide.]

4 So, I am going to start at a very basic
5 elementary level and work up. As we all know, and
6 what Dr. Layman has originally presented, is that
7 the changes with regards to estrogen, namely,
8 puberty changes in the breast and the female
9 habitus, as well as the menstrual cycle, is the end
10 product of a coordinated series of events beginning
11 with the higher neuronal centers that have input
12 into the hypothalamus, which then modulates the
13 gonadotropin-releasing hormone secretion, which is
14 then interpreted by the pituitary as a neuronal
15 signal resulting in release of LH and FSH, which
16 then, in turn, drives the ovary to secrete estrogen
17 and progesterone, stimulating the endometrium for
18 appropriate preparations for pregnancy, and then
19 failure to achieve a pregnancy, the ovary then has
20 a timing mechanism in which the corpus luteum fails
21 and menstrual flow occurs. That is really the final
22 end product.

23 [Slide.]

24 Let's focus first on the hypothalamic
25 pituitary compartment. In the normal individual

1 without gene defects, most of the GnRH neurons are
2 localized in the arcuate nucleus, and they do
3 migrate there from the olfactory bulb.

4 There are small nests of GnRH cells also
5 in the anterior commissure and the OVLT, but, in
6 general, most of the GnRH neurons are localized
7 here. They have a coordinated network
8 histologically, such that they can secrete the GnRH
9 in concert, so that there is some linkage, which we
10 don't currently understand, and it results in
11 boluses of GnRH delivered to the portal circulation
12 to the lateral wings of the anterior pituitary.

13 [Slide.]

14 If we look at trying to mimic the effects
15 of GnRH peripherally and in a normal human intact
16 model, here is an example of a very early study
17 that was done by Dr. Yen's group, looking at IV
18 versus sub-Q administration of GnRH in a peripheral
19 sense to try and mimic the LH pulsatile activity.

20 These are GnRH, LH is in black and FSH is
21 in the open circles, and you can see that there is
22 a nice, very quick response within several minutes
23 of exogenous GnRH in terms of response from the
24 pituitary, whereas, if you give the GnRH in a sub-Q
25 mode, there is atonic elevation of LH and atonic

1 elevation of FSH.

2 I will just briefly summarize it that
3 sub-Q studies with exogenous GnRH were highly
4 unsuccessful at inducing ovulation, and for the
5 vast majority of clinicians that used GnRH for
6 ovulation induction in patients with low
7 gonadotropins, it was the intravenous mode.

8 With regards to the pituitary compartment
9 now, we know that the pituitary and hypothalamus
10 works as a unit in the intact human. It is very
11 difficult to discern and separate out whether it's
12 a hypothalamic versus a pituitary abnormality when
13 we see low gonadotropins.

14 Systems that have been implicated based on
15 animal studies in terms of regulating the secretion
16 of GnRH are the opiate system, which the vast
17 majority of studies would implicate a negative
18 suppressive effect on GnRH secretion, the
19 adrenergic system, the vast majority of animal
20 studies would suggest an augmenting effect with
21 regards to GnRH secretion.

22 The dopamine system is somewhat
23 controversial. There have been some papers that
24 have suggested that this augments GnRH secretion,
25 there are some that suggest that it may actually

1 reduce GnRH secretion, so it is not clear, and the
2 GABA system provides a negative suppressive effect
3 on GnRH.

4 With regards to the pituitary itself, if
5 you do staining on the lateral wings of the
6 anterior pituitary, you will find that there are
7 gonadotropes that contain LH-only, there are some
8 that contain both LH and FSH, and some that contain
9 FSH-only intermixed.

10 We now know that there is some paracrine
11 regulation of FSH secretion in the sense of if the
12 GnRH signal is a slow pulsatile signal, there is an
13 increase in FSH beta message, as well as increase
14 in FSH secretion. Within the pituitary are
15 interstellar cells that secrete activin and
16 follistatin. These two work in concert. One,
17 activin enhances FSH beta message production,
18 whereas follistatin decreases the FSH beta message.

19 So, the pituitary then, if you will, is an
20 interpreter of the GnRH signal in terms of the
21 amount of FSH and LH put out.

22 Now, we have taken advantage of the system
23 in patients with low gonadotropins by artificially
24 creating a pseudohypothalamus, and this is one of
25 the orphan drugs that was approved by the FDA, the

1 Lutrepulse pump in which intravenous GnRH at doses
2 of between about 5 micrograms every 60 to 120
3 minutes was capable of inducing a very
4 characteristic physiologic response in terms of the
5 LH pulsatile activity, and over a period of 14 days
6 was able to stimulate normal follicular development
7 and ovulation.

8 [Slide.]

9 Now, let's focus briefly on the ovary in
10 terms of how the ovary interprets the gonadotropin
11 message.

12 The basic follicle unit in the ovary is
13 the granulosa theca cell unit, and the current
14 understanding with regards to how steroids are
15 produced by this in response to gonadotropins is
16 based on the two-cell theory that was first
17 proposed by Roy Greet [ph], but really Ken Ryan's
18 group was the one that worked out the details in
19 terms of how the system worked.

20 The theca cell, which is the red cells
21 here, contain predominantly LH receptors, and it
22 has the capability of cleaving the 27 carbon
23 cholesterol to an androgen androstenedione by a
24 series of enzymes under the direction of LH.

25 It is hypothesized that it serves as a

1 substrate which diffuses across the basement
2 membrane, separating the theca from the granulosa
3 cell compartment, and the granulosa cells, which
4 contain initially FSH receptors, and as the
5 maturation process of the granulosa cells in the
6 follicle unit occurs, it begins to acquire LH
7 receptors.

8 At the time of the pre-ovulatory surge,
9 there is abundant LH receptors, such that when the
10 trigger for ovulation, either hCG or LH increases,
11 these granulosa cells can then luteinize and the
12 ovulation sequence is induced.

13 The granulosa cell unit also is able to
14 secrete inhibin, so it has two roles - conversion
15 of predominantly androstenedione to estradiol
16 because of aromatase activity. The FSH receptors
17 are responsible for increasing the aromatase
18 activity and conversion into estradiol.

19 So, both of these key things, production
20 of estradiol and inhibin, serve to control the
21 pituitary secretion of FSH.

22 So, to put the system together in terms of
23 how it functions, pulsatile GnRH then drives
24 pulsatile LH and FSH. The LH predominantly works
25 initially on the theca unit to produce the

1 androstenedione, which then serves as a substrate
2 under FSH stimulation, which induces aromatization
3 of this androgen substrate by the granulosa cells.

4 Within the follicle unit on the basis of
5 primarily rat studies, Greg Ericson and Erin
6 Schwade being the principal individuals that looked
7 at this particular model, the follicle that had the
8 highest estrogen also had the highest number of FSH
9 receptors, making the lead follicle much more
10 sensitive to the FSH, because as the estradiol and
11 inhibin are secreted into the peripheral
12 circulation, pituitary FSH secretion is dampened,
13 so that in a sense, the higher intrafollicular
14 estradiol, higher FSH receptors within the
15 granulosa cells promoted this particular follicle
16 unit to continue to develop and the others to fade
17 away.

18 Now, obviously, at the time of the LH
19 surge, there is a trigger for ovulation, so what
20 mounts this LH surge has been somewhat
21 controversial although we now know that the
22 hypothalamus and pituitary have the ability to
23 integrate the estradiol signal, so that if the
24 pituitary and hypothalamic unit are exposed to an
25 estradiol level of about 300 for at least 60 hours,

1 it will spontaneously dump LH in the model.

2 This then triggers the ovulation sequence
3 in the ovary approximately 36 hours to 40 hours
4 later with ovulation.

5 With regards to what happens to the
6 oocytes themselves, on the basis of studies by Gary
7 Hodgins' group in the lower primate model, we now
8 know that there is essentially a vast pool of
9 primordial follicles in the young reproductive age
10 woman, and this particular pool declines as the
11 woman ages.

12 At some point, about two months prior to
13 the onset of the menstrual cycle, a pool of
14 follicles begin to undergo progression to an antrum
15 form, and we don't know what controls this sequence
16 of events from a non-committed primordial follicle
17 to a committed follicle.

18 This is not gonadotropin-driven. The vast
19 majority of these committed follicles undergo
20 atresia. Of the few that go on, become
21 gonadotropin-responsive and develop FSH and LH
22 receptors, and in the absence of FSH and LH
23 receptors, would undergo atresia.

24 As this pool of gonadotropin-responsive
25 follicles begin to respond to the FSH, multiple

1 follicles can be seen in the ovarian stroma. The
2 follicle that has the highest intrafollicular FSH
3 receptors among the granulosa cells and the highest
4 estradiol level will eventually continue to develop
5 in the face of declining FSH due to the feedback
6 effect of FSH and inhibin on the pituitary, and so
7 this selects out a dominant follicle or what I call
8 the "egg of the month."

9 So, this process of selection is important
10 for the human, which is a mono-ovulatory species.
11 Obviously, if we add gonadotropin at some critical
12 level back here, we end up with multiple follicular
13 development and rescue of follicles that would
14 otherwise have undergone atresia, and this results
15 in the multiple ovulations that we see in fertility
16 and for in vitro fertilization.

17 [Slide.]

18 Now, we can follow this process also in
19 the ovary. Here is an ultrasound of an ovarian
20 cross-section with a black area, which is the
21 fluid-filled ovarian cyst, and this particular cyst
22 increases in size. This is the pre-ovulatory
23 follicle.

24 The borders are less well seen in this
25 photo because the patient had LH surge detected in

1 the urine, so there is already changes going on
2 within the follicle itself, and after ovulation,
3 the corpus luteum forms and there is hemorrhage and
4 other changes within the follicle structure
5 suggestive of corpus luteum cyst formation, and by
6 day 25 or 26 of the cycle, this corpus luteum will
7 be scheduled to undergo apoptosis, and then there
8 is demise of the corpus luteum in the absence of
9 pregnancy.

10 So, that is the normal menstrual cycle.

11 So, what are some of the common programming that
12 occurs in physiologic states? It turns out when
13 the neuroendocrine axis reactivates, that it
14 undergoes a very similar programming of
15 essentially, if we look at peripheral LH levels
16 being an indicator of endogenous GnRH secretion,
17 since we have no way of sampling the GnRH
18 compartment in the intact human, so assuming that
19 there is a GnRH release for each LH pulse, we can
20 make some suppositions as to what is going on
21 centrally.

22 So, here is an individual who is in
23 essentially a quiescent state. This would be
24 individuals that are pre-pubertal or after delivery
25 of a baby, when the HPO axis is essentially at

1 rest, or individuals with various forms of
2 hypothalamic amenorrhea, which I will discuss.

3 As the GnRH axis activates, there are low
4 amplitude LH pulses, so it starts off with a lot
5 amplitude, low frequency pulses, and as the axis
6 matures, and this can take place in a matter of
7 weeks in the postpartum state, or in a matter of
8 years in the pubertal state, there is an enhanced
9 secretion of high-amplitude LH secretion during the
10 sleep phase of the woman, and then during the early
11 follicular phase, a normal pattern of
12 well-established, about every 60 to 120 minute
13 pulsatile release occurs.

14 [Slide.]

15 This is an actual example from Boyar's
16 study looking at the GnRH-LH activation in puberty,
17 and here are the sleep staging based on EEG
18 criteria, and you can see the high amplitude up to
19 41 mIU of LH secreted during the state, and then
20 during the daytime period when the child is awake,
21 there is a much lower amplitude LH secretion
22 suggesting that with sleep, some of the suppressive
23 effects on GnR secretion may be decreased.

24 [Slide.]

25 This is a study I did many years ago that

1 looks at the same type of reactivation during the
2 postpartum phase. These are women at various states
3 after delivery day 19 through day 25, and you are
4 looking at LH secretion. Primarily during the
5 sleep hours, the LH is in black and the FSH is in
6 open circles.

7 This individual is not breast-feeding, so
8 prolactin levels return to normal levels pretty
9 quickly and as you can see, there is a similar
10 pattern to puberty of high amplitude, low frequency
11 LH secretion with sleep, and then a maturing of
12 that process by about day 25 following delivery.

13 [Slide.]

14 Now, I have just gone through some of the
15 physiologic anovulation aspects, and we see that
16 during the prepubertal phase, we also see it
17 postpartum. This phase can be prolonged by
18 breast-feeding due to the higher prolactin levels
19 and the effects of prolactin on the hypothalamic
20 pituitary axis.

21 But there are individuals that have a what
22 we call "functional hypothalamic amenorrhea," and I
23 will define that in generic terms in that if you do
24 an evaluation of these individuals, they have no
25 anatomic abnormalities, they have no gene

1 abnormalities, so these individuals may have a
2 lifestyle-related shutdown of the hypothalamic
3 pituitary unit.

4 These are individuals that may exercise
5 excessively. A good example would be the long
6 distance runner. When you classify them, and there
7 have been studies that have looked at this, these
8 are individuals that usually run more than 30 miles
9 per week, they are relatively thin, and they are
10 extremely committed to their exercise on a
11 long-term basis.

12 There are individuals that have
13 nutritional factors that affect their perception of
14 body weight. An extreme form may be anorexia
15 nervosa, a less extreme form may be bulimia, and
16 there are individuals who are just plain stressed
17 out from a variety of environmental changes, such
18 as young girls going to college, having amenorrhea,
19 or job stresses that may shut down the hypothalamic
20 pituitary unit.

21 There are other disorders that are
22 associated with medications, either individuals who
23 are on a variety of antipsychotics which are
24 predominantly dopamine receptor antagonists until
25 recently where new, non-dopamine receptor drugs are

1 available, and there are extreme forms of
2 psychiatrically associated disorders - pseudocyesis
3 being an extreme form, and anorexia nervosa being
4 the other, bulimia probably in an intermediate
5 phase. I will discuss these in a little more
6 detail.

7 [Slide.]

8 Let's talk first about the psychogenic
9 hypothalamic amenorrhea. Individuals that have
10 this particular trait usually are single, they are
11 professional, highly intelligent individuals, that
12 have sort of a Type A type personality, and many of
13 them have obsessive-compulsive habits.

14 The history may pinpoint a significant
15 stressful life event. It may be an onset of sexual
16 abuse. Up to 20 percent of these individuals have
17 this background history. They may also have a prior
18 history of already an irregular menstrual cycle in
19 that from the time of onset of menarche to when you
20 are evaluating them, they have irregular menstrual
21 cycles or very few menstrual cycles.

22 In general, they are involved in
23 professional occupations just because of these
24 particular traits that lend to success in
25 professional settings.

1 [Slide.]

2 In terms of the hormonal parameters, Dr.
3 Berga and I and other individuals in Dr. Yen's
4 group have studied functional hypothalamic
5 amenorrhea for a number of years and have published
6 on some of the basis for the anovulation.

7 If we look at some baseline hormone
8 levels, knowing full well that many of these
9 hormones are secreted in a pulsatile fashion, and
10 we compare them to the early follicular phase
11 versus individuals who are amenorrheic on a
12 functional basis, we find that the LH is lower,
13 about 8.5 versus 11.6 mIUs. FSH is higher than LH
14 in our laboratory measurements, so a reversal of
15 the LH-FSH ratio that you might see in the adult.

16 Prolactin levels generally are a little
17 lower perhaps related to the circulating estradiol
18 levels, which can be lower, but not significantly,
19 in this group of functional hypothalamic women. I
20 will show you later on there are extreme forms,
21 such as anorexia nervosa, where the estradiol
22 levels are postmenopausal.

23 Cortisol secretion is increased over a
24 24-hour basis suggesting that the stress response
25 has resulted in a much higher level of secretion of

1 a stress type hormone, cortisol. There is usually
2 some decrease in T3. We didn't measure reverse T3,
3 but I would suspect that reverse T3 would be
4 somewhat elevated, and the T4 levels are somewhat
5 decreased.

6 The yellow is the significant differences
7 versus women that have regular menstrual cycles
8 during the early follicular phase.

9 So, these are sort of the hormonal levels
10 you might find.

11 [Slide.]

12 Here is an example of what we felt was an
13 evaluation of the general overall stress picture.
14 On this graph is the serum cortisol levels over a
15 period of time as it begins to fall from early
16 morning to the noon hours.

17 The dashed hatched area represents the
18 normal levels of cortisol that we found in our
19 control population, and these individual values
20 represent the hypothalamic amenorrhea, and you can
21 see with the exception of one individual, all of
22 them have much higher circulating cortisol levels
23 although they do all tend to have the same diurnal
24 variation in terms of the decrease towards the noon
25 hour.

1 If we look at LH secretion in particular
2 with normal weight women versus hypothalamic women,
3 the mean 24-hour LH levels are certainly lower, but
4 not statistically significant, and they do overlap
5 with normal women.

6 The amplitude of the LH secretion, based
7 on pulsatile analysis, shows a higher amplitude LH
8 in the hypothalamic women, about 8 mIUs mean in the
9 hatch bars, however, what is most significant is
10 the frequency is significantly decreased versus the
11 normal weight women. So, this leads to an overall
12 reduction in the average 24-hour LH secretion.
13 This is for functional hypothalamic amenorrheic
14 women.

15 [Slide.]

16 There are other abnormalities in our
17 investigations that we found. This included, as I
18 have alluded to, an increase in daytime cortisol
19 secretion and a distortion of the melatonin
20 secretion that normally occurs nocturnally, an
21 increased amplitude, and increased melatonin
22 secretion overall.

23 There is also an increase in nocturnal
24 secretion of growth hormone, and in individuals in
25 later publications, not from our group, there was

1 demonstration of elevation in
2 corticotropin-releasing hormone levels in the CSF
3 fluid, as well.

4 So, there are a variety of other
5 neuroendocrine abnormalities that are associated,
6 not just isolated, to the gonadotropins.

7 [Slide.]

8 A second disorder that can result in
9 amenorrhea is bulimia, and these individuals are
10 generally female, 90 to 95 percent. It is very
11 high among high school and college students, about
12 a 4.5 to 18 percent incidence, and this disorder is
13 characterized by individuals that essentially
14 consume very large quantities of food over a short
15 period of time, followed by either food restriction
16 or self-induced vomiting, or the use of laxatives
17 to get rid of the food load.

18 [Slide.]

19 The features that we found were
20 individuals generally had irregular menstrual
21 cycles although the majority of them were not
22 amenorrheic. Because of the self-induced vomiting,
23 they did have effects of stomach acid on their
24 teeth, they may also have irritation in the
25 esophageal area due to the gastric acids.

1 There may have been electrolyte
2 abnormalities due to the loss in stomach acid, as
3 well as laxative abuse, and individuals may use
4 various compounds like ipecac to increase their
5 self-induced vomiting efficiencies.

6 [Slide.]

7 This individual, I think you all know is
8 someone with extremely low LH and FSH and has
9 anorexia nervosa, and is being studied.

10 [Slide.]

11 This is a psychosomatic disorder of a very
12 severe nature, characterized by extreme weight loss
13 of more than 25 percent below ideal body weight.
14 There is essentially a body image distortion.
15 These individuals believe that they are fatter than
16 they truly are, and they have an intense fear of
17 gaining weight.

18 The incidence varies depending upon
19 centers reporting between 0.64 to 1 per 100,000,
20 and the vast majority are female between the ages
21 of 12 to 30. Of significance is this disorder has
22 a mortality rate of at least 9 percent in some of
23 the reported studies, so this is a very extreme
24 example of a very serious illness with a high
25 mortality rate in a very young population.

1 [Slide.]

2 If we look at anorexia nervosa--and this
3 is a study that I did on one isolated patient who
4 was amenorrheic--you can see that the LH levels are
5 under 5 mIUs, probably between 2 to 3 mIUs, with
6 really virtually no pulsatile pattern that you can
7 discern.

8 We also simultaneously measured ACTH. The
9 normal ACTH levels in our lab are between 10 and
10 15, and she does run into that range, however,
11 there are higher levels of ACTH that are above that
12 normal range.

13 In this individual, the cortisol secretion
14 is tonically elevated with no diurnal variation
15 over this 24-hour period of time, so she has lost
16 her normal diurnal variation in terms of cortisol
17 secretion.

18 [Slide.]

19 As with puberty and postpartum, recovery
20 from anorexia nervosa follows that preprogrammed
21 sleep-associated increase in LH secretion, and this
22 is a study by Boyar looking at the reactivation
23 during recovery from anorexia nervosa with again
24 high amplitude LH secretion followed by a lower
25 amplitude LH during the daytime hours.

1 [Slide.]

2 The behavioral features for anorexia
3 nervosa include preoccupation with handling of
4 food. These individuals will weigh their food,
5 sometimes they will weigh their vomit, they will
6 weigh their bowel movements, so there is very
7 extreme abnormal behavior.

8 They oftentimes exercise bulimic behavior
9 and extreme calorie counting. When one asks them
10 what their waist is based on moving a pair of rings
11 on a broomstick, they will oftentimes distort their
12 waist measurements to a considerable degree.

13 They are very hyperactive in an effort to
14 burn up the calories. In that one individual I
15 studied, she was running up and down the stairs to
16 the GCRC, which is nine floors, and she was doing
17 it 30 or 40 times a day to try and increase calorie
18 burn. They have total amenorrhea, as well as
19 constipation.

20 [Slide.]

21 With regards to physical characteristics,
22 they have coarse, dry skin. They have defects in
23 thermal regulation with hypothermia. Heart rate is
24 usually below 60. Because of electrolyte
25 abnormalities, and this could be a fatal

1 complication, they can experience cardiac
2 arrhythmias.

3 They have low bone mass and anemia, as
4 well as low white counts, and their hepatic enzymes
5 can become elevated with prolonged starvation.

6 [Slide.]

7 With regards to neuroendocrine
8 abnormalities that have been described, I mentioned
9 already the extremely low LH levels that I showed
10 you in that example, both LH and FSH, and these
11 would approach the same levels one would see with
12 Kallmann syndrome or the isolated gonadotropin
13 deficiency.

14 Their ACTH cortisol axis is impaired, and
15 this may be in part due to the higher baseline
16 activity in their cortisol dampening the feedback
17 response. They have low prolactin levels, high
18 reverse T3, low T3 levels, and decreased IGF-1
19 levels despite increased growth hormone levels.

20 So, these are very, very distorted in
21 terms of what the normal relationships are in both
22 the hypothalamic- pituitary-ovarian axis, as well
23 as the hypothalamic-pituitary-adrenal axis.

24 [Slide.]

25 So, how do we put this aberrancy in GnRH

1 LH secretion into perspective with regards to what
2 we have observed in individuals with functional
3 hypothalamic amenorrhea, individuals with bulimia,
4 and exercise-associated amenorrhea, which I haven't
5 covered in great detail?

6 Our feeling is that there is probably
7 environmental, physical, and personal stresses that
8 have an increased effect on the endogenous
9 CRH-ACTH-cortisol axis. In animal studies at least,
10 this results in an increase in beta endorphin
11 activity, which has a negative impact on GnRH
12 neuronal secretion.

13 There may also be effects on the dopamine
14 neurons although we are not quite sure, and this,
15 in turn, then reduces the pulsatile activity of the
16 GnRH neuronal system, dampening gonadotropin
17 release, and our feeling is that this is a
18 reversible process in these individuals as we
19 remove or modify these life stresses.

20 [Slide.]

21 Just to reiterate this point, this is a
22 group of individuals we studied with pituitary
23 Cushing's disease versus a normal control. This is
24 the LH secretion over a 24-hour period. Notice
25 that in the Cushing's disease patient, the axis is

1 half of what it is on the normal control.

2 You can see that there are very few, if
3 any, LH pulses during the day, and these are very
4 low amplitude, less frequent pulses in this
5 individual with excessive ACTH secretion. It's
6 sort of an accident of nature with regards to high
7 ACTH output.

8 [Slide.]

9 With regards to the organic defects that
10 Dr. Layman has gone through with regards to
11 genetics, he went through Kallmann's syndrome in
12 great detail, isolated gonadotropin deficiency.

13 There are other organic defects that
14 result in the same picture, and these are
15 individuals with a variety of pituitary tumors that
16 may destroy the gonadotropin-producing capacity of
17 the pituitary gland, individuals that have some
18 sort of infarction of the pituitary gland, such as
19 Sheehan syndrome, which is a postpartum pituitary
20 necrosis due to excessive bleeding with the
21 delivery.

22 Individuals that have pituitary apoplexy,
23 which is infarction of the pituitary usually
24 associated with large macro adenomas. Individuals
25 with empty sella syndrome, which is a misnomer in

1 that in this syndrome, there is a defect in the
2 diaphragmatic drainage of CSF fluid, such that the
3 CSF pressure is increased in the sella tursica, and
4 the pituitary, on its stalk, just cantilevers up
5 underneath the brain, so it is not in its normal
6 location. In general, prolactin may be elevated in
7 these individuals due to the impaired delivery of
8 dopamine through the stalk.

9 Individuals that have HIV or TB may have
10 an infection that affects that pituitary
11 hypothalamic area. A variety of head traumas where
12 there is abrupt acceleration of the head resulting
13 in partial shearing of the pituitary stalk as the
14 brain and the pituitary decelerate at different
15 rates in head trauma, and obviously, post-radiation
16 effects on the pituitary itself.

17 [Slide.]

18 Here is a clinical example of an
19 individual with isolated gonadotropin deficiency.
20 There is two females here and a male. Notice in
21 this 17-year-old, she is quite tall. The bony
22 epiphyses do not close due to the lack of sex
23 steroid estrogen being produced, and so you can see
24 that all three of these individuals have very long
25 bones, and there is absence of breast development.

1 In this individual, there was some delay
2 in pubic hair development, but generally, we don't
3 see a delay in pubic hair development. Here is a
4 male with the same type of diagnosis.

5 If we do close-ups of the breasts, they
6 are usually Tanner Stage I, which means that there
7 is very little breast tissue under the nipple due
8 to the lack of estrogen production from the ovary,
9 which is essentially at rest and unstimulated.

10 There is usually no delay in pubic hair
11 development. This is Tanner Stage II or III. In
12 this case, this is a Tanner Stage II since the
13 pubic hair hasn't filled the entire lower
14 escutcheon.

15 [Slide.]

16 With regards to the diagnosis of isolated
17 gonadotropins deficiency, as Dr. Layman alluded to,
18 pituitary functions except for LH and FSH are
19 normal, they do not have any other organic defects.

20 Kallmann's syndrome, which is a version of
21 this, is also associated with anosmia and midline
22 defects.

23 These individuals, as I pointed on the
24 picture, are tall, slender, with long limbs. The
25 treatment long term for these individuals is to

1 induce puberty with sex steroid hormone replacement.
2 Individuals that require fertility would be treated
3 either with pulsatile GnRH, if there is a center
4 that does that, or injectable gonadotropins.

5 [Slide.]

6 With regards to the GnRH story, this is a
7 series of patients studied from Bill Crowley's
8 group looking at various doses of intravenous GnRH
9 at 25 nanograms per kilo, 75 nanograms per kilo,
10 and 100 nanograms per kilo, and this is their
11 estrogen and progesterone profiles during a
12 stimulated cycle.

13 As you can see, there are varying
14 responses particularly with regards to the ovarian
15 response to the gonadotropins that are generated
16 from GnRH. All of them seem very similar although
17 the progesterone production generally tends to
18 increase a little bit more in the higher dose GnRH
19 groups versus the 25 nanograms. Here, you can see
20 some that have very low progesterone production
21 during the luteal phase.

22 The optimum doses for GnRH administration
23 has been established and they range at around 2.5
24 to 5.0 micrograms per pulse at about a 60- to
25 90-minute pulse per day.

1 With regards to the H-P-A axis, what I
2 have shown you is that the activation of the H-P-A
3 axis requires a program of GnRH pulsatile activity
4 every 60 to 120 minutes. There is a
5 sleep-associated rise in LH and FSH, and
6 individuals with a slow wave GnRH will
7 preferentially secrete FSH-beta initially, and this
8 is seen in puberty and postpartum.

9 The reproductive dysfunctions I have
10 discussed, which is resulting in reduction of
11 endogenous GnRH secretion, are associated with
12 either exogenous stressors, exercise events, or
13 eating disorders with anorexia being an extreme
14 form.

15 This results in an increased ACTH cortisol
16 secretion and hyperactivation of this axis with a
17 reduction in GnRH pulsatile activity.

18 Let me stop there and not go further.

19 DR. GIUDICE: Thank you, Dr. Liu, for this
20 really comprehensive review.

21 I think you have clearly demonstrated the
22 heterogeneity of hypothalamic amenorrhea. Between
23 your talk and that of Dr. Layman, there are I think
24 some sort of take-home messages I think we all need
25 to be aware of, and that is that there are

1 individuals who have extremely low gonadotropins
2 and those who have relatively low gonadotropins.

3 From some of the studies looking at the
4 mutations in gonadotropins, you can have
5 immunoreactive gonadotropins or circulating levels
6 that are measurable, but still have bio-inactive
7 gonadotropins.

8 Questions from the Committee

9 DR. GIUDICE: With this as a background, I
10 would like to take the lead and just asking you a
11 couple of questions.

12 One, can you talk briefly about low
13 gonadotropins, and I think this is germane to the
14 issue at hand today, and some of the assays that
15 may have changed from the 1980s to now and what are
16 low gonadotropins?

17 DR. LIU: Most of the slides that I showed
18 you, that measured LH activity from Crowley, Dr.
19 Boyar, and Yen's group, utilized a standard that is
20 no longer available, which is the Second
21 International Reference Standard that was put out
22 by the NIH and was a urinary standard.

23 We were measuring essentially serum
24 species. Subsequent to that, the WHO has put out
25 other reference standards and, in fact, when you go

1 back and re-run those serums, the gonadotropins are
2 much lower with the newer standards.

3 So, the numbers that we see, that I
4 presented, are actually going to be lower if you
5 use the newer assays and the newer WHO standards.
6 That is my understanding. But I don't know the
7 exact, I don't think anyone has worked out--anyone
8 could care to comment--no one has worked out the
9 translation between the old Second IRP Standards,
10 which a lot of the research labs are using, versus
11 the new commercial WHO Standards.

12 DR. GIUDICE: I have one other question
13 and that is, the data that you showed on GnRH
14 pulsatility in replacement of GnRH with the pump,
15 the Lutrepulse was the commercial pump that was
16 available, this is IV administration, I am
17 wondering if you could just comment for the group
18 about the availability of this and essentially
19 either gonadotropin replacement or gonadotropin
20 supplementation in the setting of low
21 gonadotropins.

22 DR. LIU: There are only very small
23 numbers of groups that have had a great deal of
24 experience with intravenous GnRH, Nanette Santoro
25 from Bill Crowley's group, myself, and Dr. Philip

1 Corey [ph] in Italy are some of the ones that come
2 to mind that have done a fair number of GnRH
3 cycles.

4 That particular approach works very well
5 if you are very experienced, but if you are doing
6 one or two cycles a year in the isolated individual
7 with low gonadotropins, it is extremely difficult
8 to keep the IV in place. As you saw, the sub-Q
9 administration does not work well, if at all, and
10 so when the IV infiltrates, what you end up with is
11 essentially a sub-Q administration pattern.

12 So, a lot of times when we do see couples
13 referred to us for IV GnRH, it is because they have
14 had troubles with the IV access on a long-term
15 basis, because it takes about 14 days to achieve a
16 dominant follicle.

17 The other issue I think is the
18 availability of the Lutrepulse. As far as I know,
19 it is no longer being supported at least here in
20 the United States. I don't know if Philip Corey
21 has continued support in Europe, but it was
22 manufactured by Ferring using the Ferring Cyclomat
23 was the one originally, was the pulsatile pump.

24 DR. GIUDICE: Thank you.

25 Dr. Hager and then Dr. Keefe.

1 DR. HAGER: Dr. Liu, as a follow-up to Dr.
2 Guidice's question, in partner to our
3 considerations, what level of LH and/or FSH would
4 you accept to differentiate FHA from IHH?

5 DR. LIU: I don't think that you can find
6 an absolute level. In general, the functional
7 hypothalamic amenorrhea women will have higher
8 gonadotropin levels than IHH, but I think you will
9 see some overlap, so, for example, the IHH less
10 than 1.2 has been used in this particular trial.
11 That is an appropriate cutoff.

12 For FHA, you will find some women at the
13 same level, that mimics it, but most will be above
14 that level. A level of 5, again, this is based on
15 the new assay and not the old assay. The old
16 assay, the mean was 8. something. In our
17 particular study, I believe it was over 40 women
18 with functional hypothalamic amenorrhea.

19 So, I don't know how to translate those
20 numbers to the new one, but I would assume 5 would
21 be an approximate level for those.

22 DR. GIUDICE: Dr. Keefe.

23 DR. KEEFE: I have two questions related
24 to the nocturnal LH pulses that one sees
25 physiologically, as well as with recrudescence to

1 the reproductive system and pathological states.

2 The first is I always see the LH secretion
3 measured. What is happening with FSH, does it ever
4 go up at all?

5 The second one is has anyone attempted to
6 mimic that nocturnal LH when using the Lutrepulse?
7 You can imagine, you know, you show that there is
8 some disconnect between the growth hormone and
9 IGF-1, and, of course, at night, there are
10 elevations of growth hormone, so you can imagine
11 physiologic rationale for why hitting with GnRH at
12 night, at least during the early phase, might have
13 some advantages. So, those are two related
14 questions.

15 DR. LIU: With regards to FSH, it does
16 increase, and let me show you that slide if I can
17 find it.

18 It does increase, but not as dramatically
19 as LH because the half-life is much longer for FSH.
20 Here you can see the FSH go up, and here, it slowly
21 increases, so you see both go up, but the FSH is
22 much more minimal than the LH. It may be
23 reflecting the pituitary secretory capacity. It is
24 reading the signal, but it may not be able to
25 manufacture the FSH as quickly and release it as

1 quickly as the LH, so that is number one.

2 What was the second question?

3 DR. KEEFFE: The second was a biologic
4 intervention, you know, if you intervene with
5 gonadotropin or GnRH pulsing at night initially, do
6 you gain any advantage?

7 DR. LIU: The answer is probably no, you
8 don't gain any advantage. This is purely a
9 physiologic program that I am pointing out, that
10 this is what happens in the natural instance.
11 Giving GnRH at night versus during the day probably
12 has no bearing on the pituitary LH production
13 provided you have already primed the pituitary
14 sufficiently to get its stores of LH and FSH up.

15 DR. KEEFFE: Has it been tested?

16 DR. LIU: It hasn't been tested.

17 DR. KEEFFE: Because you could imagine if
18 this growth hormone peaks at night, as well.

19 DR. LIU: Right, and growth hormone may
20 have an augmenting effect, right, I understand, but
21 it hasn't been tested. The problem is we don't
22 have a good handle other than to say that you need
23 about seven days of exogenous GnRH priming to get a
24 more robust LH/FSH response.

25 DR. GIUDICE: Dr. Rice.

1 DR. RICE: Dr. Liu, this may not be a fair
2 question to you, but Dr. Layman sort of alluded to
3 this earlier, about data that suggested FSH is
4 necessary to making androgens. Are you familiar
5 with what data he was referring to?

6 DR. LIU: Could you repeat the question?

7 DR. RICE: He alluded in his talk that
8 there is some data out there that suggests that FSH
9 is necessary, may be necessary to make androgens.
10 Do you know what data he was referring to?

11 DR. LIU: No, I don't. If we look at the
12 women that have FSH receptor defects, there is a
13 Finnish group of women with premature ovarian
14 failure. They have normal FSH, actually, extremely
15 high FSH levels, but don't respond at the ovarian
16 level. They do make androgens, but the FSH
17 receptor functionality is not totally ablated in
18 those individuals, so I don't know if that answers
19 your question. It is not a black and white issue.

20 DR. GIUDICE: Dr. Macones.

21 DR. MACONES: Dr. Liu, just in follow-up
22 to Dr. Hager's question, you mentioned an LH cutoff
23 of perhaps 5 to differentiate functional from
24 idiopathic, from IHH, and it sounded like there is
25 still going to be some overlap even with that

1 cutoff.

2 I was wondering if there are any clinical
3 criteria or additional criteria that you could use
4 to further refine that distinction between the two
5 groups.

6 DR. LIU: We did not use gonadotropins as
7 the criteria for classifying people with functional
8 hypothalamic amenorrhea for those studies, and I
9 don't think people have used it since then either.

10 It is primarily a stereotypic where they
11 meet certain lifestyle criteria associated with
12 amenorrhea, so amenorrhea really is the initial
13 screening point, and then we went through to
14 investigate whether there were any other organic
15 causes for the amenorrhea.

16 When we found none, we then looked at the
17 history to subclassify what other common features
18 were in those individuals, so we did not use
19 gonadotropin as our initial cutoff, and we looked
20 at gonadotropins obviously as the cause of their
21 amenorrhea, but not as the classifying criteria.

22 DR. GIUDICE: As a follow-up to that, for
23 women who have functional hypothalamic amenorrhea
24 where the gonadotropins, at least in the older
25 assays, hovered around 8, and most of us wouldn't

1 be so shocked at the 5.

2 It is still clear, though, that they need
3 gonadotropin supplementation, so there needs to be
4 some additional amounts, and having a specific
5 cutoff, I think, is perhaps desirable for trials,
6 but clinically, in practice, there is such a range
7 that it is often really not ignored, one just goes
8 ahead and does the supplementation.

9 Any additional questions for Dr. Liu?

10 DR. LIU: Can I make one more comment?

11 DR. GIUDICE: I think so.

12 DR. LIU: What you are measuring really is
13 a moving target because it's a pulsatile FSH and LH
14 secretion, so if you happen to draw the blood
15 sample at the peak, that may change, and if you
16 draw it at the trough, it may change, so you have a
17 huge--because the amplitudes are 4 to 8 mIUs, so
18 you can have various time points on that curve when
19 you draw the LH. That is why it is so hard to
20 establish a clear gonadotropin threshold.

21 DR. GIUDICE: Yes, Dr. Crockett.

22 DR. CROCKETT: I just have a clarification
23 question. Right now in patients with FHA, it is
24 very common for us to treat their symptoms with
25 oral contraceptives to replace the estrogen that

1 they don't have.

2 I am wondering if you remove the need to
3 cause them to ovulate for pregnancy, is there other
4 benefit to giving the LH or FSH, or could you
5 comment on just the difference between substituting
6 GnRH versus the pituitary level versus the end
7 organ level?

8 DR. LIU: The physiologic replacement
9 would be ultimately the best thing, however, we
10 have no way of giving that decapeptide
11 physiologically without either an IV mode or some
12 other drug delivery means.

13 So giving the target tissue the steroid,
14 which is what is the downstream event is the most
15 appropriate, so for long-term replacement, I would
16 treat these individuals very similar to what you
17 might do for IHH.

18 DR. GIUDICE: Dr. Keefe and then Dr.
19 Stanford.

20 DR. KEEFE: As you can figure out, we are
21 trying to get at this issue of the diagnosis, the
22 diagnostic criteria, even though there are not
23 explicit criteria available.

24 In your clinical practice, when do you
25 decide to give a trial of clomiphene citrate versus

1 exogenous gonadotropins for somebody is at the
2 borderline range, what criteria do you use besides
3 the gonadotropins, which you have pointed out are
4 kind of tricky?

5 DR. LIU: I am a cheapskate, so because of
6 cost issues, I always go with a challenge of low
7 dose clomiphene citrate--and there is no clinical
8 data published, I can say that upfront--I use a
9 half a tablet of clomiphene citrate based on my
10 knowledge base that in a low estrogen environment,
11 clomiphene acts as an estrogen agonist, so I don't
12 want to give a very high dose of clomiphene because
13 it may end up suppressing.

14 So, I would use a low dose for one to two
15 cycles to see if there is any response. If there
16 is no response, then, I move to gonadotropins, so
17 it's just a clinical trial.

18 DR. GIUDICE: Dr. Stanford.

19 DR. STANFORD: It seems like you mentioned
20 a variability of baseline LH measurements. It
21 seems like one way to address that might be to draw
22 a level and then routinely draw another level 30 to
23 45 minutes later.

24 I am just wondering if that has been done
25 and how that worked out.

1 DR. LIU: It has been proposed and I am
2 sure it has been done for some studies, but I don't
3 recall the levels that they got. There have been
4 some protocols in which three serial samples and
5 then they were pooled, and then you measured the
6 pooled specimen.

7 DR. GIUDICE: Dr. Liu, I would like to get
8 back to Dr. Keefe's question about your clomiphene
9 challenge. Can you give us some idea of how
10 frequently you actually have a positive response to
11 that?

12 DR. LIU: In my experience, it is about 30
13 percent will respond to very low dose clomiphene
14 citrate, and it is really truly not a clomiphene
15 challenge as we use in routine IVF, so it's a very
16 low dose, about a half-tablet for five days, and we
17 just measure either follicular response or LH surge
18 depending upon the individual's ability to measure.

19 DR. GIUDICE: And "follicular response,"
20 you mean size of follicles and estradiol level?

21 DR. LIU: Correct.

22 DR. GIUDICE: Thank you.

23 Any other additional questions? Dr.
24 Keefe.

25 DR. KEEFE: Have you ever had occasion to

1 look at the ovaries of these patients that have
2 severe hypothalamic amenorrhea? What stage, are
3 they at the non-growing stage or are some
4 committed?

5 DR. LIU: You will actually see antral
6 follicles in them, but you will not see follicles
7 probably above 7 millimeters if they are truly
8 quiescent and their amenorrhea has been more than
9 about six months, so the volume will be reduced
10 compared to someone who is in the normal cycling
11 category in the early follicular phase.

12 DR. GIUDICE: Any additional questions
13 from the committee?

14 If not, I would like to thank Dr. Liu for
15 his presentation and participation.

16 Before we go on, in our flurry to have Dr.
17 Layman finish his talk before he had to leave, I
18 actually inadvertently passed over Dr. Shames'
19 opening remarks, so if you have any opening
20 remarks, would you please share them with us now.

21 Opening Remarks

22 DR. SHAMES: I just had some brief
23 remarks, first, to thank you for yesterday's
24 session. I think we will find it very useful in
25 formulating a guidance which hopefully will make

1 development of these drugs more efficient.

2 Secondly, since I find I am answering a
3 lot of questions about process and regulations, I
4 just wanted to very briefly give an overview of
5 what is going on today, which is that we reviewed
6 the application that you are all seeing, this
7 particular NDA.

8 We reviewed the information and data from
9 the trials that were presented and found that it
10 did not provide, in our jargon, as will be
11 explained, substantial evidence to be approved.

12 The Division found that it was not
13 substantial evidence. When that happens, the
14 sponsor is given the opportunity for various forms
15 of appeal of our decision, and in this case, they
16 can appeal above our level, to higher levels in the
17 Center for Drugs, or they have the option of
18 presenting their information to an advisory
19 committee.

20 So, what is happening here is they are
21 going to present their view of the information and
22 we are going to present our view of the
23 information, and then we are going to ask you for
24 your input regarding that.

25 I just wanted to give a little background

1 about what exactly we are doing here today.

2 Thank you.

3 DR. GIUDICE: Thank you.

4 Committee Discussion

5 DR. GIUDICE: There was some discussion
6 sort of post hoc yesterday by some of the committee
7 members, and then this morning at breakfast,
8 regarding some of the recommendations that we have
9 made for the guidance document.

10 In particular is the issue--and I hate to
11 raise this again, but since it has been very much
12 under discussion--the issue of pregnancy as the
13 outcome for gonadotropins and the issue of the
14 indications.

15 Some committee members have expressed the
16 desire to have a brief discussion this morning
17 about this. The issue of pregnancy, just to cut to
18 the chase, has to do with certainly that is the
19 goal of gonadotropin therapy for infertility.

20 We, and many members of the committee,
21 felt it important that this message be sent to the
22 FDA that if there is no flexibility in outcome, and
23 pregnancy becomes the gold standard, that the n
24 that is required for most pharmaceutical trials is
25 going to be so large, and the expense so high, that

1 we may actually end up with few, if any, trials at
2 all, which of course would be counterproductive to
3 the goals of the physicians and the patients.

4 So, I would like to devote maybe about
5 five minutes to this discussion, and for those of
6 you who bent my ear last night and this morning, I
7 would invite you to please turn on your microphones
8 and begin a brief set of comments.

9 Dr. Crockett.

10 DR. CROCKETT: I would just like to
11 address this question to Dr. Shames. I was
12 wondering if you could please, for the benefit of
13 the committee, explain how the indications are
14 decided, how your breakdown for the indications are
15 done, and why it is important that it is done the
16 way it is.

17 DR. SHAMES: Well, I can answer that in a
18 general sense. First of all, the guidances are
19 only recommendations as we have been saying here.
20 The guidances are often general, there is a lot of
21 wiggle room in the guidances, that is just the way
22 they are.

23 These are not regulations or rules or
24 legally binding. The purpose of these guidances is
25 merely to increase the efficiency of the

1 development of the products, so that sponsors have
2 some general idea of how to develop drugs in a
3 general sense, for the bulk of the drugs, say, in
4 this situation.

5 We always are aware that there are
6 exceptions and especially when there are small
7 populations, we understand. Small populations or
8 outcomes that are very long, and we recognize that,
9 so if there are small populations or outcomes that
10 will be long or burdensome, we understand that we
11 don't want to make the development so costly that
12 it will be essentially impossible to develop the
13 drug.

14 So, I think I am trying to assuage your
15 fears of this in that what we asked for yesterday
16 are sort of general guidelines, because we haven't
17 done that really in a long period of time,
18 certainly since Shelley and I have been here.

19 We do welcome exceptions. I mean we are
20 flexible, you know, it's a flexible thing, because
21 these are not set in stone, and they are not
22 regulations, they are just merely recommendations
23 that we give as guidances, and people can come
24 before they even start developing the drugs and
25 talk to us about exceptions.

1 As far as the indications, generally, it
2 is best, it makes the most sense to formulate the
3 trials, so that the endpoints for the trials
4 correlate with the indications, but that can be
5 changed also. I mean we can look at individual
6 cases and have individual indications.

7 Part of the reason we try to make these
8 standardized is because we have some obligation not
9 to be arbitrary and capricious in a sense, which
10 sponsors call "unfair." We try to be as standard
11 as possible, so we are not accused of treating
12 people or sponsors differently, so that is why we
13 have to have some standardization in these
14 situations.

15 DR. CROCKETT: I guess what I hear you
16 saying is instead of having a general indication,
17 say, for ovulation induction and augmentation, it's
18 advantageous when they are acting with sponsors to
19 have the indications broken down into more specific
20 categories, because it offers them an opportunity
21 to better target their research.

22 DR. SHAMES: As we discussed, we do have
23 to take into account what is going on at the moment
24 in the particular area, what the science is in the
25 particular area, so that goes into what the

1 indications are also.

2 This can be altered, these guidances, even
3 if we have a guidance that is not draft, is
4 actually a final guidance, still, if the science
5 changes, we can alter the indication at that point.

6 DR. GIUDICE: Dr. Stanford and then Dr.
7 Keefe and Dr. Toner.

8 DR. STANFORD: It just seems to me that
9 the indications ought to match the main outcome,
10 and in this particular case, if the outcome is
11 accepted of follicular development, which is
12 another discussion, and if it is effective for
13 that, which is another discussion, but if that were
14 all the case, then, it seems to me the indication
15 should not be for the induction of ovulation, but
16 for the induction of follicular development.

17 I mean it should just reflect what the
18 output was. That would be my take on it.

19 DR. GIUDICE: Thank you.

20 Dr. Keefe.

21 DR. KEEFE: We are kind of picking up
22 where we left off yesterday. Both of the
23 presentations that Jim and I made emphasized the
24 disconnect between follicular development and
25 outcome, that there are is so many factors that are

1 egg-specific, embryo-specific, and that to target
2 the pregnancy outcome would compromise the
3 development of novel drugs that may well be equally
4 good and spur competition and open options that
5 have other advantages, convenience, and other
6 factors.

7 So, I think the proposal we left with was
8 that we are recommending the indication be
9 multifollicular development for pregnancy instead
10 of and pregnancy, leaving that wiggle room overt
11 and clear.

12 DR. GIUDICE: Dr. Toner.

13 DR. TONER: I think the issue is really
14 one of trade-offs. Clearly, a pregnancy endpoint
15 is closer to the desired goal of the therapy, but
16 as a practical matter, we it would probably
17 increase sample size for most studies about
18 10-fold, from 100 to 1,000.

19 The precedence has been in this country
20 for sponsors to pay for such cycles of novel
21 therapies, which in this country are typically
22 \$10,000 a crack. So, 10,000 times 1,000 is 10
23 million as a study, and I am not paying that bill,
24 and I don't mean to be facetious, but in the end,
25 our patients are paying that bill.

1 So, as one of the considerations here, I
2 think you have to recognize that this will make
3 drugs more expensive, and to the extent that you
4 believe using the pregnancy endpoint is worth that
5 extra cost, then, you stick with the pregnancy
6 endpoint, but I think you have to at the same time
7 admit that there is a cost to the patients for that
8 endpoint.

9 DR. GIUDICE: Thank you.

10 Dr. Slaughter.

11 DR. SLAUGHTER: I just wanted to comment a
12 little further what Dr. Shames and also Dr. Keefe
13 have said. We take into consideration the clinical
14 relevance of the indication. In other words, the
15 indication should mean something clinically.

16 With respect to follicular development, I
17 think Dr. Keefe has said we don't know what that
18 means for pregnancy or there are some questions
19 about the distal relevance to pregnancy, so
20 therefore, if you use that as a surrogate, the
21 ultimate outcome is to look for pregnancy, the
22 surrogate or the reflection of the surrogate in the
23 indication ought to have direct clinical relevance.

24 We do have some flexibility. I think we
25 heard very clearly yesterday that you think there

1 should be some flexibility in the outcome or the
2 indication of pregnancy as it relates to women with
3 Group I.

4 So, I think as far as both Dr. Shames and
5 I can persuade you, putting this in a guidance
6 document is not law, there is some flexibility. We
7 are able to look at some things on the case
8 presented to us and make appropriate or relevant
9 adjustments in the ultimate indication.

10 DR. GIUDICE: Thank you.

11 Dr. Emerson, Dr. Brzyski, and then Dr.
12 Rice.

13 DR. EMERSON: I think that if we are going
14 to invoke economics in this, we also should invoke
15 the economic cost of approving a drug that is not
16 really effective for what people want it for, and
17 that you are paying for whether you like it or not,
18 and that is a much greater economic cost to society
19 than the cost of mounting a clinical trial where
20 per-patient costs of \$10,000 is really quite
21 routine.

22 DR. GIUDICE: Dr. Brzyski.

23 DR. BRZYSKI: I was trying to think of
24 examples from other situations to try and enlighten
25 myself. I don't know if it is relevant, but I just

1 thought of the issue of say there is experience
2 with fluoride self-limitation for increasing bone
3 density, so if that is the indication, then, I
4 think that could be approved to increase bone
5 density, but when patients start getting prescribed
6 fluoride and they have more osteoporotic fractures
7 in that setting, then, is that a good thing?

8 Well, it did what it was supposed to do,
9 it increased bone density, but clinically, it had a
10 negative effect on the patient quality of care, and
11 is that a situation that the FDA and that the
12 committee would feel comfortable with setting a
13 precedent for.

14 Now, I can't say, I mean there has not
15 been any experience with ovulation induction drugs,
16 for instance, that would make people ovulate or
17 stimulate follicular development, but actually
18 impair the opportunity for pregnancy, but you could
19 imagine that those types of drugs could come along.

20 DR. GIUDICE: Thank you.

21 Dr. Rice and then Dr. Lewis.

22 DR. RICE: Yesterday, we were presented
23 with some I think very clear evidence from our two
24 presentations that showed us that follicular
25 development does not lead to pregnancy in different

1 subgroups of patients, and there are several
2 variables that impact that.

3 So, if we are trying to advance or improve
4 our ability to assist patients with their end goal,
5 and that is pregnancy, then, it would seem
6 appropriate for us to re-evaluate the criteria
7 under which we are approving medications that can
8 go beyond follicular development, and that is to
9 pregnancy, because we all know that when those
10 patients come in to see us as clinicians, yes, they
11 may be excited they develop a follicle, but what
12 they really want to know if this is going to assist
13 them in achieving their long-term goal, and that is
14 pregnancy.

15 The second comment that I will make, and I
16 hope this does not offend the committee members,
17 but I think that we must be very careful about our
18 subgroup conversations and that this is the forum
19 in which to have conversations in which we discuss
20 the issues that are relevant to making these
21 guidelines to the committee--or the FDA, excuse me.

22 DR. GIUDICE: I think perhaps the reason
23 there were subgroup conversations is because there
24 was an element of uncertainty at the conclusion of
25 yesterday, and I agree with you, it should have

1 been brought up in this forum, but I am glad that
2 we are having this discussion today, so that there
3 will not be subsequent subgroup conversations.

4 Dr. Lewis.

5 DR. LEWIS: Thank you. Yes, we did visit
6 much of this terrain yesterday, but clearly,
7 pregnancy is the bottom line, that is what the
8 patients want, it is not follicular development,
9 and if you have a sufficient sample size, I think
10 issues about individual egg quality should be taken
11 care of with randomization.

12 I would also remind the committee that
13 these are all international companies producing
14 these medications, they operate in a variety of
15 countries where it may not be so expensive to run
16 clinical trials, and clearly, the drugs are much
17 cheaper in other countries. The reason for that--I
18 mean there are a lot of reasons for that.

19 So, I do think our patients pay the cost
20 if we approve ineffective drugs, and I think we
21 ought to stick with pregnancy as the standard
22 except as we agreed yesterday, in cases of Type 1
23 anovulation where it is rare and it's unrealistic
24 to expect that we are going to get a large n to
25 prove efficacy.

1 DR. GIUDICE: Dr. Hager.

2 DR. HAGER: It was my impression yesterday
3 that a great deal of latitude was offered in our
4 suggestions. I felt that it was very broad and
5 reiterating the WHO-I category where follicular
6 development was certainly an option for those
7 studies.

8 But I just want to remind us that we also
9 reviewed not only follicular genesis, but we
10 reviewed ovulation, chemical pregnancy tests,
11 progressing on to gestational sac with fetal heart
12 motion, so I believe what we were saying was that
13 in WHO-I category, the development of follicles is
14 certainly a way to evaluate the efficacy of
15 therapy, but there are steps higher related to
16 ovulation that would fall into that same category,
17 that what we are really looking at for an ultimate
18 endpoint in the other categories, assisted
19 reproductive technologies, is a gestational sac
20 with fetal heart motion.

21 DR. GIUDICE: Thank you. I am assuming
22 there are no more hands, that everyone has gotten
23 whatever they have had on their chests now off
24 their chests.

25 Dr. Emmi, you have one more comment.

1 DR. EMMI: I believe that when everybody
2 leaves the table with a little bit of thought about
3 what they felt should have happened, it usually
4 means that you have compromised, and I feel that
5 the clinical pregnancy rate was a compromise
6 amongst the two groups for the appropriate
7 endpoint, which is actually pregnancy.

8 DR. GIUDICE: Thank you.

9 We are running a little ahead of time, so
10 what we would like to do is take break now and
11 return at 10:30, so the sponsor can begin their
12 presentation at 10:30. Thank you.

13 [Break.]

14 DR. GIUDICE: The next series of
15 presentations will be the sponsor presentation by
16 Serono, Inc.

17 The first speaker is Pamela Williamson
18 Joyce, who is the Vice President of Regulatory
19 Affairs and Quality Assurance in Serono in the
20 United States.

21 Her topic is Introduction and Regulatory
22 History.

23 Sponsor Presentations (Serono, Inc.)

24 Introduction and Regulatory History

25 MS. WILLIAMSON JOYCE: Good morning. My

1 name is Pamela Williamson Joyce and I am Vice
2 President of Regulatory Affairs and Quality
3 Assurance for Serono.

4 I would like to thank Dr. Guidice and the
5 members of the Advisory Committee, as well as the
6 members of the Food and Drug Administration, for
7 the opportunity to be here today to share the
8 clinical development results for our program in
9 Luveris, a recombinant luteinizing hormone.

10 [Slide.]

11 The proposed indication for Luveris is as
12 follows. Luveris (lutropin alfa for injection)
13 administered with follitropin alfa for injection,
14 is indicated for the stimulation of follicular
15 development in infertile hypogonadotropic
16 hypogonadal women with a profound LH deficiency as
17 defined by a level of LH of less than 1.2 IU/L.

18 Given the earlier discussion, I would like
19 to take this opportunity to clarify the indication.
20 In August of 2003, Serono proceeded to submit an
21 amendment. We thought this might be good for two
22 reasons.

23 First of all, this indication is
24 consistent with the clinical development program
25 over the last 10 years in studying LH and

1 stimulation of follicular development.
2 Additionally, it is important to note that this is
3 also consistent with the indication that is
4 currently approved in 46 other countries outside of
5 the United States.

6 [Slide.]

7 A brief overview of our presentation
8 follows. After my introduction and overview of the
9 regulatory history, I will invite Dr. Jerome
10 Strauss from the University of Pennsylvania to
11 speak on the need for and the rule of LH in HH
12 women with a profound gonadotropin deficiency.

13 Following Dr. Strauss, Dr. Paul Lammers,
14 Chief Medical Officer for Serono, will share the
15 clinical development results in terms of efficacy
16 and safety of Luveris.

17 Following Dr. Lammers, Dr. Nanette Santoro
18 from Albert Einstein College of Medicine in New
19 York will present the clinical perspective and
20 benefit/risk of luteinizing hormone in these women.

21 Finally, I will conclude the presentation.

22 [Slide.]

23 Luveris is a luteinizing hormone produced
24 by recombinant DNA technology. It is presented in
25 lyophilized 75 IU vials and can be

1 self-administered by subcutaneous injection.

2 [Slide.]

3 Luveris is currently approved in 46
4 countries outside of the United States including
5 the European Union.

6 [Slide.]

7 It is important to note for several
8 reasons that FDA's Office of Orphan Product
9 Development has designated Luveris to be an orphan
10 drug. Specifically, in the United States, the
11 orphan drug regulations provide incentives to
12 sponsors for the development of drugs which are
13 intended to treat rare diseases and conditions.

14 In the United States, that is defined by a
15 prevalence of less than 200,000 patients. In this
16 case, the prevalence of hypogonadotropic
17 hypogonadal women is estimated to be between 2,800
18 and 5,600 women.

19 Furthermore, in terms of profound LH
20 deficient patients, the number of women is indeed
21 even smaller. This further points to the
22 challenges in developing drugs for rare conditions.

23 [Slide.]

24 Back in the early 1990s, Serono recognized
25 that for many women, FSH alone was sufficient in

1 their gonadotropin treatment regimen, however, we
2 also believed that there was a role for LH
3 specifically in the hypogonadotropic hypogonadal
4 population. Therefore, we requested a meeting with
5 the Food and Drug Administration--this was a
6 pre-IND meeting--in order to seek advice on a
7 clinical development program of using luteinizing
8 hormone in treatment of HH women.

9 The clinical development program was
10 agreed to be two, Phase II/III studies that were
11 intended to be essentially the same in clinical
12 design. The endpoint for those studies in terms of
13 registration was agreed to be follicular
14 development.

15 The first study, Study 6253, was conducted
16 in Europe and Israel. This study, as Dr. Lammers
17 will share, was of the truly profoundly
18 LH-deficient patient population.

19 Study 6905, which was conducted in the
20 United States, and also therefore filed to the IND,
21 was intend to reflect essentially the same patient
22 population, however, given the rarity of the
23 condition, it was difficult to enroll and therefore
24 a decision was made to broaden the inclusion
25 criteria for the U.S. Study.

1 In hindsight, this was not the best
2 decision because in the end, therefore, the
3 population studied in the two clinical trials were
4 no longer the same.

5 In March of 1999, both clinical trials
6 were completed and the data were shared with the
7 Food and Drug Administration, who at that time had
8 pointed out that given the fact that the two
9 patient populations were no longer identical, they
10 would like us to perform a confirmatory Phase III
11 trial.

12 This confirmatory Phase III trial was our
13 Study 21008, which will serve as the basis of
14 registration, and Dr. Lammers will share that with
15 you.

16 It is important to note that there were a
17 considerable amount of discussions during the time
18 that we presented the initial data and then,
19 therefore, agreed to conduct that Phase III trial.

20 Following completion of the trial, we
21 requested another meeting with the FDA, and we met
22 with the Division in December of 2000. This was a
23 pre-NDA meeting where the results of the safety and
24 efficacy of Luveris were shared.

25 At that point in time, there were no

1 concerns expressed to us by the Division and, in
2 fact, a comment was made that we had indeed
3 conducted the trial as had been requested, and that
4 would be viewed favorably.

5 Given that, we proceeded to submit the NDA
6 in April of 2001.

7 [Slide.]

8 In March of 2002, we received a Not
9 Approvable letter, which indicated that we had not
10 provided sufficient evidence to support the
11 efficacy of the 75 IU/day dose, and the Division
12 had requested that we conduct another Phase III
13 confirmatory trial.

14 In this instance, the request was that the
15 trial again be efficacy versus placebo, as in the
16 previous trial, that the indication be for
17 ovulation induction using P4, and that this also be
18 a dose-ranging study which would include a placebo
19 arm, the proposed 75 IU/day dose, and another dose,
20 lower dose, either 50 or 25 IU/day.

21 Following the receipt of the Not
22 Approvable letter, Serono requested a Type A
23 meeting in order to hear from the agency the
24 concerns with regard to approvability, and one of
25 the concerns which we will speak to today, although

1 prospectively defined in the protocol, was told the
2 fact that cycle cancellation due to risk of OHSS
3 should be considered an efficacy failure.

4 In January of 2003, we met with the agency
5 again to talk about what possibilities there were
6 for us to provide any additional information to
7 help clarify the concerns. At that point in time,
8 the Division agreed and we agreed, mutually agreed
9 that it would be prudent to bring the information
10 for Luveris before an Advisory Committee.

11 [Slide.]

12 One thing I would like to take note of,
13 which happened subsequent to the review, is that in
14 April of 2003, as discussed with the agency, we
15 amended our NDA to include additional results from
16 an extension study.

17 The extension study, 21415, was a
18 follow-on to the original pivotal trial, and this
19 was intended to provide an additional three cycles
20 of treatment to patients in order to allow them the
21 opportunity to become pregnant and to gather
22 additional data in terms of safety, efficacy, and
23 pregnancy.

24 [Slide.]

25 A few of the topics that we would like you

1 to consider in your discussions today.

2 Is there a need for recombinant
3 luteinizing hormone? We believe that some of the
4 speakers that presented yesterday, as well as
5 speakers that will present in just a moment, will
6 clearly indicate that there indeed is a need for
7 recombinant luteinizing hormone in treatment of
8 these patients.

9 Has the appropriate patient population
10 been defined? Some initial discussion has taken
11 place earlier today on that.

12 Has a safe and effective dose been
13 identified? Specifically, the 75 IU/day dose. Dr.
14 Lammers will share that we indeed believe that the
15 75 IU/day dose is the effective and appropriate
16 dose for these patients.

17 Is the composite primary endpoint of
18 follicular development an appropriate endpoint to
19 assess efficacy in this specific patient
20 population?

21 [Slide.]

22 Further, to consideration of the efficacy
23 endpoint, again, how should one consider in terms
24 of analyses cancellation of cycles and also
25 pregnancy?

1 Finally, do the data that will be shared
2 with you today in terms of safety and efficacy
3 support Luveris to be approved in this proposed
4 indication, and should another Phase III,
5 double-blind placebo-controlled clinical trial be
6 required in order to grant approval of Luveris?

7 Certainly, although not first and foremost
8 in these considerations, it is important to note
9 specifically with regard to this patient, given the
10 rarity of the condition and the amount of time that
11 each of our previous clinical trials have taken to
12 conduct, that we would estimate that to do a trial
13 as requested, using ovulation rates and the three
14 arms double-blinded, placebo-controlled, is
15 estimated to take an additional 195 patients.

16 We estimate it would take at least five
17 years to complete that trial.

18 [Slide.]

19 As I close, I would like to share with you
20 the names of some of our external consultants who
21 are here with us today. Although some of those
22 folks may not be speaking, they are available to
23 respond to questions that you may have.

24 First, Dr. Sarah Berga from Emory
25 University School of Medicine. Michael Diamond

1 from Wayne State University in Detroit, Michigan.

2 Dr. Gary Koch, who is our statistical consultant.

3 [Slide.]

4 Dr. Bert Spilker. Dr. Bert Spilker is
5 co-founder and former President of Orphan Medical,
6 and has extensive experience in the development and
7 commercialization of drugs intended to treat rare
8 conditions and diseases.

9 Dr. Nanette Santoro, Professor and
10 Director, Division of Reproductive Endocrinology at
11 Albert Einstein College of Medicine.

12 Jerome Strauss from the University of
13 Pennsylvania.

14 I would also like to take this opportunity
15 to note that both Drs. Berga and Santoro were
16 clinical investigators during our clinical
17 development program for Luveris. Both have
18 extensive experience in treatment of HH women
19 including those who are profoundly LH deficient.

20 With that, I would like to invite Dr.
21 Strauss.

22 Need for and Role of LH in HH Women
23 with Profound Gonadotropin Deficiency

24 DR. STRAUSS: Thank you.

25 We heard two excellent presentations this

1 morning that are relevant to the issue of the
2 patient population for which the sponsor is seeking
3 approval of its drug, and I would like to share
4 some additional thoughts regarding the role of LH
5 in follicular development and why it is needed in
6 the treatment of infertility with women who have
7 profound gonadotropin deficiency.

8 [Slide.]

9 I want to touch on the heterogeneity, the
10 pathophysiology of this disorder, and the
11 significance of that to clinical management, the
12 consequences of profound LH deficiency, briefly on
13 our current therapeutic options, and then some
14 comments on the unmet medical need.

15 [Slide.]

16 As we heard this morning, HH can be caused
17 by disorders in the central nervous system,
18 hypothalamus, pituitary, or both the hypothalamus
19 and the pituitary gland.

20 [Slide.]

21 It was mentioned that this is a very rare
22 disorder and it's heterogeneous. Let me share a
23 vignette with you that relates to the rarity of the
24 condition.

25 The University of Pennsylvania was a

1 participant in the sponsor's confirmatory trial
2 21008. We have nine reproductive endocrinologists
3 on staff who have 18- to 20,000 patient contacts
4 per year, and even with that volume, we were only
5 able to identify a single patient to participate in
6 that trial. It basically says these individuals
7 are as rare as hen's teeth.

8 I would also like to point that Dr.
9 Layman, in his excellent discussion of HH, didn't
10 specifically point out that there is a significant
11 sex difference in the occurrence of this disorder.
12 He talked about some significant numbers of
13 patients, but you have to recognize that HH is five
14 times more common in males than females.

15 Heterogeneity was touched upon by the two
16 previous speakers, and that is important with
17 respect to clinical management. It can span from
18 pan-hypopituitarism, and those individuals may
19 require gonadotropins and additional treatment,
20 such as growth hormone, to achieve follicular
21 development and to pregnancy.

22 There is the isolated severe gonadotropin
23 deficiency, which we are going to discuss a little
24 bit later in greater detail, and moderate
25 impairment, which may be treated with, for example,

1 FSH alone.

2 But it is the severe
3 gonadotropin-deficient patient which is the topic
4 of today.

5 [Slide.]

6 How do we identify these patients? That
7 has been touched on earlier. First of all, we have
8 to recognize that these patients have very low
9 gonadotropin levels, low FSH, very low LH, and they
10 are also chronically hypoestrogenemic.

11 So, to capture the diagnosis, we have to
12 use clinical judgment and oftentimes the history
13 and physical examination is terribly informative,
14 but there are some biochemical and functional tests
15 that can be used to identify the patients who will
16 indeed benefit from LH in addition to FSH in their
17 therapy.

18 One mechanism to do that is to measure LH,
19 and as was mentioned earlier today, an LH level of
20 less than 1.2 IU/liter is a very reasonable index
21 of the patients who will require LH in their
22 treatment. That comes from literature.

23 One citation, which was in your briefing
24 document from Shoham et al., demonstrated that
25 patients whose LH levels are 1.2 IU/liter or less

1 do benefit from the addition of an LH activity in
2 their follicular development stimulation protocol.

3 There are other papers with smaller
4 numbers of patients that also confirm this, and
5 indeed the sponsor has used that cutoff value in
6 their clinical trials and confirmed the value of
7 LH, as they will show you, in that patient
8 population with that LH level.

9 I should also point out that these
10 different studies that I have just mentioned relied
11 upon different LH assays, so there is some
12 robustness in the cutoff value.

13 The hypoestrogenemia can be identified by
14 an endocrine measurement, and I would suggest an
15 estradiol level of less than 30 picograms/ml, or a
16 functional test, the progestin withdrawal test, and
17 Dr. Montgomery Rice appropriately pointed out that
18 that functional test has some warts, particularly
19 when it is used as a primary diagnostic criteria,
20 for example, the old WHO group I definition, but in
21 the context of a patient with low LH levels, it
22 does document chronic hypoestrogenemic state.

23 Now, I don't think this is news to anyone
24 in this room who practices reproductive
25 endocrinology, indeed, if we look at the ASRM

1 Technical Bulletin on Follicular Development and
2 Ovulation Induction, it is recommended that
3 patients with low gonadotropin levels be treated
4 with a preparation that contains LH activity.

5 I should point out that the citation that
6 is used to support that suggestion was a paper that
7 I wrote with Michael Steinkampf [ph], and at the
8 time when that paper was written, we were basing
9 that concept recommendation on experience and the
10 existing clinical literature at the time, because
11 there were no randomized, placebo-controlled trials
12 to establish that point.

13 As you will hear today, we now have that
14 information which does indicate that in those
15 individuals who are severely gonadotropin
16 deficient, the addition of LH is indeed beneficial.

17 [Slide.]

18 Now, what are the consequences of profound
19 LH deficiency, why is LH needed? To answer that
20 question, we have to address, first, what are the
21 roles of LH in follicular development, follicular
22 function.

23 As Dr. Liu mentioned to you, LH is
24 important for stimulating follicular
25 steroidogenesis. It promotes the production of

1 androgens, which are then aromatized in the
2 granulosa cells to estradiol, and that estradiol
3 has important effects, not only on the central
4 nervous system, as we heard today, it is critical
5 for programming the reproductive tract, and that is
6 important because you need to have an appropriately
7 developed endometrium if you are going to achieve a
8 pregnancy.

9 LH also synergizes with FSH in follicular
10 development, as was mentioned, and indeed it can
11 support the terminal differentiation of the
12 follicle even in the absence of FSH. FSH is the
13 main driver, but LH is clearly synergistic.

14 LH also promoted ovulation, which involves
15 several steps. It is the resumption of meiosis,
16 the actual release of the egg, and, of course,
17 luteinization of the granulosa cells and the theca
18 cells in the formation of a corpus luteum, and LH
19 is necessary for the maintenance of corpus luteum
20 function.

21 [Slide.]

22 Now, in thinking about endpoints for
23 assessing the action of LH, one would like to
24 capture all of the activities of LH in the
25 follicular development process, and indeed

1 clinically that is done. We measure estradiol
2 levels, an assessment of the steroidogenic
3 activity, we monitor follicular growth by
4 ultrasound, and we assess progesterone as an index
5 of ovulation.

6 I should point out, however, that in the
7 HH population that is severely gonadotropin
8 deficient, exogenous progesterone is clinically,
9 usually administered soon after the administration
10 of hCG because those individuals will not be able
11 to sustain appropriate luteal phase progesterone
12 levels in the absence of either some gonadotropic
13 factor or exogenous progesterone.

14 [Slide.]

15 Let me just briefly go over some of the
16 important roles of LH and follicular function. Jim
17 Liu showed us this, that LH acts on theca cells to
18 stimulate androgen production, androstenedione, a
19 touch of testosterone, goes into the granulosa cell
20 compartment where FSH is acted on granulosa cells
21 to stimulate the aromatase expression, which
22 converts that androgen into estradiol.

23 [Slide.]

24 Now, there are several prismatic examples
25 that I can show you of the essential role of LH in

1 this process. One way of look at this is to take a
2 look at ovaries that cannot respond to LH, and that
3 has been studied in a mouse model. Indeed, there
4 are humans who share mutations in the LH receptor,
5 who have a similar phenotype, but this is
6 dramatically shown here.

7 Here we have a mouse who has no LH
8 receptor, so it cannot recognize LH action on the
9 ovary. This is the uterus, it's hypoplastic, and
10 it's hypoplastic because of the absence of estrogen
11 compared to the Wild Type animal.

12 If we look at the ovaries of this animal,
13 there is some follicular development, but only to
14 the early antral stage, and indeed if we look at
15 higher power, we see these antral follicles, but no
16 corpora lutea, the animals can't ovulate, they
17 can't luteinize. Here, in the Wild Type, we see
18 multiple corpora lutea.

19 [Slide.]

20 Now, as I mentioned, this is a phenotype
21 that is also seen in humans, the rare humans with
22 homozygous mutations in the LH receptor.

23 Clinical experimentation validates, which
24 I have just shown you, in animals and humans. Here
25 we have a severely gonadotropic-deficient patient

1 who has been treated with recombinant FSH alone,
2 each one of these green bars representing a 75 IU
3 vial.

4 What you see here is that exogenous FSH
5 accumulates in the patient's blood, and there is
6 follicular expansion, follicular growth, because
7 that is the primary action of FSH, and the ovals
8 here show the follicular size by ultrasound, and
9 you can see that you get a follicle or follicles
10 that reach the pre-ovulatory size.

11 However, in the absence of LH in these
12 individuals, estradiol levels remain virtually
13 unchanged. Importantly, they are below the
14 threshold level that we know that is essential for
15 stimulating endometrial proliferation. That is
16 about 100 picograms of estradiol per ml.

17 Indeed, if you look at the endometrial
18 thickness by ultrasound, it doesn't change, and it
19 remains below about 6 millimeters in diameter, and
20 that is a threshold level which one wants to
21 achieve to have a permissive, a receptive uterine
22 environment.

23 [Slide.]

24 I am going to take the same type of
25 patient and do the experiment now, not only with

1 recombinant FSH, but adding back recombinant LH,
2 and what you see here is yes, FSH levels increase,
3 there is follicular growth, follicular expansion,
4 but more importantly, we now have estrogen
5 production, a consequence of adding LH to the
6 stimulation protocol.

7 More importantly, now we have an
8 appropriate endometrial response, endometrial
9 proliferation that would be consistent with an
10 environment that could support implantation.
11 Indeed, if one wants to achieve pregnancy, one has
12 to consider that, as well, in addition to the
13 growth of the follicle.

14 [Slide.]

15 Now, there are some subtleties to the
16 actions of LH, and Dr. Toner referred to this as
17 the "Goldilock's Principle" yesterday. I prefer to
18 think about this in terms of a window, but we are
19 talking about the same thing.

20 There is a level of LH that supports
21 normal follicular growth, normal androgen
22 production and therefore normal estrogen
23 production, and normal oocyte maturation.

24 If the LH level is below that threshold,
25 and I think that is clearly characteristic of those

1 patients who are apulsatile in terms of their LH
2 secretion, 1.2 IU or less LH, there is impaired
3 follicular growth, inadequate estrogen production,
4 therefore, inadequate support for the endometrium,
5 and also there is evidence for impaired oocyte
6 maturation.

7 There may be a ceiling, and Dr. Toner
8 mentioned this, over which additional LH does you
9 no good and may, in fact, do some harm. That is a
10 result of suppression of granulosa cell
11 proliferation because LH causes granulosa cells to
12 differentiate.

13 There may be promotion of follicular
14 atresia of non-dominant follicles, and that
15 actually could turn out to be a good thing, but
16 premature luteinization of the pre-ovulatory
17 follicular, an impairment of oocyte development are
18 not good.

19 So, we want to be in the right zone in
20 terms of the therapeutic window for LH
21 administration.

22 [Slide.]

23 What are our current options for the
24 treatment of HH? That was touched upon earlier
25 today. We talked about gonadotropin-releasing

1 hormone can be used in women with an intact
2 pituitary. Unfortunately, it is not available.

3 Gonadotropins. Gonadotropins containing
4 both FSH and LH activity, human menopausal
5 gonadotropins have been used to treat these women
6 in mostly very small and uncontrolled studies. The
7 virtue of gonadotropin therapy is that it can be
8 used in women with lesions either in the
9 hypothalamus or the pituitary gland, but as we have
10 heard yesterday, gonadotropin therapies do have
11 some drawbacks.

12 In the case of hMG, there is a fixed ratio
13 of LH and FSH activity in a single file. What that
14 does is it compromises the capacity of the treating
15 physician to individualize or titrate gonadotropin
16 treatments in these patients, and I know Dr.
17 Santoro is going to touch on this when she speaks
18 to you.

19 There are some risks of gonadotropin
20 therapy, were mentioned yesterday - ovarian
21 hyperstimulation syndrome, but I would just leave
22 you with the thought, and this is an important one
23 from my perspective, that in treating women with
24 HH, if you get a response even though it's an
25 exuberant response and may cause you to cancel a

1 cycle, you know that that patient is capable of
2 responding to your therapy, and you can use that
3 information to readjust your protocol in a
4 subsequent cycle.

5 Multiple gestations, a concern.
6 Hopefully, with improved titration of gonadotropin
7 therapy, that can be avoided.

8 [Slide.]

9 Now, what are the unmet medical needs? As
10 you know, in the United States, there is no
11 FDA-approved LH-only treatment for the profoundly
12 LH-deficient patient, and what that does is
13 compromise treatment, I believe, in terms of the
14 individualization, the titration of gonadotropins,
15 which is important to the success of the outcome.

16 The product before you today is a
17 recombinant product that has some distinct
18 advantages to both the clinician and the patient,
19 first of all, with respect to purity and
20 consistency, one is not dosing patients with
21 material that has been assayed by a bioassay with a
22 significant coefficient of variation.

23 There is great assurance that each vial
24 contains the same activity, and, of course, these
25 gonadotropin preparations could be administered

1 subcutaneously, which as we heard yesterday, is a
2 distinct advantage to the patient.

3 [Slide.]

4 So, in conclusion, I think there is
5 compelling evidence that LH is required for
6 follicular competency, some threshold level with
7 LH.

8 We talked about HH as a very rare disorder
9 and it is heterogeneous and it is appropriate to
10 identify the subgroups of patients within the HH
11 broad category who are going to require a specific
12 therapy and, in this case, combined gonadotropin
13 therapy.

14 The evidence that you will hear today and
15 that I have presented briefly is that the
16 profoundly HH-deficient woman will required
17 exogenous LH for normal follicular function, and
18 again, for the benefit of both the clinician and
19 the patient, the ability to optimize therapy by
20 individualization and titration of gonadotropins is
21 paramount for successful treatment of these
22 individuals.

23 I will now turn the podium over to Dr.
24 Paul Lammers, who is the Chief Medical Officer of
25 Serono, to discuss the clinical development program

1 with you.

2 Luveris Clinical Development Program

3 DR. LAMMERS: Thank you, Dr. Strauss.

4 Madam Chairman, members of the committee,

5 I appreciate the opportunity to me today to provide

6 with you an overview of the most pertinent data

7 that we have assembled at Serono over the past 10

8 years on recombinant LH or Luveris.

9 [Slide.]

10 What I would like to do for you is provide

11 you a brief overview of the clinical development

12 program and go over some of the considerations that

13 went into the study design and in the treatment,

14 and also explain how we came to our definition of

15 treatment effect and the study endpoints that we

16 used in our studies.

17 Then, discuss the results on the dose

18 finding study on the efficacy confirmatory trial

19 21008 with its extension study 21415.

20 Finally, provide a real brief, one-slide

21 summary of safety, and then end with some overall

22 conclusions.

23 [Slide.]

24 This table is also provided in the

25 briefing package that you have received, summarizes

1 the six studies that are totally included in this
2 development program.

3 They are summarized here in two different
4 groups. The top four basically identify those
5 studies that included the profoundly LH-deficient
6 patients that are defined by an LH level of below
7 1.2.

8 The two bottom ones, 6905 and 8297, are
9 two studies with a more broader hypo/hypo
10 population, and therefore present a different
11 patient population.

12 I just want to bring your attention to
13 this column here, Number of Patients. If you look
14 in the literature on hypo/hypo, most case series,
15 or the few that have been published, perhaps
16 include eight or nine patients.

17 Here, you can see that Serono truly has
18 assembled the largest database so far on women with
19 hypo/hypo.

20 [Slide.]

21 Now, when I show you results, we are back
22 in time, but I would like to take you back at the
23 beginning of this program and just briefly mention
24 the challenges that any company has when you embark
25 on a new clinical development program for a new

1 product, especially in such a rare orphan
2 population as hypo/hypo.

3 The issues at hand were that what we were
4 faced with had an impact both on our study design
5 and also how these patients were going to be
6 treated as part of the study protocols.

7 First of all, our intent was to try to
8 identify a clear dose response in our study.
9 Obviously, since these products are given together,
10 so we have LH that has been added to FSH, which
11 means you have two active products, however, we
12 wanted to focus on the effect of LH alone.

13 That is why we fixed the dose of FSH in
14 these cycles, which is contrary to what clinicians
15 do in practice where they tailor the dose of FSH to
16 the individual patient's response, but we did want
17 to have the potential confounding effect of a
18 change in FSH dose. That is why we fixed the dose.

19 At the time that we started, there was
20 very limited information on these patient
21 populations, so we didn't quite know how these
22 patients would respond to treatment. We did want
23 to ensure that we had adequate follicular growth
24 and therefore we fixed the dose of FSH at 150
25 IU/day.

1 However, because of the fact that we had
2 this fixed dose of FSH, without the possibility of
3 down titrate in case a patient showed an
4 exaggerated response, the investigators supposed
5 the fact that we would put more conservative
6 criteria in place in the protocols to cancel a
7 cycle in case there was an over-response and there
8 was a risk of potentially developing OHSS if
9 treatment would continue.

10 [Slide.]

11 The primary endpoint that we used in our
12 study is a composite endpoint that truly captures,
13 as Dr. Strauss showed you, the different actions of
14 LH on the growing follicle. It works with FSH and
15 follicle growth. We use a cutoff for a normal
16 pre-ovulatory size lead follicle of 17 mm or
17 greater. It works to support steroidogenesis and
18 therefore we measure E2.

19 We used a cutoff of 400 pmol/L in the
20 European study, which we then consistently also
21 used in the U.S. studies, but now it was converted
22 back to picograms/ml, which gives you this somewhat
23 odd number of 109 pg/ml, but it stems from the
24 conversion.

25 This level represents the lower limit of

1 normal and it is adequate for endometrial growth,
2 as Dr. Strauss just mentioned.

3 Finally, the contribution of LH to corpus
4 luteum competence after administration of hCG. We
5 used 25 nmol/L in Study 6253, the European study,
6 which was similarly converted back to U.S. standard
7 of 7.9 ng/ml.

8 FDA indicated to us they like to see the
9 10 ng/ml cutoff, however, since none of the
10 patients in any of our studies had a level in
11 between the two, whether we take the 7.9 or the 10
12 ng/ml doesn't change the outcome of our results and
13 clearly both of those, the 7.9 and the 10 are
14 clearly above the threshold for normal ovulating
15 women of 6 ng/mL.

16 [Slide.]

17 The way we defined success in our
18 protocols is really critical and pertinent to the
19 discussion today. I just showed you the three
20 parameters of our composite endpoint of follicular
21 development, however, if the patient did not meet
22 all three criteria, as an example, if hCG was
23 withheld, but she went on, then, pregnancy was
24 always considered an important endpoint.

25 So, if she didn't meet all three, however,

1 if the patient became pregnant, that obviously was
2 a success because ultimately, that is the ultimate
3 outcome of these studies.

4 If the cycle was canceled for risk of
5 potentially developing OHSS, it also was considered
6 a success since, as Dr. Strauss mentioned, an
7 ovarian response in these women, especially women
8 with primary amenorrhea who may never have had any
9 ovarian response, it is a positive sign, and, in
10 fact, provides a measure of titrating the dose in
11 subsequent cycles of treatment, so this is a good
12 sign for clinicians and for the patients because it
13 may set the tone for the next cycle.

14 Looking here at the cutoff values, Dr.
15 Keefe presented yesterday an E2 in a controlled
16 ovarian hyperstimulation scenario of 3,500 pg/ml.
17 Obviously, for ovulation induction is lower. In
18 clinical practice, people use 2,000 or 2,500 as a
19 cutoff.

20 We were more conservative and set at
21 1,100. Again, the reason is that we had a fixed
22 dose of FSH that could not be down-titrated.

23 [Slide.]

24 The key secondary efficacy endpoints that
25 were used in the study were estradiol level per se,

1 endometrial thickness, and pregnancy rate.

2 [Slide.]

3 Turning then to the results of our dose
4 finding studies.

5 [Slide.]

6 Study 6253 was the first study conducted
7 as part of this development program. It was a
8 controlled, parallel-designed, open-label,
9 randomized, 3-cycle, dose-finding study conducted
10 in Europe and Israel between 1993 and 1995, an
11 enrolled 36 subjects in four countries.

12 We used a standard dose-finding approach.
13 As I mentioned, we fixed the dose of FSH at 150
14 IU/day to which we added either no, 25, 75, or 225
15 IU of Luveris per day, randomized equally across
16 the first cycle.

17 The protocol pre-specified that Armitage
18 trend test to detect a relationship between the LH
19 dose and follicular development in the first cycle,
20 and was adequately powered at 85 percent.

21 [Slide.]

22 The clinical entry criteria used for Study
23 6253 was the patients needed to have clinic
24 amenorrhea of six months or longer, combined with
25 low gonadotropin levels as indicated by an LH below

1 1.2, an FSH below 5 IU/L, truly profoundly
2 gonadotropin-deficient patients.

3 Also, they needed to have a negative
4 progestin challenge test as an indication of
5 chronic low estrogenic status.

6 Treatment duration was up to 14 days with
7 the proviso if at day 14, there was signs of
8 follicular development, treatment was allowed to
9 continue.

10 We analyzed our primary and secondary
11 endpoints based on Cycle 1 information, however,
12 pregnancy was evaluated across all three cycles.

13 [Slide.]

14 It is important again to realize, going
15 back to Dr. Layman and Dr. Liu's presentations this
16 morning, the sometimes severe pathologies and
17 underlying deficiencies in these patients.

18 The 38 patients included in 6253, as you
19 can see here, the breakdown into either primary or
20 secondary amenorrhea, there were 28 patients with
21 primary and 10 patients with secondary amenorrhea
22 included in the study, and again the underlying
23 deficiencies clearly showed that these deficiencies
24 truly block these patients' ability to achieve
25 their goal of pregnancy.

1 [Slide.]

2 If we look at the results for 6253, at
3 first glance you see a clear dose-response curve.
4 This trend was high statistically significant. If
5 you take a linear trend or any other reasonable
6 trend, the statistical significance is maintained
7 which shows the robustness of this data.

8 If we look at the individual dose group
9 results, 1 out of 9 patients responded to the FSH
10 alone, which is in line with what is reported in
11 the literature that about 10 percent of patients
12 could respond to FSH treatment alone.

13 If we look at the 25 IU dose group, 2 out
14 of 8 or 25 percent response, which is as you can
15 see about twice as high as the placebo response,
16 however, it is not clinically nor statistically
17 different from the placebo response.

18 The steep rise, however, in the
19 dose-response curve occurs at the 75 IU dose where
20 now 7 out of 11 or 63 percent of patients respond
21 to this treatment with the 75. This is four to five
22 times high response than placebo and two to three
23 times higher than observed for the 25 IU dose.

24 Tripling the dose from 75 to 225 IU of
25 Luveris only adds a marginal incremental benefit in

1 terms of follicular development. So, it seems
2 almost that we are topping off here in terms of the
3 dose-response curve.

4 [Slide.]

5 The design of 6253 allowed us to also look
6 at the individual dose groups, at how patients
7 individually responded if they were treated with
8 different levels of Luveris.

9 This slide gives an example of this.

10 There were 10 patients who, in Cycle 1,
11 participated in the 225 IU dose group. Out of
12 these 10 patients, 2 patients showed no follicular
13 development, 5 out of 10 had adequate follicular
14 development and went on to receive hCG, 3 patients
15 had an over-response, therefore, their cycles were
16 canceled due to risk of potentially developing
17 OHSS.

18 These 5 responders, adequate response,
19 were then in Cycle 2 treated with the 25 IU dose,
20 and then only 1 out of these same 5 patients
21 responded. Out of these 4 who didn't respond, 3
22 went on to participate in Cycle 3, were given the
23 75 IU dose, and now all 3 patients responded.

24 So, it clearly shows that in order to have
25 an adequate follicular development, they need to be

1 at the 75 or 225 IU dose, however, as I showed you
2 in the previous slide, the 225 doesn't add that
3 much more.

4 Also, it is important to know that in the
5 first cycle, 1 out of 5 patients respond on 25,
6 here again we see the same thing on the 25 IU dose.
7 Only 1 out of 5 patients responded.

8 [Slide.]

9 If we look at the secondary efficacy
10 parameters in the study, if we look at the
11 estradiol levels, you can see again a clear dose
12 response where both the 75 and 225 IU dose clearly
13 surpass the important mark of 109 to 100 pg/ml that
14 Dr. Strauss indicated that is required for
15 endometrial growth.

16 Again, here we see no difference between
17 the 25 and the placebo dose groups.

18 This is then translated in an adequate
19 endometrial response on the 75 and 225 IU dose
20 groups, with 75 showing numerically the highest
21 response in endometrial thickness. Both of those,
22 however, are above the 6 mm endometrial thickness
23 that Dr. Strauss indicated is required, is ideal
24 for early embryo implantation of pregnancy.

25 [Slide.]

1 Moving then to our second study, Study
2 6905. This was a controlled, parallel-designed,
3 open-label, randomized, 3-cycle, dose-finding study
4 conducted in the U.S. between 1994 and 1997, and
5 included 40 subjects enrolled at 14 centers.

6 Dose groups, again, we used 150 fixed dose
7 of FSH combined again with 0, 25, 75, and 225 IU
8 dose of Luveris again randomized equally across the
9 first cycle.

10 [Slide.]

11 Apart from the fact that the clinical
12 criterion of amenorrhea was similar, there are some
13 major differences with the design compared to Study
14 6253.

15 First of all, as Ms. Williamson already
16 indicated, the entry criteria for the LH and FSH
17 were relaxed to try to facilitate patient
18 enrollment, and we ended up with an LH cutoff of
19 below 13 IU/L instead of 1.2, and FSH lower than 11
20 instead of lower than 5.

21 Also, there was no requirement for a
22 progestin challenge test, so therefore, there was
23 no real indication whether these patients truly had
24 a chronic low estrogenic status.

25 Finally, treatment duration was allowed to

1 be up to 21 days instead of 14 days, with the same
2 proviso if at day 21 there were signs of
3 development, she was allowed to continue the
4 treatment.

5 [Slide.]

6 If we look at the results of 6905, it is
7 obvious at first glance there is no dose response
8 across the studies, also, there is no different
9 change between the four different dose groups, and
10 the only conclusion we can take from this study,
11 there is no benefit of adding Luveris to this
12 broader hypo/hypo patient population.

13 [Slide.]

14 So, at this point in our development
15 program for Luveris, we had completed two
16 dose-finding studies. Study 6253, in the
17 profoundly LH-deficient patient population, where
18 we have shown a benefit of LH, and Study 6905,
19 broad hypo/hypo population, LH above 1.2, no
20 additional benefit.

21 The results of these two studies were not
22 contradictory, but truly we have shown what we have
23 included two different patient populations with two
24 different responses.

25 After meeting with the agency, as was

1 mentioned already, agency requested for us to
2 conduct a confirmatory Phase III trial in which we
3 decided to include the same cutoff level for LH
4 because we have shown, in 6253, that that is the
5 patient population that truly benefits from
6 Luveris.

7 The reason that we selected the 75 IU dose
8 therefore was based on our Study 6253, where we had
9 shown that the 25 IU dose was not clinically nor
10 statistically different from the zero IU, and we
11 had shown the 75 percent non-response in this dose
12 group.

13 The 75 IU dose had shown a clinically and
14 statistically different response from the zero IU
15 in primary endpoint with a more than 5-fold
16 increase in patient response and a primary endpoint
17 of follicular development. Also, we saw very
18 clinically meaningful differences in secondary
19 endpoints in terms of estradiol response and
20 endometrial response.

21 Finally, the 225 IU dose did not provide
22 additional benefit in efficacy compared to the 75
23 IU dose. So, basically, we can conclude that the 75
24 IU dose is the minimum effective dose that provides
25 the maximum therapeutic benefit to these profoundly

1 LH-deficient patients.

2 [Slide.]

3 Study 21008 was then followed by a
4 rollover study 21415. This was designed as a
5 two-phased approach, was already intended from the
6 beginning, so we had a placebo, double-blind,
7 placebo-controlled trial, one cycle, after which
8 patients were allowed to roll over in Study 21415.

9 [Slide.]

10 Turning then to the results of our
11 confirmatory study 21008.

12 [Slide.]

13 This is a double-blind, randomized,
14 placebo-controlled, multinational study in patients
15 seeking pregnancy. In fact, to date it is the only
16 double-blind, placebo-controlled study in
17 hypo/hypo.

18 We compared placebo, which is now a true
19 placebo, and 75 IU of Luveris, again combined with
20 150 IU of FSH. Again, we want to keep the protocol
21 as similar to Study 6253 which would enable us also
22 to look for result across studies.

23 Patients were randomized in a 1 to 2
24 fashion and again the fixed dose of LH and FSH.

25 [Slide.]

1 The clinical entry criteria were identical
2 as those used for Study 6253 and as I mentioned, it
3 was a single cycle of treatment to focus on the
4 primary endpoint of follicular development with the
5 possibility of rollover in the extension study.

6 [Slide.]

7 Again, as shown for Study 6253, in the 39
8 patients enrolled, there was a breakdown of about
9 20 patients in primary amenorrhea and 10 patients
10 with--or it's just the other way around--I think
11 it's 20 and 20 with primary and secondary
12 amenorrhea.

13 [Slide.]

14 If we looked under results of our primary
15 endpoint of follicular development, you can see we
16 get a very consistent response. We see again a 4
17 to 5 times higher response between the 75 and the
18 placebo group, which difference is both clinically
19 and also highly statistically significant.

20 We had 2 out of 13 patients responding on
21 placebo compared to 17 out of 26 on the 75 IU dose
22 group, and the 65 percent is very close to the 63
23 percent in 6253, and the 15 percent of placebo is
24 very similar to the 11 percent on the zero IU dose
25 in 6253.

1 [Slide.]

2 Now, after submission of the NDA, FDA
3 indicated to us they felt it was inappropriate to
4 count cycles that were canceled due to the risk of
5 potentially developing OHSS as successes, and
6 therefore they should have been excluded and
7 counted as failures.

8 If you do that analysis, you get the
9 following results. We still have a 3- to 4-fold
10 difference in response between the 75 IU dose group
11 and the placebo dose group. This difference
12 maintains a statistical and clinical significant
13 difference.

14 It is important to realize that you see
15 that because of the cycles canceled, you see a bit
16 of a drop in the 75 IU dose group, whereas, you
17 hardly see a drop--well, it only goes from 2
18 patients to 1 patient on placebo, but this truly
19 indicates if patients do not have an ovarian
20 response, there is no reason to cancel their cycle,
21 so that is why we see the difference here in the 75
22 IU dose group, but we don't see the difference in
23 the placebo dose group, however, it is important to
24 realize that the clinical and statistically
25 significant difference is maintained.

1 Now, you may have observed that in the two
2 briefing packages that you received, there are
3 differences in how the calculations are done in
4 terms of success or failure, and also that
5 translates then to different p-values between our
6 analysis and FDA's analysis.

7 I just want to use these next two to three
8 slides to highlight the differences.

9 [Slide.]

10 On the left side you see Serono analysis,
11 on the right side you see the agency's analysis.
12 The numbers I have just shown you are on the left
13 side what we see, but whether we take the cycles
14 canceled as success or cycles canceled as failure,
15 we maintain a statistical significance.

16 You see on the agency side, the numbers
17 are slightly different. Here, in Dr. Meaker's
18 statistical section, she shows this p value, which
19 is almost consistent with ours, however, if we look
20 at the cycles canceled, the agency has a p value of
21 0.06, which is just above the 0.05 cutoff, but
22 still borderline significant, the difference,
23 however, being the fact that the agency did not
24 include a patient who achieved pregnancy and
25 therefore should have been included as a success.

1 [Slide.]

2 I just want to bring you back briefly to
3 the protocol definition of treatment success. This
4 is an exact quote out of the protocol for Study
5 21008 and 21415. Again, we had the three
6 parameters of follicular development. I just want
7 to point your attention to the underlined sentence
8 that says, "Should any patient achieve pregnancy,
9 that patient will be counted as having achieved
10 follicular development."

11 [Slide.]

12 The patient in the underlined part of this
13 discussion, it was a patient who had an adequate
14 follicular development in terms of a lead follicle
15 of 20 mm. She easily cleared 7.9 or 10 ng/mL
16 cutoff for P4, however, E2 was just below the 109
17 pg/mL, therefore, because of this, she was not
18 counted as a success on the primary endpoint of
19 follicular development.

20 However, she was given hCG because again
21 it is up to the investigator, these E2 levels come
22 in later, so if the investigator feels with a lead
23 follicle like this, that it was appropriate to give
24 her hCG, and a month later she had a positive
25 pregnancy test, which was again repeated two days

1 later and again it was clearly positive.

2 Now, the ultimate outcome of the
3 pregnancies doesn't take away from the fact that
4 this patient did achieve a positive pregnancy test,
5 therefore, she did have clear signs of follicular
6 development and ovulation, otherwise, she cannot
7 achieve these levels of hCG and of serum pregnancy
8 test. Therefore, she should be included as a
9 success.

10 [Slide.]

11 Turning then to our rollover study 21415.

12 [Slide.]

13 If patients participated in 21008 in this
14 one-cycle treatment, and if they did not have a
15 serious adverse event, did not have actual ovarian
16 hyperstimulation, and did not become pregnant, they
17 were eligible to participate in a rollover study.

18 Here, they were given up to three
19 additional cycles of treatment to truly try to
20 achieve their goal of achieving pregnancy. We used
21 a consistent primary endpoint, important, however,
22 difference here, they were given 75, but now an
23 individualized dose of FSH.

24 This is really how the drug will be used
25 in clinical practice where the dose of FSH will be

1 tailored to the patient's individual response.

2 [Slide.]

3 Out of 39 patients who participated in
4 Study 21008, 31 elected to participate in the
5 rollover study. These 31 can be broken into two
6 separate groups, 11 had been treated in 21008 with
7 placebo, 20 had already been treated in 21008 with
8 the 75 IU dose.

9 [Slide.]

10 If we look at the response in terms of
11 follicular development, this graph shows you if you
12 take cycles canceled due to risk of OHSS as
13 success, you see that in the first cycle, they have
14 a 67.7 percent response, again very consistent with
15 6253 and 21008.

16 This goes up, it's a cumulative rate, in
17 the second and third cycles to 83.9 and 87.1
18 percent follicular development overall. However,
19 as I mentioned, now these patients were allowed,
20 the physicians were allowed to titrate the dose of
21 FSH based on their previous cycle response, and it
22 is truly shown here that whether you take the cycle
23 canceled with risk of OHSS as a success or a
24 failure, there is no difference in outcome in the
25 second and third cycles, the numbers are identical.

1 [Slide.]

2 So, allowing individualization of the
3 dose, titrating the dose of FSH downwards allows
4 you to mitigate this risk of potentially canceling
5 a cycle. So, cycle cancellation due to the risk of
6 OHSS is a normal precaution in clinical practice.
7 Ovarian over-response is a treatment effect and
8 provides guidance for the next cycle of treatment.

9 It is important to note that out of 11
10 patients whose cycles were canceled either in the
11 first cycle of 21008 or in the first cycle of
12 21415, 4 out of these patients went on--because
13 patients can still go on in subsequent cycles--and
14 4 out of these 11 patients did achieve pregnancy.

15 [Slide.]

16 I mentioned 11 patients that were treated
17 with placebo in 21008 and now in 21415 were given
18 75 IU dose of Luveris for the first time. They can
19 be considered what we call the LH-naive patient
20 group.

21 If you look at their different responses
22 in 21008, only 1 out of these 11 had follicular
23 development, she did not become pregnant in Study
24 21008, however, if they were then treated with the
25 75 IU dose of Luveris, 7 out of these same 11 had a

1 response of 63 percent, and 4 out of these 11
2 achieved pregnancy.

3 [Slide.]

4 I know pregnancy is a big part of the
5 discussions yesterday and today, and I just want to
6 highlight the pregnancy results that we have
7 achieved in this rollover extension study.

8 Thirty-one patients participated, of which
9 27 continued to receive hCG. In Cycle 1, 11 of
10 these patients achieved pregnancy and 9 in the
11 second cycle, overall, for 20 patients out of 27
12 who received hCG, which is a pregnancy rate of 74.1
13 percent.

14 [Slide.]

15 If we are looking at clinical pregnancies
16 per se, the numbers are 11 pregnancies in Cycle 1,
17 5 in Cycle 2, overall, 16 out of 27 for a 59
18 percent clinical pregnancy rate, which is an
19 excellent rate in these difficult-to-treat
20 patients.

21 [Slide.]

22 Looking overall the pregnancy results in
23 our studies, this slide summarizes the three
24 studies that are really pertinent to this
25 discussion of the profoundly LH-deficient, 6253,

1 21008, and 21415.

2 If we look at the results here, you see
3 that out of 22 patients treated with placebo or FSH
4 alone, 2 patients achieve a pregnancy, of which one
5 was a clinical pregnancy. The 75 IU dose out of 48
6 patients included here, 24 or 50 percent achieved
7 pregnancy, of which 19 or 39.6 percent a clinical
8 pregnancy.

9 [Slide.]

10 In terms of pregnancy outcome, this table
11 summarizes the results. Let me just focus on the
12 largest patient group, which is basically the 75 IU
13 dose group. There were 111 patients in total
14 included in our program that were seeking pregnancy
15 treated with the 75 IU dose of Luveris.

16 Out of those 111, 51 achieved a pregnancy,
17 of which 44 were clinical pregnancies. These 44
18 resulted in 35 live births that resulted in 22
19 singletons, 12 twins, and 1 triplet, and 1
20 stillbirth.

21 [Slide.]

22 Concluding then on efficacy.

23 [Slide.]

24 Study 6253 provides the rationale for
25 selection of the 75 IU dose of Luveris as the

1 appropriate dose for hypo/hypo patients with
2 profound LH deficiency as defined with a cutoff of
3 below 1.2.

4 We have shown there is no benefit of the
5 25 IU dose, and there is no additional benefit for
6 225 IU dose over the 75 IU dose of Luveris.

7 Study 21008 is the only double-blind,
8 placebo-controlled study conducted in this patient
9 population, which confirmed the efficacy of the 75
10 IU does in this profoundly LH-deficient patient
11 population.

12 [Slide.]

13 The rollover study 21415 supports the
14 efficacy of the 75 IU dose as used in standard
15 clinical practice with individualization of the
16 dosing.

17 We saw a cumulative follicular development
18 rate of 87 percent and a cumulative pregnancy rate
19 of 74 percent.

20 Overall, we had a 50 percent pregnancy
21 rate in profoundly LH-deficient women treated with
22 the 75 IU dose of Luveris.

23 As I mentioned at the beginning, I would
24 only have one slide on safety as the Medical Review
25 Officer at the agency indicated in their briefing

1 documents the FDA has no concern regarding the
2 safety of Luveris, so I just want to summarize this
3 in one slide. However, we have also provided in
4 our briefing package quite a bit of information on
5 safety and I will be more than happy in the Q and A
6 session should you desire to answer any questions
7 about safety.

8 [Slide.]

9 Basically, as I said in the beginning,
10 Serono has assembled the largest safety database in
11 female hypo/hypo patients, 170 patients in total,
12 of which 152 received Luveris in a total of 283
13 cycles.

14 There was no increase in adverse events
15 when Luveris is co-administered with recombinant
16 FSH, compared to recombinant FSH alone.

17 We have seen similar rates of actual OHSS
18 across all dose groups including recombinant FSH
19 alone.

20 Overall, the safety profile of Luveris is
21 comparable to currently marketed gonadotropins.

22 [Slide.]

23 Concluding then overall on our Luveris
24 clinical development program, among women with
25 hypo/hypo, a cutoff value of 1.2 IU/L

1 differentiates between LH dependence and LH
2 independence.

3 Follicular development is an appropriate
4 endpoint in this population and correlates with
5 pregnancy as is clearly shown in Study 21415, 87
6 percent follicular development rate, a 74 percent
7 pregnancy rate.

8 Canceling a cycle is prudent clinical
9 practice in an over-responding patient with
10 follicular development.

11 Women with profound LH deficiency clearly
12 benefit from the 75 IU dose of Luveris.

13 The safety profile of Luveris is similar
14 to other gonadotropins and is not different from
15 treatment with FSH alone.

16 With that, I would like to invite Dr.
17 Santoro to provide an overview of clinical
18 perspective and risk/benefit assessment.

19 Clinical Perspective and Risk/Benefit Assessment

20 DR. SANTORO: Good morning, Dr. Guidice,
21 and good morning to the panel.

22 What I would like to do is provide some of
23 the clinical perspective on the use of recombinant
24 LH as someone who has been treating patients with
25 hypo/hypo and probably has a case series of about

1 30 such patients over 20 years in practice.

2 [Slide.]

3 Hypogonadotropic hypogonadism, the typical
4 clinical patient that comes into the office when it
5 is a severe disorder has primary amenorrhea, she is
6 in her teens, she comes in accompanied with her
7 mother, and she has a complete absence of pubertal
8 development and amenorrhea.

9 Both mother and daughter are very worried
10 because they feel that something is severely wrong
11 that needs to be addressed and that perhaps
12 multiple treatments are needed. It is sort of a
13 white knuckle affair in the office.

14 When I get to tell them on the basis of my
15 history and physical and biochemical testing that
16 it's a single endocrine factor and that in most
17 cases they are solely deficient in
18 gonadotropin-releasing hormone, there is quite a
19 bit of relief.

20 Then, when I tell them their potential to
21 be highly fertile when ovarian responsiveness is
22 restored, usually, since my patient is teenager,
23 she is not that worried about that, but her mother
24 starts to weep with relief that this is the case.

25 As you saw from Dr. Lammers' data, the

1 very high fertility rate in these patients seems to
2 be a general finding clinically. We do know that
3 both gonadotropins, as Dr. Strauss has pointed out,
4 LH in addition to FSH are needed to optimally grow
5 follicles in these women, and the induction of
6 follicular development is a prelude to fertility,
7 and is the therapeutic goal, as a clinician, I
8 cannot guarantee pregnancy to my patients, but I
9 can induce follicular development, you must have
10 follicular development, it's an obligatory step on
11 the way to pregnancy.

12 [Slide.]

13 In follicular maturation, FSH induces
14 early growth of follicles as we have seen, and
15 controls the follicle number, and that is an
16 important point that has not been emphasized, and I
17 will emphasize that in the next slide.

18 LH provides the estrogen precursors and
19 therefore allows for estradiol to be secreted, and
20 is needed for the latter stages of follicle growth.

21 [Slide.]

22 When one gives recombinant FSH only to
23 women with profound LH deficiency in hypo/hypo, one
24 sees follicle growth, but no estradiol, so with
25 escalating doses of FSH, serum FSH goes up, nothing

1 happens to estradiol, as Dr. Strauss showed, but
2 look at what happens to follicles.

3 This is a cohort of growing ovarian
4 follicles, and the follicular size and number is
5 large, and that is influenced by FSH. In my
6 training, we used to say that FSH loads the gun,
7 because it makes all these follicles.

8 This is important in the evolution of
9 these studies because a prospective criterion was
10 to cancel cycles at risk for ovarian
11 hyperstimulation syndrome because we had to fix the
12 dose of FSH and we knew in advance that some women
13 might get too much.

14 What you see here is the ovary of a woman
15 who has been stimulated, she has three follicles in
16 her single ovary. If she has got three in the
17 other ovary, she has already met my criteria to
18 cancel her cycle because she would then have a
19 total of six and would be at an excessive risk of
20 ovarian hyperstimulation syndrome, which you can
21 see a picture of on the right.

22 This is a smaller ultrasound picture than
23 the one here. These ovaries are probably 10 to 15
24 centimeters in size. There is probably quite a bit
25 of acidic fluid in this patient, she is hurting,

1 and she is sick. She may be hospitalized and is at
2 risk for even more dreadful problems like a
3 pulmonary embolus.

4 As a clinician involved in a study like
5 this, I would not want to give a patient like this
6 hCG because I might create this sort of a problem.
7 If I gave hCG, could I obtain a progesterone level
8 of 10? I am pretty confident that I would.

9 Might this patient get pregnant? She
10 might, at a very high pregnancy rate, but she might
11 wind up with this, and therefore, ethically, we
12 needed to make conservative criteria to withhold
13 hCG under such circumstances.

14 [Slide.]

15 In HH patients, we have to have no
16 gonadotropins, so you have to give back what is
17 missing. Since most of these women are solely
18 deficient in gonadotropin-releasing hormone, that
19 has been shown in the past to be highly effective
20 when the pituitary gland is intact, but, alas, is
21 not available in the United States.

22 Alternatively, exogenous gonadotropins can
23 be given in the form of hMG, but there is a fixed
24 ratio in the combination medication. Almost all
25 except for one of these has to be given as an IM

1 drug, and that is a limitation to treatment.

2 My patients overwhelmingly prefer sub-Q
3 medications that they can give themselves, and our
4 current strategies do not allow for the
5 circumstance in which I can fix the dose of LH at
6 75 IUs, but I might have to give less than 75 IUs
7 of FSH. There is currently not a way to do that
8 unless both medications were split.

9 So, the optimal strategy for patients
10 clearly is to have stand-alone recombinants that
11 allow the titration and individualization of
12 medication that happens in real life reproductive
13 endocrine practice.

14 [Slide.]

15 LH is permissive and is obligatory for
16 follicle growth in profoundly LH-deficient women.
17 I know clinically that I must tailor the FSH dose
18 that I give to my patients in a gonadotropin cycle.
19 In fact, my brain is the sole source of feedback to
20 my patients' ovaries when I get the estradiol
21 results every day, and once a day is a little too
22 slow sometimes.

23 I may have to go down and I may have to go
24 up. So, I need to be sure that I am only changing
25 one thing at a time. It would make it impractical

1 to be fiddling with both FSH and LH.

2 So, in fact, in practice, we do the
3 opposite of what was done in the clinical trials.
4 We move the FSH, and I would like to keep that LH
5 fixed at an effective dose, so I don't have to
6 worry about it, and I think Dr. Lammers has shown
7 you enough evidence that the 75 IU dose is an
8 adequate one.

9 This strategy then maximizes the return on
10 the investment that a patient and clinician makes
11 in a cycle, which is expensive, which involves a
12 great deal of effort, and which sometimes involves
13 a learning curve.

14 [Slide.]

15 The risks and benefits have been briefly
16 touched upon, but the risks of LH are those that
17 are the known complications of gonadotropins in
18 infertility treatment, and these include ovarian
19 hyperstimulation syndrome, which is to be avoided
20 and can in many, but not all, cases be avoided by
21 withholding hCG, and the risks of multiple births.

22 There were other minimal or transient
23 treatment-related adverse effects that were
24 generally minor, and the general risks of
25 gonadotropins can be mitigated with proper

1 diagnosis and attention to dosing and very careful
2 observation of the patient.

3 [Slide.]

4 The benefits of a stand-alone LH is that
5 optimal folliculogenesis and an optimal endocrine
6 profile can be based on individualized treatment.

7 The convenience of a sub-Q preparation,
8 particularly if it can be mixed with the FSH, is
9 that patients can give themselves a single daily
10 shot of meds that they can control themselves.

11 The safety profile of LH is comparable to
12 other gonadotropins that are currently on the
13 market, and they are associated with a high
14 pregnancy rate particularly in this patient
15 population.

16 [Slide.]

17 So, to summarize, this is a rare patient
18 group, but in this patient group, it is critical to
19 give them LH during the process of
20 folliculogenesis.

21 The provision of recombinant LH to
22 recombinant FSH allows the maximum flexibility in
23 the treatment of these patients, which is what we,
24 as clinicians, need, and will be much more
25 convenient for patients.

1 The benefit-to-risk profile is therefore
2 in favor of approving this product and making it
3 available to women who have hypo/hypo.

4 Thank you. I would like to turn over to
5 Ms. Williamson to conclude.

6 Summary and Conclusions

7 MS. WILLIAMSON JOYCE: Thank you, Dr.
8 Santoro.

9 [Slide.]

10 As we close our presentation today, I
11 would just like to touch on a few of the points
12 that we have shared with you and hoping that we
13 have been able to provide some clarifications and
14 have provided some additional information.

15 First and foremost, I believe that the
16 presentations that were made both yesterday and
17 then again by Drs. Strauss and Santoro have clearly
18 indicated that there is a need for LH in treatment
19 of patients with the rare condition of HH, and in
20 particular, those patients that are considered to
21 be profoundly LH deficient.

22 We also believe that based on these data,
23 that the appropriate patient population has been
24 identified. Through our clinical trial results as
25 shared by Dr. Lammers, we believe that we have

1 identified and studied, and have proposed the
2 optimal dose of treatment for these women, which is
3 75 IU/day, and that that dose is both safe and
4 effective.

5 Importantly, we continue to believe that
6 follicular development is an important endpoint in
7 treatment of these patients. This endpoint was
8 prospectively defined in our double-blind,
9 placebo-controlled clinical trial and is consistent
10 with the endpoints as studied in our earlier
11 trials.

12 We believe that follicular development is
13 the appropriate endpoint and provides more
14 information than any other single endpoint because
15 it allows you to determine the appropriate action
16 of the drug under study, which in this case is LH.

17 Serono, as Dr. Lammers has indicated, has
18 compiled now the most extensive database in
19 studying a recombinant luteinizing hormone in
20 hypo/hypo women. These studies have now totaled
21 170 women overall during the last 10 years.

22 [Slide.]

23 As also mentioned, we have also conducted
24 the largest double-blind, placebo-controlled trial
25 in these patients with this rare condition as

1 prospectively defined in the protocol that we
2 submitted to the agency.

3 We believe that this pivotal trial is
4 positive irrespective of whether cycle cancellation
5 due to the risk of OHSS is analyzed as an efficacy
6 success or as an efficacy failure.

7 Since the original action, we have also
8 provided additional supportive data in our
9 follow-on Study No. 21415, which provided those
10 initial patients an additional opportunity to
11 achieve pregnancy in three subsequent cycles. We
12 believe that this study has also provided important
13 additional supportive evidence in terms of safety,
14 efficacy, and pregnancy.

15 [Slide.]

16 Finally, there is no increase in adverse
17 events compared to placebo when administering LH
18 versus FSH alone, and the safety profile is similar
19 to that of other gonadotropin drug products which
20 are currently approved and on the U.S. market
21 today.

22 We believe and we hope that we have
23 provided sufficient evidence to demonstrate that
24 Luveris is effective in the treatment of these
25 infertile women with a profound LH deficiency, and

1 provides for a very positive benefit-to-risk
2 profile in support of approving this product.

3 I would like to thank you very much for
4 your attention today. Our presentation went over
5 just for a few minutes, and we would be happy to
6 answer any questions that you may have.

7 DR. GIUDICE: Thank you very much. I
8 would like to thank all of the presenters for their
9 very clear presentations. I will now open up the
10 discussion for questions from the committee,
11 please.

12 Dr. Hager.

13 Questions from the Committee

14 DR. HAGER: For Dr. Lammers. You stated
15 that you have shown emphatically, in your own
16 words, that the 75 IU dose was the effective dose.
17 Might I just ask how do you not know that 50 IUs is
18 an effective dose?

19 MS. WILLIAMSON JOYCE: Dr. Lammers.

20 DR. LAMMERS: The selection of 75 IU dose
21 as the dose that provides the maximum therapeutic
22 benefit was based on 6253 and then confirmed by
23 Study 21008. Although it is true that we have not
24 studied the 50 IU dose, Dr. Hager, I think that in
25 our dose-finding Study 6253, we have clearly shown

1 that the difference in response between the 25 IU
2 dose and the 75 IU dose truly supports the 75 IU as
3 the maximum responding dose, also because it really
4 is that part of the curve where you see the maximum
5 therapeutic benefit and increase.

6 Also, I think it is important to realize
7 there is no safety concern with Luveris, so
8 therefore, I think it is important to provide the
9 patient right away with the maximum or the optimum
10 dose of Luveris, which we clearly think have shown
11 this at 75 IU dose.

12 DR. SANTORO: I just want to point out
13 that dose reductions, the difference between 25 and
14 75 IUs is 50 IUs, which is a fraction of an ampule,
15 and those are dose increments that are rarely
16 employed.

17 So, whether the needle needs to be moved
18 in either direction, I would strongly argue in
19 favor of keeping it simple and leaving it at 1 amp
20 because we know that worked well, because when one
21 is clinically given the medication, I know I have
22 to move my FSH, I want to keep my LH fixed.

23 DR. GIUDICE: Dr. Rice and then Dr. Keefe.

24 DR. RICE: You didn't spend a lot of time
25 on looking at the patients in 6905, these patients,

1 that subpopulation who had the LH less than 1.2,
2 and believe me, it's difficult to look at the data
3 that you all submitted versus what the FDA
4 submitted, and make sure we are looking at the same
5 tables, so I am trying to make sure of that.

6 But when I look at the data, if I pull
7 those patients out of 6905, who had an LH of less
8 than 1.2, of those five patients, 100 percent of
9 them actually have follicular development. It took
10 them on average 20 days to get to that follicular
11 development with 25 versus an average of 10 days of
12 the patients who were given 75, but they still got
13 there.

14 Now, my concern is that the incidence of
15 OHSS, though, in those patients receiving 75 IUs
16 was 21.7 percent, and I assume that is using your
17 definition of three follicles greater than 15
18 and/or that estradiol level, but when the patients
19 with 25 IUs was, it was only 11.8 percent. That
20 seems like a significant jump in my opinion for an
21 additional 10 days of treatment.

22 So, I guess I am not convinced that there
23 is not room in there where you could have 25 IU of
24 LH as the dose, and then you increase that
25 appropriately, because you clearly show that even

1 when you maintained 150 IU of FSH, that you got
2 adequate follicular development at 75 IU and some
3 at the 25 IU, so you could titrate up the LH and
4 perhaps be, quote, unquote, "safer," as you define
5 OHSS.

6 MS. WILLIAMSON JOYCE: I think I would
7 like to have Dr. Michael Diamond comment on that,
8 but, first, I would just like to clarify. In terms
9 of OHSS, are you referring specifically to the risk
10 of OHSS or actual OHSS?

11 DR. RICE: From what I see from the data
12 here, it says 21.7 percent, 20 of 92 patients
13 across all the population receiving 75 IU
14 experienced OHSS as defined in the clinical.

15 MS. WILLIAMSON JOYCE: Thank you. We will
16 clarify those numbers.

17 Dr. Diamond.

18 DR. DIAMOND: I think it's important not
19 to confuse the issue of risk of ovarian
20 hyperstimulation syndrome with just an exaggerated
21 response with actual occurrence of ovarian
22 hyperstimulation syndrome. In fact, the incidence
23 of ovarian hyperstimulation syndrome in the
24 patients who were treated with Luveris was actually
25 no different than what is available for other

1 gonadotropin formulations which have been approved.

2 So, that is I think part of response to
3 your question. The other issue is about the
4 patients within 6905 who had the low LH levels. As
5 you have correctly identified, there are a subgroup
6 of those patients who did respond, but required
7 much longer duration of therapy.

8 Normally, when we give gonadotropins, as
9 you know, normal duration of therapy is going to be
10 9 days, 10 days, 12 days. Twenty days is much
11 longer than we would conventionally give for
12 patients. It requires them to come to the office
13 many times for monitoring first thing in the
14 morning, disrupting their normal activities, taking
15 them away from their work, and so there are lots of
16 patient inconveniences for that.

17 The other component of that to keep in
18 mind is that among those patients, if you had
19 limited it to 14 days of therapy, which is what was
20 done in the pivotal trial and which is a more
21 conventional length of therapy, among those
22 patients who received 25 IUs of LH in combination
23 with the FSH, only 4 out of 5 of them would have
24 gotten actually to a point where they had
25 follicular development.

1 MS. WILLIAMSON JOYCE: We do have that
2 data for you, and I do want to clarify that the
3 numbers to which you were referring are not the
4 actual OHSS patients. They are the ones that were
5 at risk.

6 DR. RICE: I am looking at your
7 information now on page 49, and you have three
8 patients who had OHSS at 75, and zero patients who
9 experienced OHSS at 25. So, there is still a
10 difference, zero compared to 4.7 percent, or if you
11 look at it as the FDA looked at it, I guess they
12 looked at it by risk, 20-some percent versus 11
13 percent.

14 So, the question that comes to mind to me,
15 are we comfortable with the fact that we may
16 eliminate our significantly decreased OHSS by using
17 a lower dose for a longer period of time versus
18 having a risk of OHSS by starting with that higher
19 dose.

20 DR. LAMMERS: Again, I just want to go
21 back to the fact what Ms. Williamson just pointed
22 out. I think we clearly need to differentiate
23 between actual occurrence of OHSS, which I can show
24 you in a minute is not different between the dose
25 groups, that is one thing, but compared to cycle

1 cancellation, again, we had to imply very
2 conservative criteria because of the fixed dose of
3 FSH in these studies.

4 So, therefore, I think we clearly need to
5 differentiate between the cycle that was canceled
6 for the risk of potentially developing OHSS, it
7 didn't mean, as Dr. Santoro said, that she would go
8 on and develop OHSS compared to the actual cases.

9 [Slide.]

10 This slide summarizes the actual cases of
11 OHSS across our studies. As you can see here, the
12 number of patients in the top row, out of 118
13 patients, 75, if you look at the percentage
14 patients, because, of course, we had the highest
15 number of patients and cycles in the 75, if you on
16 a percent patient basis or percent of cycles, you
17 can see here there is no dose-related increase in
18 their response of actual OHSS. This number of 5.9
19 percent is very much in line what is known for
20 other marketed gonadotropins.

21 So, in terms of OHSS risk, that risk is no
22 different.

23 DR. RICE: These are people who actually
24 had it.

25 DR. LAMMERS: Right.

1 DR. RICE: So, that's not risk.

2 DR. LAMMERS: These are actual. You see
3 in our overall 10-year program, there were 11 cases
4 of OHSS, of which there were 7 on the 75 dose, but
5 given the number of patients and cycles, this
6 translates in an incidence rate, either percentage
7 or cycle, this is very comparable to the other dose
8 groups.

9 DR. RICE: But I want to make clear that
10 what you are showing me is incidence of actual
11 occurrence.

12 DR. LAMMERS: Right.

13 DR. RICE: And what they are reporting is
14 actual risk, I assume, and I am sure they will
15 clarify that with their presentation.

16 DR. GIUDICE: I would like to also point
17 out that with zero LH and 150 IUs of FSH, there was
18 a case of severe OHSS, so in thinking of whether it
19 is the actual occurrence or the risk of the
20 occurrence, as I read the data, we are really
21 looking more towards the fixed FSH as problematic
22 for the risks for OHSS.

23 Are there other questions from the
24 committee? Dr. Keefe and then Dr. Emerson.

25 DR. KEEFE: Just to put this OHSS story in

1 context, I have a question for Dr. Santoro.

2 It seems to me the absence of significant
3 amounts of endogenous LH, when you see it coming
4 down the pike, it is pretty easy to manage, right,
5 you just don't trigger, they don't get pregnant and
6 it sort of probably melts away? It is probably
7 easier to manage these impending OHSS situations
8 than it would be in normal circumstances with these
9 patients.

10 Was that your experience? As long as you
11 saw the gun overloaded, you didn't pull the
12 trigger?

13 DR. SANTORO: Exactly. My clinical
14 training was FSH loads the gun, hCG pulls the
15 trigger. So, if you have got the loaded gun, you
16 can still avoid pulling the trigger, but once you
17 have given that, you can't take it back.

18 DR. GIUDICE: Dr. Emerson.

19 DR. EMERSON: Two questions. One, I don't
20 know the doses of any of these preparations, but is
21 it possible using hMG to titrate this, such that
22 hMG, in combination with FSH, would get the
23 appropriate ratio of LH and FSH?

24 If you gave hMG at the appropriate dose
25 for LH, that would be too much FSH?

1 MS. WILLIAMSON JOYCE: Dr. Santoro.

2 DR. SANTORO: It can be in the following
3 circumstance. HH women, in general, are very
4 sensitive to gonadotropins to FSH. They are often
5 petite, and you can overdose them with 1 ampule.

6 So, if I have someone who needs less than
7 75 IUs, and I can't give her less than 75 IUs of LH
8 with any currently available preparation--I mean I
9 can't give her the 75 IUs, I am sorry. So, if I
10 need to give her the 75 IUs of LH on the basis of
11 these studies, but she needs a half or 37.5 of FSH,
12 there isn't a way for me to do that now.

13 DR. EMERSON: And then the other thing
14 that I would like to return to is you presented
15 some data about pregnancy rates in the extension
16 trial, and they were not really broken down the way
17 that would be most appropriate, which would be by
18 randomization, that we could evaluate that entirely
19 by randomization since the people went there, that
20 we could still look at those effects and, you know,
21 just some things I was trying to pick up was what
22 was the cumulative pregnancy rate by randomization
23 group for the extension trial or for both trials
24 combined.

25 Then, I couldn't also figure out was this

1 pregnancy rate chemical, clinical, live birth,
2 could it be broken down by that.

3 MS. WILLIAMSON JOYCE: So, as I understand
4 your question, you would be interested in
5 understanding the breakdown of the pregnancy rate
6 in the extension study based on randomization, and
7 you would also like to know specifically whether
8 the pregnancies were early pregnancies, clinical,
9 and what the outcome was.

10 DR. EMERSON: And actually not just the
11 extension study, I would like it combined with the
12 original study, as well, per cycle.

13 MS. WILLIAMSON JOYCE: Fine. I would like
14 to invite Dr. Susan Kenley, who is our worldwide
15 director of biostatistics to answer your question.

16 DR. KENLEY: Good morning. There was no
17 randomization in the extension study.

18 DR. EMERSON: Excuse me, there was
19 randomization in the first study, and that
20 randomization still holds.

21 DR. KENLEY: Okay. So, you are interested
22 in the pregnancy rate for the 11 patients that were
23 randomized to placebo in the first study and how
24 many of them got pregnant in 21415 compared to
25 those randomized to 75.

1 DR. EMERSON: That's correct.

2 DR. KENLEY: Do we have those numbers?

3 Just to mention--

4 DR. EMERSON: I guess another question
5 that I would like to ask is also has the FDA
6 reviewed that data.

7 DR. KENLEY: No, we have not provided a
8 summary of that data. I don't know if they have
9 done that on their own.

10 DR. GIUDICE: On page 54 of the gray
11 briefing document from Serono, there is a table.
12 Dr. Emerson, does this answer over here?

13 DR. EMERSON: I don't know.

14 DR. SHAMES: As a point of information,
15 the original application did not have this.

16 DR. KENLEY: Can I make one comment while
17 we are working on that? Dr. Lammers showed that in
18 21415, 4 out of the 11 patient randomized to
19 placebo got pregnant in 21415. Since there were 31
20 patients in that study, that means that 20
21 randomized to 75 went on the 21415, so that means
22 that 16 of those obtained pregnancy, and that is a
23 total pregnancy rate.

24 DR. EMERSON: And that is chemical
25 pregnancy, clinical pregnancy, live birth?

1 DR. KENLEY: A total pregnancy rate
2 whether it be early pregnancy or later pregnancy.

3 DR. EMERSON: So, that's chemical.

4 DR. GIUDICE: It sounds like it's at least
5 chemical

6 DR. EMERSON: You don't have live births
7 without chemical pregnancy, isn't that true? Okay.
8 I just wanted to make certain that these were
9 hierarchical.

10 DR. LAMMERS: Dr. Emerson, perhaps I can
11 summarize this.

12 [Slide.]

13 This table summarizes for Study 21415, the
14 cumulative total and clinical pregnancy rate that
15 is mostly determined by a positive ultrasound of
16 fetal sac with or without heartbeat. You can see
17 here, in Cycle 1, there were 11 out of 31
18 cumulative became pregnant, and they were
19 cumulative basis, and out of these total
20 pregnancies, the clinical pregnancy, all 11 were
21 clinical pregnancies.

22 In the second cycle, 20 out of 31 totals,
23 16 out of 31 clinical, so there were basically 4
24 biochemicals in here in the second cycle.

25 In the third cycle, again, we stated that

1 there were no additional pregnancies in the third
2 cycle, so basically, you can see here, the majority
3 of these pregnancies were clinical pregnancies.

4 DR. EMERSON: So, there were 4 who were
5 initially randomized to placebo--

6 DR. LAMMERS: Correct.

7 DR. EMERSON: --who in the second or third
8 cycle, I guess first, second, or third, were any of
9 those the same? I believe there was one pregnancy
10 in the placebo group in the first cycle?

11 DR. LAMMERS: That is correct.

12 DR. EMERSON: Were any of those the same
13 patients?

14 DR. LAMMERS: No.

15 DR. EMERSON: So, there were a total of 5
16 in the placebo group.

17 DR. LAMMERS: Correct.

18 DR. EMERSON: And then the remainder must
19 be then 16.

20 DR. LAMMERS: Correct.

21 DR. EMERSON: And what about the one
22 person in the other group?

23 DR. LAMMERS: We only had the placebo
24 group and the 75 IU dose group.

25 DR. EMERSON: But in the first cycle under

1 the randomized trial, there was one patient in each
2 group who--

3 DR. LAMMERS: No, there were two
4 pregnancies in the 75 IU dose group. One was an
5 early, one was a clinical, and there was one
6 pregnancy in the placebo group.

7 DR. EMERSON: So, are those two in
8 addition to the 16 that are in 21415?

9 DR. LAMMERS: Yes, they are.

10 DR. EMERSON: The point I am trying to
11 make here, for the committee, this is exactly the
12 point I was trying to say yesterday, about how to
13 analyze these data. Once you have randomized, that
14 randomization holds, and so long as you are
15 treating all the rest of the patients the same
16 after that point.

17 I don't when the blinding stopped and if
18 the placebo patients were unblinded in that second
19 trial, but I am going to act as if they had done
20 this in the fashion.

21 DR. LAMMERS: Right.

22 DR. EMERSON: It would be perfectly legit
23 to design the study in which you did randomized,
24 placebo versus drug, and then after that, took
25 everybody and put them on active, and if you saw a

1 difference at that point, the only thing that
2 explains it is that absence of therapy in that
3 first cycle.

4 So, if we are seeing differences between
5 the placebo group and the treatment group as
6 randomized, as the trial progresses, and if we can
7 trust this, you know, lack of blinding and other
8 elements like that, that is where there might be
9 any evidence here.

10 This lack of randomized trial in this
11 extension treatment, if I could have three wishes,
12 one of them certainly would be to convince people
13 that they are hurting themselves in these extension
14 trials if they don't continue to gather information
15 about the randomization that went forward and that
16 the best way to present this data would be to look
17 at that.

18 We are looking at--and you already know
19 that I am in favor of live births as an endpoint
20 instead of these earlier ones--but there is some
21 evidence of this. It hasn't been reviewed by the
22 FDA, I am gathering, so, you know, it's not there,
23 but this is an important point here, and the
24 non-randomized issues are--

25 DR. LAMMERS: Dr. Emerson, I just want to

1 point out that, of course, we look at the data
2 overall, and I think it is important to note
3 whether you look, we see there were far more
4 clinical pregnancies than early pregnancies or
5 biochemical pregnancies.

6 But overall, I think that out of the 111
7 patients on the 75 IU dose, there were more than 50
8 pregnancies, of which 44 became live birth
9 pregnancies, so that live birth rate is an
10 excellent rate in these profoundly LH-deficient
11 patients.

12 DR. EMERSON: Live birth rate is which?

13 DR. LAMMERS: Out of 44 clinical
14 pregnancies that were established, 35 became live
15 births.

16 DR. EMERSON: I am just bringing this up
17 as this is an issue that needs to be addressed. I
18 don't think that the presentation of the data here
19 is, you know, my back of the envelope analysis, I
20 don't think is adequate. I am just saying that
21 there are these points that need to be addressed.

22 The other issue that I would like to
23 address, though, is--I said if I had three wishes,
24 that that would be one--the second would be that
25 nobody use the word "clearly" for any of these

1 data, and that will hold on both sides.

2 This finding the dose, some data was
3 presented that showed in the one study where you
4 started out with 10 patients at the 75 dose, and
5 then you basically challenged them at 25. I would
6 have to look at this. I am sorry, this was of your
7 Cycle 225, where you took the five patients who had
8 what you called "adequate follicular development,"
9 and then dropped them down to 25, and then raised
10 them up to 75. This is Slide 44 in your
11 presentation.

12 Many statements were made about this
13 conclusively shows something. Let me put this data
14 in its proper framework. Let's just imagine this
15 was randomized data, so it's not randomized data,
16 there was a lot of selection going on here, but we
17 basically had three samples, 5 out of 10, 1 out of
18 5, and 3 out of 3, and all of those are compatible
19 with the exact same success rate.

20 This data is just completely inadequate to
21 make the statements about whether the cycle had
22 changed. Do we have any other data that you are
23 using to support these statements that reducing the
24 75 was bad?

25 MS. WILLIAMSON JOYCE: We didn't

1 prospectively design the study to demonstrate that.

2 DR. EMERSON: Thank you.

3 DR. LAMMERS: I just want to add, Dr.
4 Emerson, that obviously, our primary analysis falls
5 in Cycle 1, which I have shown basically in Cycle
6 43, however, if you present this data, clinicians
7 always ask, by the way, what happens if you take
8 the patients who didn't respond to this, and look
9 at the other, if you put them through the other
10 data, so this was an example to show if the
11 patients who respond at 225, if you bring them to a
12 low dose, only 4 out of these 5 patients did not
13 respond.

14 DR. GIUDICE: Dr. Toner.

15 DR. TONER: I had really just one question
16 regarding the criteria for cancellation. The third
17 element allows cancellation for this risk of OHSS
18 category, but patients with or without LH treatment
19 could end up in that category by virtue of follicle
20 numbers.

21 You also had an estradiol criterion and I
22 would hope that at least in those treated with LH,
23 that you also saw estradiol production, because
24 follicle growth per se in any of these groups tells
25 you nothing about LH effect, in my opinion. It

1 presents really the FSH component.

2 I guess I would like confirmation back
3 that, by and large, those who got the LH had high
4 estrogens, and those who didn't often had low
5 estrogens. I mean you still may have one or two in
6 that non-treated group, non-supplemented group who
7 had it because their own endogenous happened to be
8 high enough.

9 But I would like sort of a dichotomization
10 of estradiol levels in those two groups.

11 MS. WILLIAMSON JOYCE: Dr. Lammers.

12 DR. LAMMERS: Dr. Toner, out of the seven
13 cycles that were canceled due to risk of potential
14 OHSS, there were four patients who had an E2 above
15 the cutoff. The other three patients were excluded
16 because of the number of follicles.

17 DR. TONER: What groups were they in?

18 DR. LAMMERS: That was in the Study 21008.
19 That was in the 75 IU dose. There were seven
20 cycles canceled in the 75 IU dose, and that is the
21 ones I am referring to, so 4 for E2, 3 for
22 follicles.

23 DR. TONER: I understand that, but I
24 wanted to know how that intersected with whether
25 they received LH or not. So, you may have to look

1 back through your papers.

2 DR. LAMMERS: There was one patient in the
3 placebo whose cycle was canceled, and that was due
4 to the follicle numbers.

5 DR. TONER: I guess I would have an
6 objection to including them as successes if they
7 got LH, but were canceled only because of a number
8 of follicles. If they had five follicles, but had
9 no estrogen production, and you were calling that
10 a success, I would argue with that.

11 DR. LAMMERS: Okay. Dr. Santoro, would
12 you like to comment on that?

13 Could you rephrase your question, Dr.
14 Toner, for Dr. Santoro?

15 DR. TONER: Sure. The thing that drives
16 the cancellation risk for this study can be number
17 of follicle only, so you can see that in both the
18 LH treated and the LH not treated group.

19 If we are really asking the question of
20 whether the LH is working like we hope it would
21 work, we would expect always to see adequate
22 estradiol production in those high-response cycles
23 who were treated with LH. I would just like to
24 know that those cycles that got canceled on LH
25 treatment also had good estrogen production.

1 DR. SANTORO: What I can show you, if you
2 can put the previous one on with the graph from
3 6253, I mean I was a 6905 investigator, and I was
4 very conservative about canceling people for risk
5 because I think that is what you have to do in a
6 clinical trial like this, so we wanted to be
7 conservative. So, I would probably have canceled
8 them regardless of their E2, but there is evidence.

9 Can I have the slide on.

10 [Slide.]

11 This slide that Dr. Lammers showed before
12 just shows you there is a big difference in the E2
13 levels in the women, and this includes women who
14 were canceled for OHSS risk. So, this slide
15 includes all of those, and the median, not exactly
16 pre-ovulatory because some of them never got hCG,
17 but there is a big difference, it's over 10-fold.

18 So, it is what you would expect
19 physiologically. At time these studies were being
20 done, we were sort of learning this, so it was all
21 happening at the same time. Prospectively, we were
22 not sure. We expected that the cycles without LH
23 would do exactly what you said, they would make
24 follicles, but no E2, but weren't positive that was
25 going to happen.

1 So, just let me put it back in a time
2 capsule into perspective.

3 DR. TONER: Right. I don't know if there
4 is understanding of my question and I am having
5 maybe a hard time phrasing it correctly.

6 I would consider success for this LH
7 product to have been met if a cycle was canceled
8 because of large follicle numbers, but only if they
9 were also making estrogen. If they were growing
10 follicles and not making estrogen, then, I would
11 not want to consider that particular effort a
12 success.

13 MS. WILLIAMSON JOYCE: Given the fact that
14 our endpoint was a composite endpoint, and we did
15 not break down those prospectively, what we can do
16 is show--Dr. Kenley can actually share some
17 information with you.

18 DR. KENLEY: I think I am understanding
19 your question. You are saying that you consider
20 some of these ladies that were canceled because of
21 risk of OHSS to potentially be successes, others to
22 be failures, and the ones that would be successes
23 would have the high estrogen.

24 We have not analyzed them as such, but we
25 did do a sensitivity analysis, and I think it will

1 help to show you that the significance is still
2 there when you consider those patients who were
3 canceled due to risk of OHSS as a 50 percent chance
4 of responding or 40 percent chance, et cetera. We
5 could get the actual analysis for you later on
6 today.

7 Let me just point out that in the
8 distribution of the data, the one patient on
9 placebo was canceled because of large follicles.
10 The 6 people on 75, 2 of them were canceled because
11 of large follicles, 4 due to high estrogen levels,
12 so let's bring this one up.

13 [Slide.]

14 In that summary, you had 2 of the patients
15 on 75 canceled because of follicles, 4 canceled
16 because of estrogen, and 1 on placebo canceled
17 because of follicles.

18 Now, when you look at this, this is where
19 we looked at the risk of OHSS as a nebulous type
20 area, not all successes, not all failures, and in
21 this analysis, what you see in the middle is when
22 the 1, when it says, "weight of risk of OHSS," and
23 it's given a weight of 1, that means that they are
24 all successes. The 1 means they are all successes,
25 and that is where our p value came at 0.006.

1 The zero means they are all failures, and
2 that is where the p value is 0.034 although, say,
3 you give them the 50 percent chance of being a
4 success, the p value drops to 0.0064, 25 percent
5 chance of being a success. It goes down to 0.01,
6 and then a 10 percent chance of actually being a
7 success, we go down to 0.011.

8 So, given the distribution, I think you
9 can see the study would still remain significant if
10 you included half or less of these patients as
11 successes.

12 DR. GIUDICE: Dr. Stanford.

13 DR. STANFORD: It is always easier to look
14 at study designs in retrospect than prospectively,
15 and recognizing that, I am not convinced that
16 fixing the dose of FSH was the best way to do the
17 pivotal study.

18 Given Dr. Strauss' physiologic rationale
19 that he mentioned that LH is critical regardless of
20 the level of FSH, and given Dr. Santoro's clinical
21 rationale that the way this is actually going to be
22 used in clinical practice is by fixing the dose of
23 LH and then varying your dose of FSH, couldn't you
24 design a protocol where you have a blinded dose,
25 fixed dose of LH or placebo, and then you allow the

1 clinicians to titrate the FSH, you should be able
2 to demonstrate your response, and that would mirror
3 actually how it is going to be used in practice and
4 be more convincing.

5 So, I guess my question is in a way maybe
6 not fair retrospectively, but if you were to do the
7 pivotal study again, wouldn't you design it that
8 way rather than with the fixed dose of FSH?

9 MS. WILLIAMSON JOYCE: I would like to
10 have one of our clinicians comment on that, but I
11 think it is important to note that in addition to
12 the design considerations, the number of patients
13 available to be studied in this clinical trial are
14 indeed rare, so I suspect that a clinical trial
15 designed in that manner would require a
16 significantly larger number of patients in that
17 study.

18 Dr. Strauss, would you care to comment on
19 that, please?

20 DR. STRAUSS: The issue here is
21 establishing the efficacy of the active agent, and
22 the decision to fix the dose of FSH provided a
23 clear opportunity to establish whether the LH dose
24 indeed was biologically effective and clinically
25 effective.

1 The sponsor did do the rollover study
2 which did provide information regarding how these
3 drugs would be used in clinical practice, as Dr.
4 Santoro pointed out, so, in essence, the
5 combination of 21008 and 21415 provides the data
6 that you want, again with the limitations of the
7 small sample size that would be available for
8 evaluation.

9 DR. STANFORD: I guess I would echo Dr.
10 Emerson's comment that if the rollover had
11 maintained the randomization, that would be a more
12 convincing extension, but I guess what I am saying
13 is that that kind of design could have avoided this
14 conundrum of risk of OHSS cancellation and do you
15 call it a success or a failure, or at least
16 minimize it.

17 I don't know if Dr. Emerson has any
18 comments on whether it would actually require a
19 larger sample size with a varying FSH. It doesn't
20 seem to me that it would, but I am not a
21 statistician.

22 DR. EMERSON: I don't see that a different
23 treatment suddenly changes what the sample size
24 requirements are to determine an effect, I would do
25 the same calculations no matter which. So, if you

1 are saying that what was going to be done and what
2 would be more efficacious, would be titrating that
3 dose, then, that is what you should be testing.

4 MS. WILLIAMSON JOYCE: I would suggest
5 that given the fact that that would provide for an
6 additional confounding factor, it could lead to a
7 different series--

8 DR. EMERSON: Again, confounding is
9 protected for by randomization. It is not a
10 confounding issue, it's a precision issue, that you
11 might get more precision by having a very, very
12 controlled population if you could manage to do
13 that, but if you can't do that, then, you have the
14 randomization that is protecting you for everything
15 that happens afterwards.

16 DR. KENLEY: I just want to make sure that
17 this is clarified. Your optimal design would be to
18 have patients randomized to placebo in 75 IU, and
19 stay on those two doses for multiple cycles, stay
20 on placebo or stay on 75?

21 DR. EMERSON: It need not be, to tell you
22 the truth, but that is where you would have the
23 most power. You are going to get some attenuation
24 of your effect if you allow the crossover, but when
25 you do allow the crossover, that doesn't change the

1 fact that you are now testing the difference
2 between, if you will, delayed administration of the
3 drug versus taking it right from the very first
4 cycle.

5 Again, any difference, and this is
6 dependent upon trusting that there wasn't selection
7 on who went forward and things like that. Again,
8 without the FDA having reviewed the data in this
9 way, I am not saying that I can make a judgment on
10 that, but if we pretended that all of this went
11 forward, you can design a trial that is delayed
12 administration of a treatment, and that is what you
13 are testing.

14 DR. KENLEY: It is already difficult to
15 recruit for these trials, and I think to recruit
16 for a trial where the patient was going to take
17 placebo for multiple cycles would make it much more
18 difficult.

19 DR. GIUDICE: Dr. Santoro. As the hour is
20 coming to a close for discussion, we will take a
21 few more questions, and then we will modify the
22 program this afternoon, so that the sponsor will
23 have some additional time for additional questions
24 from the committee.

25 Your comments?

1 DR. SANTORO: There is a saying that the
2 retrospector scope always sees 20/20, and while the
3 trial was being constructed, which was a while ago,
4 the options seemed to be much more limited in what
5 could be done with these patients.

6 So, patients are improperly named there,
7 inpatient when they have HH and they want to get
8 pregnant, and keeping someone in a study,
9 maintaining them on a placebo dose of LH, I think
10 would have run into issues of feasibility that
11 would have probably made the study undoable in my
12 opinion, but you have others on the panel who I
13 think can comment on that.

14 DR. GIUDICE: Yes, Dr. Lipshultz.

15 DR. LIPSHULTZ: I may have missed this
16 data, but Dr. Santoro was talking about how much
17 you like the ability to vary your FSH and keep your
18 LH steady.

19 In the rollover group, I am assuming then
20 that the LH was kept at 75 and the FSH varied.
21 What was the dose that you needed then to achieve
22 those pregnancies with your FSH? Do we have that?

23 MS. WILLIAMSON JOYCE: Yes, we do, and
24 your first assumption is correct, the LH dose was
25 kept constant and the FSH dose was allowed to vary.

1 DR. LIPSHULTZ: What were the doses that
2 achieved efficacy, were they down to 25, because
3 Dr. Santoro suggested that she often has to go down
4 as low as 25 in these women?

5 MS. WILLIAMSON JOYCE: I am sorry, I want
6 to clarify that. I am quite certain that what Dr.
7 Santoro was saying, that the desire was to reduce
8 the FSH dose.

9 DR. LIPSHULTZ: Right, the FSH.

10 MS. WILLIAMSON JOYCE: Yes.

11 DR. LIPSHULTZ: So, what was the FSH used
12 in that rollover group?

13 MS. WILLIAMSON JOYCE: Dr. Lammers.

14 DR. LAMMERS: You are correct that the
15 dose of FSH changed. It was part of the design of
16 the study.

17 If I can have the slide on, please.

18 [Slide.]

19 This table summarizes the FSH dosing, as
20 you requested, Dr. Lipshultz, in the 54 cycles
21 included in this rollover study, and you can look
22 here.

23 The average daily dose, if we divide it
24 into 150 or even lower than 150, more than 150, you
25 can see the number of cycles, that 30 percent that

1 had a lower dose of 150, 68 percent had follicular
2 development, and 37 or 6 out of 60 of these
3 patients achieved pregnancy.

4 In the 150 is 30 percent pregnancy rate, 3
5 out of 10 patients responding. More than 150 dose
6 of FSH, we had a 75 percent follicular development
7 with a 39 percent pregnancy rate, or 11 out of 28.

8 DR. LIPSHULTZ: Yes, but in that less than
9 150, that you have to go below 75, because Dr.
10 Santoro was indicating that her problem with the
11 urinary product was that she is stuck with the 75.
12 So, did you go below 75 in this less than 150?

13 DR. LAMMERS: I think we have that data,
14 but it is not summarized. We have the data,
15 however, we can provide it to you later.

16 DR. GIUDICE: Dr. Lewis.

17 DR. LEWIS: Two things. One, it is very
18 difficult to design a trial to treat these
19 patients, and, of course, the way we use
20 gonadotropin in clinical practice is to tailor the
21 dose as much as we can to the individual patient,
22 so I can respect that it is very tough to design a
23 trial to look at what an effective dose would be.

24 But looking at these data where you do get
25 a delayed response with 25 in some patients, it

1 does beg the question of whether 50 would work. I
2 mean I understand it is hard and these are rare
3 patients, and this is expensive, but it is also
4 hard to make a judgment about what the effective
5 dose is.

6 The second comment I would make is that
7 there is another way to titrate the LH dosage, and
8 that is with hCG. Clearly, that would be off-label,
9 but there are some trials using fixed doses of FSH
10 and then small, very small doses of hCG, which acts
11 just like LH and has a longer half-life, much less
12 expensive, and, of course, there is a recombinant
13 formulation available.

14 DR. GIUDICE: Does the sponsor want to
15 reply to either of those comments?

16 MS. WILLIAMSON JOYCE: I wasn't sure if
17 you had a question for us or if you were just
18 commenting on behalf of the committee.

19 DR. GIUDICE: It has certainly been very
20 instructive to think of alternative strategies for
21 alternative protocols, but I would like to remind
22 the committee that our responsibility today is to
23 look at the protocol and the protocols that have
24 already been conducted and to analyze the data that
25 have been provided.

1 Before we break, there are two burning
2 questions over here from Dr. Macones and Dr.
3 Crockett, so please go ahead.

4 DR. MACONES: This is really more of a
5 comment than a question, and it is following up Dr.
6 Toner's questions earlier.

7 Dr. Lammers presented I think a very
8 pivotal slide which compared the FDA analysis to
9 the Serono analysis. In the analysis after
10 removing the people who were at risk for OHSS, the
11 difference really came down to one patient who
12 Serono defined as being a success because she
13 achieved a pregnancy, FDA did not.

14 I think what is interesting, at least as I
15 saw that slide quickly, was that the estradiol
16 level in that patient was low, and that is why FDA
17 suggested that that was a failure. I think that is
18 consistent with what Dr. Toner was saying, that we
19 think that the LH is really working based on at
20 least partially through an estradiol level, so
21 whether or not you can really count that as a
22 success, again, a chemical pregnancy that is
23 implanting into an endometrium that is not ready, I
24 really question.

25 So, it is just a comment more than a

1 question.

2 MS. WILLIAMSON JOYCE: I just want to note
3 again that that was prospectively defined in the
4 protocol and never an issue in our discussions with
5 the agency until after the NDA was filed.

6 DR. GIUDICE: I think it is also important
7 to point out that we should be careful about
8 drawing conclusions for the reason why that may not
9 have been a successful pregnancy.

10 Yes, Dr. Crockett.

11 DR. CROCKETT: Yes, I have a question
12 concerning the health of the pregnancies.
13 Yesterday, we heard a lot of discussion about
14 aneuploidy and the risk of genetic defects when we
15 superovulate women.

16 I haven't seen any data in my review on
17 the genetic health of the pregnancies in this
18 trial, any of these trials, so I would like to know
19 from the company about the genetic outcomes,
20 whether they were live births, terminations, or
21 fetal losses, what the genetic abnormality rates
22 were.

23 MS. WILLIAMSON JOYCE: Yes, we have those
24 data. What would you like to see, the studies
25 specifically, the pivotal trial?

1 DR. CROCKETT: I would like to see it all.

2 MS. WILLIAMSON JOYCE: Okay.

3 DR. GIUDICE: Slide 71, I have been told
4 has the table in it. Then, Dr. Lammers, if you
5 would like to make a comment.

6 DR. LAMMERS: Have we got Slide 71 on?

7 [Slide.1]

8 DR. LAMMERS: This table summarizes,
9 presented the results of all studies included in
10 our Luveris development program, looking at
11 patients seeking pregnancy, going on to clinical
12 pregnancy, going on to live birth, the number of
13 miscarriages, lost to follow-up, and stillbirths.

14 We do have information, we have tried to
15 obtain information--I will try to show you that in
16 a minute--on the patients who went on to deliver
17 live babies, either the singletons, twins, and
18 triplets that you were referring to.

19 Can I have the next slide on, please.

20 [Slide.]

21 Again, later, it is always difficult to
22 acquire information, however, this is in 6253,
23 where we looked at a patient who had a pregnancy in
24 the 225 IU dose group, and basically, the mother
25 confirmed--this is last available data in May of

1 2000--that daughter is doing well.

2 Here, on the 75 IU dose in 6253, also,
3 this patient delivered twins, male and female, and
4 mother confirmed that the children are healthy.

5 Next slide on, please.

6 [Slide.]

7 If you look at Study 21008, we had the
8 placebo in the 75 dose group, we had twins in the
9 placebo, and basically, she delivered two babies,
10 small for age, 25 weeks, and they were small weight
11 and birth weight, and the 75 was a singleton at 38
12 weeks, a boy, and also relatively lower birth
13 weight.

14 The next slide.

15 [Slide.]

16 We are looking at our bigger study 21415,
17 you can see here that most of these were delivered
18 at the appropriate time. There was a variation
19 between 30 weeks and the highest I think of 42
20 weeks of pregnancy, most, you can see the weights
21 here. There are a few low for birth weights
22 babies, but it fits with the gestational age, also
23 here with the 30 weeks.

24 However, the majority of these children
25 are doing well as far as we have--we have tried to

1 obtain follow-up information as we discussed
2 yesterday, but it provides issues of lost to
3 follow-up, and people also are not willing to
4 provide that kind of information after they
5 concluded the study.

6 Does that answer your question? We didn't
7 do any genetic studies that you are particularly
8 referring to, as we discussed this morning, because
9 we didn't do any, you know. Most of the
10 information was not available at the time that we
11 did the studies.

12 DR. CROCKETT: So, am I to assume that in
13 all of the live births that you had in your
14 studies, you don't have any Down's syndrome
15 children that you know about or any other genetic
16 defects that happen in the normal population?

17 DR. LAMMERS: No, we do not.

18 DR. GIUDICE: Thank you.

19 For the committee, you can leave your
20 books here, and the room in the restaurant is still
21 reserved for today, as well. Please, let's
22 reconvene to keep on schedule at 1 o'clock when Dr.
23 Slaughter will give her presentation.

24 Thank you.

25 [Whereupon, at 12:35 p.m., the proceedings

1 were recessed, to be resumed at 1:00 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 [1:10 p.m.]

3 DR. GIUDICE: Since the afternoon agenda
4 is quite tight, we are not going to have a formal
5 break, so if people get up to use the facilities,
6 please be aware that no one else is going to be
7 offended by your exit.

8 I would like to begin right now with
9 introducing Dr. Slaughter, who is the Reproductive
10 Team Leader for the Division of Reproductive and
11 Urologic Drug Products at the FDA. She will be
12 speaking on Luveris: The FDA Perspective.

13 FDA Presentations

14 Luveris: The FDA Perspective

15 DR. SLAUGHTER: Good afternoon. I hope
16 you all had a good lunch even though it was
17 somewhat rushed. As Dr. Guidice said, I, along
18 with Dr. Meaker, will be presenting the FDA
19 perspective on the Luveris Drug Development
20 Program.

21 [Slide.]

22 The NDA indication for Luveris was for
23 concomitant administration with recombinant FSH for
24 the induction of ovulation in infertile women with
25 severe LH and FSH deficiency.

1 This, I might mention was actually a
2 second change in the indication with the original
3 one being for women with LH and FSH deficiency, and
4 as you hear today, the sponsor has now proposed a
5 third indication, that we might change to a third
6 indication.

7 [Slide.]

8 The object of the population is women with
9 hypogonadotropic hypogonadism or hypothalamic
10 pituitary failure. The criteria for enrollment in
11 the NDA studies has defined subpopulations of
12 hypogonadotropic hypogonadal women requiring
13 therapy based on serum LH, FSH, and estradiol
14 levels with or without functional evidence of
15 endogenous estrogen.

16 [Slide.]

17 Luveris was granted orphan drug
18 designation on October 7, 1994.

19 [Slide.]

20 The Orphan Drug Act of 1983 refers to
21 orphan drugs as rare diseases or conditions
22 affecting less than 200,000 persons in the United
23 States. It confers certain marketing exclusivity.

24 Orphan products receive no preferential
25 treatment in terms of testing and submission

1 requirements, and face the same safety and
2 effectiveness criteria and review processes as
3 undesignated products.

4 [Slide.]

5 As mentioned earlier, the FDA has no
6 concerns with the ultimate safety profile as
7 presented in the NDA, so the presentation today
8 will discuss efficacy only, focusing on population,
9 endpoints, and how these things have changed
10 throughout the drug development process or program,
11 and the power of the Phase III study and the dose.

12 [Slide.]

13 My overview of efficacy will cover the
14 primary studies proposed to establish efficacy, FDA
15 requirements to establish efficacy. I will examine
16 the regulatory evaluation of Luveris, focusing on
17 the strength of the evidence, and will summarize
18 the concerns of the FDA, and finally, we will come
19 to the committee with our questions.

20 [Slide.]

21 Two identical Phase II dose-finding
22 studies were proposed to the FDA in 1992, when the
23 company met with the FDA in a pre-IND meeting.

24 One of those proposed studies, U.S. Study
25 6905, was submitted to the FDA in an IND in 1993.

1 Annual reports to the IND, beginning in 1996,
2 identified U.S. Study 6905 as the proposed primary
3 study to support an NDA. Remember, initially,
4 there were two identical Phase II dose-finding
5 studies proposed.

6 Study 6253, the study conducted in Europe,
7 the European Phase II study, was not submitted to
8 the FDA, and, in fact, the FDA was not aware of the
9 data from Study 6253 until we were at the
10 discussions just prior to submission of an NDA.

11 In 1998, this study, 6253, was proposed as
12 the primary study to support the NDA.

13 [Slide.]

14 These studies had different patient
15 populations and efficacy criteria. The U.S. Phase
16 II study submitted to the IND, Study 6905, was
17 open-label. It enrollment criterion was for an LH
18 less than 5, an FSH less than 5, and a negative
19 progesterone challenge test.

20 This protocol was amended prior to conduct
21 of the study and it changed the population to an LH
22 less than 13.3, the progesterone challenge was
23 replaced with an estradiol less than 60, and there
24 was a change in the FSH requirement.

25 This, the sponsor did, as you heard

1 before, based on recommendations from their own
2 consultants.

3 Finally, the European Phase II Study was
4 also an open-label study. The LH requirement was
5 for a less than 1.2, a negative progesterone
6 challenge test was required, an estradiol level was
7 not required.

8 Additionally, this European trial enrolled
9 volunteers, not necessarily seeking to become
10 pregnant. The efficacy criterion that were put
11 forth on these Phase II trials was a combined
12 efficacy endpoint taking into consideration
13 follicle size, estradiol on the day of hCG, a
14 mid-luteal progesterone level.

15 As you see, these efficacy criteria also
16 varied. In Study 6905, an estradiol was to be
17 greater than 200 pg/ml and a mid-luteal
18 progesterone greater than 10 ng/ml. This was
19 changed when the study was amended to make it
20 greater than 160 pg/ml and greater than 7.9 ng/ml,
21 and the European study was 109 pg/ml with the
22 estradiol criterion of the combined endpoint, and a
23 progesterone of 7.9 ng/ml.

24 [Slide.]

25 The briefing document for the proposed NDA

1 was submitted in 1998, and over a period of 1998 to
2 1999, the FDA reviewed these documents and had
3 numerous discussions with the sponsor.

4 Two non-identical Phase II studies, 6905
5 and 6253, were proposed. No statistical hypothesis
6 was set forth for these studies at the outset.
7 These studies were not powered for efficacy.

8 They used trend tests as confirmatory
9 statistical tools for efficacy assessment. FDA
10 considered at that time, and considers now, that
11 trend tests are exploratory, and not to be used as
12 confirmatory statistical tools.

13 The result of the European study was
14 significantly different from that of the U.S. study
15 6905.

16 [Slide.]

17 As a result of the FDA sharing its
18 concerns, Serono proposed then to support an NDA
19 with Study 6253, the European Phase II, as primary
20 as opposed to what was identified to us in 1996 and
21 1997 as 6905 being primary.

22 [Slide.]

23 The FDA's conclusion on Study 6253 was
24 that the database was insufficient for filing an
25 NDA. It was composed of 11 patients on 75 IU dose

1 of Luveris versus 9 patients on placebo.

2 [Slide.]

3 The FDA presented two options to the
4 sponsor. One was that we could discuss with an
5 Advisory Committee whether the database for Luveris
6 was sufficient to support an NDA.

7 [Slide.]

8 The second option was that the sponsor
9 could conduct a Phase III study. A further
10 recommendation for such a Phase III study was that
11 the sponsor enroll patients with an LH less than 5
12 and a significant subset with an LH less than 1.2.

13 The reason for making a recommendation of
14 enrolling subjects with an LH greater than 1.2 was
15 that the labeling could reflect both the population
16 showing efficacy and that for which the product was
17 ineffective if the data did indeed turn out that
18 way.

19 We have had several discussions on
20 pregnancy in subjects with WHO Type I, and the
21 agency did suggest that it was really interested in
22 pregnancy, however, if the study could not be
23 powered to demonstrate a difference in pregnancy
24 rate, then, ovulation rate, the proposed label
25 indication, should be the primary clinical outcome.

1 We said that a single treatment cycle, as
2 proposed by the sponsor, would be adequate to
3 demonstrate efficacy regarding ovulation rate.

4 [Slide.]

5 In 1999, the sponsor submitted its Phase
6 III protocol. The population in that Phase III
7 protocol was an LH less than 1.2, the same as Study
8 6253, with an E2 less than 60 pg/ml. It was
9 proposed to be a single dose study and study
10 follicular development as the primary clinical
11 outcome.

12 Serono's cover letter stated that a review
13 of Serono data indicates that use of ovulation
14 rates as a primary endpoint would be burdensome
15 since some patients would be canceled for the risk
16 of OHSS, and will not reach ovulation.

17 [Slide.]

18 The FDA comments to the Phase III protocol
19 were that the drug development program to date had
20 not demonstrated dose responsiveness, that the
21 protocol proposed only a single 75 IU dose. It
22 included a historical control, and the population
23 studied was different from the previous FDA
24 recommendation to include a population with an LH
25 less than 5 with a significant subset less than 1.2.

1 [Slide.]

2 FDA's recommendation on that protocol was
3 that the sponsor should demonstrate dose
4 responsiveness, should determine the lowest
5 effective dose in Phase III, or alternatively,
6 conduct a separate Phase II trial.

7 FDA further stated that a single dose may
8 be an issue that affects the outcome of the review
9 recommendation and that we might not have
10 determined the lowest effective dose.

11 We further recommended a placebo arm, and
12 not historical data as the control.

13 [Slide.]

14 Further recommendations were that the
15 ultrasonographer and patient be blinded, and if the
16 sponsor was not going to take our recommendation to
17 use ovulation rate as determined only by the
18 progesterone, then, we had some comments on the
19 criteria for their combination primary endpoint.
20 We suggested an estradiol of 200 pg/ml and a
21 progesterone level of 10 ng/ml.

22 We felt that that was more in keeping with
23 estradiol levels attained by a mature follicle in a
24 normal menstrual cycle.

25 [Slide.]

1 The NDA was received on May 1st, 2001. As
2 I said, the indication was for concomitant
3 administration with recombinant human FSH for the
4 induction of ovulation in infertile women with
5 severe LH and FSH deficiency.

6 The NDA was supported by one Phase III
7 trial, Study 21008, and two, non-identical Phase II
8 does-finding studies.

9 [Slide.]

10 On March 1st, 2002, the NDA received a
11 non-approvable decision by the Division of
12 Reproductive and Urologic Drug Products.

13 [Slide.]

14 I am going to give an overview, a little
15 more in depth, of the three studies supporting the
16 NDA, as well as the extension study 21008. Again,
17 some of this will be a repeat of what Serono has
18 already shown you.

19 [Slide.]

20 U.S. Phase II Study 6905 and European
21 Phase II Study 6253 had objectives to determine the
22 need for LH and the minimum effective dose for
23 ovulation induction. The FDA review determined
24 that the lowest effective dose had not been
25 determined.

1 U.S. Phase III had an objective to confirm
2 the efficacy and safety of the 75 IU dose of
3 Luveris. The FDA review was that the 75 IU dose of
4 Luveris was not effective.

5 [Slide.]

6 This slide now is just an extension of the
7 previous slide that I showed to include the U.S.
8 Phase III trial, and just so that it is very clear
9 the Study 6905, the U.S. Phase II open-label, the
10 European Phase II open label, and finally, the
11 Phase III double-blind study had different
12 enrollment criteria.

13 The European Phase II and the U.S. Phase
14 II were the same. The efficacy criteria did differ
15 between the U.S. Phase II studies and the European
16 Phase II and the U.S. Phase III trial.

17 [Slide.]

18 Whereas you have already heard, one of the
19 major discrepant point of views that significantly
20 influenced the outcome of the review was the issue
21 of how to account for cycles canceled to avoid
22 ovarian overstimulation syndrome.

23 The FDA believe that cycles should not be
24 considered as a treatment success for the purpose
25 of evaluating the efficacy for ovulation induction

1 and pregnancy.

2 We believe that cycles canceled to avoid
3 the risk of OHSS, a pharmacologic adverse event, is
4 not a surrogate for pregnancy.

5 [Slide.]

6 I won't go over this again because I think
7 this was presented by Serono, but FDA believes that
8 the appropriate way to account for cycle
9 cancellations is to plan for and prospectively
10 adjust the sample size.

11 [Slide.]

12 Study 21415, the extension study, was a
13 non-randomized, open-label extension of Study 21008
14 that included 31 patients with an LH of 1.2, who
15 are treated in Study 21008, who had not conceived.

16 The primary objective was provide
17 additional data on follicular development and
18 safety of the treatment with the 75 IU dose of
19 Luveris.

20 [Slide.]

21 Next, I would like to say a little bit
22 about what the FDA considers as substantial
23 evidence.

24 [Slide.]

25 Congress, in the Federal Food, Drug, and

1 Cosmetic Act of 1962, put forth that the term
2 "substantial evidence" means evidence consisting of
3 adequate and well-controlled investigations.
4 Historically, these were interpreted by the FDA to
5 mean more than one.

6 The Modernization Act of 1997 stated the
7 data from one adequate and well-controlled clinical
8 investigation and confirmatory evidence are
9 sufficient to establish effectiveness and FDA may
10 consider such data and evidence to constitute
11 substantial evidence.

12 [Slide.]

13 Working on these statutes, the FDA put
14 forth a guidance for industry. That guidance says
15 reliance on a single study "whether alone or with
16 substantiation from related trial data leaves
17 little room for study imperfections or
18 contradictory nonsupportive information."

19 The results of the two, Phase II trials
20 are contradictory. The results of the Phase III
21 trial is not robust. It relies on the results of a
22 single patient.

23 Also, the guidance puts forth that a
24 single study should be limited to where
25 confirmation would be practically or ethically

1 impossible.

2 It is both practical with an extended use
3 of patient accrual and ethical to provide
4 substantial evidence for Luveris in the treatment
5 of women with hypogonadotropic hypogonadism.

6 Next, I will turn the mike over to Ms.
7 Meaker, who will present the statistics.

8 MS. MEAKER: Hi. My name is Kate Meaker
9 and I am the statistical reviewer for this NDA.

10 [Slide.]

11 First, I will be presenting the FDA's
12 re-analysis of the three main clinical trials, and
13 then I will discuss the agency's conclusion that
14 these trials lack sufficient evidence for efficacy.

15 [Slide.]

16 The main issues, as Dr. Slaughter already
17 explained, are the classification of subjects whose
18 cycles were canceled due to risk of OHSS, and
19 secondly, the concerns that the results of these
20 studies are not robust.

21 [Slide.]

22 I will be covering the same three main
23 studies that Dr. Slaughter has already described.

24 [Slide.]

25 Some background on the Phase II studies.

1 The planned analyses for these studies were trend
2 tests. This type of test is appropriate for
3 dose-finding studies, which was the goal of the
4 two, Phase II trials.

5 Weights are assigned to each dose group
6 prior to unblinding, and typically, the weights
7 will reflect the anticipated dose response, such as
8 a linear response.

9 [Slide.]

10 Our concerns about the sponsor's trend
11 test analyses are these weights were not
12 pre-specified, and when the results were first
13 presented to the agency, we were told that the
14 weights were selected after unblinding. This
15 creates bias in choosing weights which show the
16 greatest support.

17 [Slide.]

18 An additional concern was that the 75 IU
19 dose group and the 225 IU dose group received the
20 same weights, and the actual weights applied were
21 placebo received minus 2, 25 IU dose received a
22 weight of zero, and then the 75 and 225 IU dose
23 received the weight of 1. So, in essence, this
24 test treats anyone who received 75 or higher as
25 having the same dose.

1 [Slide.]

2 Now, the results of these studies, and for
3 each of the three main studies, I will be
4 presenting the same analysis table. The first line
5 will be the sponsor's analysis as presented in the
6 NDA, and this includes OHSS, risk for OHSS as a
7 treatment success for follicular development, and
8 then the second line will be my re-analysis, which
9 will include risk of OHSS as a treatment failure.

10 Here, the endpoint that we are looking at
11 is percent success on follicular development.

12 [Slide.]

13 So, in Study 6905, the sponsor's analysis,
14 as they already presented, the trend test was not
15 significant. One other point, in the process of my
16 review, of the agency's review of this NDA, the
17 question came up can any of these studies stand
18 alone to support the efficacy of the 75 IU dose.

19 [Slide.]

20 So, to address that question, in my
21 re-analysis, I did a direct comparison of the 75 IU
22 dose group to the placebo group, and in doing that,
23 I used a Fisher's Exact Test.

24 For this study, comparing the 7 out of 11
25 to the 5 out of 11, Fisher's Exact Test is not

1 statistically significantly different. So, in
2 conclusion, when OHSS risk is a treatment failure,
3 actually, in both of these analyses for 6905, there
4 was no statistical difference.

5 [Slide.]

6 Moving on to the second Phase II study
7 6253, again, sponsor's analysis. This was
8 presented this morning. The trend test had a
9 significant p value of 0.004. The other thing that
10 was presented this morning was the sponsor compared
11 this 7 out of 11 to the 1 out of 9 in head-to-head
12 comparison, and showed a p value of 0.02. Again,
13 that was with OHSS risk as a treatment success.

14 [Slide.]

15 When this is reclassified in my analysis,
16 the comparison of the 75 IU group to placebo shows
17 no statistically significant difference.

18 [Slide.]

19 Finally, moving on to the Phase III trial,
20 this is a single Phase III trial. It had just two
21 groups, Luveris and placebo. The plan comparison
22 was a head-to-head comparison using a Fisher's
23 Exact Test.

24 Just to clarify, this was the analysis
25 that was presented in the NDA. The sponsor did an

1 evaluable analysis, they excluded three subjects
2 from their analysis.

3 Now, what was presented this morning, just
4 to clarify the differences in what you are seeing
5 in the package, this morning the sponsor presented
6 an intent-to-treat. So, their denominators this
7 morning were 26 in Luveris and 13 in placebo. That
8 is the same intent-to-treat population that I used
9 in mine.

10 [Slide.]

11 In doing a Fisher's Exact Test comparison,
12 the p value for mine is 0.063, and as you have
13 heard, there is a single subject in the Luveris
14 group. The sponsor's analysis will show 11 out of
15 26 as being a treatment success here. There is a
16 single subject where there is disagreement between
17 the agency and the sponsor about the clinical, I
18 guess it's the chemical pregnancy.

19 So, this raises additional concerns about
20 the robustness if the interpretation of this single
21 Phase III study hinges on the classification of a
22 single subject.

23 So, again, when OHSS risk is considered a
24 treatment failure, the single Phase III study does
25 not have sufficient evidence to show efficacy for

1 the 75 IU dose.

2 [Slide.]

3 This slide is to summarize the results of
4 these three individual trials, and what I am
5 showing you is the odds ratio and the 95 percent
6 confidence interval. Now, the odds ratio shows the
7 chance of having success, chance of follicular
8 development in the 75 IU dose group versus the
9 placebo group. The 95 percent confidence interval
10 corresponds to the test at alpha .05.

11 Now, the vertical line at the value of 1
12 here represents the odds ratio where the chance of
13 treatment success in the placebo group is the same
14 as treatment success in the Luveris group. All
15 three of these confidence intervals, the lower
16 bound is less than 1, so none of these trials can
17 rule out the possibility of equal chance of getting
18 pregnant or equal chance of follicular development
19 on placebo as on Luveris.

20 [Slide.]

21 Of interest to the agency's medical
22 officers was ovulation rate. This was the desired
23 indication was ovulation induction. FDA requested
24 that the sponsor use this as a primary endpoint, as
25 Dr. Slaughter already discussed, and ovulation rate

1 was to be determined by progesterone levels.

2 The sponsor chose to use follicular
3 development instead as the primary endpoint, and
4 this was shown as the secondary endpoint.

5 [Slide.]

6 This slide shows the results of ovulation
7 rate for each of the three studies. Now, you will
8 notice in the 6905, the progesterone level was
9 slightly higher than in the other two to be
10 classified as a success for ovulation, but in all
11 three studies, a head-to-head comparison, there is
12 no statistically significant difference between
13 Luveris 75 and placebo for ovulation rate.

14 [Slide.]

15 So, in summary, these three studies, when
16 we try to answer the question can any of them stand
17 alone, looking at the primary endpoints with OHSS
18 risk as the treatment failure, there is
19 insufficient evidence and also looking at the
20 additional endpoint that was of interest to the
21 medical officers, ovulation rate, the same
22 conclusion. None of these studies can stand alone
23 to support that efficacy.

24 Now, I will return it to Dr. Slaughter.

25 DR. SLAUGHTER: Let me say that FDA agrees

1 that in some population of hypogonadotropic
2 hypogonadal women, LH will be necessary.

3 [Slide.]

4 Our concerns have been that we were left
5 with, at the end of this review, were the
6 appropriate subpopulation of hypogonadotropic
7 hypogonadal women that would benefit from therapy
8 with exogenous LH; that the correct surrogate for
9 pregnancy was not chosen in this instance.

10 [Slide.]

11 Finally, in the appropriate population,
12 the lowest effective dose.

13 [Slide.]

14 As you have heard earlier, there are
15 alternative treatments, intravenous gonadotropin
16 hormone releasing hormone is not currently
17 marketed, and the menotropins have never been
18 presented to the agency for this indication, so
19 they would be used off label.

20 I will proceed with our questions for the
21 committee.

22 No. 1. Can subpopulations of
23 hypogonadotropic hypogonadal women be identified
24 solely by serum hormone including LH, FSH, and
25 estradiol levels? This is in addition to the

1 physical examination, et cetera.

2 If you do not agree, what additional
3 markers should be attained? Should it be
4 demonstration of withdrawal bleeding upon progestin
5 challenge, DNA markers, or other clinically
6 significant markers?

7 If you agree that subpopulations can be
8 identified on the basis of hormone levels, were the
9 appropriate subpopulations studied in 6905, 6253,
10 and 21008?

11 No. 2. Was a placebo-controlled trial the
12 appropriate trial design to demonstrate efficacy?
13 If you disagree, should an active comparator trial
14 be considered?

15 No. 3. Should multiple cycles be
16 considered for evaluation? Is there a priming
17 effect of the first treatment cycle?

18 No. 4. Was it appropriate to use a
19 surrogate endpoint for pregnancy? We have talked
20 this over several times. In this case, follicular
21 development, however, in this study of
22 hypogonadotropic hypogonadal women seeking
23 pregnancy?

24 If you do not agree, should the studies
25 have evaluated clinical pregnancy or live birth?

1 If you agree, which surrogate endpoints
2 should have been used? A single mid-luteal
3 progesterone? Multiple mid-luteal progesterone
4 levels? Or other surrogates?

5 Should cycle cancellation to avoid OHSS be
6 used as a surrogate for pregnancy?

7 No. 5. Is the data sufficient to
8 establish efficacy for ovulation induction?

9 No. 6. If additional clinical studies are
10 to be recommended, what type of study should the
11 Division request in order to provide sufficient
12 evidence of efficacy?

13 Should additional studies evaluate doses
14 lower than 75 IU?

15 Finally, I would like to close in thanking
16 the committee for your deliberations over the two
17 days. These are very important issues that the
18 Division has struggled with, and we very much
19 appreciate all of your input.

20 I would also like to thank the following
21 people: Dr. Ridgely Bennett, who is in the
22 audience. He is the medical officer who has worked
23 on the drug products for infertility for over the
24 last 30 years, and we owe him a tremendous debt.

25 I would also like to thank Dr. Audrey

1 Gassman, Dr. Barbara Wesley, and Ms. Dornette
2 Spell-Lesane for all of their help in putting
3 together this presentation.

4 I would like to thank Drs. Griebel,
5 Shames, Houn, and Jenkins for all of their valuable
6 comments during this process of presenting before
7 the committee.

8 Thank you.

9 DR. GIUDICE: Thank you, Dr. Slaughter.

10 I would like to open this discussion for
11 some questions from the committee to Dr. Slaughter
12 specifically about the issues that she has
13 discussed, and I would like to begin the
14 questioning by at least recounting as someone who
15 was naive to these data and a first time around,
16 and I would like to hear comments also from other
17 committee members.

18 We seem to have essentially two sides of
19 the story. There are two different statistical
20 analyses, there are comments that the FDA gave
21 favorable views and yet within a few months there
22 was an unfavorable letter.

23 There is an issue that has been made of
24 not having identical trials from the beginning or
25 Phase II studies from the beginning. What that

1 exactly means to the committee or to the FDA, I for
2 one am not completely clear.

3 There is a Phase II trial that was
4 conducted in Europe that--and pardon me for using
5 the word "clearly," Dr. Emerson--but it seemed
6 pretty clear to my eye that there was a
7 dose-dependent, statistically significant change
8 with recombinant LH.

9 There are comments about the 6905 study
10 not being equivalent and both trials not being
11 equivalent to the 6253. Very little discussion has
12 been addressed to the subset of severely
13 LH-deficient patients in the 6905, the data of
14 which again to my eye in reviewing the data seemed
15 very comparable to the 6253.

16 I can go and on. These are the issues
17 that when I have gone through the data head-on,
18 came to my pen to paper. An additional issue was
19 brought up today, and that has to do with the
20 pivotal patient of an estradiol of 106 versus 109,
21 and again no discussion has been made with regard
22 to had we re-assayed that patient sample, or had
23 drawn her blood within five minutes, would we have
24 gotten 110 nanograms per ml for an E2 or perhaps a
25 100.

1 At least as I understand biology, you
2 don't usually get pregnant unless you have follicle
3 development. So, these are very serious issues
4 that, as I have gone through the data, these have
5 come to my mind, and as a group, I would like for
6 you to let me know if these are on target with your
7 thinking and how we can advise the FDA with regard
8 to this particular product proposed by this
9 sponsor.

10 With that as a background, because I do
11 want people's juices to be flowing here, I really
12 want the brains to be thinking especially
13 postprandially. There are a number of questions,
14 and as we look at the subquestions, we need
15 sufficient time to be able to discuss these,
16 because some of them are very subtle and some of
17 them I think are going to require a lot more
18 attention.

19 With that as a background, I would like to
20 open the discussion for questions for Dr.
21 Slaughter.

22 Yes, Dr. Tulman.

23 Questions from the Committee

24 DR. TULMAN: I am asking this and it might
25 be a bit broader rather than narrow. When the

1 sponsor applied for orphan status because of the
2 rarity of the condition, and has spent, and the FDA
3 has spent, a considerable amount of time looking at
4 a drug that, by all accounts is for the very rare
5 patient, the 1 in 18,000 perhaps a tertiary care
6 center.

7 Clearly, there is in the background
8 another agenda that may be at operation here that I
9 think must be put on the table, and that is the FDA
10 approves a drug for a very set purpose, for a set
11 population that you have the evidence or may or may
12 not have the evidence as we are discussing.

13 The reality is if a drug were to be
14 approved and it goes out to market and it's
15 available for prescription by licensed people who
16 can prescribe, and we all know there is much
17 off-label use, which is not what the FDA approved
18 it for, and in this particular case, there is the
19 potential that the off-label use may outweigh the
20 on-label use by a ratio of 18,000 to 1, which I am
21 not sure how it works out with all our other
22 medications out there, but it seems to me that is a
23 pretty big off-label use potential.

24 Of all of the trials that have been shown
25 to us, the only one that might give us a hint were

1 this drug to be approved and were this drug to be
2 then used off label, is the 6905, the one that was
3 done in the United States, of which several of the
4 women in that study were not meeting the LH
5 requirement of less than 1.2, but did go up to the
6 median level, essentially a normal FSH and LH.

7 There was no breakdown, but doing some
8 calculations on my own, trying to capture that
9 population that was greater than 1.2 in that trial,
10 when you looked at the differences in pregnancy
11 rates, in clinical pregnancy rates, it came out to,
12 for a sample of those 25 women, it came out to 4 in
13 the 75 or 225 dosage, and 5 in the zero or 25, or
14 essentially no difference by any statistical means
15 one could imagine doing.

16 I know that we are a very focused hearing,
17 and we are focused on this particular population,
18 and somehow we have a gigantic elephant in the
19 room. We have the 17,999 other women as opposed to
20 the other 1 woman with this condition being
21 discussed, and I guess I would like to hear some
22 comments about how we can make a decision for
23 something that the reality in the future may turn
24 out to be very different on the use of this drug.

25 I guess it wasn't just directed to the

1 FDA.

2 DR. SLAUGHTER: Thank you.

3 DR. TULMAN: It was directed to all of my
4 other colleagues in the room.

5 DR. SLAUGHTER: I think that I cannot
6 comment about any future or other indications for
7 this drug, so I guess I would like to throw it out
8 to the committee to discuss.

9 DR. GIUDICE: I would like to comment.
10 When we look at the indication--and I will read it
11 if I can find it amongst all this paper--it is
12 indicated for stimulation of follicular development
13 in infertile hypogonadotropic hypogonadal women
14 with profound LH deficiency defined by less than
15 1.2 IUs per liter.

16 The purpose of this committee is to
17 evaluate the data at hand for the indication
18 proposed. So, I believe that we should focus
19 our--because we don't know, just as many other
20 drugs are used off label--we don't know other
21 applications at this point for the use of this
22 drug, nor really is that our charge to address
23 that.

24 My understanding of our charge is to
25 advise the committee regarding this particular

1 indication for this particular NDA. Unless someone
2 wants to have some additional comment, Dr.
3 Stanford, and I would appreciate it if we can keep
4 this brief because we have a number of other very
5 important questions that the FDA has requested that
6 we address.

7 DR. STANFORD: All I wanted to say is I
8 want to clarify what is the indication we are asked
9 to consider. There have been three different
10 indications. There was one presented in the
11 packets and then the one presented here is
12 different.

13 The ones you are asking us in the
14 question, I think that is a pivotal question and
15 may affect our vote, it may affect which way we
16 vote.

17 Is the indication--you asked No. 5--are
18 the data sufficient to establish efficacy for
19 ovulation induction, whereas, the presentation from
20 Serono this morning is proposing an indication for
21 follicular development. Those are different
22 things.

23 So, what are we being asked to consider?

24 DR. SLAUGHTER: I think Serono is offering
25 up an alternative indication. The indication in

1 the NDA was for induction of ovulation.

2 DR. STANFORD: Are we sort of open to say
3 we will vote no on one and yes on one, are you just
4 asking us to vote on this one? I am just trying to
5 establish the parameters of what we are being asked
6 to address.

7 DR. SHAMES: We can certainly discuss
8 everything, but technically, it was the ovulation
9 induction indication that we ultimately did not
10 approve, and that is what we need the help on. You
11 can discuss the other issues also, but technically,
12 it's that particular NDA having to do with
13 ovulation induction that we need the answer.

14 Question 5 is the actual question
15 regarding that.

16 DR. STANFORD: So, we would vote on
17 Question 5 and then make any other comments that
18 you might take into advisement for anything else.

19 DR. SHAMES: Right. I want to make one
20 other comment about the off label, et cetera. The
21 other way to look at it is we are looking at this,
22 the information before us, and if reproductive
23 endocrinologists think it would be really nice to
24 have, you know, some LH to fool around with, and we
25 were really nice and we said, okay, we could have

1 this, the truth is we are, by law and by
2 regulation, required to approve a drug based on
3 what Dr. Slaughter showed you, substantial
4 evidence.

5 It is fairly well defined as what is
6 substantial evidence, and it has to do with the
7 number of trials and the supportive evidence. So,
8 the other way to look at this, you have to sort of
9 take your way, in a sense, out of the total big
10 picture and focus on not only the clinical evidence
11 or the trial evidence, which you would look at as
12 academicians or practitioners, but also on our
13 regulatory charge, which is a certain legal
14 standard of having substantial evidence which has a
15 real meaning to it.

16 So, that is why Dr. Slaughter reviewed
17 with you what that was.

18 DR. GIUDICE: Dr. Keefe.

19 DR. KEEFE: We are going to be making
20 decisions based on whether or not there is or is
21 not substantial evidence to support the IND, and I
22 am wondering if, from the perspective of the FDA,
23 does the fact that this is a deficiency syndrome,
24 that this is as close as you can get to the natural
25 product, way into it, for example, if this was a

1 new form of insulin, does it change the weight of
2 the evidence required to tip the balance in one
3 direction or another.

4 DR. SLAUGHTER: I think that I put this on
5 the slide. It really doesn't influence the weight
6 of the evidence. We have to consider these drugs
7 for these orphan indications in the same manner
8 that we would consider other drugs.

9 DR. SHAMES: There is a reason we are
10 replacing this, and we have to decide. The
11 endpoint here is the reason we are replacing it to
12 attain pregnancy. I mean there may be a lot of
13 things that people are deficient in as you get
14 older, whatever it is, but to approve something,
15 there has to be an endpoint that has clinical
16 meaning, not just replacing the particular
17 deficiency.

18 DR. GIUDICE: Dr. Rice.

19 DR. RICE: I guess I am just not clear
20 because what Serono presented us this morning, the
21 second slide says they are looking for indication
22 for stimulation of follicular development, and
23 apparently they amended their NDA on August the
24 21st, 2003, which you present to us is an NDA
25 indication for ovulation induction.

1 So, which endpoint are we going to make a
2 decision on, ovulation induction or follicular
3 development? In other words, do they get to change
4 midstream their decision or amend the NDA and was
5 that accepted by the FDA?

6 DR. SLAUGHTER: Our decision was based on
7 ovulation induction. We did not accept the
8 amendment to change it to follicular development.

9 DR. RICE: So, today, we are making a
10 decision based on ovulation induction, not
11 follicular development?

12 DR. SLAUGHTER: Yes.

13 MS. WILLIAMSON JOYCE: Excuse me. May I
14 comment on that? I want to make it clear that the
15 NDA amendment, the proposal to create an indication
16 that was more clearly closely aligned to the
17 clinical development program, starting back more
18 than 10 years, and also to make it consistent with
19 the indication that is currently approved in over
20 46 countries.

21 Now, that indication, the proposal to
22 amend that indication was provided to the agency in
23 a document in December of 2002 with hopes that we
24 could get to the part of our discussion where it
25 might be possible, however, given the fact that the

1 matter was being brought before an advisory
2 committee, we have not to date entered into any
3 discussions concerning the label.

4 We are proposing this indication because
5 we feel it is appropriate based on the clinical
6 studies that we have conducted, and today was the
7 first moment that we were told that the amended
8 indication was not accepted.

9 DR. GIUDICE: Dr. Hager.

10 DR. HAGER: That was my question.

11 DR. GIUDICE: Dr. Lipshultz.

12 DR. LIPSHULTZ: I have a question for Dr.
13 Slaughter. We are talking about this one patient,
14 and Dr. Guidice mentioned, well, if we drew the
15 blood again, perhaps it would be different.

16 I mean if that one patient is so
17 significant in this decisionmaking, then, I am
18 concerned about the depth of the data that we are
19 discussing. How important is this one patient?

20 DR. SLAUGHTER: If you eliminate women, if
21 you do not count as successes women whose cycles
22 were canceled for the risk of OHSS, the data is
23 swayed from a significant p value to a
24 non-significant p value on the basis of that one
25 patient. So, the one patient really influences the

1 outcome of this study.

2 DR. LIPSHULTZ: Because the sponsor has
3 said that either way you look at the data, with or
4 without the canceled cycles, it still is
5 statistically significant, but you are saying that
6 if we cancel the one patient out, then, it does
7 change the data.

8 DR. SLAUGHTER: If you take that one
9 patient along with patients whose cycles were
10 canceled for the risk of OHSS, then, yes, it does
11 influence the data.

12 I just wanted to respond to some of the
13 points that you raised initially. One is that I
14 presented the business about which studies were to
15 support the NDA only to give you some historical
16 perspective and that things were not clear-cut from
17 the onset, that we were presented with the proposal
18 for different studies to support the NDA over the
19 10-year review process.

20 I think we did discuss the single patient.
21 I just wanted to make a little comment about the
22 favorable response, and it's not to get into a he
23 said-she said situation, but I just want to put
24 that in perspective.

25 The comment that Serono has put forth

1 about the favorability of the study was made by me,
2 and I was commenting at the level of the pre-NDA
3 meeting, that the sponsor had done the type of
4 study, meaning double-blinded, placebo-controlled
5 study that I had asked for, and that was favorable.

6 However, left out of that comment was that
7 we could not even tell them at that time whether we
8 would accept that NDA for filing. That comment was
9 in no way made to suggest that they would
10 ultimately receive a favorable outcome after the
11 review of their NDA.

12 DR. GIUDICE: Thank you. I would like to
13 have two quick comments and then we need to go to
14 the open public hearing, and then we will go
15 directly to the questions.

16 Dr. Crockett and then Dr. Rice.

17 DR. CROCKETT: I actually have a question
18 to address to Dr. Emerson, our statistician. In
19 reviewing Dr. Meaker's statistical analysis, there
20 seems to be significant difference regarding the
21 statistical analyses applied to the data both on
22 the follicular development and the ovulation rates.

23 In her presentation of the data, neither
24 the follicular development nor the ovulation rates
25 were statistically different between the Luveris

1 and the placebo, and I just wondered if you had a
2 comment concerning the correct application of the
3 statistical methods used.

4 DR. EMERSON: There were differences in
5 the statistics being presented, the types of things
6 that you are looking for. So, first, the issue is
7 doing a test for trends versus the pairwise
8 comparison, and obviously, there is a multiple
9 comparison issue, if you let me do enough
10 statistics, I will eventually find out something
11 that is significant.

12 So, this prespecification question is
13 very, very important when you are doing a test for
14 trend, prespecifying the weights is very, very
15 important, so there is a lot of issues there that
16 you can say sure, they plugged it into the
17 computer, and the computer gave it the correct p
18 values subject to the differences in the definition
19 of failures and dealing with the one patient.

20 But the issues of the weighting and
21 whether it is prespecified and whether that would
22 be then the credible evidence is one that has to go
23 in the study design, because you have to be very
24 certain that you aren't given too many chances to
25 be right.

1 I would say that everything looks like it
2 is appropriate if there wasn't an element of
3 dredging through the data until you got the result
4 that you wanted.

5 DR. GIUDICE: Dr. Rice.

6 DR. RICE: This is a comment and I guess I
7 may want a response, but I am concerned about this
8 history of this changing of the NDA indication and
9 I just want to know is there some precedent for
10 this, that before a pharmaceutical company comes
11 before us that they can have changed the
12 indication, the endpoint that was going to be
13 evaluated, is there any history of that, and I
14 guess I am concerned about what you just said was
15 that you changed the indication after you looked at
16 the data.

17 Did I misunderstand that, after it has
18 been approved in the European study, what did you
19 say?

20 MS. WILLIAMSON JOYCE: Yes. First of all,
21 I want to make clear that there has been no change
22 in the endpoint, the endpoint has been consistently
23 applied in the pivotal study and in the previous
24 studies. There has been no change in the endpoint.

25 DR. RICE: So, ovulation induction versus

1 follicular development?

2 MS. WILLIAMSON JOYCE: The endpoint has
3 always been follicular development as provided by
4 Dr. Lammers and the sharing of our data, that has
5 always been set, follicular development. What we
6 did, when the NDA went in, the wording of the
7 indication that was submitted was broad and similar
8 to that of other products that had been approved in
9 gonadotropin treatment therapies for OI.

10 It was clear as we looked at this that
11 that was an overly broad indication.

12 DR. RICE: Which was an overly broad
13 indication?

14 MS. WILLIAMSON JOYCE: The initial
15 indication submitted in April of 2001, ovulation
16 induction. So, there was a disconnect between the
17 indication that was included in the original NDA--

18 DR. RICE: Ovulation induction.

19 MS. WILLIAMSON JOYCE: Ovulation
20 induction--I want to clarify this, it was
21 stimulation of follicular development and ovulation
22 induction. All we did was remove the term
23 "ovulation induction" because we felt follicular
24 development, stimulation of follicular development
25 was what we had studied. That was our endpoint,

1 and in changing that indication, we combined, we
2 made consistent the endpoint and the proposed
3 indication, which is also approved in the other
4 countries in the same terminology. So, I hope that
5 clarifies what we did. No?

6 DR. RICE: No.

7 DR. SLAUGHTER: Just one comment also.
8 That indication was taken word for word from the
9 label that was submitted by Serono with the NDA
10 application.

11 MS. WILLIAMSON JOYCE: Yes, it was, I
12 agree.

13 DR. GIUDICE: Can we be very clear, rather
14 than using "it" or "they," so specifically say
15 either follicular development, follicular
16 development and ovulation, and ovulation induction
17 as we discuss these, because it's a very good
18 point.

19 Dr. Lipshultz, your question was?

20 DR. LIPSHULTZ: Could you please, as
21 chairperson, restate what was said, because I did
22 not understand. Did you understand?

23 DR. GIUDICE: What I understood was that
24 the original indication was for follicular
25 development and ovulation induction, and that the

1 outcome was follicular development, and to make the
2 outcome consistent with the indication, they
3 dropped the words "ovulation induction."

4 Is that correct?

5 MS. WILLIAMSON JOYCE: Yes.

6 DR. SLAUGHTER: After the NDA, after the
7 NDA was submitted.

8 DR. RICE: So, they dropped it after they
9 looked at the data, correct, which was what I said,
10 you dropped it after--okay, you didn't drop it
11 after you looked at the data.

12 MS. WILLIAMSON JOYCE: I think we are
13 getting into semantics. The words ovulation
14 induction were proposed to be removed in the
15 amended indication, but follicular development in
16 the indication, which has always been in the
17 indication, and has always been the endpoint, are
18 consistent. That has not changed.

19 DR. GIUDICE: I would like to remind the
20 committee that the criteria for follicular
21 development, if progesterone, mid-luteal
22 progesterone is one of the sub-criteria, that is
23 almost implicit that there has been ovulation, so
24 you are correct that there is a bit of an issue of
25 semantics here.

1 Certainly follicular development can
2 occur, and you may not allow ovulation to happen,
3 but with the criteria that were used in the
4 composite, progesterone was one of the endpoints.

5 DR. RICE: But I think one thing that is
6 somewhat clear to me is that they canceled
7 patients, so you didn't get to ovulation induction,
8 so you never got a progesterone level. So, it was
9 to their favor to use follicular development,
10 because they didn't give those patients the hCG to
11 ever answer the question of ovulation induction, so
12 that is why the semantics makes a difference.

13 DR. GIUDICE: Well, it does and it
14 doesn't, and I will get to you in just one second,
15 because if one is looking at the pharmacologic
16 endpoint of the action of LH, it is truly not
17 follicular growth, but it is steroidogenesis, and
18 that I think has been--I won't say clearly shown,
19 but we can discuss that elsewhere--but the endpoint
20 for the action of LH had one not canceled cycles
21 because of the risk of OHSS, would have been for
22 ovulation.

23 It's just on an ethical basis and by the
24 criteria for cycle cancellation, and that's the
25 reason that those patients were not included, but

1 had one just decided, well, let's take a cutoff of
2 5,000, then, we would have had evidence of
3 ovulation induction.

4 So, the pharmacologic action of LH was
5 clearly proven in those patients who were excluded.

6 DR. RICE: I will only say this. We are
7 talking semantics, and we are talking about one
8 patient making a difference of some statistical
9 difference, but that one patient that we are
10 talking about, when Dr. Macones asked the question
11 what was that estradiol level in that patient who
12 ended up getting pregnant from this "chemical"
13 pregnancy, my understanding was that estradiol
14 level was low.

15 DR. GIUDICE: It was 106.

16 DR. RICE: It was under the threshold, so
17 that is your indication for LH action, that
18 estradiol. So, there are some semantics there that
19 raise the question. I just think that we need to
20 be clear about what we are going to discuss, what
21 we are going to vote on, and that is whether or not
22 the drug is looked at for ovulation induction as
23 the endpoint versus follicular development, and
24 that is what I would like clarification on, and I
25 want to make sure that we all understand as a

1 committee, either it's acceptable that they could
2 drop the wording of the initial indication or they
3 can't, so we just need to know what to vote on as a
4 committee, because I know I can look at the data
5 and assess it for what I think it shows once I know
6 what the question is.

7 DR. GIUDICE: Dr. Keefe.

8 DR. KEEFE: It seems to me the pivotal
9 patients are those who had OHSS and never got a
10 chance to have a progesterone that is elevated,
11 which brings us back to Dr. Toner's point earlier,
12 which is whether or not they had adequate estradiol
13 levels.

14 So, from my understanding of the data, if
15 you include all those who were canceled for OHSS in
16 the group, they will have significance, but if you
17 exclude them, they don't, but the question is if
18 you partition them into those who had adequate
19 levels of estrogen above the cutoff and those that
20 didn't, where does that leave us? Does that put
21 that one patient who is defined as pregnant as the
22 make or break piece of data?

23 MS. WILLIAMSON JOYCE: Excuse me for just
24 interrupting. I want to make it clear that these
25 patients were not canceled due to OHSS.

1 DR. KEEFE: I am sorry, the potential for
2 OHSS risk.

3 DR. GIUDICE: I think we need to move on.
4 We will continue this discussion essentially as we
5 go through the individual questions, so this
6 certainly has provided an excellent base for that.

7 Open Public Hearing

8 I would like to open the open public
9 hearing and I need to read a statement by the FDA.

10 Both the FDA and the public believe in a
11 transparent process for information gathering and
12 decisionmaking. To ensure such transparency at the
13 open public hearing session in the Advisory
14 Committee meeting, FDA believes that it is
15 important to understand the context of an
16 individual's presentation.

17 For this reason, FDA encourages you, the
18 open public hearing speaker, at the beginning of
19 your written or oral statement to advise the
20 committee of any financial relationship that you
21 may have with any company or any group that is
22 likely to be impacted by the topic of this meeting.
23 For example, the financial information may include
24 a company's or a group's payment of your travel,
25 lodging, or other expenses in connection with your

1 attendance at this meeting.

2 Likewise, FDA encourages you at the
3 beginning of your statement to advise the committee
4 if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your statement, it will not preclude you from
8 speaking.

9 I understand that we have three
10 individuals who would like to make a statement.
11 Would you please raise your hands. May I have the
12 person who is walking towards the center come
13 first.

14 MS. KRAMER: Thank you, Chairwoman Guidice
15 and members of the committee. My name is Erin
16 Kramer. I am here to represent Resolve, the
17 National Infertility Association, and I am a
18 consultant to Resolve.

19 Resolve has been for 30 years providing
20 compassionate support and information to those
21 individuals who are touched by infertility, and
22 Resolve works to increase public awareness of
23 infertility issues and the family building options
24 available to those individuals.

25 Resolve appreciates the important work of

1 the agency and this panel, and the careful thought
2 and consideration that must accompany the approval
3 of any new drug.

4 For the sake of disclosure, the corporate
5 sponsor of the product discussed here today has
6 been a supporter of Resolve's work. I do want to
7 also make clear that I do not have a medical or a
8 clinical background, so I cannot comment on the
9 specific merits of any new product, but I do have
10 an important viewpoint to impart and that is of the
11 patient.

12 Infertility, receiving that diagnosis is
13 devastating. According to the American
14 Psychological Association's National Task Force on
15 Women and Depression, 40 percent of women in one
16 study identified the inability to conceive as the
17 most upsetting experience of their lives.

18 Certainly for individuals for whom
19 treatment is not available, that depression would
20 be magnified.

21 We understand that there is a patient
22 population for whom there is not treatment
23 currently available. Of course, those are the
24 individuals we have talked about today, those who
25 are profoundly LH deficient, and while, of course,

1 this is a rare patient population and certainly one
2 very difficult to study, we encourage the panel to
3 think about the human toll, of the decisionmaking
4 that goes into the process of identifying and
5 looking at the research.

6 These women deserve to have treatment that
7 is both safe and effective in the investigational
8 setting and treatment that is specific to their
9 infertility problem. We understand that this
10 treatment is available in European markets and that
11 patients are benefitting there.

12 While there are numerous factors that go
13 into contributing to the success of treatment and
14 pregnancy in the end, the passage of time and the
15 delay of treatment is a very key component of that
16 success, and 10 years of investigational study is a
17 long time and too long for many patients who are
18 waiting for a family to love and an answer to their
19 medical problem.

20 The research overwhelmingly is paid for by
21 patients. There is very little federal funding
22 into infertility research, so it is the patients
23 themselves and private companies who are willing to
24 invest the time and money into cures.

25 We encourage the panel to help assure

1 timely availability and access to new
2 pharmaceutical products that will be for all
3 infertile patients.

4 Thank you.

5 DR. GIUDICE: Thank you for your comments.

6 MS. MADSEN: Hello. Thank you for having
7 me here today and giving me some of your time. My
8 name is Pamela Madsen and I am the Executive
9 Director and the founder of the American
10 Infertility Association.

11 I am supposed to disclose. Serono does
12 give the American Infertility Association some
13 funding for educational activities, as well as
14 other people here in the room, Ferring
15 Pharmaceuticals and Organon, and nobody paid for my
16 travel.

17 I came here today because some patients
18 asked me to come. Those are those orphan patients
19 that we have discussed, not those 17,000, for which
20 there are products available to treat their
21 infertility, but this very, very small group of
22 orphan patients.

23 While those numbers, 2,000 to 5,000
24 patients, when you are in the medical practice,
25 seem very, very small. When you are a part of that

1 couple that is your whole world, so we are talking
2 about somewhere between 2,500 worlds, lives,
3 couples, who are looking to have a baby, and these
4 hypo/hypo women do not have a product that is
5 designated to treat just them.

6 And how do we measure success? I keep
7 hearing that today over and over again. If I am
8 anovulatory, if I can't ovulate, if I don't get my
9 period, I may measure success in the ability to buy
10 a box of tampons, that's success. If I don't
11 ovulate, follicular development is a success of
12 that drug. Ultimately, if I want to have a child,
13 this drug may help me obtain that final goal.

14 But there may be lots of different
15 successes for that patient along the way outside of
16 that take-home baby, and I don't think that we
17 should demean that at all, because if you are a
18 woman who doesn't menstruate, menstruation is a
19 victory.

20 I hope that you will consider those women
21 who were canceled. Again, I am not a doctor, but I
22 know a little bit, and I know lots and lots of
23 patients on lots of different medications who get
24 canceled because of hyperstimulation. As a patient
25 advocate, that tells me something is working, I am

1 ovulating, I am making a lot of eggs. I am doing
2 something, and the doctor is concerned that I am
3 going to get sick if they don't cancel my cycle.

4 So, some physicians made some very key
5 decisions to protect my health as a volunteer or
6 participant in the study, but it was working, and I
7 think that patients in the United States should
8 have the same access to care as we are hearing this
9 patients have in other countries.

10 So, again, let's look at the measure of
11 success for the infertile couple, for the infertile
12 woman, for the woman who is struggling with this.
13 I think it sounds like this drug is working.

14 Thank you.

15 DR. GIUDICE: Thank you for your comments.

16 The last person, please.

17 DR. SHOHAM: Ladies and gentlemen, my name
18 is Dr. Shoham. I am practicing medicine in Israel.
19 I am the Director of the Infertility Clinic and
20 Kaplan Hospital. I came from Tel Aviv yesterday
21 night in order to participate in this discussion,
22 which I think is highly interesting.

23 We gain a lot of interest and we need a
24 lot of research in this unique group of patients.
25 Actually, I was involved in Phase I, II, and III of

1 the recombinant FSH with Organon and Serono, and
2 Phase I, II, and III with recombinant LH of Serono,
3 and I worked with Howard Jacobs in the early
4 nineties, and we were the first to inject
5 recombinant FSH to a patient with hypogonadotropic
6 hypogonadism.

7 I remember that we stayed the whole night
8 looking if there will be any reaction to this one
9 small injection of recombinant FSH.

10 But since then we were stimulated to look
11 at this unique disorder and we published our first
12 paper in 1993, after extensive research in this
13 group of patients. If we look at that old paper
14 before the area of the recombinant FSH and LH, we
15 can see that in order to get the patients pregnant,
16 it is not the follicle, it's not the LH, the FSH,
17 it's the combination.

18 We need to create an endocrine environment
19 which will get the patient pregnant, and if we look
20 at that old paper, we can always overcome with a
21 lecker [ph] of LH with FSH. In 10 patients who
22 were treated just with FSH, and at that time it was
23 Metrodene, we received ovulation in three patients.
24 The progesterone was high, but we felt in order to
25 get them pregnant, because the endometrium was too

1 thick, although the estrogen was at some lower
2 level.

3 So, it is not the follicle, it's not the
4 progesterone, and it's not ovulation, it's to
5 create the environment to get the patient pregnant
6 which I think is the most important. FSH and LH
7 are two gonadotropins that interact with each
8 other. They are playing, they are talking with
9 each other. It's not atroxin and paracetamol, it's
10 two gonadotropins that influence the development of
11 the follicles in the ovary.

12 Therefore, I think it is very important to
13 get these two hormones in combination, to think
14 about these two hormones as one.

15 If we look at that old paper, looking at
16 the dose, what would be the appropriate dose, and
17 this was before the study which was done with
18 Serono. We can just easily calculate and find that
19 the optimal LH dose in this group of patients is
20 100 IU.

21 We started with all our patients with 75,
22 but we always had to increase the dose. You can
23 always overcome the low LH dose with high FSH, but
24 then you pay the consequences with these.

25 If you want to create a safe pregnancy,

1 then, you have to titrate the different
2 gonadotropins in order to get the optimal results,
3 and I think that 25 units of LH in order to start
4 treatment is too low, 75 might be optimal although
5 if you ask me how much I start with, I start with
6 75 and gradually increase the dose, but i never
7 start with less than 75 units because I think it's
8 a waste of time and it's waste of the drug, and the
9 patients are paying for the drug, which is quite
10 important.

11 I also want to comment about the
12 definition of hypogonadotropic hypogonadism.
13 Hypogonadotropic hypogonadism is the clinical
14 syndrome, it's not a laboratory syndrome, we are
15 not looking for LH and FSH.

16 We are looking for long-standing
17 amenorrhea, low estrogen, thin endometrium with a
18 combination of low LH and FSH in order to define
19 this group of patients, for example, for ovarian
20 failure, for menopause patients, but it's not the
21 LH and the FSH which make the whole story, it's the
22 low estrogen.

23 I was listening very carefully to the
24 presentation of Dr. Liu, who presented hypothalamic
25 amenorrhea, and it showed that you can have

1 hypogonadotropic amenorrhea even if you have high
2 estrogen, and he showed that the estrogen might be
3 approximately 140 pmol/L, which I think is high.

4 I think that there is no need in order to
5 establish the definition for the progesterone
6 challenge test because if you know how to do the
7 ultrasound and how to scan the patients, if you
8 have thin endometrium, you don't have to look to
9 estrogen, you don't have to give the patient
10 progesterone, they will not bleed.

11 In the paper we published long ago, 10
12 years ago, we showed that the mean level of
13 estrogen was 43 pmol/L. If the estrogen level is
14 less than 73 pmol/L, the patients will not bleed.
15 If the endometrium level is thinner than 4 mm, you
16 give progesterone as much as you want, the patient
17 will not bleed.

18 So, it can be supported by the level of LH
19 and FSH. It is very fine to have, it's very nice
20 to have low level of LH and FSH, but in the paper,
21 actually, we get to the counterpoint that the level
22 of LH was 1.2. But if I have the patients with the
23 same criteria with LH level of 2, for me they are
24 hypogonadotropic hypogonadism.

25 The last thing I want to comment to is

1 about endpoint, which I had a discussion this
2 morning. I don't think that we, as a physician,
3 should reach an endpoint of pregnancy. It is very
4 nice to have an endpoint of pregnancy, but our role
5 as a physician and clinician is to restore
6 physiology.

7 We have to restore normal physiology in
8 these patients, and they will become pregnant, and
9 if our endpoint is pregnancy, and we try to
10 overcome the physiology, then, come the
11 consequences. Then, we stimulate patients with too
12 many follicles, we replace too many embryos because
13 we want them to become pregnant, which is wrong.

14 I think that we have to restore physiology
15 and the rest will be fine.

16 Thank you.

17 DR. GIUDICE: Thank you for your comments,
18 as well.

19 Presentation of Questions and Committee Discussion

20 DR. GIUDICE: We now have six questions
21 before the committee, and Dr. Slaughter had
22 reviewed them. Perhaps we can also have them put
23 up on the screen.

24 I would like to advise the committee that
25 we need a vote on the first five questions. The

1 procedure for the vote is that the members of the
2 committee--and we will start over here and go
3 around, or start over here and go around--my
4 understanding is that the members of the FDA who
5 are sitting at the table do not vote. Is that
6 correct? Okay.

7 The first question is--and this is
8 actually falling right on the heels of what you
9 have just heard from Dr. Shoham--Can subpopulations
10 of hypogonadotropic hypogonadal women be identified
11 solely by serum hormone, LH, FSH, E2 levels?

12 If you do not agree, what additional
13 markers should be attained? Demonstration of
14 withdrawal bleeding upon progestin challenge, DNA
15 markers, Others.

16 If you do agree, were the appropriate
17 subpopulations studied in Study 6905, 6253, and
18 21008?

19 Dr. Toner.

20 DR. TONER: I think the appropriate
21 subpopulations were studied. The criteria used in
22 those studies were not only these three endocrine
23 markers, but also the amenorrhea that Dr. Shoham
24 mentioned as an important sign. So, that would be
25 my answer.

1 DR. GIUDICE: Dr. Dickey.

2 DR. DICKEY: I think I agree with Dr.
3 Toner that they were. The question comes back
4 perhaps though to the question raised in Dr.
5 Slaughter's remarks, and that is, whether the
6 robustness of the numbers in subpopulations were
7 studied in that for some of the subgroups, there
8 were very small populations, and I am somewhat
9 concerned, keeping in mind the legal obligations I
10 guess of the FDA.

11 DR. GIUDICE: I think we will get to that
12 as we go down to other questions.

13 The first question is whether
14 subpopulations can be identified by serum markers
15 or other means. Dr. Liu presented some data this
16 morning. Perhaps you would like to comment.

17 DR. LIU: The LH/FSH levels, when they are
18 extremely low, the pulsatile activity is also
19 concomitantly low, so there is less error in
20 judging a subpopulation with extremely low
21 gonadotropin levels.

22 So, in someone with HH, as opposed to a
23 lesser severe disorder like the exercise-associated
24 amenorrheas, it would be much easier to distinguish
25 that population.

1 The estradiol levels, I think are fairly
2 accurate if one does not use the rapid assay for
3 estradiol, but a much more sensitive
4 radioimmunoassay. A lot of the immunolyte assays
5 that were used for IVF are totally inappropriate
6 for determination of estradiol levels in this
7 category where you are looking at between 40, 30,
8 or 20 pg/ml, so a more sensitive RIA probably would
9 be appropriate in establishing that.

10 Progestin challenge tests, we talked about
11 it recently in an ACOG meeting of a variety of REs,
12 and our feeling is that this is a bioassay for
13 integrated estradiol exposure, but it does not
14 really tell us the particular situation at that
15 point in time when we assess the patient.

16 So, it is more of an integrated measure of
17 estradiol activity, but clinicians still use it.
18 Our feeling is it is probably not useful because if
19 the patient spots, what does that mean versus
20 having a full bleed, what does that mean, so there
21 is a variation in response other than amenorrhea
22 with respect to progestin challenge.

23 So, my feeling is it is not as reliable a
24 tool as the biochemical measures we have.

25 DR. GIUDICE: Thank you.

1 Dr. Hager.

2 DR. HAGER: I would agree. I think that
3 the objective evaluation of progestin challenge
4 would leave it as a deficient method to evaluate,
5 and I think that we are left, as has already been
6 said, with the markers that were looked at, being
7 LH, FSH, and estradiol.

8 I think as more specific assays become
9 available, then, that is certainly the direction to
10 go, but I would agree, I think that the
11 subpopulations were identified in the only way that
12 we could identify them, which was with these
13 particular assays.

14 DR. GIUDICE: Dr. Stanford.

15 DR. STANFORD: I would agree except that I
16 would point out that 6905 had different cutoffs,
17 and am not comfortable that that particular study
18 had the appropriate population.

19 DR. GIUDICE: I think also from Dr.
20 Layman's discussion this morning, that we should
21 all probably tuck in the back of our minds that
22 within the near future, there likely will be
23 genetic tests that will more clearly define
24 different subpopulations that currently are not
25 commercially available and certainly not in large

1 numbers.

2 So, the question is now--and I would like
3 to go around the room unless there is any further
4 discussion on No. 1--

5 DR. HAGER: I do have one question and
6 that is, is the FDA asking for specific cutoffs, or
7 is this a generalized question, are you asking for
8 less than or equal 1.2 for LH, or is that the
9 purpose?

10 DR. SLAUGHTER: The purpose was to have a
11 consensus whether or not the subpopulations could
12 be identified appropriately to put in a label by
13 these markers as the population requiring
14 treatment.

15 DR. GIUDICE: So, Dr. Slaughter, can you
16 answer the question, do you want a cutoff?

17 DR. SLAUGHTER: If you agree that the
18 markers were appropriate, yes.

19 DR. GIUDICE: Well, then, the question is
20 different as stated here, because the question asks
21 us can you distinguish subpopulations of
22 hypogonadotropic hypogonadal women by the markers
23 of LH, FSH, E2, unless you want to restate the
24 question and ask us--let's answer that question
25 first.

1 We will start on this side of the table
2 for a change.

3 Dr. Rice.

4 DR. RICE: Yes.

5 DR. GIUDICE: Dr. Toner.

6 DR. TONER: Yes.

7 DR. BRZYSKI: Yes.

8 DR. STANFORD: Yes.

9 DR. EMMI: Yes.

10 DR. EMERSON: Yes.

11 DR. LIPSHULTZ: Yes.

12 DR. LIU: Yes.

13 DR. KEEFE: Yes.

14 DR. GIUDICE: Yes.

15 DR. DICKEY: Yes.

16 DR. TULMAN: Yes.

17 DR. LEWIS: Yes.

18 DR. MACONES: Yes.

19 DR. CROCKETT: Yes.

20 DR. HAGER: Yes.

21 DR. GIUDICE: Thank you. That is now
22 unanimous. This is quite amazing.

23 So, then, the 1(a), if you will, we do not
24 need to answer because we apparently all agree.

25 The second part of that question is, if

1 you do agree, were the appropriate subpopulations
2 studied--and let's take it study by study--Study
3 6905? Let's go around the room, Valerie, starting
4 with you.

5 DR. RICE: There were five people that met
6 the criteria, so, yes, for those five, yes.

7 DR. GIUDICE: It's a subpopulation.

8 DR. RICE: Subpopulation of that study?
9 So, the LH less than 1.2 group? What do you mean?
10 A subpopulation of the population, of hypo/hypo.

11 DR. GIUDICE: Dr. Emerson.

12 DR. EMERSON: One of the issues would be
13 that if you were to regard this study and trying to
14 use the ideal or randomized, but then disregard
15 part of the randomized therapy, that is somewhat
16 problematic statistically, so I would interpret the
17 question as do you believe the whole study is
18 appropriate or not.

19 [All voted no.]

20 DR. GIUDICE: Next one is 6253. This is
21 for the severely deficient, LH deficient, less than
22 1.2.

23 [All voted yes.]

24 DR. GIUDICE: Finally, 21008.

25 [All voted yes.]

1 DR. GIUDICE: I am almost afraid to ask
2 the question. Since we have not truly been asked
3 for a cutoff, we could go through the rest, and
4 that may surface.

5 Let's go to No. 2. Was a
6 placebo-controlled trial the appropriate trial
7 design to demonstrate efficacy? If you disagree,
8 should an active comparator trial have been
9 considered?

10 Let's start on this side of the table now,
11 Dr. Hager.

12 DR. HAGER: Are we discussing or yes or no
13 here?

14 DR. GIUDICE: Yes, let's discuss this. I
15 assume this is 21008 that you are referring to.
16 Okay. So, this is the Phase III trial.

17 DR. HAGER: I think we have already
18 discussed that for the initial trial, that the use
19 of a placebo is the ideal way to go in a
20 randomized, blinded trial.

21 I personally believe that as the data
22 accumulate, that a comparator trial certainly has
23 to be considered, so that colors my view on that.
24 The initial trial, as stated, as a
25 placebo-controlled trial, I believe is adequate. I

1 do believe there is need for a comparator trial.

2 DR. GIUDICE: Other discussion on this?

3 Dr. Keefe.

4 DR. KEEFFE: Since there is no FDA-approved
5 treatment for the condition, I think the
6 placebo-controlled was the only viable one at this
7 point.

8 DR. GIUDICE: Anyone else want to make a
9 comment? Dr. Crockett.

10 DR. CROCKETT: For the sake of future
11 studies that may come up, when we may have an
12 FDA-approved drug for this indication, I think the
13 placebo is a standard that we should try to meet,
14 but as we discussed yesterday, when we are taking
15 care of this population of infertile patients, it
16 can be difficult to always provide studies with a
17 placebo.

18 I like the idea of having an active
19 comparator or the crossover study that we discussed
20 at length yesterday, and I think those should be
21 viable options for this type of study.

22 DR. GIUDICE: Thank you.

23 DR. LIU: I really think the FDA ought to
24 make some guidelines if you are going to do a
25 placebo followed by a crossover, so that the drug

1 companies will know what standards they have to
2 meet, and I don't think that is clear.

3 DR. GIUDICE: Thank you. Any other
4 comments before we vote on No. 2?

5 Okay, we are going to start on this side
6 of this table then. Dr. Hager.

7 [All voted yes.]

8 DR. GIUDICE: Once again unanimous.

9 The third question is: Should multiple
10 cycles be considered for evaluation? Is there a
11 priming effect of the first treatment cycle?

12 Dr. Slaughter, is the question under No.
13 3, is that an explanation of what the question is?

14 DR. SLAUGHTER: That's one of the
15 explanations. Do you feel that exposure to the
16 recombinant in the first cycle affected the
17 subsequent cycles, and even to a gonadotropin at
18 all in the first cycle affected subsequent cycles,
19 and should we be using only the single cycle or
20 multiple cycles?

21 DR. GIUDICE: Dr. Liu.

22 DR. LIU: Based on our observations with
23 the GnRH patients, in general, the responsiveness
24 in the second cycle on a variety of target tissues
25 from the estrogen production from the first cycle

1 does affect your second cycle response.

2 This includes an increase in the size of
3 the uterus gradually with estrogen priming and also
4 the pituitary and/or cohort of follicles may be
5 affected by the higher estrogen levels that are
6 generated from the first cycle assuming the first
7 cycle is not a placebo cycle.

8 So, there are really a variety of effects
9 from the first cycle priming, and it may be
10 difficult to independently analyze the first from
11 subsequent cycles.

12 DR. GIUDICE: Dr. Keefe.

13 DR. KEEFE: It seems like a condition
14 where there is only a few thousand people worldwide
15 that are affected by it, and it has taken 10 years
16 to recruit, should try to get any cycles they can.

17 Maybe Dr. Emerson could discuss how one
18 evaluates cycles when there are two cycles from one
19 person as opposed to two cycles from two people in
20 terms of the data analysis.

21 DR. EMERSON: Well, as I talked about
22 yesterday, the way I would do it, by did they get
23 pregnant or not, or did they have whatever endpoint
24 they were having. Again, the pregnancy would be my
25 top choice, and it's a question of treating them on

1 those cycles post-randomization, and whatever
2 happens happens, particularly in a blinded study,
3 there should be no problem.

4 DR. EMMI: I guess my question is to Dr.
5 Liu. Does a washout period between cycles make a
6 difference in these cases?

7 DR. LIU: Biologically, if you were to
8 suggest that there was some priming effect, it may
9 affect the response in a subsequent cycle depending
10 on the washout period, but no one has any data to
11 suggest how much priming would occur or the length
12 of the washout.

13 DR. GIUDICE: Dr. Emerson.

14 DR. EMERSON: In addition to the problems
15 with the washout, and having to figure that out,
16 which would prolong the study in terms of doing
17 that, there is also issues related to the evidence
18 that we heard suggesting that there should be some
19 ability to titrate doses, and so on, so again, it's
20 randomizing them to a strategy and allowing the
21 clinicians to go forward in the most natural
22 clinical manner would provide the greatest ability
23 to discriminate between ineffective and effective
24 treatments.

25 DR. GIUDICE: Dr. Toner.

1 DR. TONER: I would say that if the
2 question really is do you have to look at multiple
3 cycles to answer the question, I would say no, I
4 think a single cycle, as a strategy for
5 experimental design, ought to be sufficient in this
6 situation. In fact, the later cycles may, because
7 of priming and what you learn the first time, be
8 even more successful, it amplified the difference,
9 but a single cycle ought to be good enough.

10 DR. GIUDICE: Dr. Lewis, you had a
11 comment?

12 DR. LEWIS: I was going to make the same
13 point.

14 DR. GIUDICE: Okay. So, let me repeat the
15 question. Should multiple cycles be considered for
16 evaluation? It's a little vague, I think still,
17 this question. Perhaps Dr. Slaughter or Dr. Shames
18 could clarify this. Is this for study design?

19 DR. SLAUGHTER: I am sorry.

20 DR. GIUDICE: We are still a little
21 confused about No. 3. Should multiple cycles be
22 considered for evaluation? Is this for conducting a
23 study for approval?

24 DR. SLAUGHTER: Yes, should we look at
25 more than one cycle.

1 DR. GIUDICE: So, should the sponsor have
2 built into the trial design more than one cycle?

3 DR. SLAUGHTER: Right.

4 DR. GIUDICE: As a requirement.

5 DR. SLAUGHTER: Yes.

6 DR. GIUDICE: Dr. Brzyski.

7 DR. BRZYSKI: I guess I am still trying to
8 clarify the question. Are the options either FDA
9 will never look at more than one cycle, or you
10 always must have more than one cycle? Are those
11 the two options?

12 DR. SLAUGHTER: This addresses just this
13 trial or just should we have looked at more than
14 one cycle for this trial for this indication.

15 DR. GIUDICE: This is specific to this?

16 DR. SLAUGHTER: Yes, today, it's specific
17 Luveris.

18 DR. EMERSON: A question. But by that, do
19 you mean that as this data is submitted now, that
20 that would be the best analysis, or should the
21 trial have originally been designed and with that
22 specified as an endpoint?

23 Can I suggest that in the interest of
24 expediency, so that you can use it, that we divide
25 this into two questions? One is, is it

1 permissible, and the second is, is it preferable?

2 DR. SLAUGHTER: That's fine.

3 DR. GIUDICE: Perhaps someone can restate
4 the question.

5 DR. DICKEY: Let me ask a question first
6 and see if that helps.

7 DR. GIUDICE: Yes.

8 DR. DICKEY: If I recall the data, the
9 only multiple cycles we looked at here were where
10 patients were folded into the ongoing study.

11 Is your question here whether the data
12 from those people who had been folded into a
13 non-randomized study should be considered or not?

14 DR. SLAUGHTER: No, the question is really
15 whether or not we should have looked at multiple
16 cycles for trials for this indication. It's a
17 design.

18 DR. GIUDICE: Dr. Toner.

19 DR. TONER: I think the first question is
20 should multiple cycles have been required, so we
21 can go around and answer that.

22 DR. GIUDICE: Dr. Rice and then we will go
23 around.

24 DR. RICE: If I understand it. Should
25 multiple cycles have been required for this study?

1 DR. GIUDICE: Correct.

2 DR. RICE: No.

3 DR. TONER: No.

4 DR. GIUDICE: Dr. Brzyski.

5 DR. BRZYSKI: No.

6 DR. STANFORD: I am going to say yes
7 because I think that it would be better to have a
8 pregnancy outcome, and then in that case, you would
9 have to have multiple cycles to make it meaningful,
10 but it would depend on your outcome that you
11 choose.

12 DR. EMMI: No.

13 DR. EMERSON: I will put in a different
14 disclaimer, but it is the idea of you would have to
15 have a much larger sample size to please me, but,
16 no, it doesn't have to be required.

17 DR. LIU: No.

18 DR. KEEFE: No.

19 DR. GIUDICE: No.

20 DR. DICKEY: No.

21 DR. TULMAN: No.

22 DR. LEWIS: No.

23 DR. MACONES: No.

24 DR. CROCKETT: No.

25 DR. HAGER: No.

1 DR. GIUDICE: Okay. Now, 3(b).

2 DR. EMERSON: Can we answer the question
3 of whether we think it would be preferable, because
4 the requirement is a very different issue to me.

5 DR. GIUDICE: It's Dr. Slaughter's
6 question.

7 DR. SLAUGHTER: In looking forward to
8 future designs, yes.

9 DR. GIUDICE: As preferable or required?

10 DR. SLAUGHTER: Required. No, we have
11 already answered required, I think, and his
12 question is can we get a vote on preferable. I
13 think we can discuss that.

14 DR. GIUDICE: There is a comment here.

15 MS. JAIN: I just want to make it clear as
16 to what we are voting on because there have been
17 several reiterations of this question. I think
18 what the committee voted on, unless I am confused,
19 is whether there should have been multiple cycles
20 required for this particular study, for this NDA.
21 It did not address whether multiple cycles should
22 have been required for a general study design.

23 If you want to have an answer to that
24 question, then, we need to have a separate vote.

25 DR. SLAUGHTER: That's what they voted on,

1 I believe.

2 DR. GIUDICE: So, the next question is
3 whether it is preferable in subsequent application.

4 DR. SLAUGHTER: I am not asking for a vote
5 on that. I mean I think that you wanted to have a
6 discussion on that.

7 DR. EMERSON: My point is, is I think it
8 is preferable to use the multiple cycles, but again
9 I agree that this question could be answered with a
10 single cycle, it would just take a larger sample
11 size to use a good endpoint, whereas, if you use
12 multiple cycles, it doesn't take as large a sample
13 size.

14 DR. GIUDICE: So, for the record, I guess
15 the comment has been made that it would be
16 preferable.

17 Shall we go on? Okay.

18 No. 4. Was it appropriate to use a
19 surrogate endpoint for pregnancy, for example,
20 follicular development in this study of
21 hypogonadotropic hypogonadal women seeking
22 pregnancy? We have already begun this discussion.

23 DR. HAGER: I would have a comment.

24 DR. GIUDICE: Yes, Dr. Hager.

25 DR. HAGER: It seems to me that it was

1 fairly clear to the sponsor from the FDA that
2 ovulation was going to be the endpoint, and the
3 sponsor chose to use follicular development, and I
4 realize they have every right to do that, but it
5 just seems to me that they would have taken that
6 advice and for all the reasons that we have talked
7 about pro and con.

8 We have talked about this over and over.
9 I think that the endpoint, contrary to what was
10 said just a moment ago, is clinical pregnancy. If
11 I was going to drop back to another surrogate
12 endpoint, I would at least desire ovulation rather
13 than just follicular development.

14 DR. GIUDICE: I think it's important to
15 remember that in looking at the pharmacologic
16 action of the drug, that the endpoint obviously is
17 going to be steroidogenesis and estradiol
18 synthesis.

19 Many women in ovulation induction cycles,
20 whether it is for a hypothalamic amenorrhea or
21 other conditions, who have ovulation induction,
22 there is not 100 percent correlation between
23 follicle development, ovulation, and pregnancy.

24 So, in looking at the endpoint, when I
25 read the data, and again I realize we all may have

1 different perspectives on this, but I want to know
2 also, as a clinician, whether or not there is
3 follicle development. My patient may not get
4 pregnant, but she at least will have had follicle
5 development.

6 Along with that follicle development is
7 the issue of her estradiol level. So, when I look
8 at the composite endpoint of the follicle size,
9 which is primarily an FSH action, the circulating
10 estradiol level, which is primarily an LH on the
11 precursor synthesis, and a mid-luteal progesterone,
12 to me, those are very powerful signs of a
13 medication working or not working.

14 So, the question here--and I realize we
15 are probably going to go round and round and round,
16 and we could do this all night, but the question at
17 hand is whether pregnancy--was it appropriate to
18 use a surrogate endpoint for pregnancy, and the
19 example given here is follicular development. It
20 could have been ovulation induction in the study of
21 hypo/hypo patients.

22 Dr. Rice.

23 DR. RICE: Where did we come up with this
24 phrase "surrogate endpoint for pregnancy?" Was
25 that in the NDA and I missed it or something?

1 Okay. So, why are even using surrogate endpoint
2 for pregnancy, why aren't we just saying either
3 follicular development or ovulation induction,
4 because I think you sort of started to confuse
5 things when you say surrogate endpoint for
6 pregnancy.

7 Really, we are talking about follicular
8 development and/or--it depends on whose version you
9 want--ovulation induction. And I agree with you,
10 in this population of patients, I am comfortable
11 for many reasons that I think some of the people in
12 the open forum really shared with us, that for many
13 of these patients, to get to the point where they
14 have follicular development, have a menses, is a
15 success for them, and that to use pregnancy as an
16 endpoint does not I think encompass the essence of
17 what is happening to that patient.

18 So, I kind of view this patient as that
19 very severe patient who is anovulatory, and if I
20 get that patient to ovulate, which is expressed by
21 follicular development and estradiol secretion, an
22 increase in estradiol, then, I would feel like I
23 have jumped a large hurdle in increasing her
24 chances of getting pregnant or given her the
25 opportunity to get pregnant.

1 When we talked about the group of patients
2 who may not need pregnancy as that endpoint, it may
3 be something earlier that we should have used as an
4 endpoint, like follicular development, ovulation
5 induction. I think this patient population fits
6 that.

7 DR. GIUDICE: Dr. Lipshultz.

8 DR. LIPSHULTZ: Didn't we already decide
9 on this yesterday?

10 DR. GIUDICE: Yes, we did.

11 DR. LIPSHULTZ: This was WHO-I that we
12 said we would accept follicular development.

13 DR. GIUDICE: Correct.

14 Dr. Crockett.

15 DR. CROCKETT: I want to discuss a little
16 bit more about what the criteria were used to
17 determine follicular development. When we look at
18 the recommendations from the FDA, going back to the
19 Phase III trial, they recommended a much higher
20 estradiol level, in fact, a cutoff of 200 pg/ml
21 rather than the 109 pg/ml.

22 That is significant, and that makes that
23 borderline 106 picogram patient much less on the
24 borderline, so I would like some comment from the
25 reproductive specialists on the board about which

1 would have been an appropriate measure of
2 follicular success as far as an estradiol level.

3 DR. GIUDICE: Dr. Lewis.

4 DR. LEWIS: I wonder where 200 came from.
5 That sounds high to me for this population of
6 patients if they don't have a lot of follicles and
7 they don't have much LH action.

8 DR. GIUDICE: Dr. Keefe.

9 DR. KEEFE: If a woman starts with a peak
10 estradiol or a baseline estradiol of 40, and then
11 goes up to 100-plus, they are going to ovulate.
12 You are doing something significant.

13 I don't think we are ever going to see
14 ovulation per se. That's a microscopic event, we
15 are never going to see. We are always going to use
16 a marker for that, and that is what we are
17 discussing. I would say a rise from 40 to 100,
18 106, that's ovulation about to happen. It's as
19 close as we can get to it.

20 DR. GIUDICE: Thank you.

21 DR. LIU: I would disagree that 100 is an
22 appropriate marker. I think you are going to find
23 the majority, in the normal menstrual cycle, it's
24 about 300 to 350 picograms at the time of the LH
25 surge, and that has been well established by very

1 sensitive RIAs, and it is repeatable.

2 With gonadotropins, you have an artificial
3 environment and generally the estradiol production
4 per follicle with gonadotropins are going to be
5 lower, but 100 is still on the very low side, I
6 think, and if you look at the endometrial
7 development, that is inadequate for endometrial
8 development unless you have an integrated
9 maintenance of that 100 picograms for a long enough
10 period of time.

11 So, in that particular patient that
12 miscarried, I don't remember the endometrial
13 thickness, but it certainly, probably was
14 borderline.

15 DR. GIUDICE: Dr. Emerson.

16 DR. EMERSON: I have heard a lot of
17 contradictions here today from the sponsor and from
18 the various experts that simultaneously say this is
19 a group that is just not going to get pregnant by
20 themselves, where they need to have this
21 luteinizing hormone therapy, and then the statement
22 that was made by the sponsor was people are so
23 happy when I tell them that they will have normal
24 fertility.

25 If that is true, then, there is no problem

1 in using a good clinical endpoint in this trial,
2 either that LH therapy will return them to normal
3 fertility in which case it can be managed, or there
4 is some question that it really works. So, in that
5 case, we ought to see whether it works.

6 I would argue that a properly designed
7 trial with this sample size or slightly larger
8 would have stood a good chance based on anecdotal
9 data that we have here, that I think we can only
10 treat as observational data at this point, but it
11 is suggestive that the effect might be in the range
12 that another trial of approximately this size would
13 work, and then why go to the surrogate endpoint.

14 DR. GIUDICE: Well, there are things in
15 biology that we still don't understand in terms of
16 implantation especially in women during the process
17 of an ovulation induction cycle.

18 DR. EMERSON: I agree absolutely, so if we
19 don't understand that biology, there is just the
20 possibility that this therapy might actually be
21 making it worse. So, again, if we don't know,
22 then, we should answer it, given unlimited
23 resources and unlimited numbers of patients, I
24 would say answer those questions separately.

25 Let's answer the question at every single

1 stage, what can we do to increase follicle
2 generation, what can we do to then have ovulation,
3 what can we then do to have fertilization, what can
4 we then do to have implantation, and go on to a
5 live birth, that has no birth defects.

6 If you have unlimited resources, answer
7 each one of those questions separately, but we
8 don't have unlimited resources, it is attainable
9 within this population to answer the bottom line
10 question, which is the one that I think is ethical
11 to answer both from the standpoint of the patients
12 who suffer from infertility, I think that they
13 would be very, very irritated if they found out 20
14 years from now that they had been spending an extra
15 \$10,000 for something that did not help them at
16 all, or possibly was even harmful.

17 So, when we can answer the bottom line
18 question, and we can never answer the mechanistic
19 question in terms of ethics and efficiency, then,
20 go ahead and make certain that we at least answer
21 the bottom line question.

22 DR. GIUDICE: Well, we have heard the
23 entire gamut from follicle development all the way
24 through pregnancy. Yesterday, as a committee, we
25 gave you our advice for this class of patients,

1 WHO-I, that we would recommend follicle development
2 as the endpoint.

3 DR. EMERSON: I will just note there was
4 not a vote on that, there was a consensus, but you
5 can tell which way I would have voted.

6 DR. EMMI: I thought that what we decided
7 was that pregnancy wasn't an appropriate clinical
8 endpoint and that some other endpoint would be
9 established, but I don't remember that we actually
10 ever said whether it would be follicle development
11 or ovulation.

12 DR. GIUDICE: Well, this is very germane
13 to the question that is being asked because we, as
14 a committee, need to make a decision (a) whether we
15 think pregnancy should be an endpoint for now WHO-I
16 patients, and, if not, then what the endpoint
17 should be.

18 I mean do you want that information from
19 us?

20 DR. SLAUGHTER: Pregnancy or live birth,
21 and if you agree that a surrogate endpoint--I am
22 sorry for using surrogate, but to me, surrogate is
23 the endpoint you use when you can't measure the
24 direct effect, so I am calling it surrogate--if you
25 agree that a surrogate should have been used, what

1 surrogate should we have used.

2 DR. GIUDICE: Dr. Stanford.

3 DR. STANFORD: Two quick comments. My
4 understanding of yesterday's discussion was we said
5 that live pregnancy was the best, clinical
6 pregnancy would be acceptable. In the case of
7 WHO-I, if we could not attain that because of
8 power, if we could not attain it for power, then,
9 we would accept follicular development or I
10 actually don't remember exactly what surrogate we
11 said we would accept.

12 The question here seems to be a little bit
13 one of fairness, because the FDA did tell the
14 sponsor it would accept a surrogate, only the
15 sponsor chose a different surrogate than what the
16 FDA recommended.

17 So, we have a subquestion. But to me
18 there is a fairness issue and that the FDA did
19 indicate a willingness to accept a surrogate, and
20 that is a little bit of an issue there.

21 DR. GIUDICE: Dr. Emerson.

22 DR. EMERSON: I note that, of course,
23 everyone on the committee can vote their
24 conscience, I vote my opinion, and the question,
25 you know, the FDA is possible of doing things that

1 I don't think is appropriate, and I still give that
2 opinion, so that is the question that I would
3 answer is how should this trial be done that is
4 credible evidence, not did they agree with the FDA.

5 Am I correct that this question is not did
6 the sponsor agree with what you said?

7 DR. SLAUGHTER: Yes. It is simply your
8 advice on which surrogate endpoint we should use,
9 keeping in mind if there were to be future studies.

10 DR. GIUDICE: Then, do you want us to vote
11 on the various endpoints?

12 DR. SLAUGHTER: No, this could be a
13 discussion.

14 DR. GIUDICE: Dr. Hager.

15 DR. HAGER: May I read what we said
16 yesterday? Drug manufacturers conducting studies
17 for female infertility currently obtain the
18 following indications: (a) induction of ovulation
19 and pregnancy; (b) multiple follicular development
20 and ART. These indications should be induction of
21 ovulation and pregnancy, and multiple follicular
22 development and ART and pregnancy.

23 So, we added "and pregnancy" to those
24 yesterday.

25 DR. SLAUGHTER: Let me clarify how I

1 understand this. Yesterday, you said that you
2 thought, in general, clinical pregnancy defined by
3 presence of a fetal heartbeat to be used, in
4 general, for ovulation induction, and ART to be
5 exclusive of patients with WHO Type I.

6 Today, you said you thought that a
7 surrogate would be possible, and you have now
8 confirmed that we shouldn't look at pregnancy, we
9 shouldn't be trying to establish a difference in
10 pregnancy.

11 If we don't do that, what should we look
12 at?

13 DR. GIUDICE: And the options that we have
14 discussed so far are follicle development or
15 ovulation induction.

16 DR. SLAUGHTER: Follicle development
17 defined on ultrasound, ultrasound plus hormone
18 levels, how?

19 DR. GIUDICE: We can discuss that.

20 Dr. Stanford.

21 DR. STANFORD: I think follicular
22 development, if it's accepted as an endpoint. I
23 think ovulation is probably better, but if
24 follicular development is accepted as an endpoint,
25 I don't think cancellation of cycles due to risk of

1 OHSS should be included as follicular development.

2 DR. RICE: Are we having a general
3 discussion or are we discussing this product and
4 this study? I think we are getting off track here.
5 We have to give a decision on this product today,
6 so we need to--I mean it is already defined. They
7 defined follicular development, you gave some
8 criteria, you had some definitions for ovulation
9 induction.

10 So, we have to decide on whether or not
11 the sponsor met the criteria that was laid out to
12 them, whether follicular development or ovulation
13 induction. I mean there may be subsequent some
14 additional time when we can beat this idea again in
15 the ground, but I think we need to decide on this
16 product, and we are getting away from that.

17 DR. GIUDICE: Is our charge to decide
18 whether or not the sponsor complied with the
19 recommendations of the FDA, or whether the
20 endpoints that were used were appropriate for the
21 study?

22 DR. SLAUGHTER: The latter.

23 DR. GIUDICE: Thank you.

24 Dr. Brzyski and then Dr. Emerson.

25 DR. BRZYSKI: Let me go back to that

1 comment that you made specifically looking at this
2 product and the pharmacologic effect. Somehow a
3 consideration of estradiol production, I think
4 needs to be considered or thought about because
5 even in the sponsor's presentation, referring back
6 to Dr. Shoham's experience, there are patients that
7 will develop follicles measurable on ultrasound in
8 the absence of estradiol production on pure FSH.

9 So, to show efficacy of the LH, which we
10 have a pretty good idea how it works and what it
11 does, somehow I think you need to get the estradiol
12 into that calculation as a surrogate.

13 DR. GIUDICE: Dr. Emerson.

14 DR. EMERSON: I was just going to suggest
15 three yes or no votes to try to address those three
16 major points. Ask one question of whether the study
17 should have evaluated clinical pregnancy. That
18 seems to be sort of a dividing point. The next one
19 would be ovulation defined by a mid-luteal
20 progesterone level, and not counting a risk of OHSS
21 as an endpoint. The third level is a yes or no
22 question on follicular development.

23 DR. GIUDICE: Dr. Rice.

24 DR. RICE: That first question is not, in
25 my opinion, appropriate for us to answer. The FDA

1 and the sponsor, the only thing they are
2 disagreeing on is whether or not they should have
3 taken out ovulation induction. They never had an
4 endpoint of clinical pregnancy on the table.

5 Now, we can answer your question when we
6 get down to 6. If we get down to 6 and you said
7 there are additional studies that need to be done
8 that address clinical pregnancy, we can have that
9 discussion, but we shouldn't be voting today, in my
10 opinion, to say whether or not they should have
11 added clinical pregnancy to that, because that is
12 not the question before us.

13 DR. EMERSON: We are a scientific advisory
14 board that is not subject to the FDA, nor subject
15 to the sponsor. They want our opinions. So, if we
16 can just as easily say that we think the FDA messed
17 up, or we think the sponsor messed up, and that is
18 our role. Our role is to give our opinions.

19 DR. GIUDICE: I think this is not a boxing
20 match, so I think we really need to hone in and
21 focus in on the issues at hand.

22 What I have heard the FDA say is that you
23 want our opinion about an appropriate surrogate or
24 an appropriate endpoint, and the options are either
25 follicle development defined by--and this is this

1 particular NDA that we are addressing--defined by
2 follicle size, an estradiol level of greater than
3 109 or 106--109, and a progesterone level greater
4 than 7.9 pg/ml.

5 That's not what your question says, but
6 what we are addressing, as I understand it, is the
7 appropriateness of the endpoints put forward by the
8 sponsor.

9 DR. SLAUGHTER: Yes.

10 DR. GIUDICE: It is very difficult for us
11 to come up with our questions.

12 DR. SLAUGHTER: Let me try this one more
13 time. If you don't agree--and we have gotten past
14 the clinical pregnancy thing--if you are saying in
15 WHO Type I, you should look at something short of
16 pregnancy, what is it?

17 DR. GIUDICE: Is this for general studies
18 or for this particular study?

19 DR. SLAUGHTER: This is for general
20 studies. I am not going to ask you to comment on
21 the appropriateness of it for this study, because
22 that is what was done.

23 DR. GIUDICE: So, then, we did that
24 yesterday and we don't need to vote on that, do we,
25 because it not specifically address--

1 DR. SLAUGHTER: Not for Group 1, I didn't
2 understand you to have done that for Group 1.

3 DR. GIUDICE: Perhaps we can repeat this
4 then. Would someone like to summarize what we
5 decided yesterday? Dr. Hager read it. I think what
6 Dr. Slaughter is asking for is the follicle size,
7 am I right or not?

8 DR. SLAUGHTER: How would you define
9 follicular development, should it be defined for
10 all three of the criterion as the sponsor did here?
11 If you are saying you should look at follicular
12 development, should it be based on follicle size,
13 estrogen, and progesterin, or are you talking about
14 just an appearance on ultrasound, follicles?

15 And one other thing. Is estradiol and
16 progesterone sufficient, should we also be looking
17 at other factors that might come in for follicular
18 development? This is for future considerations.

19 DR. GIUDICE: Dr. Toner.

20 DR. TONER: I would say that in the
21 context of this study, for this drug, it is not
22 inappropriate at all to look for the follicles to
23 grow, estrogen to be produced, and then
24 progesterone to be above a certain level, but those
25 criteria that here define follicle development

1 might not be the pertinent ones if another drug
2 that also has a role in follicle growth was being
3 considered.

4 So, again, for this particular product, I
5 think these are satisfactory criteria to judge
6 efficacy.

7 DR. GIUDICE: Dr. Crockett.

8 DR. CROCKETT: I was just going to make a
9 suggestion that we kind of make a list of most
10 preferable to least preferable evidence to consider
11 for future drugs, and I would put forth that a
12 pregnancy of any kind would be definite evidence of
13 ovulation, that the progesterone levels and the
14 estrogen and FSH and LH levels that we have
15 discussed may be considered in some cases as
16 acceptable evidence or probable ovulation, but I
17 would want to use Dr. Liu's numbers rather than the
18 lower numbers that were suggested in this study.

19 I would suggest that folliculogenesis or
20 growth by ultrasound or other means, by itself,
21 should not be considered evidence of ovulation
22 because so much of the background information that
23 we heard, that other things, you know, the LH and
24 the quality of the egg are important in determining
25 whether ovulation occurs or not.

1 For that reason, I would also not include
2 the OHSS patients as proof of ovulation.

3 DR. GIUDICE: Okay. I would like to
4 address the OHSS patients because that does get to
5 the last bullet on that question. To have an
6 outcome or to look at that, of OHSS as an endpoint,
7 is a bit peculiar, and I think when we all have
8 read these data, I don't quite think that that's
9 going to be one of the issues in terms of showing
10 efficacy of drug.

11 However, you are never going to get OHSS
12 unless you have follicle development, so I would
13 still argue that for this particular NDA, that it
14 was an appropriate choice. In general, however, and
15 this is where I think the FDA perhaps can use our
16 help, and that is, whether this should be
17 considered as an endpoint of the proof of either
18 follicle development or ovulation in the future.

19 Dr. Keefe and then Dr. Lewis.

20 DR. KEEFE: I agree. Imagine if were to
21 go back 80 years and we are looking at a new drug
22 called insulin, and somebody gets hypoglycemia, and
23 we say oh, it doesn't count. You know, we are just
24 looking to try to control hypoglycemia, but we are
25 not going to count that because it is not

1 officially optimal control.

2 I mean you have got to start somewhere.
3 We probably spent one year of somebody's time for
4 each patient, each cycle that somebody is going to
5 be taking this, and I think we are missing the
6 point. We are restoring physiological function for
7 a group of patients that have very few
8 alternatives. We should keep that in the context.

9 DR. GIUDICE: Dr. Lewis.

10 DR. LEWIS: Well, I think it depends very
11 largely on how you define OHSS and for what study.
12 If you say that it was defined as patients who had
13 a certain number of 15-millimeter follicles, which
14 is a large follicle, plus a high estradiol, then, I
15 agree that that indicates the drug worked, but we
16 just heard that FSH action alone is sufficient to
17 get a large number of follicles, and if you had a
18 little bit of estrogen from a large number of small
19 follicles, guess what. Your estrogen would go up.

20 So, I think you have to be very careful
21 how you define OHSS, and as a general rule, it's
22 not--you just have to be careful if you are going
23 to use that as a means of saying that that is drug
24 efficacy.

25 MS. WILLIAMSON JOYCE: Pardon me. I just

1 want to remind that we are not talking about OHSS,
2 we are talking risk, and again, the very low, low
3 cutoff that we put, which is not necessarily the
4 criteria that you would necessarily use to cancel a
5 cycle.

6 DR. LEWIS: Right. I am not arguing that
7 that was appropriate, and I do think it did show
8 that the drug had some effect.

9 DR. GIUDICE: Dr. Crockett.

10 DR. CROCKETT: I am not sure that it does
11 show that the drug had some effect. We have seen
12 and heard testimony that you can blast somebody
13 with FSH and get follicular growth all by itself.
14 In fact, we saw that in some of our placebo
15 patients. There was one placebo that was removed
16 from their study that had OHSS and didn't even have
17 the LH challenge.

18 So, my point being again you can have
19 follicular growth without LH, and if you are
20 looking at a recombinant LH product, that in and of
21 itself does not indicate that it worked.

22 DR. GIUDICE: Dr. Macones.

23 DR. MACONES: I would just add that as we
24 think about this, we are not just thinking about
25 approving a drug, we are thinking about approving a

1 drug at a dose, so the question of safety, I think
2 is very relevant, whether the 75 units of
3 recombinant LH is both effective and safe.

4 To me, that is where the OHSS question or
5 the risk of OHSS comes in is whether or not this is
6 the right dose for this drug.

7 DR. SLAUGHTER: Actually, a consideration
8 for the patients who were taken out of the study
9 and the cycles canceled, whether you really
10 considered that as evidence that the drug is
11 working, and in the end, that we should approve or
12 we should consider that that drug showed efficacy
13 for the endpoint.

14 MS. WILLIAMSON JOYCE: Again, just for the
15 sake of accuracy, the patients were not removed
16 from the study. There were no patients whose--the
17 cycle cancellations did not remove the patients
18 from the study.

19 DR. SLAUGHTER: All right. Let me
20 rephrase that. Patients whose cycles were canceled
21 for risk of OHSS, does that show efficacy?

22 DR. GIUDICE: Dr. Toner.

23 DR. TONER: It does for me. Under the
24 condition that apart from follicle growth, which
25 probably didn't have anything to do with the LH,

1 they went on to have estrogen production and
2 progesterone production, which we have been assured
3 has happened in those who were dropped from the
4 study at that point. So, I would take that as
5 efficacy of the drug doing what we hope the drug
6 will do.

7 DR. GIUDICE: Dr. Emerson.

8 DR. EMERSON: To introduce a slightly
9 different analogy than the diabetes, in hepatorenal
10 syndrome, people did try dialysis to see if that
11 would not cure it, because the people apparently
12 had kidney failure after severe liver failure.
13 They did try it. If they had used your criterion
14 that, oh, well, we modified the BUN, dialysis does
15 modify the BUN, it does not change survival one
16 iota, and we would be dialyzing an awful lot of
17 moribund patients today.

18 The criterion is not just in a surrogate
19 marker that is perfectly predictive in a natural
20 state, once you intervene on that population, you
21 cannot count on that same covariance, the same
22 correlations which are your final endpoint, and
23 there is a level of scientific credibility we need
24 to say that that still obtains.

25 DR. GIUDICE: Dr. Rice.

1 DR. RICE: I have a note written down
2 here, and I just want to clarify this. The
3 question was asked earlier, in those patients at
4 risk for OHSS, that those patients were canceled
5 because of follicular development, and five of
6 those patients had no appropriate increase in
7 estradiol production. Is that incorrect? So, what
8 is the correct answer?

9 MS. WILLIAMSON JOYCE: Yes, it is
10 incorrect.

11 DR. RICE: So, what is the correct answer?

12 DR. KENLEY: Three were canceled because
13 of large follicles. That is three follicles
14 greater than 15 mm. One was on placebo and two
15 were 75.

16 DR. RICE: Before you go to the next part,
17 what were those patients' estradiol, was it
18 appropriate, was it increased? Did they have
19 concomitant--I know you have the results.

20 DR. KENLEY: They were greater than 109,
21 and--

22 DR. RICE: No, no, go on. I want you to
23 tell me about the rest of them.

24 DR. KENLEY: And four patients were
25 canceled because they had large estradiol. Two of

1 those patients also had follicles.

2 DR. RICE: So, essentially, four of those
3 patients out of seven--

4 MS. WILLIAMSON JOYCE: Out of six.

5 DR. RICE: Okay. Four out of six of them
6 had appropriate increases in estradiol with
7 follicular development.

8 DR. KENLEY: Yes.

9 DR. RICE: And estradiol, you are saying
10 is appropriate, is greater than 109.

11 DR. KENLEY: Well, no, they were greater
12 than 1,100, because they were canceled.

13 DR. RICE: But only four of those, but two
14 of them, you haven't told me what the estradiol
15 was. You just told me it was greater than 109.

16 DR. KENLEY: Do you want to know what the
17 estradiols are?

18 DR. RICE: Yes.

19 DR. KENLEY: One was 423 and the other one
20 was 556.

21 DR. RICE: So, they had increases in their
22 estradiol.

23 DR. KENLEY: Yes.

24 DR. RICE: Now, let me ask this question.
25 If you add those people into the analysis, in your

1 calculation, is your data statistically
2 significant, is it significantly different?

3 DR. GIUDICE: Dr. Rice, what is your
4 question?

5 DR. RICE: My question is, if you add
6 those patients back in as successes, do we have
7 statistical significance, or do we have a
8 difference?

9 DR. GIUDICE: By the FDA analysis?

10 DR. RICE: By the FDA analysis. I am
11 asking FDA, if they take those six patients--

12 DR. SLAUGHTER: Can you address that? If
13 you add the four patients back who had--

14 DR. RICE: Six.

15 DR. SLAUGHTER: Is it six?

16 DR. RICE: It would have to be six because
17 she said two of them had estradiol levels, one was
18 400 and something, one was 500 and something.
19 Those two and then the other four had estradiols
20 over 1,000.

21 DR. SLAUGHTER: If you don't count the
22 ones who were canceled for OHSS as failures, then,
23 yes, it would have been significant.

24 DR. RICE: Okay. So, adding those six
25 back makes it significant.

1 DR. GIUDICE: Dr. Emmi.

2 DR. EMMI: I am a little confused. I
3 agree that we could look at the data for the OHSS
4 patients. What I am confused about is did they
5 actually meet their criteria that was set forth. I
6 understand we are not getting progesterones
7 probably because they weren't drawn, but did they
8 have the size of development that the study had
9 said was necessary, and did they have the amount of
10 estradiol per follicle that was necessary, and I am
11 not clear on this.

12 DR. RICE: From my understanding, they had
13 the size because of all of them had large size
14 follicles.

15 DR. EMMI: Fifteen.

16 DR. RICE: Fifteen millimeters.

17 DR. EMMI: Fifteen, not 17, which I
18 thought was the criteria.

19 DR. RICE: But 15 mm was the criteria for
20 canceling for risk.

21 DR. EMMI: Right, and what I am asking is
22 if you are going to say that they met criteria for
23 including them in the folliculogenesis phase, then,
24 I think they needed to meet the criteria that were
25 laid out by the study, which is 17 mm, and if they

1 continued in the study, then, do they have that
2 data? Do you understand what I am saying?

3 It's 15 versus 17, and if they had 20
4 follicles with the estradiol 500, or if they had 6
5 follicles with the estradiol 500, it makes a
6 difference in the quality of folliculogenesis to
7 me, and I don't have that data available is what I
8 am saying.

9 DR. GIUDICE: Let me just try to bring us
10 together here. I am not sure we have that
11 information, but from what I have heard, it sounds
12 like the estradiol levels were 4- and 500, and you
13 don't usually get that from even 107-mm follicles.
14 You need some kind of LH action.

15 We are supposed to adjourn at 5 o'clock,
16 however, many of the flights to the West Coast
17 actually stop leaving Washington at around 6:30, so
18 we are going to lose some of our committee members
19 in about half an hour.

20 Because the FDA wants us to--No. 4 has
21 been converted to a discussion, and I hope that we
22 have given you enough information regarding
23 parameters.

24 The other number that we have been asked
25 to vote on is No. 5, and that is: Are the data

1 sufficient to establish efficacy for ovulation
2 induction? Then, we will get to No. 6. That will
3 be in a discussion format.

4 Dr. Shames.

5 DR. SHAMES: I want to make things very
6 clear when it comes to No. 5. Five is really the
7 essential question. There is no doubt we have got
8 to answer the question is the data sufficient to
9 establish efficacy for ovulation induction.

10 The reason for that is because that is the
11 indication that is in the NDA, which we did not
12 approve.

13 Now, several weeks ago the sponsor did ask
14 or request a re-analysis or discussion regarding
15 follicular development. If the sponsor wants to do
16 that, then, they need to resubmit data to us, and
17 we can consider that as something called a complete
18 response. That is another issue.

19 The issue is, though, we need to know the
20 answer to No. 5 as it pertains to ovulation
21 induction. If we discuss follicular development, I
22 am not going to know what to do about that, because
23 that is not the indication that was in the NDA.

24 So, we can have discussion about
25 follicular development, but we need to know the

1 answer to Question 5 as it pertains to ovulation
2 induction.

3 MS. WILLIAMSON JOYCE: I am sorry. Then,
4 I would suggest that perhaps the question should
5 include the--I mean we did include follicular
6 development in the NDA indication.

7 DR. GIUDICE: Can the FDA give us some
8 guidance here? The sponsor is stating that what
9 they had was follicle development and ovulation
10 induction, and then they dropped the ovulation
11 induction for other reasons.

12 DR. RICE: Why can't we vote on them
13 separately? Why can't we vote on them as follicular
14 development, and then we can vote on ovulation
15 induction, and then you all can decide if you have
16 the right information.

17 DR. SLAUGHTER: Ovulation induction first,
18 please.

19 DR. GIUDICE: Okay. Would you define that
20 for us, please?

21 DR. SLAUGHTER: We base ovulation
22 induction on the progesterone level alone.

23 DR. GIUDICE: Okay. Is there any
24 discussion about this before we vote? Yes, Dr.
25 Emerson.

1 DR. EMERSON: Also, it generally takes
2 more than one study to really establish these
3 things. It is not the idea that do they have one
4 result, and what the FDA claimed, and which I
5 personally concur with, is that the 6905 study,
6 well, actually, we all concurred with that
7 unanimously, that that was not too germane to this
8 point, and that neither of these studies stand on
9 their own when you do not count the OHSS as an
10 endpoint, that neither of them achieve any level of
11 statistical significance.

12 The Phase II study was an unblinded study,
13 as well, so it is really there is this real paucity
14 of scientific evidence and credibility that is
15 lacking on this submission.

16 DR. GIUDICE: Dr. Rice.

17 DR. RICE: Is that data that we have for
18 ovulation induction, as you define it, as
19 progesterone, greater than 7.9? Is the correct
20 data that we have from Slide 46 of your
21 presentation? Because as I can recall, Serono, did
22 you present any data to us on progesterone levels?
23 If you did, maybe you want to put it up.

24 MS. WILLIAMSON JOYCE: Yes.

25 DR. RICE: Which slide is it?

1 MS. WILLIAMSON JOYCE: First of all, the
2 p4 was one of the three elements of the composite
3 endpoint. So, we have the composite endpoint plus
4 any indication of pregnancy as being a success.

5 The study, however, was not prospectively
6 defined using ovulation rates as the endpoint. So,
7 we have data on p4, but any statistical analyses
8 done post-hoc on a single element of that composite
9 endpoint need to be considered for what they are.

10 DR. RICE: When you all agree, the
11 pre-meeting that you had when you had the
12 discussion, I mean what you were defining ovulation
13 induction as? You didn't agree what you were going
14 to define ovulation induction as?

15 MS. WILLIAMSON JOYCE: We have always
16 defined the endpoint as the composite endpoint of
17 follicular development with those three elements
18 plus any sign of pregnancy, whether it be a
19 positive beta hCG or confirmed by ultrasound.

20 Again, we agreed in the meeting of May of
21 1999 that the FDA recommended that we use ovulation
22 rates as the endpoint measured by p4, and that
23 recommendation was considered carefully along with
24 all of the other recommendations including the
25 blinding of the study, but we continued to maintain

1 that the composite endpoint of follicular
2 development as defined prospectively in the
3 protocol, and as also defined in 6253, was the
4 correct endpoint.

5 DR. GIUDICE: Just before getting to Dr.
6 Crockett, the composite endpoint, it is not one or
7 two or three, it's all three of them, so it's the
8 follicle size, the estradiol, and the progesterone,
9 it's not just a single progesterone level. I just
10 wanted to make that clear.

11 DR. SLAUGHTER: Along with the escape, the
12 cycles are canceled.

13 DR. GIUDICE: Yes, and along with any
14 pregnancy.

15 Dr. Crockett.

16 DR. CROCKETT: Just a point of
17 clarification. In the large green folder that we
18 were supplied with, there is a copy of amended NDA
19 from 2001, Section 2.3 in our folder, and it
20 clearly says that the indication at that time was
21 stimulation of follicular development and ovulation
22 in infertile women with LH and FSH deficiencies.

23 Am I mistaken?

24 DR. GIUDICE: Yes, I don't think that is
25 the NDA. I think that was the penultimate one.

1 MS. JAIN: What the sponsor is referring
2 to is an additional amendment that they sent in
3 August of this year.

4 DR. CROCKETT: In August of 2003?

5 MS. JAIN: Yes.

6 DR. CROCKETT: This is the NDA from 2001.

7 MS. WILLIAMSON JOYCE: No, these are the
8 medical reviewers and the statistical reviewers'
9 reviews of the original NDA.

10 DR. CROCKETT: So, we don't have a copy of
11 what the original NDA said or what the NDA from
12 2001 said as the indication?

13 DR. SLAUGHTER: No, I don't have that
14 label with me.

15 DR. GIUDICE: Can we get back on track
16 here.

17 Dr. Keefe.

18 DR. KEEFE: It seems to me most of the
19 crux of the argument rests in Serono's or the
20 sponsor's table at the bottom of page 29 of their
21 presentation, and the FDA's at the bottom of page
22 14, and just lining up those two tables, there is
23 one cell that differs, and that's in Luveris
24 treatment, you know, the FDA claims there is 16 out
25 of 26, and Serono claims it's 17 out of 26, so if

1 we could just find out about why those numbers
2 differ. I mean that is the crux of it, right,
3 that's where they really differ. That is where the
4 rubber meets the road.

5 DR. GIUDICE: That was that one patient.

6 DR. KEEFE: That's the one patient. So,
7 that is what we should be discussing, right? The
8 pregnant patient.

9 DR. SLAUGHTER: I just wanted to get back.
10 I don't have the label, but when I put up the
11 screen, I copied that directly from the label.

12 I also have the medical officer's review
13 that put the labels, applicant's proposed
14 indication for concomitant administration with
15 recombinant human follicle stimulation hormone for
16 the induction of ovulation in infertile women with
17 severe LH and FSH deficiency.

18 DR. GIUDICE: Thank you.

19 We are now trying to address the issue of
20 whether or not the data are sufficient to establish
21 efficacy for ovulation induction where ovulation
22 induction has been defined by the FDA apparently as
23 a p4, and by the sponsor as a composite endpoint
24 including OHSS patients and also pregnancy defined
25 from chemical through clinical.

1 Dr. Stanford.

2 DR. STANFORD: Again, can we vote on those
3 separately? Let's vote first on the p4 definition
4 and then, second, on the sponsor definition.

5 DR. GIUDICE: If the FDA wants us to do
6 that. Do you want us to do that, or how do you
7 want us to define ovulation induction? Then, we
8 will go to follicular development.

9 DR. STANFORD: And if I am understanding
10 what the sponsor is saying in making their revision
11 of their indication, is they are actually not
12 defining ovulation induction, they are actually
13 defining follicular development, because they
14 submitted a modification to their NDA to say what
15 we want to approve is for follicular development,
16 and that is their definition of follicular
17 development.

18 DR. GIUDICE: Dr. Slaughter.

19 DR. SLAUGHTER: Yes. We would like you to
20 take a vote on both. Take it on ovulation
21 induction as defined by the sponsor's follicular
22 development, looking at the data as proposed by the
23 sponsor and the FDA, and ovulation induction as
24 defined by progesterone level, looking at the data
25 as put up by the FDA.

1 I don't think the sponsor gave you
2 progesterone-only data.

3 DR. GIUDICE: I don't think we have that
4 information.

5 MS. WILLIAMSON JOYCE: No, we wouldn't
6 have done that. That was post hoc on an endpoint
7 that wasn't--

8 DR. GIUDICE: Other discussion about this?
9 Dr. Keefe.

10 DR. KEEFFE: Just a question. The patient,
11 the pivotal patient who got pregnant, did she have
12 a progesterone level drawn?

13 DR. SLAUGHTER: Yes, she did, and she
14 would have been counted as a positive in the
15 progesterone analysis.

16 Kate, can you put up your progesterone
17 analysis again.

18 DR. GIUDICE: Dr. Emerson and then Dr.
19 Lammers.

20 DR. EMERSON: Just as a parting shot
21 because I have to go, but I think if you listen to
22 us very, very closely, as we are sitting and
23 discussing one patient and saying how this one
24 patient would sway us one way or the other, I don't
25 think it takes being a statistician to say that

1 that is not exactly credible evidence.

2 I would hope that we would operate where
3 one patient didn't make a difference.

4 DR. GIUDICE: Dr. Lammers.

5 DR. LAMMERS: Can I have the slide on,
6 please.

7 DR. SLAUGHTER: Excuse me. Can we go
8 ahead and proceed to the vote? People are leaving,
9 and we would like to take the full benefit of
10 people being here, so can we please vote?

11 DR. LAMMERS: Can I reply to Dr. Keefe's
12 question briefly? She had a p4 value of 13.2
13 nanograms per ml.

14 DR. GIUDICE: So, you want us to vote.
15 Let's first vote on whether or not the data are
16 sufficient to establish efficacy for ovulation
17 induction (a) as defined by the sponsor.

18 So, that includes the three parameters
19 that are and, not or, follicle development,
20 estradiol, and progesterone, and also including the
21 patients who were canceled for risk of ovarian
22 hyperstimulation syndrome, and also patients who
23 had pregnancy of any type.

24 So, we will start with Dr. Hager.

25 [Vote taken.]

1 DR. GIUDICE: Now, the second is to answer
2 the question, the same question, but with the FDA
3 definition of ovulation by a progesterone level.
4 Understand that on both sides, there are issues,
5 the whole issue of doing that analysis without
6 having it prospectively put into the protocol.

7 [All voted no.]

8 DR. GIUDICE: The follicle development
9 piece. Now, we are going to take a vote
10 unless--no, there is no further discussion--on
11 whether or not the--let's give it the same level of
12 rigor--are the data sufficient to establish
13 efficacy for follicle development, and the only
14 definition of follicle development that we have is
15 the sponsor's.

16 We will start on this side of the table.

17 [Vote taken.]

18 DR. GIUDICE: Any other subpieces of No. 5
19 that you want votes on? Okay.

20 We are just doing the tallies here. We
21 have no hanging chads, so we have to be sure we
22 have everybody.

23 Drs. Brzyski, Stanford, and Emmi, there
24 was a lapse here of receiving your information, so
25 could you please restate your vote for the last

1 one.

2 Brzyski said yes, Stanford no, Emmi yes.

3 We are now down to No. 6, and that is: If
4 additional clinical studies are recommended, what
5 type of study or studies should the Division
6 request in order to provide sufficient evidence of
7 efficacy? Should additional studies evaluate lower
8 doses for efficacy?

9 This is now open for discussion, and we
10 are not voting on this. Correct?

11 DR. SLAUGHTER: Correct.

12 DR. GIUDICE: Dr. Toner.

13 DR. TONER: My vote would be not to bother
14 about lower doses. We use doses higher than this
15 all the time clinically without any evidence of
16 harm. I would rather invest the time and money in
17 trying to free up the investigator for varying the
18 FSH dose as they go to avoid the problem of OHSS
19 cancellation risk.

20 DR. GIUDICE: Dr. Stanford.

21 DR. STANFORD: I agree with that. I fully
22 agree with that, that if a future study is done,
23 the ideal design would be double-blind with the 75
24 IU, with yes or no with the double-blind, and then
25 the investigator is left free to vary the FSH dose,

1 and I would argue for an outcome of clinical
2 pregnancy with multiple cycles.

3 DR. GIUDICE: I think it is going to be
4 quite a challenge to find that many hypothalamic
5 hypo/hypo patients, but it's the study design that
6 we are after.

7 DR. STANFORD: I am just making my
8 opinion, and I would refer again to what Dr.
9 Emerson said, and again I would defer to him for
10 power calculations, but he is of the opinion it
11 wouldn't take that many more than what we have got.

12 DR. GIUDICE: Thank you.

13 Any other discussion? Additional studies
14 to be proposed? Yes, Dr. Tulman.

15 DR. TULMAN: I would just like to propose
16 that since we voted to approve this drug for a very
17 limited population, although not to disparage this
18 population in any way, but the evidence for the
19 drug and our approval for it is based on a very
20 limited population, and if this drug were to be
21 approved and used, it would probably wind up being
22 used in a larger population, and I don't think that
23 we really have the evidence to say what would
24 happen in that larger population. I think we need
25 that research both for safety, as well as efficacy.

1 DR. GIUDICE: I just want to clarify that
2 this committee has not done any approval of any
3 drug.

4 DR. TULMAN: I believe I said were to be.

5 DR. GIUDICE: Were to be.

6 DR. TULMAN: Were to be.

7 DR. GIUDICE: Thank you.

8 Dr. Hager.

9 DR. HAGER: I would just echo that again.
10 I think that our recommendations heard today are
11 for a very narrow, specific population, and even a
12 subpopulation of that group with very low LH
13 levels, and to discourage the use in a general
14 population.

15 You can just see where this could go with
16 off-label use. I think that what you have heard
17 today is that we don't see the evidence, barely
18 evidence of effectiveness, and a sincere concern
19 about extending this use to patients off label.

20 DR. GIUDICE: Dr. Rice.

21 DR. RICE: I think it has also been
22 evident from our discussions that the communication
23 between the FDA and the sponsors needs to become
24 more transparent and clear, and that a lot of this
25 confusion probably could have been avoided if there

1 was some better documentation.

2 I think it puts the committee in a
3 difficult situation when we are not clear about
4 what we are to provide advice on. So, I would hope
5 that in the future, that there is some improved
6 communication such that we can make sure that we
7 can fulfill our duty, and that is to make our
8 recommendations that is based on very good, sound
9 evidence and a clear understanding of what the
10 expectations were that the sponsor were to meet
11 from the FDA.

12 DR. GIUDICE: I just want to clarify
13 something that Dr. Hager said. I am not sure I
14 heard this correctly or maybe I did, but I am not
15 sure I agree with it, and that is that the FDA has
16 heard from us a committee that there is not much
17 information about efficacy.

18 Is that what you said? If you could
19 clarify that, please.

20 DR. HAGER: What I was saying was that the
21 FDA has heard our deliberations regarding the
22 efficacy of this drug. I didn't say that it wasn't
23 effective. I said they have heard our
24 deliberations about the efficacy, but I have a
25 concern also about off label.

1 DR. GIUDICE: Thank you.

2 I am wondering if the FDA could let us
3 know, now that you have some information from us in
4 an advisory capacity, what you will do with that
5 and what the next step will be.

6 DR. SHAMES: Well, I will give you a
7 bureaucratic answer. I guess the answer is that we
8 will evaluate these various votes and evaluate the
9 comments, and meet internally to see how we will
10 move forward. I didn't even count up the votes,
11 but you did.

12 I thought I heard that from the p4
13 definition, the data was not sufficient. Is that
14 the vote?

15 DR. GIUDICE: The p4 was uniformly no.

16 DR. SHAMES: Right.

17 DR. GIUDICE: And the ovulation induction
18 by the sponsor's definition, we have to determine
19 what that is for the final tally. Apparently, that
20 is going to come out in the minutes that Shalini
21 will pass out just to the committee participants.

22 DR. SHAMES: We will go back and have our
23 own internal discussions and come to some
24 conclusion. As you know, we weigh heavily your
25 opinion, and we have to exactly extract what that

1 was. Then, it is ultimately up to us to come to
2 the decision.

3 Of course, we interact now with the
4 sponsor to see what will come with this,
5 ultimately, how we will go.

6 DR. GIUDICE: Thank you.

7 Is there anyone who wants to make any
8 additional comments before we conclude?

9 MS. WILLIAMSON JOYCE: I would. First of
10 all, I would like to thank the Division for
11 bringing this NDA before an advisory committee.
12 This is an important part of the process. I would
13 like to thank all of you for having spent the two
14 days here and stayed long enough to go through the
15 entire process, and just to say on behalf of Serono
16 we do look forward to continued discussions with
17 the agency, so that we can bring this application
18 to approval.

19 Thank you.

20 DR. GIUDICE: Shalini has a comment to
21 make.

22 MS. JAIN: I just wanted to say thanks
23 also to all the committee participants today, both
24 our SG consultants and the committee members, as
25 well as the division representatives, and wanted to

1 let people know that if they would like to leave
2 their briefing documents, they can do so, and I
3 will mail them back to your home or work if you
4 would just specify what you would prefer.

5 Also, for those of you that need to catch
6 your flights immediately, there are shuttle drivers
7 outside the store that can take you to whichever
8 airport you had designated to me previous to today.

9 Thanks.

10 DR. GIUDICE: I also would like to convey
11 my thanks to the committee members and to all
12 participants and to the sponsor for their
13 contributions today.

14 Our meeting is now officially adjourned.

15 Thank you.

16 [Whereupon, at 4:00 p.m., the meeting was
17 concluded.]

18 - - -