

1 toxic heavy metal amalgam that can damage the bodies
2 and lives of any of us.

3 I'd like to suggest that there is no
4 effective way of predicting who will get sick or who
5 may be, quote, hypersensitive, unquote. It's a
6 gamble.

7 I believe that the issue of mercury
8 amalgam is a significant consumer protection and
9 public safety issue. As a heartfelt request, I ask
10 this FDA public hearing board and the rest of the
11 panelists to please listen to and heed the truths of
12 this amalgam matter; to take appropriate steps to
13 protect consumers from being exposed to amalgam; to
14 take steps regarding informed consent; to educate
15 consumers, including requiring dentists to inform
16 their patients about the potential health dangers so
17 that patients have the opportunity to make informed
18 choices for themselves and their families.

19 Change the phrase "silver fillings" that
20 hides the fact that the primary component of amalgam
21 is mercury. It would be more appropriate and in the
22 interest of consumers to call them mercury fillings.

23 Classify amalgam so that consumers
24 understand that it contains approximately 50 percent
25 toxic mercury.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Set up an independent committee to review
2 relevant and objective research on amalgam.

3 Abolish amalgam mercury fillings in the
4 United States as soon as possible.

5 We have heard from Representative Watson.

6 She has reminded us about the FDA having the wisdom
7 to condemn the use of mercury in veterinary products.

8 Certainly we as human beings, including our children
9 should enjoy at least the same protection as afforded
10 our pets.

11 There are safe, available alternatives to
12 amalgam that truly serve our citizens well.

13 In conclusion, in every walk of life, in
14 every profession I do believe there are certain
15 crucial times when there was a need for leaders to
16 emerge.

17 Regarding the issue of mercury amalgam,
18 now is that time for those who can and will to take
19 informed responsible action. Now is the time to
20 resist the pressures of vested interests, to take the
21 higher ground, to act wisely and courageously, and to
22 do so will serve and deeply benefit tens of millions
23 of Americans across our nation.

24 And I would just like to add that it's my
25 understanding that according to the World Health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Organization, amalgam dental fillings are the primary
2 source of mercury exposure, and my own dentist
3 herself, who is a female dentist, experienced many of
4 the symptoms similar to mine in terms of mercury
5 amalgam, including depression. Many of her staff
6 experienced similar symptoms including infertility,
7 and her own son who had never had any amalgams put in
8 his mouth experienced some similar symptoms.

9 Thank you. Any questions?

10 CO-CHAIRMAN KIEBURTZ: Thank you.

11 MS. MOORE-HINES: You're welcome.

12 CO-CHAIRMAN KIEBURTZ: Thank you.

13 Our next speaker is --

14 (Applause.)

15 CO-CHAIRMAN KIEBURTZ: -- Dr. Bruce
16 Hutchinson.

17 DR. HUTCHINSON: Correct.

18 CO-CHAIRMAN KIEBURTZ: Thank you.

19 DR. HUTCHINSON: Yes, my name is Bruce
20 Hutchinson. I'm a practicing dentist in Centerville,
21 Virginia, about 50 minutes drive from here. So no one
22 paid my way. I have no financial interest, and I'll
23 be driving home when I'm done.

24 I am not a scientist. I'm a practicing
25 dentist. I'm the guy you go to when you need a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 filling. Okay? I'm here to represent many of my
2 colleagues who go out there, do the work day after
3 day, do the best we can with the materials we have and
4 with the knowledge that we have, with the continuing
5 education that we have available to us.

6 I think the vast majority of us do the
7 very best we can and stay current with the facts and
8 the topics as best we can.

9 When I began doing dentistry 26 years ago,
10 amalgam was by far the choice of filling material to
11 be used on any posterior or back tooth. In fact, it's
12 really the only option we had 26 years ago other than
13 going to a crown or a gold inlay or gold onlay, which,
14 again, is quite a difference in cost as you can
15 imagine.

16 Today I do very few amalgam restorations
17 mostly because patients don't want them. They're
18 ugly. They're black; they're silver. Patients don't
19 like the way they look. They are aware of some
20 controversy going on, although, quite frankly, they
21 don't understand mercury or lead or molybdenum or
22 anything else. The vast majority of patients think
23 the fillings are made out of lead. They have no idea.

24 But I still believe that mercury based
25 amalgam, amalgam fillings have a place in dentistry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There are places where I find it to be invaluable and
2 the only option that I think is doable in a certain
3 situation.

4 Now, again, I have placed very few
5 amalgams because the patients don't want them, but
6 there are places where you cannot keep the tooth 100
7 percent dry. Any other filling material we have will
8 fail. Amalgam will not.

9 So, again, we're at a point where is cost
10 the only thing that matters, and I would say no, but
11 my research, my reading, everything I hear from the
12 FDA on down through other organizations says to me
13 that amalgam is safe and effective and viable for
14 patient usage. So why should I not use it?

15 If for any reason I thought, I actually
16 believed that amalgam was causing harm to my patients,
17 I would stop using it immediately, and I think most
18 dentists feel that way. We have an obligation to our
19 patients, to the public to do the best we can. Our
20 patients trust us. They trust us to do what's right
21 for them, and if I had a personal belief that it was
22 bad for my patients, I frankly would not use it.

23 But, again, I think it's dying a slow
24 death all on its own just because of the public cry
25 that there are other materials out there that for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 most part can be used that look better, that work
2 better, and are available. But if you take amalgam
3 away from the practicing dentist's armamentarium, you
4 really are doing a disservice to the public, I
5 believe.

6 Many times I have patients walk in the
7 door that have fillings that are 40 and even 50 years
8 old that are dental amalgam, and you know what? A lot
9 of them are still just fine. I have yet to see any
10 other restoration other than possibly a gold one that
11 lasts 40 or 50 years. They just don't happen.

12 I guess that's really all I had to say,
13 but once again, I want to stress that if you take it
14 away from the dentist, I think you are doing the
15 patients a disservice because the only option in some
16 of these cases is extremely more expensive because we
17 are not talking about a different kind of filling
18 material. If it is a place we cannot keep 100 percent
19 dry, then we are going to have to go to something like
20 a crown, which is instead of 100 or \$200 for a
21 filling, is going to be more like 1,000 or \$1,200. So
22 it's a huge, huge difference.

23 And I note Congresswoman Watson said it's
24 not a big difference in cost. Well, it can be. It
25 can be a huge difference in cost. And although we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shouldn't measure everything by what it costs and
2 value and so on, I think cost is important. It's
3 important to every one of you when you do your
4 shopping, and maybe it is not important to you when
5 you are valuing your health care, but I have patients
6 that it is important to, that it is important that
7 they could not have a filling if they could not have
8 the cheaper alternative.

9 Thank you for your time. I think this is
10 an issue that has been on the radar screen for years
11 and years now, for decades, I would say, and the other
12 thing I would add is that if this were a true danger
13 to society after 150 years of using these things, I
14 really think someone would have figured it out by now.

15 Thank you.

16 CO-CHAIRMAN KIEBURTZ: Thank you, Dr.
17 Hutchinson.

18 Dr. Wilson.

19 DR. WILSON: Thank you.

20 Good afternoon. I'm Dr. Nairn Wilson. I
21 represent the Academy of Operative Dentistry, which
22 has paid my expenses to be here. I have no financial
23 involvement in any respect in relation to dental
24 amalgam.

25 The Academy of Operative Dentistry is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pleased to have the opportunity to participate in the
2 present hearing. The purpose of the academy is to
3 promote excellence in operative dentistry, including
4 all matters pertaining to the conservation and, where
5 necessary, restoration of teeth.

6 The academy, founded in 1972 is a
7 worldwide organization with more than 1,200 members in
8 25 countries. The academy is a non-profit making
9 organization of substantial international standing.

10 I'm representing the academy at this
11 hearing as Chairman of the academy's Research
12 Committee and as a member of the academy's Executive
13 Council. I'm presently dean and head of the King's
14 College, London Dental Institute, that guides King's
15 College and St. Thomas' Hospital in London. My
16 credentials are set out in a brief resume attached to
17 the written submission.

18 While my interests, activities, and
19 expertise have given me a great deal of involvement
20 and understanding of the dental amalgam issue, I think
21 it is of relevance to point out that I spent more than
22 30 years in research with a main focus on tooth
23 colored filling materials, in particular, their
24 clinical use, efficacy, and the teaching of these
25 materials.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Regarding dental amalgam, the academy is
2 of the view that this alloy is a safe, effective
3 material for the restoration of teeth.
4 Notwithstanding its long history, et cetera, which I
5 understand and accept as not science justification
6 itself, it is very clear that there are a small but
7 significant number of people who do get adverse
8 reaction typically of a localized allergic nature.

9 Available scientific information continues
10 to reinforce the widely held, recognized safety and
11 effectiveness of dental amalgam. The academy endorses
12 the World Health Organization, WHO, and World Dental
13 Federation, FDI, which sets the statement on dental
14 amalgam of 1997, which amongst other matters considers
15 dental amalgam restorations to be safe.

16 This statement has recently been
17 reaffirmed by two scientific reviews confirming the
18 safety of the dental amalgam. These reviews, one by
19 Clarkson, Magos and Myer in the New England Journal of
20 Medicine in 2003, and a second by Brownawell, Berent
21 and Brent in Toxicology Reviews in 2005.

22 The conclusion of the Brownawell, Berent
23 and Brent, et al., study is considered to be of
24 particular relevance to the present hearing, and it
25 concludes with the following statement: "despite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 public controversy over the use of dental amalgams or
2 restorative material, this systematic evaluation of
3 current, peer reviewed, published studies did not
4 reveal significant evidence to support a causal
5 relationship between dental amalgam restorations and
6 human health problems, with the exception of allergic
7 reactions in some individuals."

8 In making this statement, the authors
9 acknowledge notwithstanding existing knowledge and
10 understanding that research grants still exist and
11 well designed studies that were all sufficient numbers
12 of subjects and control are required to ultimately
13 resolve the dental amalgam controversy.

14 The Academy of Operative Dentistry also
15 endorses the ADA, the American Dental Association's
16 statement on dental amalgam in which the ADA's Council
17 of Scientific Affairs' 1998 report on the scientific
18 literature on dental amalgam is cited to have
19 included. There currently appears to be no
20 justification for discontinuing the use of dental
21 amalgam.

22 This view is echoed in the recent 2006
23 Council of European Dentists resolution on dental
24 amalgam which concludes by calling on European Union
25 institutions to take full account of the scientific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence in relation to dental amalgam and the
2 worldwide consensus of the dental profession that
3 dental amalgam should remain part of the dentist's
4 armamentarium to best meet the needs of patients.

5 In holding the view that dental amalgam is
6 safe and effective, the academy acknowledges as stated
7 in the WHO-FDI consensus statement there's a potential
8 health risk to all health personnel from mercury
9 exposure if working conditions are not properly
10 organized and that mercury used in dentistry may
11 contaminate the environment via disposal of waste
12 products from dental clinics.

13 To these ends, the academy fully endorses
14 the application of proper mercury hygiene in dental
15 application and the use of equipment to collect
16 metallic waste generated during dental amalgam
17 placement and removal procedures.

18 Furthermore, the academy acknowledges that
19 an adverse reaction to dental amalgam may occasionally
20 occur, and despite the rarity of such reactions, oral
21 health personnel should continuously be alert to such
22 a possibility, in particular, in the managements of
23 patients with a history of adverse reaction to any of
24 the constituents of dental amalgam alloys.

25 In its pursuit of excellence in operative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dentistry, the academy also fully supports the
2 importance of continued monitoring of the safety and
3 effectiveness of all dental restorative materials.
4 The academy is proud of its commitment to excellence
5 in operative dentistry and in the interest of
6 particular patients and will continue to encourage and
7 promote an evidence based approach to clinical
8 practice, including the rapidly expanding use of
9 alternative restorative materials typically at the
10 expense of the use of dental amalgam.

11 On the matter of dental amalgam, the
12 academy would urge the hearing to be influenced in its
13 deliberation by the most robust of scientific
14 information available, let alone the conclusions of
15 the various national, international and other
16 organizations who have looked very carefully at the
17 dental amalgam issue.

18 The overwhelming consensus is that with
19 the exception of some rare patients with adverse
20 reactions to dental amalgams and some of the
21 constituents, the continued use of dental amalgam does
22 serve a purpose and is of benefit to many patients and
23 to them it is safe and effective.

24 Thank you.

25 CO-CHAIRMAN KIEBURTZ: Thank you for your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 testimony.

2 Our next speaker will be Dr. Mayher.

3 I may just say that I'm just going to give
4 speakers a little tap at one minute. Many of you have
5 written comments and don't see the light go from green
6 to yellow, just to give you a little heads up that
7 you're heading into the last minute, if you don't
8 mind.

9 DR. MAYHER: Just go easy on the tap. I
10 startle easy.

11 Hi. Thanks for having me. I'm Dr.
12 Vincent Mayher. I'm the President-elect of the
13 Academy of General Dentistry, and I practiced general
14 dentistry in Haddonfield, New Jersey for 25 years.
15 The academy did pay for my transportation and room and
16 board.

17 I am not anti-mercury. I am not pro-
18 mercury. I am pro-choice. After all, in the end it
19 comes down to two people, the practicing dentist and
20 the patient who places his or her trust in their
21 dentist.

22 Like the tens of thousands of my
23 colleagues who place amalgams every day, I am not a
24 research scientist. Instead I really on valid
25 scientific articles presented in refereed journals

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 such as that of the American Dental Association and
2 the Academy of General Dentistry to make clinical
3 judgments based on sound science.

4 In addition, it is also important for the
5 dental clinician to rely on credible entities, such as
6 the National Institutes of Health, the U.S. Public
7 Health Service, the Food and Drug Administration, the
8 World Health Organization, and the Centers for Disease
9 Control and Prevention.

10 These are home based. As a clinician, I
11 have no choice but to look to these bodies for
12 guidance. To deviate from the determinations made by
13 these credible entities would be to turn my back on
14 sound science and, therefore, place my patients in the
15 vulnerable position of being the recipients of dental
16 care that is being supported only by supposition.

17 All of these credible institutions have
18 validated the safety of dental amalgam time and time
19 again over years of research. That is not to say that
20 there should not be options. There's a retail
21 clothing store in my area whose slogan goes, "An
22 educated consumer is our best customer."

23 Nothing could be more apropos when it
24 comes to the relationship of a clinician and a patient
25 in choosing a restorative material because while the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific aspects of the safety of amalgam is the
2 major consideration in my world, it is also a consumer
3 issue. Patients should have the right to make an
4 educated decision as to the nature of any dental
5 restorative material that is being placed into their
6 bodies.

7 I have placed amalgams in my immediate
8 family members' mouths in the past and I would not
9 hesitate to do so in the future. If I had even the
10 slightest concern that this material might place their
11 health in jeopardy, I would simply choose another
12 material.

13 Understand there is always an alternative
14 to amalgam. The problem is the alternative may not be
15 as strong, maybe require more frequent replacement or
16 could cost much more.

17 A case in point. Many of my patients are
18 senior citizens who have had crown and bridge
19 restorations placed in the past. Often due to
20 decreasing dexterity and dry mouth conditions, these
21 people are more prone to recurrent dental decay around
22 this crown and bridge work. Most of the time, due to
23 the nature of these restorations, the recurrent decay
24 is subgingival, that is, below the gum line where
25 visibility and moisture control can be very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 challenging.

2 Amalgam is ideally suited in repairing
3 this type of recurrent decay. It performs better than
4 any other direct restorative material when placed in a
5 moist environment, and it can be contoured and
6 finished with hand instruments.

7 One could argue why not replace the crown
8 and bridge work, and that would be a valid clinical
9 argument. Only with amalgam, we can do this for under
10 \$200. Replacing the crown and bridge work could
11 potentially cost many thousands of dollars on these
12 senior citizens, many of whom are on fixed incomes.

13 In other instances, individuals could have
14 broken molar teeth requiring large restorations in the
15 back of their mouths. Given the increase in stress in
16 this area, amalgam is still considered stronger than
17 directly placed composites.

18 As an alternative we could place a gold
19 onlay. There is no better restoration in this
20 clinical situation, but the cost would be four to five
21 times more than an amalgam.

22 As a clinician I am obligated to educate
23 my patients of these choices before I ever pick up a
24 hand piece. In my office, we make every effort to
25 explain the nature of amalgam as well as other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 restorative materials that we use. We do this by
2 educating the patient of their options both verbally
3 and through informational brochures. The patient is
4 informed that amalgam is comprised of approximately 50
5 percent mercury, that once this restoration is placed,
6 the mercury is stable and bound to the other metals in
7 this restoration, but then a minuscule amount of it
8 will leak over time.

9 However, the patient is informed that
10 despite this leakage all of the credible institutions
11 and organizations that I referenced to earlier have
12 repeatedly stood by its safety.

13 In addition, there is insufficient
14 evidence to assure me or the public that components of
15 alternative restorative materials have fewer potential
16 health effects than dental amalgam. There's an old
17 saying, "The dose is in the poison." And there are
18 few, if any, materials which dentists use that are not
19 toxic at some level.

20 For example, local anesthesia that
21 dentists use are toxic if introduced at a high enough
22 dose. Yet I assure you that my patients are very
23 happy that I use local anesthesia, as I'm sure most of
24 you are when you have dental work done.

25 The important thing here is to know what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the toxicity level is, to respect that level, and to
2 adhere to limitations published.

3 Addressing the environmental impact in
4 mercury and dental amalgam is a concern to all of us.

5 To quote a phrase from the 2005 article in the
6 Journal of the American Dental Association, dentists
7 are obligated to be good environmental stewards and
8 should follow practices that reduce environmental
9 mercury release.

10 Amalgam that is used is encapsulated form.

11 The capsule is sealed and not opened up until after
12 the materials are thorough triturated. Handling and
13 proper disposal of amalgam is addressed by the
14 American Dental Association in what it calls its best
15 management practices for amalgam waste.

16 Best management practices addresses all
17 aspects of amalgam disposal from the collection and
18 proper storage of amalgam particles themselves to
19 proper disposal of used capsules to direct disposal of
20 traps that capture amalgam scraps that are flushed out
21 of the mouth. Introducing the use of quaternary
22 ammonium compounds for disinfection which do not
23 release mercury from amalgam into solution as
24 oxidizing ones do is encouraged.

25 So in conclusion, I urge you to continue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to take the objective scientific approach to the use
2 of dental amalgam that you had in the past. As health
3 care providers and as concerned citizens, my
4 colleagues and I rely on your continued diligent study
5 into this matter. We rely on your guidance for the
6 safety of our patients and for the environment, and we
7 take these responsibilities very seriously.

8 But until such time as amalgam or any
9 other restorative material previously approved for use
10 in this country is determined by credible sources to
11 have detrimental effects on a patient's health and on
12 society, the decision whether or not to place this
13 material should be made by an educated consumer under
14 the guidance of his or her dentist, and I leave you
15 with the words of Hippocrates, the Father of Medicine.

16 "There are, in fact, two things: science
17 and opinion. The former begets knowledge, the latter
18 ignorance."

19 Thank you.

20 CO-CHAIRMAN KIEBURTZ: Thank you, Dr.
21 Mayher.

22 Dr. Marshall.

23 DR. MARSHALL: Thank you.

24 My name is Milton Marshall. I'm here at
25 the request of the American Dental Association to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 speak on the safety of dental amalgam. They are
2 paying my travel reimbursement expenses, but I have
3 received no other compensation from them.

4 I received a Ph.D. in biomedical sciences
5 from the University of Texas Health Science Center in
6 Houston, Graduate School of Biomedical Sciences. I'm
7 also certified in toxicology from the American board
8 of toxicology, and I've been certified in general
9 toxicology since 1992. I hold adjunct appointments
10 at the University of Texas Dental Branch in Houston,
11 Texas, and the University of Texas Medical Branch in
12 Galveston, Texas. I'm currently employed by Baylor
13 College of Medicine in Houston, Texas.

14 My background includes regulatory and
15 safety of product development, drugs, devices,
16 biologics and combination products. I have
17 experienced both preclinical and clinical safety
18 testing. Key criteria to be considered and associated
19 in effect with the exposure are the degree and length
20 of exposure, and I would like to emphasize the fact
21 that the form of mercury that's used in dental amalgam
22 is elemental mercury, also referred to as HgO.

23 Because of the sensitivity of mercury
24 detection instrumentation, volatile or elemental
25 mercury can be measured in exhaled air, and the amount

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of volatile mercury measured in the oral cavity is
2 increased with chewing in persons with amalgam
3 restorations.

4 The total inhaled dose of mercury is small
5 though because of the small volume of the oral cavity
6 and the amount of volatile mercury released depends on
7 the number of amalgam restorations present.

8 After inhalation the majority of the
9 mercury vapor diffuses across the alveolar membranes
10 and is retained by the red blood cells in the
11 pulmonary system. The catalase peroxidase system in
12 the red blood cells oxidizes elemental mercury to
13 divalent mercury species that is retained by the red
14 blood cells.

15 Human red blood cells have a half life of
16 120 days, and the majority of the red blood cells in
17 trapped mercury is excreted in the feces via the
18 biliary system on removal of the red blood cells from
19 the circulation by the liver. Thus, only a small
20 amount of mercury that is not trapped in the red blood
21 cells is available to interact with other tissues.

22 Chronic exposure to elemental mercury is
23 best measured by monitoring urine mercury levels.
24 Occupational exposure to elemental mercury provides
25 the majority of information available on exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 levels that are associated with adverse health
2 effects.

3 Multiple studies have been conducted to
4 correlate elemental mercury levels in air, urinary
5 mercury, and adverse health effects associated with
6 this exposure. From data obtained on the workers in
7 the core alkali industry, a threshold level for
8 subclinical effects was established in 50 micrograms
9 of elemental mercury per gram of creatinine in Europe.

10 Although exposure to elemental mercury can be
11 documented by monitoring urinary mercury levels,
12 toxicity occurs at levels far above those seen from
13 persons with amalgam restorations which typically have
14 two to four micrograms mercury per gram of creatinine.

15 Although it is good practice to correct
16 mercury levels for creatinine content to account for
17 hydration status, creatinine concentrations in urine
18 is typically .5 to 3 grams per liter and an average
19 value of one gram per liter has been reported, which
20 enables a direct extrapolation with values in
21 micrograms per gram creatinine and micrograms per
22 liter.

23 Urinary mercury levels of dentists and
24 dental assistants who are occupationally exposed to
25 mercury from placing and/or removing amalgam fillings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have shown a steady decrease over time with the latest
2 five-year average of urinary mercury levels at or
3 below those in the general population of less than
4 four micrograms per mL.

5 Further analysis of urinary mercury levels
6 in dentists who participated in five different years
7 of screenings at the annual American Dental
8 Association health screening program indicate a
9 downward trend in urinary mercury over time when the
10 values are averaged from initial to final values.

11 Urinary mercury levels in dentists with
12 both occupational exposure to dental amalgams and to
13 some extent from amalgam restorations are much lower
14 than those seen in persons with occupational exposure
15 in the core alkali industry.

16 I would like to provide an overview of
17 several recent reports on dental amalgam, including
18 one published by the Life Science Research
19 Organization, or LSRO, in 2004. This LSRO report is
20 a review and analysis of the literature on the health
21 effects of dental amalgam.

22 For this report an expert panel was
23 convened to identify and review the scientific
24 literature that was available between January 1st,
25 1996 and December 2003, and health effects associated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with dental amalgam of more than 950 scientific
2 articles reviewed by the expert panel.

3 The quality of literature reports within
4 this time frame were assessed and reviewed to
5 determine if it supported hypotheses relating to
6 adverse health effects associated with dental amalgam.

7 U.S. EPA general assessment factors were considered
8 for determining scientific merit of the literature
9 reviewed. The literature was reviewed for soundness,
10 applicability and utility, clarity and completeness,
11 and on certainty and variability in evaluation and
12 review, independent verification, validation and peer
13 review.

14 Evidence regarding adverse outcomes in
15 human was evaluated from the perspective of the
16 epidemiological studies, secular trend data, animal
17 toxicity studies, dose dependent relationships, and
18 biological plausibility. A summary of this report
19 also appeared in Toxicology Reviews in 2005.

20 The LSRO report expert panel recognized
21 the number of other expert panels had previously
22 reviewed the safety of amalgam for dental use.
23 Overall, with inclusion from these panels was that no
24 adverse health effects were associated with amalgam
25 use other than occasional allergic reactions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In reviewing studies on exposure on the
2 elemental mercury and urinary mercury levels, the LSRO
3 panel drew the following conclusions from the
4 literature review. Within the time frame of the
5 review, 1996 to 2003, mean urinary mercury levels in
6 the general population were less than two micrograms
7 per liter in 95 percent of the individuals and the
8 general population had urinary mercury levels at or
9 below four to five micrograms per liter.

10 Long term use of nicotine gum, intense
11 chewing, and more than 20 amalgam surfaces resulted in
12 urinary mercury levels that approached occupational
13 exposure.

14 Two consistent results were seen with
15 occupational exposure: decreased tumor necrosis
16 factor alpha in urine mercury levels greater than or
17 equal to six micrograms per liter and elevated urinary
18 inesto beta glucose aminodase in the mercury range of
19 25 micrograms per liter.

20 These observations were deemed to be
21 indicators of elemental mercury exposure, not
22 indication of adverse health effects.

23 Neither occupational exposure nor dental
24 amalgam studies provided sufficient information to
25 support the hypothesis that mercury exposure at levels

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 absorbed from amalgam restorations caused an adverse
2 effect on renal function. There was insufficient
3 evidence to support an association with dental amalgam
4 and development of autoimmune diseases. Case reports
5 and studies of immune function demonstrated a
6 localized allergic response in some individuals.

7 Insufficient evidence was published in
8 this time period to support or refute the hypothesis
9 that elemental mercury contributed to adverse
10 pregnancy outcomes.

11 There were some research gaps that were
12 also identified and several other reports which I
13 don't have time to go into also supported these
14 conclusions.

15 So as a toxicologist, my opinion is that
16 the overwhelming body of scientific evidence supports
17 the safety of dental amalgam, and there are no adverse
18 effects in children or in adults after long-term
19 exposures.

20 CO-CHAIRMAN KIEBURTZ: Sorry. I'm going
21 to have to interrupt you. Thank you, Dr. Marshall.

22 Ms. Ward.

23 MS. WARD: Can everybody hear me? Okay.
24 I've learned to talk too soft.

25 My name is Carol Ward. I'm Vice President

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of Dams International, and I'm a retired librarian,
2 reference librarian, library supervisor, and I have 20
3 years of experience behind me as a coordinator and
4 officer of Dams.

5 I've heard many scientific lectures on
6 mercury and dental fillings and more importantly have
7 spoken to countless individuals who have partly or
8 fully recovered their health from having their amalgam
9 fillings replaced.

10 I'm a survivor of mercury toxicity from my
11 16 mercury amalgam fillings. At age 45, I became
12 catastrophically ill. For months no medical doctor
13 could arrive at a diagnosis. I was too weak to walk,
14 too weak to sit up in a chair. Over a period of
15 months I became a semi-invalid, whereas prior to my
16 breakdown I had been a jogger and a long distance
17 hiker.

18 A biochemist-nutritionist finally was able
19 to diagnose my condition. He said I was ill from my
20 dental amalgam fillings and that my immune system had
21 been virtually destroyed. When the several medical
22 doctors assessed me and found no damage, I found it
23 rather baffling, but a picture of my illness did fit
24 with many other pictures of amalgam toxicity.

25 I am going to refer to my fillings as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury fillings because of their 50 percent mercury
2 content. I was seven when the first one was place and
3 43 when the last two were placed, and my breakdown was
4 at age 45.

5 I developed systemic yeast and am still on
6 a special diet and will never be able to eat many
7 foods that other people enjoy or have alcoholic
8 beverages.

9 My symptoms included depression,
10 equilibrium problems, repeated infections, dizziness,
11 urinary and kidney infections, digestive disorders,
12 memory loss, low thyroid, and visual field problems.
13 My life was limited for a few months to one floor of
14 home. I was unable to work, drive, or do anything but
15 survive hour by hour, and I know there are many other
16 toxicity victims who have been through this.

17 Following the systematic replacement of
18 mercury fillings by composites by a mercury free
19 dentist, I began to recovery, and I'd like to note
20 that I had herculite composites put in, and they've
21 been really pretty solidly lasting for 21 years.

22 Following the systematic replacement of
23 these fillings I began to recover. I was still,
24 however, unable to stand certainly or strongly at an
25 intersection, to ballroom dance or play the piano the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way I used to, or even get proper rest at night. But
2 the treatment that made all of the difference was BAL,
3 British anti-Lewisite. It's in the Physician's Desk
4 Reference, and I understand it's a precursor to DMPS
5 from what I understand.

6 After 20 days of injections of this, I
7 began to experience equilibrium improvement,
8 intellectual functioning improvement. I could dance,
9 play the piano, and sleep.

10 Since that time, I would say I've achieved
11 about an 80 to 90 percent recovery. What I lived
12 through from my amalgam fillings was hellish beyond
13 description, and I really hope that there will be
14 others who won't have to live through it because of
15 what we're all doing here.

16 It is time to expose the real nature of
17 these silver dental fillings as an unstable, toxic
18 compound which vaporizes constantly in the human
19 mouth. No one is safe from a potentially negative
20 reaction to these fillings because if you don't get
21 sick when you're younger, chances are it may get you
22 when you're older. There is such a wide variety of
23 possibilities.

24 And also a percentage of the public, one
25 to 20 percent are unable to excrete mercury

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 efficiently. Speaking of genetics, it is significant
2 that Congresswoman Diane Watson has reintroduced the
3 bill this year, HR-4011, and I know you just heard her
4 speak. So you don't need me to go over it again.

5 I'd like to talk a little bit if I have
6 time about the children's amalgam studies. Dams has a
7 position on that. They view that there are flaws in
8 these studies. In particular, during the American
9 children's study, despite the reported neurological
10 illnesses, many children were kept in the study: 36
11 with sensory disorder; 24 had psychological disorders;
12 19 had asthma; and four had neurological disorders.

13 Dams is wondering why these children were
14 not pulled out of the study to protect them from a
15 known toxin when their health was already compromised.

16 Other weaknesses in the studies are that
17 researchers DeRouen and Bellinger focused on
18 statistical averaging so that the damage done to
19 individual children may have been missed. The
20 American children study was based on children, but
21 they may not have been really representative of the
22 general population as we imagine that children most
23 susceptible to mercury injury from vaccines would have
24 already been screened out.

25 A further question has already been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mentioned about the kidney damage to children with
2 amalgam. So I won't go into that.

3 Our conclusion regarding the children's
4 study is based on a vast body of scientific research,
5 is that mercury amalgam fillings are inherently
6 damaging and children were unwittingly exposed to this
7 damage. Many of the effects may not show up until
8 mid-life the way they did with me, and then they may
9 appear as chronic diseases, rheumatoid arthritis,
10 lupus, MS, ALS, Parkinson's, and many others.

11 I believe it is the FDA's responsibility
12 to safeguard public health by properly classify --
13 Thank you.

14 CO-CHAIRMAN KIEBURTZ: You have another
15 minute. I was just letting you know you had one more
16 minute.

17 MS. WARD: Oh, okay. I'm very grateful to
18 have been given the opportunity to speak today, and I
19 think that it's wonderful that you're reviewing all of
20 these questions and listening to people from the
21 outside.

22 Thank you very much.

23 CO-CHAIRMAN KIEBURTZ: Thank you, Ms.
24 Ward.

25 (Applause.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN KIEBURTZ: We are actually
2 going to take a break right now at our 2:30 time. We
3 will reconvene in ten minutes. So please come back
4 and we'll start promptly.

5 Thank you.

6 (Whereupon, the foregoing matter went off
7 the record at 2:35 p.m. and went back on the record at
8 2:47 p.m.)

9 CO-CHAIRMAN KIEBURTZ: Okay. I think we
10 will get started again and get back going with our
11 public testimony.

12 I think our next speaker is Dr. Flynn.
13 I'm sorry. Finn, Dr. Finn. Pardon me.

14 DR. FINN: Hi. Good afternoon, everyone.
15 My name is Amanuel Finn, and I live here in the
16 Washington, D.C. area.

17 I must tell you that my position here on
18 this issue has no financial interest the present or
19 the future. I simply am here to testify in support of
20 amalgam restorations because I do believe that they
21 work, and according to the body of evidence I saw here
22 today has led me to think and act otherwise.

23 So I'm here to share my own personal and
24 professional experience with this panel and with the
25 audience, and also I'm here to represent the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Association of State and Territorial Dental Directors,
2 ASTDD.

3 ASTDD is a national nonprofit organization
4 representing the directors and staff of state public
5 health agency programs for oral health in the U.S. It
6 was organized in 1948 and is one of 17 affiliates of
7 the Association of State Health Officials, ASTO. The
8 membership of ASTDD is comprised of the chief dental
9 public health officer, which I am here in Washington,
10 D.C., state dental director of the State Health
11 Department or the equivalent agency.

12 ASTDD has also established an associate
13 membership which is open to the public.

14 And the organization which I represent
15 today formulates and promotes the establishment of a
16 national dental public health policy and also assists
17 states' dental programs in the development and
18 implementation of programs and policies for prevention
19 of oral diseases.

20 I and also ASTDD strongly support the
21 continued use of amalgam as a restorative material
22 based on the significant body of peer reviewed, valid,
23 scientific evidence which clearly supports amalgam
24 safety and effectiveness, as well as its long history
25 of over 150 years in the dental office.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just as importantly, this issue impacts
2 tremendously on the well documented access to oral
3 health care issue for marginalized and vulnerable
4 populations in our society. I can personally attest
5 to that due to the fact as a former National Health
6 Service Corps dentist and the dental director of a
7 federal qualified health center in New York State for
8 over seven years.

9 Now, I would like to just address a couple
10 of issues some speakers have alluded to prior. First,
11 the Honorable Watson, the gentle lady from California,
12 she did state her position quite clearly, and one of
13 the things that she said was that in urban settings
14 the overabundant use of amalgam is documented.

15 What I would say to Honorable Watson if
16 she was here is that according to the literature
17 annually well over one million amalgam fillings are
18 placed in teeth for the U.S. I can understand that
19 the folks also who have testified on the effects of
20 amalgam, the health effects on their personal lives,
21 obviously I do sympathize with them.

22 However, according to the literature, and
23 that's the only thing I could go by today at this
24 moment leads me to think otherwise, again, without
25 taking your personal issues into consideration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I must also tell you though that this
2 controversy, it seems to me, will not be solved today
3 or tomorrow. It would seem this is going to take
4 quite some time for a resolution if that will ever
5 happen to come to pass.

6 But I'm encouraged by the fact that panels
7 such as these, this one obviously is critically
8 important in a democratic system to address critical
9 health issue.

10 In closing I would just like to say to you
11 that and to the panel that worldwide hundreds of
12 millions of patients have amalgam fillings in their
13 teeth, and I don't think this will be abated any time
14 soon. So I think the discussion obviously will have
15 to continue for some time in the future, and obviously
16 by all indications it will continue.

17 But in the meantime unless the scientific
18 literature says otherwise, I will be in full support
19 of amalgam restoration as a material of choice, and
20 also there is a cost issue for vulnerable populations,
21 and I would like to underline that.

22 Thank you.

23 CO-CHAIRMAN KIEBURTZ: thank you, Dr.
24 Finn.

25 The next speaker is Ms. Kilmartin.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. KILMARTIN: I'm Angela Kilmartin from
2 London, England. I run the British patients group
3 called Patients Against Mercury Amalgams, founded when
4 I couldn't go through the courts and to get revenge.

5 I've been suffering from mercury poisoning
6 since I was four and a half, when my teeth were filled
7 up by a dentist who was paid by the filling. I
8 immediately developed asthma, allergic rhinitis. I
9 had ten days at school most terms, and by the time I
10 was in my 50s my mouth was full of it, plus four gold
11 caps.

12 This is about neurotoxicity. I should
13 also say that I've paid my own way here and no one
14 pays me to come. I believe very strongly in this
15 cause.

16 I'd like to start with some science
17 because that's what you want, and in a short stroll
18 through my office full of this sort of thing I find
19 the following: inhaling mercury will always be a
20 hazard to human health. Neurobehavior effects in
21 occupational chemical exposure; mercury in the rat
22 hypothalamus after mercury vapor exposure; detection
23 of mercury in the rat spinal cord and dorsal root
24 ganglia after exposure to mercury vapor.

25 Mercury passes easily through the brain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 barrier. Look at the dates as well: 1988, and you
2 all have in front of you copies of this because I
3 shall pass fairly swiftly.

4 Inhalation of mercury vapor associated
5 with tremor, excitability. Chronic exposure has major
6 effects on the central nervous system, and that is
7 from a huge textbook called Toxicology published by
8 Pergamon Press, and that's the 1991 edition.

9 The electrical charge is transferred to
10 molecular species associated with nerve counts
11 throughout the body. You can see where all of the
12 science comes from.

13 Then there's an abnormal neuronal
14 migration with deranged cerebral cortical organization
15 and diffuse white matter astrocytosis of the human
16 fetal brain. That is a major effect of methyl mercury
17 poisoning.

18 Electromotor forces and electric currents
19 caused by metallic fillings. Measurement of the
20 electrical conductivity of dental cement which denies
21 the fact that cement is supposed to separate the
22 various metals.

23 In the Journal of Orthomolecular Medicine,
24 here is a clear connection between the mercury vapor
25 concentration and the number of amalgam surfaces as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can be seen from the reference lines. Scientific
2 studies show that if an individual is exposed to
3 mercury vapor from amalgam fillings through each
4 breath, 17,000 times daily, this may result in many
5 different symptoms, complaints and illnesses.

6 The mercury is quickly received through
7 the lungs and travels through the blood stream to all
8 organs of the body.

9 This is a picture which is rather
10 stunning. I'm going to go better than that in a
11 minute.

12 These are my neurological symptoms in 1995
13 when I was age 54. They are just the neurological
14 symptoms. Every section of my body went under. I was
15 in bed. I had brain fog. I was cold and had to keep
16 going into hot baths. I collapsed regularly, constant
17 crying fits necessitating putting me into a mental
18 home for two weeks. Concentration difficulties,
19 fatigue, facial strain, frozen shoulders and all my
20 bones stopped working, and I was confined to a
21 wheelchair eventually.

22 I gazed. I hyperventilated. I couldn't
23 sleep. I had irregular heartbeats. My legs cramped
24 all day long. I had a memory loss, a metallic taste,
25 skin pallor, tiredness, uncertain gait, and word loss.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think you'll find I'm a bit different now.

2 My stool results after the removal of all
3 amalgam and gold caps in 1995 was sent to Schiwara
4 Klinik in Bremen, Germany. Those are the dates. They
5 were 1997. You should be under three units per kilo
6 of stool. If you look in the middle of the slide,
7 after an intravenous Vitamin C infusion in March 1998,
8 I suddenly started excreting 170 units per kilo of
9 stool.

10 Now, last year, to celebrate the tenth
11 anniversary of the removal of this dreadful stuff and
12 my arisal like a phoenix, I had my stools retested.
13 Look at the difference in the levels from 30, 50, 170.

14 I was down to 3.7 and 12, and the 12 units was,
15 again, after an intravenous Vitamin C chelation.

16 This is me two weeks ago in Cambridge
17 University. The man on the right is a well practiced
18 technician, and he looks after the scientific labs
19 throughout the whole of Cambridge University, and I
20 took to him one extracted tooth, which I have saved in
21 a plastic jar. You can examine it. It's on my seat,
22 and one piece of gauze filling which was put in my
23 mouth during a removal technique.

24 This is the result of the University of
25 Cambridge Health and Safety Division. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 environmental monitoring report form gives its
2 location. The date is the 24th of August 2006. The
3 equipment used was a Sure City mercury vapor
4 indicator, which is used throughout the university.
5 The calibration, the last time it was calibrated was
6 the 13th of April 2006, and the method of testing was
7 an ultraviolet photometer.

8 The results. On the morning of Thursday,
9 the 24th of August two samples submitted by myself
10 were monitored for the presence of mercury vapor. The
11 samples were monitored in a well ventilated room
12 adjacent to the offices of the Health and Safety
13 Division. The temperature within the room where the
14 samples were monitored was approximately 20 degrees
15 Celsius. Very comfortable.

16 Sample 1, the extracted tooth, consisted
17 of a gold cap, tooth debris, and residue, and Sample 2
18 was the dental gauze. This is me, and the mercury
19 vapor readings were as follows: When we closed the
20 jar there was nothing coming out. When we opened the
21 jar and put the probe into the jar, 367 began and it
22 graded down to 50 on Sample 1, and on Sample 2, just
23 the gauze, was 33 down to ten.

24 Then we sealed the jar and then we opened
25 it five minutes later, and look at the results again:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 418 on the initial exposure and on sample 2, 125. We
2 filmed the whole thing. Don't tell me there's no
3 mercury vapor coming off tooth fillings, even 11 year
4 old ones.

5 CO-CHAIRMAN KIEBURTZ: Sorry to interrupt
6 you. Thank you for your testimony.

7 No, no. No, you don't actually. I forgot
8 to give you the minute warning, but having forgotten,
9 I'll give you a minute.

10 MS. KILMARTIN: I'll press it again.

11 The University of Cambridge results came
12 very clearly mercury is a neurotoxin despite what any
13 of the pro amalgam dentists think. The technician
14 said that if he found those levels from my tooth
15 fillings in a room, he would have the room closed.

16 And the third point, mercury and its
17 compounds can be toxic by inhalation, contact with
18 the skin, that is, oral and nasal mucosa, because
19 that's where the vapor is instantly taken up, and of
20 course, if swallowed like the particulate.

21 I have been poisoned. The whole world is
22 being poisoned, but it's to a different effect in
23 every individual, and I seriously hope that you will
24 take note of Congresswoman Watson because the rest of
25 us down here think that we could just have stayed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sitting down. She said it all for us.

2 Thank you very much for hearing me, and it
3 was well worth my visit.

4 (Applause.)

5 CO-CHAIRMAN KIEBURTZ: Thank you, Ms.
6 Kilmartin.

7 Ms. Pichay.

8 MS. PICHAY: Good afternoon. My name is
9 Teresa Pichay. I am employed by the California
10 Dental Association and am the manager of policy
11 development and analysis and the association did pay
12 my way to this hearing.

13 I'm here today to tell you of the
14 association's support for the continued use of dental
15 amalgam based on the scientific evidence showing it to
16 be an effective dental restorative material and based
17 on the lack of definitive evidence showing any cause
18 and effect relationship between the mercury in dental
19 amalgam and any systemic illness in either patients or
20 dental health care workers.

21 I am also here today to support the work
22 done by the FDA, the Public Health Services, and other
23 federal agencies in their ongoing review of research
24 on the human health effects of mercury in all of its
25 many forms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The association in the last ten or 15
2 years has faced several challenges regarding dental
3 amalgam in the public policy arena. Representative
4 Watson referred to the dental materials fact sheet.
5 The law she originally wrote many years ago actually
6 required the Dental Board to distribute a fact sheet
7 to the dentists, period. The requirement to
8 distribute a fact sheet to patients did not come into
9 effect until several years later when the law went
10 into effect.

11 The first fact sheet produced by the
12 Dental Board in 1993 actually was a table taken from
13 one of the appendices in the 1993 Public Health
14 Service report.

15 Other areas where we face challenges are
16 with the Department of Toxic Substances Control,
17 mercury report, and Proposition 65, the state's unique
18 law that requires public notification of almost any
19 exposure to potentially hazardous materials.

20 In every instance CDA relied on the 1993
21 and '97 reports on dental amalgam produced by the U.S.
22 Public Health Services and the 1999 ATSDR profile on
23 mercury.

24 CDA presented information from these
25 reports at public hearings in order to counter the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensationalized theories about dental amalgam that
2 marked the proceedings. We learned from these reports
3 that mercury toxicity is determined by various
4 factors, such as the form of mercury, route and
5 duration of exposure, and dose. We learned that
6 measuring devices have improved over time and now
7 allow very low levels of mercury to be detected.

8 Most importantly, we learned that the
9 presence of metallic mercury in dental amalgam does
10 not by itself mean that dental amalgam has the same
11 human health effects as metallic mercury alone.

12 The work of these federal agencies help
13 CDA make our states= legal and regulatory entities
14 aware that recent sounds scientific information on the
15 safety of dental amalgam is available and accessible.

16 Dental amalgam continues to have an
17 important role in the oral health of Californians
18 today. Unfortunately California school children lag
19 behind the nation's school children in visits to the
20 dentist and in the use of sealants. And they have
21 more untreated decay on average.

22 We acknowledge that the use of amalgam has
23 declined with the availability of other durable but
24 more aesthetic materials and with the overall
25 improvement in the nation's oral health. As long as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the body of science continues to show that the health
2 benefits of dental amalgam outweigh health risks, the
3 association will continue to support its use.

4 The summary of recent research on mercury,
5 dental amalgam and human health effects that you are
6 considering at this hearing clearly demonstrate that
7 there is no need to further restrict the use of dental
8 amalgam.

9 The association is appreciative of the
10 time and work this panel has devoted to the subject.
11 I thank you for your attention to my comments today.

12 CO-CHAIRMAN KIEBURTZ: Thank you, Ms.
13 Pichay, for your testimony.

14 Next is Ms. Kerger.

15 MS. KERGER: Yes, and I thank you for your
16 time.

17 My name is Jessica Kerger, and I have
18 prepared a variety of remarks. The first speech, I
19 think, was 45 minutes long and I cut it down and cut
20 it down, and then this morning I threw it out
21 altogether because I thought perhaps if I can even
22 make it a little shorter you guys could ask me some
23 questions.

24 I think in some ways I have a unique
25 vantage point. But first I'll let you hear a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bit about who I am and you can decide whether it's
2 worthy of asking the questions.

3 I am somebody who has been diagnosed with
4 mercury toxicity. I have also been diagnosed with
5 mercury allergy and sensitivity. I am somebody who has
6 been declared completely disabled by the Social
7 Security Administration. I am someone who was told by
8 an imminent physician, a man who has over a year
9 waiting list for new patients; this was a man who sat
10 me down and took my hands, looked me in the eyes and
11 said, "Jessica, I don't think you have two years to
12 worry about this mercury thing. I think you don't
13 have that time to spend. You need to get your
14 thoughts together on how to best spend the time you
15 have left for my honest opinion is that you are dying
16 of a neurodegenerative process. It's a nonspecific
17 one. I can't give you a name for it, but I think
18 that's going to explain the further atrophy we see on
19 your MRIs, and it's going to explain the tremors and
20 the seizures and all of the various problems, your
21 memory loss, the inability to concentrate."

22 He said, "You know, I took the time to
23 look up mercury." He said, "And what I found was that
24 the ADA and the FDA say it is safe."

25 I am someone who struggled mightily with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chelation when I finally found someone who was willing
2 to help me. If no pain no gain is the motto there,
3 well, I'm deserving of all of my gains.

4 I am someone who when I received my APOE
5 genotype tests was told on a piece of paper in black
6 and white that I had Alzheimer's disease with a
7 greater than 97 percent specificity.

8 I am someone who is dramatically improved
9 with the use of glutathione. I thin you should all be
10 aware of the glutathione connection with mercury. it
11 has been said in reported literature that glutathione
12 is inversely proportional -- levels of glutathione are
13 inversely proportional to the mercury toxicity. They
14 have a one-to-one because you need the glutathione to
15 take it out of the body.

16 I am an attorney, though I haven't
17 practiced in a while, and my license is currently
18 inactive, but when I was, I represented clients like
19 General Motors. They were my primary client and
20 Emerson Electric. I was a defense attorney.

21 I grew up in a medical family. I have a
22 great deal of respect for mainstream medicine. My
23 father and all three brothers are doctors. So is my
24 stepson and his wife is about to join them. My uncle
25 is also a medical doctor, a psychiatrist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I am someone who is not unreasonable.
2 When I was an attorney I was picked to be a juror in a
3 civil case. That's highly unusual. I was picked to
4 be an arbitrator in various matters, as well as a
5 mediator.

6 I think this all makes me an unlikely
7 plaintiff, but a plaintiff I am. I am the plaintiff
8 in a case against the ADA, the Ohio Dental
9 Association, Johnson & Johnson, Densbly & Densbly, the
10 manufacturers of dispersalloy amalgam, which was what
11 was used in my mouth.

12 I may have never figured it out, except
13 that a very fine dentist in my town performed a root
14 canal through an amalgam filling, meaning he drilled
15 right through the center of it. He wasn't trying to
16 hurt me. He believed that that was the appropriate
17 standard of care, and that was what to do.

18 But that escalated my neurological problem
19 so greatly that I ended up forgetting my infant son
20 on a changing table and going off and doing something
21 else. I wound up in the hospital within a week with
22 heart problems. Somebody while I was there asked me
23 if I had any dental work done. I had said yes, and
24 they said, "I think you ought to look into that."

25 I don't know if they were talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury. They were probably talking about indill --
2 the bacterial disease. But what I found when I
3 started looking was just astonishing.

4 I read a book written by a Christian M.D.
5 who described his experience with chronic fatigue
6 caused by mercury amalgam. He went on to talk about
7 what the ADA had done and how he believed that they
8 weren't telling the full truth and how some people
9 were susceptible.

10 He also went on to describe the treatment
11 and the symptoms that I was having right then
12 regarding hypothyroidism and body temperature. It's
13 like he described me right in this little book.

14 I still wasn't convinced though. I went
15 to see if I could find corroborating evidence on the
16 Internet and I did. It was all of my symptoms linked
17 in another Website by another medical doctor.

18 I went to see that doctor and he was the
19 first one who told me that I could not return to
20 health without removing my mercury filling and then
21 trying to get it out of my body.

22 What I'd like you to ask me about is how
23 you are supposed to go about this. If you are told by
24 a doctor that you do have mercury toxicity and you are
25 especially susceptible or hypersensitive, where is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guidance? Where is the corroboration? What is the
2 patient to do?

3 You have a doctor, and then the rest of
4 the establishment. My family was like, "What are you
5 talking about?" you know, and then it proves to be the
6 right thing, but it's a very difficult issue because
7 there's just not any agreement out there between the
8 alternative complementary and integrative medicine
9 folks and then the mainstream doctors.

10 I think that the answer lies somewhere --

11 CO-CHAIRMAN KIEBURTZ: One minute.

12 MS. KERGER: One minute? Oh, you're not
13 going to get to ask me questions.

14 With Dr. Clarkson, Dr. Clarkson I think
15 you all know is an expert on mercury toxicity. Back
16 in 1992, he asked what are the mechanisms of tolerance
17 in defense against mercury. Under what circumstances
18 do these defenses fail? What makes some individuals
19 more resistant than others?

20 The answer to this is key to present and
21 future risk assessment. There is much established
22 literature about hypersensitivity, and I would be
23 putting that in my written statement so that you can
24 see it.

25 Thank you for your time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN KIEBURTZ: Thank you for your
2 testimony.

3 (Applause.)

4 CO-CHAIRMAN KIEBURTZ: Ms. Flowers.

5 MS. FLOWERS: Yes. I'm Marie Flowers, and
6 I'm from the Roanoke, Virginia area.

7 I received my first amalgam fillings when
8 I was around 12 years old. Other than having three
9 miscarriages when I was in late 20s because I had low
10 progesterone, I was healthy. I did not know that
11 mercury contributes to problems with hormones and
12 infertility.

13 By the time I was 46 I had accumulated 11
14 mercury fillings, and Dr. J., whom I liked, was my
15 dentist. It took 34 years to experience my first
16 neurological symptom, and that was an occasional
17 numbness in my face.

18 My symptoms did not occur in a mere five to
19 seven years like what was in the children's amalgam
20 trials and the ADA came out stating that the children
21 had on neurological problems, but this was only after
22 five to seven years.

23 It took me 34 years to develop neurological
24 symptoms.

25 I told Dr. J. about my face numbness, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 he continued to take out and put in mercury fillings,
2 all the time allowing me to breathe mercury vapor. But
3 my dentist didn't do anything wrong. He didn't violate
4 the standards of care in dentistry. It's normal for
5 dentists to expose their patients to mercury vapor
6 while drilling out fillings because most of them do not
7 use any respiratory protection for the patients.

8 I saw a neurologist in early 2001, but he
9 had no definite diagnosis for the numbness. In June I
10 developed Bell's palsy, which is an inflammation in the
11 seventh cranial nerve, which I understand is in the
12 peripheral nervous system.

13 The neurologist put me on 12 days of
14 prednisone. The consumer information sheet from the
15 pharmacist warned me that before you have any kind of
16 medical or dental treatments, tell the doctor or
17 dentist that you're using this medicine because it
18 makes you more susceptible to illnesses.

19 Unfortunately the sheet did not warn me to
20 stay away from mercury poisoning dentists when I had a
21 lowered immune system. I was taking this prednisone
22 and was on vacation in July of 2001 when I my tooth
23 with a large amalgam filling broke off. So I went to a
24 local dentist, and he put a patch on that tooth and
25 immediately I started tasting metal. That is a symptom

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of oral galvanism when you start tasting metal, and it
2 occurs when dentists put dissimilar metals together in
3 contact with the mercury. It causes a battery-like
4 effect which forces the mercury to leak out of the
5 filling at a faster rate.

6 Now I was absorbing more mercury vapor than
7 ever before because of the broken tooth and because of
8 this patching material causing oral galvanism.

9 I didn't know the metallic taste was a
10 symptom of mercury toxicity, and neither did Dr. J.
11 when I questioned him about it one month later, but the
12 symptom of metallic taste is right there on the
13 material safety data sheet for the amalgam capsule, but
14 probably my dentist never read the material safety data
15 sheet.

16 I told Dr. J. I had been on prednisone a
17 month earlier, but that made no difference either. So
18 he went on drilling more than usual in order to fit the
19 crown on the tooth. He left in some of the mercury
20 filling and some of the patching material. Then he
21 placed the temporary crown over top of all of this
22 mess.

23 I went home to experience an increased
24 metallic taste along with nausea and feeling like I was
25 dying. Mercury started leaking out of this tooth and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ran down into my gums making a little blue line around
2 the gum line of that tooth and the gum started
3 swelling.

4 Five days after Dr. J. drilled into that
5 tooth and put on the temporary crown I felt movement in
6 my brain for the first time. Eight days after Dr. J.
7 drilled I felt a little circle of heat in the top of my
8 head and my scalp was tingling and was filling very
9 sore. I had a slight headache.

10 On the ninth day after Dr. J. drilled I
11 woke up that morning and my whole brain was on fire.
12 My brain was vibrating inside my skull like it was
13 trying to jump out. My head throbbed, and it felt like
14 someone was beating on my skull. I had electrical
15 charges shooting throughout my body from the top of my
16 head to the tips of my toes.

17 MS doctors call this Lhermitte's
18 phenomenon. I call it mercury hitting the brain.

19 I became allergic to foods. When I ate
20 vinegar, a big lump would come out on the side of my
21 head that would burn and ache, and I would cry in pain.

22 I didn't know that the acid from the vinegar was
23 causing more mercury to be released from my fillings.

24 I became chemically sensitive, had chronic
25 fatigue, visual disturbances, tingling in my hands and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feet, dizzy, confused. Things appeared to me like I
2 was in the Twilight Zone. I lay in the bed for hours
3 with heating pads. I saw seven doctors in total,
4 including my neurologist, trying to find a diagnosis.

5 My neurologist said that burning of the
6 brain was not a symptom of MS and had no idea why my
7 brain was on fire.

8 I became angry and paranoid. If my husband
9 would dare to disagree with me, I'd pick up something
10 and hit him. I got down on the floor at church and
11 became hysterical.

12 What was worse was the confusion and the
13 memory loss, not remembering where I parked my car, not
14 being able to make a long distance phone call to call a
15 doctor, wandering around my home in a daze. One Sunday
16 morning I woke my husband up at 1:00 a.m. and screamed
17 at him for an hour, begging him to help me find out
18 what I should do next.

19 When mercury is in your brain, it's very
20 hard to make medical decisions.

21 Finally I found a doctor belonging to the
22 American College for the Advancement of Medicine. He
23 tested me for heavy metals using a DMSA urine challenge
24 test. Even though my levels did not show up very high,
25 there is a reason for that. I'm a poor excreter of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury, and Dr. Boyd Haley will talk tomorrow about
2 how some people cannot excrete mercury very well. He
3 has this published in a peer reviewed literature.

4 CO-CHAIRMAN KIEBURTZ: One minute.

5 MS. FLOWERS: And a study from the U.K.
6 states that for some people, sensitive individuals, it
7 has not been possible to set a level for mercury in the
8 blood or the urine below which mercury related symptoms
9 will not occur. So there is no safe level of mercury
10 for some people.

11 Dr. C., my doctor that I found, said that
12 patient's history is consistent with heavy metal
13 toxicity. He did not say that I was allergic to
14 mercury. He did not say I was hypersensitive. He said
15 heavy metal toxicity, and I think it's insulting to
16 call a poisoning an allergy. Ragweed is an allergy.
17 If I were poisoned by arsenic, you would not say that I
18 was sensitive to arsenic. You would say I was
19 poisoned.

20 Dr. C. gave me natural hormones for my
21 memory loss and my confusion, and because it has
22 disrupted my hormones, and he gave me a lot of pills.
23 I took DMSA. I did better, but I can't get all of the
24 mercury out of my --

25 CO-CHAIRMAN KIEBURTZ: Sorry to interrupt

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you. Thanks, Mrs. Flowers.

2 (Applause.)

3 CO-CHAIRMAN KIEBURTZ: Thank you for your
4 testimony.

5 Next will be Mr. Reeves. If you will just
6 click on the microphone, please.

7 Thank you.

8 MR. REEVES: I'm Bob Reeves. I'm here on
9 behalf of the International Academy of Oral Medicine
10 and Toxicology. Myself and my client, Dr. David
11 Barnes, and I'm hoping we can get this PowerPoint to
12 work.

13 While we're doing that, let me just say
14 that I'm here to speak on behalf of the dentist's
15 dilemma. If a dentist believes that mercury is toxic
16 or can cause problems, he is in a real dilemma. He's
17 in a dilemma if he doesn't because the manufacturers --
18 and there is the first slide -- the manufacturers give
19 very explicit warnings in regard to toxicity of
20 mercury.

21 This is dispersal -- well, wait a minute.
22 I think I've skipped a slide here -- dispersalloy is
23 the most widely used amalgam. Dr. Mackert talked about
24 it today, and it gives these warnings: erethism and
25 the things that go with that, hallucinations, loss of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 memory, et cetera.

2 Now, why is this important? Well, this is
3 important because of the learned intermediary
4 doctrine. A dentist is obligated to pass on the
5 warnings of a manufacturer just as a doctor is, and I'm
6 sure all of you neurologists know about this. If you
7 have a drug you're giving a patient, you have to warn
8 about the side effects of the drug.

9 These are the side effects that the
10 manufacturers warn of. This is an old MSDS from
11 dispersalloy, and I'm not sure why this was taken off.

12 It was on their Website. It was on their literature.

13 It warned about renal deficiency, allergies, children
14 under six, expectant mothers.

15 That's no longer used by them, although I'd
16 suggest to you it probably should be.

17 Mercury accumulates from amalgam fillings.

18 This is the FDA's own response to me in 1993. I filed
19 a petition with the FDA asking that warnings be given
20 and a number of other things, including a ban on these
21 fillings, and their response included this fact: that
22 mercury can accumulate, that it causes higher blood in
23 urine levels, that it causes hypersensitivity in
24 people, that people may respond adversely to the
25 additional exposure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And they said, as you'll see there, the
2 bottom two things I've highlighted, special controls
3 and labeling. They recommended that, and they
4 recommended, I think, Category 2, and this is back in
5 1997, over nine years ago. Nothing has happened since
6 then, except the body of knowledge implicating this has
7 increased.

8 This is a quote by the toxicologist at
9 ATSDR talking about the issue, and the reason I put
10 this in here, there is always this statement that there
11 is no known disease that certainly is caused by mercury
12 from fillings, and therefore, we're going to continue
13 to use it and it's safe.

14 Well, what he's saying there is that
15 there's a lot of reasons to be careful about it because
16 there's a lot of issues. There are a lot of -- as he
17 says, there's not a smoking gun, but here are bullets
18 all over the floor, and that is true for this issue.

19 These are the summary of mercury symptoms
20 from ATSDR, and the reason I think this is important is
21 that mercury obviously causes a lot of things. A woman
22 testified earlier about flu today, and we'll see here
23 in a minute flu symptoms. Mercury interferes with the
24 entire biological process of the body. It interferes
25 with self-hydrils and can interfere anywhere and cause

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any kind of symptom.

2 And there's a quote from Clarkson that I
3 don't have on here, but Clarkson says that's one of the
4 reasons it's hard to deal with mercury, because there
5 is not a signature symptom, a signature toxicity like
6 there is for most toxins. But these are all from ATSDR
7 and other well known references about mercury and what
8 it can cause. Decline of intellect is one of them.
9 Bleeding gums, and I don't have it on here, but there
10 is a lot of dental evidence that from the dental
11 journals that mercury causes periodontal disease and
12 periodontal disease is being implicated in all kinds of
13 problems now. There seems to be connections between
14 periodontal disease and various heart conditions,
15 strokes, et cetera.

16 Let's see. There are the flu symptoms:
17 chronic headaches. Now, if mercury can cause chronic
18 headaches, it's not a disease like MS, but it is
19 something that can be a problem.

20 So where is the dentist in this? If the
21 dentist is going to provide his patient with informed
22 consent and follow the learned intermediary doctrine
23 set out specifically in a case involving mercury
24 amalgam, Barnes v. Kerr, then he has to inform the
25 patient of this. Yet the ADA has a gag rule that they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hardly admit to, but this is applied by State Dental
2 Boards, and for instance, in North Carolina, in 2005,
3 the North Carolina Dental Board published something for
4 all dentists saying you can't make a reference that
5 mercury fillings are harmful.

6 Well, if you're going to give those
7 warnings at the start for dispersalloy, you are
8 certainly indicating there's something that may be
9 going on with mercury fillings.

10 Freiberg testified in front of the FDA in
11 1991 that mercury was an unsuitable dental filling
12 material. He was brought over from Sweden for that
13 purpose, and it's logical you can see why the Swedes
14 have taken a different attitude about this material.

15 The National Academy Press published a
16 document about anecdotal reports need to be pursued
17 vigorously, and it also talked about the inadequate
18 training of most physicians. That's not the same as
19 physicians are inadequate, but most physicians are not
20 trained a great deal in toxicology, and I think that
21 includes most neurologists at least that I know.

22 CO-CHAIRMAN KIEBURTZ: It's one minute.

23 MR. REEVES: Robert Feldman made an
24 association between mercury and MS years ago. This is
25 literature about it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 With that I'll close, but I'll suggest to
2 you that the FDA should at the very least establish
3 warnings for this substance.

4 Thank you.

5 (Applause.)

6 CO-CHAIRMAN KIEBURTZ: Thank you, Mr.
7 Reeves, for your testimony.

8 Our next speaker will be Ms. Madronero.
9 Did I say that properly?

10 Thank you. Please.

11 MS. MADRONERO: Yes. Good afternoon. My
12 name is Dorice Madronero, and I am here not with any
13 financial interest, but with good heart and spirit.

14 I am grateful to the panel members and the
15 FDA for this opportunity, but I must ask why is it that
16 Sweden, Denmark and Germany have restricted the use of
17 mercury in dental amalgam and the United States
18 supports putting mercury into the mouths of millions.

19 Effective May 12th, 2006, New York State
20 mandates that mercury separators be installed at dental
21 facilities. The New York State Dental Association
22 questioned the science reiterating if after an
23 exhaustive examination of the data, the New York State
24 Dental Association were to believe that amalgam
25 separators were justified on a cost benefit basis, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would support such a mandate.

2 According to Webster's Dictionary
3 exhaustive means leaving nothing out, covering every
4 possible detail.

5 Reading volumes of journals and historic
6 documents, indeed, simple common sense, leaves this
7 mother and countless others asking why, why mercury is
8 still in dental amalgam.

9 In our market driven society, the theme of
10 a cost-benefit analysis leans heavily on dollars.
11 Tradeoffs and equivalencies must be reviewed to
12 consider the interests of all, with alternatives
13 explicitly explained to reflect the costs of not taking
14 action.

15 Clearly, New York State recognized the
16 adverse environmental impact dental waste poses to its
17 residents' life blood, our water, air, and marine life.

18 So the questions about mercury and dental amalgam are
19 not only for the placement of mercury in teeth, but
20 resulting effects to the water, air and food.

21 We are advised to limit fish consumption
22 because of mercury content, especially for women who
23 are pregnant. There was acute understanding that the
24 intentional termination of a pregnancy elevates emotion
25 and debate. Can a cost-benefit analysis of dental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam adequately measure the value of a fetus exposed
2 to mercury?

3 Your decision imposes on the deepest debate
4 of what causes or constitutes life. In the 1993 final
5 report on dental amalgam, it states, in quotes, and
6 that's from your copy up there, "Based on experiences
7 with lead exposure, it would be prudent to minimize
8 human exposure to all heavy metals, including mercury
9 with the efforts underway to reduce mercury use and
10 disposal, the continued use of mercury and dental
11 restorations will account for an increasing percentage
12 of the total exposure to mercury to those with amalgam
13 restorations.

14 However, its health significance may
15 decline as reductions in other environmental mercury
16 exposures results in a decline in overall mercury
17 exposure. Are we to believe from this statement that
18 the totality of an individual's mercury exposure should
19 be evaluated for body burden? Is the effect of mercury
20 in our air what are improved factored into thresholds
21 regarding how many fillings a person should have or who
22 according to their environmental living conditions is
23 exposed?

24 What about the most vulnerable, our
25 children, individuals with compromised immunity, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unborn? Expecting mothers are full of hope for the
2 possibilities that newborn brings into this world.
3 After a spontaneous abortion, those possibilities are
4 shattered and one is left with lingering questions.

5 I am one who has had two spontaneous
6 abortions and still those questions linger, and I must
7 ask you why. Looking into cause and effect often leads
8 us on a quest that reveals how very connected we all
9 are. The impact of what is believed to be unrelated
10 may turn out to be the very cause, and my question led
11 me to understand just how two healthy pregnancies may
12 have been imperiled by well intended dental work.

13 At the core the questions are really about
14 the system that evaluates the efficacy and safety of an
15 intended practice or product. Have all perspectives
16 been exhaustively studied for interrelated effects?

17 Following the second spontaneous abortion I
18 had been to the dentist just prior to losing the fetus.
19 Dental amalgam was drilled out and replaced with new.
20 Old dental records revealed a related pattern.

21 In speaking with other women who had
22 miscarriages several also endured a similar sequence of
23 events. A great burden rests with each of you for each
24 of you must reflect and question whether your decision
25 ensures that the exposure of drilling out and placing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 new amalgam into the mouth of a pregnant woman poses no
2 harm to a fetus.

3 There are countless studies and articles
4 that depict related health concerns resulting from
5 mercury exposure. What is not clear is how such a
6 toxic substance with a known ability to cross the
7 placental barrier can still be used in fillings. It
8 was shown in a Swedish study that inorganic mercury in
9 cord blood increased significantly with increasing
10 number of maternal dental amalgam fillings. Now, that
11 is a study I=d say is worth looking into.

12 Periodic tables of chemical elements list
13 spontaneous abortion as a side effect to mercury
14 exposure. Is your scientific data that exhaustive to
15 refute any connection of mercury and dental amalgam
16 causing no harm to a fetus? Has there been a review of
17 dental records and obstetrical records following
18 spontaneous abortions to look for a connecting pattern?

19 Is an expecting mother given the option to choose
20 whether she is comfortable knowing that a neurotoxin is
21 being placed in the form of an amalgam into her mouth
22 or advise that the water she drinks and fish that she
23 eats is being contaminated by dental waste?

24 How does the life cycle of mercury used in
25 dental amalgam impact us?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN KIEBURTZ: One minute.

2 MS. MADRONERO: Not a day goes by that we
3 don=t hear about mercury polluting coal burning
4 industry which ironically provides electricity for
5 dentist=s drills to place mercury into people=s teeth.

6 Since the first use of mercury in dental fillings over
7 150 years ago, technology and science have advanced.
8 New products and understanding of science are
9 infinitely different. Still one story remains the
10 same, and the voices of countless people go ignored or
11 shunned. Their lives are not anecdotal. Mercury is a
12 neurotoxin with known adverse health and environmental
13 effects.

14 Release us from this time capsule shrouded
15 in old science. Does mercury really belong in our
16 teeth and in the cord blood of a fetus? I urge you.
17 Do that exhaustive study and then tell us why we, our
18 water, air, and unborn should be subjected to mercury
19 from dental amalgam.

20 And I thank you for your consideration and
21 time.

22 (Applause.)

23 CO-CHAIRMAN KIEBURTZ: Thank you for your
24 testimony.

25 Dr. Painter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PAINTER: Yes. I have no financial
2 connections with anyone here.

3 I'm a general internist. I've been in
4 practice about 19 years. I was in practice for five
5 years not understanding why in the great, wonderful
6 country we live in where we know everything, why we
7 didn't understand certain disease processes. It took a
8 few years to look around, get some other information
9 and to really come to the understanding that when those
10 professors in medical school told me that they were
11 really ten years behind, I really came to understand
12 what they were talking about, that there was
13 information available for helping a general internist
14 understand how to practice and treat people with
15 chronic illnesses that we really did not learn in
16 medical school.

17 I would like to explain the handout. I
18 have treated approximately 84 patients with amalgam
19 removal, and 25 of those patients wrote letters, and I
20 just want to remind you that I've saved you two hours
21 and 15 minutes of public testimony. Okay. Laugh, you
22 guys.

23 Okay. Well, maybe to lighten you up just a
24 little bit more, I want to give you a little historical
25 perspective. You know, dental amalgam was not first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used in the United States. Now, I'm not sure where it
2 was first used, but I do know it was used in Germany
3 before it was used in the United States.

4 The German word for quicksilver, for
5 mercury or quicksilver is *quecksilber*, and from that
6 came the word Aquack.@ Now, growing up in internal
7 medicine in a residency, I heard that word bandied
8 about a bit, but the original historical meaning of the
9 word Aquack@ was a dentist who put mercury fillings in
10 patients= mouths, and that actually started a couple
11 hundred years ago, 150 years ago. This is really a
12 discussion and an argument that has gone on for
13 multiple decades. This is nothing new.

14 I really appreciate that these two
15 committees are asking great questions, quite honestly.

16 I sit there listening to some of this testimony and
17 wonder where the science is.

18 Being trained on the New England Journal of
19 Medicine, I would expect to see studies, longitudinal
20 studies, short term studies done just as we have done
21 with aspirin, which was a drug that was grandfathered
22 in. I have not seen any of those studies that truly
23 show there is no toxicity to the use of mercury.

24 In terms of my private practice, I was
25 trained at Creighton University, and what was very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important at that institution was that we do good
2 physical exam. They didn't tell me what tattooing was.

3 As I look at patients and see tattooing around a
4 tooth, tattooing where the mercury has literally gone
5 into the soft tissue of the gum, that was something I
6 had to learn in my practice of medicine.

7 Very often teeth that have mercury
8 restorations will be gray, and I just encourage you.
9 I'm not sure if neurologists examine people's mouths as
10 much as internists do, but I just encourage you to look
11 at teeth. You'll see very discolored teeth. That is
12 very physical evidence that mercury doesn't stay
13 encapsulated once it is put into a person's mouth.

14 In that packet I've given you, in addition
15 to 25 letters, 26 letters, one man was so impressed
16 with his improvement he wrote a letter two years ago,
17 and we wrote a follow-up for you for this meeting. The
18 other thing that is there is I prepared a list of
19 symptoms that have improved with the removal of
20 amalgams. This certainly is not an inclusive list, and
21 in fact, as I listened to some of the testimony today,
22 I realize that there were many other symptoms I could
23 have put on this list.

24 This list I put together by reviewing the
25 letters that the patients wrote, as well as remembering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of the more dramatic cases that I treated in my
2 own practice. I would like to say that on this four-
3 page list of approximately 108 dysfunctions, disorders
4 or diseases, 33 percent of those are neurological.

5 I would also say that every symptom,
6 disease or disorder or dysfunction on the list improved
7 in one of the 84 patients that I treated.

8 I would also say that not all patients get
9 better. Approximately three and a half percent of my
10 84 patients did not notice an immediate change or
11 improvement in the disease I thought they might be
12 mercury toxic with.

13 CO-CHAIRMAN KIEBURTZ: One minute.

14 DR. PAINTER: And some patients die. They
15 are so sick by the time they get to me that they die.

16 In closing, I would like to say that Philip
17 Semmelweis was a very respectable physician who
18 discovered many years before his time that hand washing
19 would save women from dying of puerperal fever, and
20 hand washing took many decades to come into practice in
21 Austria. So historically just became amalgam has been
22 used for 150 years, that certainly does not have
23 anything to do with safety.

24 I would like to say that the Lord has
25 established your authority. In addition to your being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chosen however you were chosen to serve on B

2 CO-CHAIRMAN KIEBURTZ: Thanks very much for
3 your testimony.

4 (Applause.)

5 CO-CHAIRMAN KIEBURTZ: Dr. King.

6 DR. KING: Can you restart this for me,
7 please?

8 Thank you.

9 My name is William Raymond King, III, DMD.

10 I'm a 30 year American Dental Association member. I
11 have no financial conflicts with any amalgam products.

12 I myself paid for my wife and I to fly here yesterday
13 and to stay here and return.

14 First, I want to thank my God in heaven
15 above for saving my life so that I could come here and
16 testify today. You see, I'm really not supposed to be
17 here. I should have died a year ago and almost did.

18 One year and one month ago my aortic
19 aneurism blew out in my doctor=s face as he started an
20 operation to replace the leaky, defective aortic valve.

21 Most people never make it. I have to praise God that
22 I did.

23 Now I find out that there was a paper that
24 was published in >76, 1976, the year I graduated from
25 dental school, that showed a connection between chronic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 low level inhalation of mercury vapor and dissecting
2 aneurism of the aorta due to enzymatic damage. How
3 nice. I just spent the last 30 years of my life
4 killing myself to do my job, removing and replacing
5 old, worn out, leaky mercury fillings.

6 Call me the loyal opposition. Neither the
7 FDA nor the ADA warned me that I should have been
8 wearing this NIOSH approved mask that they just took
9 away from me so that I could show you what NIOSH
10 requires miners, mercury miners to wear when they=re
11 digging cinnabar ore out of the ground. Thank you all
12 for taking that away from me.

13 Anyway, they do have a NIOSH approved
14 respirator.

15 Do you not realize that all dentists who do
16 remove mercury fillings are really mercury miners also?

17 They use air driven jackhammers to get cinnabar ore
18 out of the ground. We use air driven hand pieces and
19 drills to get worn out mercury fillings out of people=s
20 heads. We create extremely toxic aerosols in the
21 process, and then we, the staff, and the patient
22 breathe it all in as if we are invincible. Not so.

23 Today any dentist who is not protecting
24 himself or herself at work with the mask that I=m not
25 allowed to show you is either as ignorant of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dangers and as trusting of the authorities as I was for
2 so many years or perhaps they are already insane, which
3 is another side effect of mercury poisoning, and
4 perhaps with a death wish.

5 Fifteen years ago on March the 15th, 1991,
6 I addressed this same FDA Products Advisory Panel. I
7 presented my data proving that many of my patients were
8 being exposed and thus poisoned by extremely high
9 levels of mercury vapor from their mercury fillings,
10 and that college kids who had never had a mercury
11 filling had no mercury vapor in their mouths.

12 Here is my data again. I will pass it out.

13 I hope they give it to you. Maybe someone is
14 listening this time. I sure hope so.

15 There is a chart I have over here that
16 shows scientifically the organs and systems that are
17 harmed by mercury from dental amalgams, silver mercury
18 fillings, and just so you will know so that you can
19 never say again, AWell, we haven=t seen any science,@
20 the bibliography of all of that is on the back. Enjoy
21 it. Study it well. You'll be amazed.

22 Contrary to Dr. Mackert=s disparaging
23 remarks, the drum instruments are calibrated and they
24 are accurate. They only measure mercury. They=re not
25 fooled by garlic. They are so accurate they=re on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 space shuttle and nuclear submarines, and they sample
2 for ten seconds, not the 20 he incorrectly so stated,
3 and they also are the most accurate portable devices
4 for measuring mercury vapor on earth.

5 But what is safe? Okay. What is safe? To
6 know safety, you have to know two things. You have to
7 know how much is coming off of the fillings, and you
8 have to know how much is too much.

9 The packaging for a filling -- this is an
10 old one here. It's called Tytin -- has on it a warning
11 that says Acontains metallic mercury, poison,@ skull
12 and crossbones.

13 It also says ingestion may cause
14 neurotoxic, nephrotoxic effects. Keep out of the reach
15 of children. I reckon would that include having them
16 lick it with their tongue? There are plenty of
17 materials, and I have used them for years in pediatric
18 patients, for replacing amalgams. So, no, there is no
19 reason to have to use an amalgam on a child and nor
20 should you, and I sure hope you inform the parents
21 first.

22 I have also here two photographs of mercury
23 vapor readings. One is over fresh dental amalgam, and
24 it reads -- we don't really know because it was equal
25 to or greater than 2,000 micrograms per cubic meter of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 air, 2,000 micrograms. This is a fresh mixed batch of
2 mercury that we, all dentists, put in people=s mouths,
3 but we don=t have machines which they took the other
4 machine away from me, the mercury vapor analyzer. I
5 could mix this for you and show you.

6 CO-CHAIRMAN KIEBURTZ: One minute.

7 DR. KING: The other one is air over old
8 latex paint. One of my assistants painted her house.
9 I gave her a latex paint, old place, and so she did.
10 She came in with headaches, and so I said, AWell, bring
11 in the paint can. I'll check it.@ We sniffed it.
12 Sure enough, 920 micrograms of mercury in the air over
13 old latex paint, which is why the EPA took
14 phenylmercuric acetate out of latex paint about 15
15 years ago.

16 If the EPA can take mercury and cause it
17 not to be used in latex paint for this reason, then why
18 in the world shouldn't the Food and Drug Administration
19 ban mercury fillings for having 2,000 times more
20 concentration than the 300 parts C that was in the
21 latex paint and twice the mercury vapor concentration?

22 It pegged the meter. We do not know.
23 Maybe it was 10,000, but it was at least 2,000.

24 CO-CHAIRMAN KIEBURTZ: Sorry to interrupt
25 you. Thank you for your testimony.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Applause.)

2 CO-CHAIRMAN KIEBURTZ: The next speaker is
3 Mr. Wehrle. Thank you.

4 MR. WEHRLE: Ladies and gentlemen,
5 afternoon. My name is Johann Wehrle, and I sometimes
6 work as a law clerk for Consumers for Dental Choice.

7 I'm not here as a regulator, a
8 manufacturer, an environmentalist concerned with
9 mercury pollution, or a victim of mercury poisoning.
10 Instead I am here to understand how dangerous medical
11 devices continue to find their way into the lives,
12 homes, and bodies of people I love. This continues to
13 happen despite hearings like these, where science based
14 policy is supposed to find its basis.

15 Somewhere between the submittal of the
16 510(k) or an alarming number of consumer complaints or
17 the recognition that a substance might not be generally
18 recognized as safe or petitions from concerned consumer
19 advocacy groups and the eventual FDA response or lack
20 thereof, the process often corrupts. Pedicle screws,
21 Accutane, silicone implants, Plan B, Vioxx, and, yes,
22 encapsulated mercury in amalgam alloy come to mind.

23 The amalgam debate is over 100 years old, a
24 sure testament to the unease people feel about the
25 device. I won't rehash the arguments or talk about how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bad mercury is. We are all familiar with them, and we
2 know mercury kills.

3 No, my being here is just to make sure
4 everyone is on the same page about one thing. Filling
5 cavities with silver fillings is the only instance
6 where a mercury containing device is intentionally
7 implanted into the human body, and this is regardless
8 of whether a particular human body is small, swollen
9 with child, or more sensitive to mercury than luckier
10 bodies.

11 Because amalgam is an implant by law, the
12 federal Food, Drug and Cosmetic Act places the burden
13 on this panel or at least half of this panel to explain
14 to the Commissioner why amalgam shouldn't be subject to
15 pre-market approval. This was never done, not in 1994
16 when the Dental Products Panel recommended that amalgam
17 be accompanied with tepid safety controls and not
18 since.

19 Instead, to insure that amalgam is safe and
20 effective for its intended use as a filling material,
21 FDA in its proposed rule to classify amalgam recommends
22 that amalgam labeling material list ingredients and
23 that there be labeling instructions against the use of
24 amalgam in hypersensitive populations.

25 The problem is patients will never get to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see the ingredient list, and the very populations that
2 even the manufacturers warn against using amalgam,
3 pregnant women, children and folks with renal failure,
4 the FDA does not include.

5 FDA has done some real good. They have.
6 Food processing is a far cry from the Chicago
7 slaughterhouse practices that gave rise to the pure
8 food laws. FDA tests devices for both safety and
9 efficacy. Other countries don=t do that. FDA even
10 tried to regulate cigarettes as nicotine delivery
11 medical devices in the >90s. The problem is with
12 silver fillings FDA has no gold standard.

13 Austria, New Zealand, Germany, Canada,
14 Norway, Finland, Australia, Denmark, Sweden, and the
15 United Kingdom have recommended some form of real
16 restriction on the placement of amalgam, and I can only
17 speak English. So this list is probably short.

18 These restrictions range from
19 contraindications to informed consent regimes, to
20 eventual phase-outs. Manufacturers recommend against
21 placement in pregnant women, among others. You would
22 think that FDA would pay heed.

23 FDA considers a variety of factors when
24 determining whether informed consent is warranted,
25 including whether there are viable alternatives or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether there is, and I quote, substantial public or
2 professional controversy. I mean, placing amalgam as
3 been controversial ever since the first ADA dentists
4 were called quacks for using mercury in dental care.
5 And other countries are at least discussing phase-outs
6 for environmental reasons, as we saw this morning, and
7 because there are good alternative filling options.

8 So why are we still allowing the wholesale
9 placement of mercury amalgam in everyone without
10 meeting core restrictions and until today, without
11 meaningful debate?

12 One more thing. I'd like to point out a
13 problem with Ms. Rosecrans' explanation of 510(k)
14 approvals for encapsulated mercury in amalgam alloy
15 that she gave this morning. You see, she told us that
16 this morning that new amalgam products are
17 substantially equivalent to amalgam alloy powder, which
18 is classified in the 1980s.

19 However, amalgam alloy is not defined in
20 Section 872.3050 as containing mercury, yet new
21 amalgam devices do contain mercury. So how is it that
22 new amalgam devices like silver fill, which is 26
23 percent mercury and was just approved by FDA in late
24 2005, how are devices like those substantially
25 equivalent to non-mercury containing devices, amalgam

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alloy?

2 Vetted against Section 360(i) of the
3 Federal Food, Drug, and Cosmetic Act, how can a device
4 like silver fill be considered to have, and I quote,
5 the same technological characteristics as a non-
6 mercury containing alloy@?

7 Indeed, reading Subsection 1(a), how can
8 mercury amalgam, and I quote again, not raise
9 different questions of safety and effectiveness than
10 non-mercury containing alloy@? The substantial
11 equivalence determinations are a complete sham at
12 worst, and incompetence at best.

13 Thank you.

14 (Applause.)

15 CO-CHAIRMAN KIEBURTZ: Thank you, Mr.
16 Wehrle.

17 Ms. Gallagher.

18 MS. GALLAGHER: Hi. I'm Kelly Gallagher.

19 CO-CHAIRMAN KIEBURTZ: Please turn on the
20 microphone for us. Thank you.

21 MS. GALLAGHER: Hi. I'm Kelly Gallagher,
22 and thank you for having this hearing.

23 I'm a five time cancer survivor, and a
24 documented film maker, and I have been around the
25 world on this issue for the last five years. My

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interest is in the truth.

2 I have been sponsored for this segment of
3 my journey by the International Academy of Oral
4 Medicine and Toxicology. I can assure you I would
5 have gotten here come hell or high drama anyway.

6 I have been the recipient of many life
7 saving medical devices, 13 catheters, four Hickman
8 phoresis, 67 blood transfusions, 14 months of chemo, a
9 stem cell transplant, and most recently four pacemaker
10 operations last September. So I do thank the FDA for
11 your work and especially Patty Delaney from the Cancer
12 Liaison Division.

13 However, I have also had 17 mercury
14 fillings, and I can't help but wonder if my mercury
15 fillings, also apparently known as dental devices,
16 could have had something to do with my diagnosis of
17 Hodgkin=s at 20. Mercury inhibits the immune system.

18 I wish I could play a bunch of video, but
19 I have a little bit. I would like to get this on the
20 record. We have five segments.

21 (Whereupon, a video was played.)

22 MR. BURTON: Now, does the FDA take a
23 position on the amount of mercury that accumulates in
24 the brain and whether or not it is easily excreted
25 from the brain?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FIEGAL: The toxicology of chronic
2 implants, like alloy, are looked at through a series
3 of series -- series of standards that evaluate
4 biomaterials, and most of the evidence that we
5 evaluate biomaterials with come from animal data and
6 come from special exposure studies in animals.

7 DR. LACEY: What are not valid scientific
8 evidence on this question? They=re interesting, but
9 animal studies don=t count.

10 DR. LORSHEIDER: I have two comments with
11 regard to the address by Dr. Lacey. I was intrigued
12 by your slide that stated that animal studies don=t
13 count. A former dean of our medical school when he
14 introduced the new entering class each year of our
15 medical school, he would remind them that our medical
16 school had admitted 72 of them, but that our medical
17 school had admitted 35,000 animals that same year.

18 The point that he was trying to make to
19 our students is that well over 95 percent of all of
20 our knowledge base that we have in medicine, I can=t
21 speak for dentistry, but in medicine comes almost
22 exclusively from animal research.

23 And the second point that I would like to
24 make with regard to Dr. Lacey=s address, I can=t
25 comment on most of the papers that he raised, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one by Drash I would just like to read from the paper
2 here to correct a misstatement on one of his slides.
3 Drash says, AIn no case was an occupational exposure
4 to mercury of the parents or an extreme fish
5 consumption of the mother or the child reported.@ So
6 in fact, they did got to some lengths to survey those
7 patients.

8 I cannot speak for the other slides, but
9 I=m astounded by the statement that animal research
10 does not count. That=s one thing I felt I had to
11 defend because that=s the fundamental B

12 (Applause.)

13 DR. LACEY: I don=t want to repeat myself,
14 and I won=t. If you review the tapes and whatever, I
15 think it is very clear I did not say animal research
16 did not count for anything. I made it very clear what
17 it counts for. It does not count for establishing
18 cause and effect relationships between dental amalgam
19 in humans. Human research doesn't count for animals.
20 Animal research counts for animals in terms of
21 establishing cause and effect. It is critically
22 important, it is terribly valuable, we couldn't live
23 without animal research. It gives us our starting
24 point. It gives us information that may be useful
25 when we don=t have or can=t have human studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But when we're looking for facts and
2 evidence related to humans, I want human studies.

3 DR. LORSHEIDER: If I could make just one
4 slight rejoinder here, we're not really in an
5 argument, I hope.

6 CO-CHAIRMAN KIEBURTZ: No, that's okay.
7 We're well within three minutes.

8 DR. LORSHEIDER: If you in dentistry were
9 to introduce, and I realize this is hypothetical, but
10 if you were to introduce amalgam as a new tooth
11 restorative material in this day and age, forget about
12 Phase 1, 2, and 3 clinical trials. You would never
13 get it past the first stage of animal testing.

14 (Applause.)

15 DR. LORSHEIDER: So I think animal studies
16 would be important then.

17 DR. LACEY: But are not valid scientific
18 evidence on this question. They're interesting, but
19 animal studies don't count. Testimonials of recovery
20 from illness don't count. Anecdotes, untested
21 speculations or beliefs do not meet the aforementioned
22 test of validity, don't count.

23 CO-CHAIRMAN KIEBURTZ: Ms. Gallagher.

24 DR. LACEY: Religious beliefs don't count
25 when it comes to B

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. GALLAGHER: I would just like to say,
2 and this is very respectfully, I have been all over
3 the place, and everybody keeps contradicting one
4 another, and you know, I'm looking for a hero for the
5 end of our film. We want to focus on solutions.
6 There has been a lot of people that think they're
7 sick. There's been a lot of data on it. There's been
8 a lot of scientists who have done research studies. I
9 am buried by them. So I don't know why the American
10 Dental Association keeps getting up here and saying
11 that there is no studies.

12 They're a trade organization formed to
13 protect their trade, and they're doing their job.
14 They're protecting their trade, but they're not
15 protecting the health of the American people, and I
16 think that many people think they are a health
17 organization.

18 So we are looking for a hero, a government
19 agency that is a hero. We hope that it is the FDA,
20 and thank you very much for your time.

21 (Applause.)

22 CO-CHAIRMAN KIEBURTZ: Thank you for your
23 presentation.

24 Dr. Grant. Oh, sorry. Very good.

25 DR. FLETCHER: I'm substituting. My name

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is Dr. Nathan Fletcher. I am Vice President of the
2 National Dental Association, and I am here
3 representing that organization.

4 Dental amalgam has been used as a
5 restorative material in dentistry for over 150 years.

6 The Food and Drug Administration stated that there is
7 more significant human experience with dental amalgam
8 than any other restorative material.

9 The National Dental Association supports
10 the findings of the FDA, the National Institutions of
11 Dental and Craniofacial Research, the National
12 Institutions of Health Technology Assessment
13 Conference, the U.S. Public Health Service, and the
14 World Health Organization that dental amalgam is a
15 safe and effective restorative material.

16 The National Dental Association supports
17 the efforts of the National Institutes of Health,
18 Center for Disease Control, and the Food and Drug
19 Administration to continue to study the safety of
20 dental amalgam and to develop new alternative
21 materials that will prove to be as safe and effective
22 as dental amalgam.

23 That concludes my representation of the
24 NDA. I stand before you now as a 20 year practitioner
25 of the field of dentistry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I graduated from my undergraduate
2 institution, Morgan State University, cum laude with a
3 Bachelor's degree in chemistry. I graduated tenth in
4 my dental class and scientific research I did for five
5 years with Ford Motor Company before I went into the
6 field of dentistry.

7 I say all of that to give you my
8 background as an individual standing before you with a
9 scientific background.

10 I personally am for informed consent, but
11 with my scientific background I have to be able to
12 blend science with practicality when it comes to
13 treating my patients. Certainly after giving the
14 informed consent and as the representative stated, in
15 urban centers, one, I happen to practice in, many of
16 the young children that we deal with deal with
17 Medicaid and medical assistance.

18 And when it comes to cost effectiveness,
19 providing the informed consent to a parent with a
20 hurting two or three or four year old child who can't
21 sleep at night, who can't eat, can't study at school,
22 when I ask them what is their choice after informed
23 consent, inevitably the question is, which one does
24 Medicaid cover?

25 That goes into another debate that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 panel should not have to deal with, but I would say
2 that your decision upon whether and how you deal with
3 dental amalgam certainly affects that debate in the
4 broader world, certainly in the world that I practice
5 in.

6 When it comes to technique sensitivity,
7 you have had testimony that after 25 or 35 years that
8 placing some other material makes you a better
9 dentist. That's very far from the truth. When you
10 have a young child who won't keep their head still and
11 you can't isolate the tooth and they're screaming, I
12 can tell you that it doesn't make you a good dentist
13 just to be able to put a filling in there. It makes
14 you a good patient manager if you're successful. Let
15 me emphasize if you are successful because if you are
16 not successful in placing it, you have caused more
17 harm, certainly more harm than would be done if you
18 placed a dental amalgam in that same situation.

19 The representative made the comment that
20 dentists when asked are there other materials,
21 certainly in my opinion every dentist should say that
22 there are other materials, but the real question that
23 should be asked is, are they going to be as effective?

24 In the scenario that I just presented to
25 you with that young screaming child, I can tell you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from my own experience it will not be as effective.

2 I can also tell you that you've heard some
3 testimony from an individual who said that you have to
4 remove 75 percent of the tooth to place an amalgam.
5 I'm a practicing dentist. I can tell you that any
6 tooth that has 75 percent of it that you would need to
7 remove, you wouldn't be removing it. You'd be
8 removing the tooth.

9 So I don't want you to be misled by the
10 things that are presented to you. No amalgam has ever
11 caused the pain that we're talking about in a child's
12 tooth. No amalgam has ever had the situation that it
13 in itself caused an individual to need a root canal.
14 It in itself has never caused an individual to need a
15 crown. So I do not want you to be misled. I need you
16 to understand from a practical sense, from an
17 individual who practices dentistry, to provide for you
18 pertinent information from personal experience.

19 When we have an incident, one in whatever
20 the factor is, we understand that for that one
21 individual who has had a negative response or a
22 negative situation, in this particular case since
23 we're talking about dental amalgam, we know that for
24 that one individual it's 100 percent.

25 But if we take the statistics of one in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 100,000, we have 99,999 who have received some benefit
2 from it. Certainly I sympathize with those
3 individuals who have had these types of negative
4 situations, negative responses, but I also have to
5 sympathizes with that young child who can't sleep,
6 can't eat, can't study, and the best technique to use
7 on that child is a dental amalgam. When it comes down
8 to benefit versus harm, what are my choices?

9 CO-CHAIRMAN KIEBURTZ: One minute.

10 DR. FLETCHER: Do I leave? Do I attempt
11 to place some other alternate material or do I place
12 that amalgam to try to help that child based on their
13 circumstances?

14 In closing, please keep those thoughts in
15 mind. Those are the questions that you haven't heard.
16 You should not be removing amalgam from the
17 armamentarium of the actual practicing dentist.

18 Thank you.

19 CO-CHAIRMAN KIEBURTZ: Thank you, Dr.
20 Fletcher.

21 Mr. Zimmerman.

22 MR. ZIMMERMAN: Hi. My name is Clinton
23 Zimmerman, and I have no financial interests.
24 However, I have a potential conflict of interest. My
25 dad works for the Food and Drug Administration as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 senior regulatory chemist.

2 Mr. Chairman, I cannot help but note that
3 you state on the FDA Website that this committee will
4 be studying dental materials which include dental
5 alloys. I hope this is an error because this
6 committee and the dentists who compose the majority of
7 the committee, metallurgists and engineers on it
8 surely know by now that amalgam is no alloy, but an
9 unstable mixture with a vapor pressure.

10 Contrary to the statements by the ADA
11 spokesperson, amalgam is the number one undisputed
12 source of elemental and, in fact, methyl mercury in
13 all humans. The fact that the amalgam is the number
14 one source of elemental mercury has been published in
15 the New England Journal of Medicine.

16 Can you hear me if I -- okay.

17 As I said, my name is Clinton Zimmerman,
18 and I was poisoned by amalgam. I live in
19 Gaithersburg, Maryland, and I have lived here since
20 1975, as well as California. During the '80s and '90s
21 I was poisoned badly by a filling installed by a
22 dental practice formerly located just down the street.

23 That practice ended badly with the partner who placed
24 my filling being locked out of the office by the
25 business owner or the controlling dental partner. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whole affair is currently in litigation in Maryland
2 circuit court.

3 You might also like to know that another
4 lady in Germantown was poisoned by an amalgam that I
5 know of. I learned of her when signing up for a sauna
6 to detoxify. Unfortunately her amalgam poisoning was
7 so severe she got worse after the sauna treatments and
8 had to quit I hear.

9 I have sat back and watched the drama
10 unfold in the amalgam issue for a while now and been
11 flabbergasted and deeply disturbed by what I've seen,
12 perhaps because of my scientific training. I hold an
13 electric engineering degree, a minor in physics, and
14 was working on my Ph.D. in physics when I was
15 poisoned. I am also an engineer by profession, and
16 the proximity of Consumers for Dental Choice, I am in
17 a unique position to understand the anti-amalgam side
18 of the issue.

19 Certainly, the ADA in testimony before
20 this committee has provided no proof of safety. Yet
21 this has not stopped them from espousing the safety of
22 amalgam. Do they care to actually cite any studies
23 while making grand pronouncements of safety?

24 Most troubling though is the
25 mischaracterization of studies designed to detect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 average exposure, which by the implications made by
2 the ADA supposedly include determination of toxic
3 effects for those most exposed, that is, the
4 population most at risk from amalgam.

5 This kind of testimony can rightfully be
6 construed as designed to mislead the public and the
7 press who hold simplified notions of the complex
8 variations in construction and variations in
9 properties which amalgam can take and depict all
10 amalgams as identical in formulation and Hg release.

11 All amalgams are not the same, and all
12 exposure levels are not identical. Indeed, the World
13 Health Organization has stated that no study exists
14 with the statistical power to determine Hg exposure
15 and effect in the top ten percent of amalgam wearers
16 most exposed to Hg from dental amalgam, this from
17 their expert scientist Max Berlin, and manufacturers
18 themselves warn or have warned of the wide variety in
19 placement and oral conditions which can lead to
20 variability in the physical and chemical properties of
21 amalgam.

22 No study done or any study cited by the
23 ADA are capable of measuring chronic Hg burden in the
24 one percent most exposed. They barely have any
25 studies done with over 500 participants, and all of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their measurements use faulty urine or blood testing
2 methods as I will explain below.

3 The citations of five micrograms per day
4 by the ADA spokespeople are just ludicrous. Studies
5 such as the Tubigon study, the largest amalgam trial
6 ever conducted, and authorities such as the NIOM, a
7 Scandinavian dental regulatory organization, clearly
8 document, measured in theoretical exposure of
9 elemental Hg for amalgam not a couple of times normal,
10 not a dozen times normal, not 100 times normal but
11 several hundred times normal.

12 For example, in an almost unknown letter
13 from the Scandinavian Institute of Dental Materials,
14 NIOM, the intake of metals from copper amalgam was
15 estimated. It is concluded the intake of elemental
16 mercury in a worst case scenario can be 350 times that
17 from food. This is due to variations in amalgam
18 construction and oral conditions, as well as the
19 introduction of copper in the newer amalgams and as
20 well documented in numerous scientific studies.

21 The Tubigan study also mentioned rare
22 individuals with daily uptake exceeding 750 micrograms
23 per day. This was verified by repeat analysis in
24 those cases, and overall the result of the Tubigan
25 study were in line with previous scientific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 publications.

2 The reality is that an upper limit cannot
3 be set to exposure. This is due to the grade
4 variation in the final reaction of amalgam product
5 whose chemical stability is determined by many
6 factors, such as quality of conversation, percent of
7 mercury added by the dentist to the mix, as well as
8 the great variability encountered in oral conditions,
9 which can include substantial corrosion due to factors
10 such as galvanism, crevice corrosion, variability in
11 saliva pH, individual immune reaction with amalgam and
12 so on.

13 Phase-in stability and spontaneous mercury
14 droplet formation on the surface of the newer copper
15 non-gamma IIs, the new stuff which I got in the '80s,
16 is also a disturbing phenomenon found in some
17 amalgams, which is a very real phenomenon. See, for
18 example, "Pleva, J - Mercury, a Public Health Hazard,"
19 Reviews in Environmental Health, 1994.

20 CO-CHAIRMAN KIEBURTZ: One minute.

21 MR. ZIMMERMAN: But boosting these
22 underlying toxic potentials of amalgam is another even
23 more important and sinister factor. Methylation of Hg
24 amalgam by surface bacteria on the amalgam such as S
25 mutans. In fact, some amalgam victims have reported

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam surfaces with bacterial interaction to be
2 liquified. The ADA is blatantly lying when it says
3 that mercury for amalgam cannot be converted to methyl
4 Hg.

5 While the basic phenomenon of methylation
6 is real and has been documented in more than one
7 scientific paper, see, for example, AMethyl mercury in
8 dental amalgams in the human mouth@ Journal of
9 Nutritional Environmental Medicine, 1996, and is even
10 warned against in manufacture data sheets discretely
11 as surface electrical-chemical reactions. This key
12 phenomenon is purposely ignored and for all intents
13 and purposes not studied by established dental
14 authorities.

15 And this is the key to what I believe my
16 toxicity is and what you must address as a committee.

17 So let me just leave it there.

18 CO-CHAIRMAN KIEBURTZ: Thank you very
19 much.

20 (Applause.)

21 CO-CHAIRMAN KIEBURTZ: Dr. London.

22 DR. LONDON: Yes, thank you for permitting
23 me this opportunity to address this joint committee as
24 you discuss peer-reviewed scientific literature on
25 encapsulated amalgam consisting of dental mercury and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam alloys, and review the scientific literature o
2 n its potential mercury toxicity, specifically as it
3 relates to neurotoxic effects.

4 My name is Steve London. I'm the
5 Associate Dean for Research in basic sciences at the
6 College of Dental Medicine in the Medical University
7 of South Carolina in Charleston, and I'm here today
8 representing the American Dental Education Association
9 and also the American Association for Dental Research,
10 and I happen to be the 2006-2007 Sunstar Butler ADEA,
11 which is American Dental Education Association, Harry
12 W. Bruce, Jr. Legislative Fellow, and that is a paid
13 fellowship, and I'm here in that regard.

14 First I'd like to tell you that the
15 mission of the American Dental Education Association
16 is to lead individuals and institutions of the dental
17 education community to address contemporary issues
18 influencing education research and the delivery of
19 oral health care for the improvement of the health of
20 the public. So we represent all of the dental schools
21 of the United States, as well as specialty training
22 programs and hygiene programs as well.

23 And the mission of the American
24 Association for Dental Research is to increase
25 knowledge for the improvement of oral health to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 support and represent the oral health research
2 community and to facilitate the communication and
3 application of these research findings.

4 So dental amalgam has long been an
5 important and essential restorative option that
6 academic dental institutions have incorporated into
7 their educational and service missions. New
8 practitioners need to know how to safely place dental
9 amalgam restorations since for some patients they are
10 the most appropriate and cost-effective treatment
11 option available to them.

12 If this Joint Committee were to reverse
13 its longstanding support for dental amalgam, it would
14 adversely impact the ability of our member dental
15 schools' institutions to train practitioners in the
16 use of this restorative material and, most
17 importantly, to provide safe, cost effective treatment
18 for our patients, which you've heard in various
19 testimonies from various dental practitioners in the
20 community. They've testified that dental amalgam
21 restorations are really an important part of the
22 overall ability to restore dental decay.

23 So any decision about the use of a amalgam
24 as a restorative material should be based on sound
25 science and empirical evidence-based research, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're pleased that the National Institute of Dental
2 and Craniofacial Research has supported two clinical
3 studies that were recently reported in April 2006 in
4 the Journal of the American Medical Association
5 involving a study of amalgam placement in children,
6 and both studies independently reached the same
7 conclusion. Children whose cavities were filled with
8 dental amalgam had no adverse health effect. The
9 findings included no detectable loss of intelligence,
10 memory, coordination, concentration, nerve conduction
11 or kidney function during the five to seven years the
12 children were followed in that study.

13 And I know you have those studies for your
14 consideration.

15 As dental researchers and dental educators
16 we will continue to investigate dental amalgam and
17 other restorative materials. As improvements are
18 made, the use of dental amalgam will likely continue
19 to lessen over time, but we still believe that it has
20 a valuable place in the treatment of patients at this
21 time.

22 Your white paper which is based on the
23 best available science corroborates the AADR, that is,
24 a dental research association's official policy
25 position on dental amalgam which was first written in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1996 and revised in 2004, and I think I have the time.

2 I'm going to read what's two paragraphs, what the
3 American Association of Dental Research says about
4 dental amalgam.

5 "Dental amalgam has a well documented
6 history of safety and efficiency, efficacy in
7 dentistry. Its advantages include ease of handling,
8 durability, and relative low cost. Dental amalgam has
9 numerous indications for use, especially for
10 restorations in stress bearing areas. That's
11 important in the posterior teeth. Its main
12 disadvantage are poor aesthetics and the necessity for
13 removal of sound tooth structures in order to provide
14 retention for the amalgam filling. Its use in
15 restorative procedures is still indicated.

16 And scientific evidence indicates that
17 currently used restorative materials, including dental
18 amalgam caused no or very few significant side
19 effects. Extremely small amounts of mercury may
20 escape from an amalgam restoration during normal use,
21 but this minute mercury exposure does not cause
22 verifiable adverse effects on the general health of
23 patients or the dental health personnel.

24 Local allergic or other inflammatory
25 actions are rare side effects of dental amalgam. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 AADR endorses the use of -- this is important -- best
2 management practices for the use of amalgam
3 restorations in dental offices.@

4 And just on a personal note, it has been
5 25 years since I graduated dental school, and we
6 handle amalgam much more safely than we did 25 years
7 ago. The amalgam in mercury is provided in a capsule
8 that's safer to handle. It reduces the risk of
9 amalgam exposure, and less and less amalgam fillings,
10 as we've heard this morning, are being placed.

11 So that concludes my testimony. If there
12 are any questions I'd be pleased to answer them, and
13 it's still green. So I did good.

14 CO-CHAIRMAN KIEBURTZ: Thank you for your
15 testimony. I don't see any questions. Thank you.

16 Ms. Taylor.

17 MS. TAYLOR: Good afternoon. Thank you
18 very much for holding these hearings.

19 I'm Sue Ann Taylor. I am with the
20 Consumer Choice in Dental Care Project. I paid for my
21 own air fare to get here.

22 I'm here because I was one of the founders
23 of the Consumer Choice in Dental Care Project. I'm
24 going to try to tell you the story. It doesn't come
25 easily.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My own situation could be ditto, ditto,
2 ditto to about 25 people who have spoken already. I'm
3 not going to put you through that, but quite honestly,
4 even though I was a medical journalist, an independent
5 medical journalist, often on the other side of the FDA
6 supporting alternative medicine and things like that,
7 but the FDA has come a long way in embracing through
8 the NIH Office for alternative medicine and things
9 like that, a greater understanding of complementary
10 care has come about.

11 That was my background. I had all of the
12 information, and I still ignored it even when the
13 symptoms hit me. It was a dentist that discovered all
14 of my problems, got me immediately to a dentist in
15 Knoxville, Tennessee. He took out all of my amalgams
16 the same day. I don't recommend that.

17 However, three days after I had all of
18 those amalgams removed, I was removed of all of my
19 symptoms. I went from sleeping 20 hours a day to, you
20 know, working a full and then some day.

21 I was going to a follow-up visit with the
22 dentist right around Christmas time, and I said, "Can
23 you please see my son when he comes home?" I'm sorry.

24 "See my son when he comes home. He has a funny thing
25 that happens with his tooth."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My son was one of three children. He is
2 one of the Superkids. He was the kid in the play, the
3 mentally gifted child, and he was a fabulous big
4 brother. He had an accident teaching his sister how
5 to run the scooter -- I'm so sorry. This is a true
6 story. He had an accident, shattered his front teeth.

7 I rushed him to the dentist like all good mothers do,
8 got him to an endodontist, and he came out and he said
9 the great news is I can save the teeth.

10 Well, as a mother I was just thrilled with
11 that news. He's 13 years old. We got him patched
12 up, got him off to school, and he goes into the ninth
13 grade. Understand this kid has never had a B, always
14 been in the absolute top of everything, and he failed
15 the ninth grade. I was like what happened. Well,
16 he's 13 so you have -- we went through every doctor
17 and psychiatrist, psychologist. I went from, you
18 know, waiting for the next basketball game to waiting
19 for the principal to call every day. He became so
20 violent. He became unpredictable. He was the best
21 big brother in the world and all of a sudden in a
22 single bash he would send one of his sisters across
23 the room.

24 We're a Quaker family. So the big threat
25 was if you don't straighten out, you're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 military academy because they said he needs structure.

2 Okay. We'll get him structure. He goes to military
3 academy. Repeats the ninth grade. This is the
4 hardest thing in the world for this kid and obviously
5 his mother.

6 He does the ninth grade again. He does
7 marginally better. Halfway through the sophomore
8 year, I moved to Georgia. I had my amalgam issue.
9 Take him to the dentist. He said, "I need to X-ray
10 those teeth. Everything you're talking about with his
11 behavior, rapid change in his behavior, would be
12 indicated if he had nickel posts that those teeth were
13 rebuilt around."

14 Well, sure enough, there were nickel posts
15 in there, and I had to make the very hard mother
16 decision to remove those teeth that day. Removed the
17 teeth. He was violently ill for three days. At the
18 end of those three days, he was his old self again.
19 So he goes back to school, and I get a call from the
20 school psychiatrist, which was not unusual. She and I
21 had a good rapport by this time, and she said, "I need
22 to know what drugs you put Michael on."

23 And I said -- oh, I'm sorry, sorry -- and
24 I said, "No drugs. We just removed the offending
25 material in the teeth, and actually the teeth went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with them."

2 She refused to believe me. Michael
3 immediately went on the Dean's list, no behavioral
4 problems whatsoever. At the end of the year, he goes
5 to the Headmaster and says, "This is what happened to
6 me. I'd like to skip the next year and graduate with
7 my regular class," and he did with honors.

8 The reason that the tears is that in that
9 period of time there were three suicide attempts, and
10 it was just a miracle that I had inadvertently
11 discovered this because he was on suicide watches. He
12 was on every kind of -- they wanted to put him on
13 every drug in the world, and I just had done so much
14 research I wouldn't let the drugs happen, but that's
15 what happened.

16 And in closing what I would like to say is
17 just examine all of the materials. And if there was
18 research money that would go out for anything, I would
19 say what we need to do is back it up one more step and
20 maybe look at testing to look at what is biocompatible
21 for anybody.

22 You know, there's no short answers in any
23 of this. You'll get 100 dentists that will say
24 mercury is no problem and 100 that will say that it's
25 a big problem. It's a very toxic material, but what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wrong with material has a lot to do with what's going
2 on with the patient, and we don't have any of those
3 answers, and it's really under-examined.

4 I thank you so much for holding these
5 hearings.

6 CO-CHAIRMAN KIEBURTZ: Thank you.

7 (Applause.)

8 CO-CHAIRMAN KIEBURTZ: Ms. Tibau.

9 MS. TIBAU: Good afternoon. My name is
10 Anita Tibau, and I'm here with Ugottawanna
11 Productions, and I'm also working for IALMT, and I
12 first would like to thank you for having this hearing
13 and allowing the public to give some very important
14 information that perhaps you at the FDA were not aware
15 of.

16 I'm going to be presenting a short clip of
17 footage that we had taken over the years.

18 Thank you.

19 (Whereupon, a video was played.)

20 DR. FEIGAL: Most of the evidence that we
21 evaluate biomaterials with come from animal data and
22 come from special exposure studies in animals, and
23 manufacturers are required to know the toxicology
24 profile of their products as part of the controls that
25 they have over their products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The risk is not assessed in terms of any
2 absolute amount or characteristic of the toxicology,
3 but in the context of the risk and benefits in
4 clinical use.

5 MR. BURTON: You were here for the
6 previous panel.

7 DR. FEIGAL: Yes.

8 MR. BURTON: And you heard some of the
9 researchers and scientists that testified that said
10 that when you chew, when you brush your teeth, when
11 you have hot coffee or a hot substance in your mouth,
12 vapors are emitted and when you chew some of it flakes
13 off over a period of time and goes into your body.

14 Has there ever been a study done on
15 cadavers, people that have had a lot of fillings in
16 their mouth to see what the mercury content is in the
17 brain that you know of?

18 DR. FEIGAL: The studies that they cited
19 are the same studies that we reviewed in our process
20 of looking at the literature, and how that relates to
21 our classification is to look at the product in actual
22 use and to look at the risk and benefit.

23 All implants, including hip implants, jaw
24 implants that are made of metal have metals that leach
25 into the body. Plastic materials have volatiles, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we assess all of those exposures. It's not a question
2 whether or not there's an exposure. The question is
3 whether there's adequate evidence that the exposure
4 causes clinical tests.

5 MR. BURTON: Well, these other substances
6 that you're talking about though, steel, plastic,
7 they're not in the same class as mercury, are they?

8 DR. FEIGAL: There are problematic
9 compounds that are in very low amounts. For example,
10 there's cadmium in the alloys of hip implants.

11 MR. BURTON: Cadmium, is that consistent
12 with mercury as far as toxicity?

13 DR. FEIGAL: Well, my point is that we --

14 MR. BURTON: No, is it? Is it as toxic as
15 mercury to the human body?

16 DR. FEIGAL: It has to be put in the
17 context of the level of exposure and what the effect
18 is and how that's offset by the benefits.

19 MR. BURTON: You mean to tell me cadmium
20 is as toxic a substance as mercury? Is that what
21 you're saying? Come on.

22 DR. FEIGAL: What I'm trying to do is put
23 it in the context of how FDA regulates products. We
24 do not assess the environment. We do not assess the
25 effect of pure compounds and absolute toxicity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BURTON: You do agree --

2 DR. FEIGAL: We look at how they're used
3 in practice.

4 MR. BURTON: You do agree though that
5 mercury vapors leach out of the tooth.

6 DR. FEIGAL: Yes, we do agree with that.

7 MR. BURTON: And that it is ingested into
8 the body in part.

9 DR. FEIGAL: Yes, we do agree with that.

10 MR. BURTON: And it gets into the blood
11 stream.

12 DR. FEIGAL: Yes.

13 MR. BURTON: And it goes to the brain.

14 DR. FEIGAL: Yes.

15 MR. BURTON: And other organs of the body.

16 DR. FEIGAL: Yes, we agree with that.

17 MR. BURTON: And mercury has a cumulative
18 effect in the brain.

19 DR. FEIGAL: That is less certain, but
20 there's literature on both sides. It's the clinical
21 impact, though that is the standard for taking action
22 on medical devices, not the toxicology, not the
23 ability to take preventive actions, but the actual
24 observed effects.

25 MR. BURTON: You know, I don't understand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Many people in this country, probably the majority,
2 don't know that there's mercury in a silver filling in
3 their mouth, an amalgam. Why is it the FDA doesn't at
4 least, since mercury vapors do escape into the mouth
5 and into the body, why doesn't the FDA at least make
6 people aware of that? Why not publicize that?

7 DR. FEIGAL: The FDA's authority on
8 information about products has to do with the labeling
9 of the products and only rarely does the FDA actually
10 directly intervene in the way that products are
11 described or presented in informed consent. That's
12 practice of medicine which the FDA is asked not to get
13 involved in.

14 MS. MUDGE: I do have a response to that.
15 There are, as was mentioned before, some European
16 countries that have decided to err on the side of
17 caution in combination with some environmental
18 concerns in those countries and the labeling has been
19 a universal labeling issue, and that because these
20 companies sell their product worldwide, they decided
21 to put all of those in the labeling.

22 I can quote from a recent FDA document
23 that was dated March 2002 that basically says that
24 they can no longer do that.

25 MR. BURTON: What about the mercury in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccines that, the Thimerosal, and that sort of thing?

2 DR. FEIGAL: I'm afraid I'll have to get
3 follow-up from someone else in the agency who can
4 comment about vaccines. It's outside of my area.

5 MR. BURTON: Well, Thimerosal contains
6 mercury that's injected into kids. You heard me talk
7 about that earlier, and I think that that's been
8 pretty well publicized, hasn't it? Hasn't the FDA
9 talked about that?

10 DR. FEIGAL: Yes, but I think if you are
11 talking about the informed consent --

12 CO-CHAIRMAN KIEBURTZ: Ms. Tibau, one
13 minute.

14 MR. BURTON: I'm not talking about
15 informed consent. I'm just talking about making
16 people aware.

17 DR. FEIGAL: I think that is -- I think
18 that's a reasonable -- I think that's a reasonable
19 request to do that.

20 MR. BURTON: Well, I would make that
21 request, that the FDA -- I don't know -- put a card or
22 something in every dentist's office saying that the
23 mercury in -- that the amalgams that you get from your
24 dentist contain approximately 50 percent mercury.
25 then let the people make the decision themselves.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think people ought to be held
2 accountable for their actions, but they at least ought
3 to know what in the hell they're doing, and they
4 don't.

5 (End of video presentation.)

6 MS. TIBAU: I'd like to thank the
7 committee once again and all of these courageous
8 people who came here in order to support more
9 information coming out about amalgam fillings, and
10 hopefully the FDA will agree with the proposed idea of
11 a ban for at least, at the minimum, pregnant women.

12 Thank you.

13 (Applause.)

14 CO-CHAIRMAN KIEBURTZ: Thanks for your
15 testimony.

16 There are three named and numbered
17 speakers who we have not heard from yet. Ms. Virginia
18 Pritchett, if you're in the vicinity, going once,
19 going twice. Okay. So may be here tomorrow.

20 Dr. Mark Morin.

21 PARTICIPANT: He's on his way here.

22 CO-CHAIRMAN KIEBURTZ: Okay. Ms. Nory
23 Oakes.

24 PARTICIPANT: She will not be here.

25 CO-CHAIRMAN KIEBURTZ: She will not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here. Okay. Great.

2 Then we'll go on to, as you know, people
3 who wish to speak who are not on the agenda could
4 speak with Ms. Williams, and we have the names of four
5 people. We will -- I think five minutes each will get
6 us out on about time.

7 So the first of those speakers is Dr. Paul
8 Connett. Are you? Thank you.

9 DR. CONNETT: Thank you.

10 I have no economic interest in mercury
11 amalgams, but a citizen did pay my air fare down from
12 northern New York.

13 I got my Ph.D. at Dartmouth. I
14 investigated the interaction of metals with biological
15 systems. I mention that because my advisor was Karen
16 Wetterhahn, who actually died from mercury poisoning.
17 She got two drops of dimethyl mercury through her
18 gloves and had scientists all over the world trying to
19 get the mercury out of her body. They did largely out
20 of her blood stream. They just couldn't get it out of
21 her brain.

22 The second issue I'd like to mention is
23 having spent 22 years on waste management, I should
24 tell you that if you put mercury amalgams into
25 someone's mouth and they are cremated, all of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury is going to go into the environment. There's
2 not one air pollution control device on any crematoria
3 that I'm aware of that captures mercury. So it's
4 destined to go into the environment, period.

5 Now, I would respectfully suggest to you
6 that there is something a little bit more important
7 than protecting the public health. I'm sure you all
8 think that is absolutely number one, but I would put
9 you today in 2006. There's a more important thing,
10 and that is protecting the public trust.

11 You have had so much devastating
12 information about a lack of scientific integrity in
13 your agencies with the pharmaceutical industry running
14 roughshod over the NIH, with the chemical industry
15 running roughshod over the EPA, with the CDC, and I
16 could go on and on and on. You have to restore the
17 public trust.

18 Now, in that connection, let me say two
19 things. It just absolutely astounds me that we have
20 had prestigious agencies in this country saying it was
21 okay to inject organic mercury into a baby's
22 bloodstream at over 100 times the level which was
23 deemed safe to eat. This is absolutely extraordinary.

24 When the people find out about that, that
25 will demolish public trust.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, this afternoon I go to Case No. 2.
2 This afternoon we've heard a whole series of people
3 from talking about prestigious agencies from around
4 the world, the WHO, the WDF, the FDA, the EPA. All of
5 these agencies apparently think that dental amalgam is
6 perfectly safe. No problems at all. The science says
7 so. All right?

8 Well, I've spent ten years on another
9 substance, and you could have saved all of that same
10 testimony and just switched two words: fluoride,
11 fluoride for mercury. We have had the same litany of
12 support from the ADA and all of these organizations
13 telling us it's perfectly safe to put fluoride in the
14 drinking water.

15 But there is a difference between fluoride
16 and mercury for me, which is I've studied the issue
17 for ten years, and I can tell you categorically the
18 science from the ADA on this subject is absolutely
19 atrocious. The science on this subject from the CDC
20 is absolutely atrocious. In fact, I would say the CDC
21 has been captured by the ADA on this issue.

22 Let me give you one example. The EPA
23 asked the NRC, the National Research Council, to
24 review the safe drinking water standard, which is
25 currently at four parts per million with fluoride at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one part per million.

2 They came back and said the standard is
3 too high. It has to be lowered. You need to do a
4 health risk assessment.

5 Immediately the ADA said this is not
6 relevant to water fluoridation at one part per
7 million, and within six days the CDC is saying this is
8 not relevant to water fluoridation. They had done no
9 health risk assessment. They didn't have time to read
10 the report. They certainly didn't have time to read
11 all of those references. That wasn't physical
12 science. That was political science. They were
13 protecting a policy.

14 One word we haven't heard today is
15 liability. A lot of what you heard from the ADA is
16 not protecting the public. It's protecting their rear
17 ends from the liability of a lawsuit which are going
18 to ensue the moment anybody indicates that there is a
19 problem here.

20 (Applause.)

21 CO-CHAIRMAN KIEBURTZ: One minute.

22 DR. CONNETT: And as far as numbers are
23 concerned, you heard about the safety factor of 30
24 that was used at some point, from the lowest
25 observable effect level. Let me tell you the safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factor that they used for fluoride. This is the EPA
2 when they established the safe drinking water
3 standard.

4 They took a limited amount of data for
5 adults and they applied the safety margin to take into
6 account the very young to the very old to the sick,
7 with people with kidney dysfunction. Do you know what
8 safety factor they used? Two, point, five.

9 Public trust, ladies and gentlemen.
10 You're running out of time to reestablish that.

11 We heard today that four micrograms of
12 mercury per milliliter of blood. Just a little bit of
13 chemistry on that. That's 10,000 trillion atoms of
14 mercury, 10,000 trillion atoms of mercury per
15 milliliter when you're talking about --

16 CO-CHAIRMAN KIEBURTZ: Dr. Connett, I'm
17 sorry to interrupt you.

18 DR. CONNETT: If I can just say one thing,
19 I've come a long way. Just one last sentence.

20 (Applause.)

21 DR. CONNETT: I hope you will listen
22 extremely carefully to Dr. Boyd Haley tomorrow. I
23 think his critique of those AMA studies is absolutely
24 solid. I think his work is solid, and it's absolutely
25 imperative in my view that you are certain that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are protecting the public here. You've got to be
2 absolutely certain.

3 You're like building a dam above a
4 village.

5 CO-CHAIRMAN KIEBURTZ: One sentence you
6 said.

7 DR. CONNETT: This is just finishing that
8 sentence. You've got to be absolutely certain, which
9 means you look at all the evidence. You look at the
10 biochemical evidence; you look at the animal evidence;
11 you look at everything, and you have a weight of
12 evidence and don't forget the Swedes. The
13 precautionary principle is what we need here.

14 Thank you.

15 CO-CHAIRMAN KIEBURTZ: Thank you.

16 (Applause.)

17 CO-CHAIRMAN KIEBURTZ: Dr. Isabella
18 DeMede, are you available? Thank you.

19 Again, five minutes. Thank you.

20 DR. DeMEDE: Thank you. I'm here
21 representing the European Commission. I'm a
22 commission official responsible for the regulation of
23 medical devices in the European Community.

24 I thank you to give me this opportunity to
25 give you a short, very short, brief overview of where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we stand at the moment.

2 I won't go back to what my Swedish
3 colleagues said about how medical devices are
4 regulated in the European Community. Dental amalgams
5 are regulated under the medical device legislation.
6 They are considered a Class 2(b) product, which means
7 that the manufacturer has to comply with essential
8 requirements which are in the European legislation.

9 And I would just repeat two sentences of
10 this essential requirements, and I think we all share
11 the same concerns. Under the intended conditions of
12 use, the medical device will not compromise the
13 health, the clinical condition and safety of patients
14 and the safety of health of users.

15 And also, any risk which may be associated
16 with their use, the medical device, should constitute
17 an acceptable risk when weighed against the benefits
18 to the patient.

19 So these are part of the essential
20 requirements medical devices have to comply with.
21 This has been reviewed by a conformity body which we
22 call the Notified Body.

23 Now, briefly I will go the situation in
24 the member states as we are informed at the European
25 level. We have been requested by the European

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Commission to review the implementation of how dental
2 amalgams are used in the different member states. The
3 feedback we have is that in all member states but one,
4 but that will be next year, dental amalgams are still
5 used.

6 The trend is that there is a decrease in
7 this use. The decrease depends on the country. If
8 you ask me to quantify this decrease, I wouldn't be
9 able to do it today.

10 Some member states as you hear today have
11 introduced specific recommendations to use alternative
12 fillings for specific patient groups. This you have
13 heard today. These are United Kingdom, Germany,
14 Austria, Denmark.

15 As far as Sweden is concerned, we have
16 been informed in June this year of their intention to
17 ban the products, to ban mercury in dental amalgam.
18 This is for environmental reasons. The reason they
19 give us is environmental. Due to our internal rules,
20 this is being reviewed now by lawyers. We have to
21 check that this doesn't infringe internal market
22 rules, and the ruling probably should be on our side
23 before the end of the year.

24 Now, as far as the European Community is
25 concerned, we in the European Commission had convened

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an expert group to review evidence regarding the use
2 of dental amalgam in 1993. I think this was quoted
3 this morning also.

4 According to this expert panel, there was
5 no reason to restrict the use of dental amalgam at
6 that time in view of scientific knowledge. As many of
7 you know, we are under increasing pressure to review
8 evidence and see whether there is a scientific ground
9 to restrict the use.

10 Basically we had a Commission
11 communication and the Community strategy on mercury,
12 and basically the communication supports restriction
13 of emission -- my English is -- emission and exposure
14 of the patients to mercury. Within that framework,
15 we, our services have been requested to review the use
16 of dental amalgam and to ask the opinion of the
17 appropriate scientific committee.

18 So there is basically this committee
19 strategy. There is also consumer groups just like
20 here who put us under pressure, but so far mostly for
21 environmental concern.

22 So the Commission will request the opinion
23 of the appropriate scientific committees which have
24 been established under committee rules on the safety
25 of use of dental amalgam and alternative fillings to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 human health.

2 If we have to make a risk management
3 decision or to make formal recommendations as some
4 member states have done, we need to review the
5 evidence on mercury and also on alternatives.

6 Now, very briefly someone this morning has
7 asked the question about the legislation on waste.
8 Although I'm not an expert in this, there is a
9 legislation on the hazardous waste in the Commission
10 and mercury has been listed as a hazardous compound.

11 Based on this legislation most member
12 states have passed legislation for the disposal of
13 mercury dental amalgam, and the Commission has been
14 requested under this committee strategy to review the
15 implementation of this legislation. So this is what I
16 can say at the moment.

17 CO-CHAIRMAN KIEBURTZ: Thank you very
18 much.

19 One question.

20 DR. AMAR: Salomon Amar.

21 Thank you.

22 You mentioned that there was a trend in
23 the reduction of amalgam placement, but you could not
24 quantify. Would you say that this trend results from
25 aesthetic concerns or perceived toxicity?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DeMEDE: I cannot say right now.

2 CO-CHAIRMAN KIEBURTZ: Thank you again.

3 Dr. Steven Marcus, are you available to
4 speak?

5 DR. MARCUS: I am here, but I would like
6 to be deferred until tomorrow due to technological
7 difficulties. I was preparing my notes for today, but
8 my battery has run down on my laptop.

9 CO-CHAIRMAN KIEBURTZ: I'm just repeating
10 for the transcriptionist your desire to switch to
11 tomorrow, but I'm looking for guidance as to whether
12 that's possible.

13 Okay. Done.

14 DR. MARCUS: Thank you.

15 CO-CHAIRMAN KIEBURTZ: Dr. Andrea
16 Brockman.

17 DR. BROCKMAN: Hi. I'm Dr. Andrea
18 Brockman. I was a practicing dentist for about 25
19 years. Prior to that I was an intensive care,
20 coronary care nurse.

21 I would like to address the FDA today on
22 terms of labeling and in terms of exposure to female
23 dentists and hygienists and dental assistants and
24 office personnel that work in the dental office.

25 When I was a nurse I had broken a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thermometer in the hospital, and that room was
2 quarantined until it was safely removed, and that
3 stuck with me that this was a toxic substance, which a
4 thermometer holds about 780 milligrams of mercury.

5 When I went to dental school four years
6 later, I started working on the dental clinic floor
7 where there were about 300 dentists mixing amalgams,
8 squeezing it, squeezing it with squeeze cloths,
9 dropping it on the floor, burning their instruments to
10 get the amalgam off, no ventilation, which did not
11 make a whole lot of sense to me if we were being
12 quarantined for one thermometer and there was the
13 equivalent of about 300 broken thermometers or more a
14 day.

15 This concerned my exposure to myself, as I
16 was pregnant at the time, and when I went to ask the
17 dental professors why we are using mercury, I was
18 quickly suppressed and said, "We don't discuss that.
19 It gets locked into the fillings. It's safe."

20 And I said, "But what about me? I'm
21 pregnant?"

22 And was just told that I should not make
23 any waves. Two months later, in my third month I had
24 a spontaneous abortion, which probably saved my life
25 because being exposed to all of that mercury and since

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it does go through the placenta, that fetus probably
2 took a lot of the mercury out of my body.

3 This did not keep me from being in and out
4 of the hospital with cardiac arrhythmias, migraine
5 headaches, panic attacks, and I was a very healthy
6 person prior to dental school.

7 When I got out of dental school, I had
8 opened up a practice with my husband, and intuitively
9 I felt that I did not want to use mercury in the
10 practice, and so I had begun using composite resins,
11 and at that time we didn't even learn about posterior
12 composites in dental school. They weren't around at
13 the time. We were just using two mixed paste to
14 paste, and I was using them in patient's mouths, and I
15 had them placed in my own mouth, which I still have
16 some today and that's 27 years later.

17 There were women that were working in my
18 office that had worked in other dental offices that
19 had infertility problems, that were suffering from
20 depression, that were having chronic migraine
21 headaches, suicidal, and I thought this was kind of
22 unusual to be in a dental office that had so many
23 women being sick, and from what I understood from my
24 colleagues, there were similar problems that were
25 going on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I was pregnant, again, and had some
2 difficulty getting pregnant though. It took me three
3 more years, and at the time I was not using and
4 placing amalgams, but I was drilling out fillings. I
5 was drilling out amalgams on the order of probably ten
6 to 30 a day.

7 I had not worn masks. I had not worn
8 gloves because this was pre-AIDS, and we did not have
9 to protect ourselves at that time, and so I worked
10 through my ninth month of pregnancy not wearing gloves
11 and mask and exposing me and my fetus.

12 I had a very difficult delivery. My
13 child, who did not have a nurse, my child in the
14 office, brought him in with me until he was eight
15 months old. He suffered from depression. He has had
16 mercury that was on a challenge test in his urine,
17 very high levels. He had suffered from hyperactivity,
18 asthma, and a number of other things.

19 Did not connect the dots. Got pregnant
20 again, was working through my ninth month of
21 pregnancy, and I was realizing at this time now that
22 maybe I should contact the American Dental Association
23 to find out what my exposure was as a female dentist
24 drilling out mercury amalgams.

25 CO-CHAIRMAN KIEBURTZ: I'm going to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to stop you there. Sorry to interrupt.

2 (Applause.)

3 CO-CHAIRMAN KIEBURTZ: Our last speaker of
4 the day, could you please identify yourself?

5 MS. PALMER: Thank you, panel.

6 My name is Karen Palmer from Pennsylvania.

7 I'll probably need to apologize in advance for
8 anybody that I may offend through what I have to say
9 this afternoon. I'll keep it very brief.

10 As you know, we were able to submit
11 letters to the gentleman from the FDA, who I thank
12 again, about situations concerning mercury, and so I
13 just have a couple of those that I submitted to share
14 with you. This one was dated July 27th.

15 I handled mercury every day for years as a
16 dental assistant until two years ago when I was
17 diagnosed with heavy metal toxicity to mercury and
18 lead. I am no stranger to neurotoxicity for I am
19 still numb on the entire left side of my body.
20 Chelation takes care of the detox, but what about the
21 overall damage as a result of being toxic?

22 What a false sense of security all of
23 those years of taking precautions with wearing a mask
24 only to learn that the vapors go right through it.
25 Much to my discredit, I now know more about mercury

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 toxicity than in all those years when I was working
2 with it.

3 Where and when were all of the continuing
4 education courses to warn us of the dangers? My
5 former boss is still in denial of all that has
6 happened to me and continues to place amalgam every
7 day. He thinks I've been misdiagnosed and still
8 haven't found the real cause to all of my problems.

9 He worships the ADA and thinks he is
10 protected from any backlash for lawsuits because he's
11 a longstanding member and they maintain it's safe
12 unless you are allergic.

13 What I have is no allergy. It is criminal
14 that they are allowed to cover up and mislead and
15 misinform. What is it going to take to wake up
16 everyone on this?

17 It is so sad that people are not given all
18 of the information in order for them to make informed
19 decisions for themselves. Mandatory informed consent
20 would help, and it is a start and some states have it,
21 but not all are on board.

22 Pennsylvania is one of the worst, lagging
23 way behind on current information.

24 As crazy as it sounds, Oprah Winfrey gets
25 quicker results and responses than the entire federal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 government daily with over 40 million viewers just in
2 this country alone. How sad.

3 What's in your mouth? Got mercury?

4 August 21st, another letter to the FDA.

5 I was diagnosed with heavy metal toxicity
6 for mercury and lead in May of '03. After a full year
7 of testing, I was told at one point that I had MS
8 because of the sensory disturbances.

9 Neurotoxicity and toxic neuropathy is no
10 allergy as the ADA would like us to believe. I gave
11 the best years of my life in a profession as a dental
12 assistant, taking all of the precautions with gloves
13 and masks and glasses only to learn that the mercury
14 vapor goes right through it.

15 Optimal ventilation is so key, or so I've
16 come to learn, not minimal which is what most offices
17 have.

18 Patients should be given the information
19 on the MSDS sheets. The doctors that still insist on
20 using amalgam silver mercury fillings because the ADA
21 still says it's safe are causing great harm to
22 themselves, staff, and patients.

23 Many months of chelation have lowered my
24 toxic levels, thank God, and I am so encouraged. All
25 of the paresthesia, neuropathy, and the chronic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fatigue I continue to endure. What is it going to
2 take for all involved to wake up?

3 Nobody wants to be held accountable for
4 all of the damage that has been done and allowed to
5 occur and continues to go on. I had silver fillings
6 in my teeth. My former employer said my levels didn't
7 come from my mouth. Afraid of backlash, he still
8 continues to place the mercury restorations.

9 Do you see and hear how huge of a crisis
10 this is at hand? People in the environment are being
11 ruined. Please make it stop starting with the ADA.
12 Enough already.

13 There are other safer materials to use,
14 but not as easy to place and not as quick and more
15 costly. The dental insurance companies --

16 CO-CHAIRMAN KIEBURTZ: One minute.

17 MS. PALMER: -- want to pay for the least
18 expensive and so the doctors are in effect letting the
19 insurance dictate treatment, which they claim to never
20 do.

21 So involving and confusing, no wonder no
22 one wants to open this can of worms, so to speak. The
23 deception that is allowed to continue is nothing short
24 of criminal. Mercury is a neurotoxin, brain poison.
25 That does not belong in any form, ethyl, methyl,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 organic, inorganic, no safe amount in the human body,
2 nor do the fish that we can no longer eat or the
3 vaccines that are supposed to protect us.

4 I could go on and on. What's next? No
5 swimming? It's in the air. It's in the water. It's
6 in the ground, while placing it directly in the mouth
7 two inches from the brain is just ludicrous beyond
8 reason.

9 Ah, the old argument. But it has been
10 used for 100 years without any problems. Buzz. Wrong
11 answer. Just because the ADA says it's so doesn't
12 make it so.

13 And who are we and who is their peer
14 review? Everyone has a boss, and that is where the
15 FDA should step in and set the high and mighty ADA
16 straight once and for all.

17 CO-CHAIRMAN KIEBURTZ: I'm going to
18 interrupt you. Thank you.

19 (Applause.)

20 CO-CHAIRMAN KIEBURTZ: I want to briefly
21 apologize to all of the speakers I had to interrupt.
22 I think by setting a time that everyone could stick to
23 makes it as fair and equitable.

24 I'd like to thank everyone for their
25 respectful communication of views which at times are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sharply divided, but it's one wonderful opportunity
2 that we have a place where we can share those opposing
3 views in a polite and respectful environment. So I
4 appreciate everyone's contribution to that.

5 I'd like to thank Drs. Mackert, Conn and
6 Philipson for their presentations earlier today,
7 particularly to Dr. Conn and Philipson for traveling
8 here to share with us their perspectives. To Dr.
9 Alderson and Canady and Ms. Rosecrans, for giving us
10 information from the FDA perspective.

11 I'd like to thank Representative Watson
12 also. There are people who traveled great distances,
13 Dr. Wilson, Ms. Kilmartin, Dr. DeMede. We appreciate
14 them particularly.

15 Everyone who had the courage to stand up
16 and tell their story, it's important for everybody to
17 hear that. So thank you for doing that.

18 At this time we will adjourn for the day.
19 We will reconvene at eight o'clock tomorrow morning
20 with the continuation of the public hearing.

21 Thank you.

22 (Whereupon, at 4:59 p.m., the meeting was
23 adjourned, to reconvene at 8:00 a.m., Thursday,
24 September 7, 2006.)

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com