

0001

1

FOOD AND DRUG ADMINISTRATION

2

CENTER FOR DRUG EVALUATION AND RESEARCH

3

4

.

5

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCES

6

7

8

9

OCTOBER 5, 2006

10

8:32 a.m.

11

CDER Advisory Committee Conference Room

12

5630 Fishers Lane

13

Rockville, Maryland

14

15

16

17

18

19

20

21

22

A P P E A R A N C E S

ACPS Members- Voting

Carol Gloff, Ph.D. (Acting Chair)

Meryl Karol, Ph.D.

Melvin Koch, Ph.D.

Kenneth Morris, Ph.D. (Recused from discussions and voting for all topics on October 5, 2007)

Cynthia Selassie, Ph.D.

Marc Swadener, Ed.D.

Jürgen Venitz, M.D., Ph.D.

ACPS Members- non Voting (Industry Representatives)

Paul Fackler, Ph.D.

Gerald Migliaccio

Special Government Employee (SGE)- Voting

Arthur Kibbe, Ph.D.

Marvin Meyer, Ph.D.

FDA Participants at the Table:

Gary Buehler, R.Ph.

Steven Kozlowski, M.D.

Moheb Nasr, Ph.D.

Keith Webber, Ph.D.

Helen Winkle

Lawrence Yu, Ph.D.

1 DR. GLOFF: Good morning. This is
2 the -- I'd like to call to order the October 5th
3 meeting of the Advisory Committee for Pharmaceutical
4 Sciences.

5 I'm Carol Gloff, with Boston University
6 and Carol Gloff and Associates, an independent
7 consultant, and I'm the acting chair today because
8 our chair, Mr., Dr. Charles Cooney could not be
9 here. He'll be back tomorrow, I believe.

10 And to get us started I'd like to go
11 around and have everyone introduce themselves, so if
12 we could start over on my right with Dr. Morris.

13 DR. MORRIS: Ken Morris, the University
14 Industrial Physical Pharmacy.

15 MR. MIGLIACCIO: Gerry Migliaccio,
16 Pfizer, representing Pharma.

17 DR. FACKLER: Paul Fackler, with Teva
18 Pharmaceuticals, representing the generic industry.

19 DR. VENITZ: Jurgen Venitz, clinical
20 pharmacologist, Virginia Commonwealth University.

21 DR. SELASSIE: Cynthia Selassie,
22 chemistry pharmacology, Clairmont, California.

0003

1 DR. MEYER: Marvin Meyer, emeritus
2 professor, University of Tennessee.

3 DR. SWADENER: Marc Swadener, retired
4 from University of Colorado in Boulder, Colorado.

5 DR. PHAN: Mimi Phan, designated Federal
6 officer.

7 DR. KOCH: Mel Koch, Director, The
8 Center for Process Analytical Chemistry at the
9 University of Washington.

10 DR. KIBBE: Art Kibbe, professor of
11 pharmaceutical sciences, Welch University.

12 DR. KAROL: Meryl Karol, professor
13 emeritus at the University of Pittsburgh.

14 DR. NASR: Moheb Nasr, Director, Office
15 of New Drug Quality Assessment, FDA.

16 MS. WINKLE: Helen Winkle, Director of
17 the Office of Pharmaceutical Science CDER, FDA.

18 DR. WEBBER: Keith Webber, Deputy
19 Director of the Office of Pharmaceutical Science,
20 CDER.

21 DR. GLOFF: Thank you. Mimi Phan,
22 Designated Federal Officer, will now read the

0004

1 conflict of interest statement.

2 DR. PHAN: The conflict interest
3 statement for the meeting of the Pharmaceutical
4 (inaudible) unlike issues as before, a committee in
5 which a particular product is discussed, issues of
6 broader applicability such as the topic of today's
7 meeting and sponsors and academic institutions.

8 The committee member have been screened
9 for their financial interests as they may apply to
10 the general topic at hand because general topic
11 impacts on many institution. It is not practical to
12 (inaudible) all potential conflicts of interest as
13 they may applies to each member.

14 In accordance with 18 USC 208(b)(3),
15 full waivers have been granted for the following
16 participants, Dr. Jurgen Venitz, Charles Cooney,
17 Melvin Koch, Carol Gloff and Marvin Meyer. Waiver
18 document are available at the FDA's dockets Website.

19 Specific instruction as to how to access
20 the Web page are available outside today's meeting
21 room at the FDA information table.

22 In addition, copies of all waivers can

0005

1 be obtained by submitting a written request to the
2 agency Freedom of Information Office, Room 12A-30 at

3 the Parklawn Building. FDA acknowledges that there
4 may be potential conflicts of interest but because
5 of the general nature of discussions before the
6 committee, these potential conflicts are mitigated.

7 With respect to FDA's invited industrial
8 representative, we would like to disclose that
9 Mr. Gerry Migliaccio and Dr. Paul Fackler are
10 participating in this meeting as a non-voting
11 industry representative.

12 Acting on behalf of the regulated
13 industry, Mr. Migliaccio's and Dr. Fackler's role on
14 this committee is to represent industry interests in
15 general and not any one particular company.
16 Mr. Migliaccio is employed by Pfizer and Dr. Fackler
17 is employed by Teva.

18 In the event that discussion is involved
19 any other products or forum not already on the
20 agenda for which FDA participants have a financial
21 interest, the participant's involvement and their
22 exclusion will be noted for the record.

0006

1 With respect to other participant, we
2 ask in the interest of fairness that they address
3 any current or previous financial involvement with

4 any firm whose product they may wish to comment
5 upon.

6 DR. GLOFF: Thank you, Mimi. And I
7 guess Ms. Winkle is our next, is our first speaker.

8 MS. WINKLE: First of all, I want to
9 thank you on the committee who participated
10 yesterday in the joint advisory committee with the
11 endomet tab -- yeah, on the Levo issue yesterday. I
12 understand it was a very successful meeting. I just
13 heard from Gary saying it went very well, so I
14 really appreciate all of you coming and
15 participating.

16 I think this is an excellent opportunity
17 for us to work with other committees and contribute
18 our pharmaceutical knowledge to making some of these
19 decisions on products, so again, thank you.

20 I also want to thank Dr. Gloff for
21 agreeing to steer the advisory committee today.
22 Dr. Cooney is unable to be here, as she said. He

0007

1 will, though, definitely be here tomorrow.

2 Over the next two days, the advisory
3 committee is going to take up a number of important
4 issues for the Office of Pharmaceutical Science.

5 These are issues that we are either revisiting from
6 previous meetings or that we're introducing for the
7 first time to the committee. And the topics that
8 we're presenting at this meeting will really provide
9 FDA with an opportunity to get the committee's input
10 on these issues and this will be critical in the, to
11 the Office of Pharmaceutical Science in implementing
12 our new assessment paradigm. And also looking at
13 unique regulatory issues that relate to specific
14 issues on categories of products.

15 The presentations that will be made will
16 also provide the committee with an indication of the
17 progress that we've been making in the 21st century
18 to modernize the regulation of the quality of
19 pharmaceuticals. And I think this is really an
20 important part and we've been talking with the
21 committee for several years now about the changes
22 that we wanted to make.

0008

1 We've gotten a lot of input from the
2 committee, a lot of recommendations from the
3 committee and today you'll get to see how those
4 regulations will get put into effect.

5 So I think it will also, besides letting

6 you look back at some of the things we've talked
7 about and how we've implemented it, it will give you
8 a glimpse of the future, too and where we're going.

9 So the main focus of the Office of
10 Pharmaceutical Science for the last few years has
11 basically been to implement the concepts of the
12 agency's pharmaceutical CGMP initiatives of the 21st
13 century.

14 Now that's not to say we don't do our
15 every day job. Now there's plenty of work,
16 applications to be reviewed, but at the same time
17 we've been working very hard to implement the
18 changes. And I want to remind you of the goals of
19 the initiative because I think as we talk about
20 issues, especially today, that these goals are
21 extremely important in understanding why certain
22 changes have been made and why they've been made in

0009

1 certain ways.

2 So just to go through the goals once
3 again, just as a reminder, I know you've probably
4 heard them 50 times, but I think again you just have
5 to remember to put them in context around today's
6 conversation.

8 we've been very focused, as I said, on developing a
9 framework for implementing quality by design. In
10 looking at, from the agency's perspective, how we
11 need to change in order to conduct a more accurate
12 scientific assessment of products before they are
13 marketed.

14 But at the same time we've been looking
15 at it from industry's perspective to determine what
16 needs to be included in an application and basically
17 to get a better understanding of how industry
18 develops and manufactures their products.

19 And I think as we talk more this morning
20 or probably more this afternoon, I want to talk
21 about how we can improve on the communication of how
22 we do this, because the industry's input is

0011

1 extremely important to us in understanding process,
2 understanding price, determining where our
3 scientific gaps are in manufacturing science.

4 So I think this is an important part of
5 what we need to be doing and I really am looking
6 forward to getting some input from the committee.

7 Although we have talked about the
8 concept of quality by design at previous advisory

9 committee meetings, today we really want to focus,
10 as I said, on the progress in the Office of
11 Pharmaceutical Science, but I want to stress that
12 this is only the beginning of our progress.

13 We're at the very beginning of looking
14 at how to implement, looking at what we need to
15 implement and looking at what that implementation is
16 going to mean to us in the long run.

17 So, we have to take that into
18 consideration as we talk about these things, is that
19 we're at the very beginning and we need to figure
20 out a strategy for moving forward.

21 We still have a lot of learn. We still
22 have a lot to incorporate into our programs, not to

0012

1 take away from what we've done so far. The various
2 offices, Office of Pharmaceutical -- the Office of
3 New Drug Assessment -- Quality Assessment, Office of
4 generic Drugs and Office of Biotech Products have
5 all done an excellent job trying to implement the
6 changes. They worked very diligently on this, but
7 again, it's only at the beginning of the
8 implementation.

9 We need to keep in mind as we move

10 forward that this is an evolving process. The first
11 part of our presentations today are going to be
12 about ICH quality topic. There's a lot of quality
13 topics. These have a lot to do with developing the
14 framework for what we're trying to do as far as
15 changes here in the agency.

16 We're going to look, today we're going
17 to update the committee on Q8, which is
18 pharmaceutical development, Q9, which is quality
19 risk management, Q10, which is pharmaceutical
20 quality systems and Q4B, which is regulatory
21 analytical procedures and acceptance criteria.

22 I would really like the committee to

0013

1 think about ICH, the progress we're making in ICH
2 and how we're implementing the guidelines of ICH in
3 context with what we're doing in the agency and how
4 that helps us in the agency and how that is helping
5 us move forward in the changes that we're making.

6 I also would appreciate comments being
7 made as to whether, in fact, we are capturing the
8 right things in ICH after you hear the presentation.
9 And again, consider the benefits that ICH has to us
10 in the agency. I think this is very important as we

11 talk about this topic today.

12 The second part of the day will be
13 dedicated to the discussion of the actual
14 implementation of QBD in the various quality
15 assessment programs, in the Office of Pharmaceutical
16 Science. I've named the programs, the Office of new
17 Drug Quality Assessment, the Office of Biotech
18 Products and the Office of Generic Drug. You will
19 hear as you listen to the presentations on these
20 programs the implementation strategy and the process
21 that they've made.

22 But one of the things I want to

0014

1 emphasize is that you'll hear things a little bit
2 differently. Each office has a little bit different
3 implementation strategy, a little bit different
4 grasp on how to implement the concept of QBD, but I
5 want to emphasize that all three offices strongly
6 support the concepts of QBD as they were developed
7 for the 21st century. And what makes the difference
8 from office to office is basically the diversity of
9 the products and their currently existing programs.
10 It's very hard sometime to take an existing program
11 and really completely change it overnight.

12 So all three programs are working toward
13 making those changes, they're working on coming up
14 with implementation strategies. You'll hear they've
15 all put in a lot of work. You'll hear very I think
16 interesting implementation strategies today. You'll
17 see how much progress we've made.

18 But again, I just, I have to stress that
19 they will be a little bit different and I was,
20 yesterday I was on a panel in New Jersey and that
21 was one of the questions that was asked of me, is
22 why the difference in everything.

0015

1 And I think as you hear the presentation
2 today, you'll sort of get a better feel for the fact
3 that each one of their programs is leading to the
4 same place and I think at the end we will all be at
5 the same ending point.

6 Tomorrow we're going to shift gears and
7 talk about bioequivalence issues and challenges of
8 highly variable drugs. Because of variability,
9 demonstrating bioequivalence for highly variable
10 drugs is extremely challenging and may require
11 hundreds of healthy subjects to participate in
12 bioequivalence studies.

13 We've talked about this in the past. We
14 have gotten recommendations from the committee and
15 what we want to present tomorrow is basically our
16 initial findings on the study that we conducted
17 after the last discussion at the advisory committee,
18 which was in 2004.

19 We conducted an additional investigation
20 on study designs and on bioequivalence criteria and
21 tomorrow we're going to present to you our proposal
22 for bioequivalence evaluation of highly variable

0016

1 drugs and ask for your comments on the proposal,
2 specifically as they relate to the study, design and
3 bioequivalence criteria.

4 So I think it's a very important
5 product -- topic, I think it will show that a lot of
6 your input has gone into our thinking and now we
7 want to sort of bounce that back off of you for
8 additional input.

9 Obviously as you hear from our
10 discussions today, as we talk about the changing
11 review paradigm that risk management is an important
12 part of that change, change that we're making. It's
13 also one of the main goals of the 21st century

14 initiative. And tomorrow we're going to have a
15 presentation by Dr. Kozlowski on basically looking
16 about risk management for complex pharmaceuticals.

17 We would like to be able to provide the
18 committee with an idea of the unique challenges that
19 we're facing with regard to manufacturing and
20 regulation, as we incorporate risk management
21 thinking into that regulatory paradigm.

22 Actually, I think risk management is a
0017

1 cornerstone of our regulatory decision-making and we
2 are still, ourselves, as we move forward, as I said,
3 we're just at the beginning of what we're doing. As
4 we move forward, we're going to be building more and
5 more risk management into our thinking and so we
6 really would appreciate the opportunity tomorrow to
7 sort of introduce some of our thoughts as far as
8 more complex products to you and get some input from
9 you on this.

10 The third topic tomorrow will be on
11 critical path initiatives. We have already
12 mentioned critical path to you at several of the
13 other previous meetings. We'd like to tomorrow talk
14 about what we're doing as far as critical path,

15 paths right now and what we see as our possible
16 future challenges for critical paths.

17 We'll have Dr. Shirley Murphy who is in
18 charge of CDER's critical path initiative to come
19 and give an overview of the agency's critical path
20 initiative and its efforts. I think you'll find
21 that very interesting because there's a lot of
22 things the agency is doing as far as critical path

0018

1 that is really I think going to make a real
2 difference 5, 10 years out from now and I think it
3 will be interesting for you to hear that.

4 After that I would like us to present
5 the Office of Pharmaceuticals current --
6 Pharmaceutical Sciences current efforts and
7 contributions and how we might pursue additional
8 opportunities. So one of the things I'd like to
9 hear from the committee is your thoughts on what
10 else we can be doing.

11 I think, you know, as I said, we're
12 looking to be able to fill that knowledge gap, that
13 science gap that we have here and I think we can do
14 that through a lot of research, from data mining,
15 et cetera. So we're looking forward to some

16 possible thoughts from the committee as to what
17 types of projects we might want to take on.

18 Lastly, tomorrow, we will have a
19 discussion on nanotechnology, a report was issued
20 from Congress entitled a matter of size, which
21 addresses some of the challenges and concerns of
22 using engineered nanoparticles in all products.

0019

1 And it's important for the agency and
2 for us here in the Office of Pharmaceutical Science
3 to determine the science risk and issues that are
4 involved in using nanotechnology and to determine if
5 there needs to be changes in our regulatory practice
6 as we begin to look at these products and we need to
7 determine whether we need to change our policy to
8 accommodate to risks that may exist using
9 nanoparticles or other issues.

10 In 2004 there were, we came to you with
11 this issue, we still have a number of questions.
12 We've spent two years really looking at it. We've
13 worked with the agency on this, but we still have
14 some questions, so I would appreciate a little bit
15 of input tomorrow on nanotechnology and where you
16 might see it going for us in the future and how we,

17 what we need to be thinking about as we handle the
18 problems for development and manufacturing of these
19 products.

20 So basically the changes that we've been
21 making both in our internal process and how we meet
22 the various goals of the 21st century is really a

0020

1 long journey.

2 As I said at the beginning, we're only
3 at the beginning, we have a long ways to go. I
4 would like to emphasize again that it will take us
5 the time to take this journey and we can't take this
6 journey alone. It's going to take everyone here on
7 the committee working with us, it's going to take
8 our stakeholders, it's going to take everyone to
9 really work together.

10 This is a partnership to go on this
11 journey and I really want to thank, though, the
12 committee for helping already in making a lot of
13 changes and helping us think through how we want to
14 make these changes and I really look forward to
15 continuing to get insight and recommendations from
16 the committee as we continue to move forward on this
17 journey.

18 So with that said, I'm looking forward
19 to a very good two days and I'm going to hand it
20 over to Dr. Gloff, thank you.

21 DR. GLOFF: Thank you, Helen. Before we
22 get started, I guess does anybody have any comments

0021

1 for Helen that they'd like to make? I'll give you
2 that opportunity.

3 Okay, well then let's get started with,
4 I'm on the wrong page here, so, with Dr. Nasr on
5 topic introduction and an FDA perspective.

6 DR. NASR: Good morning. Can you hear
7 me okay? All right.

8 My task this morning is fairly simple.
9 It's intended to provide an overview and
10 introduction, but I will not attempt to steal the
11 thunder from the qualified ICH quality leads who's
12 going to provide their presentation and their
13 perspective, perspectives and would frame the
14 discussion that would take place this morning.

15 We are here today in this session to
16 basically evaluate where we are and the progress we
17 are making in ICH quality topics and to seek the
18 committee input to see if we are on the right track,

19 if we need to change direction, if we need to
20 reflect and see where we are going with this. And
21 the discussion will be fairly valuable to us as we
22 embark into having a large discussion on quality
0022

1 strategy decision, there will be a quality strategy
2 decision in Chicago later this month, so I think the
3 input we receive from this committee today would be
4 extremely critical to shape the FDA position about
5 how we develop our implementation strategy and the
6 progress towards achieving the results.

7 With that, I will give you a brief
8 introduction. It will be followed by a presentation
9 on Q8 and the progress in Q8R and that will be
10 provided by Dr. John Barridge. I'm grateful that he
11 was able to join us and come from England last night
12 to give us his perspective about where we are with
13 Q8 which is pharmaceutical development which in many
14 ways link to quality by design discussion that we
15 are going to spend this afternoon on.

16 Then we'll have quality risk management,
17 QRM, and Dr. Gregg Claycamp from the Center for
18 Veterinary medicine will provide an update where we
19 are and some of our implementation of QRM within the

20 agency and then Joe Famulare from office of
21 compliance, he will provide his update on Q10, he'll
22 provide his perspective where we are and maybe link
0023

1 it with some other things we are doing at the
2 agency.

3 Bob King will provide an update on Q4B,
4 which is a fourth quality product currently under
5 discussion in the ICH.

6 After that we will have some questions
7 for the committee and we will like to have good,
8 lively discussing. After each one of these
9 presentation you may be able to ask the presenter
10 for clarification, but I would propose that we will
11 hold the discussion until we hear all the things
12 because there is quite a bit of linkage between all
13 these products as you will see from the
14 presentations.

15 With that, I will start, I will give you
16 a background on ICH. I know that some of you are
17 familiar with the process, some are not, so just to
18 put us all on the same place, I will give you a
19 brief introduction and then I want to talk about the
20 new ICH quality vision and that vision that was

21 established in '03 and where we are with that, where
22 are we today. I want to share with you some of the
0024

1 implementation of the new vision here at the agency
2 and provide the FDA perspective.

3 I'm sure there will be input from
4 industry colleagues as well, and then highlight some
5 of the future activities and start giving you some
6 of the questions that we would like to focus the
7 discussion on, not to deal with it after my brief
8 introduction, but to allow you to think as you go
9 through the presentations of how these questions
10 need to be debated and addressed.

11 What's ICH. You have the information in
12 your handout, but what's important here is the goal
13 of ICH as was established is to find a way to
14 improve through harmonization the efficiency of the
15 process for developing and registering new medicinal
16 products. So the goal is to facilitate and enhance
17 and establish consistency in drug development and
18 efficiency of process.

19 This is intended to be applicable to
20 three regions in the world, Europe, Japan and the
21 U.S. in order to make these products available to

22 the patient with minimum delay. So the ultimate

0025

1 goal is putting the development and the regulatory
2 process in a way to enhance the scientific
3 foundation, what we do, and to focus on the science
4 through harmonization effort and to bring the
5 product to the patient in timely manner without
6 delay.

7 There are five processes of how we
8 achieve and develop guidelines. The first step is
9 consensus building, basically, the steering
10 committee adopt a concept paper and an expert
11 working group is formed to discuss this concept
12 paper.

13 Step number two is a confirmation of the
14 six-party consensus that means, that basically means
15 that the expert working group agreed that we have a
16 document that put the principles for that particular
17 topic together.

18 Once this is done, there's a regulatory
19 consultation step, step number three, and every
20 regulatory agency in the U.S., in Europe and Japan,
21 publish a step number 2 document, seek stakeholder
22 input. Get that input. Discuss it internally

0026

1 before we go and have further discussion within the
2 expert working group and step number 4 where we sign
3 on the guideline. We sign that the principles are
4 fine, there is a harmonization document and we can
5 move on. And then implementation would be step
6 number five when we issue the guidelines and it
7 becomes a part of our procedure and practices.

8 So, we have this five-step process and
9 that's why at times as you see from the discussion
10 it takes a long time, longer time than some of us
11 would like, in order to achieve a harmonizing
12 aligning. And that's part of the discussion we have
13 today that we have to be fairly selective about
14 issues that we take to ICH in order to achieve a
15 harmonizing guidelines.

16 At times it may be more an alternative
17 approach would be to develop some implementation or
18 regional guidelines in order to be able to achieve
19 what we are trying to achieve without going through
20 whole ICH process.

21 The topics that, the guidelines that
22 were developed prior to three are listed on this

0027

1 slide. There is no reason to go through these
2 topics, but in '03, in July '03 there was a very
3 important meeting that took place in Brussels and
4 that meeting established a new goal. And the new
5 goal is to start looking at pharmaceutical quality
6 as a, use the lifecycle approach. It's, as is
7 stated here, the goal was to have a harmonized
8 pharmaceutical quality system that's applicable
9 across the lifecycle of the product and emphasizing
10 an integrated approach to quality risk management
11 and science.

12 That's when we started talking more
13 about having science and risk management are the
14 two, as the two key drivers that should be used in
15 developing and regulation of pharmaceuticals.

16 Some of these key issues that we agreed
17 on in July '03 are the following: That we will need
18 to develop, under ICH, pharmaceutical development
19 guidance, Q8. You will hear more about that from
20 Dr. Berridge this morning.

21 Quality risk management, Q9, and
22 pharmaceutical quality system, Q10.

0028

1 So these three guidelines have been very

2 much thought of as the way to develop and regulate
3 pharmaceutical in the 21st century. Q8, Q9, Q10 not
4 only as individual guidelines as you will see from
5 the presentation, but working together in a
6 systematic way in order to assure high level of
7 pharmaceuticals in the three regions.

8 Some of the common concepts that you
9 will see from the presentation that all these
10 guidelines will be high level, they will be less
11 prescriptive. They are more visionary than
12 traditional ICH guidelines where the effort at that
13 time in the ones that I listed in the previous slide
14 was basically to harmonize existing practices, if
15 you wish. These new guidelines are more visionary,
16 they are trying to set a new direction in some ways
17 or development and regulation of pharmaceuticals.

18 They also introduced a concept of
19 flexible regulatory approaches to minimize at time a
20 fairly stringent regulatory oversight that we had
21 that could be perceived as a way or a reason to
22 prevent enhancement in pharmaceutical industry or

0029

1 innovation in pharmaceutical manufacture.

2 Since July '03, we finished the first

3 part of ICH Q8, ICH Q9 through the five steps was
4 completed as well. ICH Q10, the start for Q10
5 delayed in part because it was based in some ways on
6 progress meetings Q8 and Q9 and some additional
7 challenges.

8 I'm sure Joe will share with you where
9 we are with Q8 to date and we started work on the
10 second part of ICH Q8, Q8R and we started some
11 serious discussion a couple of ICH meetings ago. We
12 made some progress, but I'd like to put it before
13 you today since I'm basically the lead on ICH Q8R
14 that we still have some challenges to overcome. And
15 we will get to some discussion after all this
16 presentation.

17 And we also will have a presentation
18 today on ICH Q4B, this guidance in progress, we just
19 reach step 2 (inaudible) in June of this year, but
20 again, I would like again to advise this is not
21 really part of the new ICH vision. Q8, Q9 and Q10
22 are a representation of the new vision. Q4B is, has

0030

1 a different goal and Bob King will give you an
2 update where we are on this.

3 Where are we today? Work in progress.

4 We are working Q8 R, we are working Q10 and we are
5 working Q4B, but most of what we have been doing
6 since July and since that finalization for Q8 and Q9
7 was the implementation of the new vision. That
8 implementation currently takes place by industry and
9 by the regulator.

10 I'm not here to talk about what other
11 regulatory agencies are doing with these guidelines
12 or about what industry is doing, even though we are
13 working together.

14 I would like to highlight some of the
15 implementation that we have done and we -- with Q8
16 and Q9. I would say and I'm very confident saying
17 that here in the U.S. we have the most intensive
18 effort in the implementation of ICH, the new ICH
19 vision. I think other regions are making progress,
20 but I think most of the work has been going on here
21 in the U.S.

22 Specifically, we have several public

0031

1 meetings, workshop and training program to train our
2 people and to train industry colleagues about how
3 these guidelines are and how it could be implemented
4 in order to have a common and consistent approach to

5 implementation of the new ICH vision. We at the
6 agency withdraw already several of the FDA
7 guidances.

8 This was done, if I'm not mistaken,
9 June 1st of this year because we found that the
10 concepts in these old guidelines do not confirm to
11 the high standards and to the new ICH quality
12 vision, among other reasons.

13 We have started the process at a very
14 aggressive pace toward the development of
15 implementation guidelines, quality system and I
16 think it's impressing that you see the new, the
17 guidelines was distributed this morning and it was
18 published Monday last week, correct, Joe, it was
19 published Monday or Friday, about 10 days ago. We
20 had published the guidelines from (inaudible)
21 analytical technology.

22 We have continued to work on

0032

1 finalization of the guidelines from the ability of
2 protocol, the concept of regulatory agreement that
3 will be discussed this morning -- this afternoon was
4 introduced and we started making progress, very
5 structuring of the office of new drug chemistry.

6 I came before this committee about a
7 year ago and I told you about our plan to
8 restructure the office of drug chemistry. That was
9 completed in November 1st of last year, so we had
10 about a year now since that was done and you'll hear
11 more from Dr. Chen this afternoon about the
12 limitation quality by design in the office of new
13 drug quality assessment and we restructured that
14 entire office from start, from the bottom up in
15 order to establish the infrastructure that allow us
16 to be able to implement quality by design concept on
17 ICH Q8.

18 I have to tell you that with the
19 existing, with the structure we had prior to last
20 year, we would have had a lot of challenges and
21 considerable delay to facilitate this process. You
22 will hear more this afternoon about the CMC pilot

0033

1 program which is the first real experience of how
2 ICH Q8 can be used. Pharmaceutical inspector
3 program is another program that the agency, in order
4 to train our investigators of how the new concepts
5 in pharmaceutical development are being used.

6 One thing that's very important for you

7 to appreciate and that is these guidelines are not
8 intended only for the review part (inaudible) they
9 are intended for by in part agency, that mean
10 reviewers and inspectors working together. No
11 longer we will have divided walls or we will have
12 different concepts to use different strategies.

13 We are unified as an agency and we are
14 very serious about implementing this and having an
15 integrated regulatory oversight over pharmaceutical
16 quality.

17 You will hear more this afternoon from
18 Dr. Lawrence Yu about the question-based initiative.
19 You will hear from Dr. Gregg Claycamp about the last
20 two bullets here where it's CDER/ORR site selection
21 process for GMP inspectors risk-based approach and
22 also about CVM initiative on pre-approval decisions

0034

1 of both systems.

2 So we at the agency have been working
3 fairly hard toward the implementation of ICH Q8 and
4 Q9 and we started the quality system prior to the
5 initiation of ICH Q10.

6 Tremendous progress. We have a lot of
7 challenges and that's why we are sharing this with

8 you today and we are, I'm looking forward to get
9 your input about how will we deal and how we address
10 some of these challenges.

11 I think putting these new concepts into
12 practice with a quality by design, design space,
13 risk assessment, et cetera, is fairly difficult
14 because you are coming up with new concepts, you
15 have an existing regulatory process, you have a
16 traditional pharmaceutical development practices,
17 you have the same manufacturing facilities, so
18 building all these new concepts is difficult

19 We are dealing with diverse two problems
20 that regulate in the U.S. are the small chemicals,
21 to monoclonal antibodies, to new drug, genetic
22 drugs, et cetera, MBA versus PLA, many challenges.

0035

1 I think we are dealing with another
2 important issue and that is the expectation for
3 quality-based submissions, quality by design based
4 submission while addressing traditional requirement,
5 so that means we have dual processes and we are not
6 gaining the full benefits now of using our resources
7 the best under the new paradigm because we continue
8 to have different kind of applications, multitude of

9 submissions and we are running everything together
10 because we cannot re-tool a regulatory system and
11 ignore the existing application and many
12 applications that we have since we are a public
13 health agency.

14 Another challenge we have how could we
15 better integrate the review and inspection and I
16 think I mentioned earlier that we at the agency are
17 committed to have an integrated regulatory system
18 where review and inspection and compliance work
19 together to modernize regulatory process.

20 Another important challenge we have is
21 implementing while harmonizing, so we are currently
22 working in some new ICH guidelines such as Q8 R, but

0036

1 at the same time we are implementing what we have
2 achieved with Q8, so we have a challenge here.

3 All this is being done and more. Very
4 heavy workload with limited resources. We have some
5 budget challenges this year and I think you have
6 heard and you are able to read in the newspaper that
7 the Federal budget will be fairly stressed and the
8 resources will be fairly limited this year and
9 possibly the next few years.

10 Where are we heading as far as the FDA
11 with ICH quality initiatives? I mentioned earlier
12 that we are going to have the meeting in Chicago,
13 October 21st, 26th, and that there will be a two-day
14 discussion separately the 21st and Sunday the 23rd
15 that will focus mainly on reflecting where we are
16 with ICH quality topics, how can we steer the
17 direction and how far we can go with that. There
18 will be an implementation workshop co-sponsored by
19 the parenteral drug association and ISP and that
20 will focus on the challenges of implementing Q8 and
21 Q9.

22 That will take place a couple of months

0037

1 from now, in December, and I think many of the
2 people who are presenting today in this session will
3 be leading that discussion in Washington.

4 That same workshop will be repeated in
5 Brussels and Europe in order to have a global
6 harmonization approach and there is some serious
7 discussion about also having that program repeated
8 in Japan, so we will have collective input from
9 regulatory authorities and different perspective
10 from industries associations as well.

11 In February next year we are going to
12 have a repeat of the major BQRI workshop that we had
13 in '03 to reflect where we are with the FDA
14 pharmaceutical quality initiatives. That will take
15 place in February 28th next year here in Washington.

16 Several questions I would like you to
17 start thinking about and I hope that from the
18 discussion that and the presentation that you will
19 hear from my colleagues will provide the discussion
20 points that we need to look at to frame discussion
21 around these questions and they are full.

22 Do you agree with the FDA implementation

0038

1 strategy of the new ICH quality vision. I shared
2 with you some of the things, you will hear more from
3 my FDA colleagues.

4 The second question is should the FDA
5 implement additional quality risk management
6 activities given the resource expense because how
7 far can we go, we still have limited resources and
8 public health obligations.

9 Should the FDA continue to develop
10 additional implementation guidances or rely only on
11 ICH guidelines. I told you there is some benefits

12 of doing it both ways.

13 The first is ICH can be lengthy at times
14 but the benefit is having a global harmonized
15 guidelines and having the industry that is a global
16 industry implement these guidelines.

17 And last, but not least, is it necessary
18 to gain experience through implementation of the new
19 concepts prior to development of additional
20 guidelines. There's lot of proposals floating
21 around, some concepts paper, if you wish, if you go
22 back to my slide on the process, back to step number
0039

1 one, there could be both (inaudible), so we have
2 some concepts favor and some ideas being proposed to
3 develop additional guidelines.

4 So one of the questions I'm posing to
5 you is should we learn about what we have done with
6 these new vision guidelines prior to moving into
7 other guidelines or should we look at additional
8 guidelines to facilitate our implementation.

9 I think that's the end of my
10 presentation. I thank you very much for your
11 attention. I'll be happy to answer only question as
12 it relates to clarification of anything I said.

13 Otherwise I would suggest that we defer the
14 discussion after the full presentations.

15 Madam chair.

16 DR. GLOFF: Thank you. Does anyone
17 require clarification? And if not, we'll move on to
18 Dr. Berridge.

19 DR. BERRIDGE: Thank you very much.
20 It's an honor to be here presenting on behalf of the
21 Q8 team to this committee here today.

22 I only have a short time, but I'd like

0040

1 to go through the background, a little bit of
2 experience, some implications and to open a
3 discussion on the future strategy for the ICH Q8
4 guideline.

5 I'm not very good with words, I prefer
6 pictures, so this is the ICH quality vision as a
7 picture that we developed in 2003. It essentially
8 says the same things as Dr. Nasr outlined to you,
9 but it does illustrate that we were looking at an
10 integrated strategy and it's important that
11 particularly the Q8, Q9, Q10 guidelines be
12 considered as parts of a whole and that the whole is
13 actually greater than the sum of its individual

14 components, which is why I think we got good support
15 for all three guidelines.

16 There are benefits certainly from an
17 industry perspective and I think we see, too,
18 benefits pertaining to the regulatory authorities
19 because I think we all recognize that there is an
20 enormous burden on both industry and the regulators,
21 particularly on the post-approval change system. A
22 lot of us are submitting supplements and a lot of

0041

1 people are having to review supplements. And we're
2 looking to use these trio of guidelines to change
3 the paradigm in this respect.

4 So Q8, pharmaceutical development. A
5 lot of people talk about, well, what's different,
6 and the traditional or conventional approach, and we
7 discuss and debate whether we should use the word
8 traditional or whether we should use the word
9 conventional and I don't want to go into that
10 debate, but whatever you want to call it, it was
11 rather empirical. It was rather retrospective and
12 it focused a lot on testing and documentation and
13 one critical component was that variability was not
14 welcomed.

15 Things were intended to be fixed and
16 often it was avoided. But Q8 started to look at
17 things in a different way. It was more of a systems
18 approach. It looked at the knowledge that you could
19 acquire. It looked forward.

20 Now we see these buzz words,
21 science-based, risk-based. We started thinking more
22 about what the patient needed and critical

0042

1 variability was looked at differently.

2 We wanted to understand variability. We
3 wanted to explore variability and as we'll see in a
4 moment in some senses, welcome that understanding of
5 variability.

6 I appeared here a couple of years ago
7 and sort of made a promise to this committee that
8 three key components would result from the
9 development and implementation of Q8. You can see
10 them here. I don't need to read them out to you,
11 but I think the third one is one to think about very
12 strongly.

13 An ability to affect continuous or some
14 would rather have us say continual, that's another
15 debate we always engage upon, what's the difference

16 between continuous and continual, but an ability for
17 the industry to make quality improvement changes
18 without an enormous regulatory burden and to change
19 the way it assures its quality, from end product
20 testing to real-time product quality assurance.

21 And I would like to think that we
22 delivered the first part of our promise with the

0043

1 core guideline and we're now, as Dr. Nasr just
2 mentioned, working on the revision.

3 The revision, it was always intended
4 that this be a two-part guideline. The revisions
5 relating to pharmaceutical development of specific
6 dosage form types. The revision gave, gives an
7 opportunity to build on the Q9 guideline and it
8 allows us to think more about driving towards the
9 so-called desired state. The desired state has been
10 outlined many times by Dr. Wilcox.

11 Drafting is underway. What we found
12 with Q8 is that it is changing the way the world is
13 thinking. We've introduced a lot of new vocabulary.
14 That in itself has created some challenges, but
15 we're using phrases such as the target product
16 profile, which is what is -- in other words, what

17 does the patient need, what are we striving for.

18 Then we start to think about the product
19 and its manufacturing process, thinking about all
20 the knowledge that we might have from other
21 products, carrying out risk assessments, design of
22 experiments, using process analytical technologies

0044

1 and really driving to the creation of new knowledge.

2 And we do this differently because we
3 then test our scientific assumptions. Instead of
4 progressing empirically, we actually use a
5 development process to test our hypotheses and
6 understand what is truly critical to the product and
7 its process.

8 And another new term, design space.

9 This is all about understanding the multi-variant
10 factor space in which we're going to operate our
11 manufacturing process. And that we call the design
12 space and we know that within that area, within that
13 multi-dimensional space, product quality is assured.

14 Finally, we link that with a control
15 strategy and that control strategy is not simply the
16 specification and product testing. It's how we
17 address variability, where we address variability

18 and how we welcome and deal with the variability and
19 how we relate that to the patient needs, the safety
20 and efficacy.

21 Of course that brings us around full
22 circle. And so we start with the patient and the

0045

1 pharmaceutical manufacturing process needs to be
2 well understood. It needs to be in some peoples'
3 terms robust, but I would say that the Q8 thinking
4 drives us to more adaptable processes that welcome
5 material variability and here are some
6 photomicrographs of starch. It's a very variable
7 input material, but we can understand those sources
8 of variability, welcome them and design
9 manufacturing processes that always assure the
10 quality of the product and we call that region as I
11 said before the design space.

12 There is a technical definition of a
13 design space and it's created a welcome concept that
14 we called regulatory flexibility. Demonstration and
15 proving of that design space creates this
16 multi-dimensional area in which you're free to move
17 without needing to seek further regulatory review
18 and approval. It's already been reviewed. It's

19 already been approved.

20 But now you can vary your manufacturing
21 parameters within that design space without needing
22 further approval. That creates its own challenges
0046

1 because we need to think about how we actually
2 define that design space.

3 One thing that was emphasized in the
4 opening presentation is this is a lifecycle
5 approach. Many people worry about that, but know
6 the concept of pharmaceutical development applies
7 through the traditional development cycle, that's
8 for sure, it carries on through technology transfer
9 processes where, in fact, a lot of our learning
10 accumulates and goes through to the commercial
11 manufacturer.

12 It allows us to much better invoke
13 risk-based regulatory decisions because the
14 knowledge base is so much higher. It's not about
15 simply data, it's about knowledge. It takes the
16 constraints of industry enabling manufacturing
17 improvements to be made without delay for regulatory
18 review. Clearly everybody benefits from a work flow
19 production in post-approval submissions and I think

20 the ability to adopt real-time process control
21 strategies can reduce the variability of the product
22 that is emerging from the manufacturing supply

0047

1 chain.

2 So is this truly providing any benefits?

3 I think so. There's a few quotes here. We are
4 talking about delivering a science and risk-based
5 dossier more than simply huge volumes of data. The
6 data will be available, but we're presenting the
7 assessor, the reviewer with the science. We've
8 welcomed the FDA's pilot program and they are now
9 saying design space submissions and of course we see
10 a movement away from the somewhat ignorant approach
11 of simple three lot variation -- validation to
12 processes of continuous verification which are based
13 on knowledge. And it may be a bold statement, but I
14 think that Q8 is already delivering significant
15 value.

16 But when we look at the implications,
17 it's clear that we've created a vocabulary and some
18 concepts which are not yet fully understood. There
19 is an ongoing debate, what is quality by design. Is
20 this different from what we've done before, how and

21 why. Should we or can we help in distinguishing the
22 traditional approach from this enhanced approach and
0048

1 design space, I see the -- what people say to me is
2 I see the definition, I read the definition, but how
3 do I write it down. How can I clearly articulate it
4 in a submission?

5 And this is probably one of the ongoing
6 debates, what do we truly mean by design space. And
7 so frequently we hear about things such as proven
8 acceptable ranges and indeed there is at least one
9 region where they struggle with the concept of
10 interacting variables and often in the traditional
11 approach variables were examined one at a time. And
12 you'd see examples such as this and manufacturing
13 instructions that would, for example, talk about
14 carrying out a reaction between two ranges and
15 between two temperature ranges, on the assumption
16 that you knew everything about the interaction, but
17 that was not necessarily true.

18 Design space is multi-variant and design
19 space encourages people to think about
20 attribute-based end points. Now this is a
21 fictitious example, but you can see that it is

22 completely different.

0049

1 So you're actually looking at carrying
2 out your process to meet some kind of attribute
3 requirement, it could be particle size and shape,
4 and you know about things like super saturation, the
5 effect of stirring rate, temperature, and you're
6 maintaining your conditions at a particular super
7 saturation by controlling temperature and any other
8 parameters that you found to be important.

9 But that's an equation, it's not a
10 simple list of conditions. We have to think about
11 how we actually do that. How do we truly describe
12 that so that we can all understand it.

13 Now I think then it leads on to wider
14 implications that we should all be thinking about.
15 Do we truly understand the importance of quality by
16 design for both small molecules and products of
17 (inaudible).

18 As we complete Q8, do we need to add a
19 better glossary that actually describes these things
20 and Q8 addresses the pharmaceutical development of
21 the drug product, but what about the active
22 ingredient. We did start the process of thinking

0050

1 about the development of the drug substance for
2 biotech, but that was not endorsed by the steering
3 committee because it became apparent that we needed
4 to think about the implications of quality by
5 design.

6 Quality by design and Q8 talk about the
7 needs of the patient or they talk to the needs of
8 the patient. They actually challenge some of the
9 traditional thinking that's embodied in Q6A, Q6B
10 where a lot of acceptance criteria are set on back
11 data, process capability, Q8 challenges, that
12 paradigm, and with this enhanced product and process
13 understanding, should we be considering other
14 relationships such as our test procedures, our
15 analytical methods and as Dr. Nasr has already
16 illustrated, these are subjects that will be raised
17 at the Chicago ICH meeting.

18 So, where are we going with Q8. Well
19 we've changed our focus. We started our revision
20 looking at a parental dosage form and now we've
21 moved to solid oral dosage forms because there is a
22 lot of experience on solid oral dosage forms and

0051

1 when we can get that straightened out, we can go
2 back and look at the implications for others.

3 We wanted to use the revision to really
4 illustrate and exemplify quality by design. We
5 looked to a resource to do that and the expert
6 working group has taken the EFPIA, the European
7 Industry Association's mock submission that they
8 wrote for a section P 2 and we have been using that
9 to illustrate points and to try and better describe
10 what we mean by design space.

11 We do not have a consensus document. We
12 have a long document, it's 30-odd pages long from
13 many contributors that the expert working group has
14 not yet had a chance to review, so as, as Dr. Nasr
15 illustrated in the ICH process, step one is a
16 consensus building process and we are still very
17 firmly in step one, so it would be foolish of me to
18 think that I could predict when we could get to step
19 two.

20 But I'm going to suggest to you that
21 whilst there are many questions, it is worth
22 continuing with the progression of Q8 because the

0052

1 science and risk-based approaches, I would argue,

2 bring value to us all, to industry, the regulator,
3 to the patient. And it is very pleasing for me as
4 an industry representative to welcome the
5 initiatives that the regulators have been taking
6 around the world.

7 A quote here from John Clark, I don't
8 know, I think John's in the audience this morning,
9 but building on the concepts of Q8 and coming as I
10 do from Europe, it's very pleasing to see that the
11 European commission is also reacting positively to
12 the opportunities that are being presented by Q8.

13 And just to take us back to the
14 beginning, Q8 is driving the enhanced acquisition of
15 knowledge, enhanced product and process
16 understanding. A lot of that comes fairly late in
17 the lifecycle, but it's about knowledge and I think
18 Q10 will provide a very valuable adjunct to Q8 in
19 helping us understand the optimum way of building
20 quality systems which insure the continual
21 acquisition of knowledge and its use in continual
22 improvement.

0053

1 So back to our vision. I think that we
2 have seen a distinct and significant change in the

3 way ICH has addressed quality guidance and this is,
4 it has represented a significant opportunity to you,
5 to us all to progress things in a different way and
6 to think differently about what is important.

7 And so I hope that my short presentation
8 will go some way to convincing us all that we should
9 continue to progress this kind of guidance and
10 particularly Q8 and its wider implications for both
11 drug substance and drug product.

12 Thank you.

13 DR. GLOFF: Thank you.

14 DR. BERRIDGE: I'd be happy to take any
15 clarification questions?

16 DR. GLOFF: Any clarification, anything?
17 Guess not.

18 DR. BERRIDGE: Okay, thank you chair.

19 DR. GLOFF: Our next speaker is
20 Dr. Claycamp.

21 DR. CLAYCAMP: Good morning. It's a pleasure
22 to be here and to speak again before this

0054

1 committee on Q9, "quality risk management" I also
2 share Dr. Berridge's enthusiasm for the quality in
3 guidelines and what we've been able to accomplish

4 and what we hope to accomplish in the future

5 And on Q9, the purpose for Q9 and why it
6 was thought to be needed was first to ensure a
7 common understanding of quality risk management by
8 both industry and regulators that can facilitate moving to
9 the desired state that we've heard so much about the
10 past few years. It helps with communication in
11 transparency of risk concepts for industry and
12 regulators and there's an
13 over-arching principle in risk management that is to
14 always deal with managing risks in a
15 forward-looking way rather than putting out fires
16 after they occur.

17 Q9 in its broadest sense
18 explains a common language and process for quality
19 risk management; and, it talks about some potential
20 methodologies for quality risk management and also
21 mentions where it can add value.

22 So we often get asked when the

0055

1 various members of the expert working group are at
2 the podium, "what's in the Q9 guidance and what
3 does it explain about risk?"

4 Well, it's quite an undertaking to try

5 to put a systems approach to anything, whether it be
6 quality systems, quality by design or risk
7 management, and to try to describe it all in one brief
8 document.

9 So, indeed, Q9 like the others is very
10 broad and at a "principles level" document: Q8 and Q9 are
11 high level documents. But there are some ideas for
12 implementation; and, we do have in the Q9 document
13 elements of risk assessment and risk management
14 processes as the working group could see them from a
15 broad range of examples that were brought to us over
16 the years.

17 Q9 is not a single tool for risk
18 management, but it recommends "the right tool for the job"
19 approach. You'll hear me say that a number of
20 times in this presentation. We do have in Q9 a
21 number of suggestions for risk management tools that
22 have been collected from various industry

0056

1 applications in not only pharmaceutical industry,
2 but applications of risk management in,
3 the semiconductor, automotive industries--
4 some areas that have a longer experience, applying formal
risk management.

7 These tools are at described at high levels.
8 We sought to break them into categories
9 that were easy to understand. Some of
10 the high level tools deal with ideas and concepts
11 are driven by those very broad-brush stroked, high
12 altitude approaches. At the mid-level there's a
13 mixture of quantitative and qualitative processes
14 and at the low level, what I refer to as "real
15 numbers in real time." It's getting to very
16 quantitative approaches at the low level.

17 So what's not in ICH Q9? Well, for one
18 thing, we should set the record straight right away,
19 there's not a cookbook for risk management in that
20 guidance, nor is it ever intended to be a specific
21 prescription for your risk management program. And
22 that's for either inside or outside of FDA.

0057

1 Also, it cannot be an exhaustive treatment
2 of theory in such a brief guideline, nor can it be
3 exhaustive as a list of methods and tools. Well,
4 given that we had daunting challenges when trying
5 to capture enough of the meaning of risk management
6 and its application in one guideline, we
7 nevertheless did seek to find one flow chart that

8 would try to sum up what the guideline was talking
9 about. So there is a figure in, early in the
10 guideline that talks about a sample flow process for
11 quality risk management.

12 And if you've looked at the ISO
13 guidelines, you'll see some similarity and you'll
14 see some similarity with other disciplines that have
15 tried to capture risk management in a flow process.
16 This simple flowchart has begins
17 by recognizing that there's something needed and
18 thus, you initiate risk management.

19 Next, there's a large box for risk
20 assessment--getting the information about the
21 problem that's before us--prior to moving on from
22 risk assessment into risk control. These large box processes
are really

0058

1 the risk management key steps.

3 Finally, the flowchart leads to the output of a
quality

4 risk management process and, like all good systems
5 thinking, whether it be in quality systems, risk
6 management, et cetera, it's never, never truly ends.
7 It's always reviewed and improved. It's continual

8 improvement of the system. This doesn't mean to say
9 that you would do a very difficult rigorous risk
10 management from ground up and then do it over again
11 and over again. That's not at all what the
12 guideline indicates. It indicates you do
13 what's needed for the job at hand.

14 So within these larger boxes of
15 risk assessment, risk control and risk review, there
16 are several steps that were identified to, that help
17 compartmentalize the thinking that goes on under
18 risk assessment.

19 When you deal with risk assessment, you
20 need to identify a risk. You need to analyze it and
21 evaluate it against whatever other measures might be
22 there. The risk control was also parsed into at

0059

1 least two, in two major areas.

 We had a
2 lot of discussion among the expert group on how to
3 capture the fact that risk communication is a
4 process that goes on all the time. It's among the
5 risk analyzers, among the risk managers talking to
6 the analyzers and transparency everywhere is part
7 of in these, in these risk communications processes.

8 So we put risk communication as a box just capturing
9 everything.

10 Well in our effort to be simple and give
11 one simple flow chart that captured risk management,
12 we also didn't want to convey that it, is the end-all for
risk management,

13 so in fact that process implies that
14 there's the potential for many other things going
15 on, just like there is in any good systems approaches,
16 indeed, any one of these risk assessment, risk
17 control and risk review processes--down
18 in the weeds level of risk management--there may be
19 a lot of sub-processes. We recognize those and
20 don't have time to develop them in the guideline, or
21 the space to review them.

22 So, the flow chart is really a

0060

1 framework and a starting point for talking about how
2 to deal with a quality risk management approach.

3 The other challenge then trying to
4 come up with one simple flow chart that could
5 capture a lot of ideas is just that like quality,
6 risk is a concept that has many different meanings
7 and if we went around the room we would find that we

8 all have a personalized meaning of risk. And this
9 of course in an expert committee of, of members and
10 observers of typically more than 20 in the room,
11 engendered a lot of discussion about what does risk
12 mean in this process.

13 And just, to essentially remind everyone
14 that whatever you have as a meaning of risk, and if
15 it's different, that's all right. But the key point
16 is that the beginning of a systematic risk management process
is to

17 get the group together to talk about what risk means
18 in the particular application, because we all do bring
19 different ideas of risk to the table.

21 The challenge in doing that for any
22 application of risk management, we look at the

0061

1 individual meaning of risk, the one we all carry
2 inside of us that's different, psychologists would
3 tell us it's simply stated as a cognitive and
4 emotional response to expected loss. The key
5 is that "expected loss" runs through all
6 meanings of risk.

7 Societies not only think the expected
8 harm or the loss occurred by that, but as a society

9 we start to think about what are we getting
10 out of this risk-creating activity. We start to look at
collective
11 benefit. So if you look across democratic
12 societies, you'll see an imbalance in risk. You'll
13 see that we accept high rates of risk in driving
14 automobiles because we perceive a great benefit for
15 that kind of transportation and we'll go after very
16 small risks in some technologies through the other
17 factors, fear and dread of the technology, et
18 cetera. So that's a societal expression of risk perception.

19 And here's the one that we really wanted
20 to focus on for these applications of quality risk
21 management, and that's how do you deal with it in a
22 complex organization such as pharmaceutical

0062

1 manufacturers or the FDA, and the consensus idea was
2 to put it as a combination of the probability of
3 occurrence and severity of selected harms.

4 And finally, the technical level or that
5 low level that I referred to earlier, this statement
6 is just a verbal statement of the probability math
7 that one would write in a risk question -- in a risk
8 equation, that it's an expected value of a

9 conditional probability of some event occurring
10 times the consequence of the event occurring, given
11 that it occurs. And that's one that usually leads
12 to glazing of the eyes.

13 So, what are the overall arching
14 principles to dealing with that combination of the
15 occurrence of selected harms and the severity of
16 those harms? Well the over-arching principles that
17 are right up front in the document and really are
18 the background of thinking through every step in the
19 document is the evaluation of the risk to quality
20 should be based on scientific knowledge and
21 ultimately linked back to protection of the patient.
22 That's what we're here about, is public health and

0063

1 protection of the patient.

2 And, secondly, the level of effort,
3 formality and documentation of a quality risk
4 management process should be commensurate with the
5 level of risk. And that's the question I'm always
6 asked as one who has done risk for decades, "oh,
7 I have to crunch this incredibly complex risk model?"
8 That is not the question. It's "what do you need
9 to solve the particular risk problem?" And so in some cases

10 interaction between Q9 and Q8. They were two expert
11 working groups worked closely together and went back
12 and forth on the best definitions to share among
13 these guidances so that we were at least internally
14 harmonized.

15 And the way a risk analyst looks at a
16 design space problem is very similar to Q8
17 that you can move around in a design space and have
18 things in limits that are, that can be understood
19 individually and in their interactions, but the risk
20 analyst in the end is always considering what's the
21 probability of "falling outside" of that design
22 space.

0065

1 And so, on the risk side we're
2 often viewed as pessimistic because we're always
3 wondering what can go wrong and how often will it go
4 wrong, given the event occurs.

5 Quality risk management is,
6 indeed, as I've mentioned a couple times,
7 another quality systems thinking process and this is
8 reflected in the guideline, as well. It is a
9 systematic process for assessment, control,
10 communication and review of risks to the quality of

11 the drug product across the lifecycle. Definitely
12 systems thinking.

13 And just to keep it in line with all
14 those other ideas of risk management that we hear in
15 our daily lives, here's one way to think of it, is
16 that if you take the, the company has a lot of risk
17 management planning going on all the time, you might
18 think of strategic risks, operational risks,
19 financial risks and I'm sure others, and compliance
20 risks is perhaps the best place to think of the
21 impact of ICH Q9.

22 Okay, now on to a little more details in

0066

1 the thinking about risk in this guideline. Severity
2 and probability as combined to mean "risk" can be
3 looked at this way, in two axes, where we see the
4 increasing probability of occurrence and the
5 increasing severity of the harm or consequence,
6 really defining regions where you could have low
7 risk, medium risk, high risk occurrences.

8 So you might imagine that in that
9 formulation of severity and probability that there
10 are risks that are very low severity and the example
11 frequently used in discussions was, well, if you

12 have the risk of a failure of a dandruff shampoo,
13 let's say, for instance, and you compare that to a
14 risk of a higher severity, like a cardiac medication
15 failing, that risk of failing, you might have low
16 severity, high probability on an equal risk basis in
17 that equation with the reciprocal, high severity,
18 low probability occurrence. 1920

21 So this of course generates a lot of
22 discussion. What do we mean by risk? Brings

0067

1 us back to that frequently, and that's key in my view
2 in working with these groups on implementing risk
3 management. There's a lot of discussion up front
4 about what exactly we're going to mean in a given
5 implementation.

6 Okay, as I mentioned, ICH Q9 includes an
7 annex of tools. It does have some representative
8 tools for risk management and I'll just give a
9 couple of quick examples and briefly in discussion
10 on some of these tools.

11 High level tools as I've mentioned are
12 very -- relying on mixed kinds of information and
13 very often they called for not only whatever you can
14 get your hands on in terms of data, information, and

15 so forth -- rely on expert judgment. There's been some effort
inside the agency to

17 get better at doing formal expert elicitation so
18 that it's systematic about getting judgment that you
19 employ in a decision model.

20 There's a focus on systematic thinking
21 and every good systems approach in risk management
22 will define the risk question and spend some time as

0068

1 I mentioned trying to understand what is meant by
2 the risk question in the particular implementation.

3 It will organize information under
4 categories and attributes, typically, of the risk
5 and try to build decision-making paths through that.
6 There's a couple of examples of kind of the high
7 level approach. One is the CDER/ORR site selection
8 process which is in its third iteration, I believe,
9 and that one is a situation in which

10 the agency is trying to be risk-based in deciding
11 which sites are top priority for inspection. And
12 this does not say it was never risk-based in the
13 past. It is -- we're not inventing something new
14 here, rather, we're doing is the systematic

15

16 process of quality risk management is meant to make
17 sure it's inspection decisions systematic and that we can
identify the
18 elements and attributes of those decisions that are
19 in the collective wisdom of the inspectors' and their
20 directors' minds. 21 And it also doesn't say that it
replaces

22 them with a computer program you can push a button

0069

1 for inspection decisions. That would be not at all what
could be

2 accomplished with this type of approach.

3 What the site selection process does is
4 looks at an inventory of potential sites for
5 inspection and then ranks them according to
6 attributes in the risk model. And so that's where
7 they are all known in quotes at the outset the
8 potential sites in a given year and then ranked.
9 And I'll show a slide coming up that talks more
10 about that.

11 The second example is CVM's pre-approval
12 decision support system asking some of the same
13 questions, it's which, which sites would you go to
14 first if you're thinking about, quote, the riskiest

15 sites for pre-approval inspection, but in that case
16 you don't know the whole inventory before the risk ranking
process. The inventory comes in
17 in review applications, they're coming in
18 supplements, et cetera, so each decision to inspect or
19 not inspect is one case at a time rather than a
20 ranking an inventory against itself.

21 So in that case there's very much a
22 decision, analytical model that is used to step

0070

1 through those very similar attributes to the CDR
2 model, but a different approach to implementation.
3 Finally, there's a number of other efforts of
4 implementation throughout the agency that I will not
5 go into at this time.

6 So recalling the diagram of
7 severity and probability making risk, if we put that
8 there and think about risk matrices or hazard
9 matrices that we've probably seen in project
10 management, other risk management enterprises, very
11 common way to do that, because it looks like this.
12 Just converting that picture to a table. This is a
13 very qualitative approach, but it's used throughout
14 Government and industry to start the ball rolling on

15 try to assign a value of relative risk.

16 So across the top here we see a
17 probability scale and across the left or -- we see
18 the severity scale and having rated some, this
19 problem of interests at that time, you know, but its
20 probability and its severity, we might assign that
21 risk as high, medium or low.

22 Now let's start -- everything has to

0071

1 begin somewhere and so we have, in a number of
2 instances have this type of approach in the expert
3 elicitation process of inspectors and district
4 supervisors who have put into the site selection
5 models this type of approach is embedded in there,
6 and but notice that it's very adaptable to learning.
7 And, in other words, you can go from these
8 qualitative descriptors of very low to very high and
9 start to fill that in with quantitative information
10 as it becomes available so that you know what you
11 mean by high probability, does that mean one in ten,
12 two in ten, three in ten, or? And so that can be
13 scaled over time and become more quantitative with
14 its use.

15 So the CDER model really uses

16 several mixtures of quantitative and qualitative
17 data and ranks, as I mentioned. It ranks it into
18 site selection that it recommends to the ORA.

19 Okay, the middle level tools are much
20 more formula driven than the high level approaches
21 and perhaps in the industry conferences that I've
22 attended, I would say the most common one you see is

0072

1 something that looks like a failure modes and
2 affects analysis approach. That's had a lot of
3 experience in industry, particularly automotive and
4 semiconductor industries, et cetera, and it's expert
5 driven like the others, but it also uses a decision
6 analytic method.

7 FMECAs and FMEAs look something
8 like this where there's a severity of effect scale,
9 effect scale as we had on the previous matrix
10 approach from, say, one to ten. There's an
11 occurrence probability scale and a detection scale.

12 Now this one (detection is reversed because the
13 better your ability to detect a risk from existing
14 controls, et cetera, the lower the risk score. And
15 this is, these three scores are multiplied into
16 "SOD", as it's called, or risk number and also

17 some rankings are done on just the severity of
18 effect or severity of harm and the occurrence
19 probability.

20 And FMECAs, you'll see, or FMEAs will
21 rank a very specific table of failure modes, (This is
probably too
22 fine to read there, but this, these are just made up for
illustration

0073

1 steps on a manufacturing process that are ranked by
2 the potential mode of failure, and its potential effects
3 and its effects on the entire system. Then it's
4 scored and ranked so that the team doing this risk
5 management can look at where should it should put its
6 efforts first to manage risk. It's another ranking
7 process. So that's an example of a middle level
8 tool.

9 Time wouldn't permit us to go into a
10 quantitative low level tool, I'll leave that
11 definitely off the charts.

12 Okay, finally, where is the guideline
13 now? Well, it's published as guidance in the
14 regulatory regions. The CDER guidance is listed
15 here. Judging by industry and regulatory

16 conferences, interest is very high. I seldom see an agenda
that doesn't have

18 something about it. Some members of the ICH
19 working group compiled all of the presentations that
20 we've done over the years and we put them in one
21 place. We've got, you know, just a huge amount of
22 information here and so information they've been

0074

1 compiling and the steering committee of the ICH was
2 gracious enough to let us post it on the Website and
3 I've given that URL there, it's quite extensive.
4 There's some 400 slides in that compilation, but
5 they are organized by general areas The slides are for
6 public domain use. There's nothing there but
7 shared information.

8 So the next steps, from great ideas to
9 practice, implementation is always the challenge and
10 both industry and regulators have common
11 questions in implementing it. How do we know which
12 risk is first? How do we know which tools are
13 best and how will we know good risk management from
14 bad risk management? And also one question that I
15 frequently get asked at audiences is, "do I hire a
16 department of risk managers?"

18 The key to implementation is, in my
19 belief, is that we use the best parts of existing
20 knowledge bases, they are not intended to create new
21 things and expectations, but to use what we have in
22 more systematic and wiser ways that employs the

0075

1 wisdom of those that have been doing it for years.

2 So there's my parietal principle for
3 quality risk management is that most of the
4 expertise to do this is in, is in the pharmaceutical
5 experts, it's not that you can't go get the risk guy
6 and say, hey, go do this quality risk management for
7 my pharmaceutical. That's not going to work. It's
8 typically, it's a team effort in all these system
9 approaches. You use the expertise that's on hand.
10 That's where it really comes from.

11 So, thank you very much for your
12 attention.

13 DR. GLOFF: Thank you, Dr. Claycamp.

14 Any questions for clarification before
15 we go to a break? No. Okay.

16 We're scheduled to have a break until
17 10:15, and so that gives us about 12 or 13 minutes,
18 so we'll reconvene at that time.

19 (Short break taken)

20 DR. GLOFF: Our next speaker is
21 Mr. Joseph Famulare on Q10 pharmaceutical quality
22 systems. And I'll let him get started.

0076

1 MR. FAMULARE: Thanks. Okay, good
2 morning everyone.

3 To round out the discussion at least in
4 terms of the quality vision as set out in ICH, I'm
5 going to talk about ICH Q10 and of course I start
6 out with a question here in this slide, why a
7 harmonized approach to a comprehensive modern and
8 robust quality system or how we've kind of thought
9 about Q10.

10 Going back to the discussion that Moheb
11 had in talking about when we came in with this ICH
12 vision in 2003 and had a brainstorming group, we
13 talked about review, we talked about how to put
14 really quality by design risk management and we said
15 well how do we round out this lifecycle vision and
16 so forth. What happens from transfer and
17 commercialization over the lifecycle of the product
18 and we actually diverged into a large discussion of
19 well do we need a harmonized GMP across all the

20 various regions and so forth.

21 And ICH Q7, for those familiar for
22 active pharmaceutical ingredients was quite popular

0077

1 and the thought was well why not, why not do Q7B and
2 have a harmonized GMP for dosage forms as we do for
3 active pharmaceutical ingredients. Well I can tell
4 you that discussion kind of shadowed the Q8 and Q9
5 going forward for a good number of years through the
6 ICH process and this discussion of continual
7 improvement and change control and what will be the
8 benefit kind of culminated to another brainstorming
9 session in Brussels, now it must be about a year and
10 a half ago where we were starting to get closer to
11 and decided on what would be the concept of Q10.

12 How would Q10 come into being. How
13 would we relate to the rest of the lifecycle post
14 approval and try and bring together the various
15 facets over the lifecycle of the process.

16 So we saw in these discussions as a
17 purpose why Q10, the need to improve the quality of
18 pharmaceutical products, not to dismiss those that
19 are, you know, currently being manufactured and the
20 systems used being poor, but to bring in the

21 benefits that we've seen in other industries of risk
22 management, really modern robust quality systems and
0078

1 basically improve the CGMP compliance.

2 So we've already seen -- well what we
3 have seen is a way to really bridge the different
4 CGMPs in the various regions, not try and rewrite
5 the underlying ones, but to bridge the various GMPs
6 in the various regions through this guidance
7 document which really describes a quality system.

8 And we see it as necessary for the
9 implementation and the effective utilization, again
10 bringing in the lifecycle for the quality by design,
11 Q8, and risk management, Q9. So we see as a part of
12 our mission as we go forward with Q10 the need for
13 really linking to those documents and having them
14 work over product lifecycle.

15 Some of the challenges that came up in
16 our discussions and that have folded into where
17 we're going now with Q10 is basically understanding
18 and having effective knowledge transfer from
19 development through commercialization. And there
20 was much discussion around corrective and preventive
21 action, commonly known as CAPA. How can that be

22 defective in terms of being able to look at an

0079

1 issue, get to the root cause and resolve it.

2 Change control you'll see in my
3 discussion is a continuing theme and it relates to
4 what John Barridge said and so forth, how can an
5 effective change control come into being with now
6 the concept of design space.

7 Firms now will be managing their own
8 change instead of prior submission and approval,
9 incidentally, as opposed to the way John phrased it,
10 it's already really kind of approved, but you need
11 to change within your design space and be able to do
12 that.

13 I realized as I looked at this
14 presentation just before I got up, John, that there
15 are no graphics in it, so bear with me, it's all
16 words and, again, how are we going to be able to
17 make this document useful in terms of review and
18 inspection and so forth.

19 Really the audience of this is to the
20 industry, but we have to always be thinking about
21 how this will work in terms of modernizing our
22 review and inspection procedures. As Moheb

0080

1 indicated, you know, in his slides, how are we
2 adjusting to this in an internationally harmonized
3 way.

4 And ultimately, you know, again, I've
5 already addressed the penultimate goal is to really
6 have a demonstrated state of control on behalf of
7 the pharmaceutical manufacturer so that there's
8 confidence that movement can be made in the design
9 space to achieve continual improvement. And
10 actually in our expert working group we've already
11 yielded to continual

12 And I, I am the FDA lead on this work
13 group, just to orient yourself, and we happen to
14 have the rapporteur here at the table, you know,
15 Jerry Migliaccio, so, we at least have two people
16 who are inside the working group here right now.

17 And again, as we got our, our charge
18 going forward, we did have a meeting in Brussels
19 about a year and a half ago, got a concept paper
20 approved and now we're in the process of the
21 consensus stage, as Moheb described, step one. And
22 we are now trying to craft a document to meet the

0081

1 principles that were set out in the concept paper
2 that was approved by the ICH steering committee.

3 And we're looking at continuous learning
4 and improvement as one of the important thoughts in
5 constructing Q10. Much learning takes place through
6 process experience. While you want quality by
7 design and quality built in through your design and
8 development work, you certainly want to account for
9 where the most experience is going to be in the
10 commercialization of the process. And we feel that
11 through Q10 we're going to be able to now pretty
12 much put in place a mechanism to take advantage of
13 that and feed that back in to the process on both
14 the industry side and, as Moheb said, if we take the
15 parallel regulatory side, review, compliance and
16 inspection. So we want to look at the lifecycle.

17 And again, it corresponds with our 21st
18 Century Regulatory System, improvements that are
19 going on that were well described earlier and the
20 idea of having flexibility and more of a management
21 and performance type of regulation versus a
22 technical regulation where we're trying to regulate

0082

1 many discrete steps.

2 So this is a movement to that better
3 understanding. The mission for the expert working
4 group is to establish a new tripartite guideline
5 describing the model for an effective quality system
6 needed to establish and maintain a state of control
7 that can ensure the realization of a quality drug
8 product and facilitate continual improvement over
9 the product lifecycle.

10 So you could see the themes in this
11 mission statement, continual improvement and the
12 flexibility to get there, but the necessary controls
13 to be able to do this and execute this properly.

14 It's important to understand, and this
15 again goes to a discussion that Moheb had earlier,
16 we're not wiping out the old regulatory system that,
17 for lack of a better term, that's there, it's an
18 approach that's optional for, you know, in terms of
19 a firm may choose to adopt certain elements of Q10
20 or an alternate approach to a quality system.

21 The extent to which Q10 or any other
22 quality system approach is adopted may depend on a

0083

1 firm's existing quality system as well as the size
2 and complexity of their operations. And the design,

3 implementation and demonstration of an effective
4 quality system can create a basis for regulatory,
5 flexible regulatory approaches or from which they
6 can flow. So you could see now we're trying to put
7 together a complete coverage of the quality system
8 to implement these, these types of things and
9 concepts that we've been talking about.

10 Just to get an idea of the scope of Q10,
11 I mentioned dosage form GMPs that we have in each of
12 our regulatory authorities. I mentioned APIs in
13 terms of the Q7, the internationally harmonized GMP.

14 Q10, as I said before, is a bridging
15 document to bring these GMP concepts together to a
16 higher level, to a robust quality system. So its
17 scope is rather broad in terms of covering drug
18 substance, or APIs, small and large molecule
19 operations, drug product operations and really being
20 able to cover the lifecycle from development, tech
21 transfer and manufacturing.

22 Some of the things that really are at

0084

1 the basis of Q10, again as we are moving forward in
2 the consensus building stage and actually drafting
3 the document is what are the customer requirements.

4 And of course customer means not only penultimately
5 the patient, but also different individuals in
6 various steps of the process.

7 If you put yourself at the firm, if I'm
8 developing a process and I'm going to develop it to
9 manufacturing, what are the requirements they need
10 to properly manufacture and commercialize that
11 product. What are the requirements that I need to
12 deliver, I'm going to contract out a portion of
13 this. So, again, that's an important consideration.

14 We have as part of our basis and in our
15 concept paper the need to really align with ISO
16 principles, EU GMP, Q7A and the FDA quality systems
17 guidance which Moheb mentioned earlier which
18 actually was just released last Friday and
19 officially published in the Federal Register on
20 Monday. So when you said was it Friday or Monday,
21 that's why I was trying to say yes to both.

22 So that has moved along and really set

0085

1 along I'd say some similar principles here now that
2 we're ready to bring to the international arena.
3 FDA had pretty much gone somewhat on that path
4 already and it was decided to continue and finish

5 that and I'll talk a little bit more how these
6 things were merged together as we complete Q10.

7 Again, as I said, this is a bridge of
8 GMPs in the various regions, not an attempt to
9 re-write all the basic really regulatory level GMPs
10 in all the various areas.

11 So, again, I'll, I don't have graphics,
12 so I'll use others. I'll go back to Greg's, it's
13 more higher level in some sense than trying to get
14 to all the elementary -- you know, the elements of
15 GMPs.

16 An important thing, again, and going
17 back to really the whole basis of ICH as Moheb
18 described, prevent delays in introductions of new
19 medicines and stoppages of existing medicines. And
20 it's a very important factor that we discussed in
21 terms of the challenges that a global pharmaceutical
22 environment has today.

0086

1 If you want to make a change and you
2 have an application or a license that's approved in
3 many regulatory authorities, I think better
4 understanding or common understanding and what the
5 product is, its processes and really understanding

6 it, as John said, at a mechanistic level, should
7 facilitate with a common understanding of quality
8 systems changed within the facility that could maybe
9 eliminate and reduce some of the ideas of filing
10 individual supplements. So a more global type of
11 approach.

12 And we hope this removes impediments to
13 modernizing products and processings, paralleling
14 with other industries which have made strides in
15 quality, culture and implementation.

16 So as Greg mentioned that with risk,
17 we've seen really strides in these things and
18 there's many different quality practices, whether it
19 be automotive or other industries that I think we
20 want to make sure as regulators and in this industry
21 we're taking full advantage of those.

22 Areas we've seen that are important to

0087

1 cover include common terminology around quality,
2 what the definition is and how do you maintain a
3 quality system.

4 The importance of the role of
5 management, including senior management, and that's
6 very important, particularly for an older CGMP as we

7 call it in the U.S. which really is very thin on
8 management type of information in the GMP. Always
9 the philosophy when that rule was prepared and
10 finalized in the 1970s is that we would allow
11 companies to set up their own management structures,
12 so rather than focusing on quality management, we
13 focused on quality control itself.

14 So having that common understanding that
15 brings across the U.S. is very helpful.

16 Identification of performance
17 indicators, management in trends to determine
18 effects on processes and products.

19 And that's an important concept because
20 as I said earlier, we do understand as you make many
21 process -- products and batches over the commercial
22 lifecycle, there are trends, there are things that

0088

1 you learn even beyond development. How can you
2 identify those and utilize those things that you
3 learn from batch to batch reduction to either reduce
4 variability or change the direction back to the
5 original design.

6 And then, of course, the importance of
7 effective change control processes, if you see the

8 need to change. How do you manage that change and
9 understand its affect ultimately on safety and
10 efficacy or bioavailability, do it in a way that
11 lends itself to better process understanding as
12 opposed to maybe a way where we're really slave to
13 the way the original pivotal batch was made because
14 most of our understanding was really empirical and
15 not mechanistic as was described earlier.

16 So the important elements in a quality
17 system, of course, that we're putting a lot of focus
18 on here and it's a theme that I may be somewhat
19 repeating or emphasizing is the product realization.

20 To provide a manufacturing process
21 capable of consistently producing a medicinal
22 product of the quality required to meet customer

0089

1 requirements, that consistently, then combined with
2 continual improvement in order to facilitate and
3 control those improvements, reduce variability,
4 allow for innovation and quality system
5 enhancements, thereby managing the risks related to
6 the product quality and the quality system.

7 And just to, you know, go back here
8 where I mentioned product quality and quality

9 system, I'll just parse out those two facets here
10 for emphasis, that as we're constructing this, this
11 guideline, there are really two facets of continual
12 improvement we're focusing on, that of product
13 quality, itself, and that of the overall quality
14 system in a manufacturing facility.

15 How do you manage that, how do you
16 improve that quality system and how do you keep that
17 going in a way that reflects management commitment,
18 management philosophy, et cetera, so that there's a
19 culture of quality within the facility and then you
20 focus that on the product quality.

21 So, there's two important areas, you
22 know, in terms of overall quality systems in a

0090

1 modern setting today and also in focusing on the
2 product quality itself, or putting that in a
3 pharmaceutical context.

4 Again, the importance of the
5 relationship for the trio of documents that we're
6 working here, processes for pharmaceutical
7 development, Q8 or equivalent, are key linkages to
8 product realization within a pharmaceutical quality
9 system. And really Q8 provides the process

10 understanding that serves as the basis for continual
11 improvement.

12 The quality system will have real
13 limitations if we don't have sound quality by
14 design. The quality system should encourage and
15 facilitate the use of quality risk management, Q9,
16 approaches throughout the system.

17 So again, we see the design and
18 application of processes within the quality system
19 should be based on appropriate risk management
20 principals and methods. So it's our challenge as we
21 construct Q10 to make sure that we're folding in and
22 being consistent with these principles.

0091

1 And we actually, I just realized we have
2 another member of our expert working group in the
3 audience here and he actually comes, Fred Razzaghi
4 comes from the Q9 group. So we have linkages, we
5 have people that worked on Q8 and Q9 within the
6 group, so we're constantly thinking of those
7 linkages.

8 Outsourcing I mentioned briefly before
9 and we've already sort of put into our thinking the
10 importance of covering outsourcing in this guideline

11 because of the common practice of outsourcing all or
12 parts of manufacturing or packaging or testing
13 operations. And, you know, the important elements
14 and principles that we're trying to capture as we
15 construct this document is that the quality system
16 and management responsibilities really need to have
17 a strong extension to out-sourced operations and
18 there should be a link to the quality system to that
19 of the outsourcing or outsource supplier and that
20 the contract manufacturer or service provider really
21 must operate within the overall contractor's quality
22 system.

0092

1 So, basically what that all means is
2 that you're not necessarily going to have to impose
3 your quality system on the contractee, but you
4 should be able to have appropriate links and be able
5 to have enough control over what's going on in
6 another quality system that ties back to your
7 company.

8 It, it's not efficient, for example, to
9 have several different quality systems running at a
10 contract facility. So there's, it's important
11 principles and links that we're trying to establish,

12 recognizing the global nature of operations.

13 What do we hope to achieve as we get
14 through this Q10 process is really to force the
15 technical innovation and really put the ability back
16 to the manufacturer to be able to do that, because
17 after all, the manufacturer has the primary
18 knowledge and understanding and should be able to
19 implement those things with the proper understanding
20 of the regulators.

21 And again, post-approval changes that
22 can be managed within the internal change management

0093

1 processes congruent with design spaces, control
2 strategies and even better implementing process
3 analytical technology, because you really have a
4 dynamic control process there and you should be able
5 to take advantage of those and an overall quality
6 system that really helps the control of those
7 strategies based on your basic design understanding.

8 It should facilitate really newer
9 approaches to process validation that benefits from
10 lifecycle improvements, including continuous quality
11 verification, where that's feasible, where you've
12 implemented that type of system, for example, under

13 process analytical technology.

14 And, of course, we hope this would
15 result in and as I alluded to it in my beginning
16 slide, really meaningful investigations when there
17 is a problem, getting to root cause, which will then
18 lead to effective, corrective and preventive action,
19 because as we see today, very often those problems
20 that are found, answers that are sought are really
21 left unanswered.

22 So some of the issues in play as we

0094

1 construct Q10 is following really the ISO structure
2 and how well that will work. And practical
3 implementation, really the structure of the current
4 ISO guidelines, how we describe implementing from a
5 change control and that and other areas, what depth
6 and level of detail do we need to go into in
7 preparing this guideline. We are trying to stay at
8 a higher level in terms of the CGMPs basic elements,
9 but is this an area we could focus on some more.

10 How this relates to the FDA final
11 guidance of quality systems. We talked about that
12 in the ICH arena and we certainly have expressed a
13 willing to yield to those concepts that we agreed to

14 internationally and right now, I mean I guess I
15 don't see a divergence of major ideas there, but we
16 will either need to remove it or change it
17 drastically.

18 There are some needs that we have in the
19 FDA, as I said earlier, because our basic GMP really
20 doesn't discuss quality management, so maybe we'll
21 chop off a lot of pieces and leave that piece to,
22 you know, that relates to our basic regs, et cetera.

0095

1 So that's a work in progress, but we're
2 certainly flexible on that in terms of an FDA
3 position and we've said that to our colleagues at
4 ICH.

5 The relationship of the
6 pharmaceutical -- of the quality system to the
7 pharmaceutical lifecycle and it's really how we
8 strike the right balance in terms of that, you know,
9 how much system controls of quality do you need at
10 the development stage versus the commercialization
11 stage and we really have to make sure as we prepare
12 this document we strike the right balance there
13 because in the development stage you are trying
14 things, doing things, experimenting, design of

15 experiment.

16 You're not putting in the same level of
17 requirements as in the commercial process, but you
18 certainly want to have enough of a quality system
19 that you know where you've started, what your end
20 points are and what knowledge you want to bring
21 forward. So that's just a challenge in drafting
22 that.

0096

1 Again, I've covered depth and level of
2 detail in terms of certain examples. And again, we
3 have to make sure that we fit with the overall Q8
4 and Q9 strategy and plan for implementation and of
5 course while it's not part of really the basic
6 construction of this guideline or the others, what
7 will be the plan for regulatory relief and
8 implementation and Moheb alluded to that a little
9 bit also.

10 We're implementing this in our
11 regulatory authority through various pilots with the
12 fields and ONDQA field, question-based review and
13 all the various things that we're doing, how do we
14 talk about and do implementation now that we've set
15 these general goals and so forth is kind of, I have

16 it under construction as if it's the writing, but I
17 think it's an overlying thing that we have to deal
18 with as we finish this guideline and all the
19 guidelines.

20 What does this really mean and how will
21 we put it into place. How will we determine a
22 robust quality system during our inspections and we

0097

1 link that to our review or assessor colleagues and
2 we're going to still inspect against the basic GMP
3 elements that are more basic, but if we're getting
4 design space in the application review, we're
5 looking at it, reviewer and inspector are all lined
6 up.

7 Now once we're in the post-approval
8 world and we're seeing these things, we want to make
9 sure that we are understanding what we're looking at
10 during our inspections.

11 In the U.S. arena, training our
12 pharmaceutical inspector is one important element
13 and to be able to have those good feedback loops
14 internally as to what we're finding is important and
15 then again, you know, how will that affect the
16 submission of manufacturing supplements, what we

17 find on inspections, what are we, you know, the
18 knowledge of our review, assessor staff
19 internationally and how we establish those feedback
20 loops and as was discussed in one of the bullets of
21 Moheb's slides, how that fits in with the term of
22 regulatory agreements.

0098

1 What's the understanding going forward
2 of where the flexibility is and where you have the
3 reign as a manufacturer for management of change
4 within a quality system and congruent with the
5 original design of the product and its
6 characteristics.

7 So our work plan right now, we're being
8 driven by our rapporteur to really achieve step two
9 by Spring of 2007, so many of the issues in play
10 will be hopefully, you know, brought again to bear
11 in Chicago as we go forward. And as Moheb says,
12 we're seeking advice going forward on some of these
13 issues.

14 We want to get as many of those things
15 understood, discussed in terms of structure, depth
16 level of the guideline so we can look to possibly
17 getting to the high hopes of achieving step two,

18 which means it goes out for draft publication in the
19 various regions by Spring of 2007.

20 So we will have a busy time in Chicago
21 when we go there.

22 So we hope to really have an

0099

1 internationally harmonized approach to the
2 manufacturing quality of pharmaceuticals, one at a
3 level that bridges the GMPs and gives understanding
4 to all these concepts, bringing them together. In
5 that graphic, even as John said, have change control
6 empowered to the manufacturer within design space
7 and have a robust quality system to back that up.
8 And really have more efficient processes for the
9 manufacturers to manufacture their products,
10 continually improve and move forward with their
11 products over the lifecycle where there's a lot of
12 learning and then to have more efficient regulatory
13 processes, not only in terms of what we look at in
14 terms of subsequent regulatory submissions, but also
15 to have an efficient process for our inspections
16 when they go forward.

17 As was said in Q8, that information is
18 valuable not only to reviewers, but also to

19 inspectors. It will give the inspector going
20 forward a good idea of what's important, what to
21 look at, what are the key areas, key linkages within
22 our regulatory agencies to understand that. So we