

0100

1 And this equation here just shows the
2 linearized test for bioequivalence, including a
3 factor for the would have been referenced
4 variability. And both AUC and C max must meet the
5 bioequivalence acceptance criteria using this
6 approach.

7 Now some advantages of using this
8 approach and thinking about the plot for the
9 simulations that Dr. Haidar showed earlier this
10 morning, his simulations certainly confirm these
11 features of the approach in that if the test
12 variability, the test product variability is less
13 than the reference product variability, then using
14 the scale of average bioequivalence approach will
15 benefit the test product.

16 If the test variability is greater than
17 the reference variability, there should be no
18 benefit to the test product, and this was shown by
19 some of Dr. Haidar's simulations and we believe that
20 this approach, by using this approach this will help
21 discourage conducting sloppy studies or not give the
22 highly, not give the scale, reference scaled average

0101

1 bioequivalent advantage to poorly formulated
2 products or sloppily conducted studies.

3 Now one question we had is what about
4 borderline highly variable drugs, drugs for which
5 they don't always consistently show a within subject
6 variability of greater than or equal to 30 percent.

7 As our simulations were presented
8 earlier, they did confirm that for a true borderline
9 highly variable drug, either a scaled or unscaled
10 bioequivalence approach is suitable. In other
11 words, the outcome of a three-way cross-over study
12 would be the same whether a reference scaled average
13 bioequivalence analysis or an unscaled average
14 bioequivalence analysis is conducted. So in other
15 words, for a true borderline highly variable drug,
16 there should not be a problem with using the
17 three-way cross-over study design approach.

18 Now when the scaled average
19 bioequivalence approach is unsuitable, we believe
20 that this would be when high variability is due to
21 the generic product itself as opposed to the drug
22 substance or the conduct of the study.

0102

1 If the variability is due to the affects

2 of the generic formulation, then the product is not
3 going to benefit from scaled average bioequivalence.
4 In other words, if the test variability exceeds the
5 reference variability.

6 If the studies are poorly performed and
7 it appears that the reference variability is high
8 because the study was poorly performed, then we
9 believe the burden should be on the applicant to
10 prove to the Office of Generic Drugs that the drug
11 substance is highly variable. And we can conclude
12 in individual cases that the scaled average
13 bioequivalence approach is unacceptable.

14 Our reviewers do routinely confirm all
15 the calculations that were done by industry, they
16 run their own calculations and they would certainly
17 routinely start doing the calculations for studies
18 that are submitted using this approach.

19 Now there's several concerns that we
20 have about reference scaled average bioequivalence
21 used for highly variable drugs and these concerns
22 have been alluded to by the speakers this morning.

0103

1 The first concern is that firms will
2 conduct a replicate design study, then submit

3 results with both scaled and unscaled bioequivalence
4 analyses and maybe the two different analyses will
5 give different outcomes, in that one will pass and
6 the other will fail. In other words, this is the
7 pick the winner approach.

8 Our proposed solution is that to
9 evaluate, in these cases to evaluate the within
10 subject -- the within reference variability very
11 carefully and basically if the within subject
12 variability of the reference product is greater than
13 or equal to 30 percent, we'll use the reference
14 scaled average bioequivalence approach. If the
15 within subject variability for the reference product
16 is less than 30 percent, then we will use the
17 unscaled average bioequivalence approach.

18 A second concern is that scaling can
19 allow the resulting AUC and C max geometric mean
20 ratios to either be unacceptably low or unacceptably
21 high. Our proposed solution is that acceptance
22 criteria can include a point estimate constraint and

0104

1 this has been discussed this morning.

2 And a final concern is what should be an
3 appropriate number of subjects for a bioequivalence

4 study that uses this approach. In other words,
5 should the FDA recommend a minimum number of
6 subjects.

7 And finally, I'd like to acknowledge the
8 efforts of a great many individuals that contributed
9 to this project and contributed to these
10 presentations this morning, the Office of Generic
11 Drugs, highly variable drug working group and the
12 division of bioequivalence research group, all of
13 whom collected a great deal of data from over
14 1,000 studies in a very short period of time.

15 I'd like to thank everyone who worked on
16 this and thank you all for your attention.

17 DR. COONEY: Thank you. I'd like to
18 take a few moments for any questions around the
19 presentation, but I will suggest that we have
20 discussion on the proposal after the open public
21 hearing period.

22 Are there any? Marv?

0105

1 DR. MEYER: Two brief questions. Your
2 slide that was entitled when scaled average BE
3 approaches unsuitable, I object to unsuitable really
4 because if the generic doesn't benefit, that's

5 tough.

6 It's not really an unsuitable design, it
7 just doesn't help the generic get passed, so some
8 other word than unsuitable, perhaps.

9 And the, you mentioned a group
10 sequential design, is that essentially an add-on?

11 DR. DAVIT: No, that's not an add-on.

12 DR. MEYER: Okay.

13 DR. DAVIT: That's what I mean, that the
14 study has to be in place apriori. In other words,
15 the protocol is designed so that there is the option
16 of adding on, but the statistical -- well, okay,
17 there's the option of conducting a second cohort or
18 a second study.

19 DR. MEYER: So that's an add-on?

20 DR. DAVIT: It's not an add-on in the
21 sense that, I guess in Canada it's added on, and I
22 guess I think the difference is that there is a

0106

1 difference in how the statistical are evaluated and
2 this has to be set at the beginning.

3 DR. MEYER: Right, but I was unaware FDA
4 would even accept, quote, an add-on design, I
5 thought that was discouraged?

6 DR. DAVIT: We've actually been
7 encouraging it for the last two years.

8 DR. MEYER: Oh, okay.

9 DR. DAVIT: But we haven't seen any
10 protocols to date. I think basically because of the
11 complexity of the study and the fact that, you know,
12 the, to maintain an alpha of .05, one might have to
13 adjust the competent intervals to 94, 95 percent.

14 DR. COONEY: Ken.

15 DR. MORRIS: Yeah, I think there's a lot
16 to discuss for this afternoon, but just one question
17 on slide 4, when you say some reasons for high
18 variability in BE parameters, drug substance
19 obviously and then in drug product you say inactive
20 ingredient effects and manufacturing effects.

21 Is this, are these data that you're
22 referring to implicitly or is this just, is this

0107

1 just by inference?

2 DR. DAVIT: These are data that we're
3 referring to and we've inferred it from the data.
4 In other words, we've seen differences in the
5 formulations and it's possible that some of these
6 formulation differences could be contributing to the

7 variability.

8 DR. MORRIS: But I mean are you seeing
9 it in the tests as well as the reference?

10 DR. DAVIT: That's a very good question.

11 No, we don't know. We don't know. The
12 reference is constant and then the variability is in
13 the test product.

14 Like I said, it's pooled right now
15 because all we have is two-way cross-over studies.

16 DR. MORRIS: Right. Right. Thank you.

17 DR. COONEY: Meryl.

18 DR. KAROL: I would just like some
19 clarification, are all the study results reported or
20 just those that are successful, because we've heard
21 a difference?

22 DR. DAVIT: That's a really good

0108

1 question. That's an excellent question.

2 Unfortunately, this is very much a
3 biased sample because the, at present applicants
4 submitting ANDAs are not required to submit all
5 their bioequivalent studies. They are only required
6 to submit an in vivo study, and the decision -- well
7 generally, generally companies do one fasted

8 bioequivalence study and one bioequivalence study
9 under FED conditions, and generally all that we see
10 are the passing studies. So, we don't have a sense
11 of the failed attempts.

12 DR. KAROL: (Not talking in mic) of the
13 number of tests that are conducted even if you don't
14 see the results, you just don't know?

15 DR. DAVIT: That's correct. Yeah, we
16 just don't know.

17 DR. COONEY: Okay, thank you very much.

18 I'd like to move -- were there any more
19 questions from the committee?

20 Okay, I'd like to move to the next
21 presentation and we will come back with adequate
22 time for discussion of this topic later.

0109

1 We have scheduled 45 minutes for an
2 awareness topic on risk management of complex
3 pharmaceuticals and Steve Kozlowski will make this
4 presentation.

5 DR. KOZLOWSKI: I just want to start off
6 by making a comment about relaxation, so you can
7 relax by meditating and making your mind blank, but
8 you can also relax with yoga, which has a lot of

9 complicated, active positions. So we'll see what
10 type of relaxation we're looking for.

11 So, basically as an overview of what I
12 want to talk about, so some background, and I think
13 we heard a lot about risk management yesterday, so I
14 will try and move through this relatively quickly.

15 Some ideas of how risk management or
16 risk assessment could be applied to complex
17 products, less than the whole nine yards. Are there
18 parts of this if it's impossible to do the whole
19 thing that make sense to do and then finally, what
20 kind of considerations would we need for the future
21 for this.

22 So, to start off with I'll show a slide

0110

1 that we saw yesterday, this is from ICH Q9, there
2 are lots of different risk assessment tools and they
3 may fit particular jobs and will not all be useful
4 for all things.

5 We also saw this table a number of times
6 yesterday in which risk management is a complex
7 process with many components and what I would like
8 to focus on is the risk assessment issue, because I
9 think that, at least for complex products with many

10 attributes is the biggest problem.

11 How do you really assess the risk of the
12 attributes, not so much how you deal with them once
13 you know what they are.

14 So, again, risk is defined as
15 probability times severity. There are questions you
16 ask what could go wrong, what are all the different
17 things you need to look at and for each one what's
18 the likelihood and what's the consequences of those
19 things going wrong.

20 Now the use of this was discussed again
21 yesterday and the first topic, inspections and
22 audits are the two main examples that we were given,

0111

1 so this is clearly an area where risk assessment has
2 value.

3 But also in the guidance it talks about
4 facilities and equipment evaluation, materials
5 management, manufacturing and change control and
6 then finally assessments, including product quality.
7 So how would you begin to use some of these systems
8 for some of these products. And again, this is not
9 answers, but how to begin to think about it.

10 So, again, we've heard about different

11 kinds of risk management, so failure mode effect
12 analysis is a bottoms-up risk assessment. It looks
13 at individual things and then it assesses the impact
14 of what they, of what goes wrong and how severe it
15 is and the frequency and it's semi-quantitative and
16 basically assigns categories for probabilities,
17 categories for consequences and then makes boxes
18 which might be considered low risk, high risk and in
19 between risk. And again, a very qualitative set of
20 assessments.

21 There are opposite risk assessment
22 tools, like a fault tree analysis where you start

0112

1 with the disaster is and you work your way down.
2 And so this is an example in terms of such a risk
3 assessment about a car crash. Cars at both
4 junctions -- I would actually say I didn't get a
5 chance to work this out, but to do a risk assessment
6 tree like this for not being able to attend an
7 advisory committee, so you could have inability to
8 fill out the paperwork or unwillingness or you could
9 have rejection of the paperwork and for rejection of
10 the paperwork you could have, you know, conflict or
11 you could have appearance of conflict.

12 So I think that if you looked at all of
13 the numbers of those it would be an interesting
14 project, but that's not, not my agenda. But in such
15 an assessment where you look at severe outcome and
16 then you look at probabilities for severe outcome,
17 you can begin to quantify those and actually put
18 numbers on that. So this would be a quantitative
19 risk assessment.

20 And again, you can use a similar graph
21 of, similarity graph with probability and instead of
22 having just broad categories, you actually have

0113

1 quantities. So you can have probabilities that go
2 from 10 to the minus 7 to 10 to the minus 1, or
3 whatever they are, and severity measured in a more
4 quantitative way. And this generates a curve based
5 on risks you don't want to take or risks you need to
6 deal with and risks you don't on the other side of
7 this.

8 But quantitative risk assessments, and
9 this question I think was brought up by Dr. Benet
10 yesterday, is -- also has uncertainty associated
11 with it and any number you get by putting such a
12 quantitative risk together involves some level of

13 variation and if that variation is large, your
14 ability to trust that is less. And so that needs to
15 be taken into consideration.

16 And finally I want to talk about what's
17 called probability -- probabilistic risk assessment,
18 and people may have many different terms for this,
19 but this has a number of features. And so what does
20 this mean. And this is taken from presentations by
21 NASA that uses this type of analysis a lot.

22 So some of the parameters are it

0114

1 includes the uncertainty of quantitation, which we
2 mentioned before. It models for unknown
3 information. It assumes that you can't really
4 estimate most of the P values. You need some way of
5 estimating them or guessing them. And it involves
6 not looking at each mode independently and ranking
7 it, like you might do in an FMEA, but actually
8 trying to integrate all these different risks into
9 overall risk judgments.

10 So the modeling part is interesting, how
11 are these models. So for NASA in modeling the space
12 shuttle, again published in Aeronautics journal,
13 they used two methods. One is similarity, so

14 similarity is you have a component.

15 So the modeling part is interesting.

16 How are these modeled. So for NASA in modeling the

17 space shuttle, again, published in Aeronautics

18 journal, they used two methods. One is similarity.

19 So similarity is you have a component, you have no

20 idea what the risk is, you look for the closest

21 component like it and then you look at the risk and

22 the probabilities you know for that and then you

0115

1 extrapolate.

2 And then what they called at least, and

3 again, there may be different names for this, a sort

4 of more structural probability analysis and that is

5 you, more from first principle, look at all the

6 variables you think matter for this component, you

7 vary them with simulations like Monte Carlo

8 simulations and then you derive numbers

9 theoretically for the risk of these components.

10 So, again, taken from a NASA

11 publication, just to go over some of the general

12 inputs in this. So here is the space shuttle and

13 it's, all of the different components and then some

14 areas which or which may not contribute to failure.

15 Then selecting one of those elements, manifold, a
16 manifold weld failure and then looking at all the
17 different ways that failure could impact ignition;
18 is it small enough not matter, is it detectable, and
19 then making some sort of logical graph based on the
20 role of that component. Then inputting, then taking
21 all that data and putting it into a tree that
22 actually assigns P values to all these things and

0116

1 looks at how they affect success or failure of the
2 mission.

3 And again, the probabilistic issue would
4 be you're looking at the distribution of the
5 initiating event, not just its frequency, and you're
6 also adding many things to the equation, tests,
7 modeling, similarity analysis to try and make these
8 estimates. And finally, you're integrating all the
9 different components, be they a failure of a
10 manifold weld to a sealed failure and getting an
11 overall idea of the risks associated with the
12 shuttle.

13 So, how can we apply this to complex
14 products? So again, I've shown this slide many
15 times before, a lot of proteins have a lot of

16 complexity in addition to their primary sequence.
17 There are many different ways of combining
18 attributes to give you a large number of possible
19 parameters and combinations, how would you deal with
20 this.

21 So, I'm quoting a humorist who says some
22 problems are so complex you have to be highly

0117

1 intelligent and well-informed just to be undecided
2 about them.

3 And I think this problem kind of enters
4 into that domain, but nonetheless, let's think about
5 it a little. So for probabilistic risk assessments,
6 people tend to think that lack of data is a reason
7 not to perform one.

8 But most people who do this, and again
9 maybe they want -- business would argue the exact
10 opposite is true, that a probabilistic risk
11 assessment is in fact desirable when you don't have
12 exact data. It's generally used for low
13 probability, high consequence events for which you
14 don't have enough statistical data and enough
15 data -- if enough statistical data exists to fill in
16 all the trees, then you don't need to do this. This

17 is really where you're lacking information. And
18 again, this comes from a NASA quote.

19 Also from Bilal Ayyub, who's worked with
20 the agency previously on some risk assessments
21 pointed out to me that even if you don't know things
22 and it's not that useful to predict, often when

0118

1 you're dealing with large amounts of complex data,
2 you need a way of organizing it at least. And as a
3 minimum, such a risk assessment begins to look at
4 how to organize all this uncertainty associated with
5 complex products.

6 So, again, taking a mimic of the space
7 shuttle diagram and starting off with a protein, so
8 again, you have what its structural attributes are
9 at release, the expected stress effects on that, to
10 look at just the at release ones, you have issues
11 involving container closure, excipients, impurities,
12 primary structure, three-dimensional structure and
13 non-aggregation and quaternary structure, and again,
14 these are select examples, these trees would be
15 huge.

16 Pick one of them, primary structures,
17 you may have glycosylation, deamidation, oxidation,

18 glycation, truncation, on and on for any change that
19 could exist associated with any one of the amino
20 acids in this structure.

21 Take one of them, oxidation, which often
22 happens at methyianines, you have encytes in your

0119

1 protein that could be oxidized and then each one of
2 them becomes a potential parameter in this
3 assessment.

4 And then you go through the next stage
5 that NASA did for the space shuttle is what's the
6 impact, what's the tree involving the outcome and
7 such. So you have an oxidation at site one, does
8 oxidation not matter. And for many proteins in many
9 situations it may not matter at all and if the
10 answer to that is yes, then you're okay. If the
11 answer to that is no, then is the level low enough
12 not to matter. Again, a very low level of
13 oxidation, even if it does matter, may not be
14 important.

15 So both of these would lead to
16 acceptable product, despite the presence of this
17 change. Is it detectable. If it's, you know, not
18 at a low enough level not to matter. If it is, then

19 it's an unacceptable product but it's batch failure,
20 which is again, it's not the best outcome, but it's
21 not the worst outcome.

22 Finally, if it's not detectable and it
0120

1 matters and it's at a high enough level, then one
2 would be in a position of clinical failure. So, you
3 have three potential outcomes depending on these
4 relationships.

5 Now each site would have many
6 relationships, so for safety you might have to worry
7 not as for that activity, but worry about, for
8 instance, immunogenicity. And again, similar
9 questions, is it low enough not to generate
10 immunoresponses. Immunoresponses have high zone
11 tolerance, not that I think we'd ever want to use
12 that, but nonetheless, there could be too high to
13 generate an immune response.

14 Does the immune response have any
15 clinical significance or not and again, is it
16 detectable at a level where you get an immune
17 response. And again, for each of these you have
18 different outcomes from acceptable product to batch
19 failure to clinical failure.

20 So going back to the activity diagram,
21 you can then organize that into an event tree and
22 try and quantify all this. So the frequency of an

0121

1 oxidation would have some probability at that site.
2 If, in fact, it had no impact and then the other
3 variables don't matter, you'd have one scenario and
4 your end state would be acceptance.

5 If, on the other hand, the inverse of no
6 impact probability, in other words, a probability of
7 an impact, you would have a second scenario but if
8 its level is too low, and again, down means no to
9 these questions, so, in these, then you'd also have
10 acceptable product.

11 On the other hand, if you would have a
12 product that was above the level that would matter
13 but was detectable, you'd have a batch failure and
14 that would have a probability. And finally, the
15 probability of not being able to detect a
16 significant level of oxidation that had impact would
17 be a clinical failure.

18 So the big issue here is not how to
19 organize this. You have hundreds of attributes, you
20 have hundreds of trees. It's how to fill in the

22 you can extrapolate. And this would be a much

0123

1 tougher argument. Certainly the agency doesn't like
2 the idea of extrapolating from different products,
3 but there may be ways, again, assigning greater
4 variability since you again, you must control not
5 only what you put in but how much weight you assign
6 to it by what you think the variability is, can you
7 also use data from related products or components.

8 And the last possibility I want to throw
9 out, because I think there may be a time when we
10 know the 3-D structure of every receptor for every
11 protein and you just model what it looks like and
12 you get a probability of an interaction, but I don't
13 think we're there for a long time, I think we have
14 to live with similarity for the time being.

15 And again, a slide I've shown before,
16 for product, itself, you have whatever clinical data
17 you have, but you have a lot of data from
18 developmental lots, the lots you threw out that you
19 used in a variety of assays and there's information
20 there.

21 But again, you would want to use other
22 things and so assessing relatedness or -- of a

0124

1 related product for assigning probability so you
2 might have products that are different with the same
3 primary sequence, you might have products which are
4 different sequence but align in domains that matter
5 or don't matter for the mechanism of action, and
6 there's commonly people do molecular biology use
7 blast searches which are ways of looking for small
8 segments of amino acid similarity.

9 Would it be a value, and I throw this
10 out without knowing, if you looked at every
11 oxidation, you looked at the sequence of amino acids
12 flanking that, is there any correlation between
13 that?

14 There's probably certainly correlation
15 with three-dimensional structure and accessibility
16 and again, that would be the next level. Do you
17 have protein structure databases and I say T cell
18 like because T cells recognize primary sequence in
19 the immune system and B cells recommend -- recognize
20 three-dimensional structure, that's an analogy, not
21 a way of analyzing this.

22 But protein structure databases, you

0125

1 know we've heard work by Stephen Kramer about using
2 molecular descriptors, small pieces of structure to
3 predict chromatography, could you use small pieces
4 of structure in this way.

5 And there's certainly one example which
6 again is experimental, but in Silico, ways of
7 looking at immunogenicity based on binding to MHC
8 molecules which are key determinants in immune
9 responses.

10 Each of those things has much larger
11 uncertainty than the agency would accept to make an
12 extrapolation for a product. But if you were doing
13 a broader risk analysis to look at this change-over
14 to a lot of things and you associated the
15 uncertainty with each measure you used, would this
16 information be useful in that way?

17 I want to take a moment to talk about
18 Monoclonal antibodies. Yesterday when we talked
19 about quality by design, we talked about platform
20 approaches as one strategy to help deal with
21 developing these products and minimizing some of the
22 work involved.

0126

1 And so the earliest Monoclonal

2 antibodies were murine, 100 percent of the sequence
3 was non-human. They had huge amounts of
4 immunogenicity unless they were one time products
5 like, okay, T3, the first licensed therapeutic
6 antibody or limited use, it wasn't very useful,
7 these antibodies.

8 Then through genetic engineering they
9 were made chimeric where most of the antibody was
10 human and just the variable regions were mass and
11 these actually faired much better in terms of
12 immunogenicity, and then eventually to push a good
13 thing forward, although how much of an actual
14 reduction in immunogenicity this does is not so
15 clear, is to humanize them, to basically make the
16 entire antibody human structure except for the small
17 amount of amino acids that determine the binding
18 sight, in which case 95 percent of your protein is
19 human. And then, again, they are fully human
20 antibodies, but the variable regions are probably
21 antigenic, too, and different, so I don't know if
22 there's, you could model those necessarily better.

0127

1 But if the humanized antibodies, if you
2 look at what the agency has approved, talk about

3 this, so 50 percent of licensed Monoclonal
4 antibodies are IGG 1s, and presumably many of them
5 probably come from the same framework that's shared,
6 so is that going to be true of new antibodies.

7 Probably people are going to make more
8 sophisticated things, but it's probably a good
9 estimate that more than half the antibodies under
10 development, and you're talking about a few hundred
11 are humanized or human IGG 1s, a huge wealth of
12 product that shares 95 percent of primary sequence
13 and probably has a similar range of heterogeneity.

14 And again, since I mentioned before
15 valuable human in vivo data exists for some examples
16 of Monoclonal antibodies, often IGG 1s. There's
17 some examples, certainly at least one case made
18 public so far of looking at PK not just for the
19 presence of antibody, but for mass spectrometry of
20 the molecular weight which can give you Glycoform
21 variance, so you can get the PK not just of the
22 antibody, but of five or six or more Glycoforms

0128

1 variants and then you can look at how different the
2 PK for each component of those with the same study
3 that you would do for PK anyway, just a different

4 way of analyzing the product. If that data existed
5 for lots of IGG 1, that would be a wealth of
6 information about PK effects.

7 Large safety database for shared
8 attributes. I don't think this is necessarily
9 compiled together, but we know there are this many
10 IGGs in the clinic and there are this many licensed
11 IGG 1s and many of the side effects are primary
12 mechanisms of action which is what it binds to, so
13 it wouldn't count. But probably there's still a
14 large safety database that the rest of the molecule.
15 And since these are in vivo proteins IGG 1s, you can
16 look at polyclonal proteins and look at all the
17 range of oxidations and Glycoforms that exist in
18 vivo, now those may make a difference and you don't
19 want to say because they exist in vivo that's your
20 attributes base.

21 But it certainly tells you from a safety
22 concern if this variant exists in vivo at a certain

0129

1 level, that a certain level of confidence associated
2 with knowing that for these products. And again,
3 specific mechanisms matter.

4 I'm not saying this is a general

5 criteria for everything, but if you start assembling
6 this and looking at risk, it can potentially be a
7 very powerful tool.

8 And then antibodies are often re-labeled
9 and looked for imaging. The problem is most of
10 these aren't humanized because people want very fast
11 clearance for these as opposed to not. But again,
12 the idea of labeled product gives you an idea not
13 only of their systemic PK for Glycoforms, it may
14 even give you an idea of their tissue distribution.

15 So, again, more and more information
16 about this related group of products.

17 Also, a lot of bioassays relate to the
18 primary mechanisms of action, they would not be
19 shared, but on the other hand, FC receptor binding
20 and effector functions which are the sort of backed
21 on to the antibody, those are all shared and the
22 assays that are looked at are now different by

0130

1 different companies, different ways, but again,
2 there's a wealth of data that they have, mostly not
3 public, but that industry has about the effect of
4 variations on all these assays.

5 And finally, I think if you group this

6 by risk you would certainly need to categorize them
7 by target interaction and obviously the same target
8 would tell you the most, but a soluble target would
9 certainly have different risk factors than a cell
10 expressed target, whether that target signals or
11 not, where that, what target, where that tissue and
12 the accessibility of that target and the role of
13 effector function, the mechanism of action and
14 finally cross-reactivity of the particular binding.

15 So there are a lot of other product
16 specific factors, but if know what they are, you can
17 begin to try and assemble this map.

18 So, I think that this is a very complex
19 process. I don't know if this is necessarily a way
20 to help these products move forward or not, but I
21 think it's something that needs to considered and
22 certainly one could tell the blanks as best as they

0131

1 can and it may just turn out to be an organizing
2 structure for data as opposed to something you can
3 use for answers, but even that has value.

4 Now what about less than that, what
5 about less than the whole nine yards? Is there a
6 role for risk assessment short of a full

7 probabilistic assessment that really takes every
8 structural attribute and thinks about it.

9 So, so even without a, it could be even
10 without a prayer, but even without a probabilistic
11 risk assessment, there may be alternatives to do
12 that and I'm going to put some more quotes here.

13 That nothing is more difficult and more
14 precious than to be able to decide. So we make
15 decisions at the agency all the time and we often
16 don't have as much information as we would like.
17 Are there tools that could help make those decisions
18 better even that are imperfect tools.

19 And, again finally, a correct decision
20 is wrong when it's done too late, by Lee Iacocca,
21 but again, there's real pressure on us to decide and
22 we need to use the best tools we can.

0132

1 So, we mentioned FMEA, so Patrick Swann
2 prepared, and I think he took this from things
3 industry's presented, so not on, you know, only his
4 input, but one could look at product quality in a
5 broad way in an FMEA and assign relative severity,
6 you know, on a scale of 1 to 5, 5 being worst, then
7 this sort of semi-quantitative thing, and you could

8 look at the, in terms of the occurrence of a
9 particular problem, no known occurrence possible to
10 almost certain documented occurrence, severity, no
11 effect on performance to linkage directly to an
12 adverse effect. And obviously possible linkage to
13 an adverse effect is somewhere in the middle there
14 and then detectability, is this something that a lot
15 release would show so you'd know it all the time, is
16 it something characterization would show, is it
17 something that a good, you know, QBD process would
18 make sure it doesn't change. So I think that,
19 again, there are lower levels at which such things
20 could be taken on.

21 And I wanted to talk a little bit about
22 combination products and the way the agency

0133

1 determines combination products, where they go, this
2 is both a logistics issue and also a resource issue
3 is primary mode of action.

4 And I think that this is, again, driver
5 of jurisdiction between FDA centers, toxic component
6 would override a targeting component and there are a
7 variety of information that's used in assessing
8 these primary mode of action decisions. And

9 certainly this has a lot of administrative ease and
10 may be the best choice for the agency. Certainly
11 now because risk assessment is relatively new and
12 how to apply it would be tricky.

13 But I would argue that risk assessment
14 is really the way one should allocate resources,
15 because that's what it's designed to do, and not
16 necessarily just primary mode of action. And I'll
17 give you an example.

18 I hate using military examples, but
19 we'll talk about a cruise missile. The primary mode
20 of action of a cruise missile is a bomb, its
21 payload, TNT or C4 or whatever that is, I don't
22 know, and there's risk associated with that.

0134

1 Would it go spontaneously, you know, is
2 it linked I guess to its fuse around a variety of
3 risks associated with it and the manufacture of it.
4 I think probably the greatest risk is that it
5 doesn't work for some reason, but there are risks
6 associated with that.

7 On the other hand, the cruise missile
8 has a guidance system, you know, a propulsion
9 system, probably orders of magnitude more moving

10 parts than the actual explosive. If the explosive
11 fails, most of the time you would leave a lack of
12 efficacy. If the guidance fails, the cruise missile
13 hits a hospital instead of a target it was intended
14 to, so even though the payload is the primary mode
15 of action, I would argue that if one did a risk
16 assessment on a cruise missile, you would spend a
17 lot more time error checking the guidance system
18 than you would spend error checking the explosive.

19 And so, again, I think whether, whether
20 this makes sense for combination products or not, I
21 think it makes sense about how we think about
22 complex products. And so to pick an antibody

0135

1 conjugated to a toxic moiety, which is a common
2 product being developed now, if you think about such
3 a product and you make an event tree and you think
4 again about these scenarios, conjugated to the
5 antibody is just the product itself, linkage, is it
6 okay, is the conjugate separated from the antibody,
7 is the antibody okay, does it target where it's
8 supposed to go and is the toxin okay, does it work,
9 is it toxic, does it deliver. And if there's a
10 failure in any of these things, could you detect it.

11 So if you think about all these things
12 being okay, obviously you have acceptable product.
13 If the toxin is not okay, the likely outcome is that
14 that -- is that there, the batch would fail if you
15 can detect it. If you can't detect it, you would
16 have a product that wasn't efficacious.

17 If the Monoclonal antibody failed, if
18 you could detect it, again, you would fail the
19 batch. If you couldn't detect it, you might have
20 all this payload delivered to the reticular
21 endothelial system in a bolus and have rather
22 significant toxicity.

0136

1 Finally, if the conjugate breaks up
2 systemically, which is a problem with both
3 components, a shared problem, then you have free
4 toxin and free antibody, again, a likely source of
5 toxicity if you used a very toxic component which is
6 what you tend to do when you can target
7 specifically.

8 So again, I think how we think about
9 combination products is tricky and is there a way to
10 do a probabilistic risk assessment. I think for
11 making standard jurisdiction cuts it would be very

12 hard to do this, but I think in terms of thinking
13 about these products, risk assessment's really the
14 way to think about what effort needs to go into a
15 product.

16 I want to throw out a, sort of Rube
17 Goldberg product and this is very artificial and you
18 can sort of make fun of this example, but it's off
19 the cuff.

20 So, this is endothelial cells lining a
21 blood vessel and you have atherosclerosis, you have
22 inflammatory cells, they are releasing enzymes and

0137

1 other things and the endothelial cells around them
2 are expressing receptors based on inflammation, such
3 as ICAM one or something like that. And then you
4 have super duper product, which is a magnetic bead
5 so that you can aid in its delivery and retrieve it,
6 which has cells associated with it that are
7 genetically engineered to be resistant to a toxin.
8 That toxin is on this bead and is released by
9 inflammatory enzymes at the site of inflammation and
10 is able to endocytosis debris to prevent it from
11 becoming clots and to repair damaged endothelial
12 cells and it has a targeting mechanism to inflamed

13 endothelial cells.

14 So, this goes to the endothelial cells,
15 the enzymes release the toxin and release some
16 polymer which is enzyme sensitive that keeps the
17 cells associated with the beads and then the toxin
18 kills some of the inflammatory cells and these
19 replacement cells endocytosis the damaged cells and
20 maybe repair the endothelium.

21 So, very science-fictiony, but the truth
22 is we don't know what products are going to look

0138

1 like in 10 years and there may very well be products
2 with lots of moving parts and lots of complicated
3 scenarios and I think both as industry manufactures
4 them and thinks about the risk associated with the
5 different components, the agency also needs to think
6 about how to review them.

7 Because, as always, we're, you know,
8 you've heard we're resource limited now, you know,
9 hopefully we won't be resource limited forever, but
10 it's hard to think we'll have all the resources we
11 need. So there's always going to be some question
12 of how to make choices and in any situation.

13 Talk a little bit about what this would

14 mean for the future and again, this is an awareness
15 topic, so we're not asking specific questions, but
16 just to think about this. So I'm, there are many
17 programs that have started to deal with this. We've
18 heard about inspections. (Inaudible), actually was
19 involved in, working with Bilal Ayyub when he was at
20 the University of Maryland and there's actually a
21 draft report which came to the agency on Transdermal
22 patch risk, or risk assessment.

0139

1 So, some of these things have started
2 and he was certainly interested in conferences on
3 applying risk management to pharmaceuticals. So
4 there's some previous interactions.

5 Also, you know, in discussing with Helen
6 Winkle, we've talked about, you know, future
7 education on risk management. The OPS talks a lot
8 about risk-based things, we need to really
9 understand how to better use that.

10 And then how would we manage this for
11 complex product, is it worth doing at all, is it
12 worth doing in a limited way, are there pilot things
13 like antibodies where you have so many shared things
14 that maybe it's a good target to start with to begin

15 to look at how to deal with this.

16 And again, if an antibody platform is
17 used, how would you, how would you best work that
18 out and who would do it and how would it be
19 organized. And I have a homework assignment, I
20 guess, and this is my homework assignment.

21 This imaginary product I made, so I
22 expect a fault tree analysis from all of you with

0140

1 the probabilities for every possible thing that can
2 go wrong and 5:00 p.m. today.

3 Anyway, no, I'm just kidding, but I do
4 think that, that the homework I would say is what's
5 the relative importance, we had this question
6 yesterday which was deferred, how much should the
7 agency be involved in quality risk management in
8 cases of limited resources and maybe it shouldn't be
9 the agency, maybe this should be something that
10 industry should be doing together with the agency,
11 but how much effort should go into this, are we
12 right for doing this for complex products and what
13 are the potential benefits if they do this.

14 And one thing I'll mention which I think
15 is something I've mentioned that other -- this

16 depends on a lot of sharing, because just like
17 NASA's examples, it's the tests on the ground that
18 have the volumes of data. The launches are few and
19 far between and so for pharmaceuticals, it's going
20 to be sharing of information for risk assessments
21 and that the feel is that the risks of sharing this
22 in a separate risk assessment are lower than the

0141

1 risks of not using all this information.

2 DR. COONEY: Steve, thank you.

3 I'd like to take a few minutes for
4 comments and ideas from the committee.

5 It certainly seems that there are
6 multiple questions here that you've put on the
7 table. One is around the need for and
8 appropriateness of risk assessment that can be used
9 in risk management. Another is how you generate the
10 knowledge to populate that approach. And a third,
11 at least a third is who would participate in this
12 exercise, because I think as you appropriately
13 pointed out a moment ago, there's part of this that
14 the industry has a unique knowledge of, particularly
15 in the design and synthesis of, manufacture of the
16 products and there are parts that the agency has

17 some unique experience with.

18 Mel.

19 DR. KOCH: Yeah, I'd like to say that it
20 was a very enjoyable, relaxing presentation.

21 What I'd like to do, though, is the
22 molecule you have up there, very sophisticated with

0142

1 the magnetic particles and the protein and
2 everything. Taking two or three steps back, it
3 almost looks like a formulation and when you think
4 of it with the excipients and the more we learn
5 about interactions, et cetera, I think one could
6 expand on the diagnosis of a complex product and
7 begin to look at some of the formulations of things
8 of what we used to think as simple, simple
9 molecules.

10 DR. COONEY: Ken.

11 DR. MORRIS: Yeah, definitely yoga.

12 But the question I have is, you know, as
13 much as I like the ab initio approaches because you
14 say it's going to be a few weeks before those are
15 all done, is there an analogy to be struck here with
16 the small molecule tox project that's ongoing
17 between you guys and academia, essentially, to say,

18 you know, given the, I'll, granted there's probably
19 a paucity of some data, but given the relative
20 success of that approach and given the lack of the
21 ab initio understanding, is that really how to have
22 to start to generate what we would call the

0143

1 short-cut order of magnitude models before you can
2 start to concentrate on more mechanistic,
3 mechanistically-based risk assessments.

4 DR. KOZLOWSKI: Right. I think
5 certainly a true first principle risk assessment I
6 think we're really far away from.

7 The question is using similarity tools,
8 you know, would be good and I think if that's
9 similar to small molecule approaches, then it's a
10 reasonable way to go.

11 I mean I think, there's certainly things
12 for, the agency for a long time has been interested
13 in comparability and Tony Meyersis was involved in
14 suggesting comparability databases that industry
15 share and a lot of those things don't always move
16 forward. And sometimes it may be, you know,
17 agencies, again, overworking doesn't push it, but I
18 think also there's a resistance to necessarily share

19 some of these things, and I don't know what the
20 results have been with small molecules.

21 DR. MORRIS: Well, I think it's actually
22 your program, right, I mean this is --

0144

1 DR. WINKLE: Yes, where we've been
2 looking at the tox studies and putting up tox
3 information and stuff like that to use for
4 comparability and stuff.

5 DR. MORRIS: Right, and actually using
6 your data, I believe.

7 DR. WINKLE: Right. Right. So I don't
8 think Steve is completely familiar with that. But I
9 agree with you, I think it's another part, but I
10 think you're right, I think there are some things
11 there that would be very relevant to us if we were
12 to have had.

13 DR. COONEY: Meryl.

14 DR. KAROL: Thank you for the example
15 because I really appreciate something about
16 immunology coming forward.

17 My question is how do you evaluate the
18 quality of the risk assessment? It's going to be so
19 complex, how do you begin to evaluate how successful

20 it is?

21 DR. KOZLOWSKI: Well I think the true
22 test is its predictability, but that's obviously,
0145

1 you know, information that you would only gather
2 way, way after the fact. And I think one of the
3 ideas, again, as presented by people who do this so
4 they are in some sense marketing what they do but
5 is, is that often information isn't useful because
6 of its organizational status, that it's there, but
7 you really don't see it.

8 And one thing about these risk
9 assessment methods is whether or not they become
10 predictive. They first become organizational,
11 right. You start looking at all the different
12 attributes and you may collect a lot of data that
13 you wouldn't extrapolate from, but you would say,
14 you know, in hundreds of methylamine oxidation in
15 this domain of an antibody, you know, nobody's seen
16 anything.

17 Doesn't mean we'll will, we won't, we'll
18 say the next one doesn't matter, but it changes the
19 way you think about it.

20 It may not be predictive yet, but it

21 begins, and again, this risk assessment always
22 happens, I mean, you know, sort of going to say I'm
0146

1 talking about risk assessment, you know, and I've
2 never even played a risk assessor on television, but
3 the fact is anybody who manages anything is doing
4 this. It's just you do it anecdotally, your
5 reviewers do it, so we're doing it all the time.
6 There's organizing and in some way, now maybe the
7 effort and expense of organizing it this way is more
8 than it should be. Maybe there should be simpler
9 ways of organizing and sharing it.

10 DR. COONEY: Cynthia.

11 DR. SELASSIE: Yeah, you know, with all
12 this data that you're collecting or could collect --

13 DR. KOZLOWSKI: Could collect.

14 DR. SELASSIE: -- like looking at the
15 blast sequences and all the descriptors, have you
16 all thought of using something like multi-variant
17 analysis and MPLS to solve?

18 DR. KOZLOWSKI: I think all, there are a
19 lot of potential tools that could be used to try and
20 correlate what matters and that would probably be a
21 good approach, too.

22 DR. SELASSIE: Yeah, because it would

0147

1 give you a level of reasonable predictiveness.

2 DR. KOZLOWSKI: Right, or at least tell
3 you whether something matters in a lot of cases.

4 DR. SELASSIE: Yeah, right.

5 DR. KOZLOWSKI: So, again, I picked an
6 example because again we looked at who, who looks at
7 complex things without data. And so NASA does this,
8 I think the Nuclear Regulatory Commission does this,
9 there's a number of groups where they have
10 extremely, you know, catastrophic outcomes and
11 limited data when they replace a system, so they use
12 a tool. It doesn't mean that's the best tool. I
13 bet, you know, current academics on this would say
14 those papers are old, you know, there are better
15 ways of organizing it.

16 But it's the conceptual issue, you know,
17 should there be a systematic way of trying to apply
18 this, not just simple questions, you know, this
19 company has been audited three times and failed once
20 versus a company who's been audited six times and
21 failed not at all. And the importance of the
22 product, again, those are very important

0148

1 distinctions, but could you start making it for
2 actually quality attribute decisions.

3 DR. COONEY: A couple of additional
4 thoughts, Steve, on this.

5 One is it seems to me that first of all
6 developing methodologies for risk assessment in a
7 formalized way is a very positive thing to do. It
8 just makes fundamental sense.

9 However, I, it should not be done I
10 believe in isolation and should be done
11 collaboratively between the agency and those, those
12 who are dependent upon the interaction with the
13 agency and the industry in particular.

14 And it seems that approaches using
15 CRADAs which are I believe having a very positive
16 impact in the area of PAT, for instance, in quality
17 by design, would be very appropriate here.

18 Second, that when you think about using
19 these structures for risk analysis, they can be very
20 useful for enhancing the quality of your design of
21 experiments.

22 So not just using them in retrospective

0149

1 analysis, but actually to assess where, where the
2 greatest risks are and then to use that to put the
3 experimental work and to direct it to the hot spots.

4 DR. KOZLOWSKI: Right.

5 DR. COONEY: As opposed to those things
6 that are, that are less important.

7 DR. KOZLOWSKI: And I think even one
8 could say directed where the uncertainty is, because
9 where you know there's risk, may be easy. And where
10 you know there's no risk is easy, it's all those
11 holes in the system which make it not useful for
12 prediction, so there may be so many uncertainties
13 that it's hard to prioritize, but then there may be
14 some sense of what's an unknown that's more likely
15 to be -- we would think in some general sense is
16 more likely to be associated with a risk.

17 DR. COONEY: Well, you had the
18 opportunity to identify the points of uncertainty
19 linked to the points of high impact, which is the
20 combination that you point out that you, that you
21 want.

22 So it seems to me that the use of these

0150

1 frameworks very early in a project is much more

2 desirable than trying to use it retrospectively at
3 the end; hence, the suggestion that they be done and
4 try to develop them collaboratively with those who
5 are in the early stage of many of these projects.

6 The last point is the, some of the
7 methodologies that are correlative as opposed to
8 mechanistic and the extent to which you can base
9 your analysis of uncertainty, assessment of
10 probability distributions of the relevant parameters
11 around mechanistic considerations I think is far
12 more powerful than simply correlative approaches,
13 which again fits in with other initiatives within
14 the agency.

15 Are there any additional comments from
16 the committee? There seems to be an encouragement
17 to think further along this path.

18 DR. KOZLOWSKI: Is it fair to conclude
19 that there's encouragement and obviously involving
20 industry in doing this in a general way.

21 DR. MORRIS: Yeah, I would say not to
22 ignore academia in this, but I think the, I think

0151

1 the reality is is that you're, the joint programs
2 you have on small molecules are largely academic and

3 the agency using industry data in a blinded fashion
4 in many respects, so it's not always easy to get all
5 of the industrial folks to commit the kind of effort
6 that it takes to collate, share and blind and do all
7 of the work that has to go along to it, but if you
8 already have some of it, that makes it a lot easier.

9 DR. COONEY: Okay, thank you. We're
10 going to take a break for lunch, but before we do
11 that, one, no two announcements.

12 I've already noted that we will
13 re-convene promptly at 1:00 for the period of the
14 public hearing, and immediately following the public
15 hearing period, which I believe will be brief, we
16 will have then the discussion on the first topic of
17 this morning on highly variable drugs. So, to
18 please keep that in mind.

19 The second schedule issue, we are going
20 to swap the discussion of critical path initiative
21 and the discussion on nanotechnology because of some
22 individual scheduling issues and we will begin at

0152

1 2:00 discussing the nanotechnology, its use and
2 definitions, followed by the critical path
3 initiative.

4 So, if you would keep that in mind as
5 you plan your lunch and your afternoon.

6 Now, I'd like, I have one, one
7 announcement, statement to read. No this isn't --
8 one additional point to make. Just to remind
9 everyone that the lunch break is not a period for
10 extension of discussion of the advisory committee
11 activities, but it's a time to discuss all those
12 other things that you wanted to talk about, so I
13 would ask you please not to discuss either amongst
14 the panel members or between panel members and
15 guests the topics of the advisory committee meeting.

16 And we will re-convene promptly at 1:00.

17 (Lunch recess taken)

18

19

20

21

22

0153

1 DR. COONEY: I'd like to call people
2 back to order. Before beginning the 1:00 open
3 public hearing, I'd like to read the following
4 statement for individuals presenting at the public

5 hearing.

6 Both the Food and Drug Administration
7 and the public believe in a transparent process for
8 informed information gathering and decision-making.
9 To ensure such transparency at the open public
10 hearing session of the advisory committee meeting,
11 FDA believes that it is important to understand the
12 context of an individual's presentation.

13 For this reason, the FDA encourages you,
14 the open public hearing speaker, at the beginning of
15 your written or oral statement to advise the
16 committee of any financial relationships that you
17 may have with any company or any group that is
18 likely to be impacted by the topic of this meeting.
19 For example, the financial information may include a
20 company's or a group's payment of your travel,
21 lodging or other expenses in connection with
22 attendance at this meeting.

0154

1 Likewise, FDA encourages you at the
2 beginning of your statement to advise the committee
3 if you do not have any financial relationships.

4 If you choose not to address this issue
5 of financial relationships at the beginning of your

6 statement, it will not preclude you from speaking.

7 We have I believe one, one speaker who
8 will, Laszlo Endrenyl who has 10 minutes to share
9 with us some thoughts on determination of
10 bioequivalence of highly variable drugs. Laszlo,
11 please.

12 DR. ENDRENYL: I appreciate the
13 opportunity to be here and to make a presentation.
14 I have no financial interest involvement. I came
15 out of my pocket.

16 I would like to consider two issues,
17 what kinds of replicate designs should be applied
18 and whether there should be a constraint on the
19 estimated ratio of geometric means that is under
20 GMR.

21 I would like to skip these two scissors
22 slides, they are, they involve definitions of the

0155

1 average bioequivalence and unscaled and scaled
2 average bioequivalence you have heard about.

3 I would like to turn to the question of
4 experiment and designs. The scaled average
5 bioequivalence we are talking about and by
6 definition or dogma, it's referenced product scaled.

7 One can argue about that, but that is in the general
8 commercials, so it's the within subject variation of
9 the reference product according to which we scale.

10 So for this purpose, a three-period
11 design with single cycles is sufficient. You
12 replicate the reference product and you can estimate
13 the evidence object variance from that.

14 (Inaudible) an additional goal is very
15 important and that, this design is unable to
16 consider, namely, to compare variations of the two
17 drug products. This way one could identify highly
18 variable drug products, that is to a certain --
19 where one product has a higher variation or a
20 substantially higher variation than the other and
21 it's not necessarily the test product that is bad,
22 there have been examples, strong examples when the

0156

1 reference product was a bad product and the test
2 product was much better.

3 So, highly variable drug products ought
4 to be investigated and ought to be identified and
5 therefore this design in which both the reference
6 and the test product are replicated would be able to
7 address this issue. And this is a very basic

8 fundamental issue in my opinion.

9 Even better is the four-period design
10 which permits the estimation of the two within
11 subject variations for the two product in the same
12 subject and average those into your estimates, you
13 know.

14 And that is achievable in a four-period
15 design in which those products are replicated in
16 each subject (inaudible) or the other. Also, some
17 outlying observations can be identified with that.

18 Moreover, since the three- and
19 four-period designs, design require approximately
20 same number of observations, actually the
21 four-period design can afford a better estimate of
22 the RR within subject variation because there are

0157

1 more of them than the three-period corresponding two
2 sequence design.

3 So, there is a strong merit in my
4 opinion to consider the four-period design. It has
5 several merits and they ought to be consider, in my
6 opinion.

7 Now the second issue we heard about that
8 Dr. Benet was concerned about the large possible

9 deviations between the logarithmic means, estimated
10 logarithmic means. And the concern as he expressed
11 it as political. It has to do with interpretations
12 of the results to physicians and patients and that's
13 a varied and strong reason.

14 Now, when we have highly variable drugs
15 as we do here from 15, 35 to 50 percent, obviously
16 the distribution is wider and wider and as you get a
17 wider distribution, the difference between the
18 logarithmic means also gets, it fluctuates the
19 estimated value.

20 Now as you go higher variation, it
21 fluctuates more, like that, so it is, indeed,
22 possible to get large differences. Now if

0158

1 artificially you cut down those differences, the
2 estimated differences, then you especially truncate
3 the distribution.

4 Now there is a line in your handout
5 which is not on my slide and that in my opinion is
6 important, that by doing this kind of truncation,
7 you actually are committing a scientific faux pas.
8 The outcome is scientifically incorrect.

9 Not -- and I would like to emphasize.

10 Now this was eloquently demonstrated in Dr. Haidar's
11 slide when he showed you the results of coefficient
12 of variation of 60 percent, that's high variation,
13 and showed the results with or -- and without a GMR
14 constraint. The GMR constraint dominated the
15 result, therefore, in effect, the outcome was a GMR
16 criterion, that is, you want to determine the, that
17 there is a, the results would not be different and
18 not a bioequivalence criterion. That's in my
19 opinion is very wrong, very incorrect.

20 Moreover, we could go back to the basics
21 of the purpose of bioequivalency investigations, the
22 goal. Is it political control or is the goal mainly
0159

1 a (inaudible) to service that. Now
2 biointernational -- in '94, the meeting, very
3 diplomatically this determined that it should serve
4 both goals.

5 Now, ever since there has been an awful
6 lot of confusion because the two goals require
7 totally different conditions and considerations.
8 For quality control, you would like to ask for high
9 sensitivity and high statistical power.

10 For therapeutic surrogate, you would

11 like to have clinical relevance. They are very
12 different. The twos are very different. For
13 example, in, for quality control you would like to
14 have young, healthy volunteers in the sample because
15 they provide certain results. Clinical relevant,
16 you would like to have heterogeneous study
17 propagation and this was eloquently argued by
18 Dr. Levy some 10 years ago when he talked about the
19 (inaudible) of bioequivalence because in his opinion
20 it wasn't irrelevant because it was on the surrogate
21 side.

22 For quality control, sensitivity, you

0160

1 would like to ask for single dosing. Clinical
2 relevance, if appropriate, you would like to look
3 for steady state.

4 The difficulty with current guidance is
5 FDA and other, that they serve board's masters and
6 therefore, indeed, there is confusion.

7 Now, if you consider the, could be able
8 to separate the two goals fairly easily, because if
9 you consider the investigation of generic drugs,
10 then you probably would like to put emphasis on
11 quality control and this could include various

12 conditions, but essentially for generic drugs your
13 primary goal is probably quality control most of the
14 time, verse when you develop new drugs, then your
15 aim is to think about the therapeutic conditions and
16 therapeutically the new product of the same drug
17 should have the same effect. So, for the
18 development of new drugs, I think the emphasis ought
19 to be mostly on the therapeutic side.

20 So, as a result, I think that applying
21 the same condition, the GMR constraint makes, is
22 irrelevant to the therapeutic consideration. It's

0161

1 even for political purposes, not only is it
2 scientifically wrong, but politically irrelevant
3 because it's within the same product, there was one
4 product after the other of the same drug. So here
5 we have again the one size fits all, or both
6 conditions, or we are back to a problem because of
7 this kind of confusion.

8 So at least in my opinion, this or our
9 opinion, the secondary condition, secondary criteria
10 ought not to be generally involved, maybe on the
11 quality control side for generics. Politically,
12 yes, scientifically, no. For new drugs, new drug

13 politically, no scientifically.

14 So to conclude, in our opinion, three-
15 or preferably four-period studies in which both
16 products are replicated are advantages and the
17 four-period design in my, in our opinion is more
18 favorable than the three-period.

19 In four-period, you can get away with
20 24 subjects and not 36 and so you have essentially
21 the same kind of results consideration, but better
22 outcome. And the concept of GMR in our opinion

0162

1 ought not to be introduced, not even for the sake of
2 politics or publications.

3 Thank you.

4 DR. COONEY: Thank you very much.

5 Do any the committee members have
6 questions on this speaker?

7 DR. MEYER: Maybe just a quick one,
8 Laszlo. FDA I think is going to propose a Sigma WO
9 of .25.

10 Do you concur with that as a logical
11 choice or not?

12 DR. ENDRENYL: Not in my opinion. The
13 Sigma W corresponding to a coefficient of variation

14 of 30 percent in my opinion corresponds to the
15 current definition of highly variable drug,
16 coefficient of variation of 30 percent, at which
17 there is actually continuity, the mixed model would
18 use a constant unscaled average bioequivalence up to
19 that point and from there on there is an expansion.

20 So, I think that criterion would
21 correspond to the current definition of highly
22 variable drugs, in my opinion.

0163

1 DR. COONEY: Ken.

2 DR. MORRIS: Yeah, I'm not a clinician,
3 or a PK person, so you'll have to forgive me, but in
4 the distinction between the criteria for therapy
5 versus quality control, I mean the way I understand
6 it, though, you're doing it for a new drug, the
7 pivotal clinicals wouldn't be a BE type study
8 anyway; is that correct?

9 DR. ENDRENYL: True, there are clinical
10 studies that are there, indeed. But new products
11 are developed different coating, different --

12 DR. MORRIS: So either you're saying
13 within formulation changes and things?

14 DR. ENDRENYL: They are evaluating

15 against each other.

16 DR. MORRIS: But by that time I think
17 the therapeutic part is -- I guess my question is.

18 DR. ENDRENYL: This early in the game.

19 DR. MORRIS: Yeah, that's basically what
20 I was saying is that the therapeutic value of the
21 compound should be determined by other types of
22 clinical studies.

0164

1 DR. ENDRENYL: Absolutely.

2 DR. MORRIS: So I guess then this
3 question is actually more for FDA, but I mean are
4 we, are we -- for the discussion.

5 Okay, that's fine, thank you very much.

6 DR. ENDRENYL: Okay, but a different
7 division of FDA as it turns out mostly.

8 DR. MORRIS: Okay, well thank you,
9 though, I just want to make sure that I understood
10 that.

11 DR. ENDRENYL: Yes.

12 DR. COONEY: Okay. No other questions
13 then?

14 Thank you very much.

15 DR. ENDRENYL: Thank you.

16 DR. COONEY: We will now proceed to a
17 period for discussion of the proposed questions from
18 the FDA, which probably should be presented.

19 MR. UNIDENTIFIED SPEAKER: I think we
20 need to go back.

21 MR. CONNOR: Hi, I'm Dale Connor, I'm
22 director of the division of bioequivalence in the
0165

1 Office of generic Drug of FDA and I have a word to
2 the wise when you're in this kind of situation,
3 don't sit in the front row because you'll be, you
4 know, within just a few minute's notice you'll be
5 called to run up and give your comments on
6 something. Always sit in the back.

7 I just have, first off starting off
8 before we get to the questions, I have a few
9 comments. Laszlo and all the other speakers are
10 always extremely interesting. I've heard them speak
11 on similar topics many times. I'm always quite
12 amazed with the depth of their thought and their
13 insight into this.

14 Just since it's fresh in my mind, I'd
15 like to do a few comments on some of the things
16 Laszlo and others have said, in no particular kind

17 of order, just a few things to point out.

18 There's a practical aspect when, first
19 off, when you look at two-way versus three-way
20 versus four-way studies, and in a sense you know
21 whether you're doing one type of approach or another
22 or when you have the same number of treatment

0166

1 periods, say, with a two-way, two time -- two times
2 say 20 subjects would be 40 treatment periods and to
3 get the same amount of power for a four-way study,
4 it would be roughly half as many subjects but twice
5 as many treatments for each subject. So, it really
6 seems like it all comes out evenly.

7 But for those who do these type of
8 studies like CROs and sponsors, they know that these
9 are not exactly -- that when you study a person, an
10 individual more times, they have a much higher
11 likelihood of going out.

12 So it's not a straight, you know, wash
13 that all things are equal expense, because you
14 actually have to bring in more subject alternates
15 because there's going to be a higher drop-out rate
16 with a four-way study, in a three-way, than a
17 two-way. So it's not safe to say that it's all

18 equal as far as cost goes because you do have to
19 account for the higher drop-out rate.

20 So, if you're looking at expense or the
21 number of subjects that you're going to potentially
22 study, it's not strictly equal. So that's just a

0167

1 little practical thing so you don't believe that
2 that's the case.

3 Just what, there's some misconceptions
4 about the bioavailability and especially
5 bioequivalence as it relates to how it's used in
6 NDAs and how it's used in ANDAs. And I worked as a
7 reviewer and as a team leader on the NDA side
8 looking at these type of studies in NDAs and I also
9 obviously am in OGD.

10 So, I have knowledge of how it's used
11 both ways.

12 First off, there are things that are
13 very late in the development of a product where
14 bioequivalence techniques or types of studies are
15 used.

16 The most common and perhaps one of the
17 ones that's closest to what some refer to as generic
18 is frequently the, a formulation is developed for

19 clinical trials and it's used in the major clinical
20 trials. Often it's a smaller scale type of batch or
21 manufacturing. The firm, you know, it has proven
22 that the product is safe and efficacious, you know,
0168

1 and they believe that the FDA will be happy, but
2 then they go to scale up the product for commercial
3 purposes and they may have to actually make changes
4 in the formulation to get it to scale up to large
5 batch, perhaps it's too expensive, perhaps it
6 doesn't scale exactly as they made it in smaller, so
7 they have to make sometimes small and sometimes, you
8 know, not small changes to the product. And
9 generally in their NDA they will do a bioequivalence
10 type trial to see how the clinical trials
11 formulation compares with the to be marketed
12 formulation.

13 There's no, there's no legal requirement
14 that I'm aware of that that study passed our strict
15 bioequivalence criteria. It is done in the same, in
16 very much the same way the generic sponsors do it.
17 It may actually be done with less subjects or more,
18 but it is merely a demonstration of how those two
19 products differ and it's up to the clinical

20 division, both the OCP, which are the
21 biopharmaceutics, clinical pharmacology people and
22 the clinicians in that division to decide whether

0169

1 that difference that's shown by that study is really
2 significant or worth worrying about.

3 So it's not a strict criteria that's
4 used in general where, you know, if you're beyond a
5 certain set point you fail and you either have to
6 re-formulate the product or re-do the study.

7 It is very much a judgment on the -- of
8 looking at the data, if it passes the usual
9 criteria, everyone's usually happy, but if it
10 doesn't, it does not mean that that study is a
11 failure or that formulation can't be approved.

12 So that's, that is very, very late in
13 the development and is actually quite frequent in an
14 NDA, so that's probably the closest that an NDA
15 sponsor will get to bioequivalence.

16 Other types of cases are if you've
17 developed your product on a capsule and at the last
18 moment your marketing people say no, we don't really
19 want to sell a capsule, we're going to do a tablet
20 instead and you want to connect the tablet

21 formulation that you want to market to the original
22 capsule formulation where you've done all your

0170

1 clinical trials. That's another very, you know, not
2 uncommon thing in an NDA.

3 So just to say that NDA people, NDA
4 sponsors do do these type of studies, but they don't
5 have the same rigid criteria that the generic
6 sponsors do.

7 Also, I mean, I found very interesting
8 that Laszlo likes, depicted the split into two
9 categories, either clinically relevant or quality
10 control. I wouldn't use the term quality control
11 because I, I literally, when I conceive of these two
12 things, I consider them as different viewpoints of,
13 to achieve the same end point.

14 What we're trying to achieve with
15 generic drugs and bioequivalence is therapeutic, in
16 the end, therapeutic equivalence. In other words,
17 the generic switchable product will be
18 therapeutically equivalent to the original so that
19 you can go into, you, as a patient, can go into your
20 pharmacy and without the doctor's intervention, the
21 pharmacist can switch you back and forth between AB

22 rated generics and ideally you will see no objective
0171

1 difference in your treatment.

2 If you're having side effects, you'll
3 have the same amount of side effects. If you're
4 having successful treatment, it will continue as
5 successful treatment. That's the ideal in what
6 we're, what we're trying to achieve.

7 You can look at this from two different
8 ways. You can say, well, first off, I'm going to do
9 a test that clinically relevant, so I'm going to do
10 a clinical trial with, a comparative clinical trial
11 with patients and see how the clinical response to
12 both of those formulations comes out and see if they
13 match. We do have to do that with certain types of
14 products.

15 A lot of topical products, locally
16 acting products, we really have no choice because
17 pharmacokinetics and other kinetic or direct
18 measurement type of methods are really not suitable
19 for that type of, for looking at drug appearance at
20 the site of activity or bioavailability, so we have
21 no choice but to do comparative clinical trials
22 which we term bioequivalent trials with clinical end

0172

1 points.

2 Those trials are extremely difficult to
3 do. They are extremely large. They are not single
4 dose studies, they are often studies that go for
5 weeks or months. They involve patients and they can
6 involve as many as 6 or 700 patients. So next time
7 you get a generic cream or ointment, you should -- a
8 new generic cream or ointment, you should appreciate
9 what the sponsor had to go through to get that
10 approved.

11 Same thing for inhalers for asthma, for
12 nasal sprays, it's a huge amount of data, different
13 sets of studies, both PK and these large clinical
14 trials, so when we look at what, you know, when I
15 look at in that context at 6 or 700 patients in a
16 bioequivalence trial and then I look at, you know,
17 what may be 60 or 65 normal subjects in a normal
18 bioequivalence trial, you know, it doesn't seem all
19 that bad to me.

20 But one of the things that you have to
21 remember is what we, people use the term a too many
22 subjects or an unreasonable number of subjects, but

0173

1 that is all, that's a relative judgment.

2 A firm who has to pay the bills for this
3 may consider any more than 24 an unreasonable number
4 of subjects. Someone else in the academic community
5 may say, oh, you know, 50 is not too bad, but I
6 don't have to pay the bills for it. You know, it
7 doesn't seem like that much to me.

8 So the judgment, you know, we all have
9 to come to some type of consensus, what is too many.
10 Is 100 too many or do we want to really restrict,
11 you know, the overall sample size, do we want to
12 target a method that can get things done,
13 demonstrate bioequivalence for those products that
14 should rightly demonstrate bioequivalence with some
15 set reasonable number of subjects or, which may be
16 everyone in the room may have a different opinion of
17 what's reasonable. So that's part of what we're
18 doing.

19 And the ones that go up to 60 percent
20 bioavailability are very much in the minority,
21 fortunately for us. As Barbara Davit showed in the
22 data collection, most of the products that we've

0174

1 seen come in, successful products that are highly

2 variable are in the 30 to 40 percent range.

3 So, this is going to have a little bit
4 of relief as far as number of subjects and expense,
5 but the very few, the small minority of products
6 where you really have 50 or 60 percent, those are
7 the really, really costly ones and that's in a very,
8 very small minority.

9 That's where this will have the most
10 impact and also, you know, I found it very
11 interesting in Dr. Haidar's talk that the point
12 estimate constraints would actually predominate in
13 that, you know, high percent, because I'm not
14 100 percent sure that is exactly desirable.

15 I mean I think the scaling is something
16 that is very appealing and very elegant, but to
17 simply overshadow it with what's admittedly a
18 political constraint doesn't exactly seem to be a
19 very desirable thing. But that's, again, for
20 debate. So, those are my comments on that.

21 Lawrence, did you have anything else?

22 DR. YU: I guess, okay, this why you

0175

1 were picked. I have a number of comments, a number
2 of issues with respect to study design, the variable

3 Sigma zero, the number of subject, the point
4 estimate for geometric constraint, those decisions
5 which as regulatory agency we will have to make.

6 With respect to study design, as you can
7 see from Barbara Davit talk, normally right now it's
8 two-way cross-over study design, we call average
9 bioequivalence study. They have to meet the
10 bioequivalence standards with interval which is in
11 80 to 125 percent.

12 However, even with that, we normally
13 accept a replicate study design, for example,
14 four-way cross-over studies design and the agency
15 never suggests or never requires that you only can
16 use two-way cross-over study. There's no other
17 study design you should be used.

18 At this point, I guess this morning we
19 talked about a number of things with your feasible,
20 in terms practicality of study as well as
21 feasibility, cost effective, we were thinking three,
22 three-way cross-over studies. Nevertheless, sponsor

0176

1 has always options to use others as long as you
2 justify it.

3 The value, with respect to the value of

4 Sigma zero and I think the three value we're
5 discussing right now, .20, .25 and .294.

6 If you assume the CV is about
7 30 percent, which is the definition cut-off for
8 highly variable drugs, if you use actually in
9 myself, one of the scientifically we discuss
10 internal myself in favor .294 simply the curve will
11 be smooth from, from average bioequivalence to
12 scaled average bioequivalence.

13 However, if you use that as .294, one of
14 the major drawbacks of the availability, for
15 example, 31 or 34 or 32 as most mentioned, most
16 drugs will have those variability will not have a
17 benefit from this approach. In fact, as Sam has
18 showed this morning of a CV exactly 30 percent, an
19 average bioequivalence is better off than scaling
20 bioequivalence, scaling bioequivalence, the average
21 bioequivalence, so you have to consider that.

22 In terms of numbers of subject, we have

0177

1 considered extensively whether 24 or 36. At this
2 point we are suggesting 36, but we, I wait for 24
3 depend on the committee's suggestion.

4 Finally, geometric mean ratio

5 constraint, that's, we recognize, long time ago,
6 this is not a today study, we recognized and
7 statistically speaking this may not be very good
8 choices, but in terms as Les point out, in the
9 communication it makes our life a lot easier.

10 We were talking about 80 to 125 percent
11 confidence in the four lasted 20 years and I
12 believe, we all very good communicated and we give
13 many, many talks and various, I even don't know how
14 many scientific meetings, nevertheless when we
15 receive certain petitions, always that the different
16 between generic and innovator is 45 percent,
17 40 percent. In other words, no matter how you talk
18 about, the message is not crossed.

19 If we have a point of system, make life
20 a lot simpler and then make our communication a lot
21 simpler. So I have to consider that.

22 With that, thank you.

0178

1 DR. COONEY: Thank you. I would like to
2 invite comments from the committee and questions.

3 Art, please.

4 DR. KIBBE: The temptation to -- well,
5 first, Dale's right, going from two to three to

6 four, even if you cut down or have the same number
7 of subjects cost you more and you'll lose them. And
8 for a couple of years I was with a company that were
9 doing 10 bio studies a month and to manage that and
10 to manage the people, we, we'd have to, if you
11 wanted to make sure you had everybody left after a
12 four-way cross-over, you'd have to do it at
13 Guantanamo. So, it cost more.

14 The second thing is I feel a little bit
15 like Joshua at Jericho, I think for about seven
16 years we've marched around Jericho playing our horns
17 and saying we should do replicate studies and we're
18 still waiting for the wall to fall down.

19 And Les made good points, Kam made good
20 points. It's almost to the point where we should be
21 asking for replicates as a way of avoiding repeated
22 studies.

0179

1 You say you don't see a lot of 60s and
2 I'll tell you why you don't see a lot of 60s is
3 because when we did them, they never sent them and
4 then they'd re-do them and re-do them and often you,
5 we would actually do a replicate on the innovator
6 and find out that's where all the problems were and

7 then we'd throw up our hands and not know what to
8 do. So, we've got to get past that.

9 I think that we should consider also
10 asking on the new drug side for at least one
11 replicate study with the product that they are going
12 to market with and that's because if we're really
13 serious about quality by design, then the innovator
14 ought to care about how available their product is
15 and design with that in mind. And that information
16 ought to be available for the agency years before
17 they have to start adjudicating potential
18 therapeutic equivalence, even within products that
19 the innovator might bring out subsequent to the
20 original one or what have you.

21 So I would argue that the agency on the
22 new drug side ought to be looking at requesting of a
0180

1 replicate study in the process to start with.

2 But I think we just need to get off the
3 dime on this one, I'm not, I don't have any strong
4 feeling one way or the other about the .25, .294,
5 .2876, whatever, I think somebody has to look at all
6 the numbers. I think we did a lot of statistics and
7 let the numbers kind of help you.

8 And if we really are committed to good
9 science defining the therapy, then why are we being
10 anal with regard to rules when we expect that the
11 FDA scientists and the industry scientists can agree
12 on what the study means and the outcomes can make a
13 decision.

14 We do it with new drugs before they hit
15 the market because there's not four other companies
16 trying to fight over that part of the market, and so
17 if there were three or four other innovators
18 reaching for that market at the same time, then I'm
19 sure they come down and your a little bit of
20 flexibility on the new drug side would go away
21 because there would be lawsuits and all sorts of
22 citizens petition groups and affected citizens with

0181

1 certain diseases that would all be campaigning for
2 all sorts of different things.

3 Let's go back to what we really wanted
4 to accomplish for the last however many years I've
5 served on this committee, and that is to make sure
6 that the decisions we make are based on good solid
7 science, are fair and can be easily applied by the
8 agency in that respect.

9 Replicate studies get rid of the
10 variability effect because you can tease it out, you
11 can separate it out, you can control for it. And if
12 we don't start to allow the companies to submit
13 either three- or four-way replicated studies, and we
14 don't have to insist on four or three, if they want
15 to try it with two and they think they can make it,
16 good luck to them, but we know this is going to be a
17 lot better advice. They are far better off with 24
18 subjects in a three-way study than trying to figure
19 out 96 studies -- subjects in a two-way study.

20 And if they want to turn in a four-way
21 to make the point of how much better their product
22 is, more power to them. So, let's move forward.

0182

1 Thank you.

2 MR. CONNOR: Just a correction, or just
3 to make it clear, we accept replicate studies, but
4 it is strictly the sponsor's option at the current
5 time.

6 So, people are perfectly free, a sponsor
7 at their own choice to put in a four-way, usually
8 it's a four-way that they do, if they choose to do
9 it, a four-way replicate design.

10 It does seem to make things -- even
11 though we are doing average bioequivalence, we
12 aren't really teasing out and using the
13 inter-subject variability. It seems to have a bit
14 better go at a highly variable drug in passing our
15 current, you know, fixed criteria.

16 And we've seen, there's one drug I'm
17 thinking of where virtually every sponsor chose to
18 do a four-way replicate design and of course the
19 ones we saw all passed, you know, obviously, and it
20 had been one chose to do a very large two-way
21 cross-over and that didn't pass. And so that we had
22 a lot of discussion with them saying, oh, well, you

0183

1 know, could I drop subjects and, you know, the usual
2 types of things that people try and do to get their
3 studies to pass when they are in trouble.

4 But, you know, it really says that, even
5 handled in the static non-scaled way we do know, a
6 replicate design does help a little bit in this.

7 People mention before other techniques
8 or other ways to address this, like the sequential
9 design which is really, sequential design to me is
10 not an add-on because I define that differently.

11 It's being able to break your study up
12 into several groups and take a look at one point and
13 see how you've done and then have a decision role,
14 whether you go on and study the next group or not.
15 But if you've met the criteria, then you stop and
16 you don't go any further.

17 This is used in clinical trials quite a
18 lot and, you know, we haven't, people have asked us
19 to accept this for bioequivalence trials and we've
20 finally said, yeah, we're open to it, send us some
21 protocols, tell us how you're going to analyze it
22 and then nobody does it, so.

0184

1 But the problem is that's not really a
2 solution for this. That's really more of an
3 efficiency, because we're still going to be using
4 the same criteria and although you'll hopefully
5 focus in on the right number, the right number still
6 will be large of subjects.

7 And so it doesn't really deal with the
8 fact that I need, you know, to do this right. I
9 need 100 subjects. What the, what the sequential
10 design was saying is I will probably end up with
11 100 subjects and I won't, you know, overshoot and do

12 20 extras that I might have done if I just, you
13 know, did it all at once for safety.

14 So it really isn't the solution to this,
15 it just makes it a little bit more less wasteful, I
16 think.

17 DR. COONEY: Marv, then Ken.

18 DR. MEYER: I'd like to get back to the
19 questions that were posed to us. I gather from the
20 way they were phrased we're not being asked whether
21 we need -- whether we're supposed to do a three-way
22 or a four-way and we're not being asked whether we

0185

1 should do a scaling.

2 We're being told we'll do that and then
3 I want to ask some details on it, which is fine, I
4 don't have any problem with that.

5 I think that I'd like to address the
6 first one. I think it's essential that we have
7 scale -- that we have control over the point
8 estimate and I think that those of us who have been
9 in the business for a while and know that every
10 patient advocacy group in the country will ask Dale
11 Connor and Gary and probably the commissioner to
12 come visit them at their meeting and explain why

13 you're allowing an 80 and a 125 spread on mean
14 values when you've just about convinced us that,
15 okay, 80 to 125 is a confidence limit, but that
16 really means that the means can't differ anywhere
17 close to that and still pass.

18 If you move to a point estimate that
19 allows 80 to 125, you're going to have hell to pay
20 and I think that the brand names will exploit this
21 and I don't blame them. If I owned stock in them,
22 I'd expect them to do that.

0186

1 And so I think if you, the closer you
2 can get to the, if you can point to the products
3 that are being approved now and their mean ratios
4 are in the neighborhood of, let's, I'll pick a
5 number, 90 to 110, then that's a reasonable thing
6 you can defend. If you go down to 80 to 125, you're
7 asking for trouble.

8 MR. CONNOR: Well, I just want to give
9 you a little bit of history. Up until, let's see,
10 January 31st, 2005, which is when the FED
11 bioequivalence studies guidance, which is the
12 guidance that talks about FED -- you know,
13 specifically about FED bioequivalence studies, and

14 it covers both NDA and ANDA, up until that point in
15 time, those studies were just based on a point
16 estimate criteria of 80 to 125.

17 So, there was no calculation of
18 confidence intervals. Those studies prior to that
19 date were not powered to look at confidence
20 intervals, so they usually had less subjects than
21 what would be required if they actually had to
22 calculate confidence intervals and pass our usual
0187

1 criteria.

2 And that was an evolution. And I don't
3 want someone to quote me in saying that there was
4 anything wrong, necessarily wrong with that or that
5 was dangerous in any way, because I think we made
6 good, you know, solid decisions based on that.

7 But in the evolution of thought about,
8 you know, what these FED studies meant to us, we
9 finally decided that they were true, not just a
10 supportive study to put peoples' mind at rest about
11 the effect today on bioequivalence, but they
12 actually were a bioequivalence trial in the true
13 sense of the word. And so we evolved to the point
14 where we finally formulated a guidance over many,

15 many years of discussion, public and within FDA that
16 we would take this to the next level and actually do
17 the 90 percent confidence interval equivalence
18 methodology and bring this up to date to be a real
19 equivalence trial. And that's what we did from that
20 date onward.

21 So, we do have a history, you know, it's
22 not too many years in the past of actually using

0188

1 just this criteria, you know, just the point
2 estimate 80 to 125 and not the rest of this. I mean
3 that was the only criteria for passing, so that's
4 just a little bit of history about how we've evolved
5 and that we still have many products on the market,
6 very successful generic products with no problems
7 whatsoever that we're, no problems whatsoever with
8 food that were approved on that basis.

9 DR. MEYER: But the point is you did see
10 the error of your ways and correct it?

11 MR. CONNOR: Right.

12 DR. MEYER: And now you're proposing to
13 go back to that same error and then maybe 10 years
14 from now correct it.

15 MR. CONNOR: Well, I wouldn't go out and

16 say that. You would be correct if this was all we
17 were, if point estimate criteria was all we intended
18 to do here with, you know, but this is really kind
19 of an add-on to the more important talk that we're
20 having, which is scaling the average bioequivalence.
21 So it's not just we're only doing a point estimate
22 criteria.

0189

1 DR. YU: I guess this only apply as
2 Barbara point out the 10 or 15 product which is
3 safer, it's not applied to all the product on the
4 market.

5 DR. COONEY: I'm going to take just a
6 moment and I'd like to read a, read a series of
7 comments from one of our committee members who was
8 not able to be here at the last minute. These are
9 the comments of Jurgen Venitz.

10 The proposal should study, bear with me
11 while I read a blackberry, the proposal should
12 consistently refer to the drug product, not drugs,
13 since the high WSV may arise from the drug product
14 formulation or device rather than being intrinsic to
15 the drug or API.

16 This would particularly be true for more

17 complex dosage forms such as Transdermal patches,
18 pulmonary inhalation devices, et cetera, that are
19 intended for systematic delivery. It is my
20 assumption that the proposal would apply under these
21 circumstances as well.

22 The second point is that the proposal

0190

1 ought to define what evidence is needed to qualify a
2 product as a highly variable drug product. Is the
3 WSVR greater than 30 percent based on a replicate
4 design study. The most accurate way of assessing
5 its value are based on previous bioequivalency,
6 bioavailability studies as part of another ANDA or
7 NDA. Usually it's part of a non-replicate two-way
8 cross-over study where the residual variance can be
9 a poor estimator for WSVR as discussed in the
10 background paper.

11 The definition of HVDP has to be
12 unambiguous and feasible.

13 Third comment. Overall I'm in favor of
14 using WSVR as a means to scale the goal post for the
15 test product along with additional constraints on
16 the point estimate. I think it implies that WSVT
17 can be no more than WSVR while maintaining

18 equivalence of the means. One of the things that
19 always troubles me about IBE as you may remember was
20 the fact when WSV product differences could be
21 canceled out by differences in point estimates
22 between products, leading to cases where a product

0191

1 could pass IBE and fail ABE as some of Laszlo's
2 cases demonstrated a few years ago.

3 In addition, I consider the -- okay,
4 formulation, interaction of uncertain -- interaction
5 of uncertainty at best, clinical significance and
6 more likely a statistical artifact. The proposed
7 approach clearly separates the two criteria.

8 In addition, IBE had the scaling factor
9 equal to the fudge factor whose choice in value
10 would determine F over P of the bioequivalence and
11 there was no rationale way of selecting a value
12 other than considering the bioequivalence
13 consequences.

14 Nevertheless, I have the following
15 comments about the proposal.

16 The fourth point, the clinical
17 significance of this widening beyond .8 to 1.25
18 needs to be reviewed, discussed and approved for

19 each drug. For instance, does existing ER
20 information such as a flat ER support the notion
21 that S&E are likely unaffected by wider goalposts.
22 I agree with Les' arguments at the previous meeting
0192

1 that the true NTI drugs are unlikely to show high
2 WSV.

3 What is the intended use, for instance,
4 are they given as fixed dose or dose titrated. In
5 the latter case I would be more comfortable with
6 widening. Period. What are the stakes of S over E
7 for oncology drugs where the stakes of underdosing
8 may be very high.

9 High stakes may make us more
10 conservative about why, I think we're getting close,
11 this is fifth, you should at least consider typing
12 the constraints on the GMR such as .9 to 1, rather
13 than the proposed .8 to .12 -- to 1.2. This may be
14 justified based on the previous point.

15 But I don't have a sense of what the
16 consequences would be in terms of P over F of the
17 bioequivalence in the simulations or the real world.
18 Again, the clinical significance may be the tie
19 breaker.

20 Six, I need to see more information
21 about the proposed minimum sample size requirement
22 of greater than 36. For instance, WSVR estimate,

0193

1 powered, cast, et cetera, before I could answer that
2 question.

3 I assume that the sample size is based
4 on the supposition that WSVT is less than WSVR,
5 equalling the maximum allowable WSV, which would
6 appear reasonable, but other assumptions may be less
7 reasonable, or the sample size estimate quite
8 sensitive to one or more assumptions.

9 Seven, regardless of minimum sample
10 size, what happens if the study is underpowered, do
11 you need the failure and bioequivalence on the CI,
12 even with the scaled goalpost?

13 Did you consider increasing the sample
14 size incrementally, if pre-specified in the
15 protocol? This outcome would be quite possible if
16 the original WSVR is underestimated.

17 Finally, I believe that the current
18 bioequivalence guidance does allow the use of
19 replicate design if pre-specified. Would that still
20 be the case of this new proposal, if this new

21 proposal were to be adopted? If so, could the
22 current proposal be modified to achieve the same
0194

1 goal with a replicate design, RT/RT or RTR/TRT,
2 namely, ensuring that WSVT is less than or equal to
3 the WSVR and the GMR passing bioequivalence.

4 Food for thought.

5 MR. UNIDENTIFIED SPEAKER: That was a
6 banquet.

7 DR. COONEY: Ken.

8 DR. MORRIS: I think I forgot what I was
9 going to say. No, I think the scaling part makes
10 perfect sense based on the concept that actually you
11 had raised which is the therapeutic equivalence. If
12 we're referencing it against the demonstrated
13 therapeutically efficacious reference product, then
14 I think there's no question that that makes good
15 sense.

16 There, the mean -- I was a little, I had
17 to listen to what Mel was saying, I haven't thought
18 quite about, much about that, that variation, I'll
19 think about that as the discussion goes on a little
20 bit.

21 The one thing I wanted to raise as an

22 issue is that if it turns out that, in fact, there
0195

1 is this relative insensitivity to a formulation with
2 some formulations, does that mean that we now have
3 to start re-visiting things like dissolution
4 specifications that may not be important? Does this
5 open that discussion?

6 MR. UNIDENTIFIED SPEAKER: That's like
7 another couple days' work of advisory committees
8 right there.

9 DR. MEYER: No, exactly, I have a vested
10 interest in asking that because I'll, you know, have
11 to sit here for two more days.

12 DR. KOZLOWSKI: No, I do dissolution all
13 the time and I'm a great believer in the clinical
14 realm of dissolutions.

15 DR. MEYER: Well, but that's my point,
16 if this is actually a clinical -- I mean if this is
17 actually supposed to demonstrate therapeutics,
18 then it sort of raises the issue of just as a caveat
19 of what this may be --

20 DR. KOZLOWSKI: Well the dissolution
21 question actually makes this look, you know, kind of
22 small and compact. I'm pretty sure we don't have

0196

1 enough time.

2 DR. MEYER: No, I'm just raising this as
3 a point of discussion, but on the other hand, I
4 don't think it's, I don't dread re-visiting that
5 question. I think it's high time to get to it, but
6 for this category of compounding.

7 DR. COONEY: Paul.

8 DR. FACKLER: I just had a couple of
9 points.

10 One was when, Dale, you were describing
11 NDAs and how applicants at the point of finishing
12 clinical trials and maybe to be marketed formulation
13 do the BE studies and that the same stringent
14 criteria aren't placed on them, I was going to say
15 that there are several examples we're aware of
16 from the Freedom of Information summaries where, in
17 fact, they weren't able to pass under the stringent
18 criteria and no surprise to anyone, those are the
19 same products that some generic companies are
20 struggling with trying to with 100 subjects show the
21 bioequivalence.

22 So, I don't disagree at all and think

0197

1 that while we've been able to do replicate design
2 studies and have turned them in, what we haven't
3 been able to do is use this reference scaling
4 approach, which I endorse, I think it's a step in
5 the right direction, it's making a proposed generic
6 product compared to the existing reference product.
7 To me it makes very common sense.

8 And the last point I want to make was
9 with regard to the point estimate, even if it were
10 only a political benefit, I think it might be
11 worthwhile, but I thought Dr. Haidar presented data
12 that for the very, the extreme highly variable drug,
13 those with 60 percent CV or higher, that it actually
14 had scientific merit.

15 So, I wouldn't want the committee to
16 characterize it as just a give-away, you know, to
17 the public or to physicians or that it does have
18 scientific merit and maybe it's not for a large
19 percentage of the products, but I think for some
20 products it actually provides a bigger constraint
21 than the --

22 MR. CONNOR: I'd say it a little

0198

1 different, I'd look at it a little differently.

2 It's not scientific merit, it's an effect. I mean
3 the -- and what he showed was that if at a certain
4 percent when you made a certain choice as far as the
5 constraint, that it would, its effect would
6 overshadow it.

7 That doesn't mean it has scientific
8 merit, that simply means that, you know, what we're
9 looking at, we really need to assess when we say
10 this, we say, oh, well, we're just going to
11 arbitrarily, you know, plop this constraint on it.
12 What is the true effect. I mean it does have an
13 affect on the acceptable at some point for certain
14 products and we have to, we just have to figure out
15 what that is and what we're comfortable with.

16 DR. FACKLER: That's stated better than
17 I did, yes.

18 DR. COONEY: Carol, then Ken.

19 DR. GLOFF: Thank you. I think Marv
20 said very well my thoughts on this, that I think it
21 does make sense to work to apply this scaled
22 bioequivalence proposal.

0199

1 I also am somewhat uncomfortable with
2 the 80 percent to 125 percent on the point estimate

3 and I don't, I can't really quantify that very well,
4 but for the reasons that have already been discussed
5 from political, scientific, whatever, it seems to me
6 that we should seriously consider a bit narrower
7 range than 80 to 125. 90 to 110, 85 to 115, I don't
8 have exact numbers to put on that, but 80 to 125 is
9 going out to the limits of what's acceptable for the
10 confidence intervals now and I understand all the
11 reasons why we need the wider range for the
12 confidence intervals for the highly variable
13 products, but I'm uncomfortable with the point
14 estimates going out to those extremes.

15 DR. COONEY: Ken.

16 DR. MORRIS: Thank you. Yeah, I don't
17 know, actually I had said Mel and said but Marv, it
18 was actually you, so I didn't mean to pick on you,
19 Mel, but, yeah, this is, at this point I'm a little
20 unclear on it. I guess the idea that this is, I
21 mean -- I mean Les was probably being a little
22 editorial when he said it was political, but what's