

0001

1 FOOD AND DRUG ADMINISTRATION
2 CENTER FOR DRUG EVALUATION AND RESEARCH
3
4 ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE
5 CLINICAL PHARMACOLOGY SUBCOMMITTEE
6
7
8
9

10
11 October 18-19, 2006
12 CEDR Advisory Committee Conference Room
13 Room 1066
14 5630 Fishers Lane
15 Rockville, MD
16
17
18
19
20
21
22

0002

1 A P P E A R A N C E S :
2 Thursday, October 19, 2006
3 Jeffrey Barrett, Ph.D. FCP
4 Edmond V. Capparelli, Pharm.D
5 David Z. D'Argenio, Ph.D
6 Marie Davidson, Ph.D
7 Kathleen Giacomini, Ph.D.
8 Shiew-Mei Huang, Ph.D
9 William J. Jusko, Ph.D
10 Meryl Karol, M.D.
11 Larry Lesko, Ph.D
12 Howard L. McLeod, Pharm. D.
13 Joanne Mortimer, M.D.
14 Richard Pazdur, M.D.
15 Mimi Phan, Pharm. D
16 Atiqur Rahman, MD.
17 Mary V. Relling, Pharm.D.
18 Jurgen Venitz, M.D., Ph.D
19 Paul Watkins, MD
20 Sally Yasuda, Pharm, D.
21
22

0003

1 A G E N D A
2 THURSDAY, OCTOBER 19, 2006
3 Call to Order
4 Jurgen Venitz, M.D., Ph.D
5 Acting Chair CPSC of ACPS
6 Conflict of Interest Statement
7 Mimi Phan, Pharm.D., R.Ph.
8 Designated Federal Officer, ACPS
9 Topic 3: Using Disease, Placebo, and Drug
10 Prior Knowledge to Improve Decisions

11 Decisions in Drug Development and at FDA:
12 How Combining Prior Knowledge with Quantitative-
13 Based Decisions Can Improve Productivity and
14 Quality
15 Bob Powell, Ph.D.
16 Director, PM, OCP, FDA
17 Impact of Prior Knowledge on Drug Development
18 Decisions: Case Studies Across Companies
19 Jacob Mandema, Ph.D.
20 Quantitative Solutions, Inc.
21 Disease Models at FDA: Overview and Case
22 Studies (Diabetes and Obesity)

0004

1 A G E N D A (Cont.)
2 Joga Gobburu, Ph.D.
3 Team Leader, PM, OCP
4 Disease Models at FDA: Parkinson's Disease
5 Atul Bhattaram, Ph.D.
6 PM, OCP, FDA
7 Ohid Siddiqui, Ph.D.
8 OB, FDA
9 Open Public Hearing
10 Advisory Subcommittee Discussion
11 and Recommendations
12 Jurgen Venitz, M.D., Ph.D.
13 Acting Chair CPSC of ACPS
14 Summary of Recommendations
15 Lawrence Lesko, Ph.D.
16 Director, OCPB, CDER, FDA

17

18

19

20

21

22

0005

1 P R O C E E D I N G S
2 CALL TO ORDER
3 CHAIRMAN VENITZ: Can everybody please be seated?
4 Good morning, everyone, and welcome to the second day of the
5 Clinical Pharmacology Subcommittee Meeting.
6 Today is our topic 3 for discussion, but before we go
7 ahead and start our formal proceedings, I would like to go
8 around this table and ask everyone to introduce themselves
9 for the record.
10 DR. GOBBURU: Joga Gobburu, Pharmacometrics, FDA.
11 DR. HUANG: Shiew-Mei Huang, Office of Clinical
12 Pharmacology, FDA.
13 DR. POWELL: Bob Powell, Clinical Pharmacology.
14 DR. JUSKO: William Jusko, Committee Member, and
15 Professor at the University at Buffalo.
16 DR. QUANG: Brian Quang, Safety Solutions.
17 DR. DAVIDIAN: Marie Davidian, North Carolina State
18 University.
19 CHAIRMAN VENITZ: Jurgen Venitz, Clinical
20 Pharmacologist, Virginia Commonwealth University.
21 DR. PHAN: Mimi Phan, Designated Federal Officer.

22 DR. KAROL: Meryl Karol, the University of Pittsburgh.

0006

1

2 DR. BARRETT: Jeff Barrett, the Children's Hospital
3 Philadelphia at University of Pennsylvania.

4 DR. MCLEOD: Howard McLeod, UNC, Chapel Hill.

5 DR. D'ARGENIO: David D'Argenio, the University of
6 Southern California.

7 DR. WATKINS: Paul Watkins, the University of North
8 Carolina at Chapel Hill.

9 CHAIRMAN VENITZ: Okay. Thank you, everyone.

10 Again, before we start our official proceedings, as we
11 usually do, we have the conflict of interest statement read,
12 and Dr. Phan is going to do that for us.

13 CONFLICT OF INTEREST STATEMENT

14 DR. PHAN: Good morning. This the Conflict of
15 Interest for today's meeting, October 19, on the topic of
16 Prior Knowledge on Drug Development and Regulatory
17 Decisions.

18 The following announcement addresses the issue of
19 conflicts of interest and is made part of the record to
20 preclude even the appearance of such at this meeting.

21 This meeting is being held by the Center for Clinical
22 Evaluation and Research. The Clinical Pharmacology

0007

1 Subcommittee Meeting of the Advisory Committee for
2 Pharmaceutical Science will consider the third new topic,
3 the Impact of Using Prior Knowledge of Drug Development in
4 Regulatory Decisions -- prior knowledge of disease change
5 over time and covariates.

6 Placebo variation in drugs can be used to make better
7 decisions and design more informative clinical trials.
8 Examples will be used to demonstrate these principles.

9 Unlike issues before a committee, in which a
10 particular product is discussed, the issue of broader
11 applicability, such as the topic of today's meeting involves
12 many industrial sponsor and academic institutions.

13 The Committee members have been screened for their
14 financial interests as they may apply to the general topic
15 at hand.

16 Because general topics impact so many institutions, it
17 is not practical to recite all potential conflicts of
18 interest as they might apply to each member.

19 In accordance with 18 USC 208.B3, full waivers have
20 been granted for the following participants: Drs. Jurgen
21 Venitz, Jeffrey Barrett, Edmund Capparelli, Marie Davidian,
22 Kathleen Giacomini, William Jusko, Jacob Mandema, and Paul

0008

1 Watkins.

2 Waiver documents are available at the FDA document Web
3 site. Specific instructions as to how to access the Web
4 page are available outside today's meeting room at the FDA
5 Information table.

6 In addition, a copy of all waivers can be obtained by
7 submitting a written request to the agency's Freedom of
8 Information Office, Room 12A-30, at the Parklawn Building.

9 FDA acknowledges that there may be potential conflicts

10 of interest, but because of the general nature of the
11 discussion before the Committee, these potential conflicts
12 are mitigated.

13 In the event that the discussion involves any other
14 products or a firm not already on the agenda for which FDA
15 participants have a financial interest, the participants'
16 involvement and their exclusion will be noted for the
17 record.

18 With respect to all other participants, we ask in the
19 interest of fairness that they address any current or
20 previous financial involvement of any firm whose products
21 they wish to comment upon.

22 CHAIRMAN VENITZ: Thank you, Mimi. And we have a new
0009

1 member that joined us. You want to introduce yourself, Bob?

2

3 DR. O'NEIL: Yeah. Hi. I'm Bob O'Neil. I'm the
4 Director of the Office of Biostatistics in CDER.

5 CHAIRMAN VENITZ: Okay. Welcome again.

6 Our today's topic is Using Disease, Placebo, and Drug
7 Prior Knowledge to Improve Decisions, and the topic will be
8 introduced and at least initially discussed by Dr. Bob
9 Powell.

10 Bob is the Director of Pharmacometrics in the Office
11 of Clinical Pharmacology.

12 DR. POWELL: Thank you, Jurgen. The -- talking about
13 the -- analyzing and sharing disease, placebo, and drug
14 prior knowledge really the case that we're going to hone
15 down into is the case on Parkinson's disease.

16 And -- but it's -- we're really asking the question
17 both in a specific and a general way that in a general way,
18 we're beginning to engage in analyzing this sort of
19 information to help solve regulatory problems with people in
20 clinical as well as with people in biostatistics.

21 And so, in that sense, it's kind of -- the questions
22 have to do with how the data is put together; what data are

0010

1 used; how the data is put together and analyzed but in a
2 general way, so that part sort of is technical.

3 In a general way, it's how to do this more routinely,
4 and if you can imagine at the FDA, the vast amount of
5 knowledge that's here in that, if this could be done more
6 systematically, then the benefit that could accrue to people
7 designing trials or making decisions based on this sort of
8 knowledge anyway. Okay.

9 So I'm going to work to set the context for the work
10 that we do. Jacob Mandema will provide an industry
11 perspective of the same sort of work that goes on within the
12 pharmaceutical industry. Jacob's a consultant in the
13 industry -- to the industry.

14 Joga will present an FDA perspective for the more
15 detailed aspect of the work that we do, and then Atul
16 Bhattaram and Ohid Siddiqui will then get into the
17 Parkinson's disease example.

18 Now, there's a second meeting on Parkinson's disease
19 that will occur in the spring, and that will be more of a
20 clinical meeting where the trial design and the

21 considerations around the trial design will be -- and that
22 will be led by our colleagues in clinical and will also

0011

1 involve biostatistics at the clinical pharmacology meeting.
2 So this is more of the technical one.

3 So the questions are: Is the overall approach
4 reasonable to quantify in the various parts of the disease
5 model? Is the approach reasonable for selecting the data to
6 a model? Is the approach reasonable for quantifying the
7 model? And how should this information be communicated
8 publicly?

9 So I'm going to talk a bit about decisions in drug
10 development and at the FDA and how combining the prior
11 knowledge with quantitative-based decisions can improve
12 productivity and quality.

13 I'll talk a little bit about modeling and simulation
14 impact in general about how some of the work that we do at
15 the FDA and pharmacometrics. I'll attempt to make the case
16 for extracting and sharing this information, both for use
17 within the FDA as well as outside the FDA.

18 And then talk about some future options.

19 So if you want -- so what I did was basically I
20 Googled modeling and simulation, but you have to do that
21 according to the application. And so if you look at weather
22 forecasting, there's a huge amount of information on the Web

0012

1 about what's done with modeling the simulation in weather
2 forecasting, and all the different engineering applications,
3 whether it's airplane design or car crash testing -- Toyota,
4 BMW. I mean these companies are into this in a very big
5 way.

6 And basically, it's using prior knowledge to be able
7 to make important financial decisions in making some sort of
8 a product better than the prior product.

9 It's being used in global warming scenarios. I mean
10 when you see people talk about what's likely to happen, it
11 comes from modeling and simulation and running scenarios.

12 Homeland Security is using this in a fairly
13 significant way for figuring out what to do with an anthrax
14 sort of infection that's spread across some large area. The
15 military, if I didn't list here, financial is where it's
16 probably one of the largest applications.

17 Military, I'll expand on this a little bit is -- most
18 of the design or the actions -- when they talk about
19 scenario planning about what they're going to do, I mean to
20 a large extent, it's being led through modeling and
21 simulation.

22 Dealing with energy issues. Of course, medical it's

0013

1 being used in all sorts of areas, from teaching people how
2 to do new complicated sorts of surgery or robotics surgery
3 or creating artificial knees and hips. I mean the
4 fundamental design has a lot to do with understanding the
5 physiology and anatomy and then trying to design in the
6 functionality.

7 Drugs it's -- modeling and simulation is being used
8 increasingly in molecular design; formulation looking at

9 cross surfaces about what's going to impact a formulation's
10 performance -- manufacture and marketing.

11 But really in clinical development, it's not used that
12 much. It's beginning to be used, but I would say it's in
13 the very earliest stages.

14 So if we look at the military, the -- people that are
15 familiar with Louis Shiner's learn and confirm model are
16 struck by it. So here's the ultimate maybe in confirmed if
17 you're talking about one tank destroying another tank. And
18 basically what the military is doing is not only designing
19 their information systems that talk about how to get the
20 tank to perform, but it's also being used in simulations to
21 train people to use these devices as well.

22 There is an Office of -- in the upper right-hand

0014

1 corner -- the Defense Modeling and Simulation Office. I
2 mean it's like I didn't -- I didn't know about these sorts
3 of things, and it's -- not only is there this office, but
4 each section of the military has a sub-office of modeling
5 and simulation.

6 And when you look at what's -- is there a pen or a
7 pointer? So if you look at these attributes here, they
8 speak to what they expect to benefit in terms of using a
9 technology across different applications and decreasing
10 risk.

11 It's interesting to find out that from Congressman
12 Randy Forbes has written to the President and they talked
13 about the importance of modeling and simulation in general
14 for our economy and our society in 2006.

15 So, you know, since I'm a government employee now it's
16 nice to know that I'm aligned with my management within the
17 government.

18 Borrowing -- this is a press release on a fighter that
19 they were designing in competition early in this decade, and
20 they speak to the -- I mean basically for products that
21 we've heard about in the news for various types of aircraft
22 being able to use modeling and simulation across commercial

0015

1 and military aircraft, using the prior knowledge that exists
2 to more efficiently design these aircraft -- I mean very
3 expensive sorts of numbers.

4 And then the fellow that was the head of this project
5 -- I mean they really captured the importance of modeling
6 and simulation in reducing risk can't be emphasized enough.

7

8 We were able to eliminate the majority of bugs before
9 we ever built this new aircraft and then the aircraft worked
10 in a fairly seamless way when they introduced it.

11 So why modeling and simulation?

12 Well, it's basically I think these sorts -- the reason
13 there's so much interest in it is that it's a way of
14 decreasing bias in risk and decisions, to overcome
15 complexity when there are many more factors that are going
16 to influence outcome than a human -- than one human can
17 account for, to increase quality, decrease costs, and
18 decrease time. I mean you can apply all these attributes to
19 the design of clinical trials or making drug development

20 decisions or making regulatory decisions.

21 So the process has something to do with some action
22 that you're getting ready to make, and whether it has to do
0016

1 with a decision of prediction, as in the weather; teaching
2 design, in this case we're talking about clinical trials or
3 making some sort of regulatory decision; or, in fact, in
4 entertainment.

5 So the action generally should have something to do
6 with -- there's significant risk; that it's expensive; and
7 that it's important.

8 So really what we're talking about today is the
9 collection of relevant information that is complex. It has
10 multiple dimensions, and generally raw data is one of the
11 things that you want to start out with.

12 So the next step is to organize the information into
13 models. Now, I would say that at the FDA one of the things
14 that we can't do is that we cannot share a sponsor's raw
15 data. Only a sponsor can -- a company can say okay you can
16 use our data to another -- to an academic or something like
17 that.

18 But at the FDA, we cannot take a given company's data
19 and just give it to some people and the public to use.

20 What we can do is we can summarize that information
21 and that's what's done in an MDA and summary basis of
22 approval, and so you organize it into models.

0017

1 And then once you have your models, then you can
2 simulate different outcomes or scenarios. But as part of
3 that, there has to be some sort of predictive check to know
4 whether there's validity in the model that you've created.
5 Then you can act, and then there's some of result and then
6 going back to learning as a result of the results.

7 Now, let's go from the general to the specific and
8 what we're doing within pharmacometrics and pharmacology.

9 Our objective is really to facilitate
10 quantitatively-based regulatory decisions, focused on
11 efficacy and safety and generally through a dose-response or
12 concentration-response in the lab.

13 To do this, it really requires high quality
14 partnerships with the physicians, statisticians, and people
15 in clinical pharmacology.

16 Externally, we're working to define the
17 pre-competitive space where knowledge like I'm talking about
18 today can be shared more freely, and also to develop tools
19 for doing this sort of work; likewise, within academics, of
20 working on knowledge generation and training.

21 We're -- when we started, most of our work was
22 opportunistic. In other words, an MDA comes in. You begin
0018

1 working on that problem, and -- or if there is end of phase
2 2A.

3 But what we've begun doing is working on planned
4 projects, where there's solving some sort of regulatory
5 problem, and that's what we're talking about today.

6 The work that we do in terms of NDA work, there's 42
7 NDAs and case studies were presented in the AAPS Journal

8 last year, and then this year what we're trying to do is to
9 routinely summarize the work that we do so that people
10 outside the FDA can learn this primarily NDA work.

11 So we've just submitted a publication for -- a paper
12 for publication based on our '05 and '06 work, and here
13 we've looked at the impact of our work in terms of -- from
14 the perception of the clin pharm people, physicians, and
15 pharmacometrics people.

16 Generally, and so we've asked questions around -- for
17 the modeling that we've done on NDA decisions, for the
18 approval decisions, but 85 percent of these 31 studies were
19 felt by the people that worked on those projects either to
20 be of pivotal or supportive significance.

21 With regard to what went into the labeling, it was
22 about 89 percent felt that it was either pivotal or

0019

1 supportive. Another options were supportive.

2 Unfortunately, we didn't score high there.

3 As I mentioned, another driver is end of phase 2A
4 meetings, and we're preparing a manuscript there.

5 For the planned work that we're talking about today,
6 this is where there's a regulatory question, and what we
7 generally do is we will then acquire prior knowledge,
8 usually within the FDA, as well as what's in the literature,
9 and then perform some modeling and simulation on the
10 question at hand and make recommendations to the group of
11 people that are working on this.

12 We're talking about Parkinson's disease today, and the
13 question really is how to measure a change in disease
14 progression, which you could just as easily ask in a number
15 of other diseases like Alzheimer's disease.

16 We're simultaneously working on small cell lung
17 cancer, where the question is the imaging prediction, and
18 what we have at had there is about eight prior NDAs where we
19 could look across the data to begin to answer that question.

20 We're getting ready to begin a project on osteoarthritis
21 shortly, with a similar question.

22 Switching gears again, the -- so what's the problem?

0020

1 I mean the problem that everyone knows about is if you look
2 at the declining success rate over time that was published
3 in Science, I mean the 50 percent failure rate in clinical
4 trials comes from this and some other data from Tufts so
5 that what you would like is a high kill rate back here in
6 Phase 1, but as you go onto a certain market, you would --
7 we'd like to know that your success rate, particularly
8 because of the expense involved, gets greater as you move
9 along. It decreases.

10 So with this 50 percent Phase 3 clinical trial failure
11 rate, what's the root cause and what to do?

12 Generally, when you plan a trial, what we're looking
13 for -- I mean it's okay to find a true positive and true
14 negative, but if you can plan a trial and predict that
15 you're going to have a true negative, then why do the trial?
16

17 So in terms of outcomes, what people are looking for
18 is a good chance of coming out with a true positive, and if

19 you can predict not doing -- but you would indicate that it
20 would kill a project, for example, not doing the trial -- or
21 and -- and certainly avoid false positives for false
22 negatives.

0021

1 So the root causes are well known. I mean it's a lack
2 of adequate efficacy and too much unpredicted toxicity. You
3 know, the placebo effect, the baseline effects drawn down,
4 and something to do with patient selection.

5 And that's what we're going to talk about today is
6 quantitating this prior knowledge within a disease to be
7 able to use that in subsequently making decisions on
8 planning products.

9 So the way we're conceiving of this -- in this case,
10 it's an example with diabetes that if you can understand the
11 relationship of Hemoglobin A1C to relative risk or outcome
12 for neuropathy by recording a function of stroke, then that
13 could be a disease model.

14 What we're talking about today is looking at the time
15 course of change in symptoms and functionality in
16 Parkinson's disease over time.

17 The drug model simply relates the drug concentration
18 to effect whether it's efficacy or toxicity, as well as the
19 pharmacokinetics.

20 Now, if you simply just have that information, then
21 you can use that to simulate those same -- you can answer a
22 number of questions. You can bridge into pediatrics or

0022

1 special populations.

2 But there's this whole other piece of information
3 around clinical trials that we'll talk about today, and then
4 within a company this information can be used for trial
5 design. It can be used for go/no go decisions, for
6 projecting the labeling, the formulation, the combinations,
7 pediatrics.

8 The list at the FDA is very similar except that what
9 we're doing is we're usually checking. You know, it's like
10 did someone adequately describe dose response, and so it's a
11 similar sort of endeavor.

12 The modeling cycle is similar to what I talked about
13 earlier is building the disease and the drug model,
14 incorporating time to then extract the clinical trial
15 information that you need to then design the trial and then
16 you can begin simulating.

17 And so we could theoretically begin simulating -- in
18 fact, we have begun simulating a specific type of trial
19 design in Parkinson's disease. We can simulate other trial
20 designs.

21 Then, when a sponsor submits the data, then we could
22 be front loaded. I mean we could be ready then to take

0023

1 their information into the simulations and figure out the
2 extent to which this trial that they're planning to do with
3 specific drugs is likely to work or not or if there are
4 alternative scenarios.

5 Our business is not to do this all time. It's really
6 to have sponsors do this and submit this information to us.

7 I mean we're not actually staffed to do this for all the
8 diseases and all the applications. But I think that if we
9 can do it and show people, then maybe people are more likely
10 to get this sort of function.

11 And then, as new information comes up, then you can
12 update your models and simulations.

13 We think that -- everything other than the sponsor
14 information could be available in some public form.

15 Now, let's look at placebos, for example. This is a
16 recent paper in Annals of the Journal of Medicine where they
17 looked at the duodenal healing rate in active versus placebo
18 patients, active being Cimetidine or versus Ranitidine, and
19 83 trials.

20 So looking at the healing rate in placebo versus the
21 healing rate in active treatment, then it would be good luck
22 across these 83 trials if you happen to have a placebo that

0024
1 didn't have much effect; whereas, the drug effect was very
2 effective.

3 On the other hand, it would be bad luck if you happen
4 to have a placebo that was generating about as much activity
5 in healing ulcer as the drug itself. And these are all the
6 same drug.

7 Now, there's -- the other thing that's interesting to
8 note here is that the magnitude of response of healing rate
9 was related between placebo and active. Okay. So this sort
10 of information can be used in a planning purpose, I mean
11 just by taking account for the magnitude of variability in
12 placebo.

13 Just looking at placebo response in depression, and
14 this is a -- there's not really a good explanation for this
15 but this is looking over time both so that the spores for
16 active tricyclic anti-depressants versus SSRIs versus
17 placebo in the dark triangles seems to be increasing over
18 time.

19 But likewise, if you have a placebo effect in this
20 case that's very close to the drug effect, then that's got a
21 pretty high risk of trial failure; whereas, if the -- if
22 about the same time zone, if the placebo is generating much

0025
1 less of an effect, then you might have an increased risk for
2 a false positive, neither of which you would want.

3 Well, we wouldn't want it.

4 The -- looking now at Parkinson's disease patients
5 that were treated with Levodopa plus Selligiline or placebo
6 for five years, you can begin to see the nature of the issue
7 with disease progression so that placebo over time looking
8 at the functionalities for it for Parkinson's disease in the
9 UPDRS score versus treatment over time.

10 And in this case, you can begin to see a slope change
11 perhaps that it might be occurring, and it's hard to tell --
12 I mean you need to know something about drop-outs as well.

13 But to this point, there's not been all the drugs that
14 are on the market to my knowledge or approved for
15 symptomatic effects in the treatment of Parkinson's disease,
16 but there is no drug to date that has received a claim for
17 changing disease progression, although we think that there

18 may be people working for that.

19 Using this example, then the key questions -- asking a
20 question about entry criteria and baseline effect. Well,
21 what if the baseline was chosen to be much lower or much
22 higher. If you begin to answer those questions, if you have

0026

1 prior information.

2 To detect the disease progression change over time.

3 Over what period do you look? Do you look over six months?

4 Do you look over 18 months? Do you look over a couple

5 years? What is the critical time in designing the trial?

6 Drop-outs you want to look over the entire time and

7 understand what's the reason for drop-out in designing the

8 trial.

9 Well, this work actually goes back in some time, so

10 that Nick Holford [ph.] described this and actually this is

11 a 2001 publication, but I think the original work in PNAS

12 was in '92, and it was generated from Ritagramin [ph.], I

13 think the first drug approved for Alzheimer's disease, where

14 he computed the disease progression rate for Alzheimer's

15 disease using a similar scoring system for functionality and

16 then looked at the Alzheimer's effect or the Tacrin effect

17 in this case, which was to not change the slope, but to

18 provide symptomatic relief, and then subsequently they

19 plotted the effects of other drugs. And so it's a way of

20 really looking at the effect of -- what is the drug doing

21 and what's the quantity of effect that it's having.

22 Blasby and Shiner and others looked at AZT response

0027

1 where they analyzed data from an early '90s study and HIV,

2 and again it looked similar so that this is looking at CD4

3 counts over time, and this before people begin using

4 bioload, and you can see this disease progression and the

5 effect of AZT from analyzing this prior trial was that it

6 bumped CD4 counts, but the benefit of disease progression

7 was changed. Subsequently triple therapy changes that.

8 Now, the other thing, just for your information, to do

9 this sort of work you have to have a software system that it

10 helps you acquire the information and save the information.

11 Peter Lee has been working on constructing this at the FDA,

12 along with others and other -- George Rochester and people

13 in biostatistics have been collaborating on the data

14 warehouse portion.

15 But the problem is we get data in very disparate forms

16 using different nomenclature so that we're looking at moving

17 towards one form, a CDESK format, but that's not a rule yet.

18 And so we have to be able to then convert that information,

19 the variety of sponsor information when we don't get in

20 CDESK format to be able to save and to warehouse it. We got

21 to use it.

22 And so that we can then model the information and then

0028

1 save the information back into the warehouse.

2 So the warehouse is set up to create and save datasets

3 to save models and whether they're NDA models or disease

4 models, and then what we envision is that we'll then be able

5 to move this on out into reports.

6 Future options -- extracting information I believe
7 that extracting information and problem solving is something
8 that we're going to be with forever and that by taking
9 information from within the FDA as well as the example that
10 we'll show you today is getting collaboration from the NIH
11 that these are goldmines of -- potential goldmines of
12 information for disease, placebo, drug, drop-out rate,
13 baseline information.

14 The benefits are that we could impact development
15 strategies and clinical trial designs. We can in a
16 quantitative way look more systematically and perhaps more
17 efficiently at endpoints and biomarker evaluations. There's
18 probably unanticipated benefits you construct in a system
19 like this.

20 The beneficiaries are -- we believe that the
21 industry's -- the FDA academics, and the public, and that we
22 would waste less patient risk and money and time on failed

0029

1 trials, for example.

2 To do this, one way of thinking about is that you
3 could dedicate teams to target the questions, where you have
4 physicians, statisticians, epidemiologists, clinical
5 pharmacologists, and depending on the question manage
6 deliverables in the same ways as developing and rendering
7 these models in the same way that people manage time. There
8 could be learning efficiency and it could be a in sense --
9 you could think of it as a FDA product, and it could be a
10 great opportunity for career development.

11 Now, in terms of sharing information, we're getting
12 ready to have a public conference with people in the
13 industry and academics in January where we'll be probing
14 what is the competitive space and how can we get at some of
15 the problems we discussed.

16 So my general recommendations is that we do have to
17 spend more time in defining and developing -- in defining
18 the pre-competitive space and developing mechanisms for
19 systematically sharing -- that we do need to increase our --
20 to do this sort of work, we have to increase the investment,
21 allowing physicians, statisticians, quantitative
22 pharmacologists to mine and share prior knowledge and

0030

1 problem solving. And we also have to invest in these tools,
2 and again requiring to see this as the format to receive
3 data at the FDA, and looking at other sorts of tools as
4 well. Thank you.

5 CHAIRMAN VENITZ: Thank you, Bob. Any clarification
6 questions by the Committee?

7 Okay. Thank you, Bob.

8 Then let's move to our next speaker. He is Jacob
9 Mandema, and he is working for Quantitative Solutions, and
10 he is going to talk about the industry perspective.

11 Impact of Prior Knowledge on Drug Development

12 Decisions: Case Studies Across Companies

13 DR. MANDEMA: Thank you very much. I'm very happy to
14 present this morning.

15 I've been used to talk and to give a little overview
16 of some of the applications in industry how we're working to

17 leverage better prior information. And, of course, prior
18 information is something we always use to inform our
19 decisions in one way or another.

20 Really the topic that I want to highlight today is how
21 we can formalize that process a little bit better through
22 the use of mathematical models to formally and maybe more in
0031

1 a quantitative way use the prior information to enhance our
2 decision making.

3 So in general, what do models provide if we apply them
4 and apply them effectively?

5 Well, they give two components to the results we can
6 get out of it. One is an enhanced analysis of the data.
7 Using models allows us to integrate a lot of information,
8 and I'll actually talk about a few examples of that. By
9 using more effectively all the data we have available, of
10 course, we get better, more precise decisions about certain
11 actions we should take, as well as we can put in assumptions
12 through our structure of the model based on scientific
13 knowledge that we have through enhanced analysis as well.

14 On the other side, if we get a better understanding of
15 what we already know, obviously that will steer us into
16 directions where the key uncertainties are in a particular
17 development program, so we can focus especially early in
18 development at proof of concept trials or other trials
19 exactly into that direction. What's the key uncertainty in
20 this particular program? What's an efficient trial to
21 remove that uncertainty and then we can move along with the
22 development process.

0032

1 And obviously, there's also the application in trial
2 design, as Bob already alluded to this morning, because we
3 better understand how patients behave in trials either
4 through that time course of action or through variability
5 from trial to trial response, and by better understanding of
6 that we can enhance the trials that we design.

7 So how do models improve decision making? Well, they
8 do that by combining pieces of information. And anybody
9 who's working a lot in PKP modeling already is familiar with
10 quite a few aspects of that. One piece of information that
11 we could add is look at the time course instead of just the
12 endpoint response, because as the disease moves along, as
13 the response moves along over time, and we have an
14 understanding, and, of course, that's an assumption we need
15 to build in and we need to have some model that will
16 describe the time course of drug action. Then we can use
17 all that information to inform our decision making.

18 We can do the same thing with the cross doses. We
19 often believe that there is an underlying concentration or
20 dose response relationships that E-max model that has
21 pharmacological basis, and we can use that to use
22 neighboring doses to enhance our understanding of a

0033

1 particular dose of interest or to make predictions about
2 doses that we have not necessarily studied.

3 But we can move beyond that. Actually, we can start
4 integrating more and more data. The tools are there to do

5 that and actually include information across trials.

6 But, of course, once we start doing that, we have to
7 worry about the assumptions. How are the patients similar
8 or different from trial to trial, and do we have a mechanism
9 to account for that? Obviously, just taking the mean from
10 two trials can have -- it can either be correct or not
11 depending on whether there are certain variables that make
12 those patients different that you had in on trial versus the
13 other.

14 We can expand that one more level by trying to include
15 a variety of different drugs. That has two advantages
16 because if they're analogues, if they have a similar
17 mechanism of action, we can learn and we combine information
18 maybe they share the similar E-max for a response. There's
19 a clear pharmacological rationale for learning from one drug
20 and applying it to the other.

21 But also, of course, in industry, that's a very
22 important aspect, including all this information from other

0034

1 drugs, because there's a good understanding of what
2 appropriate targets are of particular response, and we
3 should look for to find an opportunity to better treat
4 patients.

5 And lastly, and this is where unfortunately, I cannot
6 talk about a lot of examples in detail, but in the end have
7 some summary on is try to integrate across endpoints, and
8 this is really where a lot of benefit comes into play if we
9 understand and establish links between information we get in
10 very early development, such as pre-clinical models, or from
11 biomarker studies and project that out to what that means
12 for the clinical outcome; so really establish these
13 integrations across a variety of different endpoints and the
14 assumptions that we will make is that certain drug may share
15 similar relative potencies or efficacies that we would see
16 early on in other -- in pre-clinical experiments and
17 biomarker trials.

18 Of course, what I've said -- and if you'll listen
19 carefully that that particular thing, we always have to make
20 assumptions to get that particular advantage of making
21 better decisions and applying models is always this trade
22 off.

0035

1 I use a lot of data. I have to make certain
2 assumptions to use that data, so the validity of those
3 assumptions forms a basis for the value of our decisions.

4 The advantage, of course, is better decisions that we
5 can make or precise decisions that have, and especially in
6 early development, obviously we don't have the luxury of
7 spending a lot of time or a lot of money to figure
8 everything out, so we have to make some of these assumptions
9 to make either a quick kill decision or a quick move
10 decision on certain products.

11 A little bit more about the scope of data integration.

12 I've talked about how we're trying to integrate lots of
13 information that is out there and that can be anywhere from
14 data from several up to the largest database that I'm
15 working with has about 500 clinical trial data -- and

16 information in it.

17 So it becomes quite large and obviously you should
18 learn a lot of information from combining all that data.
19 Anywhere again from one up to several endpoints, again
20 scaling that from early pre-clinical biomarker up to
21 clinical endpoints of safety and efficacy.

22 And it includes data both at the summary level.

0036

1 Obviously, we use published information that's out there or
2 mine the summary basis of approval for information. That's
3 all summary-level data, and we can combine that with
4 actually patient-level data that we have internally
5 available, and especially that mixing is of a great
6 advantage because one thing that's lacking of the
7 summary-level data is a good understanding of between
8 patient variability and having and obviously disease
9 severity often is impacting outcome. And having
10 patient-level data as well as summary-level data together
11 really enhances our understanding of the outcome and
12 actually allows us both to use the individual patient data
13 better as well as the summary-level data better.

14 What is the scope of application? I work on this area
15 quite a bit. In industry, it's the investments in this
16 particular data, large-scale data integration and modeling
17 of that of several of the large pharma companies across a
18 variety of therapeutic areas. I don't think there's a
19 limitation with respect to the particular therapeutic area,
20 where it is more or less applicable and anywhere drug to
21 development process. Obviously, we would like to build
22 these models very early in the development cycle and

0037

1 continuously update them as information becomes available
2 along the way.

3 One thing that I think Bob mentioned already as well
4 is this requires a collaboration across a variety of
5 different specialties and particularly clinical
6 pharmacology, statistics, and the medical specialties
7 because of the scope of the types of analysis so you need to
8 understand much of these -- of integrating some of these
9 large databases and analyzing that as well as building in
10 the pharmacological reasonable assumptions and other
11 aspects, as well as understanding the outcomes and
12 differences in trial designs that we use of all the
13 different trials that we're trying to integrate.

14 So after that short introduction, let's look at some
15 examples.

16 And I want to start out with highlighting again the
17 importance of accounting for differences between patient
18 populations. If we look at an integrated analysis that
19 spans or metaanalysis that spans a lot of trials, and this
20 is one of the assumptions that I talked about earlier, and
21 understanding between patient population variability and how
22 to make an impact outcome is key. This is a particular

0038

1 metaanalysis that I found in the literature, in which they
2 made some conclusions about the specific net benefit of a
3 variety of different treatment options, in this case

4 Fibrates and how they change LDL.

5 And actually, the LDL response of Fibrates is highly
6 dependent upon the baseline lipid profile across patients,
7 which is quite different in all of these trials. So if you
8 ignore that particular aspect, you have this large
9 variability in baseline lipids across the trials, and look
10 at sort of an aggregate mean, you may actually get quite
11 different discussions by different results if you actually
12 account for that trial-to-trial difference, and these drugs
13 will look quite, you know, the relative effect of these
14 compounds can look quite different if you start to account
15 for that.

16 And the next graph tries to highlight that. This is a
17 picture -- I guess I'll use my pointer here -- this is a
18 picture of summary level data from a [inaudible] where each
19 doctor's particular trial is the mean response in a trial,
20 and it shows the relationship between baseline triglycerides
21 in this particular aspect and the LDL response for variety
22 of different Fibrates.

0039

1 We can see actually that it goes from a reduction in
2 LDL and lower triglyceride levels all the way to an increase
3 of LDL at higher triglycerides level actually, so this spans
4 an opposite response has been moved along that baseline
5 level of triglycerides.

6 So not accounting for this very strong impact, of
7 course, you happen to have a bunch of trials that are right
8 here, you make a very different conclusion as if you would
9 have a few trials that are on this particular location.

10 So, of course, this is the complexity, because not
11 always would we know these particular factors that impact
12 the outcome. But if we do know them in this particular
13 instance there's a lot of data out there, of course, we get
14 great, great power from applying that.

15 Now, we have a mechanism to normalize for the
16 differences between these patients, and we can project the
17 response for all of these compounds as if they had been
18 studied in a similar patient population.

19 And that was applied in this particular example. This
20 has been published. I guess it's in the same journal that
21 Bob was referring to, in the same for his particular
22 publication on novel lipid modifying agent, Gemcabene, and

0040

1 this particular point was of interest.

2 So we did such a metaanalysis and in that fact
3 looking, combining data of a variety of different compounds
4 to really understand the relative potency and efficacy and
5 apply that in this instance to better understand potential
6 combination products. So what can learn from all the data
7 that's out in the literature and how the interaction would
8 occur between a variety of compounds that modify lipid
9 profiles.

10 One thing we learned, which may not be surprising
11 giving us similar magnets of action is that all the statins
12 share with respect to LDL a similar dose-response
13 relationship. What this graph starts to highlight is that
14 once we normalize for the differences in potency, so they

15 each have a difference in 80, 50 or the dose you would need
16 to get a certain response, once we normalize for that
17 difference, they fall on the same pharmacological
18 concentration or dose response relationship. So no
19 difference in their maximum response or shape of that
20 relationship. And this actually we know quite well because
21 this summary level data is from a large number of trials.

22 So again, each of these points are actually to mean
0041

1 for several trials in this particular instance.

2 Of course, that's with respect to LDL. I'm not saying
3 all the statins are the same. I wouldn't say that. They
4 differ quit a bit with respect to their other particular
5 components or mechanisms or other actions that they would
6 have.

7 But, you know, building in a pharmacological
8 assumption in this case, which we would, the drugs share a
9 mechanism; they would have a similar E-max potentially that
10 would greatly enhance our understanding of the dose-response
11 relationships of these compounds. If you would study
12 neostaph and some are still maybe being evaluated, you can
13 apply that.

14 In this particular instance, we used that to also look
15 at the interactions between drugs. Here is shown the
16 interaction between a variety of different statins. We have
17 Atorvastatin, Lovastatin, Pravastatin, and them Simvastatin
18 and their interaction with the cholesterol absorption
19 inhibitor, Ezetimibe. And actually what we found is that a
20 very simple interaction model that we could apply for these
21 -- for this particular drug could describe this interaction
22 across the whole dose range of statins as well as across all

0042
1 statins.

2 So we've reduced the complexity. If we study a new
3 study, and we would -- a typically interaction study, where
4 we have multiple combinations of doses that we would
5 evaluate and what is the benefit of one combination over
6 another, we've reduced that complex problem to a very simple
7 one, where we may have to ask -- and made only a few
8 parameters that would describe that particular interaction;
9 in this case, actually there's just one parameter, sort of
10 an interaction coefficient if we know the dose response
11 relationships of the statin and the non-statin and simply
12 there's one additional parameter that describes that whole
13 interaction surface.

14 So that adds great value by just adding one parameter
15 and actually we can give a certain meaning to that parameter
16 as well, given when it applies for event therapeutic
17 benefit. I will leave that in the middle.

18 But our particular knowledge was used there in an
19 early phase 2 trial that was planned for this new drug,
20 where we said, okay, let's analyze the data that comes out
21 it, understanding that we hopefully can apply a similar
22 model structure to understand the interaction between the

0043
1 compound we're interested in at that point in time,
2 Gemcabene, and Atorvastatin and really enhance our decision

3 making about whether this particularly combination could be
4 competitive against other drugs that were out there on the
5 market. So wouldn't that be an additional benefit of using
6 this since LDL lowering is still -- more LDL lowering is
7 still an important therapeutic effect.

8 But we actually found that the very similar model
9 could describe the interaction between Gemcabene and
10 Atorvastatin. Here you see the dose-response of this
11 particular compound at different doses of Atorvastatin, but
12 you can already see that the type of interaction was
13 actually quite different here. We see the two projected
14 next to each other, where on the right-hand side, you have
15 Atorvastatin plus Ezetimibe, on the left-hand side, you have
16 Atorvastatin plus Gemcabene. You see that even though the
17 interaction model was quite similar, the interaction
18 coefficient is quite different. But here, the benefit of
19 the combination diminishes the higher the dose we have of
20 the statin.

21 So whereas for one drug, it was this additional
22 benefit being maintained across the whole dose range. Here

0044

1 is was being diminished, and actually our certainty in these
2 bands indicated a 90 percent or 80 percent -- oh, yeah, 90
3 percent confidence interval. Our certainty about that
4 particular effect, even though we have only done a
5 relatively small study, was quite high.

6 So we could do one thing is to increase our
7 understanding of this particular interaction because we used
8 that model structure, and we used all the data there is out
9 there on Atorvastatin, as well as we were able to compare it
10 against this study, of course, or another treatment that we
11 have not evaluated at this point in time and make a clear
12 decision about the benefit of one versus the other option.

13 So that's what I just said, so I'll skip that
14 particular aspect and go to -- I have only a half an hour to
15 go through a lot of stuff. I'll skip to the next one.

16 So accounting for patient differences is a key topic
17 in what I am discussing, because, of course, that's always
18 the assumption when you integrate a large variety of data.
19 Here I am showing an example that's also been published
20 before, in which we were looking at Eletriptan versus
21 Sumatriptan and actually your premise for this particular
22 study was -- this particular evaluation was understanding

0045

1 whether encapsulation of Sumatriptan would impact this
2 response. In other trials, an encapsulated form of the drug
3 was used versus the commercial form, and that could be an
4 issue that encapsulation may change the performance of, in
5 this case, Triptan.

6 Of course, normally, we may do a bioequivalent study
7 to see whether that would be the case, but and migraine is
8 changing the absorption of the drug, so how can you
9 interpret that particular bioequivalent study would be an
10 issue, as well as what if we find small differences and we
11 could have a therapeutic effect.

12 So we took another approach and said, just let's look
13 at all the clinical data that's out there. A lot of trials

14 have been studied with these drugs, and there is, of course,
15 trial-to-trial variability, but if we have a mechanism of
16 accounting for that trial to trial variability, we can make
17 probably a good prediction as to would how Triptan in its
18 encapsulated form versus the commercial form.

19 This shows just all the trials that were in there
20 where we see for each of the different dose groups that were
21 -- that we had data available; placebo on the bottom here.

22 The response, in fact, in patients that have pain
0046

1 relief at two hours. And one thing we see is there's a lot
2 of variability. Bob already showed that in some of his
3 graphs the variability in placebo response and actually we
4 had a very similar thing as what he showed in his example.
5 We found that this variability in placebo response actually
6 correlates very highly with the variability in drug
7 response. So if the placebo response is higher, the drug
8 response is higher as well.

9 Or the difference between the two treatments is quite
10 consistent from trial to trial. While there's a lot of
11 heterogeneity or variability from trial to trial, the
12 overall response shows a pretty good correlation between
13 placebo is higher and, for example, these here correlate
14 with where the drug response is higher as well.

15 So the placebo in this case is a valid thing -- it's
16 an internal reference and by looking at the difference or
17 relative arms in this particular instance between the
18 treatment arm -- between the placebo group and the treated
19 group, we can normalize for that variability and get a much
20 better understanding and that's shown here. Here the data
21 are now adjusted to all the same placebo response and what
22 would be the response for each of these trials, given, you
0047

1 know, the typical placebo response of being -- is here, we
2 can see all that variability that was there as we reduce and
3 actually the outcome is very consistent with the mean model
4 predictions.

5 Here, again, these dots are all trials with a 95
6 confidence levels, and here is the model prediction. So
7 we've taken a variable component out of it; have a good
8 rationale to believe that -- and this is just the equation
9 to do that -- good rationale to believe that we understand
10 what's causing the trial-to-trial variability so that we can
11 make a comparison across trials and in this case, you know,
12 we tested whether the treatment response by itself, the
13 difference between placebo and active, whether there was
14 additional trial-to-trial variability, and actually there
15 was -- there was not.

16 So the difference in mean response could explain all
17 the trial-to-trial difference that was there.

18 So we could project in this particular instance the
19 time course of response of commercial Sumatriptan, here in
20 the dots, versus the time course of response to encapsulated
21 Sumatriptan, and we see early on, over time, actually there
22 is no difference between -- we could not find any
0048

1 significant difference between these two forms, and, if

2 anything, encapsulated Sumatriptan -- or responds a little
3 bit better than the commercial form actually. Those
4 responses are higher than all the historic data on
5 Sumatriptan.

6 So it was useful insight, but, of course, we learned
7 so much more, even though that was the premise of that
8 particular -- how it all started -- we learned a lot about
9 the differences between those two treatments that can be
10 used effectively to optimize patient care. We learned about
11 the difference in speed of onset and magnitude of response
12 between these two treatments so that we actually could
13 project, what you see here, the difference between 40
14 milligrams Eletriptan and Sumatriptan over time was the
15 anticipated differences in -- this is an absolute difference
16 between those two treatments in patients that would give
17 benefit from one drug over the other; and understand that as
18 a course of time, we will there's substantial benefit in
19 additional patients being treated of one versus the other,
20 based on all the clinical data that's available.

21 So let's talk -- after I -- I think I've addressed
22 that addition quite a bit. Let's talk a little bit more

0049

1 about maybe understanding a little bit the competitive
2 clinical profile of a variety of different drugs.

3 Of course, obviously, that's from my perspective is
4 also very, very important. By combining all this data, I
5 learn -- hopefully very well -- what the targets out there
6 of the compounds that we try to look to improve. And this
7 example comes out of anti-seizure drugs looking at AEDs,
8 where comparative trials are basically not done or very
9 limited because of the sample sizes required to show certain
10 differences, so our only way again, as I mentioned -- have
11 shown before, to understand what potentially the relative
12 effect could be of these treatments is to do a metaanalysis
13 across all the trials that have been done, and hopefully
14 find, and in this case actually we found a similar
15 correlation, the variability in placebo response correlated
16 highly with the variability of treatment response once you
17 account for that. We've taken the trial-to-trial
18 variability out of the equation, so the very different --
19 very consistent response in the difference between placebo
20 and active, and actually you can get an adequate comparison
21 of these treatments and look both at efficacy and safety so
22 that we can understand if we put that all together -- we can

0050

1 understand what potentially the clinical profile would be of
2 one treatment option versus the other.

3 Here this tries to put that together in one graph,
4 where we have picked a variety of different doses, which is
5 going to be typical doses of these treatments, we've plotted
6 one of the tolerability issues that we looked at on the
7 right-hand side and the patients that were allowed out of
8 the trials due to AEs adversity. Then it's obviously quite
9 different between these compounds. Sort of our assumption
10 was that if the adverse event is bad enough to make people
11 quit the trial, let's sort of put them on the same scales
12 that would give a good relative comparison. And whereas,

13 here, on the Y-axis, we see the beneficial effect, the
14 change in seizure frequency and really where you want to be
15 is on this lower left-hand corner where we have very few
16 drop-outs and we have a large treatment effect, up to 45
17 percent reduction in seizure frequency.

18 So we can see there are a few drugs that sit in that
19 space -- Levetiracetam, Topiramate, and Pregabalin. There's
20 just a few drugs that stand out due to high or low
21 tolerability, and there's a few drugs that stand out due to
22 pure-for efficacy at a typical dose that's being used.

0051

1 So obviously, this gives us a good opportunity area to
2 shoot for. We know that we want to be here obviously, but
3 also we can understand how much effect we need to separate
4 one treatment versus another -- and actually could use that
5 very effectively to I think with a relatively small trial,
6 because we also understand the differences from trial to
7 trial in these outcomes. With a small trial, we get a good
8 assessment of whether a new treatment option we would have
9 in this space would give a benefit that's worthwhile
10 pursuing.

11 One area where I think we can do a lot and hopefully
12 also by using more of the internal data and it could be here
13 at the FDA, so that's why I want it focused on a little bit
14 is trying to link biomarkers to endpoints, and one of the
15 key areas that I'm working in is exactly that particular
16 space, and I want to discuss very quickly just at high level
17 concepts the application of that to a novel anticoagulant
18 that's being used for treatment of venous thrombus embolisms
19 that could be there.

20 And here we did -- took a very similar approach. It's
21 what you've seen before -- actually, combined the data from
22 a variety of different compounds and different mechanisms,

0052

1 all the way back to Heparin. Low molecular weight Heparin
2 is a thrombin inhibitor. And, in fact, it's an A inhibitor.

3 All the data that's out there after having knee surgery to
4 understand the dose response relationships of these
5 compounds with respect to the outcome of venous thrombus
6 embolism, as well as the outcome on bleeding. Of course, if
7 you give too much of the treatment, you'll get the adverse
8 events, which is bleeding.

9 So that set a good target for a normal compound and
10 identified opportunities to improve treatment in this
11 particular area.

12 One thing we really wanted to do here is use the
13 biomarker data that we could accumulate that we'd understand
14 and that gives information about the relevant potency of
15 these compounds with respect to their clotting, or
16 anti-coagulant effect, and actually effort was undertaken to
17 generate that particular biomarker data across all of these
18 compounds internally and use that to scale from the
19 biomarker data out to the clinical outcome so that we could
20 optimize the design of the phase 2 trial so that an
21 understanding of how the biomarker may link to the
22 particular outcome was used to optimize the dose range as

0053

1 well as the targets for the particular phase 2 design.

2 But we could take that even a little bit further. VTE
3 prophylaxis is just one indication for these types of
4 compounds. They're actually also being used in the
5 treatment of VTE as well as in AF and R-2 relation and in
6 other therapeutic areas. So another link we made is to say,
7 okay, can we use what we learned from VTE prophylaxis to VTE
8 treatment? Is the relative potency that we see of all these
9 different treatment options with respect to one particular
10 outcome correlated with differences in effect in the
11 treatment of VTE? The reason to do that is that the
12 treatment of VTE at very low frequency of responses, it's
13 hard to do dose finding in that particular area, even use
14 trials if you want to do that.

15 So if you have a good rationale that we can pick a
16 dose based on one endpoint that would be correlated with the
17 response we would have in another one, we can validate the
18 selection of the particular doses for treatment in that
19 area.

20 So that was -- that approach was taken in this
21 particular instance as well.

22 I have a few other examples that relate biomarker to
0054

1 endpoint models. One that we use a lot is, of course, about
2 compounds that have a similar mechanism of action, which was
3 applied in a novel PDE5 inhibitor for male erectile
4 dysfunction. Of course, what I showed previously those
5 compounds have quite different mechanisms of action in how
6 they impact the coagulation.

7 And in this particular instance that was -- a similar
8 approach was taken and used the information from the
9 biomarker studies. We could test its predictive performance
10 because we have other compounds out there, and use that to
11 optimize the dose finding in phase 2.

12 Here there was a particular complexity because the
13 disease has changed over time. More less severe patients
14 were included in later trials versus early trials. Early
15 trials really those severe patients were mostly included.
16 This time and on less severe patients were starting to come
17 in these trials, so we needed to find a way to scale for the
18 difference in base populations so that we compare the
19 response that we find now was a response in the trial that
20 had occurred a while ago. And actually could establish I
21 found it a very -- a close correlation between the baseline
22 disease severity and the magnitude of response.

0055

1 In this particular instance, patients respond better
2 if they have more disease, which is ultimately good. That's
3 what you would like.

4 Then analyzing the phase 2 data by using all the prior
5 information so, of course, if you believe our assumptions --
6 in this case we did -- we can really enhance our decision
7 making power and could express that in, for example, the
8 relative sample size. Basically, we do sample size from 350
9 to about 200 to have a similar ability of decision making
10 and distinguishing features.

11 So using the prior information basically reduced the

12 involved in the outcome enough to get to that particular
13 level.

14 I'll skip the last example really to highlight a point
15 and continue some of the things that Bob said this morning.
16 What are the opportunities inside -- and maybe the FDA from
17 my perspective?

18 And, of course, it's important there to engage with
19 industry, 'cause this is a complex area. We're trying to
20 interpret a lot of information. It requires specialties --
21 for a variety of different specialties to be involved in
22 that particular effort, and if it's used in the drug

0056

1 development decision making, of course, it's good to have
2 that engagement and discussion of methods that are being
3 used.

4 But also, and the more highlight of this, of course,
5 there's a wealth of information to mine that can be used to
6 patient benefit. From my perspective, what would be very,
7 very useful is understand this trial-to-trial variability.
8 What are predictive covariants, such as disease severity,
9 that would explain variability in response from trial to
10 trial so we can account for it? That is a non-competitive
11 situation maybe. It's not focusing on any particular drug
12 per se, but it helps us really understand if we have this
13 particular aspect how can we compare responses in certain
14 trials versus other trials, whether it's random -- so how
15 much random trial-to-trial variability is there -- as well
16 as may be explained by covariance.

17 Of course, safety modeling is a key aspect as well.
18 And there's a wealth of information on that particular
19 aspect. We're not focused on advocacy. We're focused on
20 understanding the safety concerns in some of the drugs.

21 For me, it's always an important question to ask is it
22 the drug that's causing the concern in a particular

0057

1 therapeutic area or is it the dose, meaning is it because
2 the dose that was selected that we may find more concerns in
3 one treatment over another or is it something specific about
4 this particular drug that it has a narrower therapeutic
5 index.

6 Of course having the data available also a variety of
7 drugs in a certain class can answer the question. Can we
8 get rid of the problem or minimize the problem by changing
9 the dose versus something intrinsic to this particular
10 treatment that makes it less valuable than other treatments
11 and especially in this instance a safety concern for
12 patients.

13 And the last one that I want to highlight again is
14 really this biomarker linking. By having a lot of
15 information available on actual clinical outcomes of
16 treatment options, and I put it into maybe not as much
17 competitive aspects but the other safety concern on QTc by
18 having the data available for both clinical and pre-clinical
19 situations and with clinical outcomes we can really
20 establish that correlation very effectively and use it to
21 the benefit of everybody.

22 I think that was what I wanted to highlight. I have a

0058

1 full summary towards the end. I think a key thing there is
2 there is a tremendous opportunity, there's a wealth of
3 information out there that we can use. We understand a lot
4 of the pharmacology and underlying physiology so we can use
5 those models that mimic that to analyze the data and really
6 have the models, obviously that's a trend where things seem
7 to be going, and use the models as our knowledge repository
8 to provide the quantitative basis for drug development as
9 well as certain regulatory decisions. Thank you very much.

10 CHAIRMAN VENITZ: Thank you, Jaap.

11 Any questions by the Committee members at this stage?
12

13 DR. JUSKO: Jaap, that was very impressive how you did
14 the metaanalysis for this data and some of the other drugs
15 and summarizing all this information.

16 It's -- I think you indicated that the summary basis
17 of approval was one of your primary sources of information,
18 and I wondered if you could comment on the adequacy of these
19 summaries. It seems like it's the only public access to
20 this wealth of information that is given to the FDA.

21 DR. MANDEMA: Yeah. It's actually quite good if the
22 only thing you're using is the mean responses of the

0059

1 outcomes for the trials, because they are listed. So we
2 know the means of the patient populations with respect --
3 they're important -- covariance that may affect outcome, as
4 well as the mean response; and often maybe have available
5 data that has not been published may include fail trials
6 that I want to include in the analysis as well. And so
7 that's why it's a useful source.

8 Obviously, having individual data would help a lot.
9 That will not happen on that particular aspect, but
10 especially more understanding of the correlation between
11 some of the outcomes that are there, which is done as well.
12 Cuts are made of the data by particular covariants and
13 tables have been produced that show difference in response
14 by gender by other particular outcomes, so give a little bit
15 more granularity than actually you may find in a scientific
16 publication.

17 CHAIRMAN VENITZ: I have a related question related to
18 published literature as opposed to the FDA information. How
19 much covariant information did you get?

20 DR. MANDEMA: Of course, the covariant information is
21 limited, because you just have the mean in the group, and in
22 the case of the statins in some areas we have a lot of

0060

1 trials that have been run on Fibrates, as what I showed you.

2
3 So across all these trials, we span the differences in
4 patient covariance; you know, the means of the patient
5 populations in those trials differs anywhere from very low
6 and certain baseline values to very high certain baseline
7 values.

8 So there that information is available. In
9 therapeutic areas where we do not have as many publications
10 that is, that's lacking. And if one these outcomes, of

11 course, affect you're published over time. For other
12 aspects, one of these outcomes could impact outcome. That
13 minimizes your ability to use that particular data.

14 CHAIRMAN VENITZ: So it's basically the range of the
15 mean variance that allows you to resist?

16 DR. MANDEMA: Right.

17 DR. KAROL: Understanding variability is, of course,
18 very important, so I was interested in the association of
19 variability in the placebo effect to that of treatment, and
20 I wondered if you could offer some explanation of why you
21 think there is this association and could your modeling help
22 inform the staff perhaps of why there is this association?

0061

1 DR. MANDEMA: That would be hard to understand why
2 there's an association because those patients are stratified
3 or, you know, it's one set of patients, so if I do not have
4 individual covariants that may be able to correlate with
5 that, they're probably pretty similar in those two treatment
6 groups. They're being evaluated in on trial, so I do not
7 have the information to really separate what could explain
8 that.

9 You could come up with a variety of rationales of why
10 that would be the case. It could be the inclusion criteria
11 that are set that makes the overall response a little bit
12 higher or a little bit lower in each of the groups as if
13 it's a regression to a certain response.

14 It could be that it's just a patient population that's
15 more sensitive to treatment and it correlates with placebo
16 response as well. It's a variety of reasons why that could
17 be the case.

18 But it's always good to know that at least the
19 difference between those two is not very variable from trial
20 to trial, so the information we learn in these trials can be
21 effectively compared.

22 DR. BARRETT: Jaap, I was glad to see the comment

0062

1 about biomarkers. They could be a real advantage to doing
2 -- you know pulling this off successfully.

3 But I was struck by your example of the thromboid
4 embolism modeling, because many -- pulling the data across
5 all of those different mechanisms and a lot of those
6 biomarkers are very mechanism specific as well. So it's
7 more than just methodologies obviously.

8 So my question is in your experience, when you're
9 making decisions on including or excluding data adverse
10 studies that you find that given -- it's really an issue of
11 generalizability obviously and your objective of the model
12 in the first place.

13 Do you feel that there's still an opportunity to use a
14 lot of that data on biomarker specific to certain mechanism
15 or have you had success needing the skills across
16 mechanisms?

17 DR. MANDEMA: There I cannot comment on particularly
18 how successful it was to scale particular biomarkers, but,
19 of course, you learn how well it works in one way or the
20 other and you can account then for if I does scale very
21 nicely, of course, you can reduce the complexity based on

22 trials because you're quite predictive with respect to the
0063

1 doses that you would have.

2 If there's poor scaling or a lot of variability in the
3 scaling across these compounds, you can use that information
4 as well, 'cause that will mean that -- you know, have to
5 study a wider dose range in your particular trial to account
6 for an uncertainty in that scaling.

7 Of course, when I use the models, we always take
8 uncertainty in the components into account, and especially
9 with biomarker scaling we may in certain areas across
10 mechanism might be very tight correlation. In other areas,
11 there may be no as tight a correlation, and we take that
12 into account in designing the trials to basically not be
13 hurt by assuming something whereas, in fact there's still
14 quite a bit of uncertainty in that relationship.

15 So it's affected one way or the other. Of course, you
16 use it in -- you know, your next trial is going to account
17 for that and optimize with respect to that component.

18 DR. D'ARGENIO: Jaap, this morning of the boxes
19 involves model development cycle, in other words the
20 building of the disease model. And I'm sure later on we're
21 going to talk about these specific applications.

22 But from some of your applications and looking into
0064

1 the literature, how much can you pull out of that in terms
2 of trying to build a relevant disease model and how
3 generally would that be going forward for other compounds?

4 DR. MANDEMA: You know I focused more on difference
5 between placebo and response. I focused on interpreting
6 action, interpreting safety and efficacy, so the disease
7 model is more, if you think about that in the placebo
8 response, for me, it's more nuisance factor that I have to
9 deal with than a particular goal of the outcome.

10 I think there's an incredible amount of information
11 out there that we can use, even with just having mean data
12 available, which, of course, is a limitation. You can do a
13 lot better if we have also the patient-level data.

14 But I think there's a tremendous amount of information
15 available that can be used very effectively and can
16 understand and can actually get quite predictive models on
17 outcome.

18 DR. POWELL: My recollection on the statin information
19 that you showed was that a company -- you actually predicted
20 -- there was a company that did an outcome study of their
21 drug versus I think it was Atorvastatin, and the trial in
22 effect didn't go the way the company wanted, but you had

0065
1 predicted that that could -- would occur based on the model
2 that you had.

3 DR. MANDEMA: That's, of course, a little too vague to
4 comment on specifically on that, but, yes, what we could do
5 is also link the biomarker profile, which the lipids would
6 be, to ultimate cardiovascular events, which, of course, the
7 outcome, ultimate outcome of interest, and try to understand
8 how, you know, modifying our lipids will give a certain
9 benefit on outcome and use that to predict relatively

10 treatment comparisons and my experience is that actually is
11 quite successful.

12 DR. POWELL: Well, my question is with regard to
13 relatively rare adverse events, like, let's say
14 Rabdomyolysis across statins. Did you do something similar
15 as you did with anti-convulsants and look at the -- in
16 effect the benefit-risk across the statins?

17 DR. MANDEMA: Not that I can really show you much
18 about at this point in time.

19 CHAIRMAN VENITZ: Okay. Thank you, again, Jaap.

20 Our third presentation for today is given by Joga
21 Gobburu. Joga is a teacher in pharmacometrics, and he's
22 going to show us a few examples in diabetes and obesity of

0066

1 using disease progression modeling.

2 Disease Models at FDA: Overview and Case
3 Studies (Diabetes and Obesity)

4 DR. GOBBURU: Good morning, everybody. As they say,
5 we're from the government, and we're here to help.

6 Let me tell you what we meant when MDA made a
7 cognizant commitment to improve drug development as
8 reflected in the critical path initiative, which is a public
9 document.

10 And one of the specific approaches that is identified
11 under this initiative is using quantitative tools based on
12 clinical pharmacology, advanced biostatistics, and
13 pharmacogenomics, et cetera, to improve the success rate of
14 clinical trials; thereby allowing us to have access and the
15 public to have access to it sooner and also to contain the
16 avoidable losses.

17 But we cannot do this alone, and that is why we're
18 here today, to share our experiences with using quantitative
19 clinical pharmacology information to predict regulatory
20 actions and then seek feedback and also increase the
21 awareness of this approach to improving drug development.

22 I specifically have three points that I will claim to

0067

1 make in my presentation. The first one is that I will try
2 to impress upon you that in general quantitative clinical
3 pharmacology/innovative biostatistics approaches are being
4 used to make important regulatory decisions.

5 And the second point I would like to make is that from
6 our experience over the 74 NDAs and then the other 20 or so
7 NDAs currently under review -- so net a hundred NDAs -- our
8 experience is that ignoring the value of ignoring the
9 planning trials analysis well in advance will lead to more
10 failed trials. I will show you examples to impress upon you
11 on that aspect.

12 And the final point I will try to make is that -- is
13 to give a perspective, our perspective on what we really
14 mean by disease models, a slight extension to what Dr.
15 Powell has presented and Dr. Mandema has presented; and also
16 to walk you through a couple of examples to really see what
17 we mean when we say disease modeling and its role in drug
18 development.

19 So with that introduction, I would like to first
20 present the results of a pharmacometric survey. There were

21 two surveys that we conducted. One is with the NDAs that
22 needed pharmacometric reviews or analysis submitted and
0068

1 reviewed between 2000 and 2004 and another survey of the
2 NDAs submitted and reviewed 2005 and 2006.

3 For each of these pharmacometric reviews, which are
4 the consultation from this analysis, came from -- to the
5 clinical pharmacology primary reviewers or, in several
6 instances, the medical team members. And we asked the
7 customers in this case either the clinical pharmacology and
8 the medical partners to rate the impact of the
9 pharmacometric analysis for a given NDA. And we asked them
10 to specifically rate them on the role of pharmacometric
11 analysis on drug approval, approval meaning approval-related
12 decision. It could be an approval, non-approval, or
13 approval, and labeling decisions.

14 We gave them three ranks to choose from for each NDA
15 and each category -- total, supportive, and no contribution.

16 Total meaning the decision -- the regulatory decision would
17 not have been the same without the pharmacometric analysis,
18 and supportive is the decision was well supported -- I can't
19 find any other word to define that -- corroborate or
20 increase the comfort level in making the decision.

21 As you know, confirmatory evidence is also equally
22 important for regulatory actions. And the third category is
0069

1 no contribution.

2 We could be doing something else more useful. So this
3 is the slide showing the results of the survey of the 42
4 NDAs submitted and reviewed between 2000 and 2004.

5 As you see here, you have the impact -- the type of
6 impact -- total, supportive, or no contribution -- and the
7 category -- approval and labeling. And if you, for
8 practical reasons, if you consider total and supportive as
9 equally important, then about 90 percent of the cases or so
10 have contributed to very important regulatory decisions.

11 And this trend is similar in the latest survey of the
12 NDAs submitted and reviewed between 2005 and 2006. Now what
13 we have here, different from the previous one, is we have
14 expanded the disciplines from which we sought the survey.
15 So we have the pharmacometrics reviewers. You have the
16 Division of Clinical Pharmacology, primary reviewer, and his
17 or her team leader as well as the medical reviewer.

18 As you see, consistently, still, that pharmacometric
19 analysis led to a large number of cases important regulatory
20 decisions.

21 Now, this is a forest view of the impact of
22 pharmacometrics, and I will show you some of the examples
0070

1 where -- to give you a better appreciation of what we really
2 mean by total or supportive for approval and labeling.

3 This is the first NDA where we have approved the
4 monotherapy of Oxcarbazepine in pediatrics, which is
5 indicated to treat partial seizures using prior clinical
6 data. So we have alleviated the need for any further
7 control trials in monotherapy for pediatrics.

8 The way we did it is we used the data from the others,

9 whose indications were approved based on empirical clinical
10 trial data in both for adjunctive as well as monotherapy.
11 And for pediatrics, four years to 16 years, we had clinical
12 trial data for agent therapy treatment, but we don't -- we
13 did not have data for monotherapy and then, yes, you might
14 surmise the conduct of monotherapy trials is challenging in
15 pediatrics, given one the resistance to give -- to put these
16 patients on placebo and also a wealth of information is
17 already available.

18 So there is the law that supports approval of
19 pediatric per indications, especially monotherapy, if we had
20 reasonable prior information. That's what we exactly did.
21 We used export response analysis across these three boxes,
22 clinical trial boxes, and then tried to fill in the fourth

0071

1 box, and it is approved right now.

2 So this was an NDA where the different disciplines
3 within the FDA -- clinical pharmacology, biostatistics,
4 clinical -- as well as the sponsors worked together to
5 produce this product.

6 The second NDA is on the lines of the importance of
7 establishing the biomarker outcome relationship, which, in
8 our opinion, would have allowed more efficient future trial
9 designs.

10 The sponsor in this case was pursuing an accelerated
11 approval for a drug to prevent a life-threatening disease
12 based on the biomarker, even though clinical endpoint
13 analysis failed for two pivotal trials.

14 Now, in our opinion, the -- if the data from the first
15 trial was -- were used to develop a relationship between the
16 change in biomarker with or without the drug, and the
17 relative risk of the disease, which is plotted on the
18 Y-axis, if we had known this relationship with what our
19 certainty that would have allowed to better design the next
20 trial.

21 And as you see here, our retrospective analysis, when
22 the NDA was submitted, clearly showed that there is strong

0072

1 relationship between the change, the suppression of the
2 biomarker in this case, and the relative risk of this
3 disease event, which we don't want.

4 So the lower the events, the better.

5 So if you had, if you just to illustrate the role of
6 the -- of this relationship, if you had a drug which, is
7 light blue, and if you have an increase in the biomarker
8 level by 50 percent, 1.5, then the risk of the event is
9 about 60 percent. Yeah, it increased by 60 percent. Versus
10 if you had a decrease in the biomarker, then you will reduce
11 the risk.

12 So you can use this relationship to better power the
13 studies; also, more importantly in this case, to choose the
14 dose.

15 In our opinion, the dose was too low. Now, the
16 sponsor is pursuing like 10-fold higher doses than what was
17 studied previously.

18 Now, we can stop here and ask now what would have been
19 the outcome of the second trial if we had done this at the

20 end of the first trial.

21 Similarly, this is the NDA -- third example -- NDA
22 number three. The sponsor is pursuing an indication, which
0073

1 is again very debilitating and life-threatening, and the
2 sponsor has conducted pre-clinical trials without regard to
3 any sub-populations, and there was equal evidence of
4 effectiveness. Essentially, like we could say that all
5 three trials failed, but if we -- in our review, NDA review,
6 tried to understand why the trials failed so that we can
7 give more specific recommendations to the sponsor and next
8 time the sponsor can do more efficient trials. And this is
9 a disease where there are not many drugs available for the
10 patients to -- for use.

11 Now, clearly, as you can see, that there was a
12 baseline condition, which is just the baseline disease
13 severity scale, which differentiated the patients who
14 responded and who did not.

15 So if you see the right-hand side box here, you can
16 clearly see that patients who had more severe disease
17 responded very well. In fact, there is a clear dose
18 response, which, in my opinion, is the strongest evidence
19 that any drug works.

20 On the contrary, if you look at non-responders, there
21 is literally no dose response individually here, and this
22 can be used -- could have been used, should have been used

0074
1 as a stratification variable, and then maybe that would have
2 improved the chance of trial success.

3 Similarly, so what I've shown in the previous three
4 examples is using prior information so in a narrow view
5 rather, though, so using trial data from first trial to the
6 second trial. But we are talking even -- there are much
7 more important uses if you look at across NDAs. For
8 example, it might be meaningful for us to understand that
9 females in general have steeper slopes in terms of
10 concentration in QT change relationships. We don't know
11 what this means in terms of drug risk today, but what we
12 wanted to show you is an example of the power of comparing
13 across different drugs. These are four different drugs and
14 what you have in the box plots for the males and females and
15 the Y-axis is the slope of the concentration of QT response.
16

17 As you may see, that females in general have higher
18 slopes than males.

19 Now, that goes back to accruing more data, again,
20 having data from the outcome trials; in fact, from drugs
21 like Circulol [ph.] or other, you know, class three
22 anti-diuretics and then relating the QT change to that may

0075
1 again alleviate the -- or give us an opportunity to go in a
2 different path for assessing broad medical risk.

3 So across these four examples I showed you, there are
4 questions that deal with, you know, like optimal design to
5 show this is modifying effects. This goes back to some of
6 the examples that Dr. Powell has shown, and you would ask
7 probably what is a good biomarker survival benefit for

8 cancer patients. Why are 60 percent of cancer trials
9 failing?

10 So that's an inquisitive drug development question.
11 And what would -- how would we maximize the chance of
12 success that should be chance of success, not change -- for
13 a two-year obesity trial? Obesity trials are very large and
14 start to lot of troubles. How do we use prior information
15 to design them better?

16 And given that about 85 percent of depression trials
17 fail, can we not learn from these trials to design our next
18 depression trial better? What would be the best dose to --
19 for an anti-diabetic, let's say, based on 12-week data for a
20 26-week endpoint? These are some of the questions that
21 pondered in our minds during our experience across these
22 almost one hundred NDAs, and then we have only one hammer in
0076

1 our hand and everything is a nail that's quantitative to
2 clinical pharmacology approaches.

3 And we believe that that's a very powerful tool to
4 answer questions like this, which is of interest to both
5 sponsors, public as well as the FDA.

6 So this is what we're talking about. We're talking
7 about managing and leveraging knowledge across NDAs, across
8 clinical trials. So we get all kinds of information, in
9 different forms, shapes, colors, and then we have this mill
10 here, which is the quantitating, quantifying these -- this
11 information and churning out the knowledge.

12 So what do we mean by these placebo disease models.
13 We specifically are referring to biomarker endpoint
14 relationships. The time course of these biomarkers and or
15 the endpoints, and the drop out. What is -- we need to
16 quantitate -- quantify the drop-out rate. Why are they
17 dropping out? And the inclusion-exclusion criteria. What
18 would be the distribution of, for example, the Parkinson's
19 disease rating scale at baseline in males, in females, in
20 age, unless we have these pockets of information we cannot
21 simulate future trials and power them to -- and connect this
22 to the analysis.

0077

1 So that's the impetus for us to consider this
2 approach. And we are, in my personal opinion, calling this
3 approach loosely as disease modeling, but we don't have a
4 better word. Maybe if you have, please let us know.

5 So disease modeling is -- encompasses a lot of
6 different activities which are, you know, which are based on
7 quantitative clinical pharmacology and advanced
8 biostatistical methods, and the type of questions we're
9 asking that's in my opinion the more important -- the one I
10 showed you in the previous slide that's the type of question
11 -- those are the types of questions we're trying to answer.

12 And we have to date experience with Parkinson's
13 disease, obesity, diabetes, and tumor survival in non-small
14 cell lung cancer, rheumatologic condition -- I actually
15 showed you an example -- HIV, epilepsy, and pain.

16 Now, and each of these area had different questions --
17 objectives to answer, and some of them needed mechanistic
18 models. Some of them required empirical models. So I hope

19 you can appreciate the diversity of objectives under the
20 umbrella called disease models.

21 Now, the ultimate goal, what we envision, the utility
22 of these models is the following: we'll show you -- focused

0078

1 on one example, testosterone suppressants to treat prostate
2 cancer patients.

3 So let's imagine that next year we come here again,
4 and we have this wonderful disease model across different
5 drugs and types of drugs and trials for this indication.

6 So at that point, if the drug developer has access to
7 this model, then they may be able to conduct a gene assay
8 early on into this story and feeding the potency of the
9 various compounds maybe through this disease model.

10 So you can appreciate the disease model is now in
11 this, as shown on this slide, is -- it has many more
12 dimensions. It's not just human data. You are talking
13 about pre-clinical, in vitro, and so on.

14 This is a dream so it is -- so we dream that. So we
15 feed that information into this disease model. Then the
16 output we're saying would be the choice -- the range of
17 doses that can be tested in the pre-clinical models and then
18 -- and the design of the experiments -- what to measure,
19 when to measure. For example, in this case, the
20 concentrations of the drug, GnRH, the luteinizing hormone,
21 testosterone, et cetera.

22 Then once you have -- that's what we call the PKPD

0079

1 data, then you again update your model and then come up with
2 the output to design the future trials. We're, in fact,
3 asking that we take a debrief, and stop here, and conduct
4 local trial simulations to understand what type of designs,
5 doses, will maximize the success rate of these trials, which
6 are long, expensive, as well as it's very hard to recruit
7 prostate cancer patients just like that.

8 So keeping those challenges in mind, if you use the
9 quantitative approach to design the trials, then you can
10 come up with the optimal design for dose finding in cancer
11 patients and ultimately the registration trial by the total
12 trial.

13 With that -- with those points -- one the survey; the
14 second one is four examples from NDAs of the value of
15 looking into prior data and the vision, the application of
16 disease models. I will now show you two examples of disease
17 modeling activity for obesity and diabetes.

18 This is a project that was initiated by a need, so
19 there was a sponsor who came to us for an end of phase 2
20 meeting with questions related to design and dose of for an
21 obesity indication, and, as you can see, the team is
22 mentioned here -- Dr. Zhang, Dr. Qui, and Dr. Hae Young Ahn.

0080

1 They were the core group that developed these models.

2 So going back to Dr. Powell's slides about the
3 different pieces of the disease model, what you see here is
4 the distribution of baseline body weights; the endpoint is
5 body weight for obesity. So you have the distributions for
6 Caucasian males, females, African American males, females,

7 and other races here.
8 As you see, the distributions are very different. And
9 this is a case where the change in body weight is related to
10 the baseline. It's proportional to the baseline. Heavier
11 people lose more.

12 So this is important for us to know the heterogeneity
13 in the population so that the recruitment is done
14 accordingly and the doses are chosen accordingly.

15 Now, as a technical matter, you would like to make
16 sure that these distributions are reliable and you can use
17 them to reproduce in the future, so what we have done simply
18 are -- we have looked at the QQ blocks to ensure that these
19 distributions indeed roughly reasonably follow a large
20 number distribution. So if I give you the mean and the
21 standard deviation, which we did in the background package,
22 one should be able to reproduce these distributions

0081

1 reliably.

2 Now, coming to the drop-out model, as you see here,
3 the X-axis in the different time events, 0 to 12 weeks
4 through 36 to 52 weeks, and at this point it's focused on
5 the Y-axis labeled drop-out percent. And, as you see, the
6 drop-out percent in each -- over the time decreases from the
7 initial period to the end, so you can assume that the total
8 drop-out will be the cumulative of all these drop-outs
9 across the time.

10 Now, it's not important -- it is not merely important
11 to know that this is the drop-out rate, but we would like to
12 know why.

13 If you look at the body weight change -- that's this
14 axis, the green axis here -- in patients who dropped out
15 it's pretty much flat, so there is very little or none
16 change in body weight in those patients who dropped out. So
17 it's lack of effectiveness essentially.

18 But on the contrary, if you look at the patients who
19 remain in the trial beyond each of these time periods,
20 clearly there is a greater impact of the drug in lowering
21 the body weight.

22 So this an information that's very important if you

0082

1 really want to conduct an informative clinical trial
2 simulations or scenario planning, as Dr. O'Neil refers to.

3 And we have covered the patient demographic model. We
4 have covered the drop-out model. This is the time course of
5 the placebo effect. As you see here, it's -- the X-axis is
6 in days, and the weight class, in kilograms, is on the
7 Y-axis.

8 So weight loss, just imagine this is negative, meaning
9 you have to -- it's a decrease in body weight. So it's a
10 convenient relationship. It's an empirical model which
11 states that in about one year or so you reach about 1.6
12 kilograms on an average.

13 So the value that this integral to drug development is
14 the effective use of prior data for designing future
15 registration trials and also might lead to alternative
16 dosing recommendations, especially if you know that people
17 are dropping out because of lack of effectiveness maybe we

18 should build in a titration scheme rather than a fixed dose
19 to see if that helps.

20 And that is important because maybe that's the way
21 it's going to be used in the patients ultimately when it's
22 approved. And it allows designing useful short duration

0083

1 trials. Now that you know the shape of the body weight
2 change, the reasons for drop out, and the distribution of
3 the baseline covariates, you might be able to do proof of
4 concept trial, more informative trials, early on, and
5 predict -- or choose doses more efficiently.

6 The second example is diabetes. Again, please keep in
7 mind, the purpose of this is to give a flavor of what we're
8 trying to do with this initiative here, and, as you will
9 see, this is -- in most of the examples -- they're not in a
10 shape that's fully developed that people can go and use them
11 today. But they're important pieces of this disease model
12 puzzle that, still, people can use and probably build upon
13 that.

14 So this is again the need for this arose from an end
15 of phase 2 interaction with the sponsor.

16 So the key question was how to reliably select doses
17 based on a short-term study for a long-term study, which is
18 the registration trial. Here, the short-term is 12 weeks,
19 and the long-term is 26 weeks.

20 That's exactly what I have mentioned. So the effect
21 size let's challenge on HbA1C at 26 weeks was not available,
22 but the effect size on FPG at 12 weeks was available.

0084

1 So what we did was we -- this is as close as you get
2 to mechanism today. So this is the mechanistic model
3 relating the fasting plasma glucose and HbA1C. It is
4 irrespective of whether they're on drug or treatment. This
5 is a biological model. And this is the drug model that we
6 used.

7 And, as you can see here, the drug concentrations
8 reached steady state at some point, but the fasting plasma
9 glucose and the HbA1C concentrations in plasma reached
10 steady state at a later time, which is empirically observed
11 and that's the impetus for this type of a model, which was
12 originally proposed by Dr. Jusko, and there are other groups
13 from Upsala, Dr. Causen [ph.] and from Leyden working on
14 expanding the diabetes model to include placebo effects and
15 so on, which we're not going to discuss today.

16 So given the truncated or abbreviated data from this
17 new drug, and how can we predict the 26-week change to allow
18 more level dose selection?

19 So we used the relationship between FPG, fasting
20 plasma glucose, and HbA1C from other NDAs, internal NDAs, to
21 fill in the gap through 12 to, let's say, 36 weeks, and then
22 predicted what would be the most likely change for a given

0085

1 dose of the new drug at 26 weeks.

2 So this, in our opinion, led to a more informed dose
3 regimen selection and could lead to, you know, increased
4 trial success. Quantitative analysis, in my opinion, was
5 critical here, and the effective use of prior data supports

6 conduct. So once we know this model, you can routinely
7 design a shorter clinical trial early on to pick the doses
8 and so on, and screen compounds.

9 So this is my last slide, which I don't think it's
10 needed; the reason is we have specific questions for the
11 advisory committee, and that will be the end of my
12 presentation.

13 CHAIRMAN VENITZ: Thank you, Joga. Any questions by
14 Committee members?

15 DR. JUSKO: A very nice presentation. I have a
16 question on your early slides where you did the survey of
17 customer satisfaction. I wonder if there's any element of
18 what we commonly encounter in academia. If we give students
19 "A" grades, they usually give us raving reviews of a course,
20 and the students that we flunk give us poor reviews. Were
21 you able to assess that type of potential bias in your
22 study's assessment?

0086

1 DR. GOBBURU: Yeah. Well, I don't think that there is
2 an easy way to get rid of the bias, because it's a team --
3 everybody is involved from the beginning of the NDA review,
4 and the outcome I would say has some stake for all the team
5 players. So there is going to be some bias. I don't think
6 we can get rid of that.

7 The more important feature here is that no matter what
8 the review says, the survey says, the regular reaction is
9 what it is. So there is a concrete action letter that will
10 attest to the usefulness of this analysis. For example, the
11 OCTs have been examples. It's approved. People are using
12 that drug, so that is its testament to the utility of this
13 analysis, and I hope that helps.

14 DR. POWELL: If I could add to that, the -- we also do
15 surveys, internal and external and end phase 2 at our
16 meetings, and the results are quite different, so that the
17 data on those meetings would indicate that the sponsors like
18 the meetings a lot. It's on five-point scale.

19 And but you can say, well, bias there, and are they
20 going to say, well, that was terrible meeting.

21 But to get to your point, though, that the FDA
22 responses are a fair bit lower in terms of the value, and I

0087

1 think that probably also has something to do with asking
2 people to do something in addition to what they're already
3 doing in a fairly short period of time. But also they may
4 be -- they don't -- for an end of phase 2A meeting, we may
5 not -- the FDA see -- gain the actual values so much as what
6 the industry does.

7 So, you know, but your point is well taken.

8 DR. DAVIDIAN: That was an excellent presentation.
9 I'm certainly in favor of using prior knowledge as a way to
10 help with the understanding of what you're studying first of
11 all, and designing trials to identify what's going on.

12 I guess I -- and this may be too much of a sensitive
13 question, but it may come clearer in the more detailed
14 presentation, but I just had a question in terms of how you
15 think about drop outs and how you think about the actual
16 response between obesity because the time course of the

17 placebo effect. How did you come up with that increasing
18 model in the face of the drop-outs that you had in the
19 trials in which you were able to use the model?

20 DR. GOBBURU: Yeah.

21 DR. DAVIDIAN: Do you use, you know, modeling of the
22 drop-out effect, and incorporate that into the statistical

0088

1 modeling to identify this relationship? How is that -- or
2 is it more of something that's chosen -- funding or?

3 DR. GOBBURU: That's right. You see there are two
4 parts of that -- at least two parts of that placebo model.
5 The first one is the structural, which is the trend of the
6 change in body weight over time. Now, being a clinical
7 pharmacologist and, you know, having been with PKPD, we
8 almost believe that most changes, biological changes, follow
9 a certain process. Now, not to say that this has anything
10 to do with the biological process of weight loss, but we use
11 the loss model to describe the time course of body weight
12 change.

13 Now, the very fact that we've shown you the drop outs
14 are because of observed events, not because of unobserved
15 events, so there is people who did not respond to the drug
16 are the people who dropped out. So naturally, the trend in
17 the body weight change over time is -- need not be -- you
18 don't have to have a special drop out model when describing
19 the structural model because you're saying that the model
20 itself is taking care of the drop-out phenomenon. You're
21 saying that if you keep going -- changing on the body
22 weight, then you're dropping out. So that's an exception

0089

1 that we made in the model. So that's what it is.

2 DR. DAVIDIAN: Well, I was just wondering, you know, I
3 mean you have data from folks who dropped out, and folks who
4 didn't drop out. I was just wondering if this is a correct
5 model for empirical studies, then similarly it took into
6 account the drop outs according to the models that you have
7 there. You should be able to cover that relationship.

8 DR. GOBBURU: That's exactly what I was telling you.

9 DR. DAVIDIAN: And I was just wondering if you
10 actually tried that for an empirical model?

11 DR. GOBBURU: Okay. In this case, we did not. But
12 you will see that specifically in the next case study,
13 Parkinson's, we really did that. So what specifically we
14 did was we had built the structural model, the variability
15 component, as well as the drop-out model. Then we put all
16 the pieces together to make sure that we can reproduce the
17 time course of the disease progression and have slides on
18 that, and you'll see that.

19 DR. DAVIDIAN: Yeah, I didn't want to get into that.

20 DR. GOBBURU: Yeah. No, but that was a good question
21 and we need to take that.

22 DR. MANDEMA: This may come up later in regard to any

0090

1 of these models, but I imagine your thinking about also
2 moving towards a little bit more mechanistic approach to
3 some of these disease processes. For example, obesity, when
4 you're measuring total body weight, body weight is a

5 function of caloric intake and metabolic utilization of
6 calories.

7 So quantitating both either and both of those
8 processes in addition to body weight would provide much more
9 information, and then drug effects can act by virtue of
10 drugs reducing appetite or reducing calorie intake or
11 increasing energy utilization.

12 So we all know that if we eat too much or we don't
13 exercise enough either condition results in someone being
14 overweight. So it would be good to move towards what you
15 described, plus adding these mechanistic elements to these
16 kinds of disease process models.

17 DR. GOBBURU: Yeah, I agree with that -- that's a very
18 good recommendation, so we would need some very rich trials
19 early on -- where you can control the intake and even
20 exercise, which is important; and understand the impact of
21 those on the change in weight loss, because for these
22 registration trials with thousands of patients having that

0091

1 kind of very detailed information might be challenging to
2 procure.

3 DR. POWELL: The context of the Parkinson's disease
4 model and the obesity model that Joga described are a bit
5 different. The Parkinson's disease work has been going on,
6 as they'll describe, for over a year; whereas, the obesity
7 voices in the context of an end of phase 2A meeting, that
8 information was extracted over a couple weeks, like three or
9 four weeks, so that the level of rigor in developing the two
10 pieces of information is a bit different.

11 The other thing about the obesity information is it's
12 contextual in the sense -- to the extent of how the trial
13 was designed. Those trials are generally designed with a
14 diet and exercise so that the drug effect is layering on top
15 of that. I mean you would expect -- people did what is in
16 the trial. You would expect them to lose weight anyway, and
17 the drug effect is on top of that. It's not just the drug
18 versus placebo.

19 CHAIRMAN VENITZ: Any other questions? Okay. Then
20 let's take our break. We are running a little bit behind,
21 so let's reconvene at 10:45 a.m. Thank you, Joga.

22 [Recess.]

0092

1 DISEASE MODELS AT FDA: PARKINSON'S DISEASE

2 CHAIRMAN VENITZ: Okay. Our last speaker for today is
3 Atul Bhattaram. Atul is going to tell us as we've already
4 heard about before about a Parkinson's disease model-based
5 approach. Atul.

6 DR. BHATTARAM: Thank you, Dr. Venitz, for your
7 introduction.

8 Good morning, everybody. We have heard from three
9 presenters, Dr. Bob Powell, Dr. Jaap Mandema, and Dr.
10 Gobburu, about the ability of creative thinking and how
11 integrating prior information can be useful in drug
12 development.

13 But it's even more critical to really understand all
14 the prior information if you're dealing with approval of a
15 drug or understanding what do we need for something which

16 has never been done before.

17 As what was said by Dr. Powell earlier, there is no
18 drug which has been approved for changing the progression of
19 the Parkinson's disease.

20 So it's very important for us to understand what are
21 the various components in this which are normally
22 encountered in clinical trials in Parkinson's disease, and

0093

1 how we can learn from the past experience in designing
2 potentially trials which can show disease modifying benefit.

3

4 And as you can see in this slide, this work would not
5 have been possible without the collaboration from the Office
6 of Biostatistics, Dr. Hung, who will be presenting the
7 findings from the clinical translations and will focus on
8 where we are going next with this work.

9 Very briefly, I really want to acknowledge the
10 following external and internal members who really
11 contributed a lot. Clinical -- Dr. Stanley Fahn, the
12 Parkinson's Study Group, and Dr. Karl Kieburtz and the
13 NET-PD Steering Committee for giving us access to their
14 study data.

15 And statistics -- Dr. David Oakes from the University
16 of Rochester and Jordan Elm from the Medical University of
17 South Carolina. Also Dr. -- also Arthur Watts, a programmer
18 at the University of Rochester, who helped us in
19 understanding all the aspects of the database.

20 And internal -- also there was a big group which
21 really helped us to focus on this project -- Bob Temple, Dr.
22 Russell Katz, Dr. John Feeney, Dr. Len Kapcala from the

0094

1 Division of Neuropharmacological Drug Products; Dr. Jim Hung
2 of the Biostatistics, and Dr. Mehul Mehta and Ramana Uppoor
3 from the Office of Clinical Pharmacology; and, of course,
4 our Pharmacometrics Group for their valuable additions.

5 As was briefly stated with Bob -- Dr. Bob Powell
6 before -- the object of this part of the presentation is
7 really to show how the application of disease models. As
8 was mentioned, in the spring of 2007, there will be much
9 greater discussion on the prior design and endpoints, where
10 potential issues -- this is model's implication will be
11 discussed.

12 I also want to mention here is that I slightly
13 rearranged my slides compared to what you have; that is,
14 bear with me and please do focus while I'm presenting on the
15 screen.

16 So the impetus -- why did we really start this
17 project? Drugs to slow the progression of disease, such as
18 Parkinson's and Alzheimer's, are under development. And
19 innovative trial designs, endpoints, and statistical
20 analyses as using somewhat more model-based are being
21 proposed to discern protective drug effect from symptomatic.

22 And FDA is asked to comment on the acceptability of these

0095

1 trial designs and pre-specified analyses.

2 So it's very critical to understand the disease
3 baseline characteristics and what was shown in all the

4 presentations before. Disease progression that is the size
5 and the variability of placebo drug effects and statistical
6 issues as opposed to currently, which is the missing data.

7 So I will give you a brief overland of what we spent
8 on this project and because that will really give you flavor
9 of how things -- how we operated at the FDA on this project.

10
11 So it really started with a concept in January of 2005
12 where when a sponsor proposed an interesting methodology for
13 validating one of their treatments.

14 And based on what was submitted with the sponsor, we
15 are [inaudible] with the clinical and the statistical
16 groups, and we came up with what do really know about this
17 disease and why are these being proposed and what can learn
18 about them here and before waiting for the results of the
19 trials to come in.

20 So we are, in fact, that we really need to have good
21 data to be collected. So we'll get the NDA, NDA sources of
22 what we have in house, and we looked at and requested access

0096

1 to the data, and they were grateful in letting us access to
2 the data. And we completed our data collection almost for
3 about six trials by September of 2005.

4 And along, as we were planning and trying to execute
5 this project, we are now requested to organize the session
6 on drug development strategies for Parkinson's disease --
7 how the difference here -- protective and symptomatic
8 effects at the American College of Clinical Pharmacology,
9 where we had three speakers. One was a clinical doctor
10 being delivered, followed by an imaging expert, Dr. Ken
11 Maring [ph.], and Dr. Bodwell, the lead statistician.

12 So they shared their findings and we had discussions
13 with them as to what are the potential problems they have
14 seen so far.

15 And all these things went into -- we kept on updating
16 our -- what we were trying to do, and we had our last
17 meeting on August 2nd of 2006, where in general there was a
18 buy in of the kind of approaches what we are doing and how
19 we are going to address these problems, and so there was
20 also considerable feedback. And we are working on it.

21 And so today, we are here in October to present some
22 of our findings and the approaches what we used.

0097

1 And we hope that by the spring of 2007, when we are
2 going to have the clinical and statistics meeting, we'll
3 have a much clearer understanding of what are the
4 expectations of the FDA for approving disease modeling
5 models.

6 So we started first the project with identifying the
7 key scientific questions, and the scientific questions were
8 from the different groups -- clinical, biostatistics, and
9 the clinical pharmacology group.

10 So naturally, the first question was what are the
11 influential demographic factors influencing the baseline
12 clinical response, the UPDRS in the progression. So UPDRS
13 is actually -- it's a disease rating scale, which is used to
14 follow the longitudinal course of Parkinson's disease. It's

15 made up of three components -- mentation, behavior, and mood
16 -- attributes of daily living, and motor sections.

17 Then the next big item was how do we describe the
18 progression of Parkinson's disease? Is it linear or is it
19 non-linear.

20 And we did refer to the publications by Nick Holford
21 [ph.] and others in the field where they published studies
22 to get the feel of what we were really getting into. And

0098

1 third was exploring really we didn't understand why patients
2 drop out of these trials, because that's helpful in really
3 designing and also in understanding how the trials should
4 really go on.

5 So this is the snapshot of the database that we
6 collected, and, as you can see, we had data from three NDA
7 sources and from two external sources. And I won't really
8 mention here, but we are a combination of the latest trial
9 presented. We had titration designs. We had fixed tool
10 studies, and we also had information from another trial.

11 So and -- the graduations of -- of different
12 durations. You can see there are four years follow-up to
13 where nine months on year in the clinical trial, and we had
14 one and a half years.

15 So we had about 2,000 patients in the longitudinal
16 information on the UPDRS course, along with that baseline
17 characteristics and drop out information.

18 So the first step in our project was to really
19 characterize the patient population model, because we really
20 wanted to -- we wanted to understand across different trials
21 where the inclusion [ph.] with the baseline distributions of
22 the various covariants influence which kind of potential

0099

1 influence the baseline UPDRS scores with this. So we did
2 simple regression techniques, evaluating age, gender,
3 disease duration; that's how long they have the disease;
4 smoking and caffeine intake on the baseline scores.

5 And we found that age and disease duration -- disease
6 duration were influencing the baseline UPDRS scores. And
7 this is pretty much in line with what has been reported in
8 other epidemiological studies, too.

9 And one of the reasons for us to do this is if later
10 on in our -- when we are doing the drug effect models and
11 then understanding the progression if some of these
12 covariants are potentially important, we really have to
13 include them at baseline to think about sort of
14 heterogeneity in our simulated population.

15 So as I mentioned, the most important item was how do
16 we really get the shape or you can call it a shape or a
17 trend to the Parkinson's disease population.

18 I'm going to show you information from what is
19 published in the literature, but we did a similar kind of
20 analysis based on the mean effects as well as we look at
21 individual time courses of events, because we had access to
22 all the data.

0100

1 This is a trial which was done with Selegiline as --
2 showing the mean of the total UPDRS score with patients all

3 the way up to five years, and with the four weeks of washout
4 base. Let's not focus too much on washout phase right now.

5 So as you can see here, first a certain time period
6 here after the initial symptomatic effects, you can -- the
7 mean effects can reasonably be served by a linear model.

8 The same as for another trial which was done with
9 Levodopa and Pramipexole, where you can again see
10 symptomatic effects the trends are approximately linear, and
11 this was for four years.

12 In this trial, just published in Neurology of 2006,
13 they studied for up to one year creatinine-minocycline was
14 in a regulated trial, and, as can we see, the placebo,
15 minocycline, and creatine, the shape still falls the line of
16 what is already done before.

17 So one of the reasons why we wanted to look at the
18 different reasons in the literature to in-house is we wanted
19 to make sure that similar kinds of trends are seen across
20 drugs and also wanted to understand how different prior
21 designs can influence the shape of the progression.

22 So we think that based on the evidence that we have
0101

1 seen a linear model can reasonably describe the UPDRS change
2 post eight weeks. But it's also important that the time
3 post service [ph.] needs to be understood well in early
4 dose-binding studies. Typically, one can measure UPDRS
5 scores every four to eight weeks, and you get the value of
6 dose-binding studies.

7 So the next item which was very important to
8 understand is the missing data mechanisms in these trials.
9 So the way went about it is we first generated some
10 graphical displays to understand the patterns actually of
11 these drugs. So this is, for example, we -- when patients
12 are roughly let's say 0 to 16 weeks versus 16 to 32 weeks,
13 and then we looked at how are they being produced in these
14 groups of patients.

15 And consistently, you'll see in the patients who
16 discontinued earlier had worse symptoms compared to those
17 who stayed in the trial, and this is also -- is present in
18 the -- in various literature sources that patients who
19 discontinue have in general higher UPDRS as compared to
20 those who remain in the trial.

21 So naturally, the question is what is the specific
22 risk factor for drop-outs and is there any way that we can
0102

1 burden some form of a quantitative thinking.

2 So we thought let's attempt to do some parametric
3 hazard models and we looked at all the trials for what we
4 had, and this time we -- we were looking -- interested in
5 looking at three important covariants, which I'll show you
6 pictorially what I really mean by that. That is, we asked
7 the question is the change in UPDRS scores in the last
8 office visit, what is important? Is it related to the
9 baseline or is it related to the previous visit?

10 Our reason for doing -- is it related to the rate of
11 change between the first and the last office visit? That's
12 kind of its slope.

13 So if you see at this for a hypothetical subject what

14 I'm showing here, but these are the kinds of things you will
15 see the real data to look at individual profiles.

16 So if you look at the change from baseline UPDRS for
17 those times, let's say for at 24-week study, and this
18 patient here at 40 weeks tends to drop out of the trial,
19 because it's the same doctor has not been -- and it's a
20 mutual between the physician and the patient that the drug
21 is not offering him benefit, so they decided to take him off
22 and put him on some gold standard treatment.

0103

1 So naturally what we are saying on this end is what
2 has been related to drop here. So the first question what
3 we asked was is the probability of drop-out related to the
4 change in scores from the baseline visit? That is sort of
5 duration I just quoted. It's just an average. So that
6 means he spent 20 weeks in the trial and his change from
7 baseline is more -- so you could deviate by 20. That's sort
8 of a duration I just said, so is that important?

9 Or is it really how he was doing before, two weeks
10 before, and what is the score that really changed him. So
11 here's change by six weeks, about six units, and about two
12 weeks, and you really see those kinds of trends in some
13 other patients.

14 Or can we really put some sort of -- we can get this
15 number from linear fixed models and see whether he's a
16 candidate to spend the probability of trouble.

17 In addition to these three covariates, which are
18 related to the UPDRS score, but we also looked at the other
19 covariants like -- related to demographics like age, how
20 long they were on the -- how long they had the disease, et
21 cetera.

22 And in order to qualify our models to a better model

0104

1 we are trying to -- using statistical methods, can it really
2 reproduce our data. So this is -- I'm just showing you one
3 graph where you can see a very clear drop out pattern --
4 this is under certain assumptions, so you can really see
5 that my model systemically deviates from the observed. This
6 is just to show you pictorially how we are going stepwise in
7 model assumptions we can use.

8 We then looked at another trial, which was a drug
9 unrelated to the previous one, and really understood what is
10 making people to dropping those trials, by using certain
11 combination of parameters and assumptions.

12 And reasonably our simulations varied from the
13 observed pattern, which you see here. So to summarize, the
14 predominant reason for drop-out in these trials is worsening
15 of symptoms that depend on the duration of the trial and how
16 good are your drugs.

17 But based on some of the initial statistical analysis,
18 we see that the duration is just a change in rate of change
19 in UPDRS scores from the visit are -- for this fluctuation.

20 But we are not stopping there. We also want to ensure
21 that our model adequately predicts the discontinuation rates
22 well across varied drug designs; that is, fixed versus

0105

1 titration dosing, and we have some reasonable ideas as to

2 why -- what kind of an effect size will be observed in a
3 certain person with a problem, so we are still sort of
4 confirming it with other datasets.

5 So, so far, in my presentation, you have really seen
6 the two models here. One is the key portions that we
7 identified based on our discussions with the clinical
8 biostatistics and the clinical pharmacology group, and then
9 the second step is we extracted the clinical trial
10 information, where we looked at the baseline of a model, the
11 placebo models, understanding the drop-out models, and
12 understanding the drop-outs, and also their designs; that
13 is, fixed dose, titration designs -- what really happens.
14 What is the progression of them, and importantly the patient
15 demographics across these trials?

16 This is -- now Dr. Siddiqui will show you how we
17 integrated this information to simulate trial designs in
18 various scenarios.

19 CHAIRMAN VENITZ: You're going to be introduced. Dr.
20 Siddiqui he is a reviewer in the Office of Biostatistics.

21 DR. SIDDIQUI: Good morning. I'm going to chat with
22 you about this -- how the baseline data has become important
0106

1 for [inaudible] the progress of disease at the baseline as
2 well as the progression of the disease. I'm here to discuss
3 how the drop-out, the more of the drop-out progression, what
4 is the probability of -- and why they are dropped out. That
5 is, those are -- the similarity is the higher -- and they
6 are both likely to drop out -- that is from the in-house
7 data.

8 Now, I'm sharing with you three key questions: Does a
9 linear model -- a linear disease progression model can be
10 applied to model the progression of the disease? The second
11 question is what is the reasonable trial design and
12 endpoint? And how do we integrate the clinical pharmacology
13 findings and statistical findings to address the regulatory
14 issues? That's the first question.

15 So the longitudinal -- here in Atul's presentation you
16 saw that if the drug has a symptomatic effect, that it
17 showed up in eight weeks, and after that the progression is
18 going on. So, and after eight weeks, the progression seems
19 to be linear, and it's supported by the published data as
20 well as the in-house data. And these are so since it is --
21 it is approximately linear after four to eight weeks
22 randomization, so we can model -- to model the progression
0107

1 of the disease.

2 So together, we applied the -- monitor whatever the
3 progression this seems to -- and observed and with the
4 progression we can render some judgments.

5 Also we get some other exploratory on how the model --
6 what the guidance -- and if we take this longitudinal model
7 that -- is close to the observed, so that confirms that the
8 model, the linear model, is a good candidate to generalize
9 what the progression of the disease is.

10 We have seen simulation differences, so here what we
11 are trying to do is that in-house data where on particular
12 trial is the distribution of the UPDRS score -- and so we

13 are trying to get the information from this real data, this
14 like mean vector of variance -- and the information we are
15 trying to -- simulated that, and after simulating the data,
16 you see that the distribution of UPDRS scores and all the
17 data is -- so it confirms for us that, yes, we are applying
18 the real characteristics in the simulated data.

19 So, again, now what are the trial-to-trial -- is it
20 possible trial to -- symptomatic effect often to -- that is
21 -- as symptomatic effect issue. After that is progression
22 of disease is going the same significance of the symptomatic
0108

1 effect, but in symptomatic trials, many -- and -- so -- is
2 different from the -- and you are -- but we don't know how
3 this -- to the symptomatic, and what happens -- but there
4 are lot of -- these are the cases -- it is impossible to
5 differentiate the projected effect.

6 So -- develop the drug for the protective effect of
7 Parkinson's disease, and the -- it has two parts -- the
8 placebo phase and the active phase. In the placebo phase,
9 the patients are -- and -- that -- and we can see this is
10 active phase -- we can say this is late starter group, and
11 so this is a late starter group. This is an early starter
12 group, so they started from here.

13 So in many -- even if they're so, the difference
14 between these two are -- significantly -- then we can say
15 that the -- some protective effect on the drug. But this is
16 a -- this is a longitudinal trial, that will be missing
17 that. This type of trials there are -- so and -- regular --
18 we need the statistical analysis based on ITT, intend to
19 treat analysis, that means for all the randomized version's
20 information here. So the main question is how we refute the
21 -- can we get it over to him here. And if the data score is
22 here? No, because he's already getting the placebo phase,
0109

1 placebo drug under the placebo and here is the drug. So
2 it's not possible to refute directly.

3 Now, we are exploring one possibility is that we can
4 do some slope phase analyses in the place of this. We can
5 compare that slopes are understood -- the slopes are
6 different and not in the rest of the phase. If the slopes
7 are different, then that means the paths are not parallel;
8 that just indicates that it's not evidence of protective
9 effect. To reconfirm this, we can analyze here the
10 available case and compare the mean, the difference, on the
11 available persons, and but we are planning to think that
12 this will be our primary support, and here in this analysis,
13 we are -- models -- so all the ITT core samples are
14 included, so it ITT analyzed.

15 So let's summarize what we are trying to do. We are
16 trying to export that -- or whether it is possible to
17 compare the slope difference between the placebo and drug
18 group at the placebo phase, and then in active phase, we
19 compare the -- the mean difference between the early starter
20 versus available, early starter versus late starter at the
21 endpoint.

22 So we did some simulations based on this under -- that
0110

1 the drug has for symptomatic effects; this is just
2 symptomatic effect, no protective effect.
3 So I will show you what is -- what I mean by -- level.
4 Now, if you give 500 persons, two groups, one to randomize
5 and one to one; 72 weeks plus 26 weeks is phase, then the
6 active phase, and we also consider -- and we also consider
7 in simulation different drop-out scenarios -- equal drop-out
8 between two groups. So what do mean by this knowledge?
9 Here you see that there is no difference between the area
10 under the late starter and early starter. That is the --
11 but there is a symptomatic reversal, here separated, so that
12 is the product symptomatic effect -- no -- so simulation is
13 -- we are interested to -- so the drop-out scenario is
14 drop-out not related to drug or disease. That means
15 statistically we see it completely at random, and drop-out
16 due to -- so what our thinking is that in the placebo phase
17 the slope analysis you see that at least drop-out scenario
18 with control type -- however, in active phase, since we are
19 mainly interested in the end of the active phase and often
20 people propose that instead of YTT, you can analyze
21 available -- instead of -- how you see that -- except the
22 fast scenario -- and also recently I reviewed two NDAs -- to
0111

1 analyze ITT at the endpoint of the active phase, but -- if
2 you see that the how is -- has already been started.
3 So this simulation, simple simulation, it confirms
4 that if we analyze in the -- analyzes the -- it controls the
5 rate.
6 So this work -- we realize that -- we need to
7 understand the demographics of the patients, time scores of
8 the disease -- and this work -- it is not possible to
9 understand completely by individual areas like, either
10 pharmacologists or either by statisticians, so joint work is
11 important here, and collaborative work, and this is the work
12 -- in the morning, you heard about the collaborative -- the
13 importance of collaborating work and this is the one impetus
14 of the importance. Thank you.

15 CHAIRMAN VENITZ: Thank you. Any questions for Atul?
16

17 DR. MANDEMA: Thank you for a very nice presentation.
18 I'm glad you showed that nation selection along the way in
19 the trial could lead to a type one error, and I do agree
20 with that.

21 I do agree with your assumption that it's preserved in
22 the placebo phase basically because that was your assumption
0112

1 you put in, the way you analyzed the data or the simulations
2 you set up assumes that the linear trend was the trend that
3 everybody is on. So, yes, you will get a preserved error
4 rate in that phase because that's what you simulated. But
5 at least you can that the delayed start design gives
6 problems with it.

7 DR. SIDDIQUI: So, yes, in the placebo phase, that is
8 symptomatic effect, but the protective effect is -- effect.
9 So the simulations performed this, and you are analyzing
10 this in the placebo phase. If you took up a different
11 slope, then there will be -- the two have different

12 significant stroke, then there will be within subjective
13 effect and the endpoint. So we are thinking that it's one
14 to one correspondence so the net mean product here we can
15 get this so we can analyze this -- available at the -- and
16 to we confronted how that is to -- is finding it in the
17 evidence of the protective effect, we analyzed the available
18 rates of the endpoint.

19 DR. MANDEMA: No, no, I understand what you did, and
20 you showed that patient selection along the way and due to
21 drop-out will impact the active phase comparison and will
22 lead to a biased conclusion at that point.

0113

1 But during the placebo phase, obviously your model is
2 assuming a certain linear trend that you simulate on, and
3 there the error rate should be exactly what you put in if
4 your sample size is big enough due to your simulations,
5 because that's specifically what you put in. There's no
6 difference in slope, and there's no mechanism to be able to
7 deviate from that in your simulations, so you should pick up
8 the exact error rate if you should do your test.

9 DR. SIDDIQUI: Not necessarily. If the -- up until
10 now, we are saying that I simulate this slope because zero,
11 so that will be reflected. But I applied here a different
12 type of missing data mechanism, so if the missing data
13 mechanism would affect it differently, it might be not the
14 type for another rate.

15 DR. MANDEMA: Well, if it's linear and the drop-out is
16 not happening all very soon, it should be pretty accurate.

17 DR. SIDDIQUI: No. We're here about 45 percent or
18 above.

19 DR. MANDEMA: I know. But if it's a linear slope. Of
20 course, your ability to distinguish is whether you can
21 actually estimate that slope good enough with the data that
22 you have early on, because you're projecting those patients

0114

1 go on and that's the trajectory in your analysis. So if you
2 have enough data, then it should be very well protected.

3 But I think it's just a minor point to show, but the
4 important part is it's probably better to compare in that
5 early phase than in the late phase, because the late phase
6 definitely could give you problems.

7 That brings up just as one more question, how do you
8 distinguish between a drug that's truly protective and a
9 drug that just has a slow onset of action, because both may
10 impact the slope of that relationship?

11 DR. BHATTARAM: Yeah, that's -- we had a discussion on
12 that conduct what you are talking. We have a drug which has
13 got a very slow onset of action. What we think -- although
14 we have not seen any drug so far which has such a slow onset
15 of action, but we think that when you do this -- the
16 analysis in the active phase, and do the analysis -- you can
17 do the analysis at each time point and see if it becomes a
18 crossing regional, and we're also looking at putting some
19 sort of margin for ensuring that the slopes are at least
20 part of the region. So those kinds of metrics we're putting
21 in just to make sure we don't have goals, which are trying
22 to -- work at some point. So we will be looking at those

0115

1 kinds of things.

2 DR. GOBBURU: Yeah, just on the previous point that
3 Dr. Bhattaram pointed out. Yes, you can argue that if you
4 use this same model to simulate and analyze the data, you're
5 going to get expected theoretical decisions, but I would
6 encourage you to keep in mind that this is not -- the model
7 is not just out of the blue, but it is based upon data
8 substantiated by qualification, and so there is a basis for
9 using that model, and, yes, sure, and as Dr. Siddiqui
10 pointed out, which I completely agree with, the point was to
11 also perturb the system from what we observed in the trials
12 to see what happens under different scenarios, if that falls
13 apart.

14 DR. JUSKO: These graphs that you show have a placebo
15 having a slope starting at 0 at time 0. Some of the
16 profiles that I've seen plus one of them shown by Bob
17 Powell, slide 20, shows that there's a pronounced placebo
18 effect. It looks just like your drug curve.

19 Do you have more data? Is your analysis accounting
20 for changes in both slope as well as intercept or some early
21 part of the curve?

22 DR. BHATTARAM: Yes, I can address that. Actually,
0116

1 the curve that was shown by Dr. Powell, filling into this
2 curve, it's actually not placebo versus those patients that
3 were on Levodopamine. It's added on. We see the placebo
4 added on to Levodopamine. Selegiline added on to
5 Levodopamine. So that is the reason why you see this curve,
6 and the other question whether -- we also looked at in
7 placebo groups what kind of effects you really do also, and
8 we empirically estimated that when we included them in the
9 solutions. So, you know, simulations of placebos just don't
10 start at the 0 point. They have some very minor, but the
11 effect is very real.

12 DR. DAVIDIAN: I'm going to repeat my question from
13 the last round. So, as you progressed through this first,
14 you talked about developing the model, which appears
15 thoroughly a totally empirically model, a straight line
16 model for change, and then you talked about drop-out and
17 undoubtedly the trials on which you base your disease model
18 had drop-outs. So I was just wondering how you developed
19 the model in the face of that drop-out? Did you use
20 drop-out modeling there as well?

21 DR. SIDDIQUI: Okay. This Parkinson's -- we have up
22 to last observed if your UPDRS score was higher from here to
0117

1 there, presented higher -- that means an absolute that is by
2 definition that's using a -- and this situation is true that
3 we used the likelihood based analysis. Likelihood based
4 analysis has two parts. One is the -- another part is the
5 -- so when we can estimate the parameter, and up to this
6 part -- so that takes care of this, but if it is not
7 reaching up at random, then yes.

8 DR. DAVIDIAN: Well, my thing is this analysis will
9 work as long as the assumptions that you're relying on the
10 graphs.

11 DR. SIDDIQUI: Yes.
12 DR. DAVIDIAN: In terms of whatever you normally
13 distributed and so on. So I was just wondering have you
14 looked at possible deviations from that?
15 DR. SIDDIQUI: Yes. We are aware of those.
16 DR. DAVIDIAN: Then that's okay.
17 DR. SIDDIQUI: Yes, we are definitely aware.
18 DR. DAVIDIAN: Just a follow-up question, too.
19 Drop-outs from toxicity. I mean you obviously have
20 information on who drops out. What's the rate on that?
21 DR. BHATTARAM: Yeah, actually, I'll briefly mention
22 about the three scenarios of what we chose for simulation or
0118

1 the basis.
2 The first one where you saw that there were equal drop
3 outs in placebo and treatment groups. That was from one
4 group that we saw the placebo effect [ph.]. The second one
5 was where we saw very less drop outs in the treatment
6 compared to placebo. One of the drugs was Levodopa, and
7 that's the case. And the third one we looked at was where
8 in the creatinine trial, we observed in the creatinine
9 level, a 30 percent drop outs in the observed.
10 So we thought let's also integrate that into our
11 simulations and see really in this design how it happens,
12 because if it -- because the interesting part you have these
13 two pieces. If patients drop out due to -- in the treatment
14 arm, then the people who switch from the placebo to the
15 treatment also have to be dropping out due to this. So how
16 do these contrast to the overall simulation?

17 DR. O'NEIL: Yeah, I just wanted to follow up on what
18 Marie Davidian has been pushing a point on. The whole issue
19 of missing data in clinical trials is critical to virtually
20 every area that we're dealing with at the NDA; right? It's
21 a deal breaker in many situations because it's not clear
22 whether the information on the individuals who have left the
0119

1 trial or left exposure is informative or not and the
2 statistical methods that are available to handle this
3 problem depend upon the assumptions of whether what you
4 haven't seen yet is informative, and there may be, in fact,
5 a need for some design changes here, and I -- we're asking
6 for your general advice in a number of areas.
7 First of all, as Bob Powell indicated, there is no
8 product that has a disease modifying claim yet, and one of
9 the reasons is just think about what kind of study design
10 you would need that would allow you to say there's been a
11 permanent change in something, and it lasts for a while.
12 And this is hard to do in chronic progressing diseases,
13 where you have to follow individuals for a reasonable amount
14 of time, and you also know that if you follow them for a
15 reasonable amount of time and you also know that if you
16 follow them for a reasonable amount of time, they may or may
17 not stay on exposure, so how do you take both of those guys
18 into account at the same time, because we deal with this
19 problem in just your vanilla version symptomatic trial where
20 there is withdrawal. So there's two things going on here:
21 one is trying to put a lot of emphasis on shape of what's

22 progressing over time. The other issue is how do you deal
0120

1 with that in the face of individuals who are withdrawing
2 from exposure either because of toxicity or lack of effect
3 or aggravation -- I've been in this trial for six months.
4 I'm out of here. It's not that I'm -- I just don't like
5 coming in anymore.

6 So the issue is what kind of changes in the protocol
7 have to be implemented. Let's say a different informed
8 consent saying this is going to be a two-year trial. We
9 understand you may not hang around for two years. What
10 would it be that would likely cause you to leave? And if
11 you leave, meaning that you don't like to be on the assigned
12 treatment anymore, will you allow us to measure you after
13 you go off of that, because we want to measure everybody for
14 two years. Do you agree to that at the entrance? Because
15 if you don't have that in place, you're dead in the water
16 with all these assumptions.

17 So we're talking about a major culture change in how a
18 lot of trials are actually carried out. We do not, in most
19 symptomatic trials, measure someone after they have
20 withdrawn from exposure. The intent to treat philosophy
21 says you sign up for a one-year trial. You measure outcomes
22 on everybody at one year, and that can be done on mortality

0121
1 trials or where you can actually get the outcome.

2 Where you have repeated measures longitudinally
3 progressing people where they're not giving you information
4 at some point later on in the trial, that's a different kind
5 of an issue, and that's what Marie has been hitting on.

6 And we don't expect a magic answer on this, but I can
7 tell you that we need to be making some major changes in how
8 we address, both from a design and analysis, what kind of
9 diagnostics are needed. I think Joga indicates that the
10 empirical data that is behind this model is pretty
11 impressive -- four or five or six or seven studies that
12 repetitively have shown the same progression. But as Jaap
13 pointed out, it's not clear whether everyone has a random
14 slope and a random intercept, meaning if you and I have a
15 different onset of where start, our curves start to go up,
16 then that needs to be taken into the model.

17 Your comment about everybody -- the typical clinical
18 trial because of the highlight effect is you come into the
19 trial and everybody drops down right away. That's because
20 everybody is being monitored, and then that's not a placebo
21 effect. That's a design effect. That's essentially because
22 you're in a clinical trial.

0122
1 After you get by that -- and so that's why this
2 linearity is not being modeled from time zero in a clinical
3 trial. It's being from -- it's being modeled from what is
4 assumed to be some delay of let's say four weeks, after
5 which everybody has gone through their highlight effect, and
6 now they're on some progression, and it looks like it's
7 linear.

8 And as you state, these are all very model-dependent,
9 but they're empirically based upon how much weight do you

10 want to put in all of these six or seven trials in the past.

11

12 So where FDA is is a sponsor is coming in and
13 essentially asking for a handshake, and they're saying we
14 have this design, with this support a disease modifying
15 claim; and that's the point where we are as a society.
16 That's the point where we are in these drugs, and that's why
17 we're sort of raising this, and there's sort of the modeling
18 and simulation as a quantitative approach to address whether
19 this disease model claim is doable in any sense, so I think
20 that's behind the kinds of questions that we're asking you,
21 and the missing data mechanism is really probably the deal
22 breaker here in terms of whether you can believe that the

0123

1 people who hang around are the same as the people who don't
2 hang around and whether their paths follow the same, and
3 that's why all this effort has gone into this. And we're
4 trying to, you know, get you as a sounding board as to
5 whether this makes any sense at all.

6 DR. BARRETT: Yeah, I really appreciate your
7 presentation on the topic, and as I was listening to this,
8 what was in my mind was when you started out; you listed the
9 trials that were part of your database. I'm sure there were
10 probably additional trials that you could have chosen to be
11 part of this as well, so you really can't decompose the
12 model from the data, from the design using those trials.
13 They're all part of the signature of information that went
14 into this.

15 What I think you're highlighting is the fundamental
16 problem that would be true of any study moving forward. I
17 think the question for me and probably others is, you know,
18 how generalizable is -- do you think this will be for,
19 again, these new class of agents that could potentially have
20 this disease modeling claim. I think I mean it really
21 underscores the relevance of what you're doing, but I'm
22 thinking as I see or hear the dialogue, too, the designs

0124

1 that would be potentially studied in the future may have to
2 be very different than those that have been studied as part
3 of your historical data, so I'm seeing some elements of what
4 you're doing that would absolutely be portable and others
5 that may not be.

6 Do you have a sense of that?

7 DR. BHATTARAM: Yeah, actually, we only have
8 experience from one study -- that's the delayed studies.
9 And given that more and more sponsors are proposing those
10 kinds of designs, our main aim is to -- for this particular
11 design what are the likely problems that one can encounter
12 and what are the statistical issues that's worked, and how
13 this can be magnified at this level. So that's our aim.

14 We do agree that there are -- there could be
15 alternative designs which can be done, but this is one of
16 the designs that we are currently working on to solve the
17 issue.

18 And if I may just comment on the -- one more aspect is
19 that in our simulations, it's not that everybody gets to the
20 maximum event only by eight weeks, so we have a random

21 component, so people can go -- we have a certain degree of
22 variable dose, and then we are -- so when we are testing

0125

1 what happens if you cut everybody at eight weeks onwards,
2 that will also help you to evaluate the effect of that
3 parameter. If somebody gets to the maximum benefit with 16
4 weeks, and how does it affect your whole -- that's what's
5 being developed.

6 DR. MANDEMA: Thanks, and ask one more question. Now,
7 don't get me wrong with my earlier comments. I think what
8 you're doing is very insightful in how to understand changes
9 of disease over time.

10 What would be really good for me to look at is are
11 those slope estimates that you get to across these trials
12 are they very similar or are they quite different? You
13 haven't shown a particular component of that. Was your
14 analysis based on just on one trial you highlighted or was
15 it based on a joint analysis of all the trials, and if it
16 was a joint analysis, did you allow for random differences
17 between trial in the intercepts and slopes that could occur?

18

19 DR. SIDDIQUI: One is -- and the other are parallel
20 groups.

21 DR. MANDEMA: No, I mean the slope with time, not the
22 difference between treated and placebo.

0126

1 DR. BHATTARAM: Yeah, I can answer it. Actually, the
2 way we did was we analyzed these trial individuals, and then
3 we looked at the rates of progression in the placebo group
4 just to make sure the trials which have been done earlier or
5 the trials which have been done now has anything changed
6 with background which can impact the progression.

7 So across what we have seen is that the placebo --
8 progression in the placebo groups are pretty much similar.
9 And the second is we also looked at the progression within
10 for each drug also, but we haven't shown the effect sizes.
11 But we did do each individual -- I mean the analysis was
12 done at each trial level, and not combined.

13 DR. MANDEMA: So it would be very good to show the
14 distribution of slopes across these trials. That would be
15 very helpful in continuing that -- our understanding of the
16 validity of the disease progression models.

17 DR. GOBBURU: Two comments -- actually one is, you
18 know, Dr. Bhattaram has already spoken to -- this is about
19 the question about the assumption that it's linear, and then
20 you use that to simulate, of course, you're going to get 85
21 percent, but, as he pointed out, there is this component of
22 non-linearity and variability on where patients have this

0127

1 inflection to progress, so it does, in my opinion still
2 account for any model re-specification, if any, between
3 linear and non-linear. That was the first comment.

4 The second is to throw out the description of the
5 drop-out models and model your qualification validation.
6 Dr. Davidian, would you agree that given the model for the
7 progression of the score over time, the structural
8 component, and the variability has left the drop-out model

9 from the parameters of this model -- and you put them
10 together and you reproduce the data across the six trials,
11 would that be a reasonable validation tool to feel
12 comfortable that the model is performing reasonably well.

13 DR. DAVIDIAN: Well, it would certainly help. I mean
14 I think as Bob pointed out, okay, you can never tell if
15 you've got an importance to drop out, because you don't get
16 the data that you don't get if you don't have responses
17 after drop out, you have no way of knowing the drop out
18 depends on this response, but you can never validate that
19 assumption.

20 DR. SIDDIQUI: In this case, it is slightly different,
21 because, although people discontinued -- I mean as Dr.
22 O'Neil used the word -- discontinued exposure, they still

0128

1 got UPDRS measurements in each patient.

2 DR. DAVIDIAN: Okay. That wasn't clear. I mean that
3 was one of my questions.

4 DR. SIDDIQUI: Yeah.

5 DR. DAVIDIAN: Okay. So if you have data like that,
6 then that certainly helps a lot.

7 DR. SIDDIQUI: Yeah. So that is -- there is --

8 DR. DAVIDIAN: Yeah. And what you've done I think,
9 you know I don't want to sound negative here, because I'm
10 actually very supportive of such a plan. I think it's
11 wonderful, and it makes great sense. And I think the more
12 you can do to look at can you stress your models to see how
13 differently things would turn out, the better, you know, so
14 that you gain an understanding of the extent to which this
15 whole exercise is going to be useful.

16 CHAIRMAN VENITZ: Bill?

17 DR. JUSKO: When you use the linear models, the
18 expectation is that the score can continue in a linear
19 manner, is there an upper limit to the score that can be
20 achieved in these patients so that if there is, does the
21 linear model respect that type of upper limit?

22 DR. BHATTARAM: Yes. Actually, the upper limit of the

0129

1 scores is 199, but none of the patients really go to that
2 level, and we haven't seen anybody going up there, the real
3 thresholds. People go from 70 or 80.

4 OPEN PUBLIC HEARING

5 CHAIRMAN VENITZ: Any other questions? Then I want to
6 thank both of our speakers. And our next order of business
7 includes the open public hearing. We have nobody signed up,
8 but I want to make sure that anybody in the audience that
9 wishes to speak.

10 You need to read something before you can start. So I
11 think that it's the sense that we should not give him -- or
12 asked to disclose any potential conflicts.

13 DR. PECK: Right. My name is Carl Peck [ph.]. I'm an
14 adjunct professor at the University of California at San
15 Francisco, the Center for Drug Development Science. I
16 participate in a consulting I founded in the partners, and I
17 work with John Burkhart in a company called Arnex.

18 I first of all want to congratulate the FDA and this
19 advisory committee for these two days of remarkably

20 cutting-edge discussions on the application of advances in
21 clinical pharmacology in drug regulation and drug
22 development, and so for Larry Lesko, Bob Powell, Joga

0130

1 Gobburu and all of you.

2 What I want to say briefly was it's also I believe
3 great that you are attending now to trial design issues that
4 would seem to improve the interpretation and maximize the
5 learning with respect to deviations from the protocol,
6 seeking, of course, also information on the actual
7 trajectory of the response. And you focused on a major
8 observable deviation from the protocol and that is drop
9 outs. Of course, protocols are violated all the time, but
10 another major source of protocol violation is deviation from
11 the assigned medication regimen.

12 And just as drop outs can be observed and documented,
13 it's now possible to document reasonably using the date and
14 time -- it's now an electronic form -- the extent to which
15 patients actually adhere to the assigned drug regimen.

16 So my challenge to you is to -- is the question is
17 that another protocol deviation that you're interested in
18 developing techniques for minimizing bias or maximizing
19 outcomes for trials where that kind of data were captured?

20 CHAIRMAN VENITZ: Thank you. Anybody else in the
21 audience that wishes to speak?

22 ADVISORY SUBCOMMITTEE DISCUSSION AND RECOMMENDATIONS

0131

1 CHAIRMAN VENITZ: That concludes our open hearing, and
2 I think we're now moving to the deliberation part of our
3 meeting that goes to questions for the Committee so we can
4 -- or whoever has the slide?

5 Okay. So let's go step by step. Let's discuss each
6 question, and I'm going to try to summarize the Committee's
7 sense.

8 So the first question that we're asked to discuss is
9 the overall approach to modifying various parts of disease
10 models reasonable? David?

11 DR. D'ARGENIO: First, I'll start off by echoing the
12 comments of several others about the importance of this work
13 and obviously the FDA is in an unique position to do some of
14 this and make these contributions.

15 Just a couple of specific comments about the first
16 point, and maybe then some general comments.

17 Perhaps the biggest impact of all the work that heard
18 presented today and related work would certainly be in
19 developing models for placebo and drop-out. We're
20 absolutely in the best position to do that, and it's going
21 to have the biggest impact on I suspect sponsors who want to
22 use these models as they consider trial design issues that

0132

1 would have a dramatic effect.

2 One of the more difficult parts of this whole modeling
3 cycle that Bob put on another slide is the disease modeling
4 part or the building the disease model, and most of the
5 models that we've seen and others that I've seen have been
6 obviously extremely empirical, and that's a clear limitation
7 of going forward. Now, that's the hardest thing, and I

8 don't expect the folks here to be able to really do that,
9 but that's a very important, and Bob mentioned the analogy
10 with the use of modeling and simulation in other areas, in
11 particular engineering and design of physical systems.

12 When you look at the successes of modeling and
13 simulation and adhering to physical systems, and you look at
14 the models, you find very few empirical models or equations
15 of convenience, and we have to use all the time.

16 And that's what makes those -- and one of the reasons
17 that makes those very successful, for example, in evaluating
18 aircraft now we do very few wind tunnel testings. That's
19 just not done anymore as it used to be. That experimental
20 approach has been replaced by computational fluid dynamics.
21 Now, those are models that have assumptions in them.
22 There's no question about that, but they have very few of

0133

1 these equations of convenience that we unfortunately we have
2 to use.

3 And that's the biggest difficulty that we'll be using
4 in modeling and simulation in the whole schema that Bob
5 presented, the disease modeling part. It's not hopeless,
6 though, and there are people who are developing, for
7 example, in models of insulin action that include insulin
8 signaling and look at the effect of insulin on glucose
9 transporters. Those signal transduction models are
10 available. People are using those to take a look at the
11 effects of lipid phosphatases as drug targets and while you
12 folks can't really perhaps focus on those, but as you
13 develop these models for these particular applications, it's
14 useful to cite what's out there in that regard so others can
15 do that.

16 One thing that's also been missing a bit -- we talked
17 about it -- it's validation. I haven't seen a lot of focus
18 on the validation of these models that even placebo and
19 drop-out, and that's got to be integrate in what we're doing
20 here.

21 And some general comments -- while we've been
22 presented with several examples that really illustrate the

0134

1 possibilities here and some concrete examples, what was
2 missing is kind of a systematic schema for how you're
3 proposing in the agency to go about this process in the
4 future, and I know you're at the beginning stages here, and
5 you can develop that after you get input from these specific
6 examples. But I think that's going to be very important,
7 and in a sense a flowchart as to, you know, how you're going
8 to develop these various models, how you're going to mine
9 the data, all the things that you've discussed, but it's got
10 to be put together in a framework and associated with that
11 is how you're organized to do it.

12 And that's probably more issues than just question
13 number one, but I think they'll come back again and again in
14 the other questions.

15 DR. POWELL: David, you raised excellent points. Let
16 me respond to a couple of them.

17 With regard to mechanistic models or empirical models,
18 I'm reminded of an English phrase, "horses for horses," and

19 that I think mechanistic models, in other words, the models
20 have to be set up according to what the use is going to be,
21 and the mechanistic models I think are primarily useful at
22 this point in time in the discovery and R&D process.

0135

1 I mean if you -- so FDA's decision-centric point at --
2 based on clinical data, and I believe that our models really
3 have to be burdened and what the primary endpoints are.

4 And then mechanistic models start from biology and
5 move forward. At the FDA, we have to start with what the
6 primary endpoints are, and then move backwards.

7 So you would imagine over time that they ought to be
8 able to delay it. We have used mechanistic models. I think
9 with HIV it's available, and I think Bill Jusko's model is
10 kind of like this somewhat I guess.

11 Okay. With regard to validation, the tools speak to
12 that. I think there was some qualifications.

13 In terms of future, to my knowledge, I think Carl some
14 years ago convened some people to look at best practices and
15 modeling and simulation. What we're talking about doing is
16 in the next year or some time reconvene some experts on this
17 so we can -- I'm not sure whether it's best practices or
18 good practices, but I agree with you that we have to -- and
19 if we're going to be doing this more systematically than
20 within the FDA, we need to understand how we're going to
21 broaden this, but also be able to speak to sponsors so that
22 people understand what we expect as they bring, for example,

0136

1 for an end of phase 2A meeting their justification on what
2 the trial is that they're going to use.

3 DR. BARRETT: I had a similar comment to Dr. D'Argenio
4 about just being able to answer that first question, but let
5 me follow up on some points that you made, Bob, too. And
6 these really stem from -- and Joga had mentioned this in
7 terms of the need what do we call this disease progression
8 model. Maybe that's where some of the problem lies, because
9 I think if you set out the objectives and you laid this out
10 -- and I think nicely, Bob -- you're really focusing in on
11 this -- I see this as more of a decision support system
12 around the critical decisions that are made in a late stage
13 clinical development. It benefits from all the prior
14 knowledge from preceding stages and from data that's on the
15 marketplace, either from related compounds, but it is
16 obviously very specific to the underlying data that's a part
17 of it. But the disease piece of this I think this could be
18 an essential element in that disease progression model that
19 maybe had the benefit of more longitudinal epidemiologic
20 data, so I think there's value in being able to look and
21 marry that up. And in cases where you can do that, I think
22 you really should plan for it. So because of that, because

0137

1 disease as we're calling it for this decision support system
2 is very much dependent on how these diseases or indications
3 are studied.

4 And you rightly focused this on you know there is an
5 attempt to align these models with what is the current basis
6 for an improvement. What I saw from the Parkinson's disease

7 model is maybe this interface where things are changing
8 potentially in this therapeutic area, where you have a lot
9 of historical data that forms the basis of this model and
10 allows you to answer or ask targeted questions, but the
11 assumptions that are tied to it are also tied to that data.
12 There's an element of it that's true just because we're
13 evaluating patients who, over a long period of time, and the
14 issue of drop outs is fundamental to that kind of study. So
15 there's value I think in the portability of that.

16 My concern is really the issue of generalizability
17 when you have a new mechanism of action or you would like to
18 be able to make some extrapolations beyond this clinical
19 evaluation period. I think if you lay out the objectives,
20 and this is a tool to do clinical trial simulation effect
21 then we could do the most informative trials, then it's all
22 I think in the right vein. But extrapolating beyond that to

0138

1 really talk about disease progression or performance on the
2 marketplace that's a little bit more dicey, and I think
3 really requires those kind of bridges to built.

4 And keep in mind, you know, you have the pooling
5 criteria. I think that's what I was looking for when I --
6 if I was able to make an assessment of approach, I would
7 like to see what your thoughts are about the data that you
8 allow to form the basis of these models, you know, and
9 really see, as David suggested, what is this kind of
10 decision tree or flow charting maybe of disease progression
11 model for lack of a better word.

12 DR. O'NEIL: Yeah. I think the last two comments that
13 both of you gave are really right on target, and, you know,
14 how do you put a more systematic approach on top of this
15 whole thing. And we're pressured on that by the industry
16 and by others, and the critical path document that went out
17 a few years ago sort of said we know that there are some
18 obstacles to the success rate of clinical trials these days,
19 and we think we can put our finger on a few things that we
20 can fix, one of which is providing some more systematic
21 guidance in certain areas. So we're sort of committed, for
22 better or for worse, to three or four documents that we're

0139

1 supposed to put out over the next year, and embedded in
2 those documents is a lot of what we're talking about here.

3 This is -- modeling and simulation is 2006 modern
4 protocol planning, if anything else. I mean if you don't
5 think about it this way, you're back in the 1960s. You're
6 just not -- you're not using prior data. You're not using
7 epidemiology. You're not -- and its' the deal breaker. I
8 mean I think clinical trials have gotten off in a very
9 amateur way for a long time because they haven't had a
10 system and a science behind it that is actually sort of --
11 and so now what we're faced with is a series of tell me how
12 to do it better, and these are guidances. So at least from
13 the biostatistics perspective, we're committed to developing
14 a guidance on missing data, which is going to include all of
15 this, and this is bigger than us. This is the whole
16 community -- the academic community, the pharmaceutical
17 community, the clinical trial culture community. A lot of

18 people have to buy into this.

19 In fact, we had a discussion with the National Science
20 -- National Academy of Sciences about two months ago about
21 how would we like to do a big study for you guys to help out
22 in this area. Well, if we have the funds, we might be able

0140

1 to do that. But this is a big-time issue. And it probably
2 needs to be done in conjunction with the Institute of
3 Medicine and other folks in play.

4 So that's just dealing with missing data and the whole
5 and clinical trials and how does this disease modeling issue
6 get embedded in that whole thing.

7 The next thing we're committed to is doing a guidance
8 on non-inferiority trials. Now, if there's any area where
9 you want to make sure that you have repeated information on
10 the effect size in clinical trials -- everybody is talking
11 about I'd like to see this slope from these five different
12 trials presented. That problem is in spades in a sponsor
13 coming into to us and saying I want to do a non-inferiority
14 trial, because I can't justify do ethically a placebo
15 control trial. But in order to do that, you have to look to
16 historical data and look for all the clinical trials on the
17 active control that you're going to use in a current, and
18 you need to essentially establish that you have a repeatable
19 effect size that you can count on because you're going to
20 use that effect size in your current study to be able to
21 indirectly infer that you have efficacy on the new product
22 that you're testing.

0141

1 And so, so much goes into those assumptions. You have
2 to make sure that the conduct of your current study is the
3 same as your past studies. You have to assure that the
4 patient population is the same in your current study, as it
5 was in the past. You have to assure that you have built
6 into your margin the study to study variability because you
7 don't know which one you're going to be dealt with in this
8 time.

9 So all of those concepts that Jaap was talking about
10 have to be embedded in this non-inferiority guidance that we
11 have to put out, because it's very much providing guidance
12 of how do you quantify the effect that you're going to be
13 able to use in your active control trial.

14 So that's one other thing that's going on. And so I
15 say this in terms of there's pieces of this general problem
16 embedded in at least three or four things that we're being
17 asked to do to bring some order to the system -- the
18 non-inferiority, the missing data. There's another area of
19 adaptive designs going on, and the other guidance is
20 multiple endpoints, because what has not received very much
21 attention is why did you choose these two endpoints as the
22 endpoints to characterize the effect of the disease? And so

0142

1 if you are fuzzy on that, where in essence you say, well, I
2 can't characterize the disease by one endpoint. I need to
3 put two or three in there.

4 So if you're talking about Alzheimer's disease,
5 traditionally, the Alzheimer's disease has been a joint

6 bivariate endpoint, a clinical global evaluation on whether
7 that patient has had a benefit, as well as some objective
8 scoring data that is at the patient level, we may be getting
9 into MRI stuff in the future or whatever, but the more
10 endpoints you throw onto the win criteria, the more you
11 challenge the system in terms of whether you're going to be
12 able to make that.

13 And so the industry is very interested in how do we
14 provide guidance to sponsors on multiple endpoints, and up
15 until about three or four years ago, this has not been on
16 anyone's radar screen. They'll throw primary endpoints on
17 the pile. They'll throw secondary endpoints on the pile.
18 There won't be any pre-specified thinking about what's the
19 win criteria. What is the win criteria in terms of how this
20 has to play out?

21 If X goes in this direction, doesn't Y have to go in
22 this direction? Can I win on either X or Y? Do I need both

0143

1 of them together? Do I need X and Y and any one of A, B,
2 and C. And that's essentially the criteria in arthritis
3 right now in terms of how a win criteria.

4 So what's different about the example that you've been
5 shown is that the win criteria is on the model itself, not
6 on the endpoint. It's essentially on the model and whether
7 the model is correct in terms of the slopes, essentially
8 establishing disease progression or not, the intercepts and
9 what not, and that's a little different wrinkle than the
10 endpoints that have traditionally been used which are
11 essentially endpoint or time specific at the end of the
12 trial, not the shape of the trial, not the shape of the
13 progression, but where you are at the end.

14 So just summarizing, we are on the fence in terms of
15 delivering publicly, to bring some order to, through
16 guidances that will have public comment before they're
17 vetted and finalized in missing data, multiple endpoints,
18 non-inferiority trials, and sort of the modeling and
19 simulation is really embedded in all of these. And so we're
20 at a very critical stage here I think, where quantification
21 is now being appreciated in having to be the bedrock for any
22 planning because if you don't have the planning right, you

0144

1 can't do the inference. You can't do the conclusion part of
2 it. And it's really at this stage of where we need to be in
3 terms of modern decision making and using all the data and
4 everything else.

5 So that's what I felt was critical with this set of
6 presentations sort of giving some flavor for what we are
7 meaning. Everyone has heard all this buzzwords about
8 modeling and simulation and -- but I think what you're
9 getting is an appreciation for the level of planning that
10 has to go on behind the scenes, including bringing the
11 epidemiology to the table on what do you know in terms of
12 stuff outside of the trial to be able to even say I think I
13 got the endpoints right. I think I need as a minimum to
14 characterize this disease by at least these three outcomes
15 or these two outcome, or I think I'm better off using this
16 composite endpoint rather than these three univariate

17 endpoints that I might put out there on the table.

18 So enough said. I mean this is really in the context
19 of where we are going as an agency and what we're being
20 pressured to do in terms of trying to bring some systematic
21 approach to this problem.

22 DR. JUSKO: I would like to join some of the other
0145

1 Committee members in complimenting you and your leadership
2 efforts in this area of disease progression modeling.

3 Some people have suggested that a better name is
4 disease process modeling because it might better respect
5 that fact that there are multiple components that control
6 disease mechanisms, and, although Bob indicated that your
7 viewpoint is primarily for making best use of endpoints, I
8 would urge you to continue advising the companies to develop
9 the more mechanistic insights into the disease processes
10 that will further help evolve these models in the future.

11 DR. BHATTARAM: I just wanted to answer a few
12 comments. The one is the -- regarding what kind of data is
13 relevant. I mean we looked at the trials all in the
14 literature, which have been studied from the '80s to onwards
15 right now, and the kind of population studies that will be
16 case for patients who are newly diagnosed with the diseases.

17 So, so far, there were eight trials which have been done so
18 far, and we have six of the eight.

19 So we think we are reasonably collecting good
20 information.

21 And the second thing is that although I was not sure
22 how much time I had to show everything, but the way we

0146

1 started to develop these kind of missing data models is we
2 started with a simple study like this, design study model,
3 for 15 weeks and then we tried to simulate and to see how
4 many patients will keep this covariate, let's say the
5 duration or some other covariate is really responsible, how
6 will it predict in another trial which has been done for
7 almost two years, if a similar mechanism is really working.

8 How would it then reveal toxicity-related problems? And
9 the -- so we are -- and the third thing is regarding the
10 mechanisms of action, because all the drugs that we tried to
11 look at and have been reported to have different kinds of
12 mechanisms like the Levodopa or creatine, which they say
13 it's free radicals, and other drugs which inhibit morphine
14 and things like that. So we are trying to look at across
15 different kinds of mechanisms to come up with similar kinds
16 of parameters.

17 So I mean we haven't fully presented that in detail,
18 but definitely the points that you have raised are correct
19 and they are being integrated in the whole process.

20 DR. BARRETT: This I think is true of any therapeutic
21 areas. You know, you're trying to bump this kind of
22 information and get a signal, and that's why I think Bob's

0147

1 -- what he was framing this about -- you know you have the
2 basis for an approval based on the existing knowledge about
3 a therapeutic area that may accommodate several mechanisms.

4 But again, that potentially changes over time. You

5 think differently about this, and UPDRS as a score. You've
6 got a composite matrix. It's got a lot of noise associated
7 with it. There's other things you could do to decompose
8 that and maybe to get more resolution. There's lots of
9 possibilities if you are focused on trying to understand the
10 subtle nuisances, but if your objective, as I think
11 everybody was hearing, is to really complement the approval
12 process, indeed this decision support tool, then, you know,
13 I think it sounded like it was going in the right vein, with
14 all of the limitations on assumptions and being in this
15 pooling criteria.

16 But things change, and, you know, new mechanisms, new
17 indications, new ideas about study design occur that, you
18 know, I think make you have to rethink about that foundation
19 that is part of the model. I think that's really the issue
20 here is you're really identifying the fact that it's a
21 constant reevaluation that has to occur. This is almost
22 like an SOP that needs to be reviewed every year, and, you

0148

1 know, looked at and decide whether or not it's still
2 portable or its value is the same.

3 CHAIRMAN VENITZ: Any other comments on I guess
4 question one.

5 And I think I can summarize the Committee is very
6 appreciative of the efforts and encourages you to continue.
7 I think we talked about some of the limitations, and
8 obviously you're pretty aware of that.

9 Okay. Then let's move to question number -- excuse me
10 -- to question number two: Is the approach to qualifying
11 the models reasonable? Any discussion?

12 Well, let me start perhaps. I know that you used the
13 term qualification as opposed to validation, which I do
14 appreciate, because I think it implies that it depends on
15 what you want to do with the information; right; the
16 intended use is really going to drive your qualification.

17 And I would definitely encourage you to do that. I
18 mean we have to identify what this takes or are you using
19 this model to design a trial or to improve a drug or to
20 justify a dosing regimen or change indications to a
21 different population of patients. Those to me are
22 worthwhile objectives. I think the kind of approach that

0149

1 you're using in terms of disease modeling can help you to do
2 that, but the stakes are very different. Okay.

3 Not only that, depending on what your overall
4 objective is, you might be more worried about false
5 positives or false negatives. Okay. So it's not only that
6 the error rate per se, it's the direction of the error that
7 you might be worried about. How should you design the
8 trial? On the other hand, maybe you're worried about a
9 false positive?

10 Okay. And the second thing, given the fact that in my
11 mind what you're basically trying to do is use one data
12 method for risk assessment is think through what the
13 consequences are if you go wrong either way. In other
14 words, not only the error rate again, but how bad is that?
15 And this is something that depends on who looks at it. Is

16 it the sponsor or is it you? And that, to me, is as
17 important as the technical stuff that we've been talking
18 about -- a lot how to statistically modify, validate,
19 whatever the term you're going to use to these models; agree
20 on what the objectives are and what the stakes are, and that
21 has to be done in a prospective way. And then let the
22 horses run.

0150

1 DR. BARRETT: I think my answer to this is similar to
2 the first. You know I don't know that we've seen the
3 details of the approach. We've seen -- and I know you've
4 done a lot of work to qualify the Parkinson's disease model;
5 that's obvious. But in terms of what you would say is an
6 overall approach, I know you pointed out, Bob, the best
7 practices paper that came out before, and I'm assuming
8 that's, you know, what you're relying on here as far as that
9 goes, but if you're looking for feedback on what the
10 approach should be, I think you probably should have a --
11 and maybe after this meeting that you're having, there will
12 be more discussions about specifics. And we didn't get
13 behind the curtain to see all of the qualification work that
14 was done for this. But, you know, and it's obvious that
15 quite a bit of it was there in order for you to get that
16 far. Qualifying the model has to be there, so -- it's
17 obviously a key component of this. It has to be there.

18 The extent to which you show transparency for modeling
19 qualifications, though, is something you'd put out for
20 public distribution. Obviously, it's an important factor in
21 this as well. And again, I go back to the similar comments
22 -- qualification will probably have to be revisited as these

0151

1 models are similarly reevaluated.

2 CHAIRMAN VENITZ: Any other comments? Joga?

3 DR. GOBURRU: I would like to briefly mention our
4 philosophy to model qualification. Dr. Venitz, you have
5 indicated that this is the criteria for model qualification.
6 It's healthier to respecify our prospectively identify, and
7 it is different from what Dr. Barrett has said. And that's
8 our -- or actually it's to -- it's very hard to identify
9 prospectively how to validate a model. It has to be an
10 evolution of criteria.

11 So broadly, we do -- we use two mechanisms to qualify
12 models. One is the most powerful in my opinion mechanism,
13 so if we have the model for the fasting plasma glucose and
14 relating grades B and C, then the best validation you're
15 going to see in the parameters estimated are reasonable
16 accordance with the biological literature, signifying those
17 rates.

18 And the other type of validation is for -- which is
19 more important for empirical models is can you reproduce the
20 rate? And what happens when you per W receptions. So it's
21 that sense to the analysis, and these are the probably two
22 types of approaches we're embracing at this point.

0152

1 CHAIRMAN VENITZ: And I don't disagree with that, but
2 I'm saying you have to take a step above that, you know,
3 before you even get into this exercise. You have to

4 identify what your primary objective is. What the stakes
5 are? Which way you don't want to go wrong or what the
6 penalty is for being false positive or false negative? And
7 then decide what technical on the mechanistic side or the
8 empiric side support you need to justify that.

9 DR. GOBBURU: Well, we agree completely.

10 CHAIRMAN VENITZ: So I'm talking about more
11 philosophical than I am talking about technically. I mean.

12 DR. D'ARGENIO: Yeah, just to bring a couple of things
13 together. I understand what you're saying, but that's
14 extremely difficult, that kind of validation and let's make
15 it more concrete. Suppose you want to look at some of these
16 placebo and drop out models. You've got so much data here
17 that you can develop the model based on some of those data
18 and validate the instrument. You don't need to go out in
19 the literature and so, as Jurgen was saying, your validation
20 approach depends on the particular part of the model, the
21 particular application, and I would focus on that seemingly
22 simple task, but as I suggested before one that I think can

0153

1 have an extremely big impact on the industry. To put simple
2 models like that out there would help so many people and
3 then you can do the validation and it seems there's a lot of
4 ways to do that.

5 CHAIRMAN VENITZ: Any other comments? It looks like
6 question three has been answered in advance. So let's talk
7 about question number three: What appropriate forum does
8 the Committee suggest for sharing these advances with the
9 public? Bob.

10 DR. POWELL: What other -- I mean our thinking has
11 been evolving. I mean what -- today, what we were trying to
12 do is to use this forum to get the comments that we have
13 gotten from you and improve our practices, but also it's a
14 way, because we put the background package to you, and then
15 it's publicly available to then make this information
16 available generally. So that's sort of going into the
17 future one could imagine as we complete pieces of work like
18 this then being able to come back and do the same thing,
19 kind of like a disease-centric model that would be presented
20 to a committee like this. That's what I'm thinking.

21 DR. WATKINS: One thought is there is this new
22 clinical and translational science support network that two

0154

1 weeks ago the first round of winners were announced of all
2 the institutions that have bio -- strong biostatistics
3 components in the network, and one of the goals of the
4 network is to develop a national clinical research network
5 to do a multi-center trial, so that may be another, you
6 know, forum to both get feedback and to publicize and enact
7 new models and things like this.

8 DR. BARRETT: I think a couple points, but just maybe
9 to ask initially clarification on what the public is in your
10 question, because, you know, among the lay community, I
11 can't imagine this being the initial target, although an
12 appreciation -- I see your point as far as just starting the
13 dialogue and allow this material to be more visible. That's
14 all I think in the right vein.

15 As far as the sharing of the advances, one of the
16 things I know it's been said before is we should really find
17 a way to get to the specific critique areas so that this can
18 be appreciated at the level of the clinical research
19 community, and also I think you'll have always that
20 potential audience so the patient having it as a part of
21 that as well. So presenting this in the clinical
22 pharmacology community I think is, you know, we're somewhat
0155

1 like minded in this, and you're amongst the peer group, and
2 it's safe, but I think it's good from the standpoint of
3 evaluating what we're doing, but from the standpoint of
4 getting the appreciation of this advance within the public,
5 I would strongly encourage that as you develop these
6 targeted models in certain therapeutic areas to make sure
7 that they penetrate those communities.

8 DR. GOBBURU: Just a couple of comments. What is
9 obvious that we're doing and we continue to do is to use
10 these approaches to advise sponsors when they come in with
11 their questions regarding a protocol or some kind of an
12 issue. So that is going to be some kind of a public
13 sharing, because that sponsor will get benefit. But that's
14 -- actually the motivation for this question so that more
15 sponsors who are not knocking on the doors how can they
16 access this information.

17 The second comment is, you know, just to know we have
18 talked about the subsequent meeting to discuss Parkinson's
19 trial design endpoints and both criteria.

20 There is a conference that is being designed with the
21 three different players in this camp -- the clinical disease
22 experts, biostatisticians, clin pharm. And there will be
0156

1 mixture of people from this committee essentially, the
2 background of this committee as relates from the clinical to
3 comment, discuss the details of that modeling experience and
4 the recommendations. So I hope that's something what you're
5 implying.

6 DR. POWELL: Jeff, the public that I was referring to
7 is really the public of potential users in that -- and I --
8 going back to model qualification, I think you know you can
9 -- you should do as good a job as you can while you're
10 constructing the model, but you have to expect that a model
11 is going to change as new information becomes available and
12 as the community of users begins using it in whatever their
13 own situations are and then you have some sort of a
14 mechanism to learn, which gets to the second point of having
15 disease-centric meetings which get at how do you measure
16 change based on whatever the contemporary and historical and
17 contemporary technology provides opportunities for
18 measuring, you know, new -- coming up with new ways to
19 measuring change, and then adding that in a quantitative
20 way.

21 I think meetings where you mix the clinicians in
22 neurology or statisticians and clinical pharmacology will go
0157
1 around that -- those sorts of questions.

2 DR. KAROL: I think one of the best ways of reaching a

3 diverse public is to think about presenting a roundtable or
4 discussion at some of the meetings of professional
5 societies. There are some societies where you have both
6 academicians, physicians, and government together with
7 industry, and I think that plays a very informative type of
8 role and exposure.

9 DR. BARRETT: This is one other comment on in terms of
10 the communication part of this. I think some of the things
11 that Dr. O'Neil brought up in terms of activities that are
12 going on in parallel with respect to guidances are also very
13 important to communicate here. I mean this is the first
14 that I'm hearing of these things that work, which I think is
15 so clearly aligned with what you're doing on the
16 pharmacometric side. I think it's valuable. Certainly on
17 the sponsor side, they should know that this is coming, and
18 it's going to be something that they should anticipate and
19 also potentially have a stake in.

20 You know the public comment period that the guidance
21 will be there, and they will get a chance to evaluate, but
22 sometimes this occurs in such a tight window that you don't

0158

1 necessarily get the comments you could with a little bit
2 more foreshadowing when these things are going to occur.

3 DR. POWELL: The -- I should -- when Atul had laid out
4 the timeline over the last year and a half or whatever, that
5 they were doing this work, they've actually been primarily
6 doing NDA reviews and protocol assessments, and so this work
7 was done fitting it amongst what their primary job is, and
8 so the if -- I would say that one of the things that I've
9 been amazed at is kind of do this and the remarkable effect.

10 I mean it's like the main metric is different times, and if
11 you look at the different times, FDA must hit 99.5 percent
12 of the times. I mean it's really had a big effect on the
13 culture, and what we're talking here -- about here is coming
14 up with knowledge that affects quality and that the space
15 needs to be created for people to be able to do this sort of
16 work. And that the strain that people are under with the
17 multiple meetings makes this difficult. So I put that out
18 there as something that really needs to be addressed over
19 the long term.

20 CHAIRMAN VENITZ: Any other comments or questions?
21 Then I think since Dr. Lesko was unable to attend, Shiew-Mei
22 you want to give us?

0159

1 DR. HUANG: On behalf of Dr. Lesko, I'd like to thank
2 all Committee members for your excellent input on this very
3 important topic in the last day and a half, and we'll take
4 back your thoughtful comments and suggestions, while we
5 continue working on those. And I'd also like to thank the
6 FDA speakers, the invited speakers, and a lot of individuals
7 who helped develop the work that was presented in the past
8 one and half days, and I'd like to thank the Advisory Group,
9 especially Dr. Mimi Phan, for the fantastic and endless
10 reminders to make sure we're complying with the law and also
11 within our office, Dr. Fena Lee [ph.] for making sure that
12 we submit and encouraging all these paperwork and time, and
13 I thank you, Jurgen, for your excellent leadership for

14 meeting the time; and have a safe trip back. Thanks again.

15 CHAIRMAN VENITZ: Okay. Thank you, everyone. The

16 meeting is adjourned and have a safe trip home.

17 [Whereupon, at 12:30 p.m., the meeting of the Advisory
18 Committee was adjourned.]

19

20

21

22