

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

2254 98 SEP 22 P2:49

ONCOLOGIC DRUGS ADVISORY COMMITTEE

58TH MEETING

Pages 1 thru 160

Bethesda, Maryland
September 3, 1998

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

at.

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE
58TH MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Wednesday, September 3, 1998

8:00 a.m.

Holiday Inn Bethesda
Versailles I, II, III
8120 Wisconsin Avenue
Bethesda, Maryland

PARTICIPANTS

Janice Dutcher, M.D., Chairperson
Karen Templeton-Somers, Executive Secretary

MEMBERS

Kathy S. Albain, M.D.
E. Carolyn Beaman, Consumer Representative
Sallie Forman, Patient Representative (a.m.)
James Giddes, Patient Representative (p.m.)
David H. Johnson, M.D. (p.m.)
Kim A. Margolin, M.D. (a.m.)
Robert Ozols, M.D.
Richard L. Schilsky, M.D. (p.m.)
Richard Simon, D.Sc.

FDA

Rachel Behrman, M.D., M.P.H.
Isagani Chico, M.D. (a.m.)
Robert Justice, M.D.
Grant Williams, M.D.

C O N T E N T SPAGE**A.M. SESSION**

**NDA Supplement 20-571/S-08 Camptosar
(irinotecan hydrochloride injection)
Pharmacia & Upjohn**

Call to Order and Introductions: Janice Dutcher, M.D.	4
Conflict of Interest Statement: Karen Templeton-Somers, Ph.D.	6
Open Public Hearing	7
Sponsor Presentation	8
Study V301 and V302; Clinical Benefits of Camptosar: Langdon Miller, M.D.	
Questions from the Committee	30
FDA Presentation: Isagani Chico, M.D.	44
Questions from the Committee	62
Committee Discussion and Vote	64
(ODAC Discussants: James Krook, M.D. and Kim Margolin, M.D.)	
<p>NDA Supplement 20-451/S-003 Photofrin (porfimer sodium) for Injection QLT PhotoTherapies, Inc.</p>	
Call to Order and Introductions: Janice Dutcher, M.D.	69
Open Public Hearing	69
Sponsor Presentation	69
Regulatory History: Alexandra Mancini, M.Sc.	
Conflict of Interest Statement:	72
Karen Templeton-Somers, Ph.D.	
Sponsor Presentation (continued)	73
Efficacy and Safety: Harvey Pass, M.D.	74
Concluding Remarks: Alexandra Mancini, M.Sc.	109
Questions from the Committee	115

at.

4

C O N T E N T S(continued)

FDA Presentation: Grant Williams, M.D.	141
Questions from the Committee	151
Committee Discussion and Vote	153
(ODAC Discussants: David A. Johnson, M.D. and Richard L. Schilsky, M.D.)	
Adjournment	159

P R O C E E D I N G S

Call to Order and Introductions

1
2
3 DR. DUTCHER: Good morning. Welcome to Day 3. We
4 will go ahead and introduce the members of the committee.
5 Most of us have been here for a few days, but some are new.
6 For those of you in the audience who are new, we will
7 introduce the committee. I am Janice Dutcher from Albert
8 Einstein, medical oncologist.

9 DR. JUSTICE: Bob Justice, Acting Director,
10 Division of Oncology, FDA.

11 DR. WILLIAMS: Grant Williams, medical team
12 leader, FDA.

13 DR. SIMON: Richard Simon, National Cancer
14 Institute.

15 DR. MARGOLIN: Kim Margolin, City of Hope, Los
16 Angeles.

17 MS. FORMAN: Sallie Forman, patient
18 representative.

19 DR. TEMPLETON-SOMERS: Karen Somers, Executive
20 Secretary to the committee, FDA.

21 DR. ALBAIN: Kathy Albain, Loyola University,
22 Chicago.

23 DR. OZOLS: Bob Ozols, Fox Chase Cancer Center,
24 Philadelphia.

25 MS. BEAMAN: Carolyn Beaman, consumer advocate,

1 Sisters Breast Cancer.

2 DR. DUTCHER: Thank you.

3 We are now going to read a conflict of interest
4 statement.

5 **Conflict of Interest Statement**

6 DR. TEMPLETON-SOMERS: The following announcement
7 addresses the issue of conflict of interest with regard to
8 this meeting and is made a part of the record to preclude
9 even the appearance of such at this meeting. Based on the
10 submitted agenda for the meeting and all financial interests
11 reported by the participants, it has been determined that
12 all interests in firms regulated by the Center for Drug
13 Evaluation and Research which have been reported by the
14 participants present no potential for a conflict of interest
15 at this meeting with the following exceptions.

16 Dr. Richard Schilsky and Dr. David Johnson are
17 excluded from participating in today's discussion and vote
18 concerning Camptosar. In addition, because of his past
19 involvements with respect to Camptosar, Dr. James Krook will
20 be permitted to participate in the committee's discussions
21 of Camptosar. However, he is excluded from voting and he
22 also is a victim of the Northwest Airline strike, so he is
23 not here.

24 In addition, we would like to disclose, for the
25 record, that Dr. Robert Ozols' employer, the Fox Chase

1 Cancer Center, has an interest in Pharmacia & Upjohn which
2 does not constitute a financial interest in the particular
3 matter within the meaning of 18 USC 208 but which could
4 create the appearance of a conflict.

5 The agency has determined, notwithstanding this
6 interest, that the interest in the government in Dr. Ozols'
7 participation outweighs the concern that the integrity of
8 the agency's programs and operations may be questioned.
9 Therefore, Dr. Ozols may participate fully in today's
10 discussion and vote concerning Camptosar.

11 In the event that the discussions involve any
12 other products or firms not already on the agenda for which
13 an FDA participant has a financial interest, the
14 participants are aware of the need to exclude themselves
15 from such involvement and their exclusion will be noted for
16 the record.

17 With respect to all other participants, we ask, in
18 the interest of fairness, that they address any current or
19 previous involvement with any firm whose products they may
20 wish to comment upon.

21 Thank you.

22 DR. DUTCHER: Thank you.

23 **Open Public Hearing**

24 DR. DUTCHER: We have no one who has requested to
25 speak in advance at the open public hearing. We do have

1 time, if there is anyone in the audience who has come to
2 speak.

3 If there is no one, then we will proceed with the
4 sponsor's presentation.

5 **Sponsor's Presentation**

6 **Study V301 and V302: Clinical Benefits of Camptosar**

7 DR. MILLER: Good morning.

8 [Slide.]

9 My name is Langdon Miller. I am here representing
10 oncology drug development at Pharmacia & Upjohn. I would
11 like to share with you today important efficacy and safety
12 information regarding the use of CPT-11, also known as
13 irinotecan or Camptosar, for use in the therapy of
14 colorectal cancer.

15 The data I will describe are presented in support
16 of changing the U.S. CPT-11 registration from an accelerated
17 approval status to full regulatory approval.

18 [Slide.]

19 Within the presentation today, I would first like
20 to provide you with background information relating to the
21 worldwide development of CPT-11 and its current regulatory
22 status in the United States. In addition, I would like to
23 describe the phase II U.S. and European trials that will be
24 the basis for initial approval.

25 Thereafter, the primary focus of my remarks will

1 be in results from two Rhone-Poulenc-Rorer-sponsored phase-
2 III, randomized, controlled clinical trials in patients with
3 previously treated colorectal cancer. These studies
4 directly document the clinical benefits of CPT-11.

5 [Slide.]

6 By way of background, it is important to
7 understand that CPT-11 has undergone worldwide clinical
8 development by four independent companies. In Japan, Yakult
9 Honsha and Daiichi have obtained registration of CPT-11 for
10 several tumor types including colorectal cancer. Rhone-
11 Poulenc Rorer has developed the drug in Europe, Asia and
12 Africa primarily for the treatment of colorectal cancer.

13 Development in the United States has been
14 conducted by Pharmacia & Upjohn and has also focused on the
15 use of CPT-11 as an active agent in the treatment of
16 colorectal cancer.

17 As a component of CPT-11 licensing, these four
18 companies have agreed to share clinical-trials data. The
19 results of the phase III studies that I will present today
20 have been provided by Rhone-Poulenc Rorer to Pharmacia &
21 Upjohn under this data-sharing agreement.

22 [Slide.]

23 On June 14, 1996, Pharmacia & Upjohn received an
24 accelerated or conditional approval from the FDA to market
25 CPT-11. This approval came after documentation that CPT-11

1 could induce tumor responses. The specific indication for
2 use of CPT-11 was as treatment for patients with metastatic
3 carcinoma of the colon or rectum whose disease had recurred
4 or progressed following first-line 5-FU-based therapy.

5 [Slide.]

6 The primary basis for this approval was the
7 conduct of three U.S. studies in which CPT-11 treatment was
8 given in repeated six-week courses comprising weekly and 90-
9 minute infusions for four weeks followed by a two-week rest.
10 The recommended starting dose derived from these trials was
11 125 mg/m².

12 At the same time, our colleagues in Europe
13 developed a different regime for use in phase II and II
14 trials of CPT-11 as second-line therapy of colorectal
15 cancer. Patients enrolled in these studies were treated
16 with an every-three-week regimen of CPT-11 given at a
17 starting dose of 350 mg/m².

18 [Slide.]

19 This slide describes the overall results of the
20 U.S. and European experience from these phase II studies
21 juxtaposing the efficacy endpoints observed with the
22 recommended weekly dose and regimen with those seen in two
23 RPR-sponsored studies using the every-three-week starting
24 dose and regimen.

25 It is evident that very similar intent-to-treat

1 response rates, median times to tumor progression and one-
2 year survivals were seen with both dose regimens.

3 [Slide.]

4 The primary CPT-11-related adverse events and both
5 the U.S. and European phase II experience were diarrhea and
6 neutropenia. Grade 3/4 frequencies of these toxicities were
7 observed at generally analogous levels in both the U.S. and
8 the European trials.

9 [Slide.]

10 In summary, these phase II data indicate that
11 administration of CPT-11 with either the weekly or every-
12 three-week schedules results in similar efficacy outcomes
13 and comparable toxicities. The results suggest no evidence
14 of schedule dependency.

15 [Slide.]

16 It was these pivotal U.S. results and supporting
17 European data that met the initial requirement for
18 accelerated FDA approval because they demonstrated that CPT-
19 11 has consistent antitumor activity and manageable
20 toxicities in multiple studies conducted in patients with a
21 life-threatening illness for which no effective treatment
22 had existed.

23 A confirmatory control trial to document CPT-11
24 clinical benefit in colorectal cancer was required to obtain
25 full approval.

1 [Slide.]

2 RPR sponsored two randomized, phase III
3 international trials that directly document CPT-11 benefit
4 in the second-line therapy of colorectal cancer. RPR has
5 shared these data with Pharmacia & Upjohn. Pharmacia &
6 Upjohn proposes that these trials now form the basis for
7 full CPT-11 approval.

8 I would now like to describe to you the results of
9 the RPR-sponsored trials, V301 and V302.

10 [Slide.]

11 Study V301 was a phase II trial that evaluated the
12 benefit of giving CPT-11 versus best supportive care in the
13 second-line therapy of patients with previously treated
14 colorectal cancer. The results of this trial were presented
15 at the ASCO plenary session by Dr. David Cunningham of the
16 Royal Marsden Hospital in the United Kingdom. Dr.
17 Cunningham is here with us today to assist in answering any
18 questions that you may have.

19 [Slide.]

20 The trial was a large multicenter effort. The
21 trial was conducted in locations where active second-line
22 chemotherapy of colorectal cancer was not necessarily
23 considered the standard of care. Patients were enrolled
24 between September, 1995 and November, 1996 in eleven
25 countries at 48 study sites.

1 [Slide.]

2 Patients were assigned to treatment with CPT-11
3 plus best supportive care or best supportive care only in a
4 two-to-one randomization. Patients randomized to the CPT-11
5 arm were to be treated with 350 mg/m² of CPT-11.

6 By amendment to their study after it was under
7 way, patients older than 70 years of age and those with
8 performance status of 2 were to begin treatment with CPT-11
9 doses of 300 mg/m² because it was felt that these patients
10 might better tolerate a somewhat lesser starting dose.

11 Patients in the CPT-11 were permitted to receive additional
12 chemotherapy after cessation of CPT-11 treatment.

13 Patients assigned to the best supportive care arm
14 could receive antibiotics, analgesics, transfusions,
15 steroids, counseling and other palliative care as needed.
16 These patients were allowed to receive chemotherapy if that
17 was consistent with institutional guidelines for application
18 of supportive care.

19 [Slide.]

20 The hypothesis of the study was that the use of
21 CPT-11 would be associated with a 15 percent approval in
22 one-year survival. Differences in survival were to be
23 tested by means of a two-tailed log-rank test. At least 264
24 patients were required to meet study objectives.

25 [Slide.]

1 The primary endpoint of this study was survival.
2 Secondary endpoints included additional measures of clinical
3 benefit, time to first performance status deterioration,
4 time to first weight loss, symptom-free survival, pain-free
5 survival and, also, patient quality of life as measured by
6 the validated EORTC QLQ-C30 questionnaire.

7 [Slide.]

8 Patients in both groups were assessed every three
9 weeks up to one year for these endpoints. After one year,
10 information regarding survival was collected.

11 [Slide.]

12 To be included in this trial, patients were
13 required to have: histologically proven colorectal cancer;
14 metastatic disease; a WHO performance status of 0, 1 or 2;
15 and no more than two prior 5-FU regimens for metastatic
16 disease.

17 Patients were to have documented progression of
18 disease either while on 5-FU or within six months after the
19 last 5-FU infection. Adequate organ function was required.
20 Patients were permitted to have had prior radiotherapy.

21 [Slide.]

22 Altogether, 279 patients were felt to meet
23 eligibility criteria and were randomized. 198 were assigned
24 to treatment with CPT-11 and 90 were assigned to treatment
25 with best supportive care. Of note, six patients never

1 received study drug, patients who had been assigned to
2 receive CPT-11. However, these patients are included in all
3 analyses as part of the intent-to-treat study population.

4 [Slide.]

5 There was a predominance of males enrolled in both
6 arms of the study. The median ages were similar. The
7 majority of patients had tumor-related symptoms at baseline,
8 both as documented by a performance status of 1 or 2 and as
9 confirmed by specific review of baseline symptoms.
10 Approximately one-tenth of patients in both groups had
11 experienced obvious weight loss prior to enrollment.

12 Of note, there was a statistically significant
13 difference in performance status between the two groups with
14 patients in the CPT-11 arm having better overall baseline
15 performance status.

16 [Slide.]

17 Disease-related characteristics were well-
18 balanced. As might be expected, given the epidemiology of
19 the disease, there was a predominance of primary tumors of
20 the colon. A majority of patients in both groups had
21 metastases to two or more organ sites. The most common site
22 of metastatic disease was the liver.

23 [Slide.]

24 Patients were assessed for baseline laboratory
25 parameters with potential predictive value for outcome

1 including hemoglobin, white blood-cell count and serum
2 values of lactate dehydrogenase, alkaline phosphatase and
3 CEA.

4 The populations were generally well balanced
5 except that a significantly higher proportion of patients in
6 the best supportive care arm were anemic as defined by a
7 baseline hemoglobin value of less than 11 g/dL. Of note,
8 there was no statistically significant difference in the
9 mean hemoglobin value between these groups.

10 [Slide.]

11 With regard to prior local therapies, almost all
12 patients had undergone prior surgery and approximately one-
13 quarter of those in each group had received prior radiation
14 therapy.

15 [Slide.]

16 100 percent of the patients had received prior 5-
17 FU-based chemotherapy. The vast majority of patients had
18 received 5-FU in the palliative setting. When documented,
19 bolus and infusional forms of 5-FU had been given in similar
20 proportions. Objective response to prior 5-FU was reported
21 as 23 percent in those patients assigned to CPT-11 and
22 32 percent in the patients receiving best supportive care.

23 [Slide.]

24 Documentation of disease progression prior to
25 study enrollment was present in virtually all patients. The

1 substantial majority of patients had progressed while
2 actually receiving 5-FU or within six months of the last 5-
3 FU therapy. As a consequence, the median times from the
4 last 5-FU to randomization and from date of progression to
5 randomization were short in both groups.

6 . Of note, a small minority of patients had a rising
7 CEA as their only documentation of disease progression prior
8 to study enrollment. However, this baseline characteristic,
9 as with other prior treatment characteristics, was well-
10 balanced in both the CPT-11 and best supportive care groups.

11 [Slide.]

12 Overall, treatment administration while on CPT-11
13 therapy was excellent with a median dose intensity of
14 95.8 percent. Of the 1,154 courses of CPT-11 that were
15 administered, only 4.9 percent were reduced and only
16 13.6 percent were delayed. The median duration of CPT-11
17 therapy was 4.1 months but ranged as high as 12.6 months.

18 [Slide.]

19 With a median follow up of 13 months, median
20 survival was 9.2 months in the CPT-11 group and 6.5 months
21 in the best supportive care group. The one-year survival
22 was 36 percent with CPT-11 and 14 percent in those patients
23 receiving best supportive care.

24 The difference in overall survival was highly
25 statistically significant with a p-value of 0.0001.

1 [Slide.]

2 This slide shows survival for each of the baseline
3 performance status categories. In each subpopulation of
4 patients, whether the baseline performance status was 0, 1
5 or 2, CPT-11 treatment was always associated with better
6 survival.

7 [Slide.]

8 As planned in the protocol, a multiple regression
9 analysis was performed to evaluate the effect of treatment
10 in the context of assessing the effects of other baseline
11 variables on survival. As shown on this slide, when these
12 baseline characteristics, including performance status and
13 hemoglobin, were taken into account, CPT-11 treatment was
14 still highly significantly associated with improved survival
15 with a p-value of 0.001.

16 [Slide.]

17 Additional measures of clinical benefit were
18 secondary endpoints in this study and revealed CPT-11 to be
19 consistently associated with improved outcomes; time until
20 performance status deterioration--

21 [Slide.]

22 Time until the occurrence of weight loss greater
23 than 5 percent--

24 [Slide.]

25 And time to onset of pain, were all significantly

1 improved with CPT-11 therapy.

2 [Slide.]

3 As expected, the most common grade 3/4 adverse
4 events observed among patients receiving CPT-11 were
5 neutropenia and diarrhea. Neutropenic fever was seen in
6 only 3 percent of patients treated with CPT-11. Vomiting
7 and cholinergic symptoms were more often seen in patients
8 receiving CPT-11.

9 Of interest, grade 3 asthenia and abdominal pain,
10 events often attributed to CPT-11, were actually equally
11 common in patients receiving best supportive care suggesting
12 that these problems may often be related to the underlying
13 tumor.

14 Only 5 percent of patients discontinued CPT-11
15 therapy due to adverse events. The most common such events
16 were diarrhea, asthenia, and nausea and vomiting. Two
17 patients died of events, one patient with grade-4 diarrhea
18 and asthenia and the other with neutropenic sepsis that were
19 considered to be potentially drug-related.

20 [Slide.]

21 An additional analysis performed as a component of
22 this study was a formal assessment of quality of life using
23 patient-completed EORTC QLQ-C30 questionnaires. Compliance
24 in completing the quality-of-life questionnaires was
25 excellent at over 70 percent in both arms of the study and

1 was comparable between the arms of the study.

2 [Slide.]

3 Evaluation of the global health-status quality-of-
4 life scale during the study revealed that, on average, CPT-
5 11-treated patients assessed quality of life as being
6 continuously better than did patients receiving best
7 supportive care. And this result was highly statistically
8 significant.

9 [Slide.]

10 One method of comparing the two groups was to
11 assess the worst patient quality-of-life score during the
12 study. Such an analysis revealed significantly improved
13 global quality of life in patients receiving CPT-11. All of
14 the QLQ-C30 functional scales favored CPT-11.

15 Cognitive, social, physical and role functioning
16 were highly significantly improved in the CPT-11-treated
17 patients as depicted by the higher scores on this graph.

18 [Slide.]

19 When analyzing symptom scales that are also a part
20 of the EORTC instrument, where, it should be noted, higher
21 scores are worse, patients receiving CPT-11 noted
22 significantly less fatigue, appetite loss, pain,
23 constipation and dyspnea than did patients receiving best
24 supportive care.

25 Insomnia and nausea and vomiting were not

1 appreciably different between the groups. As expected,
2 diarrhea was significantly more likely to be noted as a
3 quality-of-life concern with CPT-11 therapy than with best
4 supportive care.

5 [Slide.]

6 In conclusion, second-line CPT-11 treatment
7 significantly prolongs survival, controls tumor-related
8 symptoms and improves quality of life. In other words, the
9 results of this important study demonstrate that active
10 anticancer treatment with CPT-11 is a better option than
11 just symptom-directed therapy in patients with previously
12 treated colorectal cancer.

13 [Slide.]

14 Next, I would like to describe for you the results
15 of the companion study to V301. Study V302 was a phase-III
16 trial that evaluated the benefit of giving CPT-11 versus
17 infusional 5-FU-based chemotherapy, again as second-line
18 treatment of patients with previously treated colorectal
19 cancer.

20 The results of this trial were presented at ASCO
21 this year by Dr. Eric Van Cutsem of the University Hospital
22 at Leuven in Belgium. Dr. Van Cutsem is also here with us
23 today to assist in answering your questions.

24 [Slide.]

25 As for study V301, this trial was also a large,

1 multicenter effort. It is important to note that the trial
2 was conducted at different sites from those that were
3 involved in study V301. At these centers, the prevailing
4 philosophy was to routinely provide chemotherapy as a
5 component of a second-line treatment of colorectal cancer.
6 Patients were enrolled between September, 1995 and July,
7 1996 in eleven countries at 46 study sites.

8 [Slide.]

9 Patients participating in V302 were assigned to
10 treatment with CPT-11 or infusional 5-FU in a one-to-one
11 randomization. Patients were stratified by study site and
12 performance status in this trial.

13 Patients randomized to CPT-11 were to be treated
14 with 350 mg/m² of CPT-11. From the beginning of the study,
15 patients older than 70 years of age and those with
16 performance status of 2 were to begin treatment at CPT-11
17 doses of 300 mg/m². Patients in the CPT-11 group were
18 permitted to receive additional chemotherapy after cessation
19 of CPT-11 treatment.

20 [Slide.]

21 Patients assigned to receive 5-FU were to be
22 treated with one of three commonly used infusional 5-FU
23 regimens. These are often designated at the DeGramont,
24 Lokich and AIO treatment regimens.

25 Participating institutions were allowed to select

1 two of the three regimens for use in the patients treated at
2 that site. This practice allowed treating physicians to
3 choose an on-study 5-FU regimen that was different from that
4 previously used in the same patient before enrollment.

5 [Slide.]

6 The hypothesis of the study was that use of CPT-11
7 would be associated with a 15 percent improvement in one-
8 year survival. Differences in survival were to be tested by
9 means of a two-tailed log rank test. In this study, at
10 least 250 patients were required to meet study objectives.

11 [Slide.]

12 The primary endpoint of this study was also
13 survival. Secondary endpoints included additional measures
14 of clinical benefit and also patient quality of life as
15 measured by the EORTC instrument.

16 [Slide.]

17 Patients in both groups were assessed every three
18 to five weeks for up to one year depending up on the regimen
19 they were receiving. After one year, information regarding
20 survival was collected.

21 [Slide.]

22 The entry requirements for V302 were similar to
23 those for V301 except that patients could have had no more
24 than one prior regimen for metastatic disease whereas in
25 study V301, up to two prior palliative regimes were allowed.

1 [Slide.]

2 Altogether, 267 patients met eligibility criteria
3 and were randomized. 133 were assigned to treatment with
4 CPT-11 and 124 to 5-FU. Six patients randomized to receive
5 CPT-11 never received study drug and five patients
6 randomized to receive 5-FU also never received study drug.

7 As a consequence, 127 patients were actually
8 treated with CPT-11 and 129 patients were treated with 5-FU.
9 As designated in the protocol, these patients who were
10 actually treated with study drug were those included in all
11 of the analyses on the study.

12 [Slide.]

13 In study V302, the treatment groups were well-
14 balanced in terms of baseline patient characteristics
15 including gender, median age, WHO performance status,
16 symptom review and prior weight loss before study.

17 [Slide.]

18 Disease-related characteristics, including the
19 primary site, number of organs involved, and metastatic
20 sites were also well-balanced.

21 [Slide.]

22 Baseline laboratory parameters with potential
23 predictive value for outcome were well-balanced except for
24 the significantly higher proportion of patients in the 5-FU
25 group had the better prognostic parameter of higher white

1 blood-cell counts.

2 [Slide.]

3 Almost all patients had undergone primary surgery
4 and approximately one-fifth in each group had received prior
5 radiation therapy.

6 [Slide.]

7 Again, 100 percent of patients in both groups had
8 received prior 5-FU-based chemotherapy. The vast majority
9 of these patients had received 5-FU in the palliative
10 setting, usually with a bolus regimen of 5-FU treatment.
11 Response to 5-FU was similar in the two treatment groups.

12 [Slide.]

13 Documentation of disease progression prior to
14 study enrollment was also present in virtually all patients
15 in this study. As in study V301, a small majority of
16 patients had rising CEA as the only evidence of disease
17 progression. Again, all treatment characteristics were
18 quite well-balanced between the two treatment groups.

19 [Slide.]

20 Overall CPT-11 treatment administration in
21 study V302, as for study V301, was excellent resulting in a
22 relative median dose intensity of 96 percent. Median
23 relative dose intensity for each of the three 5-FU treatment
24 regimens was universally greater than 80 percent.

25 The median treatment duration with CPT-11 was

1 4.2 months, and 5-FU was 2.8 months. This difference was
2 statistically significant.

3 [Slide.]

4 With a median follow up of fifteen months, median
5 survival was 10.8 months with CPT-11 and 8.5 months with 5-
6 FU. The one-year survival was 45 percent with CPT-11 and 32
7 percent with 5-FU. The difference in overall survival when
8 analyzed for the treated population of patients was
9 statistically significant, with a p-value of 0.035.

10 [Slide.]

11 A multiple regression analysis was performed to
12 evaluate the effect of treatment in the context of assessing
13 the effects of other baseline variables on survival. As
14 shown on this slide, when these baseline patient
15 characteristics were taken into account, CPT-11 treatment
16 was even more significantly associated with improved
17 survival with a p-value of 0.017.

18 [Slide.]

19 Time to tumor progression was also significantly
20 improved with the CPT-11-treated patients as compared to
21 those receiving infusional 5-FU. Respective median times to
22 tumor progression were 4.2 months and 2.9 months for the two
23 groups. A log rank comparison of the time to tumor
24 progression in the two study arms was statistically
25 significant with a p-value of 0.03.

1 [Slide.]

2 A trend toward improved pain-free survival was
3 also observed in patients receiving CPT-11, patients on this
4 study, with a p-value of 0.06.

5 [Slide.]

6 Again, the most common grade 3/4 adverse events
7 observed among patients receiving CPT-11 were diarrhea and
8 neutropenia. Vomiting and cholinergic symptoms were also
9 more often seen in patients receiving CPT-11. Asthenia and
10 abdominal pain were again distributed similarly between the
11 two groups as had been observed in study V301. Severe
12 mucositis and cutaneous toxicities were infrequent in either
13 arm but were more often associated with 5-FU-based
14 treatment.

15 10 percent of patients in the CPT-11 group and
16 6 percent of patients in the 5-FU group discontinued study
17 treatment due to adverse events. The most common reason for
18 discontinuing therapy was diarrhea for both treatment
19 groups. No CPT-11-related deaths were observed. There was
20 one potentially treatment-related death in the 5-FU group.
21 This was attributed to 5-FU-induced diarrhea.

22 [Slide.]

23 As in study V301, quality of life in study V302
24 was also formally assessed with the EORTC QLQ-C30
25 instrument. Compliance in completing the quality-of-life

1 questionnaires was good and was well-balanced in the two
2 study arms.

3 [Slide.]

4 Evaluation of the global health status quality-of-
5 life scale during the course of the study revealed that, on
6 average, CPT-11-treated patients and 5-FU-treated patients
7 had similar quality of life.

8 [Slide.]

9 A comparison of the worst patient quality-of-life
10 score during the study revealed similar global quality of
11 life and functioning during the trial.

12 [Slide.]

13 Quality of life symptom parameters were generally
14 similar except that patients receiving CPT-11 noticed
15 significantly more diarrhea and nausea and vomiting than did
16 patients receiving 5-FU.

17 [Slide.]

18 In conclusion, the combined results of this study
19 demonstrate that second-line CPT-11 treatment significantly
20 prolongs survival and time to tumor progression when
21 compared to use of intensive second-line infusional 5-FU and
22 that CPT-11 provides this survival benefit with a similar
23 quality of life.

24 [Slide.]

25 In final summary, the data presented to you today

1 document that CPT-11 safely prolongs survival, controls
2 symptoms and provides quality-of-life benefits to patients.
3 These results validate the ODAC and FDA decision of 1996
4 granting accelerated approval for CPT-11. The positive
5 clinical benefit data from these two well-controlled studies
6 clearly support full approval of CPT-11.

7 [Slide.]

8 It is also clear that CPT-11 has consistent
9 activity when given in either a weekly or an every-three-
10 week dosing regimen with similar efficacy outcomes and
11 comparable toxicity profiles. The proposed modifications to
12 the package insert provide documentation of the
13 risk/benefit, dose modifications and supportive care for
14 both of these commonly used regimens.

15 Inclusion of a description of both treatment
16 schedules is the safest method for enhancing patient and
17 physician flexibility in managing this life-threatening
18 disease.

19 [Slide.]

20 Thank you for your attention. My colleagues at
21 Pharmacia & Upjohn and at Rhone-Poulenc Rorer, as well as
22 Dr. Cunningham, Dr. Van Cutsem and I, would be pleased to
23 answer any questions you may have. U.S. investigators with
24 considerable experience in the development of CPT-11,
25 including Drs. Goldberg, Pazdur, Rothenberg and Saltz, are

1 also present to address your queries.

2 DR. DUTCHER: Thank you.

3 **Questions from the Committee**

4 DR. DUTCHER: Do we have questions from the
5 committee for the sponsor?

6 DR. MARGOLIN: I would like you to spend a little
7 bit of time telling us about the diarrhea syndromes that are
8 associated with CPT-11, the early diarrhea, what is called
9 late diarrhea, and whether there is an even later diarrhea
10 such as a mucositis type of effect and whether that has any
11 bearing on optimal schedules for approval or for use.

12 DR. MILLER: There are two potential forms of
13 diarrhea associated with CPT-11 use. The most common and
14 most clinically significant is a cytotoxic diarrhea, so-
15 called late diarrhea, that occurs consistent with a
16 cytotoxic pattern of injury to the bowel.

17 [Slide.]

18 As depicted here, this is basically a plot of
19 frequency of diarrhea by day. Over several courses of
20 therapy, one sees a cytotoxic pattern of injury, in essence.

21 There is a second form of diarrhea that can be
22 seen with CPT-11 and that is a cholinergic diarrhea. It is
23 actually quite infrequent, at least in a serious form. More
24 commonly, patients have abdominal cramping, diaphoresis,
25 lacrimation as symptoms associated with cholinergic events

1 with CPT-11.

2 That is thought to be mediated by CPT-11, the
3 parent drug, itself. High peak levels during infusion
4 during infusion seem to cause this syndrome. It actually
5 has anticholinesterase activity.

6 DR. MARGOLIN: So would weekly dosing with
7 expected lower peak doses be likely to cause that problem
8 less frequently?

9 DR. MILLER: Yes; it would. In fact, in looking
10 at the weekly schedules, the cholinergic symptom was not
11 systematically quantified in the early studies. But if one
12 looks at the first day of dosing, for instance, about
13 22 percent of patients will have some cholinergic syndrome.
14 This rises to closer to 50 to 60 percent with 350 mg/m².

15 Generally, symptoms are mild and it has been found
16 that use of atropine in low doses, either subcutaneously or
17 intravenously, will moderate these symptoms. In practical
18 fact, in studies study V301 and study V302, prophylactic
19 atropine was used, particularly in patients who had had
20 cholinergic symptoms in an early course, and it was found to
21 be quite effective.

22 In courses where prophylactic atropine was used,
23 the rate of any grade of early diarrhea--again, most of
24 these are low-grade events--fell from 22 percent without
25 prophylaxis to 11 percent with.

1 DR. MARGOLIN: One last thing on the same theme.
2 This later cytotoxic diarrhea pattern that, I guess--I would
3 like to understand whether that is similar to 5-FU or--I
4 think there was a report recently in the JCO about studying
5 the mechanisms, and there is some secretory aspect of this
6 mechanism. Does it occur earlier? In what ways is it
7 different than what we oncologists think of as fairly
8 typical gut toxicity from some of the drugs we use?

9 DR. MILLER: It is probably quite comparable to 5-
10 FU-induced diarrhea in terms of its general pathophysiology.
11 Probably 5-FU-induced diarrhea has best been characterized
12 in a paper that was actually published in 1962 where
13 patients underwent routine endoscopy after receiving 5-FU.
14 The pattern of induction of cytotoxic damage to the mucosa
15 was quite consistent and started to come on about day 8 or
16 so, rose and peaked around the second week after treatment,
17 and then fell off.

18 It is a very similar situation with CPT-11.

19 MS. FORMAN: Just to follow up on that. In terms
20 of your plans for either labeling or package inserts for
21 patients and doctors, are you going to be able to give some
22 expectation as to what the patient might experience in
23 diarrhea?

24 DR. MILLER: Yes. We have gone to considerable
25 lengths to try to educate patients, themselves. We have

1 prepared patient handouts that we have been told are quite
2 appreciated by clinic staff in terms of educating patients.
3 Physicians and nurses have been provided with instructional
4 materials.

5 The other thing that we have done is to try to
6 encourage provision of loperamide when the patient is first
7 seen. So the patient goes home from the clinic with
8 loperamide to specifically use as therapy for the diarrhea
9 so that they are not at home with just a prescription and no
10 medication to counter the diarrhea.

11 Early institution of loperamide clearly helps this
12 syndrome with quick application at the first sign of loose
13 stools or an increased frequency in stools. Many patients
14 have slight loose stools but then, actually, don't go on to
15 even develop grade 1 diarrhea and can ameliorate this
16 syndrome quite quickly. It is patients who, perhaps, don't
17 adhere to those guidelines as readily who have some more
18 protracted diarrhea.

19 DR. SIMON: First, I would like to compliment you
20 or, perhaps, RPR on two really excellently designed and
21 analyzed studies. I think this committee struggles a lot
22 with making decisions in settings where we really don't have
23 good clinical trials. I think these are examples of
24 exemplary clinical trials.

25 I had a couple of small questions. One, although

1 I don't think it matters, what were the response rates in
2 the CPT-11 arm?

3 DR. MILLER: The response rates were not
4 systematically characterized in either trial. They were not
5 characterized at all in study V301. In study V302, the
6 response-rate assessment was according to institutional or
7 the kind of standard of practice outside of the study and
8 so, I think, was really fairly meaningless.

9 DR. SIMON: The other question was in study V301,
10 did you do any analyses of survival without pain
11 deterioration or survival without performance-status
12 deterioration or survival without quality-of-life
13 deterioration adjusted for the performance status imbalance
14 prior regression, the way you did for--

15 DR. MILLER: In terms of the quality of life, yes,
16 a look a changes from baseline was performed. Most of the
17 endpoints were still statistically significant. When one
18 looks at global health status and cognitive function, it was
19 significantly better when looking at a change from baseline.

20 Pain, dyspnea and appetite loss were also
21 significant better in those receiving CPT-11 while diarrhea
22 was less commonly noted in those receiving best supportive
23 care. So the results tended to corroborate, just looking at
24 absolute scores, when one looked at changes from baseline.

25 DR. SIMON: That is not actually what I meant, but

1 it does provide--

2 DR. MILLER: In terms of an adjusted analysis.

3 DR. SIMON: You could have a time to event where
4 the event is either death or deterioration of score and use
5 a Cox model to adjust for performance status the same way
6 you did on survival.

7 DR. MILLER: This wasn't done.

8 DR. OZOLS: I also want to commend you on coming
9 back to the committee and the FDA in a timely manner after
10 accelerated approval. I think that is commendable.

11 I want to focus a little bit on the poor-
12 performance group of patients in PS2, over-70 patients.
13 That is the group of patients frequently, of course, that
14 don't enter trials but are treated in a community situation.
15 And you dose-reduced on that group of patients.

16 A couple of questions. Did you see, really, more
17 toxicity in the PS2 and over-70 group of patients? And
18 should you really be dose-reducing in that group of
19 patients?

20 DR. MILLER: Let me show you some data that may
21 address that point. It is the survival curves.

22 [Slide.]

23 Looking at diarrhea, in study V301, the
24 institution of the lower-starting dose level began after the
25 study had started. As a consequence, about 70 percent of

1 the patients above the age of 70 or with performance status
2 of 2 actually received the 350 mg starting dose. This graph
3 depicts the overall incidence of diarrhea, grades 1, 2, 3
4 and 4. Here is the grade 3/4. It is split by 65 years of
5 age instead of 70 because that makes it comparable with what
6 we did in the pivotal trials here in the U.S. where the
7 split was at 65.

8 As you can see, in the first course of treatment,
9 the rate of grade 3/4 diarrhea was about double in the older
10 patients.

11 [Slide.]

12 When one looks in study V302 where 90 percent of
13 the patients over the age of 70 and with poor performance
14 status received 300 mg/m², you can see an evening out in
15 the grade 3/4 diarrhea so, perhaps, an indication that this
16 had some positive effect in the early courses where diarrhea
17 is most common.

18 [Slide.]

19 The other thing that we looked at was the survival
20 by CPT-11 starting dose in this trial. As you can see,
21 there is no difference whether patients began treatment at
22 300 or 350 mg/m². The same result was observed when one
23 looks at these same data, 300 versus 350 in patients on
24 study V302.

25 DR. ALBAIN: So, to follow that up, what is your

1 sense now, in terms of what you would like to recommend for
2 the fit elderly, so to speak? Are you going to recommend
3 the lower dose?

4 DR. MILLER: At this time, the provisional changes
5 to the package insert recommend that patients with age over
6 70, performance status of 2, and prior pelvic radiotherapy
7 receive 300 mg/m² as the starting dose.

8 DR. ALBAIN: I guess I still haven't seen the data
9 to convince me that just because someone is over 70, they
10 should start at a lower dose. Might it be the other
11 competing comorbidities that they have, the performance
12 status, for example, or other symptoms?

13 DR. MILLER: It could well be. There are data
14 from the phase II studies from the original pivotal phase II
15 studies that indicate that age was a significant risk factor
16 for a greater likelihood of diarrhea. In an RPR phase II
17 study, an initial multiple regression analysis showed that
18 that, and poor performance status, were associated with
19 greater toxicities. So there has been this previous
20 evidence that was the basis for use of this lower dose in
21 these trials. Our assumption was that these trials
22 represent the database for use of this regimen and so,
23 therefore, this dose might be recommended.

24 One other thing that is going on is that we are
25 trying to determine whether there are better ways to predict

at.

1 toxicity using approaches--looking at CPT-11 metabolism and,
2 perhaps, we can refine our dosing better based on some
3 better predictors.

4 Dr. Schaff, would you like to comment on that?

5 DR. SCHAFF: Larry Schaff from Clinical
6 Pharmacokinetics at Pharmacia & Upjohn. I should mention
7 that CPT-11 is metabolized into an active metabolite called
8 SN38. SN38 is approximately a thousand-fold more potent
9 than CPT-11 in in vitro and in vivo tests in terms of
10 cytotoxicity.

11 SN38 is glucuronidated to an inactive metabolite
12 called SN38 glucuronide and it has recently been shown that
13 the enzyme that is responsible for that conversion is an
14 enzyme called glucuronal transferase and, more specifically,
15 an isoform called UGT1A1.

16 What is interesting is this particular enzyme is
17 also responsible for glucuronidating bilirubin and,
18 consequently, there is some belief that those individuals
19 who have, let's say, Gilbert's syndrome which have a genetic
20 defect in their ability to glucuronidate bilirubin will also
21 have a deficiency in glucuronidating SN38.

22 So there are current trials going on now in the
23 United States, at least three of them, which are trying to
24 correlate genotype with phenotype for UGT1A1 and also to see
25 how this relates to CPT-11 toxicity.

1 There are other studies going on looking at UGT1A1
2 genotyping in different ethnic groups in order to see
3 whether there are differences in those groups as well.

4 I should mention with regard to age, we have seen
5 no differences in CPT-11, SN38 or SN38G pharmacokinetics in
6 patients greater than 65 and less than 65.

7 DR. MARGOLIN: So, as a follow on to that, in your
8 studies that you alluded to in the sponsor packet of first-
9 line therapy, are you also recommending a lower starting
10 dose for elderly patients who don't have, also, the prior
11 history of pelvic radiation or a low PS?

12 DR. MILLER: By first-line therapy, do you mean--

13 DR. MARGOLIN: I think there were some studies
14 that were alluded to that are going to go into a future
15 application for first-line CPT-11--

16 DR. MILLER: Those studies involved a combination-
17 -a weekly schedule is being used in those trials. As a
18 consequence, we are not recommending a reduction in older
19 patients there because, since you can modulate the dose week
20 by week, it is very easy to tailor the dose of the patients
21 quite rapidly. We thought that the 350, where you can't do
22 that so readily when you are giving it every three weeks, it
23 might be better to start with 300 and then escalate if a
24 patient tolerated the treatment, particularly given that the
25 treatment outcomes were not different.

1 DR. ALBAIN: How far has your experience gone
2 since your initial application in terms of which patients
3 with liver abnormalities due to disease can safely tolerate
4 this drug? I know you have the cut points that you
5 describe, but has there been additional experience gathered
6 where it is safe to treat some of these patients, where they
7 might have been excluded from these pivotal trials?

8 DR. MILLER: Again, I think I would like to ask
9 Dr. Schaff to address that question as he is involved with a
10 trial specifically looking at hepatic dysfunction in
11 patients receiving CPT-11.

12 DR. SCHAFF: I should mention that this data is
13 very preliminary. We currently have 14 patients on trial.
14 This is a study specifically looking at the pharmacokinetics
15 as well as the phase I study of CPT-11 in four different
16 groups of patients with varying degrees of hepatic
17 dysfunction.

18 These four groups are looking at dysfunction in
19 terms of altered bilirubin and also in terms of transaminase
20 values.

21 [Slide.]

22 This is the preliminary data for the first 12
23 patients. This is protocol 0017 which is the brown column
24 here. The clearance in the first 12 patients has been
25 8.7 L/h/m². If we compare this fact, four other studies in

1 which the drug was given on the weekly schedule, protocol 6,
2 protocol 37, protocol 32, we see clearance values that are
3 around 13. This particular protocol was a Q three-week
4 phase I protocol.

5 So you can see, compared to patients that have
6 normal bilirubin levels, these particular patients are
7 showing somewhat of a decrease. We don't have a sufficient
8 database right now to say whether there is going to be a
9 correlation with various degrees of decreases in bilirubin
10 or transaminase values. That study is ongoing.

11 I should also mention that Rhone-Poulenc Rorer is
12 conducting a similar study with a Q three-week regimen. I
13 believe their database is going to be coming out probably in
14 the next year. There is also a study that is going to be
15 initiated by the CALGB looking at hepatic dysfunction as
16 well.

17 DR. MARGOLIN: At this time, the package insert
18 doesn't have a specific recommendation other than to say
19 that, really, the drug shouldn't be given to such patients.
20 We are finding that doses of 50 to 60, 75 mg/m² may be
21 tolerated but, as Dr. Schaff has pointed out, we need more
22 data.

23 DR. DUTCHER: Could you just comment--we have the
24 brochure, but could you just comment on the comparability of
25 the toxicities with the two different schedules?

1 DR. MILLER: Sure.

2 [Slide.]

3 This is a depiction of clinically relevant adverse
4 events, grades 1 through 4, here with the weekly treatment
5 administration in 193 patients in the U.S. pivotal trials
6 who received 125 mg/m² as the starting dose, and here, in
7 study V301 and study V302.

8 As you can see, there are generally comparable
9 rates of these major toxicities, diarrhea and neutropenia,
10 nausea and vomiting. Alopecia, about comparable.
11 Cholinergic symptoms, you see the step up a little bit here
12 in study V301.

13 This only includes first CPT-11 dosing day but, if
14 anything, we would expect it to be maximum there because
15 doses tend to get modulated somewhat after the first day.

16 [Slide.]

17 When one looks at grade 3/4 toxicities, here,
18 diarrhea, vomiting, neutropenia. Neutropenia, fever and
19 deaths. The U.S. experience in the 193 patients treated
20 with a weekly dosing regimen. The two phase II studies that
21 are presented in the brochure and then study V301 and study
22 V302 with CPT-11, we see the rates of diarrhea here.

23 They tend to fall off a little bit in the later
24 phase II experience. In the phase III experience, vomiting
25 roughly comparable, perhaps somewhat less in the later

1 experience. Neutropenia, generally comparable rates across
2 the schedules.

3 Neutropenic fever, hasn't been a material problem.
4 We are not recommending, for instance, the use of GCSF since
5 the rates have been so low and toxic deaths have been quite
6 infrequent.

7 One of the things that we have observed and also
8 RPR has observed is that, in our later phase I experience,
9 in their later phase II and their phase II experience, the
10 rates of grade 3/4 diarrhea seem to have declined somewhat.
11 We think that this is probably largely due to increased
12 experience with use of the drug, a learning-curve
13 phenomenon, more rigid application of the intensive
14 loperamide regimen and better understanding of the dose
15 modification recommendations.

16 DR. MARGOLIN: I'm sorry; you may have already
17 covered this and it may be in the insert already, but could
18 you just go back to our ability to predict the lowered
19 clearance is probably most closely correlated with some
20 measure of the bilirubin glucuronidation.

21 This is a unique patient population which, unlike
22 many of our other solid tumors, by the time they get to this
23 therapy, they are highly likely to have abnormal liver
24 function because the vast majority of these patients have
25 liver metastases and they have either elevated

1 transaminases, often have elevated alkaline phosphatases and
2 sometimes have elevated bilirubins with various patterns.

3 So is there going to be more clarity on actual
4 guidelines or are we just going to leave it that caution
5 should be exercised in treating these patients with
6 bilirubin over "x" value with this drug?

7 DR. MILLER: At this junction, without specific
8 data about what dose to give, what starting dose to give, we
9 are basically recommending against treating such patients.
10 Once we have the data from our own study, from the RPR study
11 and we also have been involved in talking to the folks at
12 CALGB about their study, we would propose to change the
13 package insert to reflect that information and give specific
14 guidelines for the correct dose to administer.

15 We are looking, as is RPR, at different categories
16 of patients in terms of bilirubin-elevated, transaminases
17 elevated, both elevated. So we are trying to characterize
18 that as best we can.

19 DR. DUTCHER: Any other questions? No other
20 questions? Wow.

21 Thank you very much.

22 Can we go ahead with the FDA presentation rather
23 than taking a break an hour early and just move right along?

24 **FDA Presentation**

25 DR. CHICO: Good morning, everyone, members of the

1 advisory committee, my colleagues at the FDA, ladies and
2 gentlemen.

3 [Slide.]

4 Today, I am presenting the clinical review of two
5 pivotal trials for sNDA 20-571 on irinotecan for the
6 treatment of patients with colorectal cancer.

7 [Slide.]

8 First I would like to acknowledge the members of
9 the FDA review team. I would like take this opportunity
10 also to thank the applicant for their promptness and
11 cooperation in responding to our information requests during
12 the review process.

13 [Slide.]

14 This application seeks approval for CPT-11 for the
15 treatment of patients with metastatic carcinoma of the colon
16 or rectum whose disease has progressed or recurred following
17 5-FU-based chemotherapy. The new proposed dosing schedule
18 is 350 mg/m² as a 90-minute intravenous infusion given on
19 day 1 every three weeks. This is the dosing schedule
20 popularly used in Europe and was the dosing schedule used in
21 the pivotal trials.

22 The U.S. approved schedule is weekly times 4 every
23 six weeks.

24 [Slide.]

25 CPT-11 was granted accelerated approval in June of

1 1996 on the basis of tumor response in 305 patients with
2 colorectal cancer whose disease progressed or recurred
3 following 5-FU. The NDA was submitted on April 22 of 1998
4 and was given priority designation on the basis of a claim
5 of superiority and survival compared to the corresponding
6 treatment-control arm.

7 [Slide.]

8 During accelerated approval of CPT-11 in June,
9 1996, it was agreed that study 038 would be the confirmatory
10 trial. This was a multicenter, three-arm, phase III trial
11 in patients with previously untreated colorectal carcinoma
12 comparing CPT-11 versus 5-FU leucovorin versus the
13 combination of CPT-11 5-FU leucovorin.

14 The primary efficacy endpoint of this trial is
15 time to tumor progression. The applicant met with the
16 agency in December, 1997 to propose submitting an NDA to
17 fulfill requirements for accelerated approval to full
18 approval.

19 At that time, study 038 was nearing completion.
20 However, the applicant proposed submission of two EORTC-
21 conducted studies, study V301 and study V302. These studies
22 were done on patients who have received prior 5-FU and the
23 applicant claimed a significant survival advantage for CPT-
24 11 over the corresponding treatment control arms.

25 [Slide.]

1 A total of 535 patients were enrolled in these two
2 large, randomized, non-blinded, multicenter, phase III
3 trials. This is supported by efficacy and safety data from
4 other phase I and phase II trials using the proposed dosing
5 schedule. A summary report on the survival analysis of the
6 original 304 patients was also submitted. A cholinergic-
7 effects report provided more detailed information on the
8 diagnosis and management of cholinergic symptoms from CPT-
9 11.

10 [Slide.]

11 Study V301 and study V302 were sponsored by Rhone-
12 Poulenc Rorer and performed in Europe by the EORTC.
13 Patients randomized to the CPT-11 arm received 350 mg/m² of
14 CPT-11 as a 90-minute infusion on day 1 every three weeks.
15 Patients enrolled in arm B of study V301 received best
16 supportive care according to institutional standards. These
17 may include antibiotics, analgesics, blood transfusions,
18 corticosteroids, psychotherapy and any other symptomatic
19 therapy including radiation and chemotherapy in a number of
20 study centers.

21 A 2-to-1 randomization resulted in 189 patients
22 enrolled in arm A and 90 patients in arm B. Patients were
23 stratified by treatment center and randomized centrally. An
24 independent committee of four oncologists was placed as
25 monitors of study V301.

1 Patients enrolled in arm B of study V302 received
2 one of three widely used infusional 5-FU regimens in Europe.
3 There were similar numbers of patients between treatment
4 arms A and B in study V302.

5 [Slide.]

6 Pretreatment characteristics among patients in
7 both studies were generally well balanced. Patients were
8 not stratified by performance status in study V301 and there
9 was a statistically significant difference in the
10 performance-status distribution favoring CPT-11. Fishers
11 Exact Test comparing performance status 0 plus 1 versus
12 performance status 2, however, did not yield statistically
13 significant differences.

14 [Slide.]

15 Median time from diagnosis and median time from
16 progression of disease after 5-FU treatment to randomized
17 into the studies was also similar between treatment arms in
18 both studies.

19 [Slide.]

20 The primary efficacy endpoint in both studies is a
21 comparison of survival defined as the time from
22 randomization to death. The primary analysis was
23 prospectively defined and performed on the intent-to-treat
24 group. Data on quality of life using the EORTC QLQ-C30 and
25 clinical benefit endpoints such as pain-free survival,

1 symptom-free survival, survival without weight loss and
2 survival without performance-status deterioration were
3 collected but the statistical analysis plan was
4 retrospectively defined.

5 Safety and toxicology data were collected and
6 described using the NCI common toxicity criteria.

7 [Slide.]

8 Enrollment to study V301 started in November, 1996
9 and the cutoff date for data analysis was seven months
10 later, in June of 1997 at which time 194, 70 percent, of the
11 patients were dead. The remaining proportion of patients
12 were mostly censored. Note that of the 66 patients censored
13 in the CPT-11 arm, 33 were still alive after one-and-a-half
14 months after the cutoff date.

15 [Slide.]

16 The protocol specified the cutoff date for study
17 V302 was March 3, 1997 at which 184 patients, or 69 percent,
18 were dead. Approximate 183 deaths were determined
19 prospectively as needed to show a difference in one-year
20 survival rates for this study. However, in the sponsor's
21 analysis, they used a later cutoff date of July 14, 1997.

22 [Slide.]

23 Survival analyses for study V301 by the FDA and
24 applicant agree for study V301. The median survival rate
25 was 9.2 months for patients in the CPT-11 arm and 6.2 months

1 for the best-supportive-care arm. A log rank test showed
2 the highly statistically significant difference favoring the
3 CPT-11 arm with a p-value of 0.0001. The hazard ratio for
4 best-supportive-care arm versus CPT-11 is 1.75 with a
5 95 percent confidence interval of 1.31 to 2.36.

6 [Slide.]

7 The FDA's analysis of survival for study V302 was
8 based on the data that was submitted by the sponsor with a
9 cutoff date of March 3, 1997. Unlike the sponsor's results,
10 the FDA survival analysis showed borderline significance
11 between CPT-11 and 5-FU using the earlier cutoff date. The
12 median survival was 10.2 months for patients in the CPT-11
13 arm and 8.4 months for the 5-FU arm.

14 A log rank test showed a p-value of 0.056 with a
15 hazard ratio between 5-FU versus CPT-11 of 1.32 with a 95
16 percent confidence interval between 0.991 and 1.77.

17 [Slide.]

18 The following table summarizes the differences in
19 analysis of survival for patients in study V302 using
20 different cutoff points during the study. Note that the
21 median survival for patients in the CPT-11 arm was longer by
22 the applicant's analysis using this cutoff date.

23 [Slide.]

24 I would like to direct your attention first to the
25 slides in your handouts because these contain more updated

1 information. 57, or 30 percent, of the patients in the CPT-
2 11 arm received therapy after 5-FU was terminated, 40 of
3 whom received systemic chemotherapy with 5-FU, 5-FU
4 analogues and other experimental therapy.

5 This is compared to 28 patients, or 31 percent, in
6 arm B. The median survival of the 40 patients who received
7 subsequent chemotherapy was 11.7 months compared to 9.2
8 months for the whole group of patients in arm A.

9 [Slide.]

10 The applicant was requested to perform a survival
11 analysis for study V301 by censoring those patients who
12 received subsequent anticancer therapy at the start of this
13 therapy. This resulted in 87 patients being censored with a
14 medial survival of 9.3 months. For the best-supportive-care
15 arm, this resulted in the censoring of 35 patients with a
16 medial survival of 6.3 months.

17 However, the log rank test still showed
18 statistically significant differences showing CPT-11 over
19 best supportive care with a p-value of 0.005. These
20 findings were confirmed by the FDA reviewers.

21 [Slide.]

22 Patients who were more than 70 years old and those
23 with a performance status of 2 were given 300 mg/m² of CPT-
24 11 instead of 350. The doses of CPT-11 on patients who
25 experienced dose-limiting toxicities were likewise

1 decreased. Assuming no further dose delays or adjustments,
2 the dose intensity of drug for these patients would
3 approximately be 100 mg/m² per week.

4 As such, patients enrolled in the CPT-11 arm were
5 artificially divided into two groups. The dose intensity of
6 CPT-11 in 33 of 189 patients was less than 100 mg/m² per
7 week. The median survival for this group was 10.1 months.
8 The median survival for this group in study V302 is 11.6
9 months.

10 Adjustment of CPT-11 dose to accommodate certain
11 populations in dose-limiting toxicities is probably not
12 associated with worsening of survival.

13 [Slide.]

14 In summary, the analysis of efficacy was well
15 controlled with appropriate censoring of patients for
16 survival. The most impressive result is a finding of
17 consistently significant survival advantage favoring CPT-11
18 regardless of lower dose intensity or adjustment for
19 subsequent chemotherapy.

20 [Slide.]

21 The same is true for the analysis of study V302
22 which is well controlled with appropriate censoring of
23 patients for survival. Significant survival advantage
24 favored CPT-11 and analysis of dose intensity did not show a
25 significant effect on survival in the lower-dose group.

1 The FDA review showed less significant survival
2 differences, however, between CPT-11 and 5-FU.

3 [Slide.]

4 The following clinical-benefit endpoints were
5 analyzed; pain-free survival, symptom-free survival,
6 survival without weight loss and survival without
7 performance-status deterioration. The major weakness of
8 this analysis is its retrospective nature.

9 With regard to pain-free survival, only a few
10 patients in either arm were pain-free at baseline and
11 records were obtained retrospectively. Symptom-free
12 survival is very sensitive to the amount of reporting by
13 either the patient or the investigator and a change in
14 weight in these patients may be affected by several
15 uncontrolled factors such as the presence of diarrhea,
16 nausea or vomiting, or intake of certain medications such as
17 diuretics.

18 These, in addition to unequal follow-up schedules
19 between treatment arms in both studies make the analysis of
20 clinical-benefit endpoint less reliable.

21 Clinical benefit was also analyzed retrospectively
22 by the applicant in study V302 and no statistically
23 significant differences between treatment arms were found in
24 the analyses of these four clinical-benefit endpoints.

25 [Slide.]

1 Performance status, however, was collected
2 prospectively in study V301 and, according to the sponsor's
3 report, 33 percent of patients in arm A with a performance
4 status of 1 or 2 were able to improve compared to patients
5 in arm B. There was also a statistically significant
6 difference not only in the deterioration but also in
7 patients improving their performance status in favor of CPT-
8 11.

9 These results are consistent with Cox regression
10 analyses of covariates for survival and may truly represent
11 the clinical benefit advantage for the use of CPT-11. These
12 differences, however, were not shown in study V302.

13 [Slide.]

14 The quality-of-life instrument used in study V301
15 and study V302 can be subdivided into fifteen subscales.
16 There were five functional scales, one for global health
17 status and nine symptom subscales which include fatigue,
18 nausea, vomiting, pain, dyspnea, sleep disturbance, appetite
19 loss, constipation and diarrhea.

20 [Slide.]

21 Patient compliance during quality-of-life testing
22 in study V301 was good with approximately 70 to 80 percent
23 after week 12. The applicant's analysis showed an advantage
24 for CPT-11 with regard to improvement from baseline in six
25 subscales and comparison from worst scores in ten of the

1 fifteen subscales.

2 Diarrhea, on the other hand, was in favor of best
3 supportive care. Similar to the analysis of clinical
4 benefit, the quality-of-life data were prospectively
5 collected but the analysis plan was determined
6 retrospectively. There was also no plan for controlling
7 type 1 error to account for the number of subscales that
8 were considered.

9 Clinically relevant subscales were not identified
10 and the applicant's analysis assumed random occurrence of
11 missing data.

12 The FDA statistician performed a longitudinal
13 analysis that divided patients into dropouts and completers
14 to cope with informative dropouts. There were significant
15 differences favoring the best-supportive-care arm with pain
16 in the dropout group and nausea and vomiting in the
17 completer groups.

18 [Slide.]

19 Patient compliance during quality-of-life testing
20 in study V302 was also favorable. Similarly, the analysis
21 plan was determined retrospectively. Clinically relevant
22 endpoints were not identified and there was no plan for
23 adjustment for type 1 error for multiple subscales. Also,
24 the analysis assumed random occurrence of missing data.

25 According to the analysis by the applicant, there

1 was a significant advantage favoring 5-FU in regard to
2 diarrhea, nausea and vomiting when compared to baseline in
3 worst course. The FDA analysis of physical functioning was
4 also in favor of 5-FU.

5 [Slide.]

6 A descriptive analysis of adverse events was
7 performed on the randomized population of both treatment
8 arms according to the NCI common toxicity criteria. The
9 incidence of grade 3 and 4 neutropenia and non-hematologic
10 toxicities such as nausea, vomiting, diarrhea and
11 cholinergic symptoms were significant greater but were
12 expected toxicities from CPT-11.

13 Grade 3 and 4 leukopenia, and neutropenia and
14 diarrhea, were experienced by 22 percent of the patients,
15 nausea by 14 percent of the patients and vomiting by 14
16 percent.

17 Other adverse events with an incidence of greater
18 than 10 percent such as asthenia, neurologic symptoms, pain,
19 abdominal pain and others, are similar in both arms and
20 listed on the bottom half of the chart.

21 [Slide.]

22 A descriptive analysis of toxicity was also
23 performed for study V302. More patients in the CPT-11 arm
24 also had severe hematologic toxicities including fever and
25 neutropenia, gastrointestinal toxicities, cholinergic

1 symptoms, asthenia and alopecia. More patients in the 5-FU
2 arm, however, experienced more mucositis and hand-and-foot
3 syndrome compared to the patients treated with CPT-11.

4 The incidence of cholinergic symptoms reported by
5 the applicant in the NDA was lower than what was described
6 in other studies including study V301. Incidence was
7 2 percent for CPT-11.

8 Since the cholinergic symptoms include one or
9 several of fifteen different symptoms, it was difficult to
10 make an assessment of its true incidence. Instead, atropine
11 use was reviewed which revealed more widespread use of
12 atropine but can be accounted for by the 20 percent
13 incidence reported by the applicant.

14 Data on the incidence of cholinergic symptoms in
15 study V302 should, therefore, be reexamined.

16 [Slide.]

17 A total of eight patients died within 30 days of
18 last treatment with CPT-11. The investigators assessed five
19 of these deaths to be unrelated to drug. The FDA, however,
20 reviewed these deaths and we came up with about three
21 patients whose deaths were most probably, or definitely,
22 related to drug which translates to less than 2 percent.

23 In study V302, three of 129 patients, or
24 2 percent, died within 30 days of CPT-11. One patient died
25 within 30 days in the 5-FU arm.

1 [Slide.]

2 A total of 1,154 courses of CPT-11 were given to
3 189 patients in arm A of study V301. 268 courses, or 23
4 percent, were associated with hospitalizations. These
5 hospitalizations were due to several reasons, the most
6 common of which were diarrhea, fever, nausea and vomiting
7 and pain. There were thirteen courses, or 1 percent,
8 associated with fever and neutropenia.

9 According to the applicant's analysis, 155, or
10 13.5 percent, of these hospitalizations were due to adverse
11 events. In arm B, there were 85 episodes of hospitalization
12 for which pain is the most common reasons. For applicants
13 receiving CPT-11, overall, 23 percent of treatment courses
14 were associated with hospitalizations, 13.5 percent due to
15 adverse events.

16 [Slide.]

17 In summary, the adverse events reported for CPT-11
18 were similar to most that have been described and
19 experienced with the approved weekly schedule. As expected,
20 there were higher incidences of neutropenia, fever and
21 neutropenia, nausea, vomiting, diarrhea and cholinergic
22 symptoms associated with CPT-11 but lower incidence of
23 mucositis and no hand-and-foot syndrome as compared to 5-FU.

24 23 percent of the courses of CPT-11 were
25 associated with hospitalizations regardless of cost. Since

1 collection of safety data may be dependent on the frequency
2 of patient visits and reporting, the unavoidable imbalance
3 between treatment arms may have biased the results somehow.

4 [Slide.]

5 For a drug to be approved for this indication, it
6 is important that a favorable ratio of benefit to risk be
7 established. First, this requires the results from studies
8 that are both adequate and well controlled. In the current
9 application, data from two large randomized and well-
10 controlled studies with the requirements for full approval.

11 The control arms in each of the studies were well
12 selected, one having a no active treatment, best supportive
13 care in study V301 and the other with an active comparator
14 arm. Patients mostly having 5-FU-resistant disease were
15 carefully selected and balanced between treatment arms in
16 both studies.

17 [Slide.]

18 Efficacy could be demonstrated by a significant
19 increment in survival. Regardless of the control arm, CPT-
20 11 consistently showed a statistically significant advantage
21 in overall survival. The method of censoring for survival
22 was appropriate and careful between treatment arms.
23 Survival did not seem to be affected by lower dose
24 intensities of CPT-11 required by certain patient
25 population.

1 Censoring on the date of subsequent chemotherapy
2 also did not change the survival outcome for patients for
3 study V301.

4 [Slide.]

5 Another criterion may be superiority in response
6 rates, time to progression or a believable increment in
7 quality of life. The clinical benefit endpoints were not
8 prospectively defined. Although the quality-of-life tests
9 used in these studies were validated and patient compliance
10 was good, the methods of analysis by the sponsor were
11 determined retrospectively.

12 The FDA reviewer expressed concerns regarding the
13 lack of control for type 1 errors as a result of multiple
14 subscales and the lack of appropriate adjustments for the
15 non-random nature of missing data. The FDA reviewer
16 proposed different methods of analyses and obtained
17 different results. Further discussion with the applicant
18 are warranted.

19 [Slide.]

20 Last but not least, the treatment being considered
21 should also demonstrate a tolerable toxicity profile.
22 Adverse events were well described and expected. The
23 toxicity profile is consistent with those observed in the
24 phase 1 trial submitted as supporting studies and to the
25 weekly FDA approved schedule.

1 There may be a difference in the severity of some
2 toxicities such as cholinergic symptoms, but the applicant
3 made available additional data to more clearly define,
4 diagnose and manage these symptoms more appropriately.

5 [Slide.]

6 One important consideration for discussion was a
7 difference in dosing and schedule between the approved NDA
8 for CPT-11 and the current application. Accelerated
9 approval was based on significant responses in patients
10 enrolled in three open-label, phase II studies who were
11 given weekly injections of CPT-11.

12 On the other hand, CPT-11 given every three weeks
13 showed a significant survival advantage over the control
14 arms in two large randomized trials. If approved, the
15 applicant's proposed package insert recommends the use of
16 either schedule.

17 [Slide.]

18 In summary, the applicant has submitted two large
19 randomized, well-controlled studies which showed a
20 statistically significant improvement in survival compared
21 to both active control arm and no-active-treatment arm.
22 Other efficacy endpoints under clinical benefit and quality
23 of life were less convincing and should be discussed
24 further. Adverse events from the treatment arms were
25 expected, well described and manageable.

1 Thank you very much.

2 **Questions from the Committee**

3 DR. DUTCHER: Thank you.

4 Questions for the FDA?

5 DR. MARGOLIN: Just a small arithmetical question.

6 You stated in one of your later slides that the sponsor
7 claimed that only 13 percent of the hospitalizations in
8 patients on CPT-11 in, I guess, it was in study V301 against
9 best supportive care were due to AEs.

10 DR. CHICO: Right.

11 DR. MARGOLIN: There is an excess, however. There
12 are about 1.5 hospitalizations per patient, I believe, in
13 that arm versus 1 per patient in the 90 patients on best
14 supportive care. So do you agree with--it seems that there
15 is an excess beyond what is claimed as AEs, contribution of
16 the drug to reasons for hospitalizations in those patients.

17 DR. CHICO: The reason why my analysis was on the
18 whole patient population who was hospitalized was because it
19 was very difficult to determine which patients were
20 hospitalized for adverse events or for other reasons.

21 The most common reasons for hospitalizations are
22 usually multiple. The way I counted it was I just
23 considered the highest grade toxicity as a reason for
24 hospitalization.

25 DR. MARGOLIN: But I think it is fair to say that

1 it is correct, that there was 1.5 hospitalizations per
2 patient in CPT-11 and 1 hospitalization per patient on best
3 supportive care.

4 DR. CHICO: I think you can infer that.

5 DR. MARGOLIN: You can do with that whatever you
6 want.

7 DR. SIMON: I wanted to pick up some of your
8 points about quality of life. I certainly agree with you
9 that it is preferable to define--when you have lots of
10 potentia endpoints which you have within quality of life, to
11 define your analysis plan beforehand.

12 When I read the description of the sponsor's
13 analysis of quality of life, though, it did not look to me
14 as if there was any multiplicity problem, that they had
15 looked at sort of overall, summary--and they had done a
16 multivariate analysis of variance demonstrating that there
17 was an overall effect, highly significant, favoring the CPT-
18 11 arm, and it was only then that they went and started
19 looking at subscores.

20 I view that as an adequate way of controlling
21 type 1 error. And they also did things like--for example,
22 in study V301, two analyses of global quality of life
23 assigning a score of 0 to missing values of patients who
24 died from their dates of death on was also highly
25 significant favoring CPT-11. I find that a very reassuring

1 type of analysis.

2 So I find these analyses of time to deterioration
3 or death, whichever occurs earliest, in a way a preferable
4 way of doing quality-of-life analyses.

5 DR. CHICO: I agree with your points. However,
6 those results which you mentioned that show statistically
7 significant differences were not available to us during the
8 submission of NDA. The analyses of the subscale results
9 were available to us at that time. These are relatively new
10 analyses that were done.

11 DR. DUTCHER: Other questions for FDA?

12 Thank you very much.

13 DR. CHICO: Thank you.

14 DR. DUTCHER: Moving right along--we have it
15 figured out by the third day.

16 **Committee Discussion and Vote**

17 DR. DUTCHER: We are at the point of discussion
18 and questions. You have your questions? There are only two
19 as opposed to eight.

20 We will start. "Two randomized, prospective,
21 multicenter trials in more than 500 patients have examined
22 Camptosar (irinotecan) in colorectal cancer. Study V301
23 compared irinotecan plus best supportive care to best
24 supportive care alone. Study V302 compared irinotecan to
25 three infusional schedules of 5-FU. There were

1 statistically significant differences in median survival in
2 favor of irinotecan in both studies.

3 "The incidence of severe neutropenia, fever and
4 neutropenia, nausea, vomiting, alopecia and cholinergic
5 symptoms were greater with irinotecan than the control arms
6 in both studies while the 5-FU in study V302 had more severe
7 mucositis and hand-and-foot syndrome. These adverse events
8 are well described and are similar to those seen with the
9 weekly schedule approved in the United States.

10 "The indication sought by the applicant is for the
11 treatment of patients with metastatic carcinoma of the colon
12 or rectum whose disease has progressed or recurred following
13 5-FU-based chemotherapy. The applicant's recommendation is
14 that irinotecan be administered at a dose of 350 mg/m² every
15 three weeks--the regimen in study V301 and study V302) or at
16 a dose of 125 mg/m² weekly times four weeks every six weeks,
17 the schedule approved previously based on tumor-response
18 studies."

19 So the first question is, "Do you agree that
20 Studies V301 and V302 are adequate and well-controlled
21 trials demonstrating the efficacy and safety of irinotecan
22 at 350 mg/m² as a 90-minute infusion every three weeks for
23 the treatment of metastatic carcinoma of the colon or rectum
24 whose disease has progressed or recurred following 5-FU-
25 based chemotherapy?"

1 DR. MARGOLIN: I do. I will also read Dr. Krook's
2 statement. He was the other primary reviewer although,
3 apparently, he was not going to vote. Is that right?

4 DR. DUTCHER: Right.

5 DR. MARGOLIN: "I consider study V301 to be well
6 controlled and designed. It was conducted as well as any
7 study in this setting as I believe could have. It has shown
8 that CPT-11 has an advantage in survival (quantity) as well
9 as quality. My only concern in this study was the toxicity
10 in the treated group; i.e., increased hospitalizations.

11 "Study V302, although a good design, recognizing
12 the difficulties of best supportive care in a randomized
13 trial, is not as significant to me. The three 5-FU arms
14 rather than a single arm make this more complex. I suspect
15 that some crossovers happen and this can affect the
16 endpoint.

17 "It is my recommendation, based on my review, that
18 CPT-11 be granted full approval for the requested
19 indication." I will just go ahead and read the whole thing.
20 "I am also comfortable that the dosing of every three weeks
21 is similar or equivalent to the weekly dosing."

22 DR. DUTCHER: Any other comments with respect to
23 question 1?

24 All those who would vote 'yes' on question 1
25 please raise your hand.

1 [Show of hands.]

2 Seven out of seven. Seven yes, zero no.

3 Question no. 2 deals with the dosing schedule.

4 "What dosage regimen should be approved: a), approve only
5 the three-week regimen used in the studies demonstrating a
6 survival advantage or, b), approve both the every-three-week
7 regimen used in the studies demonstrating a survival
8 advantage and the initially approved weekly times-4
9 regimen?"

10 Any comments? We have heard Dr. Krook's
11 recommendation that both be approved.

12 DR. OZOLS: The advantage that we heard today, of
13 course, is survival and the survival was with the Q-3-week
14 schedule. I think that is a much more patient-friendly
15 schedule. I would certainly emphasize that schedule to be
16 the preferable schedule for use in this situation.

17 DR. MARGOLIN: My opinion is that we shouldn't
18 approve only one schedule. My own clinical practice is
19 that, in patients who are fairly ill for whom I might be
20 considering this treatment, for some patients, it is more
21 appropriate to see them every week and to judge their
22 appropriateness for therapy weekly because their performance
23 status is changing, their liver functions may be changing
24 and that may provide you with sort of a rationale for seeing
25 them on a frequent basis.

1 DR. DUTCHER: I think that we all agree that a
2 survival advantage is the ultimate test of a regimen. I am
3 not in favor of restricting it to one schedule but I think
4 that this data is very compelling in terms of, perhaps, a
5 better schedule.

6 Other comments? All those who would approve only
7 the every-three-week schedule please raise your hand.

8 [One hand raised.]

9 Dr. Ozols; one.

10 All those who would not restrict it to only the
11 three-week schedule, raise your hand.

12 [Show of hands.]

13 Six. So approve both schedules. All those who
14 would approve both schedules?

15 [Show of hands.]

16 Six. All those who would not?

17 [One hand raised.]

18 One.

19 Anything else you need from us on this one?

20 DR. JUSTICE: No.

21 DR. DUTCHER: Thank you very much, at 9:45.

22 [Whereupon, at 9:45 a.m., the proceedings were
23 recessed, to be resumed at 11:00 a.m.]

A F T E R N O O N P R O C E E D I N G S

[11:00 a.m.]

Open Public Hearing

DR. DUTCHER: We do have some time scheduled for open public hearing but we do not have anyone who has requested an opportunity to speak. Is there anyone in the audience who is requesting this?

If not, then we will proceed with the sponsor's presentation on Photofrin.

Sponsor Presentation**Regulatory History**

MS. MANCINI: Thank you. Good morning, Madame Chairman, members of the advisory committee and FDA.

[Slide.]

My name is Alexandra Mancini. I am Vice President of Regulatory Affairs for QLT Phototherapeutics. We are very pleased to be here today to discuss with you our NDA supplement for Photofrin, porfimer sodium, for injection.

[Slide.]

I will begin today's presentations with a brief look at our regulatory history for this application. Dr. Harvey Pass will present the efficacy and safety data from our clinical trials and I will end with a few concluding remarks.

[Slide.]

1 Also with us this morning are three additional
2 consultants: Dr. Jeffery Wieman, a surgical oncologist; Dr.
3 Seth Rosenthal, a radiation oncologist; and Mr. Louis Tura,
4 our statistician.

5 [Slide.]

6 Photofrin was originally approved in December,
7 1995 for the palliation of obstructing esophageal cancer.
8 In January of this year, it was approved for the third-line
9 treatment of microinvasive non-small-cell lung cancer.

10 [Slide.]

11 The supplemental indication we are requesting
12 today is for the reduction of obstruction and palliation of
13 symptoms in patients with completely or partially
14 obstructing non-small-cell lung cancer.

15 Our original application for this indication, our
16 supplement, was filed in February of 1997 and this committee
17 deliberated on it in September of last year.

18 [Slide.]

19 At last year's meeting, this committee voted that
20 these studies were not adequate and well-controlled. There
21 were important concerns raised about the collection of
22 symptom scores in these unblinded trials by the treating
23 physician and about the amount of and imbalance in missing
24 data.

25 The FDA review had highlighted some putative

1 study-design flaws and, as well, had commented on the fact
2 that a number of decisions were made post hoc on how to
3 conduct the analyses.

4 [Slide.]

5 All of these factors were considered potential
6 sources of bias and, thus, the committee was left with the
7 impression that one could not get clear estimates of the
8 benefit of PDT and, furthermore, that statistical
9 comparisons between PDT and YAG were unreliable.

10 In addition, the occurrence of some life-
11 threatening adverse events left some members of the
12 committee questioning the overall net benefit of this
13 therapy. After that meeting, we submitted a written
14 response to the FDA addressing the study-design issues and
15 explaining our rationale for our analysis decisions.

16 We then met with the FDA in October to discuss how
17 to go forward. Two members of the ODAC committee
18 participated in this meeting. Based on our written
19 response, the medical officer concluded that luminal
20 response was a valid response endpoint, that the QLT
21 analyses were fully reasonable but just not fully
22 prespecified, that PDT was at least as good as YAG for
23 producing luminal response in both trials, and he agreed
24 that symptom changes of two or three grade levels were not
25 likely due to investigator bias.

1 [Slide.]

2 The FDA's advice at this meeting was that QLT's
3 resubmission should include an analysis of marked symptom
4 improvements to address the concern of potential bias as
5 well as a detailed analysis of all life-threatening adverse
6 events. In addition, at last year's meeting, the ODAC
7 committee suggested that improvement in atelectasis might be
8 helpful as another measure of patient benefit.

9 [Slide.]

10 We will be presenting today several new analyses
11 which were all conducted to address your previous concerns.
12 Throughout the presentation, we will be displaying
13 statistical differences based on confidence intervals. This
14 is for information purposes. We wish to emphasize that we
15 are not claiming statistical superiority.

16 DR. DUTCHER: Excuse me. Before you introduce Dr.
17 Pass, we forgot to read our conflict of interest statement.

18 MS. MANCINI: Certainly, I will pause for a
19 moment.

20 **Conflict of Interest Statement**

21 DR. TEMPLETON-SOMERS: The following announcement
22 addresses the issue of conflict of interest with regard to
23 this meeting and is made a part of the record to preclude
24 even the appearance of such at this meeting. Based on the
25 submitted agenda for the meeting and all financial interests

1 reported by the participants, it has been determined that
2 all interests in firms regulated by the Center for Drug
3 Evaluation and Research which have been reported by the
4 participants present no potential for a conflict of interest
5 at this meeting with the following exceptions.

6 Kenneth Giddes has been granted a waiver that
7 permits him to participate in all matters concerning
8 Photofrin. A copy of this waiver statement may be obtained
9 by submitting a written request to the FDA's Freedom of
10 Information Office in Room 12A-30 of the Parklawn Building.

11 In the event that the discussions involve any
12 other products or firms not already on the agenda for which
13 an FDA participant has a financial interest, the
14 participants are aware of the need to exclude themselves
15 from such involvement and their exclusion will be noted for
16 the record.

17 With respect to all other participants, we ask, in
18 the interest of fairness, that they address any current or
19 previous involvement with any firm whose products they may
20 wish to comment upon.

21 Thank you. I apologize to the company for the
22 interruption.

23 MS. MANCINI: No problem. It gives me a chance to
24 recover my voice.

25 **Sponsor Presentation (continued)**

1 I would now like to introduce Dr. Harvey Pass who
2 will present the efficacy and safety results from our trials
3 including the new analysis we have conducted to address your
4 concerns.

5 [Slide.]

6 Dr. Pass is present Aerodigestive Program Director
7 at the Karmanos Cancer Institute and was Chief of Thoracic
8 Oncology at the National Cancer Institute in Bethesda from
9 1986 to 1996. His interest in PDT began in 1986 and he has
10 extensive experience in endobronchial, skin and intrapleural
11 uses of PDT. He has conducted phase I, II and III trials in
12 PDT and has published extensively on the use of PDT not only
13 clinically but also on benchwork mechanisms.

14 Efficacy and Safety

15 DR. PASS: Thank you, Alex. Members of the
16 committee, ladies and gentlemen.

17 [Slide.]

18 The majority of patients with non-small-cell lung
19 cancer will present with disease which is incurable by
20 presently available standard therapies. Many of the
21 patients will present with or progress to local disease
22 which will require rapid, effective palliation to maintain
23 the patient's quality of life.

24 [Slide.]

25 Patients with partial or total obstructing lesions

1 of the bronchus can present with a variety of symptoms.
2 This can significantly alter their functional status.
3 Irritating or recalcitrant coughing may not respond to
4 antitussants. Dyspnea from obstruction and resulting
5 atelectasis will not resolve without therapy and hemoptysis
6 can be marginal or massive.

7 Palliation of these symptoms and signs is
8 clinically important.

9 [Slide.]

10 In designing trials to evaluation risks and
11 benefits of new therapies in patients with advanced lung
12 cancer, investigators are challenged by the population's
13 poor performance status and limited survival. Objective
14 quantitation and symptoms palliation is difficult by itself
15 and the duration of benefit can be impacted by toxicities
16 which, in reality, may represent disease progression.

17 Moreover, for patients with endobronchial disease,
18 proper surveillance of luminal improvement requires repeated
19 bronchoscopies. Despite these difficulties, the aggressive
20 evaluation of innovative endobronchial therapies to increase
21 options in these patients is necessary.

22 [Slide.]

23 Photofrin PDT involves the use of light, oxygen
24 and a sensitizer. The sensitizer, Photofrin, is carried in
25 the serum as a complex with lipoproteins and is delivered to

1 tumor cells and normal cells. The drug is selectively
2 retained by the tumor and its vasculature possibly due to
3 its relation with this lipoprotein.

4 Since the levels decrease in the normal cells, a
5 temporally related therapeutic index can be reached with
6 favors sparing of the normal tissue when the sensitizer is
7 activated by 630 nanometer red light, usually through an
8 argon pump dye laser.

9 There are direct and indirect mechanisms for PDT-
10 associated cytotoxicity. Formation of single oxygen in the
11 tumor cells due to the photochemical process is directly
12 toxic to cells in the vasculature. Besides the direct
13 tumor-cell effects, there will be an indirect effect of
14 vascular shutdown causing tumor necrosis.

15 This occurs very rapidly over a 24- to 48-hour
16 period and will create a local inflammatory response.
17 Repair mechanisms, however, of the tissue then occur and
18 this is usually completed by one month in the studies in
19 which this process has been observed.

20 [Slide.]

21 Two open-label, randomized, multicenter studies
22 were conducted in symptomatic patients with advanced lung
23 cancer. P17 was conducted in North America and enrolled 70
24 patients. P503 in Europe enrolled 141 patients. Both
25 trials used the same study design with neodymium YAG as the

1 control therapy.

2 [Slide.]

3 A single course of PDT consists of an injection
4 with a photosensitizer, Photofrin 2, on day 1 which is
5 followed by activation of the drug with non-thermal red
6 light and possibly, again, on day 5. Debridement of
7 necrotic tissue is performed on day 5. Both studies
8 permitted a maximum of three courses of PDT to be given
9 separated by at least 30 days.

10 [Slide.]

11 For YAG therapy, there was unlimited number of
12 courses permitted. For each course, there were no
13 limitations on the energy dose permitted per session or the
14 number of sessions per course. In each course, the YAG
15 position was to treat until desired palliation was achieved
16 or further treatment was deemed futile.

17 Debridement could be done concurrent with the
18 laser treatment or done at a separate bronchoscopy.

19 [Slide.]

20 It was decided to carry out YAG therapy in this
21 fashion to be consistent with clinical practice and to avoid
22 any possibility of undertreatment bias. This definition of
23 YAG allowed it to have the best chance to succeed.

24 [Slide.]

25 The different course definitions might have lead

1 to difficulty in comparing the therapies. A course of YAG
2 therapy could have taken longer to complete because of
3 multiple sessions. Since response was measured from the
4 completion of a course of therapy, this could have been a
5 potential problem. But this did not occur.

6 The median active treatment period differed by
7 only two days. In fact, PDT took two days longer which is
8 the time from the injection to light therapy. Furthermore,
9 YAG had the potential advantage because of unlimited laser
10 sessions being permitted. This did not occur, also, because
11 the two therapies had an essentially identical number of
12 laser sessions per patient.

13 [Slide.]

14 This slide presents the baseline characteristics
15 for all randomized patients and, as such, represents the
16 intent to treat population. Both studies are combined since
17 the patients in the studies were similar with regard to most
18 of these characteristics.

19 The study population was typical of patients with
20 advanced lung cancer. The majority were men with a median
21 age of 65 and a median Karnofsky performance status of 70.
22 Three-quarters of the patients were clinical stage IIIa
23 disease or worse.

24 [Slide.]

25 Asymptomatic patients were not eligible. Most

1 patients presented with multiple symptoms. Nearly all had
2 dyspnea and cough. Approximately 60 percent had hemoptysis
3 and 60 percent had at least a 90 percent obstruction of the
4 bronchus. The proportion of patients with tumor-associated
5 atelectasis was comparable between the PDT and the YAG
6 group.

7 [Slide.]

8 For patients with advanced lung cancer with airway
9 compromised, the most important measures of treatment
10 effects include the quantitative reduction of obstruction as
11 measured by objective tumor response, the resolution of
12 atelectasis and symptom palliation, specifically dyspnea,
13 cough and hemoptysis. All of these endpoints had been
14 specified in the protocols with the exception of atelectasis
15 which was added at the recommendation of the committee last
16 September.

17 [Slide.]

18 When does one measure efficacy? Obviously,
19 multiple longitudinal time points should be used. In these
20 studies, efficacy of each therapy was assessed at one week
21 and at months 1, 2, 3 and 6. Many patients did not survive
22 long enough to have month 3 or 6 assessments. In fact, one-
23 third had died by month 3 and half had died by month 6.

24 [Slide.]

25 The protocol and statistical plan did not specify

1 certain aspects of how to conduct the efficacy analyses and
2 some decisions were criticized last September as potentially
3 introducing bias. The analyses were selected to address the
4 most relevant questions for a palliative treatment; namely,
5 how much benefit is obtained from a course of therapy, how
6 quickly is the palliation achieved and how long does the
7 benefit last.

8 [Slide.]

9 It was decided to focus on course 1 efficacy
10 outcome since palliation benefit should be rapid and
11 efficient to spare patient discomfort. In reality, almost
12 all patients had their responses in course 1. It was
13 decided to compare efficacy at prespecified time points
14 within course 1.

15 Week 1 efficacy provides a measure of immediacy of
16 response while later time points will give an indication of
17 the duration of response. In addition, new analyses were
18 performed which looked at efficacy at any time in course 1
19 to provide analysis which reduces the comparative bias from
20 the imbalance in missing data between the therapies at
21 month 1.

22 This analysis also provides the upper estimate of
23 response.

24 [Slide.]

25 Objective tumor response resulting in increases in

1 luminal diameter is the most objective evidence of treatment
2 activity. In general, for a single point of obstruction, a
3 larger lumen will provide improved air flow. Complete tumor
4 response was defined as the absence of endoscopically
5 visible tumor. A partial response was defined as a
6 50 percent or more increase in luminal diameter.

7 [Slide.]

8 This slide presents the objective tumor-response
9 for the intent-to-treat population. The two studies are
10 presented side by side. As can be seen in the week 1
11 results in both studies, both therapies were effective in
12 removing tumor rapidly.

13 The month 1 results suggest that PDT may have a
14 somewhat longer-lasting response and this pattern is also
15 seen at month 2. But the later time points are complicated
16 by an imbalance between the groups and the amount of missing
17 data in study P503. In study P17, there was no imbalance in
18 missing data at month 1 but still a difference between PDT
19 and YAG is seen.

20 [Slide.]

21 The reasons for the missing data at month 1 are
22 seen in this slide. The studies are combined for
23 simplicity. There are several important points to be made.
24 First, with so many missed evaluations, one might consider
25 that the studies were poorly conducted. However, this was

1 not the case.

2 The proportion of patients who were still on study
3 but for whom evaluations were missed was 7 percent.

4 Secondly, the reasons, the first four reasons, for the
5 missing evaluations--not treated, too sick and off-study--
6 reinforce how sick these patients were.

7 In the two studies combined, 23 percent of the PDT
8 group and 28 percent in the YAG were not available for
9 assessment for these reasons. The excess of missing
10 evaluations in the YAG group comes mostly from reasons that
11 suggest YAG failure such as retreatment or intervening
12 therapy, 1 percent for PDT versus 6 percent for YAG, or that
13 the patient requested withdrawal, 1 percent for PDT versus 6
14 percent for YAG.

15 In conclusion, the majority of these reasons for
16 missing data suggest palliation failure of either therapy.
17 In the intent-to-treat analyses, missing data are treated as
18 failures which appears to be correct in these studies.

19 [Slide.]

20 A number of additional analyses were conducted to
21 try to address concerns about the amount of missing data and
22 the ability to compare the therapies. The most compelling
23 of these analyses was a sensitivity analysis which was
24 essentially a worst-case construct for PDT and a best-case
25 for YAG.

1 Since more YAG patients were not treated, the
2 analysis was done on treated patients only. Deaths were
3 counted as failures for both groups and other missing data
4 was considered to represent a failure for PDT and a success
5 for YAG.

6 [Slide.]

7 Even with the stringent, worst-case assumptions,
8 YAG was not statistically superior to PDT. In fact, the
9 response rates were very similar between the groups.
10 Therefore, the fact that response rates are comparable, even
11 with this worst-case assumption, allows one to conclude that
12 PDT is at least as good as YAG at luminal response at
13 month 1.

14 [Slide.]

15 Another new analysis was conducted which counted
16 response attained at any time in course 1. In this
17 analysis, three-quarters of the PDT patients and 50 to
18 70 percent of the YAG patients obtained a response sometime
19 in the first course. Therefore, one can conclude that both
20 therapies are highly effective at debulking tumor and
21 establishing luminal patency.

22 We also show the FDA analysis on slide. This
23 analysis counted patients who demonstrated a response at
24 some time after day 18 on the study including a few who had
25 a response after a second course was initiated. The FDA

1 analysis does not count patients for whom a response was
2 documented solely at week 1.

3 Therefore, it focuses on durability of response
4 which we agree is an important consideration.

5 QLT's month 1 analysis, seen here, does the same.
6 One can see that both analyses had the same pattern of
7 response of PDT versus YAG. Each analysis provides useful
8 information. The FDA analysis includes the durability of
9 response and QLT time analysis provides what one might
10 consider to be an upper limit of the estimate of response
11 rates, the proportion of patients who are going to derive
12 clinical benefit. This is a measure of activity for both
13 therapies.

14 [Slide.]

15 Luminal response, in and of itself, however, is
16 not considered direct evidence of patient benefit. However,
17 resolution of tumor-associated atelectasis and obstruction
18 could ameliorate the risk of post-obstructive complications
19 and also improve tumor-related symptoms. Each of these
20 potential benefits will now be discussed.

21 [Slide.]

22 It is important to note that atelectasis
23 improvement based on the chest X-ray was assessed by staff
24 radiologists and would, therefore, have been less
25 susceptible to potential bias in these studies than symptom

1 improvement evaluations which were scored by the treating
2 physicians.

3 As mentioned before, this was not a protocol-
4 specified endpoint.

5 [Slide.]

6 In both studies, the rate of improvement was
7 higher in the PDT group. Approximately half of the PDT-
8 treated patients with atelectasis at baseline had documented
9 improvement at some time in the first course. About one-
10 third of the patients had improvement at month 1.

11 In the YAG group, the rate of improvement was
12 30 percent in course 1 and 15 to 20 percent showing
13 improvement at month 1. Correlation analyses revealed that
14 approximately half of the patients who had a luminal
15 response achieved improvement in atelectasis.

16 [Slide.]

17 For the patient with advanced lung cancer, the
18 relief of symptoms is an important goal. Tumor response and
19 symptom palliation generally go together. However, in some
20 patients, there can be an objective tumor response without
21 symptom relief. Dyspnea and cough may be caused by other
22 pulmonary conditions in addition to the presence of tumor.

23 Hemoptysis, on the other hand, is a symptom that
24 is clearly tumor related.

25 [Slide.]

1 New analyses focused on marked improvements at any
2 time in course 1. Marked improvements were defined as
3 improvements in symptom scores from a baseline of 2 or more
4 grades.

5 [Slide.]

6 For example, a two-grade improvement in dyspnea
7 going from grade 3 to grade 1 would mean that a patient who
8 had difficulty walking less than 100 meters on level ground
9 could then climb more than one flight of stairs without
10 difficulty. Recording such an improvement would unlikely
11 occur due to chance or investigator bias.

12 [Slide.]

13 Returning to the new analyses, this additional
14 analysis was done for marked improvements at any time in
15 course 1 as previously presented for tumor response and
16 atelectasis improvement.

17 [Slide.]

18 These new analyses were conducted for each of the
19 specific symptoms. Additionally, a per-patient approach was
20 taken in which a patient with a two-grade or better
21 improvement in any symptom was considered to have had a
22 clinically significant symptom response.

23 [Slide.]

24 This slide shows the proportion of patients who
25 had the ability to improve two or more grades in a

1 particular symptom. We see here that approximately
2 60 percent of patients presented with moderate or severe
3 dyspnea or cough meaning that the symptom was at least
4 grade 2 at baseline.

5 These are the patients who had the ability to show
6 marked improvements. About one-quarter had moderate to
7 severe hemoptysis at baseline. On the other hand, almost
8 all patients had at least one symptom that could be
9 evaluated for substantial improvement.

10 [Slide.]

11 The first analyses are for dyspnea improvement.
12 The new analyses of marked improvements in patients who had
13 at least grade-2 dyspnea at baseline are shown here. Marked
14 improvements in dyspnea were seen in approximately
15 25 percent of these patients at any time in course 1 with
16 either therapy.

17 The month-1 rates were 20 percent and 7 percent.
18 The original intent-to-treat analyses based on any level of
19 improvement are shown in the first two columns. In
20 comparing the two analyses, it is noteworthy that the
21 pattern of response is similar. At all three time points,
22 the relative pattern between PDT and YAG is the same in both
23 sets of analyses.

24 It is recognized that the month-1 comparisons are
25 affected by missing data in both analyses, but there is no

1 evidence of bias favoring PDT by the inclusion of single-
2 grade improvements.

3 These new analyses of marked improvements were
4 performed because the single-grade improvements were
5 believed to have been potentially influenced by investigator
6 bias. The fact that the new analyses parallel the original
7 analyses should allay that concern and provide confidence
8 that the original analyses were unbiased.

9 [Slide.]

10 For cough, fewer patients demonstrated marked
11 improvements compared with dyspnea. Marked improvements at
12 any time in course 1 were noted for 17 percent of the PDT
13 patients and 11 percent of the YAG patients. The rates at
14 month 1 were 13 percent and 5 percent.

15 Nevertheless, the profile of relative responses
16 was the same based on marked improvements or any
17 improvement, once again confirming the lack in the original
18 analysis.

19 [Slide.]

20 Turning to hemoptysis; the rates of marked
21 improvement are the highest of any of the three symptoms.
22 88 percent of PDT patients with at least grade 1 hemoptysis
23 and 52 percent of the YAG patients had marked improvements
24 during course 1. The month-1 rates were 71 percent and
25 32 percent.

1 At every time point, the data suggests that PDT
2 may be providing a better level of improvement than YAG.
3 This is entirely consistent with the known ability of PDT to
4 affect tumor vasculature.

5 [Slide.]

6 Up to now, we have focused on the improvement
7 rates for specific symptoms. However, these patients who
8 improve for dyspnea may not improve for cough or hemoptysis.
9 Therefore, it is helpful to summarize the proportion of
10 patients with marked improvement in at least one symptom.

11 [Slide.]

12 This slide provides improvement rates at month 1
13 and at any time in course 1 for the intent-to-treat
14 population. Approximately two-thirds of the PDT patients
15 had a symptomatic improvement at any time in the first
16 course and these improvements were, in fact, marked
17 improvements in half of these patients.

18 Similarly, at month 1, 55 percent of the PDT
19 patients had some improvement and 30 percent had marked
20 improvement. The same pattern was seen for YAG confirming
21 the assessment of single-grade improvements that were not
22 biased in favor of PDT.

23 Overall, significant numbers of PDT patients
24 demonstrated marked improvements. Fewer patients in the YAG
25 group had marked improvement at month 1.

1 [Slide.]

2 In summary, new efficacy analyses were done to
3 address concerns raised by the committee last year. The new
4 analyses confirmed the conclusions drawn from the original
5 analysis; specifically, PDT is at least as good as YAG and
6 may be better for luminal response, resolution of
7 atelectasis and symptom palliation.

8 Furthermore, the magnitude of palliation provided
9 by PDT is clinically important.

10 [Slide.]

11 We now turn to the analysis of the safety data
12 from these trials. Because adverse events may be reactions
13 to therapy or may be symptoms of disease or related to
14 assessment procedures, temporal association will be used to
15 identify those events truly caused by therapy.

16 In addition, as adverse events are collected over
17 the entire follow-up period, we will also look at the impact
18 of extent of follow up on the reporting of symptoms of
19 disease and events due to assessment procedures.

20 [Slide.]

21 In an attempt to quantify the amount of extra
22 follow up in the PDT group, the number of patient months of
23 follow up were counted. For selected time intervals after
24 the completion of treatment, the number of days of follow up
25 were counted for each patient and these were compiled to

1 arrive at these numbers.

2 Patient days were converted to months for
3 convenience in presentation. One can see from the column
4 providing the ratio of the amount of follow up for PDT
5 versus YAG that, in every interval of follow up, there was
6 more follow up in the PDT group.

7 In the earliest interval, within 30 days, which is
8 the interval most germane for assessing response, there was
9 only a 10 percent longer follow up in the PDT group. The
10 imbalance was most dramatic at the later time intervals.

11 Overall, there was a one-third longer follow up in
12 the PDT group. Days of follow up are really days at risk
13 for reporting adverse events. The fact that the PDT group
14 had considerably more follow up in the later periods
15 increases the likelihood of reporting events either due to
16 disease progression or concurrent medical conditions.

17 This difference in follow up should be considered
18 as the safety comparisons are made.

19 [Slide.]

20 With respect to the number of study procedures in
21 the two groups, we see that a similar number of treatment
22 courses was administered. More bronchoscopies seemed to be
23 performed in the PDT arm. Further review of the reasons for
24 these bronchoscopies revealed that the imbalance in the
25 number of bronchoscopies was primarily due to follow up

1 assessment bronchoscopies. This is consistent with the
2 longer follow up shown previously.

3 [Slide.]

4 This slide provides a summary of the major safety
5 parameters based on all adverse events in treated patients.
6 Slightly more patients in the PDT group had at least one
7 adverse event. This difference was not statistically
8 significant. More investigation episodes were reported for
9 PDT and this appears to be due to the longer follow up.

10 Few patients in either group withdrew from the
11 study because of the adverse events. The overall death rate
12 was similar for both groups for both early and late time
13 periods.

14 [Slide.]

15 An important subset of adverse events are those
16 considered to be life-threatening. In the presentation a
17 year ago, concerns were raised about a difference between
18 PDT and YAG with regard to life-threatening adverse events.
19 I would like to clarify the misconception that PDT caused
20 more life-threatening adverse events than YAG.

21 This misconception was undoubtedly because of the
22 way the data were presented to the committee last year.

23 [Slide.]

24 This slide reveals that the total number of deaths
25 in the PDT and the YAG group were equivalent. At last

1 year's presentation, 14 of the PDT deaths and 6 of the YAG
2 deaths had been inappropriately counted as both deaths and
3 life-threatening events.

4 These double-counted events were already counted
5 in the total number of deaths.

6 [Slide.]

7 Last year, the number of life-threatening events
8 for PDT and YAG were listed as 19 and 8, respectively. When
9 you remove the 14 inappropriately double-counted events for
10 PDT and 6 for YAG, the actual number of reversible life-
11 threatening but non-fatal events was 5 for patient and 2 for
12 YAG.

13 [Slide.]

14 Of the five patients with life-threatening events
15 for PDT, two experienced these events within 30 days and
16 three at the later time point. Similarly, in the YAG group,
17 one was in the early period and one was in the later time
18 point. The important take-home message from this slide is
19 that the number of deaths and non-fatal life-threatening
20 adverse events was similar for the two groups in both the
21 early and late periods.

22 We will discuss these events in detail later.

23 [Slide.]

24 This slide shows the most commonly occurring
25 adverse events for both therapies. Many of these adverse

1 events are also symptoms of disease. Statistically
2 significant differences were noted for photosensitivity,
3 dyspnea, bronchitis and the overall category of psychiatric
4 events. Each of these will be discussed briefly.

5 [Slide.]

6 All patients who receive Photofrin injections will
7 be photosensitive for four to six weeks. The rate of
8 reaction is a measure of compliance with instructions and
9 precautions. 20 patients had 24 reactions most of which
10 were mild or moderate. Only one severe event occurred.

11 Based on a large database of patients treated for
12 many indications, photosensitivity reactions are transient,
13 self-limiting sunburn-like reactions occurring on the face
14 and the hands. The period of photosensitivity can be
15 shortened by exposure to ambient light which deactivates the
16 drug in the skin through a photo-bleaching process.

17 [Slide.]

18 Dyspnea was not only assessed for efficacy.
19 Investigators were also instructed to record worsening
20 dyspnea as an adverse event. Dyspnea was reported as such
21 in 32 percent of the patients with PDT and 17 percent of the
22 patients with YAG.

23 The total number of episodes reported was 36 for
24 PDT and 18 for YAG at any time in the follow up. Many of
25 the events, 17 for PDT and 6 for YAG, were reported more

1 than 30 days after the last treatment procedure and were,
2 therefore, most likely due to disease progression and
3 unlikely due to treatment.

4 Looking more closely at those events that occurred
5 within 30 days, the slight imbalance between the groups and
6 the number of events was due to events that occurred within
7 the first ten days. These rapid-onset events are consistent
8 with an inflammatory response in the treated area which is
9 expected to be slightly greater in the PDT group because of
10 its mechanism of action.

11 [Slide.]

12 The severity of events that occurred within these
13 ten days is shown on this slide. All of the events were
14 moderate or severe for both groups. As dyspnea was a
15 symptom of disease present at baseline in most patients to
16 be recorded as an adverse event, it would need to be getting
17 worse. Thus, it is not surprising to see severe dyspnea in
18 both groups.

19 [Slide.]

20 Bronchitis was reported in 11 percent of the PDT
21 patients and 3 percent of the YAG patients. All events
22 except two in the PDT group occurred within 30 days after
23 treatment and are, therefore, possibly due to therapy. Most
24 of these events resolved within ten days on antibiotic
25 therapy.

1 [Slide.]

2 Psychiatric events as a category were reported
3 more often for the PDT group although no specific adverse
4 event occurred at a statistically higher rate. Most of the
5 events were insomnia, anxiety, nervousness or agitation.
6 Careful review of the individual event showed that most of
7 these events, 15 out of the 18, were temporally associated
8 with the bronchoscopic examinations.

9 We have previously seen that more bronchoscopies
10 were performed for follow-up assessment in the PDT group.

11 [Slide.]

12 Most of the psychiatric events were mild or
13 moderate. The two severe events were anorexia and confusion
14 occurring in patients who were experiencing rapid disease
15 progression. Based on safety data from all indications,
16 Photofrin has not demonstrated central-nervous-system
17 effects.

18 [Slide.]

19 Turning now to survival as a safety measure; this
20 figure shows the Kaplan-Meier survival curves for the
21 combined studies. There was no significant difference in
22 overall survival. The hazard ratio of 0.85 indicates a
23 slightly lower risk of PDT which is probably due to the
24 separation of the curves at the later time points.

25 The confidence interval on the hazard ratio was

1 from 0.62 to 1.16 which suggests that the risk of death for
2 PDT is no more than 16 percent worse than that with YAG and
3 may be 38 percent lower.

4 [Slide.]

5 We will now turn to the fatal and life-threatening
6 adverse events in more detail. Temporal association
7 provides a way to focus on events that might possibly be due
8 to either of these acute-acting local therapies.

9 The number of patients who died within 30 days or
10 who could have died within that time was essentially the
11 same for both therapies. We are not saying that 18 percent
12 of the patients in both groups experienced serious
13 complications because of the therapy since not all of these
14 events were due to the therapy.

15 [Slide.]

16 A careful review of all of these early events was
17 conducted to determine which were likely due to either
18 therapy. For the early deaths, the treating investigators
19 attributed more early events to PDT than to YAG, 6 versus 1
20 percent. In considering why this difference happened, I
21 suspect there was bias in these unblinded trials where the
22 investigators were more likely to attribute a complication
23 leading to a death to be related to a new drug than to a
24 procedure that they were familiar with and had done many
25 times.

1 The potential imbalance, however, was of concern
2 to the sponsor. Therefore, they requested a blinded
3 assessment of the early deaths which was conducted by Dr.
4 Eric Adell, a respected pulmonologist at the Mayo Clinic,
5 who is familiar with both therapies.

6 In these very ill patients, it is often difficult
7 to determine whether the cause of death was due to
8 treatment, disease progression or concurrent underlying
9 illness. Based on the blinded assessment, 6 percent of the
10 PDT patients and 4 percent of the YAG patients died of
11 possibly treatment-related complications.

12 There was a 1 percent incidence of non-fatal life-
13 threatening events in both groups.

14 [Slide.]

15 The specific early events that were considered to
16 be treatment associated are shown here. Treatment-
17 associated respiratory distress, with or without congestive
18 heart failure, was observed in 4 percent of the PDT patients
19 and 2 percent of the YAG patients. 3 percent of the
20 patients in both groups died of hemoptysis that was probably
21 caused by treatment.

22 Thus, the relative risk of fatal or life-
23 threatening complications appears to be the same for PDT and
24 YAG, 7 versus 5 percent, and the nature of such events is
25 also the same. It is to be noted that the medical officer

1 has his own review of these events. I am sure that there
2 will be further discussion of this topic after the
3 presentations.

4 [Slide.]

5 These two causes of early associated death,
6 respiratory insufficiency and massive fatal hemoptysis, will
7 be discussed in more detail.

8 [Slide.]

9 The term "respiratory insufficiency" is a standard
10 adverse event dictionary term that covers many different
11 types of life-threatening or fatal events such as those
12 listed on this slide.

13 [Slide.]

14 Respiratory insufficiency is not a surprising
15 event in lung-cancer patients and is often the manifestation
16 of disease progression. It was reported for 11 percent of
17 the PDT patients and 4 percent of the YAG patients during
18 the entire follow-up period. This difference was not
19 statistically significant.

20 Using temporal association as a means to focus on
21 those events possibly attributable to therapy, there were 6
22 patients in the patient and 3 in the YAG group who
23 experienced respiratory insufficiency within 30 days of a
24 treatment procedure.

25 Events considered to be related to therapy

1 occurred in 3 percent of PDT patients and 2 percent of YAG
2 patients. Therefore, the two therapies appear to have a
3 similar risk of acute respiratory complications.

4 [Slide.]

5 For both PDT and YAG, such reactions are
6 precipitated by the acute inflammatory response in the main
7 airway. The risk is the same for both therapies, 2 to 3
8 percent. The current package insert warns that PDT should
9 be used with extreme caution for endobronchial tumors in
10 locations where treatment-induced inflammation could
11 obstruct the main airway.

12 [Slide.]

13 Fatal hemoptysis in patients with advanced lung
14 cancer can be caused by tumor invasion, treatment-induced
15 necrosis of such invading tumors, or it may be due to
16 instrumentation injury with bronchoscopy or during
17 debridement procedures.

18 Rates of fatal hemoptysis in the literature vary
19 depending upon the therapy. In autopsy series, the
20 incidence of death due to hemoptysis in untreated patients
21 was 2 to 5 percent. The rate of fatal massive hemoptysis is
22 increased in patients who have had external-beam
23 radiotherapy approximately 8 to 11 percent.

24 The highest rates of fatal hemoptysis have
25 occurred with brachytherapy. A number of series have

1 reported incidences greater than 20 percent and the full
2 range reported is from 4 to 50 percent.

3 These rates vary not only because of the nature of
4 the therapy but also the characteristics of the patients
5 treated. Those who would be candidates for brachytherapy
6 are the patients most similar to those studied with patient
7 and YAG as they are mostly recurrent patients with centrally
8 located endobronchial tumors.

9 Such patients inherently have a higher risk of
10 this complication because of the proximity of the tumor to
11 major vessels.

12 [Slide.]

13 The total incidence of fatal hemoptysis was 10
14 percent in the PDT group and 5 percent in the YAG group.
15 This difference was not statistically significant.
16 4 percent of the patients in both groups experienced these
17 events with 30 days of a treatment procedure.

18 Based on the blinded assessment of early deaths,
19 these events were treatment related in 3 percent of the
20 patients in each group. We believe that these are the only
21 events due to either therapy, but it is important to discuss
22 the late-occurring events as well.

23 [Slide.]

24 This slide lists the exact study days when all
25 events of fatal massive hemoptysis occurred. C1, day 5,

1 means that the patient died in course 1 on day 5 with days
2 counted from the beginning of therapy. The events listed in
3 the top half of the slide reflect those that are within
4 30 days of a treatment procedure and the bottom half as
5 late-occurring events.

6 There were six late-occurring events for PDT for
7 FMH. As can be seen from the timing, the six PDT events
8 occurred long after any PDT-induced tumor necrosis would be
9 expected given its mechanism of action.

10 For three of these six patients, progressive
11 disease was also listed as the cause of death. It is
12 notable that two of these patients benefitted from prolonged
13 survival.

14 It is important to reemphasize that the overall
15 survival for the two groups was the same.

16 [Slide.]

17 In my opinion, the patients who are at greater
18 risk for FMH following PTD therapy and frankly following YAG
19 or brachytherapy are the patients who have central lesions
20 associated with a major pulmonary vessel. The presence of a
21 large extrinsic component of chest X-ray or CT and
22 radiographic evidence of vessel invasion on CT would
23 indicate that that patient would be a high risk.

24 Cavitation is an added risk factor. A given
25 number of patients presenting with these findings will

1 develop FMH without any intervention. In these patients,
2 any interventional benefit must be weighed against the risk
3 of this catastrophic complication and the family and the
4 patient should be informed of the possible consequences of
5 therapy.

6 There is no doubt that there will be a learning
7 curve for new clinicians which demands proper conservative
8 labeling practices.

9 [Slide.]

10 In the current package insert, it states, "PDT is
11 contraindicated in patients with tumor eroding into major
12 blood vessels." The following wording is proposed for the
13 warning section. "Patients should be assessed for the
14 possibility that a tumor may be eroding into a blood vessel.
15 Patients at high risk for fatal massive hemoptysis include
16 those with cavitating tumors or those with extrinsic,
17 extensive extrinsic component to the bronchus.

18 [Slide.]

19 In conclusion, fatal hemoptysis can be caused by
20 local therapy such as PDT, YAG and brachytherapy. For all
21 these therapies, the event is due to tumor resolution or
22 instrumentation injury when the tumor is invading a
23 pulmonary vessel.

24 In these trials, PDT and YAG had the same rate of
25 early events and the same rate of associated events.

1 Patient selection, therefore, is the key to avoidance.

2 [Slide.]

3 The overall safety conclusions are as follows.

4 The PDT and the YAG groups had the same median survival,
5 early mortality and early life-threatening adverse events.

6 The rates of associated mortality and life-threatening
7 adverse events and the nature of these events were also
8 similar for the two therapies.

9 Therefore, PDT and YAG have similar risk levels
10 for significant events. The differences in the safety
11 profile between the two therapies are due to the potential
12 photosensitivity reactions and the potential for a greater
13 inflammatory response within the airway.

14 These mucositis reactions can lead to transient
15 dyspnea, bronchitis and respiratory distress in
16 approximately 10 percent of these patients.

17 [Slide.]

18 Up to now, the assessment of benefit and risk has
19 focused on specific parameters assessed for the whole
20 population. Understanding the net benefit to individual
21 patients is complicated when there are multiple efficacy
22 parameters. If one parameter improves but another three
23 worsens, or there are other important toxicities that occur,
24 then it is hard to say that the patient received any net
25 benefit.

1 The total clinical context including adverse
2 events is relevant.

3 [Slide.]

4 Therefore, QLT evaluated the net benefit and risk
5 for each patient and identified a subset of patients for
6 whom PDT provided clinically important net benefit. This
7 analysis used rigorous efficacy criteria which generally
8 required marked symptom improvement with some measure of
9 durability or durable tumor responses.

10 The exact criteria have been provided in your
11 background document. This analysis also required that the
12 patients had minimal adverse reactions and did not receive
13 any intervening therapy. Such an approach was very helpful
14 in assessing the palliative benefit of PDT in esophageal
15 cancer.

16 [Slide.]

17 A total of 36 patients were identified who
18 achieved clinically important net benefit. The duration of
19 benefit was calculated from the first to the last day of
20 documented benefit and was at least two months. This was a
21 conservative estimate of duration because 23 of these
22 patients were still in response at their last assessment.

23 [Slide.]

24 In his review of this analysis, the FDA medical
25 officer agreed that 33 of these 36 patients appeared to have

1 important net benefit particularly because benefit was
2 demonstrated for more than one measure.

3 [Slide.]

4 This slide shows the number of patients with
5 benefit documented with multiple endpoints. Only two of the
6 patients had improvement in just one endpoint. Nine
7 patients had benefits in four to five endpoints. Ten
8 patients had improvements in six or seven parameters. And,
9 all in all, more than half of the patients demonstrated
10 benefit of four or more of these clinically significant
11 endpoints.

12 [Slide.]

13 Therefore, based on the original and new analyses,
14 the following conclusions can be made regarding efficacy as
15 demonstrated with PDT in these trials. Tumor response was
16 achieved in 74 percent of patients and the month 1 rate was
17 55 percent.

18 Atelectasis improvement was observed in 48 percent
19 of patients who presented with this symptom. The month 1
20 rate was 30 percent. Marked symptom improvement occurred in
21 36 percent of patients, 30 percent at one month.

22 [Slide.]

23 In patients who presented with moderate to severe
24 symptoms at baseline, the rate of marked improvements at any
25 time was 25 percent for dyspnea, 17 percent for cough and

1 88 percent for hemoptysis. The month 1 rates, shown here,
2 are similar.

3 Overall, 42 percent of the patients who had at
4 least one marked symptom at baseline demonstrated a marked
5 improvement with PDT. These rates of improvement clearly
6 demonstrate that clinically important benefit was obtained
7 with PDT.

8 [Slide.]

9 The following conclusions define the safety
10 profile of PDT demonstrated in these trials.

11 Photosensitivity reactions occurred in 20 percent of
12 patients. Transient inflammatory reactions occurred in 10
13 percent. Associated fatal and life-threatening adverse
14 events occurred in 7 percent of patients.

15 [Slide.]

16 In numerous efficacy analyses, both the original
17 ones and the new one, PDT consistently demonstrated a higher
18 level of response than YAG. Based on these analyses, one
19 can conclude that PDT is at least as good as YAG and
20 probably better than YAG for removing tumor obstruction,
21 resolving atelectasis and palliating symptoms due to tumor.

22 PDT has the potential for some additional mild to
23 moderate adverse reactions but these are offset by the
24 somewhat higher efficacy. PDT and YAG for endobronchial
25 obstruction demonstrate comparable therapeutic ratios in

1 these trials.

2 [Slide.]

3 The armamentarium for management of endobronchial
4 obstruction should not be limited and as many options should
5 be available because each have inherent benefits and risks.
6 YAG will rapidly open up airways but may require multiple
7 treatments and does not have the specific oncologic response
8 prolongation seen with PDT.

9 External-beam radiotherapy takes a longer period
10 of time, requires multiple outpatient treatments and has
11 persistent problems with tumor control and fatal hemoptysis.
12 Endobronchial brachytherapy, although rapid in its response,
13 has the highest incidence of fatal massive hemoptysis, can
14 only be offered at specialized centers and is dose-limited.

15 PDT, although associated with a risk of early
16 reversible complications as well as a finite risk of fatal
17 massive hemoptysis, can be used as an independent modality
18 or in association before or after other therapies.

19 Taking all these matters into careful
20 consideration for my patients, I personally think that PDT
21 offers the best combination of risk/benefit for patients
22 with endobronchial obstruction. This is due to its rapid
23 palliative and durable effect, its possibility for
24 retreatment and its acceptable toxicity profile.

25 This therapy will only improve in the future with

1 the explosion of technology in light delivery and smarter
2 sensitizers.

3 Ms. Mancini will make some concluding remarks.

4 **Concluding Remarks**

5 MS. MANCINI: Thank you, Dr. Pass.

6 [Slide.]

7 So, where did we leave off last year? A number of
8 concerns were raised last year as noted here. I would like
9 to take a few moments now to go through each of these points
10 and review how we have addressed them.

11 [Slide.]

12 Regarding estimates of benefit first, to address
13 the concern about the collection of symptoms in these
14 unblinded trials by the treating physicians, we conducted
15 some analyses of marked symptom improvements as these are
16 unlikely due to investigator bias and they demonstrate
17 clinically relevant improvements.

18 We conducted some new analyses at any time to give
19 upper estimates of benefit. We recognize that this does not
20 have the duration aspect of benefit built into it, but it
21 does give one the upper estimate of benefit achievable.

22 The intent-to-treat analyses which we have done
23 give one the lower estimates of response because, in these
24 analyses, responders are only those who have documented
25 response. Patients with missing data are counted as

1 failures in the intent-to-treat analysis.

2 The true response rates are at least as good as
3 the intent-to-treat rates. They may have been higher. We
4 also looked at atelectasis resolution to provide an
5 independent corroboration of patient benefit based on the
6 advice of this committee last year.

7 [Slide.]

8 We conclude, then, regarding estimates of benefit,
9 that there was no apparent bias in our original analyses.
10 The pattern of response in the new analyses that we did on
11 marked symptom improvement was the same as what we did in
12 the old analyses. The pattern was the same as we saw in the
13 old analyses. Therefore, we believe that this confirms that
14 there was no bias in the original analysis.

15 We are providing a resubmission both upper and
16 lower bounds on the estimate of benefit from the different
17 approaches we have taken and the analyses of marked symptom
18 improvements have demonstrated that the magnitude of
19 palliation achieved was clinically important. These were
20 not trivial changes and they were achieved in a significant
21 number of patients.

22 [Slide.]

23 Statistical comparisons between PDT and YAG were
24 deemed unreliable for the reasons outlined here, and I will
25 go through each of those now.

1 [Slide.]

2 Because the analysis plan and the protocol were
3 not as detailed as one would like in today's world--I should
4 preface that by saying these trials were initiated in 1988.
5 Because these plans were not sufficiently detailed, a number
6 of decisions did need to be made post hoc on how to analyze
7 the data with respect to the definitions of response
8 endpoints, analysis time points, some clarification there,
9 and the decision to focus on course 1 analyses.

10 We believe, though, that we have chosen clinically
11 relevant efficacy measures. We have chosen the palliation
12 of symptoms which was a primary endpoint in the trials to
13 begin with. This is very important for these patients.

14 We chose objective tumor response which is an
15 important measure of the reduction of obstruction. It was
16 originally a secondary endpoint and we moved it to primary
17 status.

18 We chose to analyze course 1 time points of week 1
19 and month 1. This was specified in the protocol. What we
20 did was we actually defined the time windows for those
21 intervals and we believe that focussing on the week 1 and
22 month 1 addresses both the immediacy of response and the
23 duration of response both of which are important questions
24 for a palliative therapy.

25 These decisions, although post hoc, were not made

1 after looking at the data for this application. I would
2 like to point out that these exact same approaches were used
3 three years earlier in our submission of our esophageal
4 cancer palliation data.

5 There was also a potential for bias because of the
6 different treatment schedules. I would like to reiterate
7 that we did deliver PDT and YAG in these protocols according
8 to the standard of clinical practice. Although there was a
9 potential for difference in the way it was delivered, in
10 fact, this did not occur because the number of laser
11 sessions given per patient to deliver therapy was the same
12 for both treatment regimens.

13 [Slide.]

14 Statistical comparisons were also challenged
15 because of the imbalance in missing data at the month 1 time
16 point. We did a number of new analyses to address this
17 point. The first one was an evaluable analysis which has
18 been provided in your background although it was not
19 presented today.

20 This was based only on patients who had
21 assessments of response. Because there was more missing
22 data for YAG, this essentially boosted the YAG response
23 rates more so than it did the PDT response rates relative to
24 the original intent-to-treat analysis. But, even so, the
25 evaluable analysis shows the same pattern relative between

1 patient and YAG.

2 [Slide.]

3 We examined in detail the reasons for missing data
4 and we concluded that counting these patients as palliation
5 failures, as was done in the intent-to-treat analysis, was,
6 in fact, the correct way to handle this missing data.

7 We also looked at the response at any time in
8 course 1 to provide a comparison in which the bias from the
9 month 1 imbalance was reduced, although we recognize it is
10 not entirely eliminated. The primary motivation for doing
11 this analysis, however, was to address a question raised by
12 this committee last year and that was to get a measure of
13 the activity and why weren't more patients responding when
14 we just emphasized month 1 response rates.

15 The most compelling of all the analyses we did
16 were the sensitivity analyses which were worst-case
17 constructs for PDT.

18 Based on the totality of all these analyses, we
19 conclude that the statistical comparisons at month 1 appear
20 to be valid, the PDT is at least as good as YAG and that the
21 PDT benefit appears to last longer. However, recognizing
22 that our analyses were not fully prespecified, we accept the
23 claims of statistical superiority at month 1 of PDT over YAG
24 would not be permitting in labeling.

25 [Slide.]

1 Turning now to safety issues, last year, the rate
2 of associated fatal massive hemoptysis and respiratory
3 insufficiency were unclear for some members of the
4 committee. Furthermore, some may have been left with the
5 misconception that PDT caused more life-threatening adverse
6 events than YAG. This was, no doubt, due to the way in
7 which we presented this information to the committee.

8 In our resubmission, we have conducted a thorough
9 reevaluation of all cases of fatal hemoptysis and
10 respiratory insufficiency including an evaluation of
11 potential prognostic factors. We have corrected our
12 analyses of the life-threatening adverse events basing it
13 now on the worst outcome for each specific event.

14 Previously, some events had incorrectly been
15 included in both the life-threatening and fatal categories.
16 I want to emphasize that what we are stating is that the
17 exact same event was being counted twice in last-year's
18 presentation.

19 [Slide.]

20 Based on the thorough reevaluation of safety, we
21 are confident that we now have accurate estimates of the
22 risks due to PDT and YAG and we have shown that PDT does not
23 cause more life-threatening adverse events than YAG.

24 [Slide.]

25 We hope that we have addressed all of your

1 concerns regarding this supplemental application and that
2 you will agree with our final conclusions which are:

3 [Slide.]

4 From a regulatory perspective, we conclude that
5 these studies were adequate and well controlled; that
6 Photofrin PDT provides important clinical benefit in a
7 significant percentage of patients; that the risks
8 associated with Photofrin PDT are understood and appropriate
9 labeling can be written; that the therapeutic ratio is
10 favorable for this indication and--

11 [Slide.]

12 Therefore, that Photofrin PDT is indicated for the
13 reduction of obstruction and palliation of symptoms in
14 patients with completely or partially obstructing
15 endobronchial non-small-cell lung cancer.

16 Thank you. That concludes our presentations.

17 DR. DUTCHER: Thank you.

18 **Questions from the Committee**

19 Are there questions for the sponsor?

20 DR. JOHNSON: I think the issue from my
21 perspective that is of importance is the fact that we have
22 demonstrated that there is luminal improvement with this
23 procedure. I am still not convinced that we have seen that
24 the benefits outweigh the risks with this particular
25 procedure.

1 I am particularly concerned if the committee does
2 recommend approval that we understand the group of patients
3 for whom this process is being recommended. As I went
4 through the briefing document, there was a considerable
5 amount of information about attempts to select individuals
6 who would not be candidates for PDT and individuals in whom
7 toxicities would be considerable.

8 The exact frequency of FMH is not known and that
9 is particularly concerning. The predisposing factors are
10 not well defined and that is particularly disturbing. It is
11 possibly related to previous irradiation but, by my
12 calculations, I did not concur with that agreement or that
13 particular statement that was made in the document.

14 Lastly, there was some comment made about the
15 investigator experience. Obviously, that is a major issue.
16 If physicians' experience with this particular procedure is
17 going to have a bearing on FMH, that is especially
18 worrisome.

19 Then, lastly, in doing my own background review
20 for this particular presentation, I went, once again, to the
21 medical literature and came up with an article which uses
22 Photofrin 2 in a randomized fashion, alone or in combination
23 with palliative irradiation in patients with inoperable
24 obstructive non-small-cell lung cancer. I was wondering if
25 you have any information pertaining to that trial. This

1 paper was published in 1987.

2 So that is a lot of questions related to a group
3 of patients.

4 MS. MANCINI: That is a lot of questions; right.
5 Could I just ask you first on the reference that you are
6 citing who the author was so we can identify the trial.

7 DR. JOHNSON: Dr. Lamb.

8 MS. MANCINI: Dr. Steven Lamb; okay.

9 DR. PASS: Why don't we address the issues of the
10 risk first. If I could have slide 2-140.

11 [Slide.]

12 There was a large database in patients treated
13 with PDT from a variety of supportive studies also that the
14 sponsor was able to look at what the risk analysis was.
15 This is demonstrated in this slide. You have raised a
16 couple of issues here which have to do, first of all, with
17 prior radiotherapy.

18 If you look at the patients who get PDT and then
19 have FMH, yes, there seems to be a higher incidence of FMH
20 with PDT. But if you look at the time period, the events do
21 not occur in the time period that you would expect to be PDT
22 related meaning that the events in these that occurred were
23 late events.

24 I, in my own opinion, agree with that that the FMH
25 that we are seeing in these trials that are late, as we

1 tried to show you in the presentation, also, are way out
2 there meaning that they are not close to the actual therapy
3 which is the light and the drug.

4 The investigator experience situation is also a
5 very important one. We agree that the investigator
6 experience will define who are the patients that are going
7 to be treated because, in our analysis of this, it was seen
8 that the investigators who were not as experienced were
9 putting the patients who were later in their course on the
10 disease and certainly could have a higher risk of FMH and
11 also the patients that had prior radiotherapy which also is
12 a marker for lateness of disease the inexperienced
13 investigator put on the trials.

14 This is an issue that is already being addressed.
15 There are centers that have already been set up to train
16 people how to do PDT.

17 DR. ALBAIN: Can I ask a follow up on that slide
18 before you leave it?

19 MS. MANCINI: Certainly.

20 DR. ALBAIN: Dr. Pass, in your view, what would
21 these late events be due to? Is it potentially due to
22 scarring eroding into the vessels late? What is your sense?
23 Or is it due to disease progression?

24 DR. PASS: The scarring phenomenon is one that you
25 don't really see with PDT. When you treat animals and

1 patients with PDT and you look at the skin and you look at
2 what happens, there is resolution to this and there is
3 minimal scar. So the scarring that is attributable to other
4 therapy such as radiation or endobronchial brachytherapy is
5 a different mechanism and we should not confuse those.

6 So I think that we need, in my opinion--I do
7 believe that this is, in the majority, progression of
8 disease. If, on trial, you are also doing instrumentations
9 just to assess what is in the bronchus, there are two of
10 those that, on the trial to determine a response, it was
11 temporally related to an assessment bronchoscopy but not to
12 PDT.

13 So, for the main, you are talking about
14 progression of disease. But, on a trial like this where
15 instrumentation is necessary for response documentation, you
16 can have temporally related events. That is not PDT. That
17 is bronchoscopy. So that is my opinion.

18 MS. MANCINI: If I can just add further to what
19 Dr. Pass has said. He is referring to--two of the six late
20 occurring PDT events were associated with a bronchoscopic
21 procedure. I would like to go back to Dr. Johnson's--

22 DR. ALBAIN: Excuse me once more. I'm sorry.
23 Were those procedures ones that were protocol mandated and
24 may not be performed in the usual practice setting?

25 MS. MANCINI: Yes.

1 DR. ALBAIN: Or would this be an issue of concern
2 in following these patients out in the community.

3 MS. MANCINI: These were protocol mandated. These
4 were, like, three-month, four-month assessment follow up
5 bronchs and they would not be done in routine practice. We
6 actually found some events of respiratory insufficiency,
7 late occurring also, linked with late procedures. It is the
8 same phenomenon.

9 Returning to the question of radiotherapy, I think
10 it is helpful if we get slide 2-143.

11 [Slide.]

12 This slide will present the data, the impact of
13 prior radiotherapy also for the YAG group and the same
14 pattern is seen there. In the regression analysis we did
15 looking for risk factors--well, I will just speak to this
16 first. In both the PDT and the YAG groups, the incidence of
17 FMH was higher in patients who have had prior radiotherapy,
18 statistically significant comparing to the no-prior-
19 radiotherapy groups.

20 There is no statistical difference between PDT and
21 YAG, however. I want to point that out. We believe that
22 the status of prior radiotherapy is really a marker for
23 later disease, more recurrent disease, and the fact is that
24 these patients are going to be, therefore, at higher risk
25 for FMH due to just the presence of the tumor at that point

1 invading that deeply.

2 If we could then back to the regression slide 2-
3 140, please.

4 [Slide.]

5 I would just like to clarify a little more what we
6 did in this analysis. This was based on our entire database
7 and, in fact, it includes the data that Dr. Johnson is
8 referring to in the publication from Dr. Lamb. We did have
9 three supportive studies that are not discussed today in
10 which PDT was followed by radiotherapy. This was not prior
11 radiotherapy.

12 But those are in the 182, and I will come back to
13 your later question again. In this analysis, we analyzed
14 for potential prognostic factors on all of the events
15 occurring at any time which was a total of 27 events. And
16 we also looked at the early events believing that the early
17 events are clearly the ones that would possibly be due to
18 PDT; not necessarily due to but possibly due to.

19 Whereas, the late events, given the mechanism of
20 PDT, there is no known mechanism that we can imagine. In
21 fact, we even get PDT intraarterially. We can show you that
22 data if you would like to know that. We don't see any
23 effects on the vasculature.

24 So in the early events, which are the ones that
25 might possibly be due to PDT, the only place where there was

1 a statistical significant was in this investigator
2 experience. As Dr. Pass has pointed out, this appears to be
3 linked to patient selection.

4 These patients did have more prior therapy. They
5 also were earlier stage of disease. We have patient-
6 characteristic data to share with you if you would like to
7 see it.

8 The prior radiotherapy was not a significant
9 predictor in the analysis on early events. Therefore, we
10 believe that it is independent of PDT-induced effects. It
11 is a predictor. We agree that it is a predictor for fatal
12 hemoptysis just as it would be a predictor for any
13 subsequent therapy, YAG brachytherapy or no therapy.

14 DR. JOHNSON: I guess the aspect of that study
15 that would be of interest to me, since one arm received PDT
16 and one received the two therapies together would be, first
17 of all, what were the differences of early FMH in that trial
18 and then what happened to that group of patients that
19 received PDT and subsequently went on to receive external
20 beam or radiation which is generally a little more available
21 to patients at present than PDT.

22 MS. MANCINI: Yes. If we could go to slide 2-159,
23 please.

24 [Slide.]

25 This will be an overview of all of the events that

1 occurred in those supportive trials, so it won't have the
2 primary trial data merged in. Just to explain the slide a
3 little bit, PDT was to be followed by radiotherapy, external
4 beam. The control arm was radiotherapy in two of the
5 studies.

6 In one of the three studies, we had a second
7 control arm which was brachytherapy followed by external
8 beam, radio. The incidence, when one looks at these--when
9 we first looked at this data, and I know when Dr. Williams
10 first looked at the data, these numbers jumped out at all of
11 us; 17 percent here versus 8 percent here.

12 Therefore, we went and looked at these cases in
13 more detail. The first thing that was quite impressive was
14 the fact that a lot of the patients, seven, in fact, died of
15 fatal hemoptysis before they got to the RT, the delivery of
16 RT.

17 We accept that six of these cases were, in fact,
18 PDT-induced FMH. Of the surviving patients that went on to
19 get RT, the rate of fatal hemoptysis is not different than
20 what we saw in the RT arm. Now, we recognize this is not a
21 perfect comparison and, actually, we have gone to great
22 length in the submission that we have given to Dr. Williams
23 to explain why we believe that this rate is higher here
24 because of what is an additive effect, not a synergistic
25 effect.

1 These patients here, the first 9 percent, seven
2 patients, did not get any RT. They had died before they got
3 to that point. So we believe that the risks--if there are
4 additional risks, they are additive. There is no synergy
5 between giving PDT and RT.

6 Is that clear? I'm sorry if I garbled it a bit.

7 DR. PASS: May I address, if I may, the question
8 of experience again which we raised which is important in
9 the future, also. We are very fortunate to actually have
10 Dr. Jeff Wieman here who is actually one of the crucial
11 leaders in setting up these types of training centers at the
12 Norton Cancer Center in Louisville.

13 If we could go to slide 272, 277, there is
14 anticipation of the need for this and it has already started
15 because of the esophageal trials.

16 [Slide.]

17 Those sort of training programs are a combination
18 of both didactic and hands-on training for individuals who
19 are interested in doing PDT. I would like Dr. Wieman to
20 address his particular program at this point.

21 DR. WIEMAN: Thank you very much. To give you a
22 little background of our group, we have been working both
23 experimentally in the laboratory and clinically with
24 photodynamic therapy since 1984 and have a relatively large
25 experience, I think, in treating lung disease.

1 I would like to make just one comment regarding
2 the question of the fatal hemoptysis. Actually, one gets
3 the perception that there is just one mechanism involved
4 and, really, that is erroneous. There is a question of
5 patient selection which, I think, is not terribly difficult
6 to deal with.

7 But, like any other complex activity, one goes
8 through a training process and has to learn to be sensitive
9 to the human body. The primary problem that we have
10 identified over the years in fatal hemoptysis has not been
11 the massive explosion of blood but just the individual's
12 learning to deal gently with tissues like in any other
13 surgical procedure.

14 Many of these tumors are fairly fragile and the
15 early experience with instrumentation may not be one of
16 understanding of that. But once one learns to look at these
17 tissues and deal gently with the tumors, then not the
18 precipitous explosive hemoptysis but the continuous volume
19 of blood that can come from abusing a tumor is what causes
20 most of these respiratorily impaired patients to
21 deteriorate.

22 So a lot of it is really how to touch, feel, or
23 not touch and not feel, these tumors. And it takes time to
24 do that. In order to try and forward this field, we have
25 been involved in the education of many people over the years

1 and have formalized our activity in the last year or two.

2 We have a two-day course for numbers of
3 individuals who spend the first day essentially learning in
4 a didactic setting the basic understanding of what
5 photodynamic therapy is both from a drug-related standpoint
6 and from the mechanical or device-related perspective.

7 We teach them about how to manage the disease
8 process, itself and select the patients for treatment. We
9 then dwell specifically on the means of treating groups of
10 patients with either esophageal disease or with a pulmonary
11 disease and give them the opportunity to ask detailed
12 questions about this type of thing.

13 Then we delve fairly extensively into so-called
14 dosimetry or how to actually determine the parameters of
15 patient treatment. On the second day, we have live
16 demonstrations. And we bring a selection of varied patients
17 so that the individuals who are anticipating carrying out
18 this particular form of therapy have the opportunity to see
19 us make judgments about these individuals.

20 And we do try and provide different examples of
21 the disease process in order to give them this opportunity.
22 I think, through doing that and our experience over the
23 years, is once one teaches people who are generally pretty
24 experienced in bronchoscopy or some other endoscopic
25 procedure in this case, the parameters of this particular

1 type of therapy, that the safety issue becomes one of
2 somewhat less concern.

3 So experience is helpful.

4 DR. DUTCHER: Could you just comment on what you
5 think the learning curve is, how many times a person really-
6 -I know that in a lot of surgical procedures, there are a
7 certain number of cases before someone is considered
8 proficient. Do you have a feel for that?

9 DR. WIEMAN: Sure. Again, that is something that
10 people spend months arguing over because there is a
11 different learning curve for every individual. But an
12 experienced endoscopist who has seen these tumors in the
13 past and treated them with other means will learn how to do
14 this over a course of five to ten patients without
15 difficulty.

16 DR. SCHILSKY: I have a few questions. I am
17 curious. When these studies were designed, what hypothesis
18 was being addressed in the clinical-trial design?

19 MS. MANCINI: They were designed as superiority
20 trials.

21 DR. SCHILSKY: I think one of the things that at
22 least I am grappling with is the fact that these are sick
23 patients. The symptoms of the disease and the side effects
24 of the treatment are oftentimes difficult to distinguish.
25 It gets sort of confusing when you think about the fact

1 that, for example, patients treated with PDT have less
2 dyspnea, but they also have more dyspnea as a side effect.

3 So I am wondering if you could just help us
4 understand a little bit, at least for those patients who
5 had, say, marked symptom improvement. When did that
6 improvement occur relative to when the treatment was
7 actually administered? How long did it last?

8 It seems like the side effects might be most
9 likely to occur in the first few days after the treatment
10 when there is an acute inflammatory reaction. So can you
11 give us some sense of when these patients got better and how
12 long did the improvement last.

13 MS. MANCINI: Yes. Just give us a moment to find
14 a slide for you. The marked improvements did occur rapidly.
15 We are going to try to present our week-1 data for you and I
16 think you will see that the magnitude of improvement at
17 week 1 far outweighs any of the sort of decrease from a
18 transient inflammatory response.

19 You would like to see the marked--any level of
20 improvement or just marked?

21 DR. SCHILSKY: I think marked improvement would
22 probably be most informative.

23 MS. MANCINI: That is provided in your document in
24 the tables. Slide 214?

25 [Slide.]

1 This shows the week-1 improvement in the patient
2 population that had--they were in greatest need of
3 palliation. They had the moderate to severe symptom present
4 at baseline. So we see that the level of marked improvement
5 was 15 percent, 18 percent, for the two therapies so they
6 are about the same at week 1.

7 Any level of improvement was higher, of course,
8 but these are the marked improvements over here.

9 DR. SCHILSKY: I have another question. You were
10 able to identify a group of patients that you characterized
11 as having clinically important net benefit. You had 36
12 patients in the PDT group.

13 MS. MANCINI: Yes.

14 DR. SCHILSKY: Were you able to identify a similar
15 group of patients in the YAG group? How many patients met
16 those same criteria in the YAG group?

17 MS. MANCINI: Yes; I can present that data to you.
18 This is slide 345, please.

19 [Slide.]

20 We found 23 such patients versus the 36 in the PDT
21 group. The majority of the ones in the YAG group were
22 meeting this criteria because they had durable tumor
23 response not so much because they had clinically important
24 symptom relief.

25 The median duration using the way we calculated

1 this was about the same for the two therapies and I do want
2 to emphasize this was an extremely conservative calculation
3 of duration of benefit. It was from documented first-day of
4 benefit to documented last-day of benefit.

5 If the next assessment was missing or was not in
6 benefit, you went back a visit, so it was very conservative.
7 That is why we put the two-months plus. Also, the fact that
8 23 of these patients and 20 of these patients were still in
9 response at last assessment.

10 DR. SCHILSKY: So since these are the patients who
11 seem to have the greatest net benefit from the therapy, can
12 you tell us about the characteristics of those patients? Is
13 there a way to use this analysis to cull out the group of
14 patients who might have the greatest chance of benefitting?

15 MS. MANCINI: Slide 349, please.

16 [Slide.]

17 We looked at the patient characteristics in the
18 subset of patients with this clinically important
19 improvement versus the other patients that did not fit into
20 this category. We did not do statistical testing but these
21 were the trends that we found. The patients who had the
22 higher level of obstruction, there were more in--greater
23 than 90 percent.

24 They had worse dyspnea at baseline. They also had
25 worse KPS. Some of these patients--they were provided in

1 your background document. A few of them did demonstrate
2 remarkable improvements in KPS.

3 DR. PASS: But the intriguing thing about this is
4 that you have to treat the worst patients to be able to mark
5 them as marked improvement. Those are the patients who are
6 going to have the marked obstructions. Those are the
7 patients who may be the sickest. Those are the patients
8 that if you get a transient inflammatory response could have
9 the 10 percent early stuff.

10 So you have to watch them although they seem to
11 get the best benefit. So to try and cull out what are the
12 characteristics that you can define a set population for
13 this is very difficult and is really going to be based on
14 the experience the investigator and the admonishing of
15 people who have done it before of what you have got to be
16 careful of.

17 DR. SCHILSKY: One other thing. As someone who
18 does not do these kinds of procedures, I am still trying to
19 grapple with why would you do this as opposed to using the
20 YAG laser. It seems that this takes longer to apply because
21 you have to have 48 hours before you can even do the
22 procedure. It is possible that it may require more
23 bronchoscopies. It takes longer for it to have an effect.
24 As I understand it, you put the laser in there, you burn it
25 out and the lumen is open. Here, you may have to wait a

1 couple of days for the tissue necrosis to occur.

2 The patient may be disadvantaged by having to stay
3 out of the light for a period of time and these patients
4 don't live very long on the average. So I am still a little
5 unclear--from the patient's point of view, what is the real
6 benefit here.

7 DR. PASS: I think that the real benefit to these
8 patients is that it is not the same mechanism as YAG. For
9 these patients, if you look at the durability of the
10 response, and we both agree that these patients have a fixed
11 time point of when you can palliate them.

12 With YAG what you see, and what is my experience,
13 is that you treat them and then you have to treat them again
14 because at month 1, or a little bit longer than that or
15 earlier than that, they are closing up again. That is
16 because the mechanism is the burning mechanism which you
17 mentioned.

18 PDT is not a burning mechanism. PDT is a
19 photochemical reaction that then stays around meaning that
20 the effect seems to be more prolonged because it has a
21 direct effect, a direct oncologic effect.

22 So, yes. But let me address some of the issues
23 you said about the photosensitivity. The photosensitivity
24 issue is, at present, a frustrating one but in the more than
25 120 patients that I had at the NCI that I gave phototherapy

1 to, independent of the type, if you tell these patients what
2 to do and you are cautious about that, then your incidence
3 will be lower.

4 I was doing PDT for a while so I knew how to tell
5 the people what to do and it wasn't new there. These people
6 can live. These people can be in fluorescent lights for
7 eight to twelve hours a day. These people can go out. They
8 can go to a mall. These people at night can take their
9 walks.

10 These people should not be looked at as hermits.
11 And they are not. We specifically tell them that they need
12 to get out, with caution. So I cannot address, at this
13 time--I am not going to address cost, of course, but, for my
14 money, I also think, believe it or not, that it is an easier
15 procedure.

16 You are not having to rely upon multiple kinetic
17 movements to do this. If you can do it interstitially. It
18 is one stick. It is ten minutes. That's it. You're back.
19 And then you come back two days later which you would do
20 with YAG also to see whether you need to debride.

21 So, from a patient perspective, anesthesia time,
22 if there is anesthesia, there are some subtle things that
23 have not been brought out here that I think are to the
24 patient's advantage.

25 DR. ALBAIN: Just to go back to your clinically

1 important net benefit. When patients did not qualify for
2 that, was it often due to disease-related issues more often
3 than toxicity issues?

4 MS. MANCINI: I think that is a very good question
5 to ask. We were very conservative when we did this review
6 because we knew that it was a post-hoc review, it was highly
7 dependent on clinical judgment and that it would be,
8 therefore, severely criticized. So we were very, very
9 careful.

10 Some of the patients that we did not include
11 because of adverse events we think some of those were truly
12 disease progression adverse events not true toxicities due
13 to the therapy. But we were very conservative in excluding
14 those patients.

15 So we have come up with 36 in our count, 33 that
16 Dr. Williams agrees with, that had really dramatic
17 improvement here with no or absolutely minimal adverse
18 events. That is not to say they are the only people that
19 improved with the therapy. There were more but we did use
20 very, very rigorous criteria here.

21 DR. ALBAIN: I was just a bit impressed. These
22 are patients, at least from my read and I wanted to ask Dr.
23 Pass a bit more here about who these patients were at the
24 start. These are patients, obviously, you would not
25 consider candidates for potentially curative

1 chemoradiotherapy; is that correct? In other words, their
2 symptoms were such that you could not afford to put them
3 into the rigors of such an approach and that you need to
4 immediately deal with the palliative issue locally; is that
5 correct?

6 DR. PASS: In these trials; that is correct.

7 DR. ALBAIN: So these patients are incredibly sick
8 patients that are a major challenge for whom standard
9 external-beam approaches are not useful; correct?

10 DR. PASS: Correct. But you said it.

11 DR. ALBAIN: Then I had one other question about
12 the 7 percent fatal adverse events. How many of those might
13 be attributed to the learning curve of either patient
14 selection or the gentle handling of tissues that we heard
15 about such that an educational approach could bring that
16 rate down significantly, realizing there would be learning
17 curves on your trial as well.

18 MS. MANCINI: We do expect that the rate would
19 come down, to address--the 7 percent rate that we are
20 presenting here was based on the trial designs which did
21 mandate certain procedures to occur. Some of those
22 procedures would not occur in clinical practice. I think
23 that as the investigators do get more experience through
24 training programs and their own experience and as the
25 labeling is written appropriately to point out the potential

1 risks, I think the risk will come down.

2 It is difficult to be able to say to you exactly
3 what it will come down to.

4 DR. PASS: I would like to address that even on a
5 more technical term. There are certain tricks that you have
6 to learn which I'm sure Jeff would agree with. When you do
7 the debridement bronchoscopy, this is an avascular necrosis
8 so you biopsy the areas. And you are saying to yourself,
9 "This is great," because it is not bleeding as opposed to if
10 you would have gone without doing the light to treat it, it
11 would have been bleeding at that point of biopsy.

12 Once you get to the area where it starts to have a
13 little bit of bleeding, you know that you are out of the
14 treatment area for PDT. But investigators who first will do
15 this, who haven't had the proper training of these
16 subtleties, may be a little bit more aggressive and, even
17 when it is bleeding a little bit, go a little further.

18 These are the things that need to be taught. I
19 think that the experienced investigators have an obligation
20 to do that.

21 MR. GIDDES: I am a stage 4 lung-cancer survivor
22 so I have a lot of experience with anxiety and so forth. I
23 also have a big database. I call a lot of people around the
24 states. Your anxiety is a lack of education in many of the
25 cases for the patient.

1 I read also all patients who receive Photofrin
2 will be photosensitive. What is the sponsor going to be
3 doing to educate these patients that they are going to have
4 this problem to prevent the anxiety and so forth, because
5 the doctor probably will not tell them this or they will not
6 communicate this or hear what they say.

7 MS. MANCINI: We would hope that the physicians
8 would communicate the issue of photosensitivity.

9 MR. GIDDES: I said the patient may not hear it.

10 MS. MANCINI: Just one point and then I will let
11 Dr. Pass speak to this as well. Currently, for our approved
12 indications, there are patient information leaflets that are
13 given to patients at the time of treatment that discuss a
14 number of aspects about how to take the proper precautions
15 for photosensitivity and other aspects of treatment.

16 So there is some printed material that is given to
17 patients.

18 DR. PASS: I can certainly commiserate with the
19 fact that sometimes physicians don't do the greatest job in
20 talking to patients. I can only talk about my own program
21 at the NCI in which, very early in the record, we were using
22 the patient information leaflets as well as either myself or
23 my nurse clinician spending real time with these people.

24 Not only that. We would tell the patient what you
25 need to bring before you are going to go home because we

1 want to have that in-house so that you have your wide-
2 brimmed hat, you have your sunglasses, you have your gloves.
3 It is really a very detailed thing.

4 It would be as detailed as if you were going to
5 talk about the toxicity of chemotherapies which, certainly,
6 is a standard practice among medical oncologists. So that
7 is the way I approach it.

8 DR. SCHILSKY: One more question about the
9 potential population of patients who might be candidates for
10 this. My sense is that there is not a lot of these patients
11 likely to be seen in any particular institution in the
12 course of a year. So, again, it relates to the issue of
13 experience, number of procedures, et cetera.

14 If you took a typical large community hospital or
15 a typical academic medical center, what is your estimate of
16 the number of cases in a year that would be seen that might
17 be candidates for this type of approach?

18 DR. PASS: I think that, even at the large cancer
19 centers, the number of patients that are going to come in
20 with an acute obstruction that are going to need it is not
21 as many as you think it is.

22 I would say that a conservative estimate of a busy
23 practice is going to be between 20 and 30 per year. The
24 problem, though, is that if you look at 20 to 30 per year
25 and you are talking 170,000 patients with lung cancer and a

1 lot of centers, that is going to be a significant number of
2 patients.

3 So whether YAG can cover all those patients, I am
4 not so sure, especially if you have a therapy that is just
5 as good and is of great interest in an experimental
6 situation at many more centers now than, say, when these
7 studies started.

8 So I think the utility of the therapy in the
9 future is going to increase. I think it is important that
10 maybe it is important that there be a strict training
11 program and only be at certain places that are going to
12 really be able to follow this carefully so that, then, you
13 are able to make sure of quality control.

14 DR. SCHILSKY: It is not likely, then, that, even
15 in the busiest places that the average practitioner that
16 might undertake this is going to do more than one or two of
17 these a month.

18 DR. PASS: I think that is correct. But what we
19 are also talking about is that investigator who may be doing
20 lung may also be doing esophagus. So it is not like the
21 commitment of the center to PDT is going to be limited with
22 indications now to just lung. That very well may be, at
23 least with surgeons, the same investigators. So he may be
24 very busy doing PDT.

25 DR. SCHILSKY: I suspect that the places that

1 might do this for lung might well be the places that are
2 already doing it for esophageal cancer since you do have to
3 make a capital investment in the equipment, and so on. It
4 seems that if you have already bought it for esophageal
5 cancer, that it is likely that you will use it for lung
6 cancer.

7 Can you tell us anything about how many places in
8 the country are already using this for esophageal cancer?

9 MS. MANCINI: What is the current number? About
10 80 institutions in the U.S.

11 DR. SIMON: Maybe I have missed this. How many
12 centers were involved in the PDT and what was the level of
13 experience of the individuals who were doing it in these two
14 studies?

15 MS. MANCINI: In the U.S. study, there were twenty
16 centers. It was U.S. and Canada; excuse me. In the
17 European trial, there were fifteen centers. The
18 investigators that were chosen, because PDT was the new
19 modality--the investigators were all, primarily, very
20 experienced YAG physicians, knowing how to use the YAG
21 therapy.

22 There were a few investigators who came into these
23 trials who did have some PDT experience but very few.

24 DR. OZOLS: I guess for us non-bronchoscopists and
25 non-thoracic oncologists, patient selection is something I

1 am a little bit unclear about. Is there any group of
2 patients, looking it from the other direction, who you would
3 want to use YAG instead of PDT?

4 DR. PASS: I think that in the patient who
5 presents with acute bleeding that is salvageable, meaning
6 that it is not massive in the patient--it would be heroic.
7 But the patient who comes in and is coughing up a cupful but
8 it is controllable, his airway is still manageable, that is
9 not for PDT.

10 You want to have a quick-acting effect. That is
11 the patient you would use for YAG. In the chronic
12 situation, with the non-life-threatening presentation
13 hemoptysis or non-massive hemoptysis, I don't see any
14 difference, really, between indications for YAG and for PDT.

15 I think the bleeding is the one.

16 DR. DUTCHER: Ten-minute break and then FDA
17 presentation. Your choices are a break or lunch. A ten-
18 minute break.

19 [Break.]

20 **FDA Presentation**

21 DR. WILLIAMS: Dr. Dutcher, members of the
22 committee, ladies and gentlemen.

23 [Slide.]

24 I am going to present the FDA view of the efficacy
25 supplement for Photofrin for the treatment of patients with

1 obstructing non-small-cell lung cancer. As you know, a
2 similar presentation was given about a year ago and, after
3 deliberation, the committee recommended non-approval for
4 this indication.

5 Subsequently, there were meetings between the FDA
6 and QLT which lead to further analyses and further
7 considerations. I believe that several of these analyses
8 are important and that they address several concerns raised
9 by the committee.

10 In this presentation, I will first review the
11 findings I presented last year and then I will discuss new
12 analyses and considerations which have been submitted to the
13 NDA.

14 Actually, since I think the company did an
15 excellent job of presenting what happened and what I said
16 last year, I think I will try to skip through some of those
17 slides.

18 [Slide.]

19 First, I would like to review the team that
20 reviewed this application. The medical reviewers are
21 presented here, statistical reviewers, device reviewer and
22 the project manager for the additional clinical data that we
23 reviewed.

24 [Slide.]

25 I am going to page down through several slides

1 until I get to--these points were discussed, basically what
2 we presented last year. I think the company did an
3 excellent job of presenting all of our criticisms.

4 [Slide.]

5 I would like to stop at this slide, though, which
6 is the pulmonary symptom severity rating scale which was
7 used to evaluate symptoms. I looked back at this more
8 critically because the way that symptoms were obtained from
9 a patient became a very big point with the committee.

10 One criticism was that there was no detailed
11 prospective plan for getting this information from the
12 patients. But, anyway, here is the scale. Basically, it is
13 a functional scale. It is not just a questionnaire and it
14 is very similar to scales which we use in oncology for
15 evaluating performance status and severity of toxicity.

16 I believe that this is the sort of thing that a
17 physician would be able to obtain information from a patient
18 in a reliable manner even though, in most quality-of-life-
19 type analyses, we would like to see very specific detailed
20 plan for obtaining such information.

21 But I do believe that this scale, the one-point
22 change, could easily be due to bias but a two-point change
23 in most cases is a pretty big change, a big functional
24 change, and is unlikely to be recorded by bias or by random
25 chance differently than the two-point change.

1 So I do think this would be meaningful.

2 I am going to skip again.

3 [Slide.]

4 I would like to go to this slide which, again, is
5 the endpoint the QLT looked at retrospectively to address
6 concerns that patients were having actual clinical benefit.
7 These changes are a two or three-grade improvement in
8 symptoms which are two points for dyspnea and three points
9 for hemoptysis or cough. So you could have had any one of
10 these changes and be called a clinical benefit.

11 Or a 40 percent improvement in FEV1. I evaluated
12 these changes at one month when I thought would be the most
13 clinically meaningful time when a significant number of
14 patients had such a change.

15 [Slide.]

16 Here is the difference at one month. In the QLT
17 analysis, there were 36 PDT patients and 23 YAG patients who
18 appeared to have such benefit. During the initial
19 submission, I had copies of a graphical summary that was
20 provided by the sponsor that had the symptoms, the
21 toxicities, et cetera.

22 In addition to meeting the criteria specified
23 here, as a physician, my gut level reaction, did I think
24 this patient really benefitted or not. I think they were
25 selected in such a way that it was likely that I would find

1 that to be case.

2 I did except for three cases. So, in my initial
3 application, I did a lot of what you might call trashing the
4 study in terms of a comparison of the arms. But my final
5 conclusion was I did think that if you didn't compare the
6 arms and you looked at it just as a sort of single-arm study
7 where missing data really hurts you rather than potentially
8 helps you, then I did think that there was a significant
9 number of patients who did seem to have benefitted.

10 Again, the sponsor has summarized the findings as
11 we discussed them last year.

12 In summary, there was a 60 percent luminal
13 response in the analysis I did after day 18. There was a
14 33 percent incidence of what I thought was clinically
15 important benefit. And I found that the findings were
16 numerically superior to YAG but the statistical comparisons
17 were suspect.

18 [Slide.]

19 In terms of safety, there was statistical more
20 photosensitivity, dyspnea, bronchitis and psychiatric
21 adverse events and a non-significant increase in hemoptysis
22 and FMH.

23 [Slide.]

24 So you might ask what's new. I was trying to
25 think, what's new? One thing I note is that we are

1 hungrier. The other is that we are at the other end of the
2 room because I believe last year--but, in addition, there
3 are some new analyses.

4 So I will go back into the text that I wrote for
5 this talk now. These are the new analyses. For efficacy,
6 there is an analysis which I will label the worst-case
7 scenario for missing data. I believe this supports the
8 claim that Photofrin is at least as good as YAG for opening
9 the lumina of bronchi obstructed by lung cancer.

10 There is the analysis of two-point improvement in
11 symptoms that seeks to overcome the issues of potential bias
12 and clinical relevance. There is an analysis of improvement
13 in atelectasis which was asked by a committee member. For
14 safety, there is analysis of patient days of follow up
15 suggestion that there may have been a bias against Photofrin
16 in the toxicity reporting.

17 There is an evaluation of the life-threatening
18 events noting that there were more double reports of death
19 and life-threatening events in the Photofrin arm. And there
20 was analysis of the timing of the occurrence of fatal
21 massive hemoptysis noting that the incidences of early FMH
22 events were similar on the two arms.

23 [Slide.]

24 To address the problem of the large amount of
25 missing data or dropouts and the fear that the pattern of

1 missing data could have been biased, the applicant performed
2 an analysis where the missing data was reassigned in a
3 manner that was extremely biased against Photofrin.

4 In patients who have been treated and were alive,
5 missing evaluations were counted as successes for YAG and
6 were counted as failures for Photofrin. The results of this
7 analysis yield luminal response rates that are similar for
8 YAG and Photofrin.

9 This analysis suggests to me that despite the
10 missing data, we can reasonably conclude that Photofrin is
11 at least as good as YAG at opening the lumina of bronchi
12 obstructed by lung cancer.

13 [Slide.]

14 The next analysis by QLT evaluated two-point
15 improvements in symptoms on the pulmonary symptom severity
16 scale. The thrust of this analysis was the belief that the
17 two-point improvement would be more meaningful and would be
18 less likely to occur by chance or because of bias than a
19 one-point change.

20 The result of this analysis demonstrates that
21 30 percent of patients who received Photofrin had such an
22 improvement at one month after treatment. While the missing
23 data preclude a strict comparison to results with YAG laser
24 therapy, the fact that the numerical results are twice as
25 large for Photofrin as for YAG lends credence to the

1 assertion that the findings are real rather than due to
2 chance or bias.

3 So I believe this finding that 30 percent of the
4 patients treated with Photofrin reported a two-point
5 improvement in pulmonary symptoms one month after treatment-
6 -I find this to be credible evidence of patient benefit.

7 [Slide.]

8 Turning to new analyses of safety, QLT makes the
9 observation that patients on the Photofrin study arms
10 remained on study on an average 33 percent longer than
11 patients on the YAG study arms. Therefore, such patients
12 would be more likely to be observed to have events that
13 might be reported as adverse events.

14 This seems to be a reasonable argument, especially
15 for the late-occurring events. QLT also notes that while
16 there was a non-significant increase in the incidence of FMH
17 on the Photofrin study arms compared to YAG, the number of
18 patients dying with hemoptysis within 30 days of treatment
19 were similar on the two arms.

20 [Slide.]

21 Probably the most troubling finding was the excess
22 in the number of life-threatening events reported by
23 investigators for Photofrin versus YAG, 19 percent versus 8
24 percent. As discussed by QLT, these events were a
25 constellation of pulmonary findings, the kind of events one

1 would expect to find in patients with obstructing lung
2 cancer.

3 If one restricts one's view to the 30 days after
4 treatment, there were 9 percent of such patients with events
5 on Photofrin and 5 percent on YAG. If one were observing a
6 real increase in events which threaten life, I would have
7 expected an increase in deaths occurring soon after
8 treatment.

9 A puzzling aspect of this application is that,
10 despite an increase in reported life-threatening events on
11 the Photofrin study arms, there was no increase in early
12 deaths. Similarly, if one looks at early deaths and early
13 life-threatening events, the number of patients with either
14 an early death or an early life-threatening event was the
15 same, 18 on each arm.

16 So there seems to have been more double reporting
17 of deaths and life-threatening events on the Photofrin arm.

18 [Slide.]

19 For the 19 reported events on Photofrin and 8
20 reported events of YAG, I tried to make a retrospective
21 assessment of my own. I found the process very difficult
22 and only felt comfortable attributing three cases on
23 Photofrin and two cases on YAG as definitely associated with
24 treatment. I believe it is difficult to sort out the
25 meaning of the increased reporting of life-threatening

1 events on the Photofrin arms of the trials and I believe the
2 bottom line is the equal number of early deaths.

3 [Slide.]

4 So, in conclusion, I believe that the efficacy of
5 Photofrin at one month is documented by a luminal response
6 rate of over 50 percent, at least as good as that of YAG.
7 There is a two-point improvement in the symptom scale and
8 30 percent of patients numerically superior to YAG, a
9 28 percent improvement in atelectasis from baseline which is
10 numerically superior to YAG.

11 [Slide.]

12 The toxicity of Photofrin; there was more
13 photosensitivity, psychiatric symptoms, bronchitis and
14 dyspnea reported by investigators. There was a reporting
15 bias against Photofrin, 33 percent more patient days on the
16 Photofrin arm. There was a non-significant increase in
17 hemoptysis and FMH but no increase in early FMH.

18 There was an increase in reports of life-
19 threatening events but no increase in early deaths and no
20 increase in overall survival.

21 [Slide.]

22 So, in my opinion, there appears to be overall
23 benefit and this benefit, I think, outweighs the risk.
24 Compared to YAG, I believe that there is more evidence of
25 benefit but, again, I don't think we could statistically

1 declare that it is a greater symptom benefit or greater
2 response rate, but, in general, everything trends better for
3 Photofrin.

4 Similarly, I do believe there is more toxicity and
5 some it is a little hard to exactly find, why should there
6 be more life-threatening events reported on Photofrin.
7 There is certainly a sense that there is more toxicity.
8 However, I do feel that these are two viable treatments and
9 I think they are likely to develop their own niche in the
10 therapeutic community.

11 So that concludes my presentation. I will be glad
12 to take questions.

13 DR. DUTCHER: Thank you.

14 **Questions from the Committee**

15 DR. DUTCHER: Are there questions for Dr. Williams
16 from the committee?

17 DR. SCHILSKY: I don't really have any specific
18 questions, Grant, but I am curious to know your thoughts on
19 I guess this whole issue of operator dependence and risk of
20 toxicity, particularly in view of the fact that it seems to
21 me that these cases are relatively uncommon. So it is
22 unlikely that at any institution any one physician is going
23 to have lots of experience doing them.

24 How important, from your review of the data, do
25 you think this whole question of operator experience is?

1 DR. WILLIAMS: I don't have anything beyond what
2 the company has presented to you on that. There is nothing
3 within my review that I could find any more information.

4 I do note that Ms. Mancini--there was one other
5 point that she wanted to make on this that she didn't, so,
6 if it is okay--

7 MS. MANCINI: I just wanted to comment that there
8 has been a lot of discussion on the difference in fatal
9 hemoptysis rates in experienced versus inexperienced
10 investigators. I wanted to just reinforce that despite the
11 fact that half of the patients were treated by inexperienced
12 investigators, we saw the same rate of early fatal
13 hemoptysis as was seen in YAG arm. And these were all very
14 experienced YAG users.

15 So I think that is an important context to look
16 at. There was some confounding of experience with prior
17 radiotherapy used as well. In the patients treated by
18 inexperienced investigators who did not have prior
19 radiotherapy, it was 4 percent hemoptysis. So I think that
20 the two variables are confounded somewhat and the 4 percent
21 hemoptysis rate is not different than the rate seen in the
22 patients treated by experienced physicians.

23 So I just wanted to bring that point out again.
24 Thank you for giving me a chance.

25 DR. DUTCHER: Other questions for Dr. Williams?

1 Thank you.

2 **Committee Discussion and Vote**

3 DR. DUTCHER: We will turn to the questions. Dr.
4 Johnson did leave for the airport but I do have his
5 responses and his comments which, not surprisingly, fill up
6 the space below the question.

7 The first page and a half; "Two prospective,
8 randomized trials, P503 with 141 patients and P17 with 70
9 patients, compared photodynamic therapy with Photofrin PDT
10 to YAG laser therapy in patients with obstructing non-small-
11 cell lung cancer. The results of the applicant's analysis
12 of month 1 response rate, the rate of increasing the
13 diameter of the obstructed lumen by at least 50 percent from
14 baseline on days 18 through 45 and the FDA analysis of
15 response are summarized in the table," which you can look
16 at.

17 "In both trials and by both methods of analysis,
18 the luminal response rate was higher with PDT than with YAG.
19 However, because of the large amount of missing data--i.e.,
20 deaths, dropouts, et cetera--strict statistical comparison
21 would not be appropriate.

22 "The applicant performed a worst-case sensitivity
23 analysis of the missing data. Missed evaluations for PDT
24 were assigned "no response" and missed evaluations for YAG
25 were assigned "response." The results of this evaluation

1 give similar overall one-month response rates for PDT,
2 57 percent, and for YAG, 62 percent.

3 "Collectively, these analyses suggest that PDT
4 with Photofrin produces a luminal response rate one month
5 after treatment that is at least as great as that produced
6 by YAG laser treatment.

7 "Clinical benefit was evaluated using the
8 pulmonary symptom severity rating scale. Since small
9 improvements on this scale might have been attributed to
10 chance or bias in this open-label trial, the data were
11 evaluated for two-point improvements from baseline values.

12 "At one month after treatment, 30 percent of the
13 patients on the PDT arms of the trials experienced at least
14 a two-point improvement of at least one pulmonary symptom.
15 22 percent had a dramatic symptom improvement; two-point
16 increase in dyspnea, three-point increase in hemoptysis;
17 three-point increase in cough; or 40 percent increase in
18 FEV." I presume that means improvement in dyspnea,
19 improvement in hemoptysis, improvement in cough.

20 "The number with improvement with YAG was about
21 half that with PDT. IN patients with pulmonary atelectasis
22 at baseline, 28 percent on PDT and 19 percent on YAG were
23 reported to have improvement or resolution of atelectasis
24 one month after treatment."

25 Question no. 1. "The division believes that these

1 two trials are adequate and well-controlled trials
2 demonstrating the efficacy of Photofrin for treatment of
3 patients with partially or completely obstructing
4 endobronchial non-small-cell lung cancer." That is making a
5 strong statement here.

6 DR. WILLIAMS: Maybe I should explain it. Dr.
7 Temple has sort of suggested that if we have an opinion, we
8 should express it. So there it is.

9 DR. DUTCHER: "Does the committee agree with
10 either?"

11 DR. SCHILSKY: I think that I am persuaded that
12 these trials do demonstrate efficacy for some group of
13 patients. I am not exactly sure who those patients are,
14 quite frankly, but it does seem to be pretty clear that
15 there are some patients who do benefit from this treatment.

16 I don't know that one can draw a firm conclusion
17 with respect to efficacy of PDT versus efficacy of YAG. But
18 I am also pretty well persuaded that PDT is not likely to be
19 substantially worse than YAG.

20 So I would answer this question yes.

21 DR. DUTCHER: Dr. Johnson also answered this
22 question yes.

23 Are there other comments? All those that would
24 vote yes on question no. 1?

25 [Show of hands.]

1 Seven out of seven, yes. Zero, no. Plus Dr.
2 Johnson is eight.

3 The second question: "The incidences of several
4 toxicities were higher on the PDT arm. Photosensitivity,
5 psychiatric symptoms"--actually, I was looking at that; seem
6 to be related to immediately after bronchoscopy--"bronchitis
7 and dyspnea were significantly more common on PDT. There
8 were more life-threatening events on PDT, 19 versus 8,
9 mostly pulmonary events, predominantly hemoptysis and
10 respiratory insufficiency.

11 "The rate of fatal massive hemoptysis in the PDT
12 group was about twice that of YAG, 10 percent for PDT and
13 6 percent for YAG. However, the rate of fatal massive
14 hemoptysis occurring in the first 30 days after treatment
15 was the same, 4 percent for each treatment.

16 "Despite these findings, there was no difference
17 between the PDT arm and the YAG arm in either survival or
18 the number of deaths within 30 days of a procedure,
19 16 percent on PDT versus 17 percent on YAG. Furthermore,
20 there appeared to be some bias against PDT in the collection
21 of toxicity data. The incidences of toxicity attributed to
22 PDT may have been inflated relative to YAG since there were
23 33 percent more patient days of follow up for PDT than for
24 YAG.

25 "Similarly, patients who died were more likely to

1 have a life-threatening event reported on the PDT arm than
2 on the YAG arm. When early life-threatening events and
3 early deaths were combined, there was no difference between
4 the study arms.

5 "Considering the balance of efficacy and toxicity
6 demonstrated in these trials, should Photofrin be approved
7 for reduction of obstruction and palliation of symptoms in
8 patients with completely or partially obstructing
9 endobronchial non-small-cell lung cancer?"

10 Before we answer this, Grant, the patients who
11 died were more likely to have been reported on PDT?

12 DR. WILLIAMS: No. It is just that if a patient
13 died--if a patient had a life-threatening event, what is
14 puzzling to me is it seems like everybody who died should
15 have had a life-threatening event. How did they die if they
16 didn't have a life-threatening event.

17 DR. DUTCHER: You are saying the double-reporting
18 was greater.

19 DR. WILLIAMS: Right; exactly.

20 DR. DUTCHER: Okay. So this is the question of
21 approval. Dr. Schilsky, do you want to start?

22 DR. SCHILSKY: Sure. I don't have too much to
23 say, actually. I agree with Dr. Williams' characterization
24 of this as a niche therapy. It seems to me that the people,
25 the doctors, most likely to use this are doctors who are

1 already using this technology for treating esophageal
2 cancer, so those are people who already have some experience
3 with the therapy.

4 I think the only really unresolved--the two sort
5 of unresolved issues in my mind are it is not exactly clear
6 how you would select patients for this therapy as opposed to
7 YAG, but I don't know that it is ever going to be possible
8 to easily resolve that.

9 And then there is still the issue of whether or
10 not there really is any increased early risk to these
11 patients. I think as the company and the FDA have delved
12 into the data, I am reasonably satisfied that there is not
13 and that those individuals who use this are likely to gain
14 experience with it and figure out a way of optimally
15 selection patients and optimally applying this therapy.

16 So when it is all said and done, I guess I would
17 answer this question yes.

18 DR. ALBAIN: This is a question for you, Dr.
19 Justice, or Dr. Williams, how extensively can the labeling
20 indicate some of these comments that were brought out about
21 extrinsic compression as a high risk? Can you get that into
22 the labeling to further define who these patients are with
23 completely or partially obstructing.

24 As we have heard, not all such patients should be
25 candidates for this therapy.

1 DR. WILLIAMS: I think the submitted labeling
2 already has a lot of that in it. Unfortunately, we are not
3 able to identify the subgroup that is not going to get an
4 adverse event and who is going to get benefit, but, to the
5 extent we can, we will certainly put it in the labeling.

6 DR. DUTCHER: I just want to read Dr. Johnson's
7 comments because he also voted yes. He said, "However, I
8 recommend use only in patients with CT-scan evidence of
9 intrinsic bronchial disease and polyploid lesions. The
10 procedure should not be used in patients with submucosal or
11 peribronchial disease nor should the procedure be used in
12 patients with bronchial stump lesions.

13 "Caution should be used in patients with prior
14 pneumonectomy or with a main-stem bronchus lesion because of
15 risk for inflammatory reactions caused by PDT."

16 DR. WILLIAMS: What I would suggest is that we get
17 that and talk--negotiate with the company to make sure
18 appropriate things are included in the labeling.

19 DR. DUTCHER: Other comments? All those who would
20 vote yes on question no. 2.

21 [Show of hands.]


22 DR. DUTCHER: Seven, plus Dr. Johnson is eight.
23 Zero no.

24 Well, this was quite a day. Thank you so much.

25 [Whereupon, at 1:30 p.m., the proceedings were adjourned.]

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a horizontal line.

ALICE TOIGO