

1 moving ahead unless there is some consensus about something
2 that's measurable.

3 DR. ABRAMSON: Dr. Hochberg?

4 DR. HOCHBERG: I think that is why I raised my
5 point initially, is if we say that structure is a surrogate
6 for a clinically-important outcome, then you can consider
7 structure in the context of the joint as the organ, and one
8 of the features of that is joint-space narrowing.

9 I mean, another feature could be the size of
10 the largest osteophyte, et cetera, and it might differ
11 based on different joints. I think it's different from the
12 hip as well as the knee. Those need to be considered
13 differently, but you know, I think joint space can be a
14 surrogate for a clinically-important outcome.

15 DR. ABRAMSON: Okay. Question Number 2 with
16 respect to pain and function. "If in the course of these
17 studies, no worsening is required, how would no worsening
18 be defined?"

19 Dr. Dougados?

20 DR. DOUGADOS: Just to remind that, within the
21 Osteoarthritis Research Society, with Roy Altman, we are
22 chairing a task force in which the objective is to propose
23 response criteria for symptoms. That is, to have a
24 composite index combining, as an example, responder would
25 be considered if he or she fulfill the -- such as the ACR

1 criteria for rheumatoid arthritis internal responder, and
2 we have a meeting in June, and we have the description
3 whether or not we can take this opportunity not only to
4 define responder criteria that is an improvement on
5 symptoms but also the other posit to have a responder
6 criteria, worsening criteria, and that at this time, there
7 is no plan to conduct such a study within our standing
8 committee, but otherwise personally I have no answer.

9 DR. ABRAMSON: All right. Let me just ask for
10 a clarification here. Most of the studies that are
11 ongoing, there are measurements of pain and function, the
12 WOMAC, the VAS, and various other pain and functional
13 studies. I guess for clarification, I'm assuming that one
14 would continue to use those kinds of measurements going
15 forward, and is the question put to us, should there be
16 other things that we're looking at, in addition to what's
17 built into the current OA-type studies? Or are those
18 sufficient to just continue to follow and look for what the
19 delta of worsening or improvement might be?

20 DR. JOHNSON: Well, I think this question
21 actually was related to a previous one, in that if there's
22 a sentiment that a substantial, or even maybe a small
23 change, in joint-space narrowing in a certain subset of
24 patients succeeds, and it's argued that the only test
25 should be at approval, and that there should be no clinical

1 worsening because it's anticipated to take two more years
2 to show a clinical benefit, I think it's a statistical test
3 question. How do you define no clinical worsening? I
4 don't think we mean no statistically significant worsening,
5 but I also don't think that most people mean by that that
6 it has to be statistically equivalent by some ignorable
7 predefined delta.

8 DR. ABRAMSON: But the instrument that one
9 would use to make that assessment would be the same
10 instruments, I'm assuming, that are built into --

11 DR. JOHNSON: Right. Yes. The instrument
12 itself is an analytic challenge because there's two or
13 three components to it. I mean, the concepts are pain and
14 function, but the perception is that you also have to
15 address the patient global because I think my sense from
16 the OMERACT proceedings was that there was just a lot of
17 reservation and concern that if that were jettisoned,
18 something would be missed, and as I mentioned in my slide,
19 in some sense, you have to address what you know are
20 important covariates.

21 So your assessment of symptoms becomes complex,
22 too, but I don't think there was the suggestion that any
23 new or additional dimensions be added.

24 DR. ABRAMSON: Dr. Witter?

25 DR. WITTER: I had tried to take a stab at that

1 in my presentation, and I don't mean to kind of lead any
2 conversations, but perhaps it refers to clinical benefit as
3 defined by the use of analgesics or, you know, NSAIDs,
4 things like that.

5 I mean, can we define no worsening on clinical
6 grounds in that regard, and if we would do something like
7 that, then is it, as we've been alluding, as Ken was
8 talking about, for example, is it equivalent to something
9 or, you know, difference from? So I think that's part of
10 what we want to hear.

11 DR. ABRAMSON: Yes, David Yocum?

12 DR. YOCUM: I guess a question here for me
13 hearing Paul's comments earlier on comorbidity, which I
14 think we all realize the psychosocial issues in this
15 disease process, especially this group of patients, and
16 Ken's comment about the earlier group of people with no
17 change in WOMACs earlier on. This is either going to have
18 to be a very complex functional analysis looking early on
19 or, I guess, relatively gross and simplistic.

20 It doesn't sound like an easy process. Is that
21 missing the point?

22 DR. ABRAMSON: Does someone want to respond?

23 DR. BRANDT: Steve?

24 DR. ABRAMSON: Yes, Dr. Brandt?

25 DR. BRANDT: Yes. I didn't mean to imply that

1 those were earlier disease. They're community dwelling,
2 and they may just have better coping skills. These are
3 patients who, for example, with WOMAC pain scores similar
4 to what we might see in the clinic, in patients who are on
5 NSAIDs, are not taking NSAIDs and are not going to
6 physicians for care. They don't perceive a need to get
7 treated for this. They may be treated for other things,
8 and it's not that medical care isn't available. These are
9 all Medicare-age people. They just have not seen the need
10 to become patients with their knee pain and x-ray changes.

11 DR. ABRAMSON: Dr. Dieppe?

12 DR. DIEPPE: Yes, and that's a terribly
13 important point, but just coming back to this question,
14 when I read it, I wasn't sure I understood what it meant,
15 but I presumed when I read it that what you were asking us
16 is what's the variability, the natural variability in pain
17 and function in the course of osteoarthritis.

18 Now, if that's the question, the answer is that
19 it's huge, and there's all sorts of rhythms that have been
20 demonstrated by Nick Bellamy. There's a daily rhythm of
21 pain, and there's a weekly rhythm, and then there are
22 clearly other rhythms of change over longer time periods
23 where there's quite marked variability, and again Maxime as
24 well as our group have some data that speaks to that, and I
25 think the longer rhythms myself are related to these issues

1 of psychosocial factors which determine whether people are
2 patients or whether they're not.

3 So this isn't an easy question to answer
4 either. The other observation I'd chuck into the pond is
5 that most people get better with osteoarthritis. Certainly
6 clinic populations get better because they come to us when
7 they're at the peak of a problem and just regression to the
8 mean will get them better.

9 But I think everybody gets better by and large,
10 except those few that progress to smashed-up joints and
11 joint replacement, and I think they get better again in
12 relation to what Ken's saying because of adaptation and
13 coping strategies, and if you have a condition for 10 years
14 or 20 years, where your pain relates to what you do, and
15 the issue is function, and you're getting older, you adapt,
16 and you cope with it, and you get better.

17 So you posed another impossible question, but
18 let's just be sure we understand the complexity of the
19 question.

20 DR. ABRAMSON: Okay. If I were to pose the
21 question perhaps a little differently for the committee and
22 the agency and simply said if one enters into a protocol, a
23 doxycycline protocol being one, where there are going in
24 outcome measures for pain and function with WOMAC patient
25 and global assessment and whatnot, pain measurements, would

1 there be a reason not simply to continue to follow those
2 instruments throughout the study, looking for deterioration
3 in pain and function that might be unanticipated?

4 Is that not the best way to proceed in these
5 discussions, and so I just put that out. What's the
6 alternative to doing that that's practical or doable or
7 preferable?

8 DR. YOCUM: I think that's very valuable
9 because from our meeting yesterday, the group yesterday did
10 not include functional analysis, and even though we're
11 talking about a short term here, what I would hope in these
12 studies, and the FDA would require doing these studies out
13 there in the real world, that getting companies to follow
14 patients long term is critical, and we often come back to
15 it as second thought. Oh, yes, let's do this long-term
16 analysis now that your drug's approved, and it would seem
17 better that we incorporate early on the functional analysis
18 of predetermined issues which I would try to include some
19 sort of psychosocial measurements, affect scales of some
20 sort, that are there, that can then be carried long term,
21 so we can get long-term data, rather than giving it a
22 second thought.

23 I think your comments are appropriate, Steve.
24 I think it's important. The question is which are the
25 best, and it should include psychosocial issues as well.

1 DR. ABRAMSON: Other comments? Dr. Johnson?

2 DR. JOHNSON: Well, we haven't included
3 psychosocial, although a lot of these trials are ongoing.
4 So these are pressing problems, and we have asked pretty
5 uniformly to cover the other more standard domains.

6 The problem, I think, as it's posed, is an
7 analytic problem. How do we get analytic assurance and
8 approval for a structure that does not have symptom
9 deterioration? I mean, it's easy to describe in words, but
10 how do you translate that into an analysis?

11 DR. ABRAMSON: Is there a concern -- I guess
12 what I'm not fully understanding that if you use these
13 criteria that are put in place, whether it's for an NSAID
14 or a structural modifier, for OA outcome, is there concern
15 that you will not be able to differentiate from placebo
16 deterioration using these instruments?

17 I mean, clearly they're put in place to measure
18 improvements over placebo in the normal circumstance. Is
19 the opposite not equally true?

20 DR. JOHNSON: Well, they may well not show a
21 difference from placebo at the time that structure occurs
22 if the presumption that clinical change is going to take
23 much longer than structural change.

24 DR. ABRAMSON: So it's in Phase IV. The issue
25 is more of a Phase IV continuation of pain and function.

1 DR. JOHNSON: The issue is what approval
2 analytic reassurance can we conjure up, you know, vis a vis
3 the absence of symptom deterioration? I think it comes
4 around to this issue of an equivalent study, but that
5 strikes me as too rigorous, you know, to demand that the
6 drug be statistically equivalent.

7 DR. ABRAMSON: Right. So at the time of
8 approval, if there's structural gains by whatever criteria
9 are established, and no worsening, one could go
10 theoretically forward, but the issue is often, as the case
11 in front of these committee discussions is, what is the
12 mandate for Phase IV study, and what should that consist of
13 in terms of measuring pain and function out two and three
14 and four years?

15 DR. JOHNSON: Well, I think we know how to do
16 that. I mean, at least we've done it in short-term trials.
17 The question is what does no worsening mean analytically?
18 I think that's one of the challenges.

19 DR. ABRAMSON: Does anybody have a comment?
20 Dr. Hochberg?

21 DR. HOCHBERG: Well, the question is, do you
22 have data or access to data from trials which have been
23 submitted as part of other NDAs in either OA or possibly in
24 RA, if you can extrapolate, to determine what is the
25 clinically relevant difference in some of these continuous

1 measures with an anchored Likert scale outcome?

2 For instance, in some trials in the past, we've
3 had a patient response to treatment measure, you know,
4 global assessment of response to treatment, where the
5 patient could say they were worse, no change,
6 minimally/moderately or markedly improved, and is there a
7 bank of data which would allow you to assess what is the
8 mean and the variability of the change in the VAS scale
9 which is anchored to that in order to make some estimate as
10 opposed to looking at a comparability or just a
11 statistically significant, which might not be a clinically
12 important change?

13 DR. JOHNSON: Again, you're asking that to make
14 the Phase IV decision more rational. No?

15 DR. HOCHBERG: No. You could apply it to the
16 Phase III where you've collected data on -- for instance,
17 if you're going to use a VAS pain scale, let's say, then
18 you want no worsening in pain. Well, a one-millimeter
19 change in a pain scale, which might be statistically
20 significant if you have large enough numbers for the
21 structure study, may not be clinically important, but a 10
22 millimeter may be clinically important.

23 DR. JOHNSON: Well, but the issue is no
24 difference compared to placebo. I mean, you've got a
25 control.

1 DR. HOCHBERG: But what if you have a
2 difference compared to placebo, but it doesn't fall within
3 a range which is felt to be clinically important?

4 DR. JOHNSON: Okay. I see what you mean. The
5 only database we have would be the non-steroidal world, and
6 I think the decisions you would make from that database
7 arguably might be inapplicable to structure-modifying drugs
8 or the sponsors might argue that anyway. But I think it's
9 a good idea.

10 DR. ABRAMSON: Dr. Anderson?

11 DR. ANDERSON: I just want to take up the
12 point. Kent Johnson, you said that you thought the
13 equivalent would be too stringent perhaps, and it seems to
14 me that pain and function as measures are generally so much
15 more sensitive than the joint-space narrowing is likely to
16 be that having enough power to detect, to prove
17 equivalence, shouldn't be a problem in this context, I
18 don't think.

19 DR. JOHNSON: Is that assuming that you're
20 going to -- are you making it not a difficult test by
21 prespecifying a relatively large delta that can be ignored
22 essentially? Is that what you're proposing?

23 DR. ANDERSON: Well, you have a certain delta
24 that can be ignored, but I would think that it could be
25 quite large before it would be the limiting factor. I

1 mean, quite small before -- you know, you wouldn't expect
2 it to be the limiting factor in the powering of the study,
3 I don't think, but I don't have any exact calculations
4 obviously on that.

5 Also, you know, in the principle of do no harm,
6 surely you wouldn't want to allow there to be any
7 worsening. You want it to be equivalent to, in terms of
8 pain and function, to the placebo group.

9 DR. SCHWIETERMAN: Dr. Abramson?

10 DR. ABRAMSON: Dr. Schwieterman?

11 DR. SCHWIETERMAN: This is a very difficult
12 question. I think I may agree with Dr. Dieppe that it's an
13 impossible question, but let me try to give the thrust of
14 this.

15 In our earlier discussions in the agency, I
16 think we generally agreed with the committee about the
17 difficulties of using a surrogate endpoint, such as joint-
18 space narrowing, to predict long-term outcome.

19 An inevitable question that then arose from
20 that discussion is, given our skittishness, nervousness
21 about this particular endpoint, to what degree would we not
22 go forward with an approval if there was some evidence that
23 there was clinically worsening, whether that be trends in
24 admittedly clinically-debatable relevant differences or
25 whatnot, and, of course, the question involves what's

1 practical, what's not practical, and so forth.

2 The point isn't that we have to simply
3 demonstrate that something is clinically worse. The point
4 is to what degree do even small trends in the worsening in
5 the clinical signs and symptoms which, by definition, are
6 clinically-relevant endpoints, bear upon this question,
7 given the complexities of joint-space narrowing?

8 Now, having said all that, I'm not sure that
9 there's an answer to it, but I hope that that at least
10 clarifies things.

11 DR. ABRAMSON: Dr. Dougados, and then Dr.
12 Elashoff.

13 DR. DOUGADOS: I think that, to try to give a
14 practical proposition, that we have to keep in mind that we
15 need placebo-controlled trials in order to show
16 deterioration, yes or no, because we don't know the natural
17 story very well, and we cannot anticipate that we will
18 bring improvement because of the regression to the mean, as
19 Paul emphasized.

20 So placebo-controlled study in order to avoid
21 the one-millimeter difference in the VAS of pain or
22 functional impairment or in global assessment. One
23 possibility is to use a composite index. That's the one
24 that we propose within the Osteoarthritis Research Society,
25 responded yes or no, and to compare the index to responder

1 in the placebo, and as an example, to consider that a 10-
2 percent difference between the placebo and the toxic drug
3 can be accepted. That is a delta which has been proposed.

4 DR. ABRAMSON: I'm going to do Dr. Elashoff.
5 I'd ask you to make the final comment. Then I'm going to
6 ask that we break for 15 minutes right after this comment.
7 We'll continue afterwards.

8 Dr. Elashoff?

9 DR. ELASHOFF: I think there are two different
10 issues from a statistical point of view in defining no
11 worsening. One would have to do with whether you want to
12 define no worsening in terms of a mean not being below zero
13 or whether you want to define it in terms of the mean not
14 being worse than the placebo change, and then the other has
15 to do with, however it is defined, you have to take not
16 only into account the mean but something in terms of the
17 confidence interval for that mean, and whether you go
18 explicitly to an equivalence formulation or not, certainly
19 confidence interval has to be part of the formulation.

20 DR. ABRAMSON: Thank you. We will continue
21 this when we return. We'll take a 15-minute break. At
22 11:00, I'd like to begin promptly with the open public
23 hearing. Thank you.

24 (Recess.)

25 DR. ABRAMSON: The next portion of the meeting

1 is the open public hearing, and I just want to make an
2 announcement, a housekeeping announcement, about the
3 schedule.

4 We're probably going to break for lunch around
5 12:30 or quarter to 1:00. We'd like to get through the
6 questions through the duration on the design, and hopefully
7 we'll do that before we break for lunch.

8 For the open public hearing, we have two
9 registrants, and I'd like to call on Dr. Peterfy from the
10 Department of Radiology, Stanford University, to give the
11 first brief presentation.

12 DR. PETERFY: I think you got them mixed up.

13 DR. ABRAMSON: I'm sorry. Oh, I'm sorry. Go
14 ahead. I'm sorry. Dr. Peterfy, Chief Scientific Officer,
15 Synarc, Inc., San Francisco. I apologize.

16 DR. PETERFY: Thanks very much.

17 You'll have to excuse me if this presentation
18 goes a little awry. It didn't seem to work with my PC. So
19 I transferred it over to Phil's computer, which is
20 MacIntosh. So anything, I think, could happen, but you may
21 not be surprised to hear that I won't have any dramatic
22 conclusive evidence to support the argument for cartilage
23 loss as a surrogate for clinical and functional outcomes,
24 and it's mainly because I think only recently have we
25 really learned how to properly use radiographs even for

1 clinical trials, and only recently have we learned to take
2 MRIs, a tool which was adapted really originally for
3 clinical service, and then adapted for the different
4 priorities of clinical trials research, and that it really
5 brought to within reach the possibility of finally
6 evaluating the joint as a whole organ consistent with many
7 of the discussions that we've been having today.

8 So what I wanted to do really was to review
9 what we've really learned over the last few years about
10 joint space with measurement, with radiography, and the
11 practical and theoretical issues surrounding that, and
12 contrast that against also what the current status is of
13 cartilage imaging with MRI and introduce some of the
14 opportunities for whole organ scoring with MRI and then
15 just indicate what the remaining questions are and what
16 studies we currently have ongoing that will use these
17 updated approaches from imaging to answer some of these
18 questions, and how quickly we can expect results from
19 those.

20 One thing that we have learned about
21 radiography of the joint-space width is that knee
22 positioning is very critical, and that's because in fact
23 only a very small region of the articular cartilage is
24 evaluated, that portion that is in direct contact, and in
25 an incongruent joint like the knee, that's a very small

1 area, and which portion of the femur is articulating with
2 the tibia at any one point really depends on the degree of
3 flexion of the femur, and so on the one hand, the technical
4 appearance of the joint-space width is dependent upon how
5 much flexion there is in the knee.

6 But, in addition to that, it raises the
7 question -- and excuse the overlap. This is part of the
8 problem I was talking about earlier. But it really asks
9 the question of which location is the most sensitive to
10 change. Is that the same or different from the location
11 that is most significant to clinical function and pain?

12 And we've also learned what other things must
13 be standardized in image acquisition. I've mentioned the
14 degree of flexion of the knee, weight-bearing in both the
15 knee and the hip has to be controlled as well as rotation
16 which is external for the knee and slightly internal for
17 the hip, beam centering and alignment on the joint space,
18 magnification and, of course, exposure settings. These are
19 quite simple, but the others have been overlooked to a
20 large extent until recently, and perhaps one of the
21 pioneers of this, the most recognized one for standardizing
22 image acquisition was Christopher Buckland-Wright, who
23 really emphasized, and you can't see this here, I'm afraid,
24 the importance of fluoroscopic imaging to position the knee
25 in flexion, use of foot maps, and magnification markers to

1 correct for that.

2 The problem with this approach was that it
3 needed special equipment, special training. The foot maps
4 and markers were cumbersome to use, and ultimately this
5 approach leaves the knee only in a slight degree of
6 flexion, not necessarily in the most sensitive region, but
7 there's been some potential improvements made along the
8 way.

9 Foot map and that cumbersome approach could be
10 replaced with frames which are standardized and allows the
11 feet to be positioned quickly and reproducibly both for the
12 knee and the hip, and the degree of flexion which in the
13 past was aligned while supervising on a fluoroscope can now
14 be replaced with non-fluoroscopic methods of fixing the
15 knee in flexion. One can position the toe and the knee and
16 then press the thigh up against the wall, up, for example,
17 a frame, and then this will fix the degree of flexion of
18 the knee, and then it's a matter of determining the right
19 angulation, and in a study that we conducted in both normal
20 and OA subjects, we found it to produce in direct
21 comparison with fluoroscopy the same reproducibility error
22 for joint-space width measurement which was .2 millimeters
23 with the manual measurement method, and by turning the knee
24 around like this and bringing it close to the radiograph,
25 one also minimizes the degree of magnification and

1 stabilizes it across serial studies.

2 And then digitization and automated computer
3 measurement further improved the precision, speed and
4 capacity of joint-space width measurement which you can see
5 is down to approximately 0.1 millimeters standard deviation
6 and also enables these measurements to be audited.

7 Similar techniques have also been developed for
8 the hip and improve on the approximately 0.3 millimeter
9 reproducibility error for manual measurement with
10 approximately .2 millimeters for automated measurements
11 along with all of the other advantages.

12 So there's been, I think, considerable advance
13 made on how radiography should be used for joint-space
14 width measurement. However, it still leaves some
15 unsurmountable limitations that are fundamental to the
16 technique.

17 First of all, of course, it only provides an
18 indirect visualization of the articular cartilage, and for
19 that matter, other joint tissues, the major limitation to
20 whole-organ evaluation, and then only a small region of the
21 articular surface is actually covered by the technique, and
22 that's owing to the projectional viewing perspective.

23 MRI carries a number of advantages. Direct
24 visualization of the cartilage and other joints
25 simultaneously really enables for the first time to perform

1 a whole-organ evaluation consistent with an organ failure
2 model of the disease.

3 It provides full coverage of the articular
4 surfaces because of its tomographic viewing perspective,
5 and it provides compositional as well as morphological
6 parameters, such as collagen matrix, bone marrow edema, and
7 of secondary significance to this discussion, but there is
8 considerable advantages, at least on a theoretical level.

9 Now, here is a beautiful correlation of an MR
10 image with a scanning electron microscopy of the articular
11 cartilage given to me by Doug Goodwin that simply
12 illustrates the accuracy, the morphological accuracy that
13 MRI gives relative to the articular cartilage, and, in
14 addition to high-resolution specialized techniques like
15 this, conventional MRI techniques are available on
16 virtually all clinical magnets that are in use today, have
17 also been looked at quite thoroughly.

18 Here you see an example of a three-dimensional
19 technique that illustrates the cartilage as a high single
20 intensity band, and you can see in this example, on a nine-
21 month follow-up of a patient who's had a meniscal surgery,
22 you can see a focal defect clearly illustrated, and several
23 groups have looked at this and found it correlated with
24 arthroscopy. That MRI with this technique is very
25 accurate, both sensitive and specific for focal defects.

1 We applied a seven-point scale to cartilage
2 evaluation and viewed this in 15 regions of the knee and
3 found a very high interclass correlation coefficient for
4 two trained readers blindly evaluating the same
5 osteoarthritic joints.

6 In addition, we still don't know what the
7 sensitivity range is for this scale or what its dynamic
8 range is for clinical outcomes obviously, and, in addition
9 to those subjective parameters, thickness mapping
10 quantification is also possible now. There's been
11 considerable work done with it in Germany. This was a
12 study done by Zohara Cohen in Van Miles' group, and you can
13 see that the root mean square standard deviation for
14 thickness measurements is .3 millimeters, roughly in the
15 range of joint-space width measurement, and this was for
16 450 micron resolution image, something that in fact one can
17 improve upon relatively easily with conventional clinical
18 hardware.

19 Other quantitative measures are volume
20 quantification. This is something that's easy to do with a
21 number of image processing software, and the correlation
22 between the MR-derived volume and the true volume of
23 articular cartilage has been found to be relatively high by
24 us and by other groups, as well as the reproducibility
25 error for these measurements has been found to range

1 somewhere between 2 percent and 4 percent.

2 There has been relatively few longitudinal
3 studies thus far using these new techniques. They are
4 relatively new, and longitudinal studies take awhile to
5 complete. One study that we did didn't find reasonable,
6 some might say, rates of loss in a cohort of osteoarthritic
7 women, around 6 percent in the femur and tibia, half that
8 in the patella, compared to relatively no change in a small
9 group of control subjects. This is roughly consistent to
10 what joint-space width measurements have revealed in some
11 studies in the knee and the hip.

12 In addition to these morphological parameters,
13 a very intriguing aspect of MRI which you saw Phil Lang's
14 discussion address, is the possibility of looking at
15 earlier steps in the pathophysiological process before
16 actual cartilage loss. In this case, collagen matrix
17 damage, and on a conventional MRI image that's available on
18 most magnets, as I said, normally one can see a great deal
19 of signal from three water molecules like this, but in the
20 presence of collagen, those water molecules become
21 immobilized, and the signal from them therefore decays very
22 rapidly, and so as that collagen disappears from the
23 articular cartilage, the tissue water becomes more fluid in
24 its behavior, and it shows up as an increased signal
25 intensity like this, and this has been looked at at the

1 biochemical level and the histological levels by several
2 groups, quite thoroughly in the correlation or, let's say,
3 the biochemical validity of this is very sound.

4 What isn't known still is the dynamic range,
5 sensitivity change and some of those metrics of performance
6 for a marker as it applies to clinical trials.

7 Here is an example along the lines of what
8 Philipp was doing. This is a patient who's two months
9 post-lateral partial meniscectomy. If this was a little
10 brighter, you could see it better, that there's a high
11 signal intensity focus in that articular cartilage over the
12 operated meniscus. That is the exact site of a focal
13 defect nine months later.

14 One can quantify these T2 abnormalities in the
15 articular cartilage and potentially track those using
16 conventional MRI pulse sequence.

17 Other questions that still have to be answered,
18 as I mentioned, sensitive to change, dynamic range, and
19 also the optimal technique in a practical sense for large-
20 scale clinical trials, and then a number of other markers
21 that I won't discuss because they're farther from actual
22 application, but that each offers some opportunity to look
23 at either collagen or proteoglycans in variable degrees
24 with MRI, and this is something that really was not
25 possible even a few years ago.

1 I do want to mention a word about whole-organ
2 assessment. This is something that is uniquely possible
3 with MRI today, and that many elements of which we've
4 actually been doing clinically for many years, looking at
5 menisci, cruciate ligaments, et cetera.

6 I've combined them in a few studies into a
7 scoring method that looks at nine articular surface
8 features in 15 sites -- I'm afraid this didn't translate
9 well in the MacIntosh -- and seven other articular
10 features, including the menisci, the cruciate ligaments,
11 collateral ligaments, and the synovium.

12 Basically, without going into detail, the
13 output of this analysis is illustrated in this table where
14 each of the values in the table represent the degree of
15 damage to that particular feature in that compartment, and
16 on the right, you see totals for that feature, and here, by
17 compartment, the totals. One can find a global total for
18 the knee, and really it paints a much richer picture of
19 what's going on in the knee than we've been able to deal
20 with up until now.

21 These are baseline values from a study, 208, a
22 database here, two-year follow-up has already been done.
23 We're just analyzing that data, and the longitudinal
24 performance will be interesting for that. So far, we have
25 looked in another study at the inter-reader variability of

1 this method and found it to show a high reproducibility
2 with trained readers, and it represents really a technique
3 that's simple to perform and therefore applicable to multi-
4 center studies, will extrapolate easily to clinical
5 service. It shows a low inter-reader variability and
6 provides a more thorough evaluation of the articular
7 surface and information, of course, about the other joint
8 structures.

9 The limitations to date still include some
10 information lacking about its longitudinal performance,
11 essentially to change the dynamic range, et cetera.
12 Clinical correlations are being carried out but have not
13 been completed yet, and so just to summarize, for joint-
14 space width measurement with radiographs, we now have
15 methods that have been improved and adapted to multi-center
16 clinical trials.

17 There still remains some fundamental
18 limitations with radiographic information, particularly
19 only small region of the cartilage is evaluated, and
20 there's incomplete information about other joint
21 structures.

22 MRI shows a number of fundamental advantages,
23 including full anatomical coverage, broad tissue contrast
24 allowing whole-organ evaluation of the joint, and there are
25 now techniques for measuring cartilage thickness and volume

1 at least accurately, precisely and with pulse sequences
2 that are applicable to multi-center trials, and there is
3 some work ongoing with whole-organ scoring.

4 Outstanding questions still remain with regard
5 to the clinical correlation of joint-space narrowing using
6 the thick selection radiographic acquisitions and to the MR
7 measures of articular cartilage loss I mentioned earlier.
8 Exactly how much slowing of cartilage is clinically
9 relevant has been a question that has been raised, whether
10 they're different in the knee or the hip, and a direct
11 comparison of the performance of MRI markers with the
12 radiographic joint space narrowing.

13 Using these particular techniques has also not
14 been answered yet, and the importance of other structural
15 features in the clinical significance.

16 Now, the study --

17 DR. ABRAMSON: Dr. Peterfy, one minute, please.

18 DR. PETERFY: Yes. This is my closing.

19 DR. ABRAMSON: Okay.

20 DR. PETERFY: Therapeutic trials that we have
21 in progress that use these techniques this way that are one
22 year or older include some 650 knees with MRI that are
23 being scored for cartilage, volume quantification, T2
24 quantifications, synovial volumes and whole-organ scoring,
25 and 1,700 radiographs using the thick selection technique

1 that I mentioned earlier, and automated joint space with
2 measurement, 200 MRIs of the knee using the same MRI
3 scoring and quantification techniques, and 1,700
4 radiographs using a joint-centered acquisition and
5 potentially automated joint-space width measurements.

6 And we're looking at and we're seeing patient
7 populations in 1,900 cases, using standardized acquisition,
8 and epidemiological studies greater than a year, we've got
9 2,400 knees, 2,200 of which are utilizing a 20-minute MRI
10 pulsed sequence, and there, we're looking at cartilage
11 score, whole-organ score, and there's some 1,800
12 radiographic knees with the fixed flexion automated joint-
13 space width measurement being done with these, and, of
14 course, each of these studies have the usual clinical
15 endpoints being measured and also in many cases, especially
16 in selective biomarker correlations as well, and so these
17 are all already over a year into the study, and we
18 anticipate at least some interim results coming out of
19 these quite soon, but it's along this time frame that I
20 think we can expect some of the answers to the questions
21 that we've been talking about to begin appearing.

22 Just let me close with an acknowledgement of
23 some of the individuals who gave me some images that I
24 showed you here today.

25 DR. ABRAMSON: Thank you very much.

1 Dr. Brandt?

2 DR. PETERFY: Sorry for rushing through that.

3 DR. BRANDT: I'd ask the same question that I
4 put to Dr. Lang earlier with regard to specificity of any
5 of these changes or very, very sensitive technique, that's
6 very clear. What did you mean by that last slide that said
7 epidemiological studies? We have a large number of knees.
8 Does that address this issue of specificity? What's
9 epidemiologic mean?

10 DR. PETERFY: Well, what I mean is not clinical
11 trials, not therapeutic trials, not drug trials, but rather
12 an NIH-funded study, a health agency study, where there
13 isn't actually a drug that's being tested.

14 DR. BRANDT: No. What I really --

15 DR. PETERFY: In terms of the specificity, if
16 you mean morphological specificity as to whether an
17 abnormality on the MRI, actually what it represents
18 histologically or biochemically, that's one thing.

19 DR. BRANDT: No. What I really would like to
20 know is whether these changes that you're elucidating and
21 presumably in patients, in people who have symptoms, that's
22 why they come to you ---

23 DR. PETERFY: Right.

24 DR. BRANDT: -- are different from those in an
25 age and sex match population of older people who don't have

1 symptoms.

2 DR. PETERFY: Half of the knees that I showed
3 in the epidemiological studies or a thousand knees MRI'd
4 controlled subjects without pain. So that question is
5 being addressed in that particular study.

6 DR. ABRAMSON: Dr. Dougados?

7 DR. DOUGADOS: Just to come back to the
8 radiographic evaluation, this morning in the first part of
9 the meeting, we have emphasized the clinical relevance of
10 the evaluation of joint-space width at the narrowest point,
11 and Charles is giving two possibilities after digitization
12 looking at not the joint-space width but the joint-space
13 area evaluated after digitization, and that at least to my
14 knowledge, there is no reason that the evaluation of the
15 area is better than the evaluation of the anterior bone
16 distance, and it's quite easy to imagine that if you have a
17 a localized narrowing of the joint space, there will be
18 either reparation or an artifactual increase in joint-space
19 width in the -- so I am not sure --

20 DR. PETERFY: No, I wasn't advocating area over
21 minimum joint-space width. There's several parameters with
22 a digital image and a computer-assisted method. One can
23 acquire numerous different ones. In fact, the beauty of
24 image data is that you can test new measurement algorithms
25 as they occur to you because it does not discuss the data

1 the way biomarkers specimen uses up a bit of the specimen
2 each time.

3 But for minimum joint-space width, what we use
4 right now in our clinical trials, there is an issue of
5 shift of the minimum joint-space width sometimes between
6 the original and the follow-up exam, but back registration
7 of the two images to the narrowest point might be a way of
8 overcoming or improving on that.

9 DR. ABRAMSON: Thank you very much, Dr.
10 Peterfy.

11 We'll move on to Dr. Lang.

12 DR. LANG: Dr. Abramson, ladies and gentlemen,
13 Dr. Peterfy just gave a beautiful presentation of the
14 current state of MRI and the various techniques that are
15 routinely available.

16 I want to take the opportunity here to focus on
17 some of the new techniques that are evolving in MRI and
18 that lend themselves in the future, assuming appropriate
19 testing, reproducibility, accuracy, et cetera, and we're
20 doing some of these studies as we speak, that are
21 techniques that lend themselves as new surrogate endpoints
22 in clinical trials in OA.

23 The three major areas of development that I
24 believe we will see in the next couple of years to evolve
25 from MRI is, A, morphologic analysis. MRI will get a lot

1 better at visualizing the cartilage than it is right now.
2 Biochemical composition can be assessed, and if a drug has
3 an effect at the biochemical level, such as enhancing
4 glycosaminoglycans in the cartilage, that effect can be
5 measured with MRI, and, finally, biomechanical assessment.

6 Because of the short time that I have
7 available, I want to show just a very few representative
8 techniques that I believe will have high impact, and I do
9 want to point out that these new techniques are currently
10 being used in two Phase II studies at Stanford University.

11 They are using these new techniques in
12 conjunction with the current established techniques that
13 have been validated in multiple previous studies by Drs.
14 Peterfy, Rect, Eisler, and a lot of international
15 collaborators.

16 This is a technique called projection
17 reconstruction spectroscopic imaging. What this technique
18 offers is very high-resolution images of the articular
19 cartilage, but, in addition to that, you can actually
20 quantitate water content in the articular cartilage and get
21 spectral information, and as you see going from the
22 subchondral bone plate to the articular surface, the line
23 height and line width in fact also changes, which is a
24 reflection of change in water content across the articular
25 cartilage, and again we're currently using this technique

1 in an OA trial.

2 This is a technique that was pioneered by Deb
3 Burstein in Boston and her group, gadolinium-enhanced
4 imaging, and again also a technique that lends itself and
5 that is actually technically very easy, lends itself to
6 using clinical trials, gadolinium-enhanced imaging. What
7 happens in this case, glycosaminoglycans in the articular
8 cartilage, in normal cartilage, which you see here
9 imperially, carry a negative charge.

10 Gadolinium-TDPA as standard MRI agent also has
11 a negative charge. So in normal cartilage, the negatively-
12 charged glycosaminoglycans will repel the gadolinium, and
13 it will not get into the cartilage after an IV injection or
14 only small amount will get into the cartilage.

15 In cartilage that is glycosaminoglycan-
16 depleted, which is here shown superiorally, the gadolinium
17 does not get repelled anymore. It leaks into the cartilage
18 over a period of 60 to 90 minutes, and the change in T1
19 relaxation time is a direct reflection of glycosaminoglycan
20 content in the articular cartilage, and you see this
21 applied here in an OA patient currently enrolled in a
22 trial, and you can in fact see areas of healthy cartilage
23 with the long T1 relaxation time on this T1 map, high
24 signal intensity, and areas of glycosaminoglycan-depleted
25 cartilage with lower signal intensity.

1 By the same token, a new technique, sodium MRI,
2 that has been pioneered by Dr. Reddy at the University of
3 Pennsylvania, Philadelphia, is another in vivo probe to get
4 at proteoglycan or at glycosaminoglycan concentrations.
5 The principle here is similar. The positively-charged
6 extracellular sodium inside the articular cartilage tracks
7 very closely with the glycosaminoglycans.

8 What the Penn group has done here -- this is in
9 fact the patella. This is not a proton but a sodium image,
10 and the intensity in the image is a reflection of sodium
11 concentration. What they did is they applied a membrane in
12 the region of the knee enriched and then exposed the
13 lateral facette to a protease, and the cartilage became
14 proteoglycan-degraded, and you see the decrease in
15 intensity which is a reflection of the loss in proteoglycan
16 induced by the protease, and again this can be done in
17 vivo, and we're currently doing this in vivo.

18 Three-dimensional thickness map. Dr. Peterfy
19 touched briefly on this. This is an example of a focal
20 defect in the posterior femoral condyle, and as we go from
21 anterior, we see normal thickness cartilage going
22 posterior, normal thickness cartilage. Here's the full
23 thickness defect, and again normal thickness cartilage.

24 Now, you can map the thickness along the
25 condyle here and along the X axis. Y axis is the thickness

1 in millimeters, and you see this thickness graft with a
2 reduction to zero thickness at this level.

3 You can do it in 2D. You can do it in 3D, and
4 this was first done by Dr. Eckstein's group in Munich, and
5 our own group using funding from the Whittaker Foundation
6 continues to develop these techniques, and here you see a
7 three-dimensional thickness map of the articular cartilage
8 in the femoral condyle in the same subject. The thickness
9 is color-encoded. You see the focal defect here in the
10 posterior lateral femoral condyle, and, currently, this
11 technique still requires manual interaction, but,
12 ultimately, this will be fully computerized. In fact, we
13 believe in the next three to six months, we hope to have
14 this fully computerized.

15 This is a technique pioneered by Professor
16 Thomas Andreaki, formerly in Chicago, who has been for one
17 year now at Stanford University. This is gait analysis.
18 Gait analysis is a technique that can be used to study
19 abnormal gait patterns in human subjects.

20 The retro-reflective markers are applied to the
21 skin. The patient is walking up and down a defined path.
22 The force plate is applied in the ground, and you get an
23 estimate of abnormal loading patterns, like Dr. Brandt
24 pointed out earlier, abnormal quadriceps mechanism, et
25 cetera.

1 The problem with gait analysis -- this is in
2 fact normal stair-climbing. The problem with gait analysis
3 is that it assumes a standard femur and standard tibia.
4 This is the same subject doing stair-climbing. This is a
5 healthy volunteer, and I want you to think of this next
6 time you're walking up the stairs because this is how your
7 femur is grinding on the tibia.

8 But again this is a standard femur and a
9 standard tibia. With gait analysis currently, we have no
10 means of looking inside the patient. Well, not any longer.
11 In the context of an NIH submission, we developed new
12 software merging MRI with biomechanics -- i.e., gait
13 analysis -- and here you see a patient who was initially in
14 the gait lab, had the same test done that I just showed
15 you, and subsequent to that underwent MRI, and the markers
16 used for gait analysis are now filled with gadolinium. So
17 we can cross-reference them.

18 Based on the MRI, the 3D reconstruction of the
19 patient's actual femur, the true femur in the subject, was
20 generated. Femoral condyle cartilage, tibia cartilage, and
21 the tibia, and this is the type of information that you can
22 get from this test. This is the actual knee of this
23 particular patient based on MRI, and subsequent to that,
24 the gait pattern that this subject had in the gait lab
25 applied to this patient, and this just shows you where I

1 think this field will go in the future.

2 Yes, Dr. Brandt is a 100 percent right.

3 Currently, we cannot integrate biomechanics, but with
4 techniques like this, and there will be other approaches to
5 this, this integration will happen, and I think MRI will
6 become an even more powerful test.

7 This was a normal volunteer. Well, in fact,
8 the subject thought he was normal, but as was elicited by
9 this test, on every single heel strike, we look closely,
10 there's hyperextension, which is not quite normal, which is
11 not what you ought to see.

12 So in summary, with the current technologies,
13 MRI can provide a very detailed assessment of cartilage
14 morphology, and, yes, we need to get more data, and we're
15 currently performing studies in fact to collect this data,
16 to get at issues, such as work reducibility.

17 We can estimate biochemical information, such
18 as water content and proteoglycan content, and again
19 validation studies are underway, and I hope that we will
20 have some data on this by the end of this year.

21 We can obtain quantitative information, such as
22 3-D maps of cartilage thickness, and, ultimately, over the
23 next two or three years, I would hope, biomechanical
24 information, and I believe that prognostication of defects
25 will be possible based on size, location, composition and

1 biomechanical stress derived from MRI, and these are also
2 potentially very useful surrogate endpoints in the future
3 for clinical trials in OA.

4 I thank you for your attention.

5 DR. ABRAMSON: Thank you very much.

6 Any comments from the panel? Dr. Harris?

7 DR. HARRIS: Just one comment. It's remarkable
8 that as things get more complex, and as one can define
9 things a little more clearly, they become more difficult to
10 measure, if you see what I mean.

11 If we just had thickness and volume, and we
12 could do so reproducibly, there's a single measurement.
13 Once one starts talking of cysts and water content and all
14 the other sorts of variables, then one has to come up with
15 global assessments and so on, and it becomes awfully more
16 difficult, and, you know, this is just an observation, that
17 perhaps being simple might help a lot more than being
18 complex, at least as far as studies go of the sort that
19 we'd like to do here.

20 DR. LANG: I agree completely, and my personal
21 opinion, if I may share that with you, is that visual
22 analysis, I think, will remain quite powerful. The subtle
23 lesions that I showed earlier will be very difficult to
24 quantitate with the computer, and the other surrogate
25 outcome, I think, that will be very powerful in the future

1 are these thickness maps which are in a sense directly
2 related to the work that has been done by Buckland-Wright,
3 by Dr. Brandt, and a lot of other people here in this room,
4 looking at joint-space narrowing, except in this case, you
5 don't look at a focal point like Dr. Peterfy pointed out
6 but really along the entire articular cartilage, and I
7 think this will be an extremely useful surrogate endpoint.

8 Some of the other parameters that I showed
9 here, I think they will be scientifically very interesting
10 to better understand these drugs, how they really work in
11 vivo, what effects they have because now we have a non-
12 invasive in vivo probe to look at biochemistry, and indeed
13 in that same context, perhaps to address specific questions
14 also relating perhaps even to safety.

15 DR. ABRAMSON: Okay. Dr. Brandt?

16 DR. BRANDT: That's beautiful stuff you showed.
17 It's important to keep in mind, I think, as we talk about
18 joint-space width and surrogates, that in the standing knee
19 radiograph, what's being measured is in fact a sum of the
20 thicknesses of the femoral and tibial cartilage, plus their
21 mechanical properties with compression under weight-
22 bearing.

23 The thickness of cartilage measured by MRI in
24 an unloaded joint gives something entirely different and
25 not necessarily related because there's no compression that

1 you have in a standing knee film, and those have to be
2 reconciled at least at this point.

3 DR. LANG: I agree, and Felix Eckstein at
4 Munich has done some very beautiful work on MRI, actually
5 compressing the cartilage, and in cadavers on the regular
6 unit, he was able to show compression in the situation that
7 was simulating weight.

8 Something that I didn't show here because of
9 time is we have a vertically-open MRI unit at Stanford
10 University. In fact, you can stand in the magnet, and we
11 have done a couple of volunteers now, and we're trying to
12 get OA patients in. In fact, we have the patients standing
13 like this in the magnet in upright position, weight-
14 bearing. You scan the articular cartilage, and, yes, you
15 do see reduction in height of articular cartilage on the
16 order of 10 to 25 percent in one subject even, and that is
17 something that has to be taken into account.

18 DR. ABRAMSON: Dr. Dieppe?

19 DR. DIEPPE: I think I'm going to be at risk of
20 sounding like a Luddite, but it does seem to me that it's
21 rather more important that we figure out whether the
22 structure of the joint really matters very much before we
23 get more sophisticated at measuring it, and at risk of
24 upsetting my colleagues who have given beautiful
25 presentations, I've been hearing presentations for the last

1 10 years that say next year, MRI will have cracked it.
2 It's always next year.

3 DR. LANG: Well, my answer in this case would
4 be help us to get the NIH funding and give us another year.

5 DR. ABRAMSON: Okay. Thank you very much.
6 Yes, Jim Witter?

7 DR. WITTER: Just another kind of regulatory
8 perspective question. Let's assume that we're seeing part
9 of the future here, and, by the way, I really enjoyed the
10 presentation. Do we need to worry about corruption of the
11 data? I mean, because this will all be digitalized, and
12 one could imagine it can be easily manipulated. Could you
13 give us some sense of that?

14 DR. LANG: I think every time you have a visual
15 analysis of x-rays, there is chance for operator error and
16 incorrect transfer to case report forms or from the case
17 reporter form ultimately to the computer, and, yes, the
18 same thing holds true for a computer analysis. In
19 particular, if you have, let's call it, an analog step in
20 -- I think the weakest link is the human subject, where the
21 human subject has to interact, and the biggest problem
22 right now with these 3D volumes and 3D thickness maps is
23 that you actually have to segment the cartilage from the
24 MRI image, and that's the main thrust of our research right
25 now to automate this, and we're making progress with it,

1 but we haven't achieved that yet, and, yes, that's the
2 point where the data could be corrupted.

3 I think with some of the new software
4 algorithms that have been developed by a number of
5 different groups, in particular also the Munich group is
6 very active there, this will look a lot better. In fact, I
7 think even this year, we will see some data that will be
8 very encouraging, but these are issues that have to be
9 addressed, I agree.

10 Once it is fully computerized, I think it will
11 be more reliable, and we will be less subject to these
12 types of errors than in fact any type of visual analysis.

13 DR. ABRAMSON: Thank you.

14 At this point, are there any members of the
15 audience who did not register to speak at the open public
16 hearing who would like to make a comment?

17 (No response.)

18 DR. ABRAMSON: Thank you.

19 What we'll do is we'll go back then to the
20 questions. When we broke before the break, I guess Dr.
21 Elashoff had made a final comment regarding the ability to
22 define worsening.

23 I guess I would ask Drs. Johnson and Witter if
24 they want to continue that piece of the discussion or move
25 on to the Question Number 3?

1 DR. WITTER: Can I just maybe try and summarize
2 what I think I heard?

3 DR. ABRAMSON: Sure.

4 DR. WITTER: In the sense that one could take
5 away that generally, we're more concerned about in defining
6 worsening or no worsening in a sense of measures, such as
7 VAS pain scores, rather than some of the stuff that I tried
8 to get at earlier in terms of serious adverse events,
9 deaths, things like that.

10 I mean, is that kind of the sense of your main
11 concern? You're assuming that those other parameters that
12 can factor into worsening, meaning serious adverse events
13 and deaths, are going to not be a factor in here?

14 DR. ABRAMSON: Well, I guess what I was
15 thinking is that with respect to the efficacy of the drug
16 and the joint-directed adverse effects, that would come
17 through by continuing the parameters that one was measuring
18 in terms of pain and function.

19 Whether there were unanticipated adverse events
20 outside joint structure and function, obviously one would
21 continue to monitor for those kinds of -- you know, whether
22 it's shoulder stiffness and fibrosis outside the signal
23 joint, one obviously would need to follow those kinds of
24 things as well.

25 But unless other people feel differently, I

1 didn't hear a suggestion that one should change the kinds
2 of parameters that one was following, and the question
3 became more of a statistical one. Could you show
4 equivalency to placebo or worsening in that Phase IV
5 context?

6 DR. WITTER: Then, I guess, as a related
7 question, could we have some more discussion on the
8 psychosocial type of outcomes that have been mentioned? I
9 mean, are we talking about SF36s or modified HAQs or are we
10 talking about something entirely different?

11 DR. ABRAMSON: Perhaps people who are currently
12 engaged in such studies want to comment. Dr. Brandt?

13 DR. BRANDT: Before that, Jim, just one comment
14 with regard to worsening, and I think we have to take a
15 broad look at this. It's conceivable that a structure-
16 modifying drug could be associated with some worsening of
17 pain in a patient who is in fact doing three times more
18 than he was doing before, and so I think they both need to
19 be taken into account and put into perspective.

20 DR. JOHNSON: But not worsened compared to
21 control, though, because that's always the caveat here.

22 DR. BRANDT: Yes, he may be worsened. The pain
23 may be worse than in the control, but in relation to a
24 doubling of the activities that he's able to perform.

25 DR. JOHNSON: Yes, that gets around to sort of

1 integrating this efficacy measure which we also want to try
2 to get some feedback on, but, yes, you could have a drug
3 that differentially works wonders on one and not the other.

4 It sounds like Marc's suggestion about using
5 prior trials to figure out an effect size might give us a
6 handle as to what fraction of the effect size we could deem
7 ignorable in an equivalence test, and, you know, if you
8 make it a really tiny difference that you're going to
9 ignore, then your sample sizes go through the wall, but if
10 you make it relatively liberal, it might not mandate
11 gigantic trials.

12 I, too, as Jim mentioned, would be interested
13 in Paul and David Yocum, if he's still here, about what
14 they would consider useful psychosocially.

15 DR. ABRAMSON: Paul Dieppe?

16 DR. DIEPPE: I think the data that's available
17 suggests that the three key psychosocial variables that
18 have been associated with pain and disability in OA are
19 anxiety, depression and social isolation.

20 Now, I actually think personally that the SF36
21 is a nonsensical instrument. We all use it because we're
22 all using it, but it doesn't seem to me it's got any sense
23 to it.

24 Having said that, the mental subscale of the
25 SF36 is probably one of the better subscales in my view in

1 this context and correlates reasonably well with other more
2 specific measurements of anxiety and depression.

3 There are plenty of other standardized
4 measurements of anxiety and depression that are well-
5 validated that can be used, and I guess it's another
6 meeting to discuss which of those should be factored in to
7 this as a standard measurement, but I certainly think one
8 or more should.

9 Isolation and other issues of that sort in
10 terms of lifestyle change are much, much more difficult.

11 DR. JOHNSON: Does the epidemiology suggest
12 that if you're talking about a two-year trial, for
13 instance, these are not as important? At what point does
14 this kick in? You had mentioned the short-term and the
15 long-term dichotomy before.

16 DR. DIEPPE: Well, they kick in all the time
17 because, you know, I talked also about the variability of
18 pain and disability, and that's dependent on this. I guess
19 the issue of when do those issues start to overwhelm other
20 issues, I've no idea, in time frame. That's -- I don't
21 know.

22 DR. ABRAMSON: Yes?

23 DR. LIN: I also think that this is equivalence
24 problem, perhaps not, you know, from a physical point of
25 view, perhaps not two-sided.

1 DR. ABRAMSON: Is your microphone on? I'm
2 sorry.

3 DR. LIN: But it can be a one-side non-
4 inferiority consideration. What?

5 DR. ABRAMSON: I'm sorry. Please introduce
6 yourself.

7 DR. LIN: Wait, wait, wait. In thinking about
8 this, I think that it would be important to specify --
9 because we're talking about joint-space narrowing as the
10 primary endpoint in this trial, I think it would be
11 important to specify at what point you're going to make
12 assessment that pain and function were not worsening. I
13 mean, you have to do that because somewhat after the Phase
14 III trial, you will want to know if there was improvement
15 in the pain measurement. So you want to measure not
16 worsening during the trial, but then some time later, you
17 want to see if it is correlated with pain improvement.

18 Okay. So therefore, you need to carefully
19 specify at what point you want to assess the equivalence
20 between the two, okay, and you can do that with a one-point
21 measurement.

22 An alternative would be that you can make pain
23 measurements repeatedly over the Phase III trial and
24 perhaps take a repeated measures approach and see if the
25 slope between the groups were different. I mean, it seems

1 to me that may be another approach or even whether the
2 slope remained constant over time, and that would be
3 another approach.

4 DR. JOHNSON: That was Stan Lin. He's one of
5 our statisticians.

6 This scene comes up recurrently, too, whether
7 you're just doing an endpoint analysis or a multiple-point
8 analysis. We put a little verbiage in the RA document that
9 unless there's a strong reason for not recognizing all the
10 points, you should recognize all the points.

11 On the other hand, this will be a one-point in
12 time analysis. It will be presumably at approval for
13 structure, that we do some kind of non-inferiority analysis
14 or equivalent analysis of the symptoms at that point.

15 DR. ABRAMSON: So what would worsening of pain
16 that was observed during the course of the study, just in
17 terms of analyzing the data in terms of the data
18 monitoring?

19 One can imagine perhaps something that measured
20 at one year might improve structure but could exacerbate
21 pain in the course of the prior months, perhaps even as an
22 unrelated adverse event if arthralgias, for example, are
23 part of the profile of some of these kinds of agents.

24 So if there's worsening of pain and function
25 before the one-year analysis, how would one think about

1 dealing with those data?

2 DR. WITTER: I just want to broaden it out a
3 little bit to signal versus non-signal joint, that whole
4 paradigm, too. I mean, we had a discussion on that.

5 DR. JOHNSON: The quick answer is we'd bring it
6 to the committee. But presumably, if you have worsening of
7 both pain and function, you're probably in trouble -- this
8 is again compared to placebo -- unless it's just a very
9 small worsening, and if it occurs at all points but not at
10 the point of approval for structure, then you have to
11 wonder what's going on, you know, if all the other points
12 are positive but that one point is not.

13 DR. ABRAMSON: Okay.

14 DR. DOUGADOS: Excuse me, but I don't
15 understand the discussion. I can't understand the
16 situation where such as we've been discussing, we have a
17 structural deterioration together with a symptomatic
18 improvement, but in the real world, I don't know a
19 situation where we will have an improvement in the
20 structure within one year with the deterioration of -- I
21 can imagine by chance, that you will see a study signal
22 significant difference in favor of the placebo. That is
23 only what you want to avoid because if you want to
24 anticipate that, can you imagine the drug, whatever the
25 mechanism of action, which will result in the deterioration

1 of the symptoms not by chance but due to the drug?

2 I can't imagine side effects which have just
3 been emphasized, side effects, or statistical significance,
4 but not to real deterioration in symptoms due to the drug.

5 DR. JOHNSON: Well, it is hard to imagine. If
6 the hypothesis is wrong, however, that -- and in fact,
7 joint-space narrowing is not a valid surrogate, then if you
8 also didn't have a drug that was active, then -- or if
9 somehow the mechanism of the drug engenders worsening of
10 symptoms.

11 I mean, I think it's clearly a logical
12 possibility, and it's the one that we need to guard against
13 analytically at approval time. It's just a question of how
14 to do it analytically.

15 DR. WITTER: And, Maxime, I think a lot of what
16 we do in our deliberations when we discuss these kinds of
17 issues is trying to imagine also what we might be seeing
18 because part of the guidance document is not only building
19 on some kind of foundation but also projecting what we
20 might see in terms of therapies.

21 So my presentation, one of the things it was
22 trying to do was to answer, I think, how do we make a
23 distinction between a safety adverse event in, let's say, a
24 joint that's not the signal joint versus something related
25 to clinical worsening, and are those the same kind of

1 thing? I mean, do we think about those events in the same
2 way?

3 DR. ABRAMSON: Dr. Brandt, then Dr. Hochberg.

4 DR. BRANDT: OA is a disease of an organ. I'll
5 give you an illustration that would fit that situation that
6 you asked about.

7 Twenty years ago, orthopedists, some
8 orthopedists in this country, shaved osteophytes in
9 patients with osteoarthritis, and they thought they were
10 doing something good.

11 In fact, what happened as they increased
12 mobility is the joint went to hell in a hand basket, and
13 patients became symptomatically worse, and it ended up
14 being a first-stage procedure for a total joint
15 arthroplasty. So instead of having a Bard-Parker like
16 osteophytes, consider a drug that might do that.

17 DR. DOUGADOS: Yes, but the question is not
18 related to the symptomatic deterioration. The question
19 arises, can we consider the change in the joint-space width
20 as a relevant surrogate marker of the condition? So we are
21 coming back at the beginning.

22 If no, you will never resist a drug with a
23 structure effect without any evidence on clinical symptoms.
24 If yes, you will accept the registration.

25 DR. JOHNSON: Yes. We're not advocating that

1 you should require, you know, clear standard clinical
2 efficacy, but we're saying, well, should there be any test
3 at all? I mean, would you register this drug if there was
4 major clinical deterioration compared to placebo? You
5 probably wouldn't.

6 All we're just saying is that we need some sort
7 of no worsening criteria, I think, in order to use
8 accelerated approval.

9 DR. ABRAMSON: Dr. Hochberg?

10 DR. HOCHBERG: Well, I don't want to drag out
11 this discussion because this will take us into lunch, and
12 we'll never move forward, but, you know, the other issue
13 that the agency will have to deal with is what are you
14 going to do about co-therapy?

15 I can't conceive of a patient with symptomatic
16 OA going into a trial of a structure-modifying agent and
17 not taking a symptomatic drug, unless the trial is designed
18 to look at an agent which is going to affect structure and
19 symptoms.

20 So if you want to look at an agent which is
21 just going to affect structure, there's going to be some
22 background co-therapy, and it's unlikely that that
23 background co-therapy is going to stay the same if you want
24 to keep the patient in the trial.

25 I don't know what Dr. Brandt's experience is in

1 his doxycycline study, but, you know, most patients are
2 going to continue to take medication. The amount of
3 medication they take will probably vary day-by-day. They
4 may change their medication. So this is again something
5 that the agency's going to have to make the sponsor of the
6 study collect data on and consider in this whole analysis,
7 right?

8 DR. JOHNSON: Yes. I'll let Jim respond, too,
9 but the co-therapy is fundamentally, you know, a risk
10 factor and may be critically important as a covariate, and
11 the no deterioration equivalence test or whatever it is at
12 approval for symptoms have to be robust to all these
13 objections or else it won't hold water.

14 DR. WITTER: Would your advice be that using
15 less co-therapies is a clinical benefit for a structure-
16 modifying agent?

17 DR. HOCHBERG: I'll defer the initial response
18 to Professor Dieppe, who's anxious to talk into the
19 microphone.

20 DR. DIEPPE: Thanks, Marc.

21 I think the answer to that has to be yes, but I
22 wanted to say that don't restrict the concern with co-
23 therapies just to drugs. You know, all my patients with
24 osteoarthritis are using dozens of other things for their
25 osteoarthritis in addition to drugs. Physical therapies,

1 behavioral interventions, walking aids, goodness knows what
2 else.

3 Some people in this room know that I think
4 they're much more important than drugs myself, and they've
5 got to be factored in to any of this. So it isn't just
6 drugs.

7 My view is that, yes, that the utilization of
8 those other therapies is an important issue, and I think it
9 has to be potentially looked at economically as well as in
10 relation to an actual symptomatic change, and it sort of
11 comes back to one of Marc Hochberg's early comments. What
12 are we trying to do here?

13 It seems to me one of the things we're trying
14 to do is reduce the utilization of expensive interventions,
15 and physical therapy, for example, is a hugely expensive
16 intervention.

17 DR. ABRAMSON: Dr. Brandt?

18 DR. BRANDT: Using co-therapy as an outcome
19 variable puts us on ice as thin as that on which we skate
20 when we use joint replacement. There are studies that show
21 in older people taking non-steroidals, presumably for
22 osteoarthritis, half of them don't need the non-steroidal.
23 They can be stopped, and the next six months, they go on
24 very, very happily without any requirement for anything.
25 So to simply measure what they're taking does not

1 necessarily tell us they're needing it. They're taking it
2 because they're having pain. It may be ritualistic and
3 something that happens at the level of the thalamus.

4 DR. HOCHBERG: If I respond now after these two
5 comments, my thinking about it for five minutes is I
6 wouldn't be keen on using that as an important variable to
7 consider registration of a structure-modifying agent,
8 whether it reduces the need for co-therapy which is
9 symptomatic or not.

10 DR. ABRAMSON: Dr. Dougados?

11 DR. DOUGADOS: One comment concerning the
12 evaluation of the symptomatic effect in a trial in which
13 the primary objective is structure, and it's difficult for
14 two reasons.

15 The first one is I do agree with Ken Brandt
16 that the baseline characteristics of the patients are
17 usually different in a structure trial than a symptomatic
18 trial with lower symptoms.

19 The second thing, that during the treatment,
20 because of the concomitant therapy and the inter-relation
21 between the concomitant therapy intake and our symptomatic
22 outcome variance, there is an interference, and it becomes
23 more and more difficult to pick the symptomatic beneficial
24 effect because of the two things, the duration of the
25 treatment, the baseline characteristic of the patient, and

1 the concomitant therapy.

2 So that is quite difficult to demonstrate, and
3 I can't anticipate that with a drug which will be able only
4 to have an action on the structure within one year, it will
5 be difficult to demonstrate symptomatic effect even within
6 three or four or five years. That will be very difficult.

7 DR. JOHNSON: Yes. We haven't even touched on
8 the possibility that your structure trial -- I think this
9 is what you were mentioning, actually. Your structure
10 trial may a priori need to be different or that it would be
11 desirable for it to be different than your symptom trial,
12 and it's even conceivable that the dose optimization is
13 different structure versus symptoms, but in any case, you
14 know, if we're going to use the accelerated approval
15 paradigm, we need to make some assessment as to what's
16 happened symptomatically.

17 I mean, we would feel an obligation to do that,
18 to at least ensure that there wasn't any major
19 deterioration compared to control.

20 DR. ABRAMSON: Thank you.

21 Let's go on to Question 3. "Must Phase IV
22 symptom demonstration be done only via continuation of
23 Phase III trials in which joint-space narrowing was
24 demonstrated?"

25 I guess I would ask for a clarification of

1 that. By definition, the demonstration of joint-space
2 narrowing would lead to the registration of the drug, and
3 so the question that's being asked is whether or not the
4 same criteria should be carried forward for pain and
5 function or whether new criteria should be established for
6 assessment of symptoms.

7 Can you give us some clarity of what you're
8 going after here?

9 DR. JOHNSON: I think part of what we're going
10 after here is sort of the linkage issue that Bill
11 Schwieterman brought up this morning.

12 I mean, to what degree do you think it
13 reasonable to have something ongoing? It may not
14 necessarily be the same trial but at least a trial ongoing,
15 because the problem is going to be, you know, as was
16 mentioned earlier, if it proves to be quite successful
17 structure-wise, there's going to be a great temptation to
18 sort of, you know, corrupt the control as was mentioned in
19 one of these other questions.

20 I'm not sure. I don't think the accelerated
21 approval statutes require that these things be done in the
22 same trials. It may require that something be ongoing.
23 Maybe Bill knows the answer to that.

24 DR. SCHWIETERMAN: Actually, I'm not 100
25 percent certain on the language. We certainly have

1 approved agents on the accelerated approval where the study
2 was not already ongoing. However, we view that more as an
3 exception than as a rule.

4 I've spoken at length on this issue with Dave
5 Feigel, for example, in the Anti-Viral Division in the
6 Center for Drugs, who has the bulk of the experience with
7 accelerated approvals for the treatment of HIV therapies,
8 and it was that particular center's policy that they like
9 to see not only the trial structured and submitted but
10 actually ongoing and continuing at the time and actually
11 beyond that even spelled out what kind of time frame they
12 expected to see the clinical data coming in.

13 So while I don't think it's part of the
14 regulations themselves, I think it's fair to say that most
15 people within the agency would want the trials accruing/
16 enrolling patients and an expected time frame for the
17 clinical outcomes to be submitted to the agency.

18 DR. ABRAMSON: Any comments from the committee?

19 (No response.)

20 DR. ABRAMSON: I guess there are two issues,
21 aren't there? One is the development of later symptoms if
22 the one-year time point is the time point for approval, and
23 the other is again as a surrogate. What is the long-term
24 outcome of using that as a surrogate, the x-rays as a
25 surrogate, for example, in terms of clinically-significant

1 outcomes in osteoarthritis?

2 So the length of time of this follow-up Phase
3 IV is also at question, right, as to is it a year, is it
4 three years, and how rigorous it is? Is it the full study?

5 DR. JOHNSON: Yes. The length would depend on
6 what you think your drug is going to do. It could be six
7 months, it could be three months, it could be three years.

8 DR. ABRAMSON: Yes, Dr. Anderson?

9 DR. ANDERSON: Yes. I'm just wondering about
10 this expression accelerated approval. I mean, does it
11 really mean in practice that it's a conditional approval,
12 and the approval would be withdrawn if, in this Phase IV
13 study, the results were negative or contrary or to what was
14 thought to happen?

15 DR. JOHNSON: Yes, that's what the statute
16 provides for.

17 DR. SCHWIETERMAN: One thing I just want to
18 add, in addition. This is an evolving field. The
19 accelerated approval, which is probably better termed
20 "conditional approval," as I think Dr. Witter or Dr.
21 Johnson mentioned, was done in 1992 almost exclusively in
22 response to the AIDS epidemic and the problems associated
23 with getting promising but unproven therapies available to
24 that population. The agency has since taken the statutes
25 and recognized that they can be applied elsewhere.

1 The problem becomes, however, with these
2 trials, and this is, I guess, the underlying assumption
3 here, is that if you approve a product under accelerated
4 approval, how do you keep a patient on the placebo arm for
5 any length of time without either making it an unethical
6 trial because by definition you've approved the product
7 with some reason, that is equipoise may be disruptive, and
8 then just simply pragmatically patients don't enroll in
9 these studies, even if there is a fair amount of equipoise
10 because they can get the product simply through their
11 doctors, if they pay for it.

12 So I think this has been something that has yet
13 to be resolved with many chronic therapies where sponsors
14 have approached the Center for Biologics at least, and I
15 know that Dr. Johnson's mentioned this before, but it's
16 going to be especially a looming problem in this field if
17 we're going to require three-four-five-year outcome
18 measures down the line. It may require this committee to
19 go for enrichment studies where we go for shorter-term
20 outcomes in the patient population, more likely to progress
21 sooner rather than later.

22 The reason I think it's fair to bring it up now
23 is because it's been an issue for several years anyway, and
24 now that therapeutics are getting into the chronic,
25 especially the biological therapies, getting into the

1 chronic phases of things, how to develop these studies is
2 not obvious to us.

3 DR. ABRAMSON: Can I ask a related question? I
4 think we've been talking around this issue all morning, but
5 the reason that we're discussing this as an accelerated
6 approval rather than just a conventional approval is
7 because of the uncertainty about the radiographic or
8 imaging endpoints that are out there as surrogates for
9 clinically-significant, because otherwise one could argue
10 why is this different from rheumatoid arthritis which is
11 also a 20-year disease, where we have so-called remittive
12 agents approved based on six months or a year of therapy?

13 DR. SCHWIETERMAN: Yes. I'll let Dr. Johnson
14 speak to that, but that is the crux of the issue. I think
15 this particular set of questions recognizes the possibility
16 that in OA, we may come up with products that diminish the
17 amount of joint-space narrowing without appreciably
18 diminishing the amount of clinical symptoms, and it's a
19 quandary for the agency to judge whether or not the risks
20 are worth the benefits of having the public at large be
21 exposed to these agents, have the benefits of these agents,
22 whether you see the glass is half full or half empty when
23 you may never know the answer given that you've put it on
24 the market now and can't test it, and so obviously there's
25 a tension there between enough evidence and putting

1 something out on to the market soon enough so that you
2 don't have to spend 10 years waiting for clinical outcomes
3 and randomized studies, and I think that that -- maybe Kent
4 can amplify on that.

5 DR. JOHNSON: Steve, there are really two
6 separable concepts here, I think. The one you're
7 addressing is the one that probably bothers all of us and
8 always has and may always will, and that is, you know, what
9 does really happen over 20 years? These are 20- or 30- or
10 40-year diseases. We're not going to get products if we
11 require 20-year trials. So that's sort of aside. We have
12 to think about it. It would be nice to have Phase IV. It
13 would be nice to have good Phase IV, and that may or may
14 not happen some day.

15 But the whole other issue is given that we've
16 made some arbitrary decisions about durations of trials,
17 and I think they're incredibly arbitrary, you know, six
18 weeks to three months for non-steroidals, six months for a
19 new agent for rheumatoid, you know, to a patient, that
20 probably strikes them as pretty ridiculous, but given that
21 that's done, you know, the accelerated approval dimension
22 is simply a way to speed up something that looks promising,
23 get it out there before you even show the clinical benefit,
24 and when the epidemiology and the interventional trials
25 have been done and knock you over like in blood pressure,

1 let's say, or cholesterol, then it's a moot point, and you
2 get approved for the surrogate with no Phase IV validation,
3 but that's not true at this point.

4 And in fact, if you look at the rheumatoid
5 arthritis guidance document that came out, we engendered
6 the exact same paradigm. We couldn't figure out how to
7 describe what would be a major retardation in the
8 rheumatoid x-ray, and if you go back and read it, you'll
9 see all we said was, you know, one arm, you start with
10 normal x-rays, normal hand x-rays, one arm, you get a lot
11 of erosions, and in the treatment arm, you don't get any
12 erosions, but we didn't specify beyond that.

13 But we're working through that. We went
14 through the same logic for rheumatoid arthritis. No
15 company has pursued that yet, and a lot of companies are
16 interested in the joint-space narrowing utility right now.

17 DR. ABRAMSON: Dr. Moreland?

18 DR. MORELAND: I would just like to add that I
19 think you will not get meaningful data in any Phase IV
20 studies once you approve a drug. It's completely unethical
21 to withhold these drugs, and the patients will ask for
22 them, and they will be given them once they're on the
23 market, and we will not be able to enroll patients in any
24 Phase IV studies for placebo-control trials.

25 So I think we're talking about some issues here

1 that are not doable in the real world, and the question
2 that I come back to is then are you really needing to make
3 this an accelerated review? Why not do the right studies?
4 If this is a big issue, and you're concerned about letting
5 something out that's not going to be good enough, then
6 don't let it out. Let's do these studies a little more
7 carefully and maybe have a two-year placebo-control trial
8 and not have the accelerated process.

9 DR. ABRAMSON: Dr. Witter?

10 DR. WITTER: But I think it still gets at the
11 question of if we do a two-year trial or a three-year or a
12 five-year or whatever, and if we don't get what we all feel
13 comfortable with as a clinical benefit for that, I think
14 the skeptics still would say, you know, why have we done
15 this? Why have we bothered to do this?

16 If we've altered the structure but haven't
17 shown any kind of benefit, then accelerated approval or
18 not, why should we do it?

19 DR. ABRAMSON: Dr. Elashoff?

20 DR. ELASHOFF: I don't know if you can make
21 quite such clear distinction in this area, but certainly in
22 ulcer disease, there were studies for two separate
23 indications. One was for acute healing and the other was
24 maintenance.

25 I don't see why in this instance, you approve

1 the drug for anything when you approve it, why there can't
2 be some distinction between whether it's known to work in
3 the short term versus whether it's known to work in a
4 longer term in terms of the kinds of indications that you
5 approve it for.

6 DR. ABRAMSON: Dr. Dougados?

7 DR. DOUGADOS: I think I can't understand the
8 question concerning the Phase IV trial. With regard to the
9 previous discussion, we have in the past within the OMERACT
10 or within the Osteoarthritis Research Society related to
11 the lack of knowledge of the predictive validity of the
12 changes in joint-space narrowing within the short-term
13 period of time, and at this time, in '94-95, our strong
14 recommendation was that if we are conducting clinical trial
15 of one- or two- or three-years duration, placebo-control
16 trial, please continue to follow these patients in order to
17 evaluate the predictive validity, and as an example, the
18 data I have presented.

19 So that was the first recommendation. The
20 recommendation was only forecast on the evaluation of the
21 predictive validity. Nothing to do with any registration,
22 only to improve our knowledge. So if we consider this
23 point, I can invest under Phase IV as you conduct a Phase
24 III trial of one-, two-, three-years duration. You stop
25 the clinical trial, but you continue to -- that is, you

1 have the answer in terms of structure, and whatever the
2 subsequent treatment, you are evaluating the patient after
3 five, 10, 15 years, and with regard to the treatment they
4 have received during the first three years. That's the
5 possibility of a design for your Phase IV trial. That will
6 be the continuation of the Phase III. The continuation but
7 without the treatment, only the follow-up.

8 The second possibility is to continue the Phase
9 III trial that is the placebo-control trial and then to
10 evaluate the symptoms of the requirement for total
11 articular replacement, and the third possibility is to
12 conduct an independent Phase IV trial. Those are our three
13 possibilities.

14 DR. ABRAMSON: Dr. Witter?

15 DR. WITTER: Bill can correct me. Under
16 accelerated approval, it's my understanding that the
17 distinction between what Dr. Moreland's referring to in
18 Phase IV, I think we're well aware, for example, that Phase
19 IV commitments of an approved product do not necessarily
20 always get completed, but under "accelerated approval,"
21 that is in fact a more or less requirement, and that if
22 those studies aren't done in a timely fashion as Dr.
23 Schwieterman has elucidated, the agency will have -- I
24 mean, we're expecting to see those kinds of studies. They
25 will be completed.

1 DR. JOHNSON: Let me try to address Larry's
2 question because it's really quite fundamental.

3 I think in the end, it's sort of a
4 philosophical question or a social question as to what the
5 degree of evidence you want before you approve a drug. I
6 mean, we mediate our perception of what Congress and
7 society want, I suppose, and maybe that changed in the last
8 10 years, and maybe the AIDS epidemic is what made it
9 change, I don't know, but it has succeeded in the past.

10 There have been drugs registered for surrogates
11 that had ongoing clinical trials that didn't lose all their
12 -- I mean, they continued in a blinded fashion and were
13 completed and validated the supposition successfully.

14 Now, obviously if 10 years from now, joint-
15 space narrowing is as bona fide as blood pressure, then
16 it's totally unethical to not address it, but I guess it's
17 a question of timing, and if historically we believe that,
18 you know, we've got a window now, and we could do this
19 ethically, then I think this is the strategy that we're
20 trying to facilitate or allow anyway.

21 DR. MORELAND: My comment, I guess, is that if
22 I'm a patient on that study, and at the end of the study, I
23 want to know whether I improved or not, and if you tell me
24 I improved, then I want that drug. So if it's that
25 important, that we think these are going to be so good, and

1 they're going to be so accelerated then, that I think we
2 owe it then to the patients to be more up-front, and
3 they're going to want this, and so I don't think you can
4 get into Phase IV and manipulating and following patients,
5 saying nice knowing you for a year, you're out of here now,
6 we'll tell you in 10 years whether this year of study
7 really helped or not.

8 I think we're going to have to make a leap of
9 faith. Do we really think that one particular agent that
10 can inhibit one enzyme is going to be that important in a
11 bunch of stuff in those joints that is not quite so simple?

12 DR. JOHNSON: So at approval time, you go
13 through another informed consent with the patients, and
14 maybe Bill knows the answer to this, whether or not that
15 was actually formerly done with other accelerated approval
16 scenarios?

17 You could fancy that you could do that, and
18 patients would remain blinded to their treatments, which I
19 don't think is unethical, but you could say here's your x-
20 ray, here's what it may mean, here's what it may not mean,
21 and you may get some dropouts as a consequence, but at
22 least I think that would be preferable than only, for
23 instance, dropping out the bad x-rays in the placebo arm
24 because you may undermine the ability to ever get a
25 conclusion, you know, an interpretable conclusion if you

1 did that.

2 DR. SCHWIETERMAN: Well, I'd just simply add
3 that my experience has ranged from there has been very
4 little difficulty getting the Phase IV study going because
5 it's a relatively short-term study. There's been adequate
6 sponsor funding for the study, and the endpoints are
7 relatively near to the time that you depended on the
8 surrogate.

9 Thinking about, for example, betaserine for the
10 treatment of multiple sclerosis, the endpoints were one
11 year or so away, and when accelerated approval was granted,
12 it was with data that had been submitted six months -- I
13 wasn't exactly primary on the team. So don't quote me on
14 these numbers. But those patients had been enrolled during
15 the time of the FDA review process. So there was little
16 difficulty keeping those patients for several more months
17 on the study to get to a particular endpoint.

18 And Flexamide for the treatment of rheumatoid
19 arthritis was also given accelerated -- again, conditional
20 -- approval. I mean, for the treatment of Crohn's disease.
21 It's currently under review for rheumatoid arthritis.

22 The single-dose use for Crohn's disease in
23 patients who had severe disease was so compelling that the
24 agency felt that for that small subpopulation, you could
25 approve this under accelerated approval, but there were

1 safety concerns and efficacy concerns about the broader
2 patient population, and again there were short-term
3 endpoints that could be used to follow how those patients
4 were doing.

5 With products for the treatment of
6 osteoarthritis, I think Dr. Moreland raises a very good
7 point. I think it's going to be difficult in some of these
8 patient populations to get anything approaching long-term
9 follow-up for clinical outcomes for patients that believe
10 that there's a product out there that works.

11 I guess, though, you have to consider the
12 reverse alternative. Do we want to hold on to therapies in
13 the agency for a large percent of the population, almost
14 universally which get afflicted with osteoarthritis in
15 their later ages, if we have something that is reducing
16 joint-space narrowing by 50 percent, say, at a year or two
17 years?

18 Do we want to wait till five years out and
19 determine that in fact these things cause adverse clinical
20 outcomes when there's some reason to believe that they
21 might? And there, of course, it depends upon the equipoise
22 and the amount of data you have on how severe the
23 progression is on the ancillary/corollary benefits, but I
24 would wager that there would be some cases where we would
25 see perhaps startling degrees of changes in joint-space

1 narrowing where we would not want to deny the public access
2 to these particular products, which again is sort of
3 begging the question that Larry raised.

4 If we can't deny these things, how can we
5 possibly do the trial?

6 DR. ABRAMSON: You may just be in a situation
7 where you can't do the absolutely perfect study that you'd
8 like to do, and that you might ask the question again, if
9 we all agreed upon imaging techniques that showed
10 retardation of structural change, you may begin to have to
11 apply and think about this same criteria that we do for
12 remittive agents for rheumatoid arthritis, where we have no
13 better data to suggest that a retardation of x-ray changes
14 in RA improves what happened to that patient 10 years down
15 the line.

16 We make a reasonable presumption that it does,
17 and I think one could argue at least that one could make a
18 similarly reasonable presumption in osteoarthritis, if you
19 had good imaging techniques that people felt were valid,
20 and so the question is if you can't do the study that you
21 would really love to do for five years, and you may not be
22 able to do a good Phase IV study, why apply different
23 criteria for these class of agents of structural modifiers
24 where approval comes when the endpoint has been met, and
25 then surveillance is applied the way surveillance is

1 applied for other kinds of medications that are released?
2 Just to play devil's advocate on that side.

3 DR. SCHWIETERMAN: Well, the counter-argument
4 to that is then you are risking, if you make no attempt,
5 short of surveillance data, which is very difficult to
6 infer efficacy data on, say, from a registry and so forth,
7 it's very difficult if you have something that meets its
8 structural outcome measure, yet is not studied in a way, in
9 a rigorous enough way with which to measure efficacy,
10 you're left with the question of whether in fact you've
11 done the thing or not, and whether in fact you're exposing
12 patients to a potentially-dangerous product, whether short
13 term or over the long term.

14 I don't think there are any easy answers here,
15 and I think that this is, despite it seeming somewhat
16 confusing and chaotic, it's still helpful for the agency to
17 think of people's thinking about this because obviously the
18 devil comes in the details.

19 DR. ABRAMSON: Other comments? Dr. Yocum?

20 DR. YOCUM: I appreciate Larry's concern, but I
21 think that the problem here is we've put in placebo, and
22 we're dealing with this now in long-term follow-up studies
23 with RA comparing to the recent release of accelerated
24 review of certain products over the last few months, and
25 we've looked for a control population for those studies as

1 well long term, and I think to say that Phase IV would be a
2 placebo group may be a misnomer.

3 There are going to be a lot of people out there
4 early in the phase of development of these products that
5 are going to walk in and listen to the spiel about being on
6 the products and saying no, I don't want to do that, but I
7 will be followed as a control for this population.

8 So I think given the size of the osteoarthritis
9 population out there and having been involved in Ken's
10 study with, what is it, nine out of 10 are excluded for
11 various reasons, there is a large group of controls that
12 aren't placebo that make the self-choice of not being
13 involved in protocols. So I think that we fool ourselves
14 here by saying, oh, this has to be a placebo group.

15 Later on, once there are a ton of these
16 products and nobody wants to be without one, that will be a
17 problem, but early on, I don't think we're going to have
18 trouble developing a control, not a placebo control.

19 DR. JOHNSON: Yes. I wanted to comment on
20 Maxime's suggestions, but prior to that, fundamentally, you
21 could have no placebo, and if you could still show a dose-
22 response, that's also a possibility, if your structure
23 approval range was broad enough that you could get two
24 different doses, and you could sanction doing that
25 ethically.

1 Maxime was suggesting that you continue to
2 follow up even if they're not on the drug or if they
3 dropped off placebo. I mean, if you've lost all your
4 placebo, if you've lost all of your control, you will be
5 able to epidemiologically make some statements about the
6 predictability of the joint-space narrowing effects that
7 you've seen, but you won't know how to compare it, except
8 to other epidemiologic studies.

9 I guess what I think David is saying is
10 important in that we may have a window right now with
11 osteoarthritis because they're not throwing a co-therapy
12 around, and hence any proposal like you're talking about
13 wouldn't be as confounded by lots of other agents being
14 tossed on board because there aren't any out there, and if
15 it's food supplements, presumably everybody's already on
16 those.

17 DR. WITTER: Can I just maybe clarify
18 something?

19 DR. ABRAMSON: Yes.

20 DR. WITTER: Accelerated approval -- and I make
21 the distinction between priority review, things like that
22 -- I don't think accelerated approval necessarily means
23 that the review will be a priority review, that we try and
24 get it done, for example, in six months.

25 I think Bill Schwieterman has said it much

1 better, but I would just like to kind of ask Dr. Moreland a
2 question. Do I understand what you're saying is if you
3 were in the trial of one of these agents and working by
4 whatever mechanism that it's working, and that is, you were
5 aware that your joint space was improved, do I understand
6 what you're saying is that you would probably opt to take
7 that medication?

8 DR. MORELAND: I think the issue is that when a
9 patient finishes a study, we can't just look at that one
10 patient and say you improved or you didn't improve. The
11 patients want to know what happened to this study, and
12 whether the drug worked or not, and I think we, as clinical
13 researchers -- it's a growing issue.

14 We need to be able to report back to that
15 patient, yes, this drug did work versus placebo. They want
16 to know whether they're going to get this. They spend a
17 year or so many months or weeks in a study given out of
18 their life. They want to know the results, and they want
19 their physician to make an educated decision on whether
20 they should stay on that drug forever.

21 So they don't necessarily want to know what
22 happened to them, but they want to know whether the drug
23 worked, and can they get it because they went into that
24 study knowing that they wanted to get better or wanted to
25 prevent something, and that's the issue that I have to face

1 day-to-day with patients. Can they continue to get the
2 drug because they spent the time participating in that
3 study, and I think the answer they want to know is, yes, it
4 worked or didn't work, and if it worked even a little bit,
5 and it's going to make it on the market, they want to be
6 able to get it then themselves.

7 DR. WITTER: Well, let me just continue. Let's
8 say that it works, this particular therapy. Would you
9 recommend to your patients then that they continue on with
10 that, and why?

11 DR. MORELAND: Well, I think it depends on the
12 overall picture of what else is available, what else -- you
13 know, the other profile of that particular agent, and so I
14 think it's not just a simple yes or no, and did it work a
15 little bit, but I think that's the spirit of where we're
16 talking about drugs now that will inhibit perhaps very
17 important radiographic or issues with regard to OA.

18 It's not going to be an outcome you can measure
19 over a couple weeks or a couple months, and patients are
20 worried about that as they're living longer. Can I take
21 something to prevent this? Can I take something to prevent
22 my hands from looking like my mother's or grandmother's?
23 And so that's the issue that we have to come to grips with
24 here as to -- and there's not going to be one of these.
25 There's going to be five or six of these potentially who

1 might pass your hurdle, and my point is then what do we do
2 with those five or six that pass your hurdle?

3 DR. WITTER: My question, too, is what are
4 those hurdles?

5 DR. MORELAND: I would set the bar a little
6 higher, and again as Paul and others have articulated here,
7 I don't know whether we know any data as to where to set
8 that bar, especially in the patient populations, but we
9 perhaps need to make that step and put the bar up there.

10 DR. ABRAMSON: Dr. Hochberg?

11 DR. HOCHBERG: Well, what Dr. Witter may have
12 been alluding to is let's say that this committee makes
13 recommendations to the agency, and the agency decides to
14 sit down with companies, and you come up with some scheme
15 of whereby somebody will do a study which is not NIH-
16 supported but which is industry-supported, and they're
17 going to do a study for a period of time to try and
18 register an agent as a structure-modifying drug for
19 osteoarthritis.

20 Now, let's say at the end of that study, which
21 may be one year or two years or whatever, they've reached
22 the hurdle that they reduced joint-space narrowing or
23 decrease in inter-bone distance by whatever you decide to
24 set, 30 percent or 50 percent or whatever, and there hasn't
25 been a worsening, and then there's an approval.

1 So the other question is, well, how long does
2 somebody need to be on this medication? And you could ask
3 the company to do a study whereby they could offer patients
4 who completed the trial the opportunity to enroll in a
5 Phase IV study, whereby they could be randomly allocated to
6 continue on the medication or to go on placebo and see
7 whether in fact they need to continue on the medication or
8 not to halt the changes in inter-bone distance, whether or
9 not in fact a shorter term of therapy is just as good as
10 long-term therapy, and you then look at the placebo
11 patients, and you enter them into a registry and look at
12 clinically-important outcomes for which this was presumably
13 a surrogate.

14 Some of them will, you know, go on the
15 medication when it's available, others will not. Of those
16 that don't, some of them may go into other trials, but you
17 can then maintain registry data on them.

18 DR. WITTER: Then clarification of clinically-
19 important outcomes.

20 DR. HOCHBERG: Whatever the agency decides is
21 the clinically-important outcome for which this is a
22 surrogate.

23 DR. JOHNSON: That might be a feasible
24 approach. I mean, that's a double-blind respond to
25 withdrawal analysis essentially, but you're doing it with

1 only your surrogate having responded. We'd probably have
2 to think through that, but on the face of it, what do you
3 think, Bill? You guys have used some double-blind
4 withdrawals.

5 DR. SCHWIETERMAN: Yes. Actually, we have.
6 Dr. Siegel helped Immunex design that pediatric study with
7 that particular endpoint. It begs a lot of questions,
8 though, because if you simply take the responders and then
9 randomly withdraw them, the doctor's still left with the
10 question I have this patient in my office, what do I do
11 about long- versus short-term therapy?

12 In other words, this is a subgroup of the
13 patients that have responded, and you get some efficacy
14 data, but it really doesn't help you with the dosing.

15 DR. HOCHBERG: I didn't mean just the
16 responders. I mean, the patients who completed the study
17 on active medication.

18 DR. SCHWIETERMAN: The only problem -- again,
19 it's very difficult to discuss this in the abstract. To
20 the extent that the endpoint that you're trying to prevent
21 is serious and results in a morbid condition, such as
22 debilitating osteoarthritis, you have to ask the question,
23 is it likely that you can effect a long- versus short-term
24 beneficial outcome just as easily?

25 In other words, is it likely that a short-term

1 outcome treatment of therapy is just as good as a long-term
2 therapy to prevent that, because if it's not likely, if you
3 have reasons to doubt that a short-term course of therapy's
4 going to prevent that, equipoise is lost, and therefore the
5 trial becomes undoable.

6 DR. HOCHBERG: Well, I think my colleagues
7 might agree that we don't know, you know. We don't know
8 whether osteoarthritis has a continuous course or whether
9 it has an episodic course, whether the deterioration is
10 related to episodes of inflammation or not, and, you know,
11 you could probably poll a dozen people in this room, and
12 you'd clearly get two different responses. You might not
13 get 12 different responses.

14 DR. SCHWIETERMAN: I'm sort of playing devil's
15 advocate to take Dr. Moreland's position here because I
16 would agree you don't know, but to the extent that there's
17 a risk to the patient going off of a drug that has been
18 demonstrated over a short period of time to prevent what
19 was thought to be a surrogate for a meaningful and serious
20 clinical outcome measure, to the extent to whether you can
21 do this particular study or not, if they believe they can
22 stop this drug, and they've maxed out on the effect because
23 of the arguments that you make.

24 Again, it becomes less of an issue if you have
25 less serious signs and symptoms. For example, this very

1 design that you're talking about is one that we've talked
2 about with issues with Crohn's disease, where you can treat
3 patients short term/long term, and they get signs and
4 symptoms. Signs and symptoms are very bad. You get
5 diarrhea. You get belly pain and so forth. But they're
6 not the same as debilitating arthritis and so forth, and
7 you can abruptly institute therapy to reverse those and
8 have your answer within several months.

9 I don't know. If we had a product that was
10 remarkably good at preventing joint-space narrowing,
11 whether we could convince patients that there was just as
12 equal likelihood that they were going to maintain their
13 goodness state for the long term and thereby prevent long-
14 term disability as -- if they stopped the product, the same
15 thing would happen.

16 Frankly, if I were a patient, I'd be reluctant
17 to stop something that was so remarkable.

18 DR. ABRAMSON: Mrs. Malone?

19 MS. MALONE: I agree with what was just said.
20 If I were a responder, I wouldn't take the chance that I
21 might be a placebo in the next phase.

22 DR. LIN: I just want to say that the trial
23 design that Dr. Hochberg proposed certainly is one
24 approach, but I don't know that in this particular disease,
25 that there's a difference. One design may not be better

1 than the other one, meaning the one that continued
2 everybody, because you might take so long that for whatever
3 effect you accumulated in the drug group to win out, that
4 will take longer to see a difference. That's Number 1.

5 Number 2. If you look at just the group of the
6 drug group patients and randomize those, you're going to
7 have a reduced number of patients, and that's going to make
8 it a little harder to see a difference.

9 Also, I think what Jim and Dr. Moreland was
10 discussing was that, you know, you prove that the joint-
11 space narrowing worked at one year. Okay. Then the
12 question is do you want the patient to get this thing?
13 Well, you would give the patient the drug if you know that
14 the drug has clinical benefit, but that's something we
15 don't know, and that's why this extended study has to be
16 done.

17 So it's almost -- it's necessary. I mean, I
18 would call it Phase III/IV study, keeping it blind, and go
19 as far as possible.

20 DR. ABRAMSON: We'll take one or two more
21 comments before lunch. Dr. Dieppe?

22 DR. DIEPPE: Just I think the purest answer to
23 this question, getting back to the question, is that you
24 should go on forever without changing any of the parameters
25 of the trial. That's obviously the purest answer.

1 What we're talking about is compromises and the
2 level at which you're prepared to compromise. A word of
3 caution, if I may, about some of the suggestions that are
4 coming through, which is if they depend on patient
5 willingness to participate in a continuing phase or
6 reapproval from patients, then your generalizability is
7 really going to go down.

8 We've got a generalizability problem any way
9 because we're already dependent on those people who are
10 willing to sign up to go into a trial anyway. What sort of
11 people are those?

12 Now, if we then take it a phase further by
13 people who are prepared to go on with placebo when they
14 know the drug's been shown in others to do good and so on,
15 we're getting down to generalizability problems of a major
16 sort, I would think.

17 DR. ABRAMSON: One last comment. Dr. Brandt?

18 DR. BRANDT: I think the discussion, whether
19 it's intended to or not, implies that this is a disease of
20 a single joint right now that we're focusing on, a
21 symptomatic joint.

22 Certainly for hip OA and knee OA, these
23 idiopathic -- these tend to be bilateral diseases, not
24 necessarily in temporal synchrony, but both joints are
25 involved. It's increasingly clear that pathogenetic

1 mechanisms that are operative in the early stages, in the
2 stage of initiation of OA, may be different from those that
3 dominate and drive the process later on.

4 Also, there's a suggestion at least from animal
5 models of OA that drugs that are effective in the stage of
6 initiation may not be effective later on and vice versa.

7 Now, the doxy trial was structured to provide
8 an opportunity to look at the effect of a drug on
9 progression and on incidence, prophylaxis, in the same
10 patient with very stringent recruitment requirements, but
11 if we look at the universe of OA with more
12 generalizability, it is not hard to find patients who are
13 very lopsided, and the opportunity exists in clinical
14 trials to look at both, and in a patient who has an index
15 joint, a painful left knee, it may be possible to hold out
16 the promise with a longer-term study, that this may have a
17 protective effect against the development of disease,
18 symptomatic or radiographic or both, in other joints, back
19 to your signal joint issue.

20 DR. ABRAMSON: Thank you.

21 Any final comments from Dr. Johnson or Dr.
22 Witter? No? Okay. We'll break now and reconvene at 1:30.
23 Thank you.

24 (Whereupon, at 12:44 p.m., the meeting was
25 recessed, to reconvene at 1:30 p.m.)

AFTERNOON SESSION

(1:40 p.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. ABRAMSON: Can folks begin to take their seats, please? I saw Dr. Johnson a second ago. Are Drs. Johnson and Witter here?

(No response.)

DR. ABRAMSON: Okay. I saw Kent.

Well, let's begin. We were on Question Number 3 and 4 under "Endpoints," and hopefully, now that we have a good sense, a better sense, of the goals of the agency, I think we can go to Question 4.

"Is a Phase IV design which specifies withdrawal of placebo patients who show severe joint-space narrowing -- that is, corruption of the negative control -- fatally flawed, and how can this be avoided?"

Any of our study design people want to begin the discussion of this?

DR. DOUGADOS: Could we have some clarification concerning the definition of "severe joint-space narrowing"?

DR. ABRAMSON: I guess Kent wrote this question. Let's assume we have a parameter of more than 50 percent.

DR. DOUGADOS: Because you have seen that I presented this morning, if you have degradation of more than 50 percent, the probability that the patient will

1 undergo surgery is 73 percent of the people.

2 In other words, you don't have to specify that
3 the patient will withdraw from the study. He will withdraw
4 from the study because of surgical intervention. But
5 perhaps if severe referred to a .1 millimeter, that's
6 completely different.

7 DR. WITTER: I think what is also being --
8 well, as you had mentioned, the idea that these are
9 mandated withdrawals, and somehow we would -- the sponsor
10 might, for example, actually look at data and have some
11 kind of stopping rules based upon that, I think that's part
12 of the heart of the question.

13 DR. ABRAMSON: So Dr. Johnson, the comment from
14 Dr. Dougados was that people with severe joint-space
15 narrowing, let's say more than 50 percent based on his
16 data, would be forced to withdraw by the nature of the fact
17 that a high percentage of them will need joint replacement.

18 DR. JOHNSON: Yes. Sorry. I missed the
19 beginning. There's always going to be withdrawals for one
20 reason or another. I think the question here is whether or
21 not it's desirable to have the protocol prespecify a
22 mandatory withdrawal for certain -- you know, arguing that
23 you've affirmed some sort of hypothesis, and now you're
24 going to actually believe it and act on it and change your
25 protocol accordingly.

1 It goes back to the discussion we were having
2 just before lunch, frankly. How do you continue a protocol
3 with sort of partial information that you think, hope,
4 wish, is going to translate clinically?

5 DR. ABRAMSON: Other panel members who want to
6 address that question? Dr. Dieppe?

7 DR. DIEPPE: Well, I think the answer to the
8 question is yes, it's fatally flawed.

9 DR. JOHNSON: What if the withdrawal were
10 required to apply to all arms, not just the placebo arm,
11 and so maintain the blind? Less flawed?

12 DR. DIEPPE: Well, less flawed, but dangerous
13 as well, I think. Although we've seen Maxime's data, and
14 that's fine, there nevertheless are some patients,
15 particularly with knee and some with the hip as well, who
16 can have virtually no joint space who do just fine.

17 So there are groups of patients with very
18 severe joint damage who are doing fine in the long term.
19 So I think it's kind of a dangerous policy to have a cut-
20 off and say if they get there, we're going to take them out
21 of the study.

22 DR. JOHNSON: But are you arguing that it's not
23 ethically necessary to so design?

24 DR. DIEPPE: That's exactly what I'm arguing,
25 yes. I don't think you need to do it. So it is fatally

1 flawed to do it, but I don't think you need to do it.

2 DR. DOUGADOS: And I do agree.

3 DR. ABRAMSON: Mrs. Malone?

4 MS. MALONE: But if your premise is that the
5 joint-space narrowing is indicative of problems to come,
6 where does that leave the patient? I mean, if you know
7 that it is narrowing, you know?

8 DR. DIEPPE: Yes. I think the point is that we
9 know statistically that you're more likely to get into
10 problems if you've got a bad joint, but that's a
11 statistical issue. There are still a number of individuals
12 and quite a lot of individuals who do fine in spite of that
13 narrowing.

14 So I would say that if you want to have a cut-
15 off and say this is all too awful for people to go on, it's
16 got to be on the basis of how the patient is, not what
17 their x-ray looks like.

18 MS. MALONE: But wouldn't you have to inform
19 the patient about that?

20 DR. DIEPPE: Sure.

21 MS. MALONE: And give him the choice?

22 DR. DIEPPE: Yes, sure. I mean, you could do
23 that, but I still think that there's big dichotomy that
24 we've not really sort of put out in the light of day, which
25 is this dichotomy between, on the one point, talking about

1 structure and x-rays, and, on the other hand, how people
2 are, and the poor correlation between those two things is
3 at the heart of our difficulties.

4 My argument would be how people are is what we
5 should be driven by.

6 DR. ABRAMSON: Dr. Brandt?

7 DR. BRANDT: There are data that say that
8 Kellgren and Lawrence Grade 4 bone-on-bone in hip OA among
9 males, only 50 percent are symptomatic.

10 DR. JOHNSON: I think one of the easier ways of
11 seeing how it might in retrospect have been undesirable to
12 drop out of the placebo arm or drop out of any arm if you
13 have a terrible-looking x-ray was if the drug turns out to
14 be seriously problematic, if the drug doesn't work or even
15 more, if the drug is toxic, let's say, in the second year
16 -- I mean we've got a premise, but we don't have it fully
17 established is the problem, and what to do with this sort
18 of partial knowledge.

19 MS. MALONE: Well, just as an aside, if you are
20 with bone-on-bone, and it's not hurting, why? You know,
21 why don't we go after that?

22 DR. DIEPPE: Hear, hear. That's exactly what
23 we should be doing.

24 DR. BRANDT: That's exactly the real question.
25 What's the difference between painful OA and painless OA

1 with identical radiography or levels of pathology?

2 DR. HOCHBERG: Less anxiety, less depression,
3 better coping skills. It's a short answer. But let me get
4 to another issue. Is this related to the Phase III or this
5 is related into the Phase IV?

6 DR. JOHNSON: This is related to the transition
7 into Phase IV.

8 DR. HOCHBERG: So let's say that this is a
9 multi-year study. People are coming back annually to get
10 radiographs done, and you notice a large decrease in
11 somebody's joint space over time. This is akin to some of
12 the osteoporosis studies, where there were people who were
13 identified pre-hoc as rapid losers, who could be notified
14 that they were rapid losers and could choose to either stay
15 on coded medication or to go off coded medication and drop
16 out of the study, not be unblinded, and then go on to
17 whatever alternative therapy their physicians wanted to put
18 them on, and for some protocols, you know, this was greater
19 than three standard deviation change in one year, and that
20 didn't fatally flaw the studies, at least the agency didn't
21 think it fatally flawed the studies.

22 DR. JOHNSON: Well, I don't know that
23 particular one, but that strikes me as a design that would
24 be pretty problematic in trying to interpret the result.

25 It's a question of attribution, you know. If

1 you've lost the patients based on a partial affirmation of
2 a hypothesis, you can always leave for bad symptoms, and in
3 fact, you know, in certain more dangerous medical
4 scenarios, those clauses are put specifically in the
5 protocol, that you have to drop out for clinical
6 deterioration, X, Y and Z, and we can put them in these
7 protocols, too. That's not the issue.

8 The issue is what to do if you've got partial
9 validation. I don't know when this study was done, but the
10 osteoporosis thing is complicated because of the fluoride
11 case where the surrogate failed.

12 DR. HOCHBERG: Well, I think the other thing
13 about these kinds of compounds that we're talking about
14 today is that everybody's going to be on co-therapy here.
15 Everybody's going to be on symptomatic therapy, if they
16 have symptoms of osteoarthritis.

17 So unless companies are going to recruit
18 subjects from the general population and just look at
19 radiographs and do a study of asymptomatic individuals with
20 radiographic changes consistent with osteoarthritis, people
21 are going to be recruited because they have painful or
22 symptomatic osteoarthritis. They're all going to be on
23 treatment.

24 So some people may, sure, drop out because
25 their treatment isn't working, but more likely within the

1 protocol definition that's allowable, they're going to
2 change therapies.

3 DR. JOHNSON: Well, does that make it
4 analytically intractable? I don't think so. You just
5 gather all that stuff and look at it, and that all comes
6 under the co-therapy rubric. No?

7 DR. HOCHBERG: Yes. It doesn't get at Mrs.
8 Malone's issue, but I would agree, it doesn't flaw the
9 study.

10 DR. ABRAMSON: So Marc, you're saying it is not
11 a flaw to remove the people with extensive joint-space
12 narrowing?

13 DR. JOHNSON: I don't think either one of you
14 two are saying that. In fact, Paul said the opposite. I
15 don't know what Marc was saying.

16 DR. HOCHBERG: No, I'm not saying that it's not
17 a flaw to remove them. Yes, but it should apply to both.
18 If it's done, if people are going to be removed, then it
19 should be applied while maintaining the blind.

20 DR. ELASHOFF: And you need to have a plan for
21 how they're going to be dealt with in the analysis.

22 DR. HOCHBERG: Right.

23 DR. ABRAMSON: Okay. Any other comments on
24 this question?

25 (No response.)

1 DR. ABRAMSON: We've addressed some of these
2 later questions already, but should designs address other
3 measures up front with face validity, the use of rescue
4 medication?

5 I think there's been a sense of the committee
6 that ordinary care, symptomatic care would proceed in these
7 patients. The question's how standard that ordinary care
8 can be.

9 Dr. Dougados?

10 DR. DOUGADOS: That's a real possibility to
11 standardize that, and I'd like to look at the amount of, as
12 an example, milligram of NSAIDs, and then you have to
13 obtain an equivalent score because in the large multi-
14 center trial, usually the people are taking different
15 NSAIDs.

16 The other possibility, which there is a
17 publication on that, to only to focus on the percentage of
18 days during which a patient had to take any drug that is in
19 its easiest way to analyze. Otherwise, it's very
20 complicated. You need a diary. You need to calculate the
21 amount of NSAIDs or the amount of analgesic, and if we are
22 focusing on the concomitant drug therapy, it's complicated
23 but physical, but if we want to add non-physical therapies,
24 such as it has been emphasized this morning by Paul, it's
25 much more complicated because you have a lot of

1 information. One concomitant therapy that's important is
2 total articular replacement.

3 DR. ABRAMSON: Other comments about concomitant
4 co-therapy?

5 DR. PUCINO: Yes. And I think as what Dr.
6 Brandt did with his study, to control some things that may
7 be affecting structure, glucosamine, things we don't know
8 about, should be accounted for and controlled for.

9 DR. ABRAMSON: Dr. Brandt?

10 DR. BRANDT: There's another practical issue.
11 In a large-scale trial, especially with patients recruited
12 from the community, subjects were recruited from the
13 community who may become patients during the interval of
14 the study for pain who are cared for by local doctors. It
15 becomes logistically unfeasible in many instances if you're
16 recruiting and randomizing a 120 patients in this trial to
17 provide their total care as a rheumatologist or a
18 caregiver.

19 You rely on their local physicians. You
20 communicate with them, but those are the people who
21 regulate symptomatic therapy by and large, not the study
22 coordinator or clinical PI.

23 DR. ABRAMSON: Ms. Malone?

24 MS. MALONE: Wouldn't the psychosocial that
25 Marc was talking about enter into this, too? You know,

1 some people may not need any medications, and, you know,
2 they may, for whatever reason, be able to cope better and
3 have a higher threshold of pain.

4 DR. ABRAMSON: Dr. Dieppe?

5 DR. DIEPPE: Well, just responding to a couple
6 of comments. I think it would be nice to take the approach
7 that says we will try to avoid other things that are
8 structure-modifying in a structure-modifying trial, but
9 with respect, I don't think that's possible because
10 everybody in the U.K. any way is using glucosamine and
11 stuff because they can buy it over the counter, and many of
12 the other things, including some of the physical measures,
13 may well be structure-modifying, we just don't know.

14 So it's not practical to avoid all other things
15 that might be structure-modifying, sadly.

16 DR. ABRAMSON: Okay. And Number 2, the use of
17 patient global, including but not limited to non-signal
18 joints. Ken, is that something in your study that's built
19 into the study or is it primarily signal joint?

20 DR. BRANDT: We ask a global question overall
21 how do you think your arthritis is doing.

22 DR. JOHNSON: Not your knee arthritis, your
23 arthritis?

24 DR. BRANDT: Yes.

25 DR. JOHNSON: Yes. I think part of this is to

1 try to get a certain agreement that this question should be
2 a global question. I think, you know, five or 10 or 20
3 years ago, it was as often phrased as how is your knee
4 arthritis doing in a knee, is that right? Yes.

5 DR. BRANDT: Yes. The difference is that the
6 broader question, the more open-ended question, allows for
7 some input relative to side effects, and it's not only non-
8 signal joints but overall side effects with regard to
9 medications, too.

10 DR. ABRAMSON: But do you not do both? The
11 patient's global assessment for the signal joint as well as
12 their arthritis or is it limited to just --

13 DR. BRANDT: No.

14 DR. ABRAMSON: -- global?

15 DR. BRANDT: A true global. Yes. We're not
16 asking the global question about the index joint.

17 DR. ABRAMSON: No? Okay.

18 DR. BRANDT: But we're quantifying with WOMACs
19 and things of that sort for those joints.

20 DR. ABRAMSON: Dr. Dieppe?

21 DR. DIEPPE: I think a global should be
22 included for reasons Ken states. But I would also point
23 out that if one uses things like WOMAC, one is doing more
24 than the index joint because, of course, generalized
25 function depends on more things than one knee or one hip.

1 So one is capturing things over and above the
2 index joints with those types of measures, and then if you
3 do put it other so-called quality-of-life measures like
4 SF36 we mentioned briefly, you're again capturing a much
5 wider spectrum than the index joint.

6 If you don't include those things, I think it's
7 even more crucial that you do include a global that's not
8 an index joint global.

9 DR. ABRAMSON: Dr. Dougados?

10 DR. DOUGADOS: I think there is some
11 misunderstanding concerning -- not misunderstanding but
12 several interpretations of the global because it is one
13 recommendation of a lot of international societies to
14 evaluate the patient's global assessment, but in fact,
15 there are three levels.

16 The first one, which is the global assessment
17 concerning the health status of the patients. The second
18 thing is the global assessment concerning the arthritis as
19 a disease, and the third is the global assessment of the
20 knee arthritis, and it's a pity that when you are looking
21 at the publication, you never know what is beyond, and
22 sometimes there are some trials which have been conducted
23 with a global assessment related to the health status of
24 the patient and sometimes related to the knee arthritis,
25 and I assume we make the mistake at the OMERACT last

1 meeting not to go into the detail because I'm not sure that
2 we are using the same overall assessment, but we will
3 produce the same thing.

4 We will use the same line, patient's global
5 assessment, yes, zero to 100, but beyond that, the wording
6 was different because in the trial I am coordinating, it's
7 the knee or the hip that is a signal joint. I have no
8 experience of VAS of arthritis apart from the signal joint.
9 That is, I have one investigator that is one of your
10 physicians. He does a global assessment of arthritis
11 different than the signal joint, but I have no experience
12 in that.

13 DR. BRANDT: That's why the question should be
14 explicitly stated in the publication.

15 DR. JOHNSON: And the protocol, too.

16 DR. DOUGADOS: Usually it's written in the
17 protocol. Usually it's written in the protocol.

18 DR. JOHNSON: Yes. What was your first of the
19 three -- I understood the focus on the knee and focus on
20 arthritis. What was the first one?

21 DR. DOUGADOS: Health status.

22 DR. JOHNSON: Just global? Okay.

23 DR. DOUGADOS: Yes.

24 DR. ABRAMSON: The next question is "other
25 assessments of structure, such as osteophytes and joint

1 instability." I mean, osteophytes are built in -- I'm
2 sorry. Dr. Dougados?

3 DR. DOUGADOS: Two things. If you want to pick
4 up the information concerning new affected joints, that is,
5 to use x-ray to make a classification, yes or no, as to
6 arthritis, it's seen that at least in the knee, based on
7 the data provided by the inspector in U.K., it's in that
8 the presence of osteophytes is better than the joint-space
9 narrowing. That is, to classify in a population people
10 with or without knee osteoarthritis.

11 If you want to monitor patients in order to see
12 whether the disease is progressing, yes or no, we have some
13 data that most of the potential articular variables -- that
14 is, joint-space widths, osteophytes, subchondral sclerosis,
15 subchondral cysts -- are able to change over time if you
16 have a large sample size, but the most sensitive and
17 probably the most relevant is joint-space width.

18 DR. ABRAMSON: Dr. Brandt?

19 DR. BRANDT: I wouldn't be so sure about
20 osteophytes. You're right in what you said, for example,
21 about Tim Spector, but there was not rigid positioning, and
22 in some instances he had osteophytes on the first film that
23 weren't there on the second film, which is not a reflection
24 of resorption, I suspect, as much as differences in
25 positioning between the two exams, and there wasn't a

1 really rigid measurement of joint-space narrowing like you
2 do today in those studies.

3 So I don't think we can categorically say that
4 joint space beats osteophytes. I think we should look at
5 both.

6 DR. DOUGADOS: And there are also other
7 possibilities. That is, the structure can be also related
8 not only to the cartilage or the bone, but also to the
9 synovial tissue, and with MRI or bone scan, as an example,
10 if a drug is able to reduce the old scan seen within 3
11 months, is it a potential relevant drug?

12 Paul?

13 DR. DIEPPE: Well, unless the chairman insists,
14 I don't know that I want to get into that particular thing,
15 Maxime. But I do want to comment on the question.

16 I think that we certainly should be trying to
17 get as much information about osteophytes as we can. I
18 don't think there's any way we can use osteophytes to power
19 a study as a primary endpoint, because its assessment is so
20 very crude at the moment, the best we can do is sort of
21 naught to 3 scoring on a visual assessment. But having
22 said that, as we've already said today, osteophytes might
23 be crucially important in pain and indeed in relation to
24 stability of the joint. So I think not to try and get some
25 assessment of osteophytes would be silly, and I think it

1 should be a requirement of all studies to at least get a
2 naught to 3 scoring of osteophytes.

3 I think when it comes to joint stability, I
4 would say don't bother with joint stability, because we
5 can't measure it. It's quite clear that clinical
6 assessments of joint stability are almost a complete waste
7 of space. Our inter-observer studies suggest that we have
8 absolutely no agreement between us about which joint is
9 stable and which isn't. So I don't see much point in
10 trying to do that. There are sophisticated ways of doing
11 it with clever biomechanical devices, but I think that's a
12 step too far, not justified at present.

13 DR. ABRAMSON: Yes, Dr. Dougados?

14 DR. DOUGADOS: I am wondering whether you will
15 analyze a trial in which a drug is able to reduce the size
16 of osteophytes when compared to placebo, is it good or bad
17 for the patients.

18 DR. ABRAMSON: On that, let's go on to
19 assistive devices. I think that the discussions there
20 would be similar to the co-therapies, that they should be
21 allowed, and usual care should be provided. I don't know
22 if there's anyone who wants to add anything to that piece.

23 (No response.)

24 DR. ABRAMSON: A question about the duration of
25 the study: "One year minimum, in principle, for