

9396 '99 JUL 13 P1:46

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
MEDICAL IMAGING DRUGS ADVISORY COMMITTEE

**This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.**

Tuesday,
June 29, 1999

The Ballrooms
Gaithersburg Holiday Inn
Two Montgomery Village Avenue
Gaithersburg, Maryland

IN ATTENDANCE:

RUTH G. RAMSEY, M.D., Chairperson
Professor of Radiology
The University of Chicago, MC 2026
Department of Radiology
5841 South Maryland Avenue
Chicago, Illinois 60637-1470

LEANDER B. MADOO, Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

Committee Members

MARCO A. AMENDOLA, M.D.
Professor of Radiology
Department of Radiology (R-109)
University of Miami School of Medicine
1611 N.W. 12th Avenue
Building West Wing, Room 279
Miami, Florida 33136

PETER L. CHOYKE, M.D.
Senior Staff Radiologist
Diagnostic Radiologic Department
National Institutes of Health
9000 Rockville Pike
Building 10, Room 1C-660
Bethesda, Maryland 20892-1182

RICHARD J. HAMMES, R.Ph.
Director of Nuclear Pharmacy Services
Nuclear Medicine
Radiology Department, E3/382
University of Wisconsin Hospitals and Clinics
600 Highland Avenue
Madison, Wisconsin 53792-3252

IN ATTENDANCE:

Committee Members (Continued)

VICKI S. HERTZBERG, Ph.D.
Chair and Associate Professor
Department of Biostatistics
Rollins School of Public Health
Emory University
1518 Clifton Road, N.E.
Building GCR, Room 320
Atlanta, Georgia 30322

JONATHAN M. LINKS, Ph.D.
Professor of Environmental Health Sciences
The Johns Hopkins University
School of Hygiene and Public Health
615 North Wolfe Street, Room 2001
Baltimore, Maryland 21205-2179

ARNOLD W. MALCOLM, M.D.
Medical Director
Department of Radiation Oncology
St. Joseph Medical Center
Valley Radiotherapy Association
501 S. Buena Vista
Burbank, California 91505

LAURA L. BOLES PONTO, Ph.D.
Assistant Research Scientist
Department of Radiology
Division of Nuclear Medicine
University of Iowa Hospitals and Clinics
200 Hawkins Drive, Building JPP, Room 0911Z
Iowa City, Iowa 52242

MARK TULCHINSKY, M.D.
Assistant Professor of Radiology and Medicine
Department of Radiology
Penn State University Hospital
The Milton S. Hershey Medical Center
P.O. Box 850, 500 University Drive
Hershey, Pennsylvania 17033

IN ATTENDANCE:

Committee Members (Continued)

HARVEY A. ZIESSMAN, M.D.
Director, Division of Nuclear Medicine
Department of Radiology
Georgetown University Hospital
Gorman Building, Room 2005
3800 Reservoir Road, N.W.
Washington, D.C. 20007

Consultants

E. CAROLYN BEAMAN, M.H.S.
President
Sisters Breast Cancer Network
Lake Jackson, Texas
(Member, Oncologic Drugs Advisory Committee)

MARVIN KONSTAM, M.D.
Professor of Medicine
New England Medical Center
Boston, Massachusetts
(Member, Cardiovascular and Renal Drugs
Advisory Committee)

Guest Experts

PETER HERSCOVITCH, M.D.
PET Department
CC NIH
Bethesda, Maryland

JAMES TATUM, M.D.
Special Assistant to the Director
Diagnostic Imaging Program
National Cancer Institute
Richmond, Virginia

C O N T E N T S

PAGE

Call to Order

Ruth G. Ramsey, M.D.
MIDAC Chair

6

FDA Presentation on the Safety and Effectiveness
of Water O-15 Injection in Neurology

Clinical Pharmacology/Pharmacology/Toxicology

Nakissa Sadrieh, Ph.D.

6

Alfredo R. Sancho, Ph.D.

12

Safety and Effectiveness in Neurology

Patricia Y. Love, M.D., M.B.A.

27

Open Public Hearing

Peter S. Conti, M.D., Ph.D.
University of Southern California

53

Committee Discussion and Questions

66

P R O C E E D I N G S (8:02 a.m.)

1
2 DR. RAMSEY: Good morning. Let's go ahead and
3 begin our second day here. Again, I want to start by
4 thanking Leander Madoo for putting together really a
5 terrific program, from getting some wonderful experts in
6 here to bringing us up to speed on everything, and thank
7 all of the other people who have worked hard on this
8 program.

9 So the first thing on our agenda carried over
10 from the first day, but we've completed the agenda items
11 from Day 1. So we'll move right into the Day 2
12 presentations, which begin with "FDA Presentation on the
13 Safety and Effectiveness of Water O-15 Injection in
14 Neurology," and the first presentation will be by Dr.
15 Sancho, I believe, which will be "Clinical
16 Pharmacology/Pharmacology/Toxicology."

17 Dr. Sancho? I think this is not Dr. Sancho.

18 DR. SADRIEH: No. I'm Nakissa Sadrieh, yes.

19 DR. RAMSEY: Thank you.

20 DR. SADRIEH: My name is Nakissa Sadrieh. I'm
21 the pharmacology and toxicology reviewer for the O-15 water
22 application, and Dr. Alfredo Sancho, sitting next to me, he
23 will follow this presentation, and he will be talking about
24 the clinical pharmacokinetics section of the O-15 review.

25 Like the other presentations yesterday on N-13

1 ammonia and F-18 FDG, the data that's going to be presented
2 is going to be from this literature review.

3 Next slide, please.

4 Here's a brief outline of what I'm going to
5 talk about. It's a short presentation. I will first cover
6 some of the physical and biological characteristics of O-15
7 water, and then I'll talk a little bit about some of the
8 known data that's available on the dosimetry, and I will
9 end my part of the presentation with a preclinical example
10 that was published in the literature where O-15 water was
11 used in conjunction with PET.

12 Can I have the next slide, please? Thank you.

13 Looking at some of the characteristics of O-15
14 water, O-15 is a very short-lived radionuclide. Its decay
15 half-life is a 122.5 seconds which translates into 2.1
16 minutes. While decaying, it emits positrons with an energy
17 of 1.74 mega-electron volts.

18 O-15 water is produced in a cyclotron, and
19 after being produced, it's diluted in .9 percent NaCl.
20 Therefore, prior to injection, water is an isotonic saline
21 solution, and for clarity purposes, I would also like to
22 state that the presentations on O-15 water are limited only
23 to O-15 water administered by the intravenous route of
24 administration.

25 Water is a naturally-occurring body constituent

1 and is biologically inert. It has profound physiological
2 effects. However, under the conditions in which it's going
3 to be used with PET imaging, it's not expected to have any
4 deleterious side effects.

5 The kinetics of water, of O-15 water are not
6 affected by metabolism. This is in opposition to the other
7 two agents that were discussed yesterday, namely N-13
8 ammonia and F-18 FDG. So water is not trapped in tissues.
9 In fact, water is cleared from tissues, and the rate of
10 clearance is a function of the blood flow to that tissue.

11 Water is a diffusible radioactive drug,
12 therefore, and it crosses the blood/brain barrier. Within
13 a tissue, it has a high extraction, and in fact, it's been
14 reported that in primates, the extraction fraction is over
15 95 percent within physiological slow range.

16 If I could have the next slide, please, looking
17 at some of the dosimetry data that's available, the
18 accumulated administered dose of O-15 is absorbed
19 internally. The dosimetry that's available is based on a
20 study in newborn infants and in ICRP extrapolation to
21 adults, and the critical organs of exposure were found to
22 be the lungs, the spleen and the gonads.

23 I would also like to remind you at this point
24 that the half-life of O-15 water is 2.1 minutes.
25 Therefore, under the conditions in which it's going to be

1 used with PET imaging, it's not expected that significant
2 radiation exposure would occur.

3 The absorbed dose is 32 to 46 millirems per
4 millicuries. Effective whole-body dose is 80 to a 100
5 millirems per millicuries, and the average individual study
6 dose range is 10 to 15 millicuries.

7 Could I have the next slide? Thank you.

8 Regarding the need for pharmacology and
9 toxicology studies, toxicology studies could be waived for
10 three reasons. The characteristics of water, talking about
11 water here. It doesn't have a ligand, and radiation
12 exposure is expected to be rather low based on what I said
13 earlier.

14 There is, however, one caveat, and the caveat
15 is that the literature information does not provide data on
16 manufacturing procedures which might introduce some
17 residual impurities into the final formulation, and this is
18 going to be an application-specific issue.

19 The pharmacology and toxicology section of my
20 review was based on a preclinical study which I will
21 discuss next, which is this slide, and some additional
22 physiological considerations were addressed in Dr. Sancho's
23 review which he will be discussing in the following
24 presentation.

25 So looking at the supported study that's

1 available, I would like to talk about a study that was
2 published by Bergmann, et al., in 1989 in the Journal of
3 the American College of Cardiology.

4 Myocardial blood flow was calculated using one
5 compartment modification of the one compartment of the Kety
6 model, and O-15 water was injected, and PET imaging was
7 done, and the values for blood flow measurement was
8 compared with measurements obtained with radiolabeled 15
9 micrometer microspheres, and this was done in 18 mongrel
10 dogs, and the dogs were control dogs at rest, dogs with
11 coronary artery occlusion or stenosis of about 50 to 70
12 percent of the left descending coronary artery at rest or
13 after dipyridamole administration, and in dogs with global
14 low flow to propranolol administration and hemorrhage.

15 Can I have the next slide, please?

16 The results, the salient results of this study
17 are shown on this graph here. I hope you can see it is the
18 myocardial blood flow determined with the microsphere
19 technique in mls per gram per minute, and of the ordinance
20 of the myocardial blood flow determined with PET, again in
21 mls per gram per minute, and the correlation co-efficient
22 was found to be .9, which is a relatively good correlation.

23 So at least in the myocardial system, it looks
24 like O-15 water is a good marker for measuring blood flow.
25 A similar study was not available for looking at cerebral

1 blood flow.

2 At this point, I will end my section of the
3 presentation. So I went over some of the characteristics
4 of water and the dosimetry, and I talked about a
5 preclinical study which showed that O-15 water injection is
6 a good marker for at least measuring myocardial blood flow.

7 Dr. Sancho will pick up the discussion at this
8 point and will cover some additional physiological
9 considerations on the mechanism of action of water.

10 Thank you.

11 DR. KONSTAM: Could I just ask a basic
12 question? Hi.

13 DR. SADRIEH: Sure.

14 DR. KONSTAM: At the risk of being ignorant,
15 we're talking about 95-percent extraction. I'm confused
16 about this. Water is extracted 95 percent during the first
17 pass?

18 DR. SADRIEH: Into tissues.

19 DR. KONSTAM: Into tissues?

20 DR. SADRIEH: Yes.

21 DR. KONSTAM: Why is that?

22 DR. SADRIEH: In the physiological range, it's
23 expected that very low flow and very high flow were not
24 going to be, you know, extracting a 100 percent. You know,
25 over 95 percent means pretty close to a 100 percent.

1 DR. SANCHO: I'm going to cover this in a
2 minute, if you'd bear with us for a second.

3 DR. KONSTAM: Okay.

4 DR. SANCHO: I'm going to discuss this. I'm
5 here to present not so much regulatory perspectives but
6 more of scientific perspectives.

7 The issue about 95-percent extraction, first of
8 all, you need to keep in mind that like Dr. Sadrieh said,
9 the only study or the basic study we're using, it was in
10 animals, and, two, it was in heart model. The heart
11 model's radically different than that of the brain model.
12 The heart model, as you can see, is a high-flow/low-volume
13 tissue versus the brain being a high-volume/low-flow
14 tissue. That is one of the fundamental differences between
15 the two, and just to re-emphasize, there are no supportive
16 studies on the brain itself.

17 Now, I'll address your question about the
18 extraction in a few seconds. Bear with me. Let me go
19 through my slides, and when I get to that slide in
20 particular, I'll go in detail.

21 This is the basic formula for blood flow used.
22 There are some modifications depending on the tissue you're
23 using. There's some fudge factors or correction factors,
24 depending on who. This was a formula proposed by Bergmann,
25 okay, in his publication from 1989, and this formula,

1 although it says it is used to calculate blood flow, when
2 you look at it in reality and with the limitations in
3 technology with PET and everything else, all the
4 advantages, whichever way you want to look at it, it really
5 does not just represent blood flow, but it represents blood
6 flow and perfusion.

7 The other sets of formulas and other matters to
8 calculate blood flow as well as perfusion or both, and
9 these are some other references. Of particular note would
10 be the last article from -- I can't even pronounce the
11 person's name, but it's the one from 1995, in which they go
12 about differently than Bergmann's article, and I'm not
13 going to dwell on it, but it's just to keep in mind that
14 there are different methods of calculating blood flow and
15 perfusion or both.

16 Now, why am I making such a big issue about
17 blood flow and perfusion? Well, first of all, as all of
18 you have done imaging studies or read about it, and as well
19 as you can see in the packet we provided to you, blood flow
20 and perfusion are constantly being interchanged in the
21 literature.

22 A lot of articles will say this is a good
23 method, our data validates this method to measure blood
24 flow and/or perfusion. The same author in one article will
25 put the word "blood flow," next article will put

1 "perfusion."

2 The basic point is perfusion is highly
3 dependent on blood flow. Everybody knows that, but how to
4 really measure it is an issue of sensitivity as well as
5 temporal issue. Can you obtain a measurement of your
6 marker before it leaks into the extracellular compartment,
7 which I'm going to address in a second?

8 Also on the articles we presented to you, I
9 just want to make another point, that a lot of the articles
10 used dual studies, dual imaging agent, not a single imaging
11 agent. That way, they could validate one or the other.
12 For instance, they would use water, and they would use FDG
13 or they would use water and something else, and in that
14 way, they would be able to discreetly define what water
15 information was being provided.

16 Okay. The blue dots here --

17 DR. LINKS: Sorry to interrupt, but could you
18 go back to the previous slide, and please explain to me the
19 difference between blood flow and perfusion in the context
20 of this morning's discussion, and why it's important?

21 DR. SANCHO: Okay. For that, I need the
22 following slide, oddly enough. Okay. Blood flow. If you
23 go by the traditional definition of it, you need an imaging
24 agent which will not leak from the vascular compartment
25 into the extracellular compartment, and if it does leak, if

1 it does leak, it has a measurable rate constant.

2 Therefore, you can correct for that leakiness.

3 Now, that's the traditional definition of blood
4 flow for a particular type of agent. The perfusion --

5 DR. LINKS: Wait. I have to interrupt.

6 DR. SANCHO: Yes.

7 DR. LINKS: I'm sorry. You didn't just define
8 blood flow, you said a certain characteristic of an agent
9 that might measure it. What I want you to start by is to
10 define and distinguish between the two terms, blood flow
11 and perfusion, not tell me the differences in agents needed
12 to measure them. I'm not even understanding the
13 distinction you're making about those two physiologic
14 parameters that have nothing to do with an agent to measure
15 them.

16 DR. SANCHO: Okay. I won't argue that point,
17 but, okay, let me comply with your request.

18 Blood flow is by definition, is the amount of
19 volume that goes through a portion of a blood vessel in a
20 particular amount of time. That's it. Period.

21 Perfusion is the amount of fluid, water or drug
22 or whatever you want to call it, whatever you're measuring,
23 that leaks from the blood or vascular compartment into the
24 extracellular compartment.

25 What happens beyond that, that's not the issue

1 here. So it's relatively two different issues.
2 Traditionally, blood flow is only within the vascular
3 compartment. Perfusion is the rate constant, if you want
4 to call it that way, that goes from one compartment to the
5 other.

6 Now, Jain Rakesh from Harvard and Victor Waller
7 and Walter Wolfe from USC have both -- Jain has done
8 mathematical models, as you're all aware of it. The USC
9 group has done in vivo human and animal studies to try to
10 differentiate these two methods, and they've used different
11 methodologies, like DEMRI MRI, which can cut down on the
12 temporal issue and really get a snapshot versus other
13 imaging etiologies that has temporal limitations. But
14 again, I'm not going to dwell on that.

15 Did I answer your question?

16 DR. LINKS: I'm not necessarily agreeing with
17 you, but I at least understand where you're coming from.

18 DR. SANCHO: Correct. Hence, what I said. In
19 the literature, there's a lot of discussion on this, and
20 like I said, a lot of authors to avoid falling into this
21 pit hole and going into discussions while I define this
22 way, this manner, and I don't and disagree with you and so
23 forth and so on, they always play it safe, not always, but
24 a lot of authors will play it safe and say blood flow
25 and/or perfusion, and they'll leave it in ambiguity, and

1 again this is an issue that clinicians as well as
2 scientists have always had to deal with.

3 What's the meaning of each one of these terms,
4 and what's the applicability from the clinician's
5 perspective? It's another issue which I'm not going to go
6 into. That's not my territory.

7 Okay. Let's see. Going back to this slide,
8 this is a sketch, and I essentially already went over this
9 slide. So I'll still go through it.

10 The blue dots represent an imaging agent,
11 whatever you want to call it. The leakiness from the
12 vascular compartment to the extracellular compartment,
13 that's perfusion, and if it does leak, and you're trying to
14 measure blood flow, that rate constant of how it leaks
15 should be able to measure or calculate it and therefore
16 include it within the calculations of your formula to
17 correct for and be able to give an accurate measurement of
18 blood flow.

19 Okay. Now, that is with a normal or standard
20 or common imaging agent. The problem here is that it is
21 water we're talking about. Water does not have, like Dr.
22 Sadrieh just mentioned, does not have metabolic rates that
23 control its passage from one compartment to the other.

24 Now, just to give you an example, there's been
25 a lot of discussions, and if it's a 1-, a 2-, or a 3-

1 compartment model, how water behaves. The problem with
2 that is that it's almost instantaneous, its leakiness into
3 the extracellular compartment. So a lot of authors have
4 gone from a 3-compartment to a 2-compartment, and they can
5 somehow, addressing the temporal issues, in other words,
6 how fast your machine can acquire an image, they can
7 address that and say, well, it's a 2-compartment model
8 versus a 3-compartment model.

9 I believe you addressed it, and you said water,
10 while leaks so fast out, what do you mean with a 95-percent
11 extraction? Well, that's what I meant, what Dr. Sadrieh
12 and I mean. It leaks so fast from the vascular compartment
13 that it's almost a 100-percent extraction --

14 DR. KONSTAM: At the risk of interrupting
15 you --

16 DR. SANCHO: No problem.

17 DR. KONSTAM: Just a simple question.

18 DR. SANCHO: Go ahead.

19 DR. KONSTAM: You inject this agent into a
20 coronary artery. During the first pass --

21 DR. SANCHO: Correct. First pass.

22 DR. KONSTAM: -- how much of it comes out?

23 DR. SANCHO: Essentially all -- well --

24 DR. KONSTAM: Comes out in the intravenous
25 system?

1 DR. SANCHO: I understand your question.

2 DR. KONSTAM: Okay. The first pass extraction.
3 What's the first pass extraction of this agent in the
4 myocardium?

5 DR. SANCHO: Essentially -- well, not to give
6 you a run-around, but based on the literature, okay, and
7 usually not just O-15 water but deuterium water and all the
8 other imaging agents, it's almost instantaneous. All of it
9 leaks out. Okay. Very little remains within it, but the
10 problem is, for instance, for dosimetry purposes, for
11 safety relations, for safety purposes, it's considered to
12 be a homogeneous instantaneous single compartment. All of
13 it diffuses instantaneously into all tissues, and it's
14 first pass issue.

15 Now, there's going to be a lot of arguments and
16 discussions about this. A lot of people say a portion of
17 it remains within the vascular compartment, hence why they
18 argue they can measure blood flow versus -- yes?

19 DR. HERSCOVITCH: Perhaps I can help my
20 colleague. The extraction fraction is defined in a single
21 pass typically with a bolus or delta input into the
22 arterial input of an organ, and it is defined as the amount
23 of the tracer that goes into the tissue in a single pass in
24 relation to the amount that's available, except for its
25 equilibration, and one really refers to equilibration.

1 So for example, a tracer that is 100-percent
2 extracted at the venus end, there will not be zero tracer,
3 but if the water content in the tissue and blood is the
4 same, so the tracer's equally soluble, the concentration in
5 the tissue will be the same as in the tracer at the end of
6 a single capillary pass, and that is defined as 100-percent
7 extraction. It's physiologically impossible for all the
8 tracer to be sucked up by the tissue and to have zero
9 coming out the end.

10 Now, also, extraction really should be seen as
11 a parameter, not a universal constant, and it varies, not
12 only by tissue but physiologically within a tissue, and the
13 brain is the best one. One can imagine that if you have a
14 higher rate of flow of blood in a vessel, there is less
15 time for the tracer to equilibrate across the blood/brain
16 barrier. So the extraction is less, and in fact, it's been
17 shown with higher blood flow with no capillary recruitment.
18 The extraction goes down.

19 On the other hand, if you increase blood flow
20 in an organ by recruiting blood vessels, so that the linear
21 blood flow in each capillary doesn't increase, then the
22 extraction will not fall down in spite of the increase in
23 blood flow.

24 The unidirectional extraction fraction of water
25 is less than one in brain and decreases as a function of

1 blood flow, especially if there's no capillary recruitment.

2 Does that perhaps clarify things?

3 DR. SANCHO: I think I misunderstood his
4 question. He wanted a definition of it, yes. It is a
5 ratio between the two concentrations, but hence why I
6 mentioned or made the emphasis with my presentation that
7 there is the two models, the 1 percent of Dr. Sadrieh in
8 the article and the one where it's proposed here different.
9 One is a high-flow/low-volume, and the other one is a low-
10 flow/high-volume.

11 DR. KONSTAM: Well, just to nail this down. So
12 at the end of a first pass, the concentration of this agent
13 is going to be identical in the myocardium and in the venus
14 system?

15 DR. HERSCOVITCH: Not quite. It depends on the
16 volume of -- it's close.

17 DR. KONSTAM: Close.

18 DR. HERSCOVITCH: But not quite.

19 DR. KONSTAM: All right.

20 DR. HERSCOVITCH: It depends on the volume of
21 distribution or the solubility of the tracer in the
22 tissues, and water is soluble in water.

23 DR. KONSTAM: As opposed to microspheres, for
24 example --

25 DR. HERSCOVITCH: Correct.

1 DR. KONSTAM: -- which have a 100-percent
2 extraction?

3 DR. HERSCOVITCH: Ideally, right, if they're
4 the right size.

5 DR. KONSTAM: And have zero coming out into the
6 venous system?

7 DR. HERSCOVITCH: That is correct.

8 DR. KONSTAM: Zero concentration.

9 DR. HERSCOVITCH: That's correct.

10 DR. KONSTAM: But that's because of the exit
11 function is zero.

12 DR. HERSCOVITCH: They're physically trapped.
13 They can't get out of the capillary.

14 DR. KONSTAM: Right, right. But both of those
15 could be considered having nearly a 100-percent extraction?

16 DR. HERSCOVITCH: Right.

17 DR. SANCHO: Microspheres, a 100-percent
18 extraction?

19 DR. HERSCOVITCH: Yes. Microspheres, if
20 they're built right, have a 100-percent extraction. 0-15
21 water, using the definition that I gave you --

22 DR. KONSTAM: Not into the tissue, but into --

23 DR. SANCHO: Oh, okay. That's what I was going
24 to say.

25 DR. HERSCOVITCH: Yes. It depends if you're

1 defining -- yes. 0-15 water, if you use the definition
2 that I gave you, how much equilibrates versus the amount
3 that is available for equilibration, it in very low flows
4 does have a 100-percent extraction, but as flows increase,
5 you don't have equilibration across the blood/brain barrier
6 of concentration at the end of a capillary transit. So the
7 extraction goes down.

8 DR. SANCHO: Okay. In essence, in addition to
9 the extraction fraction issue or the leakiness, if you want
10 to call it that way, you need to keep in mind that there
11 are no metabolic rate constants that control the
12 distribution of water, which goes directly back to your
13 question, and also to complicate matters even further,
14 under pathological conditions, even though under normal
15 conditions, we have these numbers and values between the
16 hydrostatic and colloidal pressures between the
17 compartments.

18 Under pathological conditions, there are no
19 measurable or they're not quantifiable per se because it
20 varies like the gentleman just said drastically from tissue
21 to tissue, from conditions of the tissue itself under
22 pathological conditions. For instance, give you a tumor
23 scenario. You have edema. That's going to change it. How
24 does it change it? We don't know. Or you may have an
25 occlusion of a minor vessel. How does that affect the

1 tissue? We don't know. There is again no articles on this
2 matter.

3 And the final slide is essentially, to conclude
4 it, is that there are certain limitations with the
5 literature we were able to obtain and present to you. The
6 first one is that there are very few well-controlled
7 studies, and, two, the dosimetry in adults is mainly an
8 extrapolation from the article that we used that was done
9 in children with dosimetry.

10 There are some spotty dosimetry information on
11 adults, actual dosimetry, but there is no solid single
12 study on that, and from the PK and toxicology perspective,
13 there are no articles that tell us obviously that there are
14 safety concerns with this product, but again, like I
15 believe you discussed yesterday, just because there is none
16 doesn't mean there isn't.

17 So but that's essentially the conclusion of
18 this. Any questions?

19 DR. HERSCOVITCH: Yes. I'm sorry. I think
20 there are a few clarifications that perhaps should be
21 presented on the basis of your talk and that's just
22 speaking to the major ones.

23 That tracer kinetic formula that you said was
24 proposed by Bergmann --

25 DR. SANCHO: Bergmann, right.

1 DR. HERSCOVITCH: -- in 1989 was in fact
2 proposed by Dr. Seymour Kety who is the founder of the
3 field of cerebral blood flow --

4 DR. SANCHO: Correct.

5 DR. HERSCOVITCH: -- metabolism and
6 pharmacologic reviews in 1989 and was used to measure
7 cerebral blood flow in the early 1980s and in fact was only
8 adopted by Bergmann based on the use of that tracer kinetic
9 model in the brain.

10 I guess the second point that somebody made,
11 that there's no basic studies in animals on the brain
12 itself, in fact, in --

13 DR. SANCHO: No. I meant clinical studies.

14 DR. HERSCOVITCH: Well, but I think the
15 previous speaker very well presented an animal validation
16 study in myocardium published by the Bergmann group, but in
17 fact there is a similar study in non-human primates,
18 baboons, validating that tracer kinetic model as applied
19 with O-15 water in baboons that was published in 1984,
20 showing that the tracer measures blood flow, and it was
21 compared against a gold standard intracarotid injection of
22 tracer in the central volume principle.

23 DR. SADRIEH: We didn't have a copy of that
24 paper in the list of papers that we reviewed. We didn't
25 find anything, but I would like to see a copy of the paper

1 that you mentioned.

2 DR. HERSCOVITCH: I would almost bet lunch that
3 it is in the Bergmann 1989 paper because the Bergmann did
4 it in the same lab as the 1984 paper. So I would think you
5 already have that reference.

6 Thirdly, the statement that the dosimetry is
7 extrapolated from children, there was a very good paper
8 which I was a co-author on, as you refer, in which
9 dosimetry calculations were done in newborn infants, but
10 there are dosimetry papers in the literature for adults
11 which are not extrapolations of the neonatal stuff,
12 including the Journal of Nuclear Medicine and the European
13 Journal of Nuclear Medicine and also a paper, I believe,
14 which Dr. Ponto is a co-author, all of which relate to
15 dosimetry calculations in adults.

16 So there is considerably more data to support
17 the safe use at least in radiation dosimetry purposes in
18 adults that you referred to, and I think I'll stick with
19 those major points.

20 DR. SANCHO: I concur with you when you say
21 that that was the formula proposed by Kety, but Bergmann
22 made some modifications on it, and if we go back, he
23 incorporated a couple of fudge factors in there that Kety
24 did not incorporate, but I agree with you, the basics. It
25 is basically Kety's formula. I agree. I apologize for

1 that perspective, but the one I presented is slightly a
2 modification.

3 DR. HERSCOVITCH: I would say, though, that the
4 fudge factors may relate to the fact that Bergmann was
5 using it for the heart, and we're talking about cerebral
6 blood flow.

7 DR. SANCHO: Correct.

8 DR. HERSCOVITCH: So it's probably better to
9 stick with basic studies that relate to the brain of which
10 there are several.

11 DR. RAMSEY: Thank you. We'll move ahead with
12 the next presentation, and then we will have time for
13 questions again. Why don't we go ahead with the next two
14 presentations? The next is "Safety and Effectiveness in
15 Neurology," and this is presented by Dr. Patricia Love.

16 DR. LOVE: Thank you very much and good
17 morning.

18 You've just heard the results of the pharm/tox
19 and clinical perspectives that were based upon the
20 literature titles that were submitted, and now we're going
21 to move into the safety and efficacy assessment, and as we
22 do that, I would also like to acknowledge two other members
23 of the team.

24 Dr. Maboob Sobhan, the statistician, who's also
25 the team leader in the division, and Dr. Kyong Cho, who is

1 the project manager.

2 This overall presentation will follow a format
3 that is very similar to the safety and efficacy reviews
4 presented yesterday, and as mentioned, also it will focus
5 on 0-15 water by injection.

6 Again, the guidances for establishing or
7 providing clinical evidence of effectiveness in human drugs
8 and biologics products as well as the guidance on medical
9 imaging and drugs, the draft guidance formed a number of
10 the principle foundations for the overall assessment.

11 This is just a reminder of some of the topics
12 that were discussed yesterday, and particularly for this
13 review, we will be looking at the consistency of the
14 information and whether or not it was based on the primary
15 analysis that was proposed in the articles, and also
16 whether or not there was a prospective plan identified in
17 the literature itself.

18 Also, in addition to the blinding and the
19 standard of truth issues, in this database, we were able to
20 identify several studies that had greater than 50 patients,
21 and as far as special populations are concerned, there were
22 a few pediatric studies, and certainly the one that had
23 greater than 40 patients and clinical outcomes discussed
24 also will be addressed as I go further this morning.

25 Indication categories. Basically the same

1 things that were talked about yesterday and just a couple
2 of things to point out. For the functional indication, as
3 you've just heard, part of the issue is that this water PET
4 imaging is a reflection of a physiologic assessment of
5 water. There are identified formulas that were mentioned
6 just a moment ago.

7 Cerebral blood flow is an example of a
8 functional indication that's already included in the draft
9 guidance for evaluating or developing medical imaging
10 drugs, and cerebral blood flow assessments per se are
11 accepted indications for other approved
12 radiopharmaceuticals.

13 Throughout this discussion, I will be using the
14 term "cerebral perfusion" and "cerebral blood flow"
15 interchangeably, and I'll base it primarily upon whether or
16 not the authors of the article used one term or the other,
17 but for purposes of our overall indication, we're
18 considering those to be interchangeable at this point.

19 Disease or pathology detection is another
20 possible indication for this product, but most of the
21 articles did not actually look at disease specificity per
22 se. Some did look at this physiologic parameter as a
23 reflection of pathology, and I'll be interested in hearing
24 your comments on where you think the overall indication
25 should be.

1 As far as diagnostic or therapeutic management,
2 again there were some articles in the clinical set that
3 looked at how you could use the information from the
4 perfusion assessments to actually either predict outcome of
5 patients or determine treatment regimen. So for those
6 articles, I was specifically looking to see whether there
7 was an explicit statement in the article about the expected
8 value of the information and whether the study actually
9 tested that hypothesis, also certainly looked to see
10 whether or not the sample size was sufficiently large to
11 allow extrapolation to a larger population.

12 The literature references identified for this
13 review came from either a list of references in the USP or
14 from titles that were identified by the ICP, and that
15 produced articles for the clinical base that ranged from
16 1983 to 1999.

17 Overall, as you can see from this slide, 82
18 articles were identified, seven of which did not use water
19 by injection but by another method, and we did not have
20 sufficient information in the available data to assess any
21 prodrug transformations and the amount of radiation
22 dosimetry or other issues. So that's why we're focusing on
23 water.

24 There were seven non-clinical studies that were
25 in the original data set. Those have been reviewed by the

1 previous speakers. There were three duplicates or
2 abstracts, one on a clinical study also in cardiac
3 patients. There were 23 individual case reports or summary
4 articles, 36 that ranged from 10 to 39 patients, and then
5 there were two articles on pediatrics, one in 15, one in 49
6 patients, and then three articles that had larger than 15
7 patients identified.

8 The ICP data also could be grouped in several
9 disease categories, stroke, aneurysm, AVM and epilepsy,
10 angiomas, and then the sickle cell, epilepsy or presurgical
11 localization studies.

12 The first group was considered in an assessment
13 of an ischemic model or as an example of ischemic models
14 and using O-15 water as a reflection of that, and the
15 others were used as mapping to look for functional areas of
16 the brain, either normal- or abnormally-functioning areas.

17 As was mentioned by the previous speakers, many
18 of the articles also used O-15 water and in combination
19 with other PET imaging drugs or in combination with other
20 imaging modalities. So our comments are really intended to
21 focus on O-15 water itself and are not intended to confer
22 any assessment of the other products or drugs that were
23 also used.

24 Also, in many of the clinical articles, the
25 actual measurement or the result of the O-15 water itself

1 was not necessarily reported, but instead the use of O-15
2 water to develop a ratio that may have been a reflection of
3 an oxygen-extraction fraction or something else which was
4 actually reported in the data.

5 So I've taken the approach in the review of
6 looking at these articles to see whether or not by using O-
7 15 water, one can then get to a clinically-meaningful or
8 relevant outcome as reflected by the studies themselves.

9 The preliminary assessment then that is derived
10 from these data is that there are literature to indicate
11 that O-15 water can be used to measure cerebral perfusion
12 in patients with cerebral vascular abnormalities associated
13 with ischemia, hemodynamic abnormalities, occlusion and
14 other vascular disorders, and specifically for individual
15 study doses, this was evident in a dose of 10 to 15
16 millicuries on average, but there were repeat doses. There
17 were bolus and equilibrium methods, and the doses did go
18 higher based upon the type of study.

19 The two key articles that lead to this
20 conclusion are a Grubb article published in JAMA of 1998
21 that was considered part of the ischemic database, and a
22 Powers article published in Blood in 1999 that is ischemic
23 from the standpoint of these are of patients that had
24 cerebral complications from sickle cell anemia, and also
25 this was taken as one of the mapping studies, and it is a

1 pediatric study.

2 I'll first look at the ischemic model, and then
3 we'll come to the mapping model. Overall, there were 55
4 articles that reflected some aspect of ischemia. Some were
5 methodology articles that we'll not discuss today. Others
6 looked at more clinical outcomes, and as mentioned, the
7 Grubb article was the key one, and there are also four
8 supportive articles that I'll briefly discuss.

9 The Grubb article was accepted as key because,
10 as we'll see, it was prospectively designed. It was multi-
11 center study, had blinded image interpretation, a large
12 sample size of 87 patients, large for these purposes of our
13 collective assessment. There was a clear protocol with
14 amendments identified, and there was an analysis of the
15 patients who entered using the per protocol entry criteria
16 as well as the identified amendments. All patients were
17 accounted for. There was a clear end point, and there was
18 a statistical plan identified.

19 Specifically, this paper reported the results
20 of using O-15 water to measure oxygen extraction fractions
21 in conjunction with other PET imaging agents in patients
22 who had transient ischemic attacks, who had an occlusion
23 identified on carotid angiography, had a CT scan to define
24 their infarct zone.

25 The control patient population was also used of

1 normals. Those subjects had an MRI of the brain and an
2 ultrasound of the carotid. The patients were required to
3 meet their entry criteria within a 120 days. There was a
4 six-month clinical follow-up of patients.

5 The protocol modification was that patients
6 were allowed to have the occlusion of the carotid
7 identified by MR angiography or by ultrasound, and also
8 this 120-day original entry criteria was also eliminated.

9 There was a blinded assessment of the
10 hemodynamics of the middle cerebral artery, and this was
11 grouped into three stages. Stage 0 was the normal subject
12 population. Stage 2 was those patients who had increased
13 cerebral blood flow as measured by or reflected by the use
14 of O-15 water volume as well as the oxygen extraction
15 fraction, and Stage 1 was an intermediate stage that was
16 reflecting autoregulation.

17 The primary end point was a subsequent ischemic
18 attack in any territory with symptoms occurring greater
19 than 24 hours. The secondary end point was an ipsilateral
20 stroke and death.

21 419 patients were screened. 99 patients
22 completed the study or subjects completed with 81 patients
23 and 18 controls. There were 58 men, 23 women, 65 years was
24 the mean age, and here the same numbers for the control.

25 Overall, for the per protocol requirement,

1 original requirement of alternated contrast angiography, 93
2 percent of the patients met that entry criteria, and 74
3 percent of the patients met the per protocol a 120-day
4 enrollment requirement, and you see the numbers for the
5 other groups on the slide.

6 The results were grouped according to those
7 patients that had either normal or moderate abnormalities
8 on hemodynamics, and those that had the severe
9 abnormalities, and as you can see, the groups were
10 similarly proportioned with 52 percent and 48 percent
11 entering.

12 Of those with normal or moderate hemodynamic
13 abnormalities, only 7 percent progressed to the primary end
14 point of stroke, and for those with severe abnormalities,
15 31 percent progressed to that primary end point.

16 For the per protocol-entered group, this was
17 statistically significant at P .008 for all stroke
18 occurrences, and for ipsilateral occurrences, significant
19 at .02, and also you see the age-adjusted independent risk
20 shown at the bottom, and the slide also shows the results
21 for the patients who entered after the protocol was
22 modified.

23 Therefore, the preliminary assessment then is
24 that this is a key study because of the prospective
25 blinding aspects, the fact that the amendments were

1 identified. You contract the amendments and the
2 statistical results, and based upon the overall data in the
3 article, it appears that the method was adequate to
4 quantitate the relative risk.

5 Actually, I neglected to mention about 20 other
6 factors that were identified and analyzed in this article
7 for potential impact on the relative risk, and only those
8 that were mentioned were felt to be relevant.

9 The weakness of the article is on whether or
10 not this relative risk can be extrapolated to a larger
11 population. There were only 18 patients in the normal
12 control population. So even though there was the
13 statistically significant result, the strength of moving
14 that to the larger population is limited, and if one was
15 going to give an indication, such as a management
16 indication, then you'd have to perhaps weigh the relative
17 merits of doing that with a smaller group.

18 There is an error on this slide. There was a
19 gender analysis included in the independent risk, but
20 ethnic or racial factors were not considered, and they may
21 affect the occurrence of stroke in some other articles.

22 The four supportive studies are listed here and
23 will just be discussed briefly. The Derdeyn article is
24 from the same investigator group as the Grubb article and
25 was published in Stroke in 1998. It followed the same

1 protocol and thus had essentially the same strengths and
2 weaknesses as the Grubb article.

3 The research question was slightly different
4 and did not necessarily go as far in terms of what one can
5 do in terms of future prediction, and because this study is
6 from the same investigator group, generally when we look at
7 this in an NDA review, we would consider this to be a lack
8 of independence between the two studies, and one could
9 either choose to lump the two studies together into one
10 large study, if you can pool the data, or you could look at
11 these as a key study and another supportive study, and
12 that's the approach I chose for this particular review.

13 A Kuwabara study was done in Moyamoya disease,
14 which is a rarer disorder, and it was published in Stroke
15 in 1997. It was evaluated because it was a rare disease
16 and a homogeneous population of 13 adults and seven
17 pediatric patients.

18 Normally, adults most often have stroke
19 symptoms, but this author selected patients that had TIA
20 symptoms which are what's most often seen in pediatric
21 patients and then studied again the same oxygenic
22 extraction fraction measures that had been discussed in the
23 Grubb and the Derdeyn articles, and so the strength of this
24 study was that it's a prospective study with a homogeneous
25 uncommon disorder.

1 The weaknesses were that based upon the
2 information, it was not clear whether there were blinded
3 results. Was there a selection bias because these 20
4 patients were derived from a larger study that was
5 published earlier? So exactly the specifics of how these
6 patients were selected was not entirely clear.

7 Also, the study gave observational reports and
8 didn't necessarily present a statistical hypothesis that
9 was tested. So we looked at this study as an example of
10 another way oxygen extraction fraction can be used, but
11 again, it was not moving us farther along in terms of being
12 able to move to predictive statements that could be
13 conferred.

14 The Powers article in Annals of Neurology in
15 1998 actually was an earlier study that also looked at
16 approaches that were similar to those identified in the
17 Grubb article, and it was a prospectively-designed
18 retrospective analysis of 47 subjects, 30 stroke patients
19 and 17 abnormal controls that were used to identify the
20 regions of interest that we evaluated in this study.

21 These patients had similar entry criteria and a
22 one-year follow-up on medical records. It appeared that
23 they might have had repeat PET imaging. The article was a
24 little convoluted about that, and it wasn't clear about
25 whether there was blinding done. However, there was a

1 stated null hypothesis in this study, that if the patients
2 had a hemodynamic abnormality, then their one-year stroke
3 rate would be greater than a rate that was published in
4 another article on an extracarotid/intracarotid bypass
5 study.

6 That study then formed the basis of the
7 historic control of 417 patients against which the Powers-
8 identified studies were compared. The Powers article said
9 that they were not able to reject their null hypothesis.
10 So there wasn't a difference in the stroke rate, and here
11 are the differences and the P value.

12 This study was reviewed particularly because it
13 was laying a foundation for the Grubb article that was
14 selected as key, and it did have a prospective statistical
15 plan. The weaknesses are, however, that it was a
16 retrospective analysis. There wasn't a lot of information
17 to determine whether there could have been a selection
18 bias, and the information on the EC/IC historic control
19 were not fully discussed in that particular article, but
20 certainly you could look at the other article for other
21 information.

22 The Marchal study also looked at oxygen
23 extraction fraction. It was published in Lancet in 1993.
24 In this study, these patients with a middle cerebral
25 reocclusion had symptoms for less than 18 hours, and it

1 correlated image patterns and course. It developed three
2 categories which were somewhat similar to the categories
3 used in the Grubb article but with some slight differences
4 in terms of their definition but overall approaching the
5 same group, and again as stated, it measured those patterns
6 with the clinical course in these patients.

7 Pattern 1 was the most severe group, and they
8 had the most severe course, and Pattern 3 approached
9 normal, and those patients had a good recovery, and there
10 was a statistically significant difference across these
11 groups.

12 The strength of the article is that it was
13 prospective, and they were able to find this statistically
14 significant difference. However, the statistical plan, a
15 prior plan, used in this article was not fully identified.
16 So whether or not this was a chance finding, was this a
17 post-hoc assessment was not completely clear. The sample
18 size is small, and one could not determine fully whether or
19 not there was any image selection bias.

20 So for the ischemic model then, we have one key
21 study, and for other studies that are certainly considered
22 supportive for various reasons, they are looking at the
23 same oxygen extraction parameter. The results seem to be
24 consistent, and there is a trend over a period of years of
25 moving from earlier studies in a retrospective approach to

1 prospective studies that are looking at the same thing and
2 having trends that are going in the same direction.

3 Moving to the mapping model, there were 15
4 articles that were identified in the provided literature.
5 One was an ischemic model, one in seizures, and then 13
6 that looked at localization of normal areas of the brain.

7 In this group of 13, there were three duplicate
8 or summary articles. One was an abstract of 10 patients.
9 Three articles reported on the results of 10 to 15
10 patients, and six articles reported on the results of less
11 than eight patients.

12 The key article, as mentioned earlier, is the
13 Powers article published this year, and then there were
14 three other supportive articles.

15 The Powers article looked at cerebral
16 vasculopathy and sickle cell anemia patients and the
17 diagnostic contributions of positron emission tomography.
18 This was published in Blood in 1999, and as also mentioned
19 earlier, it has three important aspects. It's an ischemic
20 model, a mapping model, and a pediatric study.

21 Specifically, it was prospective, looked at 49
22 patients with stroke and considered the added benefit of O-
23 15 water, FDG, F-18 FDG and MRI on the detection of
24 cerebral vasculopathy.

25 The article did not clearly identify a standard

1 of truth per se in those words, but in looking at the
2 article and making some review interpretations, it's
3 possible to consider the MRI and other study results that
4 looked at intelligence testing as a reflection of cognitive
5 abnormalities as potential standards of truth.

6 O-15 water was given at a maximum dose of 70
7 millicuries. It's not entirely clear, but it seems that
8 there was a likelihood that this was either an equilibrium
9 method or there were repeated small doses of O-15 water
10 given. The statistical evaluation was chi square with a
11 Bonferoni adjustment for multiple comparisons.

12 Again, patients were lumped into three groups.
13 This seems to be a trend for all of the different articles.
14 The grouping here reflects the neurologic defect. Category
15 1 is those patients with stroke and overt CVA symptoms.
16 Category 2, soft signs or a history of a preceding illness,
17 that was hypoxic, and Category 3 was those patients who at
18 the time were normal and did not have a hypoxic event.
19 Again, 49 patients, and the age of onset of the neurologic
20 defect was at age of 1.8 years to 16 years of age.

21 This slide is a composite of information
22 contained in the article. It's derived from two tables as
23 well as the text in the article, and it compares the
24 clinical categories with abnormal intelligence, result
25 testing, the PET image and the MRI results.

1 The first column is the categories, Category 1-
2 CVA, soft signs, and then normal patients. The
3 intelligence quotient, which is the full-scale intelligence
4 quotient, and then the far two columns look at the
5 composite of all abnormal PET images, all abnormal MRI
6 images, and then the middle three looks at the individual
7 results of the PET images with FDG alone, O-15 water alone,
8 and then both O-15 water and FDG.

9 A couple of things to note. The overall
10 enrolled patients are 49, and all 49 patients had images
11 regardless of the type, but only 40 patients actually had
12 the intelligence testing, and that's either because the
13 patients did not receive consent from the parents or
14 because the patients were not cooperative enough to have an
15 intelligence test.

16 What that means then is that a direct/direct
17 comparison of the results of patients who actually had the
18 intelligence with the PET images are not possible. You
19 can't do a 1:1 analysis, but we can look at trends. So in
20 the review, my perspective was to look at the percentage of
21 patients in each of these groups in comparison to their
22 overall categories and to look for a trend analysis.

23 So for a Category 1, the patients who had a
24 CVA, 93 percent of patients had an abnormal intelligence
25 test, 89 percent had an abnormal PET image, and 79 percent

1 had an abnormal MRI. So roughly speaking, it appears that
2 the PET percentage is closer to the percentage of patients
3 that had an abnormal intelligence test, and most of that is
4 conferred by the combined use of O-15 water and FDG.

5 For the soft signs, 94 percent had an abnormal
6 image, 65 percent abnormal PET, 30 percent abnormal MRI,
7 and again most of it from the combined use of PET. The
8 author suggested maybe the PET images are more apt to be
9 predictive of what's happening or the development of a
10 cognitive abnormality.

11 In the normal category, only 44 percent had an
12 abnormal IQ test, 60 percent had an abnormal PET, 10
13 percent had an abnormal MRI, and for that reason again, the
14 author suggests that these are the type of patients that
15 might benefit from a more aggressive treatment, and they
16 did provide examples in the article of patients who had
17 transfusion treatment for the sickle cell anemia and
18 suggest that on repeat testing, these patients showed
19 improvement in their IQ tests.

20 The article, however, was not able to go
21 further to test this and actually suggested that more work
22 is going to be needed and further study to see whether or
23 not this is going to be a suggestion for treatment benefit
24 that should be followed in the long run.

25 So this study is accepted as a very strong

1 study because it's prospective. It's blinded. The
2 statistics are described. You can do comparisons. We can
3 at least assume a standard of truth, and it is in a
4 pediatric population that's difficult to study.

5 The weaknesses are that the standard of truth
6 is not explicitly stated, and you don't have the 1:1
7 correlation with the IQ test. It strongly suggests perhaps
8 something that can be considered for future treatment, but
9 it is not confirmed in this particular study.

10 Just briefly then, the other three articles in
11 this category that were considered supportive, one was the
12 Breier article in Neurology, 1997. It looked at 50
13 subjects, 34 complex partial seizure patients and 16
14 controls. These subjects had EEGs, MRI, SPECT and neural
15 psychiatric testing, the same type of testing that was used
16 in the preceding article, and in this study, both O-15
17 water and FDG were used.

18 The clinical end points were not fully defined
19 in any statistics relevant to that, but an asymmetry index
20 was defined. The observational results were reported, and
21 these results were compared to the time of seizure onset,
22 duration of seizures.

23 There was a statistically significant
24 correlation between the duration of seizures and that
25 asymmetry index. The correlation was slightly better with

1 FDG than with water. The IQ results were not presented.
2 This is just a slide taken from the article that shows the
3 correlation, the statistically significant correlation.
4 This is the asymmetry index on the left, the duration of
5 seizures on the right, and here is the control group.

6 So this study was a prospective study of 15
7 patients, involved several comparisons, and on
8 identification of a test factor of the weaknesses, it
9 lacked a clear clinically-relevant end point. The
10 statistical hypothesis was not stated. The IQ results were
11 not presented.

12 Moving to the eloquent cortex, this is
13 identification of areas that are relevant to the normal
14 brain and perhaps have been used or are being used to guide
15 surgical assessments.

16 There was a Vinas article of 18 patients
17 without controls. This was published in Neurologic
18 Research in 1997. It evaluated the results of presurgical
19 and intraoperative brain surgery guided by electrical brain
20 mapping results. It looked at O-15 water, PET. There were
21 five brain test tasks that were studied. Each of those was
22 imaged twice, and there was a 10-minute delay between each
23 image set.

24 The tasks were resting, finger to thumb motion,
25 listening to something, listening and then repeating words

1 or simple sentences, and then visual stimuli with a
2 comparison of the right and left half fields.

3 In this study, as I said, there were 18
4 patients. Here's the mean age, and the age ranged from
5 eight to 74 years. 15 tasks were done for language, five
6 were done for motor localization, and obviously there were
7 some patients that had more than one task set.

8 The motor areas co-registered for PET and MRI
9 in the gray areas but not the white. That meant the PET
10 images identified the same site for the normal eloquent
11 brain as well as the site that was identified for surgery,
12 and in the language of tests, all areas were concordant.

13 The article presented two patients that had
14 full recovery on the basis of these image results and the
15 change in the surgical procedure. The other patients were
16 not fully discussed.

17 So the strength was that it did have a clinical
18 outcome. The weaknesses, it's a small study. It did not
19 have an actual statistical plan. This was just an
20 observational set, and also there wasn't enough information
21 to determine how these patients were selected. Was there
22 an image bias? Were the images blinded or not? And what
23 was the expectation of the outcome had the different
24 surgical plan occurred was not fully discussed.

25 The Duncan article is the other pediatric study

1 that was published in Pediatric Neurosurgery in 1997. It
2 appeared to be a prospectively-designed retrospective
3 series of 16 pediatric patients that were going for
4 surgery.

5 It had a hypothesis that PET optimizes the
6 presurgical evaluation in these patients, but a statistical
7 plan or whether or not blinding was done to evaluate this
8 hypothesis was not stated in the article. O-15 water was
9 given in doses from 25 to 50 millicuries, and the results
10 were again co-registered with MRI, and the task imaging
11 studies that were done were similar to those of the
12 previous article.

13 In this population of 16 patients, 15 had co-
14 registration of the PET images with MRI, again identifying
15 the same site for the eloquent cortex as well as the site
16 that was identified for surgery.

17 There was a greater listing of the patients in
18 this particular article than in the preceding article, and
19 in 12 of the 15 patients that had co-registration, the
20 surgical plan was changed, and in three of those patients,
21 surgery was changed to a medical management or other
22 treatment modality.

23 The strength then is this is another pediatric
24 population, and there are clinical outcomes. The
25 weaknesses, you cannot eliminate an image bias or a

1 selection bias. A statistical plan was not identified.
2 Also, for both of these studies, the studies themselves did
3 not contain a knot of information on the relevance of the
4 testing itself, and that's probably in a different
5 database, other than the PET imaging database that was
6 provided that may be in the neuropsychiatric or other
7 literature, but that was just not available for our review
8 at this time.

9 Therefore, the preliminary efficacy assessment
10 is that the ischemic model demonstrates that 0-15 water
11 measurements of abnormal perfusion can occur, and that
12 there do seem to be relevant clinical settings for that
13 used, and the sickle cell anemia study provides some
14 information to support the use of these measurements to
15 identify other types of abnormally-functioning areas.

16 As far as the normal brain function is
17 concerned, as mentioned, the articles are small. Actually
18 it's less than 18 patients. Most of these are research
19 studies that are looking at the development of new
20 techniques or treatment modalities, and some of the
21 information that was just mentioned was not available at
22 this time.

23 However, on the other hand, this is somewhat
24 intuitively appealing. You're doing studies. You're
25 actually seeing a result right then. You're in the OR, and

1 this is information that's able to help perhaps pinpoint
2 some areas of or further pinpoint areas of what we already
3 know about the existence of neuroanatomy.

4 So I'll be interested in hearing the
5 community's comments on this aspect and whether the
6 extrapolation of the abnormal data can be justified at this
7 time to normal areas of the brain.

8 As far as safety, most of this was discussed by
9 Dr. Sadrieh. We don't have any information in the articles
10 on whether there was actual monitoring of adverse events
11 during any of these studies, and water actually was not
12 identified in a recent publication on the safety of
13 radiopharmaceuticals.

14 On the other hand, this is water. We know
15 about it. We know how water behaves in the body. We know,
16 since this water is injected in saline, we're not concerned
17 about tonicity effects. We're not concerned about nitrogen
18 balance effects when O-15 water decays to nitrogen, and
19 also we know about the radiation exposures. So we're not
20 having safety concerns from this perspective.

21 So then, in summary, the review preliminary
22 conclusion is that O-15 water effectiveness can -- is in
23 the use of O-15 water to measure cerebral perfusion in
24 patients with cerebral vascular abnormalities associated
25 with ischemia, hemodynamic abnormalities, occlusion and

1 other vascular disorders, and as I said, I'd be willing to
2 hear other comments.

3 Thank you very much for your time.

4 DR. RAMSEY: Thank you, Dr. Love. Unless I
5 hear an overwhelming need for questions, I'd like to go
6 ahead with the next presenter, and then we'll have time for
7 questions after that.

8 DR. KONSTAM: Just a factual question for Dr.
9 Love.

10 DR. LOVE: Yes?

11 DR. KONSTAM: The Grubb and the Derdeyn paper,
12 you mentioned that was from the same group.

13 DR. LOVE: Yes.

14 DR. KONSTAM: Were they different populations?

15 DR. LOVE: They used the same control
16 population. It sounds like they might have been different
17 populations. It's not entirely clear, but my assumption is
18 that they are two different populations. Same protocol.

19 DR. KONSTAM: Well, I just want to point out,
20 you know, I think it's a different order of magnitude of a
21 problem.

22 DR. LOVE: Yes.

23 DR. KONSTAM: If it's saying, well, the same
24 group with clearly a different study reproduced it, but
25 once you say there's a possibility that it's in fact the

1 same patients, then I think that's a bigger problem.

2 DR. LOVE: I absolutely agree with you. I did
3 look at that. I read the Derdeyn, however you pronounce
4 that --

5 DR. KONSTAM: I don't know.

6 DR. LOVE: -- two or three times to try to
7 actually answer that particular question. My assumption is
8 that they are two separate populations, but the article is
9 not entirely clear, and you could make the equal assumption
10 that it is the same population, and for that reason, I did
11 not lump the two articles and just accepted them as
12 supportive information.

13 DR. KONSTAM: The other question I had, the
14 principal sickle cell paper did not have a control group in
15 it. I guess they just studied sickle cell kids.

16 DR. LOVE: They just studied sickle cell
17 children, but one of the three groups of children was
18 normal on their categorization of clinical symptoms. They
19 did not have current signs, and they had not had an
20 identified stroke. So you could look at that as a spectrum
21 of patients.

22 Other questions?

23 (No response.)

24 DR. LOVE: Thank you.

25 DR. RAMSEY: Thank you, Dr. Love.

1 Dr. Conti?

2 DR. CONTI: Good morning. Peter Conti from
3 USC, and I want to again thank the committee for allowing
4 us to present this data from the public and also commend
5 you on your activities and actions yesterday. This was a
6 very useful discussion from our perspective and the public
7 to hear and understand how the interactions occur. I
8 thought the scientific questions in particular were very
9 relevant.

10 What I'd like to do is again go back to my
11 earlier approach of PET 101 and give you some of the
12 practical clinical examples of how we would use O-15 water
13 in patients.

14 If you remember yesterday, we were able to show
15 -- maybe we can turn the lights down a bit for these
16 slides. See, those of us in the public sector don't have
17 the ability to have the high-tech that the FDA does in
18 terms of the computer presentations. So we have to revert
19 to old slides.

20 I mentioned some of these clinical tracers as
21 examples, and we talked about FDG and N-13 ammonia, and
22 then obviously we're talking about O-15 water now, and
23 again just another reminder, this is a positron isotope.
24 Again that's the two-minute half-life which means it's very
25 difficult to make many sophisticated molecules with O-15

1 because of its short half-life, but certainly something
2 like O-15-labeled water is relatively straightforward, and
3 hopefully in the future, we'll be talking about other
4 compounds, such as those dangerous substances as oxygen and
5 things like this down the road.

6 Yesterday, I also mentioned in neurological and
7 neurosurgical applications for PET, that vascular
8 abnormalities are one of the key indications clinically.
9 We talked about some of the applications earlier in my
10 presentation in epilepsy, dementia and movement disorders,
11 but we're going to focus here on vascular or cerebral
12 abnormalities.

13 Now, there is a rich history of using
14 perfusion-like agents in the nuclear medicine world. This
15 is actually a technetium-99m HMPAO study in patients with
16 Alzheimer's disease, where you can actually see alterations
17 in perfusion of the brain with this drug in what was a
18 classical pattern for Alzheimer's disease in the parietal
19 bitemporal lobe distribution similar to the FDG scans that
20 I showed you yesterday, but again based on a perfusion
21 imaging tracer.

22 We also noted earlier this morning in this
23 morning's presentations that O-15 water can be used to
24 image the heart as a perfusion agent, and we could see
25 these images of the heart and these lung axis views. These

1 are patients that are being treated with TPA following an
2 anterior infarction in this particular case, and you can
3 see the recovery of perfusion following the administration
4 of TPA on serial images with O-15-labeled water. In this
5 particular study, it was compared with Carbon-11 acetate.

6 Now, again blood flow images can now be
7 obtained in the very simple fashion following intravenous
8 administration of this radiotracer, and the studies that
9 we've done, particularly at USC, a lot of them have been
10 comparisons between flow in this case or perfusion, if you
11 will, with glucose metabolism.

12 I have to say on the outset that a lot of the
13 blood flow or blood perfusion -- I prefer the word
14 "perfusion" as you have shown on your slides -- are based
15 on extensive data from animals and animal models. So my
16 discussion here will focus primarily on the clinical
17 applications, and I do appreciate the discussion of the
18 basic science as a foundation for these radiotracers.

19 As you can see, the O-15 water images do
20 provide reasonably good high-quality images for clinical
21 interpretation.

22 Now, let me just begin by showing you some
23 examples of stroke. This is a classical middle cerebral
24 artery infarction, seen both on the FDG scan and blood flow
25 images here. You can see the large deficit in what is a

1 classical middle cerebral artery distribution following an
2 ischemic event in this patient and actually a very matched
3 defect, if you will, in the flow images. It actually looks
4 a bit more extensive on the blood flow images compared to
5 the glucose scans, and this may represent the improved
6 ability of O-15 water to detect areas of ischemia as
7 opposed to FDG looking at straight infarction.

8 Now, we've done some extensive work in sickle
9 cell anemia, and you've seen some of this data presented
10 already, and I just want to give you some of those examples
11 to go along with that clinical paper.

12 These are children who a large fraction of
13 which will go on to develop overt clinical stroke, and this
14 is a relatively devastating disease for many of these kids,
15 which is the main driving force behind our study.

16 Many of the children have signs, clinical
17 signs. Many of them also have neurological imaging
18 studies, such as MRI, that do display areas of presumed
19 infarction, and some of these findings on MRI scans don't
20 necessarily correlate with the actual clinical symptoms in
21 many of these children.

22 So MRI has been used more or less routinely in
23 patients with sickle cell disease, and here's an example of
24 a T2-weighted MRI scan showing areas of increased signal on
25 both hemispheres, suggestive of areas of stroke or

1 infarction. Another one here.

2 MRI has also been used extensively now in
3 children with this disorder. This actually turns out to be
4 a normal MRI, but again we would use this as part of the
5 serial neuroimaging that would be done in these children.

6 This is the same patient with a PET scan. The
7 upper images are flow, and the lower images glucose
8 metabolism. In this case, it's a fairly reasonable
9 concordance between the amount of glucose metabolism
10 preserved and the flow preserved. You could see deficits
11 here and here, and if you go back on that MRI scan, we'll
12 just jump back for a second, you can actually see that
13 there are in fact lesions here and here similar to what you
14 saw on the PET studies.

15 I think the PET studies do provide an added
16 dimension of the extent of that disease that's not
17 appreciated, for example, on the MRI and the study.

18 Here's another example. You can see these
19 types of lesions that occur in sickle cell disease here and
20 here, and again more extensive disease seen on both the
21 glucose metabolism as well as the blood flow studies,
22 multiple infarctions and areas of ischemia.

23 This is a more advanced trial, actually over
24 18-year-old adults with some of the sequelae that can
25 happen after you get multiple ischemic events in sickle

1 cell disease. You can see basically what's left of the
2 brain in this particular case. Most of it has infarcted,
3 and these children are severely retarded, and this is, as I
4 said, a very devastating disease for these young children.

5 Now, there are examples, as I alluded to
6 earlier, that there is some discordance between the glucose
7 scans and the ischemia in the blood flow studies, and you
8 can see, for example, here and here, there's an area of
9 infarction on the metabolism study, but you can see there's
10 a bit more extensive findings on the blood flow, suggesting
11 that these areas are perhaps compromised vascularly, and we
12 should be aware that this area is at risk, and this is the
13 type of child that we'd want to make sure we got into a
14 program to preserve what is left of that brain through more
15 aggressive transfusion-type therapies.

16 Now, it's beyond sickle cell disease that we
17 have other areas of stroke. I mentioned middle cerebral
18 artery infarction earlier, and, of course, there are other
19 reasons for stroke, and this is an interesting case of a
20 child with a mixed cell leukemia who comes in with an MRI
21 scan showing an area of enhancement and some signal changes
22 in the white matter here, and the issue in this particular
23 case was because the child was receiving intrathecal
24 methotrexate for treatment of the leukemia, and
25 methotrexate by that route is known to also cause cerebral

1 infarction, whether or not this actually represented a site
2 of infarction or recurrent tumor. So it was a little bit
3 tough just from the MRI study.

4 And we actually used the glucose and flow
5 studies here to show quite clearly that there was an area,
6 a wedge-shaped area of decreased metabolism. This was not
7 the recurrent tumor, and it was more likely to be stroke,
8 and you can see in fact that there's a little more
9 extensive ischemia than there is on the glucose, that
10 there's some preservation, if you will, of some of the
11 surrounding cortical matter on the glucose scan, but there
12 was clearly areas consistent with ischemia beyond that
13 stroke.

14 Now, this was another complicated case. This
15 patient had this MRI scan and a long rich history of
16 cardiovascular disease, and it was presumed that this would
17 represent either some sort of malignancy or perhaps a
18 stroke which can present with enhancement and mass effect
19 and some other parameters that you can identify on this MRI
20 study, and here's the T2 image shown here.

21 Now, this lesion was biopsied, and it came back
22 with a fair amount of necrosis, and it was consistent when
23 all was said and done with the presence of debris related
24 to a prior stroke, and the patient was treated
25 conservatively, but the disease and the symptoms

1 progressed, and at one point, the patient came in for a PET
2 scan.

3 I apologize. These images are not high-
4 quality. The patient actually was a little difficult in
5 terms of motion and movement, but basically what we were
6 able to see on the flow studies was areas of increased
7 perfusion as well as, again it's difficult to visualize,
8 areas of increased metabolism on the FDG study as well.

9 These two findings are not consistent with the
10 presence of stroke. They're more consistent with the
11 presence of malignancy and a vascular malignancy at that.
12 This patient went back for a rebiopsy on the basis of the
13 PET scan and in fact turned out to be a high-grade
14 glioblastoma that had been progressing while they were
15 treating her for cardiovascular disease.

16 This is another interesting case where the flow
17 study also helped us out. This was a patient that had a
18 prior glioblastoma, and we don't see that in this
19 particular image, but also had this subtle finding here,
20 this mass effect you could see. This is an enhanced T1
21 MRI. You can see this low-density lesion right here.
22 Okay? It doesn't enhance very much, and it was suspected
23 that this perhaps could represent some sort of sequelae
24 from prior radiation therapy, a low-grade tumor,
25 infarction, a variety of different possibilities, but given

1 the fact that he had a glioblastoma originally, it could
2 represent an additional lesion. Here's the T2 MRI. You
3 can see this lesion is relatively homogeneous.

4 Now, it was considered to biopsy this lesion,
5 and again we were asked because of the history of
6 glioblastoma to look at this from the FDG point of view,
7 but, of course, then a lot of these primary lesions were
8 also doing flow studies to learn a bit more about how to
9 handle these from a neurosurgical point of view.

10 Well, clearly on the FDG scan, this was
11 hypometabolic. In that scenario, differential diagnosis is
12 infarction. It is something like a low-grade brain tumor,
13 okay, or some other benign entity.

14 Now, the flow study is interesting here because
15 the increased blood flow eliminated the possibility that
16 this was an infarction. We knew in fact that this was a
17 primary brain tumor of some nature, and in fact on the
18 basis of both the FDG scan and the flow study, this was
19 probably a vascular low-grade tumor.

20 This patient went on to biopsy, a very careful
21 biopsy because of the vascular nature of the tumor with the
22 appropriate operating room on standby because these can
23 bleed out rather extensively on biopsy if you're not
24 careful, and it was successfully biopsied and turned out to
25 be a low-grade brain tumor, highly vascular low-grade brain

1 tumor. So I think we actually helped in the approach and
2 diagnosis in that particular case.

3 I'll show you three quick examples of aneurysms
4 which are other vascular lesions that I think can benefit
5 from the use of O-15-labeled water. These are the
6 perfusion scans on your left and FDG metabolism. It's a
7 large aneurysm. You could see the elevated flow in this
8 and the feeding vessels and the draining vessels as well.

9 You could see that the lesion is very
10 extensive, and in that area, it's essentially photopenic on
11 the FDG scan as you would expect, but also note that in
12 fact, there is some area of decreased metabolism
13 peripherally to this aneurysm, and this is the area that
14 we're worried about as far as stroke, and, of course, after
15 these aneurysms are resected vasospasm.

16 Another example of aneurysm, low FDG
17 accumulation and very high perfusion, and the third example
18 here again with very low FDG accumulation and very
19 extensive perfusion. Again, it helps sort out the nature
20 of the particular lesion, particularly if you don't know
21 what it is, in a new diagnosis.

22 Now, in terms of other neurological entities,
23 this is obviously a very large lesion. Meningiomas tend to
24 be extremely vascular tumors, and it also helps sort out
25 meningioma from other types of malignancies in many

1 circumstances.

2 Meningiomas tend to display a significant
3 amount of glucose metabolism, not as much as your high-
4 grade brain tumors, but certainly more than an aneurysm.
5 So again it's in that spectrum of the types of intracranial
6 lesions that we'll see, but knowing this very high blood
7 flow which is very typical of meningioma again helps sort
8 out exactly what we're dealing with.

9 In this particular case, obviously this is a
10 gross extractual lesion. So we were not very concerned
11 about the specific diagnosis, but I can assure you there
12 are many that are complicated.

13 For example, here's another one, again very low
14 glucose uptake in this particular case, but here, there is
15 flow in this meningioma in the base of the brain here. You
16 can see elevated perfusion.

17 Now, in the differential diagnosis of benign
18 versus malignant, we don't want to necessarily get into the
19 argument that O-15 water can be useful in that particular
20 arena because it is not, and in fact, this is a good
21 example of a type of patient that has multifocal
22 glioblastoma.

23 You can see one of the lesions here in the
24 cerebellum. You can see the inferior aspect of another
25 lesion in the temporal lobe. I don't have the other MRI

1 with me, but I can assure you there are two lesions, and
2 you can see on the FDG scan clearly both lesions are
3 hypermetabolic. Here's the tumor here. Here's the second
4 tumor in the temporal lobe, and if you'll look on the flow
5 studies, interestingly, this particular lesion is vascular,
6 and this particular lesion is relatively avascular. Which
7 would you biopsy? Well, probably this one if you wanted to
8 assure yourself of not getting into a little bit of a flow
9 problem at your biopsy. This is the type of practical
10 information that would be helpful here.

11 Now, I just want to mention a couple of points
12 on the activation studies. Somebody said it's nicely
13 blocking a UCLA thing here, which is appropriate. No, I'm
14 just kidding, George.

15 But in any event, this is from my colleagues at
16 UCLA, using normal subjects and doing the stimulation
17 responses which you may have seen in the literature as far
18 as classical uses of brain mapping, and you can see that
19 with the right stimulation, you can activate various areas
20 of the brain, and, of course, depending upon where the
21 lesion is, you may want to stimulate certain areas of the
22 brain to see the proximity of those eloquent centers to the
23 lesion of interest prior to surgical resection.

24 This is the type of thing that we can do with
25 O-15 water, and this is one of the examples from the

1 literature showing the co-registration on an MRI, if you
2 will, 3-D MRI, and these areas are various areas of mapping
3 following the appropriate stimulation, namings, other types
4 of challenges that the patient receives, and the pink area
5 here, for example, represents the tumor, and you can see
6 that there are some overlapping challenges on the area of
7 the tumor.

8 This type of approach is very useful from the
9 neurosurgeon's point of view because you can minimize the
10 deficits associated with the surgery by preserving certain
11 regions that are in close proximity to the tumor. Many of
12 these patients usually go on to incomplete resections
13 because of the extensiveness of the tumors. So to try to
14 achieve a complete resection is not always possible.

15 If you're going to spare certain things, you
16 might as well spare the areas that are going to preserve
17 the most function for the patient, given the fact that
18 they're likely to die of their disease, and you want to
19 improve the quality of their life for the remaining period.

20 Here's another example showing in this case a
21 remote site of activation from the lesion seen here on the
22 MRI. Here's the site of activation that's overlaid on the
23 MRI study, and one last example in a non-malignancy. This
24 is an AVM showing you -- here's the angiogram of the AVM.

25 You can see the lesion here is a baseline, has

1 increased to a flow in this particular lesion, and you can
2 see with the activation here, the stimulus is very, very
3 close proximity to the AVM but allows you again in your
4 surgical procedure to be very cognizant of the location of
5 that stimulus and to try to preserve as much of the
6 cortical activity as possible.

7 This concludes my presentation. I'll be glad
8 to answer any questions regarding O-15 water studies.

9 Thank you.

10 DR. RAMSEY: Thank you, Dr. Conti.

11 I think we'll now open the floor to the
12 committee discussion portion. So I'll open the floor to
13 questions from any of the speakers this morning or any
14 other comments. We could turn the lights back on, I think.

15 DR. KONSTAM: Dr. Conti, can you stay up with
16 us? I just have a few questions. I just want to a few
17 things about sickle cell disease.

18 First of all, I just want to congratulate you
19 on your presentation. I mean, I think those were some
20 fabulous illustrations of how this agent could be put to
21 good use.

22 Just educate me a little bit about sickle cell
23 disease. First of all, are there any studies that have
24 looked at specifically O-15 water and findings on PET and
25 how they relate to clinical prognosis in any way?

1 DR. CONTI: In sickle cell, not to my
2 knowledge. There are only two other papers that I'm aware
3 of that were published a few years back, one was using O-15
4 water with oxygen, and these were actually adult sickle
5 cell subjects, and there was a smaller article using FDG
6 and O-15 water, again a very small population. I think six
7 patients or something like this back at the NIH, I believe
8 it was done.

9 But there's no data that I'm aware of that
10 really shows prognostic information. We alluded to that in
11 our articles, Dr. Love pointed out. We felt that this is
12 something that we'd like to study a bit further because I
13 think it's very important.

14 DR. KONSTAM: Well, you know, I'm just trying
15 for myself to get a flavor for how, you know, in this
16 particular disease as an example, how this agent might be
17 used by clinicians, and what impact it really will have on
18 management and outcome, and you showed a couple of pretty
19 devastating-looking pictures, and the question is, how does
20 that influence therapy is the question for me.

21 So maybe you could educate me a little bit
22 about the therapy for sickle cell disease and specifically
23 how the findings that you have there would influence your
24 therapy and why or what evidence do you have that that
25 would make a difference?

1 DR. CONTI: Well, I have to admit, I am not an
2 expert in treating sickle cell patients. So you have to
3 bear with me, but certainly transfusion therapy is probably
4 of the more aggressive treatments that are offered to these
5 patients. There are some chemotherapy-type approaches that
6 are used. Hydroxy urea is another example.

7 But transfusion therapy is more or less the
8 treatment of last resort, if you will, for aggressive
9 therapy protocols. So let me start by saying that and then
10 just mention that the type of practical applications could
11 be examples, such as the following.

12 We've studied some of these children within
13 families. So for example, they may have three or four
14 children. The older child may have a ready-exemplified
15 stroke, clinical stroke. His younger brother, for example,
16 may be having trouble in second grade, and, of course, the
17 one-year-old, we don't have any signs or symptoms.

18 Within a family, it gives you some perspective
19 of what's going to happen to those younger children if you
20 look at the older children from a clinical point of view,
21 and we know that there's already a trend in that family.
22 That family, once identified, then could be studied at an
23 earlier age before overt symptoms occur, and in fact, if
24 they are displaying abnormalities on the PET scan that's
25 not detected by the conventional imaging or by clinical

1 examination, they may be considered for more aggressive
2 therapy given the track record of their siblings because
3 there does tend to be some sort of familial relationship
4 with the aggressiveness of the disease.

5 Likewise, a patient that has had symptoms and
6 may go on to receive such transfusion therapy, we can
7 repeat those studies. I think we did show an example of
8 that type of case in the paper where we felt that on repeat
9 studies following aggressive transfusion therapy over time,
10 that we can use PET to more or less monitor the resolution
11 of ischemia with the therapy.

12 So from a point of view of identifying at-risk
13 subjects or in a high-risk patient population, that's one
14 practical side of it. On the other, to specifically follow
15 the therapy in an individual patient is a second practical
16 side.

17 DR. KONSTAM: Well, I guess my last question
18 would be do either you or are you aware of anyone who's
19 actually conducting or planning to conduct a perspective
20 study to either look at the impact of PET or the
21 relationship between PET and outcome or even more
22 importantly would be specifically how PET influences
23 therapy and whether that actually resulted in any benefits?

24 DR. CONTI: I'm not aware of it myself. We
25 would like to do the study ourselves. We're trying to

1 receive funding for that, but I would also tell you that
2 people in the MR world are also approaching this as well.
3 So there probably is some overlapping literature using
4 other diagnostic tests as well with the same goal, to
5 correlate with outcome.

6 DR. PONTO: Dr. Love, I am very confused by
7 your selection of literature. I guess my question is if we
8 were looking at a functional type of indication, where
9 we're looking at this as the effectiveness of this
10 particular agent to measure blood flow, why did you
11 essentially ignore all of the mapping literature? Because
12 all of that has normal controls. It has statistical
13 methodology that has been very well proven to isolate areas
14 of function, and also has a number of groups, such as work
15 that I've done at my own institution with schizophrenics,
16 with panic disorder patients, and a number of other groups.

17 DR. LOVE: Basically, as was stated in the
18 presentation, the review was based upon the articles that
19 were submitted by ICP. So we selected from what was
20 available, and the largest studies were the ones that I
21 presented.

22 There were some methodology articles in the
23 database, but the comment that I was making earlier that
24 there probably are other data available that can validate
25 some of the task methods is basically reflecting what

1 you're talking about right now.

2 So all of the reviews, both this one and the
3 others yesterday, were based upon the articles that were
4 provided to us.

5 DR. PONTO: I thought, though, that you said
6 that you did your own searches through the databases. I
7 mean, there's literally hundreds of articles out there on
8 brain mapping work with O-15 water.

9 DR. LOVE: For O-15 water, the FDA search was
10 not done. It was just based on the information as
11 mentioned, the USP and the ICP articles.

12 DR. RAMSEY: Any other questions? Comments?

13 DR. LINKS: Just to get some guidance from the
14 FDA in our thinking and sort of for radiopharmaceutical
15 approvals in the future.

16 I think what's happening this morning is a
17 further example of some of the difficulties yesterday in
18 our discussions about using what I'll call diagnostic
19 accuracy or disease-based literature to try to support a
20 claim of functional assessment.

21 Obviously all of us in nuclear medicine love
22 functional assessment and want you guys to support claims
23 of functional assessment.

24 I'm a little worried, though, that what I
25 perceive as a reluctance on the FDA's part to accept what

1 I'll call pure studies that simply validate the claim that
2 a particular radiopharmaceutical measures or assesses or
3 evaluates a particular function, and so I'm wondering, are
4 you willing to accept that? What would it take for you all
5 to accept that? Can carefully-controlled animal studies
6 form the bulk of the evidence, and to what degree do you
7 require studies of the application of that technique in
8 disease populations?

9 DR. LOVE: I think part of that goes to some
10 issues that are still under discussion as far as the
11 guidance document is concerned and how we're going to
12 approach that.

13 I think part of this, when we do the review, we
14 do take into account all of the different aspects as was
15 mentioned yesterday, and obviously the mechanism of action
16 clearly is relying on the animal data and the known
17 formulas and such that are being used to measure cerebral
18 perfusion. So we're looking at that.

19 But the other aspect of this is that these
20 products have a clinical usefulness in some way or another
21 in a patient population. So we have to move from what is
22 the actual measurement to what is its relevance in the
23 patient, and how is it going to be used?

24 But there are many different approaches that
25 can be taken to do that, and that's some of the aspect of

1 things that were mentioned in the guidance document in
2 terms of different approaches, different populations and
3 the like.

4 In a sense, I think what your question is going
5 to is how would we develop a product prospectively versus
6 how are we going to move towards an indication when you
7 have a large database that's out there, and you're
8 retrospectively looking at that database and trying to
9 determine what those data actually provide, and how can we
10 actually label this product?

11 But I would be very interested in hearing the
12 committee's discussion of that, and whether you think, on
13 the basis of this data, would you recommend that we move
14 forward to a broader indication, one that deletes some of
15 these other terms that are in the indication or not?

16 We've taken the approach based upon where we
17 are, but that's why we want to hear your comments and see
18 if there's something else that can be done with the
19 indication.

20 Thank you.

21 DR. KONSTAM: Can I just voice a contrary
22 perspective on this? You know, I think I have a fair
23 amount of support for the concept of a functional
24 indication, and I understand the points that you and others
25 are raising about this, but I think there has to be another

1 perspective that adds into this, and I mean I think
2 listening to Dr. Love really being defensive about the
3 requirement to have clinical studies is part of an
4 indication and makes me extremely queasy.

5 You know, to look at it on the other end of the
6 spectrum, there's a whole world out there that is saying
7 that we need to practice medicine on the basis of evidence,
8 clinical evidence, evidence that what we do in medicine
9 influences outcome, and now I have to say, on a personal
10 note, in other forums, I have challenged what I've
11 considered some extreme expressions of that view. But this
12 is a different forum, and I don't think that the view of a
13 requirement for evidence-based medicine is sufficiently
14 represented in this discussion.

15 I think that there needs to be, it sounds to
16 me, a great deal more thought placed into reconciling these
17 two perspectives, of saying when you're doing an imaging
18 study, you know, if it tells you something about function,
19 maybe that's enough. Maybe we need to say that's
20 approvable.

21 I find that a very extreme notion, and I guess,
22 just thinking about my own comfort level reconciling what I
23 see as these two extremes, really, I would say that if we
24 want to continue with the concept of a functional approval,
25 then I would at least create and hold us to some kind of a

1 standard of some reasonable degree of clinical data set
2 that gives us at least a decent comfort level, if not
3 perhaps the same levels of standards of evidence that are
4 asked for in other forums, but at least some reasonable
5 comfort level that we're making an impact on clinical care
6 by doing these functional assessments.

7 And, further, I would say that in approving an
8 agent for functional assessment, I take the position that I
9 hold the FDA to help us a little bit more than that and say
10 in the packet insert for functional assessment, but here
11 are the types of clinical actions or clinical goals that
12 you might expect, and here's the evidence that supports it,
13 and I think that that's not too onerous a standard to
14 expect in this kind of an indication.

15 DR. LINKS: I understand what you're saying.
16 My concern is that the interpretation of reasonable
17 demonstration might make it too onerous. Here's why I'm
18 concerned.

19 In the old days before the final rule and the
20 proposed guidance, when push came to shove, every medical
21 imaging drug submitted for us to recommend approval or not
22 was really judged on diagnostic accuracy. No matter what
23 else you want to say, what we ended up talking about were
24 sensitivity and specificity, and I personally believe that
25 these four indications, which are dramatically different