

1 and those studies show against no treatment a modest but
2 felt to be clinically significant improvement in outcome.
3 That's not your feeling.

4 DR. WHITE: I was making the comparison to 5-FU
5 alone.

6 DR. KELSEN: Okay.

7 DR. DAVID JOHNSON: Rich, it might be worth
8 doing a little historical review for purposes of this
9 committee.

10 When you and I came on this committee initially
11 several years ago, one of the very first things that we
12 were asked to review was the utility of CPT-11 in this
13 disease. There was a lengthy, detailed discussion about
14 the efficacy of 5-FU versus 5-FU plus leucovorin in colon
15 cancer. And randomized trials were presented, including
16 SWOG data and others, which failed to really show a major
17 survival advantage for the addition of leucovorin. Yet,
18 the committee at that time, this committee, accepted, I
19 recall very vividly, this issue -- Dr. Bunn was the Chair
20 of this committee at the time -- that 5-FU/leucovorin was a
21 standard. It didn't say the standard. It said a standard
22 for the treatment of this disease.

23 Now, whether or not one can show a difference
24 between 5-FU plus leucovorin versus 5-FU of any major
25 substance I think is debatable and was debatable at that

1 time. Some members of the committee, as I recall, wondered
2 why the committee accepted 5-FU/leucovorin as a standard
3 when it had not been shown to be superior in survival to
4 5-FU. The answer ultimately boiled down to a difference in
5 response rates that were perceived but not proved to be
6 related to improvement of quality of life. If I can
7 interject a little corporate memory into the discussion
8 here, that's where we were at that time with that
9 discussion.

10 In fairness, it seems to me -- I realize the
11 committee has changed, and we can change our mind. We are
12 free to do that, but as I recall vividly, that was the
13 issue and it seems to me that it's still valid today, those
14 discussions that we held almost 3 and a half years ago now.

15 DR. SCHILSKY: Thank you. We can maybe ask you
16 to take on the official role of committee historian.

17 (Laughter.)

18 DR. DAVID JOHNSON: Well, in that regard, I
19 actually had some comments then, if you wanted me to do
20 that.

21 (Laughter.)

22 DR. SCHILSKY: This has not been rehearsed in
23 advance, ladies and gentlemen.

24 (Laughter.)

25 DR. DAVID JOHNSON: No. Because I actually

1 think sometimes the corporate memory is helpful. I assume
2 that's the reason why the FDA asks us to serve more than
3 one meeting at a time, although I have to confess sometimes
4 it seems like it's one meeting at a time.

5 But, for example, I was very interested in this
6 elaborate analysis regarding the certainty, if you will, of
7 the lower bounds of the 95 percent confidence interval. I
8 appreciate the point that is being attempted to be made
9 here, and this is by no means an effort to be flippant.
10 But the reality is those two curves on which this analysis
11 was done are so precisely the same that it seems to me that
12 it's a lot of effort for not really a clinically relevant
13 issue in my opinion.

14 Again, the corporate memory tells me that we
15 have approved drugs, albeit maybe in an accelerated manner,
16 with similar mechanisms of action with considerably less
17 data than were presented in this randomized, I think well-
18 conducted study. And it seems to me that that really is
19 what we as a committee ought to focus on.

20 What did they do? They took a standard that we
21 accepted once before in a well conducted study. I don't
22 care if it was done in the U.S. candidly or in Canada. I
23 noticed Canada was on both sides of this, so they need an
24 identity check.

25 (Laughter.)

1 DR. DAVID JOHNSON: But the reality is this
2 study was well done and I think proved the point that they
3 set out to prove.

4 Now, the second issue. I agree with the points
5 that were made. The study did not meet the endpoint that
6 it was designed to do. But I think, as I see the sponsor's
7 presentation, it's merely presented for supportive
8 evidence, and you yourself pointed out the consistency of
9 the data, in the UFT presentation. In fact, it's
10 shockingly consistent.

11 DR. WHITE: Strikingly.

12 DR. DAVID JOHNSON: Well, I would say
13 shockingly. I've never seen data this consistent from two
14 studies like that. And I think that's very powerful
15 evidence in my mind.

16 One, I think, last corporate memory issue
17 that's worth making is related to -- and one other point
18 that I wanted to bring out was the issue of the placebo,
19 whether this is a placebo or not. I think the answer is
20 clearly it is not a placebo, 5-FU/leucovorin, even if given
21 poorly. You might argue it was a poor way of giving a
22 standard treatment, or you might argue it was a good way if
23 you're looking at it from a toxicity perspective. But I
24 don't think that that impacts on the efficacy of the UFT,
25 which I believe they have demonstrated at least is

1 equivalent to a standard way in this country of giving
2 5-FU/leucovorin, which we've previously accepted as a
3 reasonably standard regimen. That's how I see these data
4 personally.

5 DR. SCHILSKY: Thank you, David.

6 Dr. Raghavan.

7 DR. RAGHAVAN: I guess that I run the risk of
8 being like some of my procedural colleagues which is often
9 wrong, but never in doubt. I just am very perturbed by the
10 presentation because it seems to me that it's totally
11 missed the point.

12 One looks at the survival curves, and as my
13 distinguished southern colleague pointed out, they are
14 very, very similar. And one has just listened to an
15 attempt to look at what might happen and if certain
16 simulations occurred. It's sort of, really effectively, a
17 historically controlled statistical analysis, and I think
18 it's actually not very valuable.

19 But what I think I would really like to have
20 heard the FDA do is concentrate more on what I think is the
21 fundamental issue. There's an orally administered drug
22 which has been designed to reduce the problems of having
23 chemotherapy, and to me, treating a lot of patients, it's a
24 no brainer. Patients like to take things by mouth rather
25 than get stuck with a needle and to take them at home

1 | rather than to come to a clinic.

2 | So, I don't have a lot of interest in comparing
3 | 52 versus 53 versus 51 weeks and try to make sense of
4 | whether a benefit is lost when there is a large number of
5 | patients that are following identical survival curves.

6 | But I'd be very interested, Dr. White, in your
7 | sense of the issues that we raised related to toxicity,
8 | which you kind of summarized just in one slide. Give us
9 | the FDA's gestalt. Does this make toxicity less? Does it
10 | make it easier for patients to deal with fluoropyrimidines?

11 | You know, the whole story of fluoropyrimidines,
12 | corporate memory or not, is somewhat like rearranging the
13 | deck chairs on the Titanic. It's a small gain. We've
14 | recognized that. Dr. Johnson, Dr. Schilsky, and I were
15 | there 3 and a half years ago when we ground through whether
16 | 5-FU was good or bad and whether leucovorin added. But the
17 | reality is the community in time has accepted
18 | 5-FU/leucovorin as some form of standard.

19 | So, the thing I'm really interested in knowing
20 | about this product is what is the patient benefit from the
21 | perception of the FDA? Is it easier to take? Do they live
22 | better lives? Is it better tolerated? Can we not assess
23 | it from the data presented?

24 | DR. WHITE: Based on the quality of life
25 | assessment in study 11, there was no difference between the

1 two arms. So, the reduction of toxicity that's being
2 claimed just didn't seem to result in improvement in
3 quality of life.

4 DR. SCHILSKY: Dr. Margolin.

5 DR. MARGOLIN: I think that we need to be very
6 careful how we interpret the information from the study
7 about the quality of life which we actually didn't see
8 presented but we had in some of the handouts -- and we
9 haven't heard a statistical analysis of it either, which
10 often breaks down its integrity -- versus the actual
11 toxicities as measured by whatever toxicity scale was being
12 used because in this study -- and I think somebody asked
13 about this earlier and didn't get a full answer -- patients
14 with this disease had a very short duration of treatment, a
15 very short progression-free interval, and they were off
16 therapy. The value of quality of life analysis, when
17 patients are falling off as quickly as they are, has to be
18 quite limited and I think needs to be looked at quite
19 differently than the actual toxicities of treatment.

20 I think quality of life and the impact of an
21 oral therapy versus a really relatively nontoxic IV
22 chemotherapy are probably much more useful in a patient
23 group that is benefiting over a much longer period of time,
24 is being treated longer, or if it's adjuvant where everyone
25 gets 6 months of therapy and then they go off, but

1 otherwise they're well.

2 DR. SCHILSKY: Dr. Krook.

3 DR. KROOK: A couple of comments and back to
4 the quality of life issues. I am going to come back at
5 Derek a little bit here, and maybe my statistician can
6 help. But if I'm correct, these people will take 12 pills
7 a day 28 days out of each 35 days, which is 336 pills in a
8 cycle. I guess as an investigator I'm not sure that's
9 easier than 5 days of 5-FU/leucovorin. Now, maybe I've
10 been around long enough that I've passed out enough
11 5-FU/leucovorin, but it isn't that difficult. So, if it
12 comes down to an issue of what's easiest and convenient to
13 the patient, I guess I plead with the sponsor, if this is
14 made available, that we do something about the number of
15 pills. If I'm correct, it's 4 pills 3 times a day. So, I
16 think that that's something that I come back to. I don't
17 think taking that many pills is convenient.

18 Secondly, the quality of life scales that Dr.
19 White or Dr. Johnson or Dr. Cohen -- I'm not sure who did
20 them -- in the FDA document does show that at least that
21 document is the same on both arms. And I have a little bit
22 of trouble rationalizing out that there's less side effects
23 when I look at these quality of life, and that when we look
24 at all, if and and, they come down I think the two regimes
25 in both studies are equal in quality of life. I don't

1 think there's a benefit of one over the other. And they
2 appear to be similar in survival, however you look at it.
3 After that, I agree with Derek, I think we're starting to
4 pick it apart.

5 Perhaps Dr. White would like to comment on the
6 quality of life at least being the same. That's what my
7 question. There isn't a difference when you look at the
8 approved scales.

9 DR. WHITE: Based on the claim in reduction in
10 toxicity on UFT/leucovorin, I specifically looked to see
11 whether that was going to translate into a quality of life
12 improvement, and it wasn't there.

13 DR. KROOK: And I think 336 pills -- again, we
14 can't ask that, but cost-wise certainly that's a problem.
15 But somehow if this is available, I think that issue has to
16 be dealt with.

17 DR. SCHILSKY: Just one other comment on the
18 quality of life issues. Many of the reductions in toxicity
19 were laboratory parameters, blood counts essentially. I
20 don't know that one would anticipate that a reduction in a
21 blood count nadir would necessarily have a quality of life
22 impact on a patient. The number of febrile neutropenic
23 events were different, but there were relatively few and
24 they might not ultimately manifest themselves in a quality
25 of life analysis. And one might argue that the reduction

1 | in mucositis in the UFT arm was balanced by the increase in
2 | diarrhea in the UFT arm. So, maybe there's a plausible
3 | explanation for why there might not be an overall
4 | difference in quality of life, that there's just some
5 | tradeoff in toxicity. Some of the other toxicity
6 | reductions which the physician may appreciate as being
7 | potentially important may be, in a sense, unrecognizable to
8 | the patient.

9 | I had one question for you, Dr. White. I
10 | wonder if you could help answer the question that you said
11 | Dr. Temple posed on that slide that you put in there having
12 | to do with compliance. So, was there information on
13 | compliance submitted in the NDA?

14 | DR. WHITE: Yes.

15 | DR. SCHILSKY: Did you look at it, and what's
16 | your assessment about compliance?

17 | DR. WHITE: Well, it depends on your
18 | definition. And I made some comments on that in my review.
19 | Patients on UFT had to take the medicine for 28 consecutive
20 | days, and to be fair with regard to the 5-FU/leuovorin
21 | arm, there were about 39 patients, or 10 percent of the
22 | patients, on UFT/leuovorin who missed 6 or more days of
23 | the medication.

24 | DR. SCHILSKY: Now, is that a compliance issue
25 | or is that a --

1 DR. WHITE: Well, I thought it was a compliance
2 issue. I thought it was a compliance, that patients
3 forgot, they missed. So, I looked at those patients in
4 detail in terms of toxicity, and to my shock, it appears,
5 at least with the graphs I provided, to coincide with the
6 onset of toxicity.

7 DR. SCHILSKY: So, why were you shocked by
8 that?

9 DR. WHITE: Because I thought it was just going
10 to be a simple compliance, and at least based on what they
11 were saying, that it was reduced toxicity, I gave them the
12 benefit of the doubt.

13 DR. SCHILSKY: So, if I understand you
14 correctly, you're saying that when patients missed doses,
15 that it appeared that it was related to toxicity. So, it
16 may have been either a protocol-specified dose modification
17 or just a recommendation from the physician that they skip
18 doses.

19 DR. WHITE: When patients missed 6 or more.
20 And I used that as a cutoff because that was being fair
21 relative to missing a day of 5-FU. What was the question
22 again?

23 DR. SCHILSKY: I'm just trying to get your
24 insight as to whether these missed doses were patients just
25 being noncompliant as in not following instructions or they

1 were missing the doses because they were told to omit
2 doses.

3 DR. WHITE: At least with regard to the first
4 course of therapy -- and that's where I felt everything was
5 equal and that's where I focused on -- it seemed to be due
6 to toxicity, although it seemed that the patient was on
7 drug a day or 2 more after the onset of grade 2 toxicity
8 which was the cutoff when they should have come off
9 treatment or when the weekly telephone call came.

10 DR. SCHILSKY: Ms. Forman.

11 MS. FORMAN: Just to ask the question a
12 different way, is there any evidence as to patients who
13 either forgot to take the medication or some other
14 circumstances rather than the reaction to the medication?
15 How many patients were in that category percentage-wise or
16 numbers, whatever?

17 DR. WHITE: Yes. With regard to the first
18 course, there were 95 patients who missed at least 1 day's
19 worth of UFT. In terms of why those patients who missed
20 less than 5 days of UFT, that hasn't been examined yet.

21 DR. SCHILSKY: Dr. Lippman.

22 DR. LIPPMAN: I agree with Dr. Johnson's
23 assessments of the survival equivalence and his comments
24 regarding the standards, but a lot of this seems to be the
25 apparent discrepancies perhaps between issues of

1 | convenience, quality of life, and toxicity grading.

2 | Regarding compliance, again if you look at the
3 | table that was presented, the fact that 99 percent of the
4 | people took 80 percent or more of the medication seems
5 | pretty good, even given the number of pills.

6 | Regarding the issue of whether there's
7 | clinically meaningful toxicity or we're just looking at
8 | grades -- because really there are impressive differences
9 | in toxicity grading. Again, I come back to looking at some
10 | of the correlates like the concomitant medication use,
11 | which seems to clearly support the clinical importance of
12 | these toxicity grades. Unless some of these antiemetics
13 | and so on are used prophylactically to a greater degree in
14 | one arm or the other -- and maybe there could be some
15 | comment -- if they're not being used prophylactically, this
16 | would seem to support the clinically meaningful differences
17 | in toxicity that were reported.

18 | DR. WHITE: Let me just make a comment about
19 | the UFT compliance that you were talking about. When you
20 | look at the accessed database, there was, of course,
21 | compliance which only applied to UFT. I spent a lot of
22 | time trying to figure out what that exactly meant. I
23 | thought if I prescribed 28 days' worth of UFT and somebody
24 | took 80 percent, whatever that is, 23 out of 28 days,
25 | that's what that 80 percent meant. That's not the case. I

1 | have a case report form.

2 | What I believe happened is if a patient was
3 | prescribed 28 days, but if they only took it for 6 days but
4 | took only, say, 5 and a half days, it was the 6 divided
5 | into the 5 and a half and not 28, which would have brought
6 | it down to 17 percent.

7 | So, those numbers that were presented relative
8 | to UFT compliance are inflated, and they don't match the
9 | dose intensity numbers that were included in the exact,
10 | same table.

11 | DR. SCHILSKY: Dr. Raghavan.

12 | DR. RAGHAVAN: Using the FDA tables, I think we
13 | still have a forest and trees problem, and I come back to
14 | the point because I think it's important, Dr. White. You
15 | said that the measured quality of life didn't show a
16 | difference, and I accept that. I think that the company
17 | goofed big time by not understanding the right indices of
18 | quality of life and how to apply them. Listening to Dr.
19 | Canetta, I think that they recognize that.

20 | But if you look at the data that you've
21 | analyzed, we're looking at severe leukopenia less than 1 or
22 | 2 percent versus 19 or 12 percent, worse figures for
23 | neutropenia, equivalently different figures for severe
24 | stomatitis. Now, I defy any clinician or any patient to
25 | say that having a mouth fallout is a good thing.

1 | Therefore, if you have severe stomatitis in 1 and 2 percent
2 | versus 19 and 16 percent, it seems to me a no brainer that
3 | quality of life is better in the people who don't get it.

4 | So, therefore, I'm just really worried that the
5 | forest and trees issue here is that we're talking about
6 | small differences in survival where for metastatic disease
7 | the treatment is quite poor. We have what looked to me
8 | like poor quality of life official measures, sort of, if I
9 | could say, patient controlled measures. And I don't mean
10 | to demean those, but yet objective indices that go with
11 | poor quality of life that are vastly different.

12 | So, Dr. White, I'm surprised that you haven't
13 | expressed concern over that discrepancy. It troubles me
14 | enormously because there are such big differences in the
15 | indices that I think make patients' lives worse.

16 | I take Jim Krook's point that taking a lot of
17 | tablets is a bad thing. On the other hand, showing up to a
18 | cancer center week after week after week and getting stuck
19 | where sometimes you get stuck three or four times is no
20 | picnic either.

21 | I'm just uneasy that, while we're getting very,
22 | very clever in looking at fine points, we're missing the
23 | big picture, which is that even though the company screwed
24 | it up, this looks like the medication actually causes less
25 | morbidity in a way that we're used to looking at

1 | traditionally, and all the very clever indices of quality
2 | of life seem to be letting us down. I think this is going
3 | to come again and again at FDA. So, I think we probably
4 | ought to nail it down today.

5 | DR. SCHILSKY: David.

6 | DR. DAVID JOHNSON: It's perhaps repetitious to
7 | say it again, but again just as a clinician taking care of
8 | patients, patients complain of mucositis, patients complain
9 | of nausea, patients complain of being anxious, and these
10 | are all parameters that I see the UFT arm doing better.

11 | Again, the concomitant therapies -- maybe
12 | that's a recording phenomenon; i.e., the company was very
13 | careful to record all those data for 5-FU/leucovorin and
14 | were more casual or less diligent, but I sort of doubt that
15 | that's the case. I think here that's good supportive
16 | evidence, as Dr. Lippman has said.

17 | For those reasons, it seems to me again that
18 | they've shown that is what they set out to do. This is
19 | equivalent therapy. At worst, toxicity similar. As I see
20 | these data, the toxicity appears to be less.

21 | In terms of the convenience issue, I think some
22 | patients will find pills more convenient and some will find
23 | injections more convenient. That's the way it is now for
24 | me with etoposide, and I'm glad I have that particular
25 | choice for patients. I see patients that live 250 miles

1 away from me and some that live a block away, and the trip
2 to the clinic is easy for some and not for others. This
3 gives some flexibility in the ability to treat those
4 patients, it seems to me. That's the other "advantage" I
5 see.

6 DR. SCHILSKY: We've been blending our
7 discussion and questions. So, let me just bring us back
8 for a moment to ask, does anyone have specific questions to
9 the FDA regarding their presentation? Because we will have
10 additional time for discussion.

11 Dr. Margolin.

12 DR. MARGOLIN: I guess it's just a reiteration
13 of my original question that would have been to the FDA in
14 the first place. I didn't get an answer. I know the FDA
15 tries to be very rigorous, and generally when we recommend
16 a new drug approval, it is with the requirement to show a
17 clinical benefit either by survival or by some good
18 surrogate for survival or quality of life.

19 In this study, it seems that even in the design
20 of the phase III, the FDA agreed to use in the pivotal
21 study equivalence as clearly defined for the new drug
22 without defining what improvement in the tolerability or
23 the quality of life or the toxicity profile would be
24 sufficient to allow only equivalence to make this drug
25 approvable.

1 And in the second trial, the supportive trial,
2 the concept of progression-free survival as the endpoint we
3 know is one that the FDA has been grappling with, although
4 presumably that's an historical problem in that this study
5 was agreed upon before the FDA started to revisit the value
6 of progression-free survival.

7 DR. JUSTICE: Well, there would be no
8 requirement to demonstrate -- I mean, if the committee
9 votes for approval, you're voting that the survival is
10 equivalent or non-inferior, whatever terms you want to use.
11 You don't have to believe that it's less toxic to vote for
12 approval.

13 Does that answer your question?

14 DR. MARGOLIN: No, because in previous
15 meetings, it was always my impression that for a new drug
16 to be approved, it had to be better than an existing drug.

17 DR. JUSTICE: No, that's not true.

18 DR. SCHILSKY: Dr. Blayney.

19 DR. BLAYNEY: In the discussions about quality
20 of life and toxicity, et cetera, it sort of hinges on the
21 fundamental question where they measured equivalently. I
22 noticed in the UFT arm, a nurse or some study personnel at
23 each site called the patient at least once a week. Was
24 that done in the control arm?

25 And second, were the biochemical and

1 hematologic parameters measured equivalently in the control
2 arm and the UFT arm?

3 DR. WHITE: The laboratory interventions were
4 done the same, as far as I remember. I asked the company
5 about whether the 5-FU/leucovorin arm was being called
6 weekly, and based on what they told me, that was yes, that
7 they were being called weekly.

8 DR. SCHILSKY: Okay. Any other questions for
9 the FDA at this point?

10 DR. BLAYNEY: Excuse me, Rich. The other thing
11 has to do with salvage or second-line treatment. The FDA
12 analysis made a point about the U.S. versus non-U.S. sites
13 in terms of the efficacy of the control arm. We were shown
14 that in the 11 study 50 percent of the patients got some
15 sort of salvage treatment. Do you know if there was a
16 difference in the salvage therapy or the second-line
17 therapy between the U.S. and non-U.S. sites?

18 DR. WHITE: We asked that question, and
19 basically got the same numbers that you saw here. But
20 Bristol-Myers Squibb said that they didn't investigate and
21 weren't required to collect what regimen the patients were
22 put on.

23 DR. BLAYNEY: But in terms of patients who
24 received salvage therapy, was that subset analysis
25 performed?

1 DR. WHITE: Oh, I didn't perform that subset
2 analysis.

3 DR. SCHILSKY: I think we did see some data
4 from the sponsor earlier about that point.

5 DR. BLAYNEY: But it was balanced in terms of
6 treatment versus control arm but not U.S. sites versus non-
7 U.S. sites, and in the FDA analysis, they made a point
8 about the better performance of the control arm in the
9 North American or U.S. sites. I wonder if that could be
10 explained by availability of effective -- if you agree
11 there is effective salvage or second-line therapy on
12 survival.

13 DR. WHITE: What you've got in front of you is
14 a draft review, so that may be something that we will go
15 ahead and look into after the meeting.

16 DR. SCHILSKY: Before we go into a more general
17 discussion, Karen Somers has another public statement to be
18 read to the committee.

19 DR. TEMPLETON-SOMERS: This is a fax that has
20 just been received today from the Hepatitis C Action &
21 Advocacy Coalition. Actually I'm not going to read the
22 whole thing. It came with a number of letters, and I'm
23 just going to read the cover letter.

24 "To the FDA Advisory Committee for Oncology
25 Drugs:

1 "We request that the following comments be read
2 at the advisory committee meeting on September 16th for the
3 consideration of Bristol-Myers Squibb's UFT,
4 uracil/tegafur, fixed combination and leucovorin for the
5 treatment of metastatic colorectal cancer.

6 "As a coalition of patients and patient
7 advocates, we strongly oppose the approval of the two-drug
8 combination with UFT/leucovorin if Bristol is allowed to
9 market the drug solely in a fixed combination package under
10 the brand name Orzel while the drugs are unavailable
11 separately. This will lead in oncology to the same fiasco
12 that now exists in hepatology with last year's
13 unprecedented FDA approval of Schering-Plough's Rebetrone
14 combination therapy of Intron A, interferon alpha 2b, and
15 Rebeto, ribavirin, bundled into a single package for the
16 treatment of hepatitis C. Tegafur is currently not
17 approved in the U.S., just as ribavirin was not approved in
18 oral form before Rebetrone approval. As a result of the
19 approval of Rebetrone, ribavirin is not available from
20 Schering except in its fixed combination.

21 "There is no clinical reason for these oncology
22 drugs to be packaged solely in combination. Should the
23 advisory committee find this combination treatment safe and
24 effective for approval, we urge you to approve them to be
25 available separately and labeled appropriately for use in

1 combination. Such approval is the norm for all HIV
2 antivirals that must be used in combination. The members
3 of Bristol's team before you today are well aware of how
4 their company has benefitted from HIV medications not being
5 available only in bundled or combined forms. Bristol's
6 popular medication, Zerit, d4T, is often used in
7 combinations containing Glaxo-Wellcome's Efavir, 3TC.
8 Glaxo markets Combivir, a single pill containing both 3TC
9 and AZT, and other of its HIV antivirals. Yet, both AZT
10 and 3TC are marketed separately at no higher price than
11 when they are combined as Combivir. So, the physicians can
12 individualize combination treatments, including
13 combinations using Bristol's d4T.

14 "Bundling drugs together limits the ability of
15 physicians to individualize treatment for patients when the
16 dosage of one or both drugs must be altered from the fixed
17 packaging. Amounts of one or both drugs are often not
18 used, an enormous waste of scarce health care resources.
19 Bundling also limits the use of appropriate and reasonable
20 off-label combinations with one or both drugs as the HIV
21 example illustrates.

22 "Bundling impedes research as well. Usually a
23 competing drug company desires only one of the drugs of a
24 bundled combination for research. Either the competitor
25 must purchase the entire combination package and waste the

1 | unwanted drug, or the competitor must submit its protocol
2 | to the company, revealing its strategy, something that most
3 | companies will not do and should not have to do. Then they
4 | must await the license holding company's decision whether
5 | or not to grant the request. The decision is not usually
6 | based on clinical research merit, but economics and market
7 | position of the license holder. Bundling, therefore,
8 | impedes the development of promising novel treatment
9 | combinations that would be economically unfavorable to the
10 | license holder of a desired drug.

11 | "Far from any safety or efficacy concerns, the
12 | primary reason the company desires to bundle its products
13 | is to hold third party payors, patients, and physicians
14 | hostage by forcing them to purchase both drugs and use
15 | fixed amounts of the drugs whether or not they are actually
16 | needed. This scheme also allows the company to hide an
17 | inflated price for one or both drugs when separate sale of
18 | the drugs would make the unreasonableness of the prices
19 | transparent. Escalating drug prices are the single largest
20 | contributing factor to the rising health care costs in the
21 | U.S. today.

22 | "Despite continued calls from the hepatitis C
23 | community, Schering-Plough selfishly refuses to sell the
24 | ribavirin in its combination kit separately. When Schering
25 | came before the FDA Antiviral Drug Advisory Committee in

1 May of '98, it cited convenience as well as safety and
2 clinical concerns to defend its desired bundling practice,
3 just as we are sure Bristol is doing today for its drug.
4 The European Medicinal Evaluation Authority, in its review
5 of Schering's application of interferon/ribavirin
6 combination therapy for the European Union, saw through
7 this rouse. On May 7, 199, the EMEA approved the
8 combination only when the two drugs are marketed separately
9 and labeled appropriately for combination use. Schering
10 put ribavirin on the market in Europe separately as soon as
11 it was allowed. Clearly any safety concerns that Schering
12 may have had were far outweighed by the economic interest
13 to get the drugs to market any way it could. We sadly
14 suspect the same situation is occurring here.

15 "In the case of Schering's Rebetrone, the FDA
16 has expressed its willingness to unbundle the packaging of
17 Rebetrone and has written to Schering stating so. However,
18 once it approved the bundled package, Pandora's box was
19 opened and the FDA lacks the regulatory authority to compel
20 Schering to separate its drugs once on the market. We urge
21 you not to make the same mistake here.

22 "The American Medical Association's Council of
23 Ethical and Judicial Affairs has publicly cited its concern
24 over Schering's marketing practice. Congressmembers
25 Christopher Smith, Frank Pallone, and Nancy Pelosi have

1 requested hearings on the matter. Next week members of the
2 HAAC and other HCV patient advocates will be meeting with
3 staff of the House Subcommittee on Health and Environment
4 and with officials of the Federal Trade Commission to urge
5 actions on this matter. In addition to the objections
6 already cited, it is our view that bundling constitutes a
7 form of tying under the Sherman Antitrust Act. Bundling is
8 nothing more than forcing the sale of one product by tying
9 it to the sale of another.

10 "Pharmaceutical manufacturers will argue either
11 side of the bundling issue, depending on their individual
12 economic advantage for the drugs in question. Regardless
13 of the clinical results, if a company owns the drugs they
14 want used in combination, it will argue the need to bundle
15 the packaging, i.e., Orzel. If the combination requires
16 use of a drug from a competitor, it will argue against it,
17 i.e., Zerit. But the third party payors, researchers,
18 physicians, and patients all lose every time if bundling is
19 allowed to stand. Arguments of convenience, compliance, or
20 dispensing errors have all been heard from Schering.
21 Bristol will probably even tell you they can conveniently
22 make a few different dosage kits supposedly to meet
23 individual needs. Nonsense. These arguments pale in
24 comparison to the economic and treatment options that are
25 lost if bundling is allowed to continue. Physicians

1 | working with their patients, not drug companies, should
2 | have the control and flexibility of deciding what is the
3 | best dosing for their individual patients.

4 | Individualization of treatment cannot be realistically
5 | achieved in a few fixed dosage combination drug kits.

6 | "Bundling does not lead to greater safety or
7 | efficacy. On the contrary, it detracts from both.

8 | Attached to this letter, we have included a few
9 | testimonials from HCV patients to show how bundling has
10 | adversely affected them economically and therapeutically.
11 | We ask that you read these as well. We do not wish
12 | oncology patients to experience the pain that Rebetrone has
13 | caused members of the hepatitis C community.

14 | "We urge this committee to stop this bundling
15 | and tying scam here and now. We ask that you strongly urge
16 | the FDA to deny approval to Orzel or any future bundled
17 | drug combination products unless the drug company is also
18 | compelled to market the drug separately in addition to the
19 | bundled package."

20 | This is from Brian Klein from the Hepatitis C
21 | Action & Advocacy Coalition, and it came along with five
22 | anonymous letters which in the interest of time and
23 | avoiding redundancy, I will not read them here. They will
24 | be available through the Freedom of Information Office next
25 | week if anyone would like to see them.

1 Thank you, and that's the end of our second
2 open public hearing.

3 DR. CANETTA: Are we allowed to make a comment,
4 a very brief comment?

5 DR. SCHILSKY: Perhaps you could just inform
6 the committee about the plans for how the drug product
7 would be marketed if it's approved.

8 DR. CANETTA: It's very simple. It's very
9 short.

10 The NDA that you have being presented today is
11 the NDA for UFT and leucovorin calcium tablets. Bristol
12 plans to market the two things separate. Oral leucovorin
13 is available on the market. Bristol has an NDA approved
14 already for oral leucovorin that will be marketed
15 separately, and I think diffuses the whole issue. We do
16 not plan, though, to separate uracil for tegafur because
17 there is a clinical reason not to do that.

18 DR. SCHILSKY: Thank you for that
19 clarification.

20 Before we go into the questions, I'd like to
21 just ask if there's any general discussion that the
22 committee members would like to have, having now heard a
23 thorough presentation of this application. Dr. Kelsen.

24 DR. KELSEN: At the present time, there are
25 advances in the treatment of this disease that really are

1 important and they include drugs like irinotecan and
2 oxaliplatin, but the data that we have right now, at least
3 the preliminary data, is that both of those two agents are
4 most effective when combined with fluorinated pyrimidines.
5 So, it's highly unlikely that, at least in the immediate
6 future, fluorinated pyrimidine therapy is going to be
7 abandoned, and therefore, this is an important issue as to
8 whether there's a more convenient and less toxic way of
9 giving the same drug.

10 It's especially important to me because that
11 fluorinated pyrimidine therapy remains the linchpin of
12 curative therapy. We're not being asked to discuss
13 curative treatment with this application today. It's for
14 palliative treatment of patients with metastatic disease,
15 but the key role of curing people still revolves around
16 FU/leucovorin or some other fluorinated pyrimidine in one
17 combination or another. CO6 may answer this question but
18 we'll have to wait for that data.

19 This is more of a statement than a question.
20 I'm unimpressed with the comparison that we just heard that
21 UFT is a placebo or worse than a placebo. I don't think we
22 ever actually got an answer to that table that we saw,
23 although Dr. White might want to comment on it again during
24 the discussion. But the placebo-controlled trials pretty
25 regularly, although they're small in number, give a very

1 | brief median survival of about a half a year, 5 to 6
2 | months, with the one exception of the trial for totally
3 | asymptomatic patients, which was also inferior to UFT as
4 | historically controlled. Therefore, I think the statement
5 | that UFT is acting as a placebo is very difficult to
6 | support.

7 | I think what we should really focus in on is
8 | what has been discussed now. Is this an equivalent agent?
9 | I think the agent has some activity. Fluorouracil
10 | leucovorin has modest activity and this drug has modest
11 | activity. Is this drug equivalent and easier to give and
12 | less toxic?

13 | DR. SCHILSKY: Yes.

14 | DR. BEHRMAN: I'd just like to address that
15 | because you're right. We're not asking you if this is a
16 | placebo. We're trying to ask you how much uncertainty are
17 | you willing to accept because we do prefer the term "non-
18 | inferiority." In other words, are you content or
19 | comfortable with the degree of inferiority that may be
20 | present? Obviously, the worst case scenario would be that
21 | the drug has no effect and, therefore, would be equivalent
22 | to a placebo, but I think the words are getting confused a
23 | little bit. We're not trying to ask you whether you
24 | believe it's a placebo or whether the added toxicity would
25 | make it worse than a placebo.

1 DR. KELSEN: Do you believe that it has no
2 effect at all?

3 DR. BEHRMAN: Well, we're asking you the
4 efficacy question. We spent a lot of time talking about
5 quality of life and comparative toxicity, and that's really
6 not what we're asking you. We're saying, given that the
7 effect is small and the confidence interval is obviously
8 not incredibly tight, are you comfortable that you're
9 ruling out a significant -- decreasing the benefit
10 significantly? That's what we're asking you, not whether
11 we believe it's a placebo or whether we believe it's
12 inferior, but are you comfortable that it's essentially
13 giving the same effect, although we understand that the
14 effect is not that substantial.

15 DR. SCHILSKY: So, we'll get to that
16 specifically with, I think, the first question.

17 Any other general discussion anyone wishes to
18 have? Dr. Krook?

19 DR. KROOK: Just the one question or comment of
20 the U.S. versus the non-U.S. In Bob White's document which
21 was there, there's a statement which I guess I thought was
22 interesting, that in the U.S. it was organized through a
23 principal investigator who I believe in the Minnesota
24 terminology is now a provider with an M.D. degree, and in
25 Europe it was by a sponsor, medical monitor. I don't know

1 if that has anything to do with it, but that question comes
2 up. I think having been here the longest on this committee
3 now, that question keeps coming up, U.S. versus non-U.S. I
4 don't have an answer, but as a principal investigator in
5 clinical research, I always go into a study saying I don't
6 know the answer. Now, obviously if I'm being paid by
7 someone, it may be different. It's a comment, Rich. Thank
8 you.

9 DR. SCHILSKY: Other comments? Dr. Lamborn.

10 DR. LAMBORN: Just to the question of the U.S.
11 versus the non-U.S., as we saw the analyses earlier where
12 the question was asked whether the differences observed
13 could be just due to chance, as distinct from being
14 statistically significant, it's my impression that in fact
15 those kinds of differences were potentially just chance
16 phenomenon. I'm wondering if the FDA has done any analysis
17 which would demonstrate other than that it is a chance
18 phenomenon, including the potential that there would be an
19 interaction, because I look at it and I say that it looks
20 to me like it's just the luck of the draw. Is there any
21 demonstration that it's other than chance from the analyses
22 done by the FDA?

23 DR. WHITE: Well, the answer to that is no.

24 DR. SCHILSKY: Dr. Johnson.

25 DR. JOHN JOHNSON: Yes. I just wanted to

1 | respond to Dr. Kelsen. He has spoken about best supportive
2 | care twice now this morning. In the slides that Dr.
3 | MacDonald used on page 2, he has a table there of three
4 | best supportive care studies. In the first one, there is a
5 | total of 163 patients, and the difference in median
6 | survival is 2 months, which is similar to the many studies
7 | that the FDA showed. The second study has a total of 40
8 | patients, and it's mentioned that cisplatin was involved in
9 | that study. And the third study has a total of 21
10 | patients. So, I don't think the FDA can give a lot of
11 | weight to studies that have a total of 40 patients and a
12 | total of 21 patients.

13 | DR. KELSEN: I don't know if that's a question.
14 | I guess the Nordic trial, which is the trial that had
15 | asymptomatic patients in both arms, so the best population
16 | you could possibly have, had 183 patients in that trial.

17 | DR. SCHILSKY: Any other general comments? Dr.
18 | Nerenstone?

19 | DR. NERENSTONE: I sort of want to ask our
20 | statistician a question. In the study objectives for the
21 | trial 11, the first one was the equivalence of the two, and
22 | despite the very significant number of patients, the
23 | confidence interval was under the targeted .8 that they
24 | were looking for. It came in at .79.

25 | The secondary objectives -- and you can say

1 | it's close, but didn't quite meet it despite the very large
2 | number of patients. The secondary objectives included the
3 | assessment of tumor response, which was the same; time to
4 | progression, which actually favored the 5-FU arm in a
5 | statistically significant way; safety and quality of life,
6 | which were probably no difference.

7 | How would you weight those in terms of primary
8 | endpoint and secondary endpoint when the one that's clearly
9 | statistically significant is in the opposite direction but
10 | is a secondary endpoint? Or is there an answer to that?

11 | DR. LAMBORN: I'll have to think about it a
12 | little bit. I think that you always start with the biggest
13 | issue being survival. They put that as the primary
14 | endpoint. I think that one of the things we have to be
15 | careful of -- and it was addressed earlier -- is when you
16 | try to use a model and you sort of arbitrarily say, well,
17 | it has to be 80 percent as good as an absolute assurance,
18 | remember that that lower bound, especially since they used
19 | a two-tailed test, says that we're 97.5 percent sure that
20 | that's the worst it could be. Then you go back to what has
21 | been mentioned earlier. If you then look at the curves and
22 | you look at the superimposability, just remember that's the
23 | absolute sort of worst case. So, that's one piece of it to
24 | keep in mind.

25 | I am in some ways more concerned that the time

1 to progression is in an opposite direction, and you usually
2 would hope that while -- and somebody else again referred
3 to the fact that there has been a lot of discussion about
4 time to progression and the ability to identify it. And we
5 have the problem that the assessment was done at different
6 times, but the different times could conceivably be argued
7 should have favored UFT.

8 But I would then turn it back to the
9 nonstatisticians in the group to say how would you
10 interpret that if what you're seeing is a similarity in
11 overall survival. And I was, in fact, surprised that we
12 didn't have more discussion of that earlier in the process.
13 Response for a number of reasons I'm much less -- I think
14 it's the general consensus that that's less of importance
15 in this situation.

16 Does that help?

17 DR. NERENSTONE: Yes.

18 DR. SCHILSKY: I think maybe we should just go
19 on with the questions. Ms. Forman?

20 MS. FORMAN: I have a question of Dr. Johnson.
21 You had I think made a statement that said you have some
22 patients that are 250 miles away from you and this might be
23 a way to treat them because it is easier for them to get
24 this treatment than travel to you. How would you foresee
25 the kinds of things that you would normally have to do in

1 terms of their testing and following them and knowing where
2 they are from the baseline right through the treatment to
3 be sure that they are getting the best care, that they are
4 not in jeopardy, that their levels of safety are monitored?
5 How would you handle that? And any other doctor here who
6 might be faced with that, I'd appreciate your response.

7 DR. DAVID JOHNSON: Well, unfortunately, not
8 everyone lives within real close proximity of Nashville.

9 (Laughter.)

10 DR. DAVID JOHNSON: This is a problem
11 irrespective of how one delivers the chemotherapy to the
12 patient. It doesn't matter whether you give it to them
13 intravenously or orally. It's a problem.

14 The question somewhat infers that if one gives
15 oral therapy, one sends the patient out and says comes back
16 and see me in a couple of months; whereas, if one gives
17 intravenous therapy, one says, well, we'll be in close
18 contact, we'll monitor you very carefully, et cetera.

19 I don't really foresee a lot of difference in
20 terms of the level of concern that I have for the patient,
21 and I would do the same thing for the patient who's taking
22 an oral drug and lives 250 miles away as I do for a patient
23 who gets intravenous drug and lives 250 miles away. We
24 usually work with the patient's primary care physician in
25 order to obtain laboratory data to monitor the patient when

1 that is appropriate to do and to also monitor the patient's
2 progress. It's much more convenient again for a patient to
3 drive down the street and get something done as opposed to
4 driving 250 miles to get a CBC done, for example. So, I
5 don't really see the fact that their taking an oral drug
6 makes them less well monitored. In fact, perhaps quite the
7 opposite. Maybe we will monitor them more intensely.

8 I can tell you that the way we handle it at our
9 institution, which I suspect is true for everyone around
10 this table, is that our clinical nurses, not our research
11 nurses, but our clinical nurses are responsible for
12 contacting those individuals to keep in touch with them to
13 find out exactly what toxicities they may have experienced.
14 And patients are educated before they leave, at any point
15 during the course of their treatment, with specific
16 indications to call us. In fact, we give them information
17 sheets that very clearly spell out the reasons that they
18 need to call irrespective of day, time, et cetera. So, I
19 don't really see it being a whole lot different. I do see
20 it being much more convenient though from the patient's
21 perspective.

22 Again, you wouldn't know this, but I can tell
23 you from the standpoint of the provider of care -- and I
24 don't like the Minnesota approach to provider with M.D. --
25 but I've been a recipient with an M.D. too of chemotherapy,

1 and I can tell you right now -- and I took weekly
2 chemotherapy that was injected -- if I had a choice again,
3 if I ever have to do that again -- and I pray to God I do
4 not have to -- and I have a choice between an oral and an
5 injectable drug, I'll take an oral drug 100 percent of the
6 time over an injectable drug.

7 DR. SCHILSKY: Scott?

8 DR. LIPPMAN: I guess I just wanted to follow
9 up on the question I think that our statistician posed to
10 us and no one really answered because I think we all know
11 what the answer is but we should get it out since the FDA
12 is asking us to address the efficacy question, and this
13 issue of the significant difference in time to progression.
14 There have been very large discussions and I don't
15 necessarily think we have to have that now. It's maybe a
16 useful marker in some cases, but in this case where you
17 have a 9-day difference in time to progression, there are
18 tremendous issues of ascertainment about when you actually
19 check into that and get that data point. So, I think it's
20 sort of the same issue of looking at the survival curves to
21 try to find possible differences. They really are
22 virtually identical.

23 DR. SCHILSKY: It is a small difference. The
24 bias, though, is in favor of the control arm because there
25 was less frequent evaluation by 1 week in the UFT arm. So,

1 | the difference could actually be slightly greater than 9
2 | days, but it's still going to be a small difference.

3 | Yes.

4 | DR. LAMBORN: Just to clarify, if I had just
5 | seen the 9-day difference, I wouldn't have been asking this
6 | at all. If you look at the curve, you see that there's
7 | sort of a sustained difference that becomes clear, moving
8 | beyond the median. So, it was really looking at the whole
9 | curve again rather than just looking at the median which
10 | led me to say at least I was surprised that somebody hadn't
11 | brought it up. That doesn't mean that I want to make a
12 | major additional issue of it, but just to clarify, it was
13 | not at the median that I was looking.

14 | DR. SCHILSKY: If I can offer again some
15 | historical perspective. Typically we have always, in a
16 | sense, valued the survival endpoint as the absolute gold
17 | standard in evaluating new therapies. In fact, as you
18 | know, we had lengthy discussion at the June meeting about
19 | the role of time to progression as an endpoint in
20 | metastatic breast cancer, a slightly different situation,
21 | but the committee rejected time to progression as an
22 | appropriate endpoint in metastatic breast cancer. So, I
23 | think that here we have a large study with a clear ability
24 | to evaluate the survival endpoint easily, and we should, I
25 | think, focus on that a good deal.

1 Why don't we go on to the questions. Now, we
2 have what I believe to be the longest preamble that I've
3 yet sent to a question.

4 (Laughter.)

5 DR. SCHILSKY: So, the first eight pages of the
6 questions represent a restatement essentially of the FDA's
7 analysis of the data that Dr. White just reviewed with us.
8 I think it's probably not going to be necessary, since we
9 just had all this presented, for the committee to spend a
10 great deal of time reading this through again. So, why
11 don't we go directly to page 8 and the first question.

12 So, the question is, what percent of the
13 survival effect of the control regimen would the committee
14 be willing to lose with the UFT/leucovorin regimen and
15 still call the UFT/leucovorin regimen equivalent to the
16 control regimen?

17 Does anyone want to take a stab at that one?
18 Dr. Margolin?

19 DR. MARGOLIN: I'll take a stab. I don't know
20 if I have anything to lose. It's really more of a comment.
21 I'm not going to give you my opinion on the percentage.

22 I think the most difficult issue here is really
23 for us to sort of determine how we really think fluorinated
24 pyrimidine based therapy impacts on patients with
25 metastatic colorectal cancer and whether that needs to be

1 | linked somehow with the response rate, which is very
2 | troublesomely low in both arms here in a very well executed
3 | study, and whether you really believe that somehow this
4 | therapy is helping a lot of people even though you can't
5 | measure that by objective responses. So, that's really
6 | just more of a comment.

7 | I don't think that we can really come up with a
8 | percentage of the survival effect since we don't know what
9 | the survival effect is that we could be willing to lose and
10 | still call it equivalent. We know the survivals are
11 | equivalent, and I don't think anyone on the committee would
12 | argue about that.

13 | DR. SCHILSKY: Well, I think that's what this
14 | question is actually addressing, is do we know that the
15 | survivals are equivalent, because the proposal is that the
16 | survival in the UFT arm could possibly be 20 percent worse.
17 | Now, we've heard it stated, I think very nicely, that that
18 | is probably the worst case scenario and that there's a high
19 | level of confidence that it's not likely to be any worse
20 | than that.

21 | Perhaps one way of thinking about this question
22 | would be if in fact that were the case -- and we don't
23 | really know how likely that is that that would be the case,
24 | but if that were the case -- would we be comfortable in
25 | still accepting this therapy as equivalent in efficacy.

1 DR. DAVID JOHNSON: Yes.

2 DR. SCHILSKY: So, instead of answering this
3 question with a percentage, maybe since the focal point of
4 the study was the 80 percent level, we can just have some
5 discussion as to whether people would feel comfortable with
6 that level. Dr. Kelsen?

7 DR. KELSEN: Yes, I think that that's a
8 reasonable way of looking at this, that if the very worst
9 thing happened and that the outcome was a difference of a
10 month or 2 months in a patient who has very advanced
11 disease and the tradeoff, which is not stated here, but
12 sort of implicit to me, is that it's less toxic and at
13 least gives the doctor, as Dr. Johnson said, the option of
14 offering a patient an other alternative, yes, I think that
15 that's a very reasonable thing to accept.

16 DR. SCHILSKY: Dr. Raghavan.

17 DR. RAGHAVAN: Yes, I agree with David Kelsen's
18 view. I think it's a contextual answer. If you're talking
19 carboplatinum versus cisplatinum in testicular cancer where
20 you're talking very high proportional cure versus somewhat
21 less high proportional cure, you accept different figures
22 from a situation where the management is palliative and
23 you're looking at convenience. So, while I think the
24 question as initially phrased is an odd one, I'd be happy
25 to say 20 percent because 20 percent of a year in the

1 broader context is not an awful lot of time.

2 And I agree with David. I think the evidence
3 that we've seen -- look at the survival curves. They're
4 equivalent curves. And you can do any amount of
5 statistical mumbo-jumbo to hypothesize what might happen on
6 a Tuesday at 3 o'clock, but the reality is these are
7 identical curves, and there's no evidence on the table to
8 suggest that there is a real difference.

9 We spent a lot of time talking about what might
10 happen in Europe and what might happen here, but it's all a
11 hypothetical discussion. If you actually look at the data
12 presented, there's a minuscule difference, and I'm sort of
13 surprised we're spending so much time on it.

14 DR. SCHILSKY: Yes.

15 DR. BEHRMAN: The reason it was a concern to us
16 is because it's not 20 percent of a year. It's 20 percent
17 of the difference between best supportive care versus, and
18 we feel there is less room for error there. So, that's why
19 we're asking you.

20 DR. RAGHAVAN: I do understand that, but I
21 guess I'm looking at a more global picture. Dr. Johnson is
22 someone who should always be listened to carefully. I hate
23 to say it in his presence.

24 (Laughter.)

25 DR. RAGHAVAN: Both John Johnson and Dave

1 Johnson, but in this case Dave Johnson. What he said is
2 right. We've got to be consistent in the committee, and
3 the reality of the situation is we have an unhappy
4 situation with the conventional treatment.

5 David Kelsen made the point that even with
6 really quite exciting new drugs that are there for
7 gastrointestinal malignancies, fluoropyrimidines are not
8 going to go away, and I think he's right. So, therefore,
9 having a convenient fluoropyrimidine is important, and even
10 though the company have tried very hard to mask the
11 convenience with the assays they've used, it seems to me
12 that it emerges time and again. So, therefore, I'm not too
13 worried.

14 Even if we accepted that the time to
15 progression difference was 3 months, I think the big
16 picture is what happens to patients, and time to
17 progression is so evanescent that I don't think we're doing
18 a bad thing if we let this drug through.

19 DR. SCHILSKY: Any other comments on this?

20 I think there is some consensus among the
21 committee that 20 percent would be the answer to this
22 question. I don't know that we need to actually vote on
23 that. So, maybe we can just go on to the next question.

24 So, question 2, the results on the
25 5-FU/leucovorin control arms in study 11 and study 12

1 appear different. Can the better tumor response rate, time
2 to progression, and survival on the 5-FU/leucovorin control
3 arm in study 11 be explained by the 25 percent more dose
4 intense FU/leucovorin control regimen used in study 11?

5 Comments on that. Dr. Kelsen.

6 DR. KELSEN: Well, I think the answer to that
7 is yes, it could, but in fact the numbers that were shown
8 for both 011 and 012 are well within the range of what's
9 been reported by many trials, including the SWOG multi-arm
10 trial and a half a dozen others, for this type of regimen.
11 So, it's true it could be. On the other hand, that's
12 5-FU/leucovorin.

13 DR. SCHILSKY: Dr. Krook.

14 DR. KROOK: I would simply say yes, and I think
15 there are other things that can do that as a reviewer.

16 DR. SCHILSKY: Other comments? Dr. Nerenstone.

17 DR. NERENSTONE: I would say that supporting
18 the fact that it's related to dose, the toxicity profile
19 likewise is affected, and that seems to imply that perhaps
20 dose is something that may be part of the reason.

21 DR. SCHILSKY: So, I think the consensus answer
22 there is yes, but there may be other factors as well that
23 are more difficult to discern.

24 Question 3, part a. Does the more dose intense
25 every 28 day control FU/leucovorin regimen used in study 11

1 have an effect on survival?

2 Dr. Kelsen.

3 DR. KELSEN: Yes, it does have an effect on
4 survival, but this implies because it is more dose dense,
5 that it has an effect on our survival than the less dose
6 dense every 5 week regimen, the infusional 5-FU? There are
7 large analyses that look at many different ways of giving
8 5-FU with many, many other drugs. We talked about the
9 repeatedly this morning. I personally think the evidence
10 is reasonably compelling that chemotherapy, including a
11 fluorinated pyrimidine, is better than no chemotherapy or
12 delayed chemotherapy, but the difference is modest.

13 DR. SCHILSKY: Maybe you can go on just to give
14 us your thoughts on part b, which is if FU/leucovorin does
15 have an effect on survival, what is the magnitude of that
16 effect?

17 DR. KELSEN: Yes. Then I'm going to fall back
18 on the best data that I know, which is the best supportive
19 care trials, such as the Nordic study, which is an MLF
20 regimen if I remember. It was a methotrexate, 5-FU,
21 leucovorin I think. I can check that. And the magnitude
22 of the difference in that study in asymptomatic patients
23 was 9 months versus I think 14 months. So, it's somewhere
24 in the range of 2 to 4 months, depending on what you look
25 at, that if you start therapy immediately, you get an

1 | improvement in median survival compared to if you either
2 | delay therapy since only 60 percent of the patients were
3 | treated or never treat the patient. And that's the closest
4 | I can come.

5 | DR. SCHILSKY: Dr. Raghavan.

6 | DR. RAGHAVAN: I have the sneaky feeling that
7 | the FDA are trying to get us to create a standard today
8 | that they can look at for the future because that's is kind
9 | of what the question is asking. Where does the 28-day
10 | control FU/LV fit into the big scheme of things? I think
11 | the truth is that that wasn't the mission that we had
12 | coming in, so it's very hard to answer the question as
13 | phrased. I'm not trying to be critical, but I just think
14 | that that wasn't the topic. The topic was how does a new
15 | drug compare to a standard approach. It happens there were
16 | two variants of the standard approach, and if you get out
17 | into the real world, there are 50 variants and they all
18 | give you a median survival and a long-term survival in
19 | metastatic disease that's very close, which is why we
20 | continue to argue whether 4 versus 5, 750, 350. After 20
21 | years, we still don't really know exactly the right way to
22 | use them, and I don't think today's deliberations will get
23 | you to that point.

24 | DR. SCHILSKY: I would just add from my own
25 | point of view that there are many trials, most of which

1 have been reviewed here this morning, comparing
2 5-FU/leucovorin against best supportive care, more trials
3 comparing 5-FU/leucovorin to 5-FU. Some of those trials
4 show a survival advantage for 5-FU/leucovorin, some do not.
5 The meta-analysis that was performed does not show a
6 survival advantage for 5-FU/leucovorin. Those trials that
7 show a survival advantage, the survival advantage is
8 typically in the range of 3 to 5 months. So, if you accept
9 the notion that the preponderance of evidence is that there
10 may be a survival advantage, it's going to be small. It's
11 going to be in the range of a few months, and it's probably
12 very difficult to estimate it any more precisely than that.

13 Why don't we go on then? Oh, we have a part c
14 here. Pardon me. This is actually 12 questions in 5.

15 (Laughter.)

16 DR. SCHILSKY: Part c. If the every 28-day
17 control FU/leucovorin regimen has a survival effect, does
18 study 11 show the effect on survival of the UFT/leucovorin
19 regimen is at least as good? So, that's the question. Is
20 the survival with UFT/leucovorin in study 11 at least as
21 good as the control regimen?

22 Dr. Krook.

23 DR. KROOK: I would say that my looking at the
24 data, the answer should be yes.

25 DR. SCHILSKY: Other comments?

1 (No response.)

2 DR. SCHILSKY: Anyone who dissents from that
3 point of view?

4 (No response.)

5 DR. SCHILSKY: I think at some point, we may
6 ask for a show of hands on some of these questions. These
7 seem to be more sort of consensus questions.

8 Question 4. Does the less dose intense every
9 35-day control FU/leucovorin regimen used in study 12 have
10 an effect on survival?

11 Dr. Kelsen.

12 DR. KELSEN: I think my answers to 4a, b, and c
13 would be similar to my answers to 3a, b, and c, based on
14 the same data that we've talked about several times now,
15 both randomized studies against a non-leucovorin containing
16 5-FU regimen or against no immediate treatment. So, my
17 estimate would again be several months. It may be 3
18 months. It may be 4 months, something in there, for a.

19 What's the estimate of the survival effect as
20 shown? And the evidence as I've described.

21 And lastly, I think that the survival curves
22 are equivalent. So, the survival curves are equivalent.

23 DR. SCHILSKY: Any other comments there?

24 (No response.)

25 DR. SCHILSKY: Okay, let's continue.

1 I think we're getting the message across even
2 without a formal vote.

3 Question 5. The UFT capsule is a fixed
4 combination. The regulations require the contribution be
5 shown for each active component of a fixed combination.
6 The fixed combination regulation is important and the FDA
7 would not waive it without a compelling reason. However, a
8 waiver could be considered if the committee believes the
9 UFT/leucovorin regimen is an important therapeutic advance
10 compared to present therapy for patients with advanced
11 metastatic colorectal cancer.

12 The FDA did not believe this requirement to
13 show the contribution of uracil to the UFT capsule had been
14 met and requested more information. Additional data on the
15 contribution of uracil to the UFT capsule was recently
16 submitted to this NDA, but the review of it has not yet
17 been completed.

18 A, if the FDA concludes the contribution of
19 uracil to UFT is adequately shown, is this NDA approvable?
20 For this I will ask for a show of hands.

21 Does anyone want to make a first stab at
22 answering that? Dr. Margolin?

23 DR. MARGOLIN: Actually I just want to ask a
24 clarification question. I think the question means would
25 this entire NDA meet the requirement for approvability.

1 Right? I mean, otherwise this is the entire vote on the
2 entire drug. Right?

3 DR. BEHRMAN: I'm sorry. I don't understand.

4 DR. MARGOLIN: Well, does this question just
5 refer to if the uracil data are okay, can we then go ahead
6 and answer the next questions, or is this the entire --

7 DR. BEHRMAN: This is it.

8 DR. MARGOLIN: This is it.

9 DR. BEHRMAN: Yes.

10 DR. SCHILSKY: This is the big one.

11 (Laughter.)

12 DR. SCHILSKY: Dr. Nerenstone.

13 DR. NERENSTONE: I just have sort of a question
14 to the FDA, and it might be a little unusual. I still am
15 very uncomfortable about the difference between the U.S.
16 results and the European results which I feel may, in fact,
17 be significant. I know subgroup analysis -- you get
18 nervous about doing that, but they are very large groups of
19 patients and they were stratified by being U.S. or not U.S.
20 If in fact this is approved, can we request that this
21 table, showing the difference in the two groups, be
22 included in the material that goes out? Because I think
23 individual physicians have to make up their own minds to
24 decide whether in any individual case a decrease in median
25 survival of 3 and a half months may be important for their

1 patients to know.

2 DR. BEHRMAN: If it was the recommendation of
3 the committee, we would certainly consider putting that in
4 the labeling, yes, in the clinical trials section.

5 DR. SCHILSKY: Any comments about that? Dr.
6 Margolin?

7 DR. MARGOLIN: I would argue against that
8 unless we could find medical reason. I think it would be
9 misleading and perhaps lead to some misinterpretation of
10 data. I think you really do need to look at a study and
11 not do the subgroups unless there is some really compelling
12 biological or medical thing that explains it or unless the
13 statistical difference is so great that you're forced to
14 repeat the study or do something like that.

15 DR. SCHILSKY: Dr. Lamborn?

16 DR. LAMBORN: I'd also like to reiterate
17 something that was said earlier, just a reminder that I
18 think it could also be statistically misleading because
19 even if we ignore the fact that this was a post hoc
20 analysis, which is sort of where you're coming from when
21 you say subgroup analysis, but even if it had been a
22 preplanned analysis, the analysis says that these
23 differences could simply be chance differences. Again,
24 unless there's a medical reason, unless there's something
25 systematic that was found that could explain it, we do know

1 | that it could be just a chance phenomenon, and I would hate
2 | to see us make a major point of it in the labeling unless
3 | there's some other rationale.

4 | DR. SCHILSKY: Other discussion?

5 | (No response.)

6 | DR. SCHILSKY: Okay, so back to the question.

7 | If the FDA concludes the contribution of uracil to UFT is
8 | adequately shown, is this NDA approvable? All those who
9 | would vote yes, raise your hand.

10 | (A show of hands.)

11 | DR. SCHILSKY: 12.

12 | All those who vote no?

13 | (No response.)

14 | DR. SCHILSKY: Any abstentions?

15 | (No response.)

16 | DR. SCHILSKY: Part b. If the FDA concludes
17 | the contribution of uracil is not adequately shown, a
18 | waiver could be considered if the committee believes the
19 | UFT/leucovorin regimen is an important therapeutic advance
20 | compared to present therapy for patients with advanced
21 | metastatic colorectal cancer. Is the UFT/leucovorin
22 | regimen an important therapeutic advance compared to
23 | present therapy for patients with advanced metastatic
24 | colorectal cancer?

25 | Any discussion on that? Dr. Krook?

1 DR. KROOK: My feeling on this is that, no,
2 it's not an important therapeutic advance. It's using
3 again a prodrug. At least it's not a therapeutic as I look
4 at therapeutic. I would argue that this vote should be no.

5 DR. SCHILSKY: Other discussion, comments?

6 (No response.)

7 DR. SCHILSKY: So, why don't we vote it? Let
8 me read it again. Is the UFT/leucovorin regimen an
9 important therapeutic advance compared to present therapy
10 for patients with advanced metastatic colorectal cancer?
11 All who would vote yes, raise your hand.

12 (No response.)

13 DR. SCHILSKY: 0.

14 All who would vote no?

15 (A show of hands.)

16 DR. SCHILSKY: 8 no.

17 Abstentions?

18 DR. SCHILSKY: 4 abstentions.

19 So, since the majority is that it is not an
20 important therapeutic advance, we don't have to answer the
21 part about if so, in what respects.

22 That concludes this morning's session. We will
23 take a break for lunch. Why don't we plan to reconvene at
24 1:15.

25 (Whereupon, at 12:10 p.m., the committee was

1 recessed, to reconvene at 1:15 p.m., this same day.)
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AFTERNOON SESSION

(1:20 p.m.)

1
2
3 DR. SCHILSKY: Good afternoon. Welcome to the
4 afternoon session of ODAC.

5 We do have at least a couple new people seated
6 around the table, so I thought I would briefly ask that we
7 go around and have another brief round of introductions.

8 Dr. Raghavan?

9 DR. RAGHAVAN: Derek Raghavan, medical
10 oncologist, University of Southern California.

11 DR. LAMBORN: Kathleen Lamborn,
12 biostatistician, University of California, San Francisco.

13 DR. KELSEN: David Kelsen, medical oncologist,
14 Memorial Sloan-Kettering.

15 MS. ZOOK-FISCHLER: Sandra Zook-Fischler,
16 Patient Representative.

17 DR. MARGOLIN: Kim Margolin, medical oncology
18 and hematology, City of Hope, California.

19 DR. LIPPMAN: Scott Lippman, medical
20 oncologist, M.D. Anderson Cancer Center.

21 DR. SCHILSKY: Rich Schilsky, medical
22 oncologist, University of Chicago.

23 DR. TEMPLETON-SOMERS: Karen Somers, Executive
24 Secretary to the committee, FDA.

25 DR. NERENSTONE: Stacy Nerenstone, medical

1 oncology, Hartford, Connecticut.

2 DR. DAVID JOHNSON: David Johnson, medical
3 oncologist, Vanderbilt University.

4 DR. PELUSI: Jody Pelusi, nurse practitioner,
5 Phoenix, Arizona, and the Consumer Rep.

6 DR. KROOK: Jim Krook, Duluth, Minnesota,
7 medical oncologist.

8 DR. CORTAZAR: Patricia Cortazar, FDA.

9 DR. WILLIAMS: Grant Williams, FDA, medical
10 team leader.

11 DR. BEITZ: Julie Beitz, acting Deputy Division
12 Director.

13 DR. BEHRMAN: Rachel Behrman, Deputy Office
14 Director.

15 DR. SCHILSKY: Thank you.

16 I should also announce that Bill Gradishar, who
17 was to be here as an ODAC consultant, was unable to make
18 the trip because of the weather. So, we will do our best
19 to get by without Bill.

20 Let's go into the open public hearing. We have
21 a number of -- oh, I'm sorry. Karen has a conflict of
22 interest statement to read.

23 DR. TEMPLETON-SOMERS: Again. The following
24 announcement addresses the issue of conflict of interest
25 with regard to this meeting and is made a part of the

1 record to preclude even the appearance of such at this
2 meeting.

3 Based on the submitted agenda for the meeting
4 and all financial interests reported by the committee
5 participants, it has been determined that all interests in
6 firms regulated by the Center for Drug Evaluation and
7 Research present no potential for an appearance of a
8 conflict of interest at this meeting with the following
9 exceptions.

10 Dr. Douglas Blayney is excluded from
11 participating in today's discussion and vote concerning
12 Evacet.

13 In addition, in accordance with 18 U.S.C.
14 208(b)(3), full waivers have been granted to Drs. William
15 Gradishar, Kathleen Lamborn, and Stacy Nerenstone which
16 permit them to participate in all official matters
17 concerning Evacet.

18 A copy of the waiver statements may be obtained
19 by submitting a written request to the agency's Freedom of
20 Information Office, room 12A-30 of the Parklawn Building.

21 In addition, we would like to disclose for the
22 record that Dr. David Johnson has an interest which does
23 not constitute a financial interest within the meaning of
24 18 U.S.C. 208(a) but which could create the appearance of a
25 conflict. The agency has determined, notwithstanding this

1 interest, that the interests of the government in his
2 participation outweighs the concern that the integrity of
3 the agency's programs and operations may be questioned.

4 In the event that the discussions involve any
5 other products or firms not already on the agenda for which
6 an FDA participant has a financial interest, the
7 participants are aware of the need to exclude themselves
8 from such involvement, and their exclusion will be noted
9 for the record.

10 With respect to all other participants, we ask
11 in the interest fairness that they address any current or
12 previous financial involvement with any firm whose products
13 they may wish to comment upon.

14 Thank you.

15 DR. SCHILSKY: Thank you. We have a number of
16 individuals who have requested an opportunity to make
17 statements to the committee. So, I'll just take them in
18 the order that they're listed here. The first is I guess
19 just a letter to be read from Robert Erwin representing the
20 Marti Nelson Cancer Center.

21 DR. TEMPLETON-SOMERS: "I am writing this
22 letter in support of the application for approval of the
23 liposomal doxorubicin formulation, Evacet, submitted by The
24 Liposome Company. I represent the Marti Nelson Cancer
25 Research Foundation, a nonprofit organization that works

1 with cancer patients and their physicians to assist in
2 access to experimental therapies and enrollment in clinical
3 trials. We have no financial interest in The Liposome
4 Company, nor in any other company developing and marketing
5 products for cancer treatment. Our short-term objective is
6 to help people with cancer obtain improvements in both
7 quantity and quality of life.

8 "My wife, Marti, died of breast cancer at the
9 age of 40 and she suffered many of the adverse effects of
10 cancer treatment, including cardiac toxicity. I know first
11 hand the importance of finding drugs that will be more
12 effective against breast cancer than those available today,
13 but I also know the importance of improving the safety of
14 the drugs we currently have. Safety in a chemotherapeutic
15 is the difference between having the breath to sing a song,
16 or not; the desire to eat a home-cooked meal, or not; the
17 strength to climb a single flight of stairs, or not; or, in
18 my wife, Marti's case, the strength to do the work she
19 loved as a physician helping other people, or not.

20 "Given that over 40,000 U.S. women die of
21 breast cancer each year, it is tragically clear that
22 doxorubicin is not an ideal drug for the treatment of
23 breast cancer. Nonetheless, it is still one of the most
24 efficacious drugs available and can provide extended life
25 to many women with disease. As all of you know,

1 doxorubicin causes both acute and chronic cardiac toxicity
2 which can be life-threatening. After a cumulative dose of
3 500 milligrams per meter squared, 6 to 20 percent of
4 patients will experience significant and irreversible
5 cardiac toxicity. This risk increases with prior
6 radiotherapy. Surviving breast cancer can be a very hollow
7 victory at the cost of facing the day to day morbidity that
8 results from chronic heart disease.

9 "Other adverse reactions, particularly
10 mucositis, can limit a patient's ability to tolerate an
11 optimally dose-intensive regimen. Reducing the factors
12 that cause a poor quality of life during, and too
13 frequently long after, chemotherapy is an important
14 research priority. If this priority is adequately
15 addressed, not only will the quality of life of cancer
16 patients improve, but the probability of achieving optimal
17 efficacy with current therapeutics will also increase.

18 "The development of newer generation
19 antiemetics such as ondansetron and granisetron have
20 significantly improved the quality of life experienced by
21 most patients during chemotherapy with doxorubicin. The
22 use of dexrazoxane with doxorubicin reduces cardiac
23 toxicity, but at the cost of reduced doxorubicin efficacy.
24 Although we have not seen data directly comparing Evacet to
25 doxorubicin plus dexrazoxane, the data available suggest a

1 favorable comparison, given the full maintenance of
2 efficacy with Evacet.

3 "Approval of Evacet will provide another
4 important option to the woman facing breast cancer, an
5 option that might prove to be the difference between
6 survival with debilitating morbidity and survival with
7 normal health and full vigor. The experience of the
8 individual in the use of a pharmaceutical to combat a
9 disease must never be lost in the coldness of the
10 statistics. It is the individual people who matter the
11 most, in medicine as in life.

12 "In addition, approval of Evacet will provide
13 physicians with greater flexibility in treating patients
14 who are at higher risk for cardiac toxicity; and, if
15 additional studies support the initial results obtained to
16 date, the potential to achieve greater efficacy through the
17 use of this novel doxorubicin formulation. Although it is
18 a small point, approval of this drug will also increase the
19 competition in the oncologic drugs market leading
20 ultimately to a better efficacy to price ratio.

21 "The Marti Nelson Cancer Research Foundation
22 recommends the approval of Evacet for the treatment of
23 metastatic breast cancer on the basis of its superior
24 adverse effects profile with comparable efficacy to
25 conventional doxorubicin.

1 "Respectfully submitted, Robert Erwin."

2 DR. SCHILSKY: Thank you.

3 Next is Michael Cohen. Would you please again
4 state your name, affiliation, and whether you've received
5 any financial support to be here?

6 DR. COHEN: Yes. My name is Michael from the
7 Institute for Safe Medication Practices. It's a nonprofit
8 organization and we work in cooperation with the United
9 States Pharmacopeia in their medication error reporting
10 program. We receive reports of medication errors and we
11 publish them in various publications' journal columns,
12 including the Oncology Times.

13 I have nothing to disclose with this company.
14 However, they did, more than 12 months ago, donate some
15 funding to ISMP. Other than that, there's nothing.

16 From time to time, we have had reports of mix-
17 ups between doxorubicin products, the conventional
18 doxorubicin and the doxorubicin liposomal injection
19 product. Doxil is the brand name. And you know there is
20 quite a dosing difference. Because of the mix-ups, the
21 company that manufactures Doxil at one point actually did
22 make a package label change where they have on the front
23 label panel now a statement that this is a liposomal
24 product and that it is not to be substituted. And there's
25 a red band that goes across with that and it's very helpful

1 | in preventing mix-ups.

2 | We actually have had mix-ups between other
3 | conventional products and liposomal products as well,
4 | amphotericin in particular.

5 | So, we have the conventional product and the
6 | liposomal product already on the market for doxorubicin.
7 | The dosing difference is dramatic. The liposomal product
8 | currently is at about 20 milligrams per meter squared per
9 | dose, and the conventional product, more in the area of 60
10 | to 75 milligrams per meter squared per dose.

11 | Now we have the Evacet product which is being
12 | discussed today. As many of you know, the dosing here is
13 | even higher than the conventional product, and so we at
14 | ISMP have a concern that the possibility certainly exists,
15 | since we have this history of mix-ups between the
16 | conventional and liposomal product in the past, that now we
17 | could have mix-ups between the two liposomal products. And
18 | the dosing difference here is so dramatic that it could
19 | actually lead to a patient injury.

20 | We would like to recommend that, if this
21 | product is approved, that along with the product, perhaps
22 | some enhancements of the generic name of the current
23 | liposomal product be considered. However, the United
24 | States Pharmacopeia and the Food and Drug Administration,
25 | to my knowledge, is actually working now to look at the

1 nomenclature of liposomal products in general. I'm not
2 sure how that would affect the current product or the
3 product that is being discussed today.

4 I think there are some things that would be
5 need to be done as far as preventing mix-ups between the
6 two liposomal products in particular in this case. I think
7 the major problem that we see is with the product that is
8 already on the market because if the dose is accidentally
9 given in the higher dose -- in other words, instead of 20
10 milligrams per meter squared, more like 75 or 100
11 milligrams per meter squared is accidentally given -- and
12 this could occur at the physician prescribing level. This
13 could occur at the nursing level. It could occur at the
14 pharmacy dispensing level, which is where we see many of
15 these accidents. There would be a disastrous result
16 potentially.

17 So, we'd like to recommend to FDA that, first
18 of all, of course the nomenclature issue be considered with
19 USP's Nomenclature Committee.

20 But second -- and there is precedence for this
21 -- we would like to see something done, in addition to what
22 is already present on the Doxil container, to further warn
23 about the dosing differences between these products. The
24 precedent is with the amphotericin products now. After
25 several accidents where the liposomal product was ordered

1 and the conventional product was dispensed in the higher
2 liposomal amphotericin dose, the company was good enough to
3 work with FDA and place a stop sign on the conventional
4 amphotericin product which warns against using this without
5 checking the dose appropriately. We would like to see
6 something similar done with the Doxil product. But at the
7 same time, we think that this is unusual and that some
8 education needs to be done as well and other types of
9 reminders.

10 Early on we would like to see even stickers
11 prepared by the companies and ask pharmacists to actually
12 affix these to the containers, anything that can be done to
13 prevent the mix-ups because I'm sure that it will happen
14 without taking proper action.

15 Finally, in the interest of full disclosure --
16 and I immediately recognized this and mentioned it to Dr.
17 Templeton right afterwards my appearance this morning -- I
18 mentioned that BMS does help to sponsor the ISMP medication
19 safety alert. I should also mention that they have in the
20 past helped to sponsor medication error prevention programs
21 that ISMP has done.

22 Thank you very much.

23 DR. SCHILSKY: Next is Margaret Volpe
24 representing the Y-ME National Breast Cancer Organization.
25 Again, please for the record state your name, affiliation,

1 and whether you've received any financial support to be
2 here.

3 MS. VOLPE: My name is Margaret Volpe. I'm the
4 Y-ME D.C. liaison, and we've received no financial support
5 to be here in any way.

6 Thank you for allowing us to submit the
7 statement to the committee. I am here today on behalf of
8 the Y-ME National Breast Cancer Organization to express our
9 position regarding the potential approval of Evacet,
10 liposomal doxorubicin, for the treatment of metastatic
11 breast cancer.

12 Y-ME is the nation's premier source of support,
13 education and information for women diagnosed with breast
14 cancer, their families and communities. Y-ME was started
15 by two women diagnosed with breast cancer 20 years ago and
16 offers two national, 24-hour hot lines in English and in
17 Spanish. In addition, Y-ME has 26 chapters nationwide,
18 numerous publications in adult and teen workshops on the
19 early detection of breast cancer.

20 Y-ME has no financial connection to The
21 Liposome Company.

22 Y-ME believes that women and men diagnosed with
23 breast cancer should have access to as many treatment
24 options as possible. Doctors and patients should have
25 choices. We believe the approval of Evacet will help

1 provide these choices. Therefore, I speak on behalf of
2 women living with metastatic breast cancer.

3 One of the most commonly used agents to combat
4 breast cancer, doxorubicin, also carries a substantial risk
5 of damage to the heart, cardiotoxicity. For this reason,
6 physicians often must limit their use of this drug to
7 suboptimal doses.

8 Based upon the clinical studies presented at
9 ASCO, Evacet represents a safer alternative to conventional
10 doxorubicin while still being as effective against the
11 cancer. The availability of such a treatment would be an
12 important step in our quest for safer chemotherapeutic
13 agents.

14 Quality of life beyond chemotherapy is
15 important. Effective and relatively safe advances towards
16 this end should be an option for women with metastatic
17 breast cancer.

18 Thank you.

19 DR. SCHILSKY: Thank you very much.

20 Next is Laura Meeker, and again please state
21 your name, affiliation, and whether you've received any
22 support to be here.

23 MS. MEEKER: Hi. My name is Laura Meeker. I'm
24 a public servant. I represent myself. I was recruited by
25 my oncologist to come and talk about my own personal

1 | experiences with cancer and with treatment. I have no
2 | financial interests in anything related to this meeting and
3 | have received no financial support whatever from anyone.

4 | I am a 6 and a half year survivor. I'm living
5 | with metastatic breast cancer. I've had way more than the
6 | optimal doses of Adriamycin in an attempt at the beginning
7 | of my diagnosis to wipe out the cancer. Unfortunately,
8 | that treatment had to be stopped without a total remission
9 | because I developed incredible cardiac toxicity. I had
10 | congestive heart failure and cardiomyopathy to the point
11 | where, although I'm a public servant and I've continued to
12 | work during this entire time, there was a year in my life
13 | when I finally got to the office, I would plan my trips to
14 | the ladies' room 50 yards down the hall. I could do about
15 | two of them a day. Everyone came to me. I could organize
16 | my thoughts and deliver good advice to my clients, but I
17 | was basically a vegetable body.

18 | I participated in two years of cardiac rehab
19 | three to four times a week and returned to close to normal
20 | but not close to what I used to be. I was a scuba diver,
21 | an athletic person who loved hiking, and that has not been
22 | a part of my life recently, though I hope it will be.

23 | In addition to that, I had CMF which did
24 | nothing. So, it was the Adriamycin part of this FAC that
25 | treated me successfully and I finally had taxotere which

1 | put me into remission again. Unfortunately, it's back in
2 | my bones, but I'm real happy to be here with bone
3 | metastases. I can live with those.

4 | I'm not a scientist or a medical person, but I
5 | am a person who has been impacted by regular Adriamycin,
6 | both very positively -- it made it possible for me to live
7 | to be here -- and by the side effects of aggressive
8 | treatment which made it harder for me to be here.

9 | I'd love to answer any questions if anybody has
10 | any.

11 | Thank you for the opportunity to be here.

12 | DR. SCHILSKY: Thank you very much.

13 | Finally, we have a statement submitted from
14 | Ellen Stovall from the National Coalition for Cancer
15 | Survivorship.

16 | DR. TEMPLETON-SOMERS: "This is a statement in
17 | support of oral anticancer drugs.

18 | "The undersigned organizations provide
19 | educational advocacy and other services to people with
20 | cancer, their families, and their caregivers. People with
21 | cancer are desperate to have access to new anticancer
22 | medicines, not only for the sake of more effective
23 | treatment, but also in support of better quality of life,
24 | including less toxic and more convenient modes of therapy.
25 | Most current anticancer drugs are accompanied by

1 | potentially serious side effects and because they are
2 | administered intravenously, they require the involvement of
3 | a physician, nurse, or other cancer specialist. While
4 | careful monitoring of patients receiving any type of
5 | chemotherapy is an important factor, intravenous
6 | administration of drugs can, by itself, create a hardship
7 | for patients living in remote, rural areas not directly
8 | served by a cancer specialist.

9 | "The availability of oral anticancer
10 | medications with improved toxicity profiles and efficacy at
11 | least equivalent to intravenous alternatives is an
12 | important step for cancer patients. If an oral compound
13 | under review by the Food and Drug Administration exhibits
14 | efficacy that is undiminished in comparison to the
15 | intravenous drug alternative, demonstration of reduced
16 | toxicity or other contributions to quality of life should
17 | be given great weight in the deliberations of reviewers.
18 | Aside from the benefits of reduced toxicity, quality of
19 | life for cancer patients can be enhanced and should be
20 | valued along with other improvements in care.

21 | "Cancer is a highly individualized disease and
22 | the more treatment options available, the better, so long
23 | as neither safety nor efficacy is sacrificed. Moreover,
24 | patient convenience and quality of life are important
25 | considerations that should argue for the availability of

1 | oral drug alternatives.

2 | "We encourage the Food and Drug Administration
3 | to take these patient oriented concerns into account in its
4 | review of any oral anticancer medications proposed for
5 | marketing approval.

6 | "The National Coalition for Cancer
7 | Survivorship, American Cancer Society, Cancer Care,
8 | Incorporated, Cancer Research Foundation of America, Cure
9 | for Lymphoma Foundation, Kidney Cancer Association,
10 | Oncology Nursing Society, and USTOO International."

11 | DR. SCHILSKY: Thank you. Is there anyone else
12 | who wishes to make a statement to the committee?

13 | (No response.)

14 | DR. SCHILSKY: If not, we'll move directly to
15 | the sponsor's presentation. We are running a bit behind,
16 | but the sponsor will have the full hour available to them.
17 | So, Dr. Lee?

18 | DR. LEE: Good afternoon, Dr. Schilsky and
19 | members of the advisory committee, Dr. Williams and members
20 | of the FDA review team. We're very pleased to be here
21 | today to present the data from the NDA for TLC D-99.

22 | The indication that we're seeking today is for
23 | TLC D-99 for the first-line treatment of metastatic breast
24 | cancer in combination with cyclophosphamide.

25 | The recommended dose with D-99 is at 60 to 75

1 milligrams per meter squared in combination with
2 cyclophosphamide at 600 milligrams per meter squared
3 administered every 3 weeks.

4 After my introduction, Dr. Andy Janoff from The
5 Liposome Company will present a preclinical overview. Dr.
6 Eric Winer from the Dana-Farber Institute will present the
7 need for a less cardiotoxic anthracycline. We will then
8 present an overview of the study designs for the three
9 phase III studies. Dr. Jonathan Alexander from Danbury
10 Hospital and Yale University will present the findings for
11 the significance reduction in cardiotoxicity. We will then
12 present the findings on the preservation of antitumor
13 efficacy. Dr. Jerry Batist from McGill University will
14 present the findings from the clinical safety profile. I
15 will then return to provide a conclusion for the sponsor's
16 presentation.

17 The following consultants covering areas of
18 medical oncology, biostatistics, and cardiology are either
19 participating in the TLC D-99 clinical program or have
20 helped with the preparation of the NDA. Many of them are
21 present with us today and they are available to answer
22 questions.

23 The data presented today will demonstrate that
24 TLC D-99 is safe and effective for the treatment of
25 metastatic breast cancer. TLC D-99 provides clinical

1 benefits to breast cancer patients by improving upon the
2 therapeutic index of doxorubicin. Doxorubicin remains a
3 mainstay for the treatment of breast cancer. However, as
4 we just heard from the public statements, doxorubicin is
5 associated with well documented cardiotoxicity, a dose-
6 limiting toxicity that could be permanently disabling or
7 potentially fatal to patients who are undergoing
8 doxorubicin treatment.

9 Our data will demonstrate that TLC D-99 is
10 significantly less cardiotoxic than doxorubicin. TLC D-99
11 also has significantly less mucositis and diarrhea, acute
12 toxicities which could interfere with the daily activities
13 of patients who are undergoing doxorubicin after every
14 cycle. Importantly, TLC D-99 delivers antitumor efficacy
15 that is comparable to that of doxorubicin.

16 We will now begin our presentation with a
17 preclinical overview by Dr. Andy Janoff.

18 DR. JANOFF: Dr. Lee, good afternoon. It's my
19 job today to give you an overview of our preclinical
20 program and provide you a framework in which to evaluate
21 our clinical data, so I'd like to start, if I could, with
22 the rationale for D-99, which is based on the well-known
23 ability of liposomes to alter the biodistribution of drugs.
24 With this in mind, we set out to design a system that would
25 decrease doxorubicin's cardiotoxicity, decrease its GI

1 toxicity, but maintain antitumor efficacy.

2 Now, to create D-99, we created doxorubicin
3 citrate complex which we anchor securely in the interior of
4 100 nanometer liposomes. We engineer these systems to
5 persist in the circulation which limits the peak
6 availability of doxorubicin to cardiac and GI tissue, but
7 ensures effective delivery to tumor tissue. We don't
8 pegylate D-99, so it doesn't persist in the circulation
9 long enough to extravasate into dermal tissue which is a
10 biodistribution well known to produce palmar-plantar
11 erythrodysesthesia.

12 On the next slide you see after a single --
13 this is a 1.5 milligram per kilogram IV push in the dog.
14 More D-99 relative to doxorubicin persists in the
15 circulation. This is particularly true at early time
16 points.

17 Now, in these studies, we used whole body
18 autoradiography to map the biodistribution of both D-99 and
19 doxorubicin, and the next slide you see that these lines
20 are reversed, less D-99 relative to doxorubicin, is
21 delivered to myocardial tissue. This diminished myocardial
22 exposure to doxorubicin correlated with profoundly
23 diminished cardiotoxicities preclinically as judged
24 histologically, and this was predictive of our clinical
25 data.

1 In a similar fashion, the diminished intestinal
2 mucosal exposure to doxorubicin also correlated with
3 diminished GI toxicities preclinically. Again, this was
4 predictive of our clinical data.

5 Now, to look at the antitumor efficacy of D-99,
6 we evaluated 5 murine tumors, and we found that in each
7 case D-99 was at least as effective as doxorubicin.

8 We also had the opportunity to look at a human
9 tumor xenograft and that data is on the next slide. This
10 is a human mammary carcinoma, and as judged by tumor growth
11 inhibition relative to control, D-99 was at least as
12 effective as doxorubicin.

13 So, in conclusion, in our preclinical program,
14 we were able to show that D-99 reduced the cardiotoxicity,
15 reduced the GI toxicity of doxorubicin without impacting
16 efficacy. And importantly, there was no evidence of PPE in
17 any of our preclinical models, consistent with the fact
18 that D-99 is not pegylated.

19 So, it was against this background with this
20 data that the company made the decision to enter into
21 clinical trials, and you'll hear that data set next in the
22 hour or later on in the hour. But up next is Dr. Winer.
23 He'll discuss the need for a less cardiotoxic
24 anthracycline.

25 DR. WINER: Good afternoon. I just want to

1 spend about 4 or 5 minutes talking about the need for a
2 less cardiotoxic anthracycline in the treatment of patients
3 with metastatic breast cancer.

4 As everyone knows, doxorubicin is an important
5 drug in the treatment of patients with breast cancer, but
6 it is limited by its cardiac toxicity. Doxorubicin results
7 in the generation of iron-mediated intracellular free
8 radicals. These free radicals damage cardiac myocytes.
9 Myocyte damage occurs with each and every dose. It's
10 initially subclinical but ultimately leads to dose-
11 dependent cardiac dysfunction.

12 Cardiac dysfunction is rare, although it occurs
13 occasionally in patients who receive less than a cumulative
14 dose of 300 milligrams per meter squared. Above this dose,
15 it becomes much more prevalent. I think over the past few
16 years we've learned that cardiotoxicity with anthracyclines
17 probably occurs at somewhat lower doses than perhaps many
18 of us thought 5 and 10 years ago.

19 These are data from a trial published by Dr.
20 Swain and colleagues in the Journal of Clinical Oncology
21 two years ago and demonstrate the cardiotoxicity with FAC
22 chemotherapy in patients with metastatic breast cancer. In
23 this slide, a cardiac event refers to either the
24 development of CHF or a substantial fall in ejection
25 fraction. As you can see, at approximately a cumulative

1 dose of 300 milligrams per meter squared, cardiac events
2 become more common, becoming much more common after 400 to
3 450 milligrams per meter squared.

4 These are more recent data. This is a trial
5 published by Chan and colleagues this past summer in the
6 JCO and compared docetaxel and doxorubicin in patients with
7 metastatic breast cancer. A total of 163 patients received
8 doxorubicin in this trial. All patients had baseline
9 determinations of their ejection fraction, a subsequent
10 determination later in the course of the study, and the
11 dose of doxorubicin was actually capped in the study at a
12 little more than 500 milligrams per meter squared.

13 Despite that, there were 6 patients in the
14 trial who developed clinical CHF. CHF developed in the
15 range of 400 to 450 milligrams per meter squared and there
16 were 3 patients who died of this toxicity. I think this
17 just highlights the ongoing importance of this problem as
18 we take care of patients with breast cancer.

19 Now, a less cardiotoxic anthracycline could
20 potentially be beneficial to all women with metastatic
21 breast cancer. I just want to touch for a minute upon a
22 subpopulation, that is, women who have had prior exposure
23 to anthracyclines in the adjuvant setting.

24 These are data lent to me by Jane Weeks at my
25 own institution from the National Cancer Center Network

1 database and demonstrate the number of patients with stage
2 I and stage II breast cancer who are presently receiving
3 anthracycline containing regimens. As shown on this slide,
4 at least within NCCN centers over the course of the past
5 two years or so, 61 percent of patients with stage I breast
6 cancer received an adjuvant anthracycline-containing
7 regimen and over 85 percent of stage II breast cancer
8 patients. Obviously, a lot of women in the early disease
9 setting are receiving adjuvant anthracyclines.

10 Despite adjuvant therapy, at least some of
11 these women will, unfortunately, ultimately develop
12 metastatic disease, and anthracycline may be of benefit at
13 least in some proportion of them. Unfortunately, if a
14 woman has received adjuvant anthracycline therapy, it takes
15 a very limited number of cycles before she reaches a
16 cardiotoxic dose with doxorubicin. And the availability of
17 a less cardiotoxic anthracycline both in this patient
18 population and in the broader patient population
19 potentially provides patients and physicians greater
20 flexibility in making determinations of how long to
21 continue therapy with somewhat less concern about
22 cardiotoxicity.

23 There are other available means to reduce
24 cardiotoxicity in patients with breast cancer who are
25 receiving doxorubicin-based therapy.

1 Dexrazoxane is commercially available. It is
2 approved for use in women who have received cumulative
3 doses of doxorubicin greater than 300 milligrams per meter
4 squared. It does, at least to a limited extent, add
5 toxicity. It is another drug adding on to the regimen. In
6 addition, there have been concerns about possible
7 interference with efficacy.

8 In some centers, prolonged infusions of
9 doxorubicin, such as 96-hour infusions, are used, and these
10 have been shown to reduce cardiotoxicity with
11 anthracyclines. Such infusions require a central catheter
12 and a pump. The bottom line is that outside of a few very
13 specialized centers that have used this kind of approach
14 for a long time, this is not a commonly used practice, at
15 least in the U.S.

16 Now, there are reasons to be even more
17 concerned about cardiotoxicity with anthracyclines now than
18 a few years ago. The trials with herceptin where it was
19 demonstrated that the combination of doxorubicin and
20 Herceptin resulted in a very unacceptable rate of
21 cardiotoxicity highlight this point. In addition, we know
22 that there are women who have been treated with prior
23 doxorubicin and are now receiving Herceptin who developed
24 cardiotoxicity as well. There are certain schedules when
25 doxorubicin and paclitaxel have been combined where there

1 | appears to be excess cardiotoxicity. And these are both
2 | areas -- and I would say particularly the area in terms of
3 | Herceptin -- where future trials are warranted, and in fact
4 | there are future trials that are now beginning to enroll
5 | patients looking at these combinations.

6 | Finally, although in the adjuvant setting,
7 | cardiotoxicity is not a major problem when we cap doses of
8 | doxorubicin at 240 or 300 milligrams per meter squared,
9 | this is still a concern to physicians and a concern to
10 | patients. We really don't have long long-term data in
11 | terms of safety of doxorubicin in this setting, and
12 | ultimately a less cardiotoxic anthracycline has a real role
13 | in trials in the adjuvant setting.

14 | So, having said that, I do believe there's a
15 | role for a less cardiotoxic anthracycline in patients with
16 | breast cancer. I believe there's a role for D-99 in the
17 | treatment of patients with breast cancer, and I say that as
18 | one who participated in these trials and as a clinician who
19 | does this on a daily basis.

20 | Thanks. I want to turn this back over to Dr.
21 | Lee.

22 | DR. LEE: Over 1,000 patients were treated in
23 | the TLC D-99 clinical program. 11 phase I/II studies were
24 | conducted, followed by 4 phase II studies in first-line
25 | metastatic breast cancer. The centerpiece of our

1 submission is three phase III randomized, comparative
2 studies in the first-line treatment of metastatic breast
3 cancer.

4 The four phase II trials conducted in the
5 metastatic breast cancer patients showed a response rate
6 ranging from 43 percent to 73 percent, clearly indicating a
7 high level of antitumor activity. Results from these
8 studies indicate that 60 to 75 milligrams per meter squared
9 provides encouraging safety and efficacy results and,
10 hence, form the basis for the dose regimen to be studied in
11 the phase III program.

12 The objectives and primary endpoints for the
13 phase III programs are to demonstrate that TLC D-99
14 significantly reduces cardiotoxicity while preserving the
15 antitumor efficacy of doxorubicin.

16 Three randomized studies were conducted. Study
17 1 is our pivotal study conducted in combination regimens.
18 Our pivotal trial demonstrates the significant reduction in
19 cardiotoxicity, as well as the preservation of antitumor
20 efficacy.

21 Each of these endpoints were reproduced in an
22 independent study. Study 2 is a single agent regimen study
23 that provides confirmatory evidence for the reduction in
24 cardiotoxicity. Study 3 is another combination regimen
25 study that provides confirmatory evidence for the

1 preservation of antitumor efficacy in combination regimens.

2 I will now provide an overview of the study
3 designs for these three studies and then the data for the
4 two primary endpoints will be presented in subsequent
5 presentations.

6 Study 1 is a study comparing the combination of
7 D-99 plus cyclophosphamide to equal doses of doxorubicin
8 plus cyclophosphamide. Randomization was stratified by
9 prior doxorubicin. Prior adjuvant doxorubicin was allowed
10 up to a maximum of 300 milligrams per meter squared.
11 Patients were to be treated every 3 weeks until disease
12 progression or significant toxicity. No dose escalation
13 was allowed in this study.

14 Patients could not have been treated for the
15 metastatic disease with prior chemotherapy. Patients must
16 have had bidimensionally measurable disease, ECOG
17 performance status of 0 to 2, left ventricular ejection
18 fraction at baseline greater than 50 percent, and no prior
19 history of congestive heart failure.

20 The planned sample size for this study was 288
21 patients. This was based on an 80 percent power to rule
22 out a one-sided difference of 15 percent in response rates.
23 Three interim analyses were planned, but due to rapid
24 enrollment into this study, only the first interim analysis
25 was performed. The study enrolled the full sample size as

1 | planned and the total number of patients was 297.

2 | Study 2 is a study comparing single agent
3 | treatment of D-99 to the same dose of single agent
4 | doxorubicin. The study design was very similar to that in
5 | study 1 except that in this study dose escalation was
6 | allowed at an increment of 15 milligrams per meter squared
7 | up to a maximum of 105 milligrams per meter squared.

8 | Eligibility criteria were identical to that in
9 | study 1.

10 | As in study 1, the planned sample size was 288
11 | patients with three planned interim analyses.

12 | The stopping rules were defined according to
13 | the O'Brien-Fleming stopping rule, with an overall type 1
14 | error of 0.05. It is important to note that this study was
15 | not prematurely stopped. Rather it was stopped per
16 | protocol. At the third interim analysis, the study had met
17 | both protocol-specified endpoints for early stopping. The
18 | interim analysis results were discussed with the FDA and
19 | the agency agreed that the protocol endpoints were met for
20 | early stopping and that it was up to the company to decide
21 | to stop enrollment. After the meeting with the agency, the
22 | sponsor stopped enrollment into the study as called for by
23 | the protocol. The final sample size was 224 patients.

24 | The D-99 clinical program was international in
25 | scope. Study 3 compared the combination of D-99 plus

1 cyclophosphamide to equal doses of epirubicin plus
2 cyclophosphamide.

3 The objective of this study was to demonstrate
4 that antitumor efficacy at equal doses of these treatments
5 was comparable.

6 In this study no prior anthracycline was
7 allowed and patients were treated up to a maximum of 8
8 cycles.

9 With a maximum of 600 milligrams per meter
10 squared, it was expected that there would be a low
11 incidence of cardiotoxicity with both treatment arms.
12 Therefore, cardiotoxicity is not an endpoint in this study.

13 Eligibility criteria were identical to that in
14 study 1 except that no prior anthracycline was allowed.

15 As in the pivotal study, the sample size was
16 288 patients. No interim analysis was planned or
17 conducted. This study was terminated because of resource
18 considerations. The final sample size was 160 patients.
19 The study was stopped without any knowledge of the study
20 results. The integrity of the study was maintained and the
21 outcome of this study was not biased by the early
22 termination.

23 Dr. Jonathan Alexander will now present our
24 findings on the reduction of cardiotoxicity, focusing on
25 results from study 1 and the confirmatory evidence from

1 study 2. Dr. Alexander had reviewed the cardiotoxicity
2 results from these two studies on a treatment blinded
3 basis.

4 Thank you.

5 DR. ALEXANDER: Thank you, Dr. Lee. My name is
6 Jonathan Alexander. I'm a clinical cardiologist at Danbury
7 Hospital and Yale University. It is my distinct privilege
8 to present the reduction in cardiotoxicity data for TLC
9 D-99.

10 As described by Dr. Lee, two phase III trials
11 were designed to determine if TLC D-99 was associated with
12 less cardiotoxicity compared with conventional doxorubicin
13 in the treatment of patients with metastatic breast cancer.

14 The primary endpoint in assessing
15 cardiotoxicity in both trials was a reduction in the left
16 ventricular ejection fraction, as determined by serial MUGA
17 scans. Guidelines developed by myself and the Yale
18 University Nuclear Cardiology Laboratory were used to
19 monitor therapy. These have shown that a drop in ejection
20 fraction can be a preclinical indicator for stopping
21 doxorubicin in an attempt to reduce the severity of
22 cardiotoxicity and limit the incidence of congestive heart
23 failure.

24 Therapy was to be discontinued if the left
25 ventricular ejection fraction fell by greater than or equal

1 to 10 ejection fraction units to a level less than normal
2 or greater than or equal to 20 ejection fraction units
3 within the normal range. If detected, therapy was to be
4 discontinued.

5 Additionally, at each clinic visit, patients
6 were carefully monitored for signs and symptoms of
7 congestive heart failure, and again if detected, it would
8 be stopped.

9 In study 2, the protocol initially required
10 that endomyocardial biopsy be performed after patients had
11 received a cumulative lifetime dose of 425 milligrams per
12 meter squared of doxorubicin. A score of 2.5 or 3 on the
13 Billingham scale, which I will define, required that
14 treatment be discontinued. Approximately 1 year after
15 initiation of this trial and after careful review of the
16 data with the FDA, the protocol was amended to discontinue
17 use of this invasive procedure.

18 All of the participating institutions were
19 required to submit their MUGA studies for standardization
20 to the core laboratory at Yale University with subsequent
21 certification. All MUGA scans were read blinded to the
22 patient's treatment. If the scans were felt to be
23 technically inadequate, they were asked to be repeated. To
24 minimize the risk of congestive heart failure, results were
25 provided to the site prior to the next scheduled dose of

1 anthracycline therapy.

2 In studies 1 and 2, MUGA scans were to be
3 performed at baseline, before the next cycle after
4 exceeding 300 milligrams per meter squared, 400 milligrams
5 per meter squared, before each dosing after 500 milligrams
6 per meter squared, at the end of the study, and 3 months
7 after termination of the study.

8 My role was to review records of all patients
9 reported to have congestive heart failure and those whose
10 left ventricular ejection fraction fell to a level of less
11 than 30 percent. This value was chosen because the
12 incidence of congestive heart failure rises significantly
13 in these patients. Charts were reviewed carefully to see
14 if criteria for congestive heart failure was met.
15 Confirmatory evidence was also reviewed, including results
16 of chest x-rays and echocardiograms.

17 In study 2, endomyocardial biopsies were read
18 blinded to treatment by Dr. Margaret Billingham of Stanford
19 University, who developed the pathologic scoring system
20 used to assess doxorubicin-induced cardiac damage. Grade
21 2.5 is defined as 26 to 35 percent of involvement of the
22 myocytes. These patients have a 10 to 25 percent risk of
23 developing heart failure with an additional 100 milligrams
24 per meter squared of anthracycline. A score of 3 defines a
25 more diffuse cellular injury with greater than 35 percent

1 of myocytes affected. These patients have a greater than
2 25 percent risk of developing heart failure with any
3 additional challenge of anthracycline.

4 In the initial phase of this study, both the
5 biopsy and MUGA scans were obtained at a dose of 425
6 milligrams per meter squared of doxorubicin.

7 Study drug exposure in study 1 is depicted on
8 this slide. Although all of the overall differences in
9 drug exposure were not statistically significant, the range
10 in the D-99 group was higher. In addition, more patients
11 were treated with greater than or equal to 8 cycles of
12 therapy.

13 The prevalence of recognized cardiac risk
14 factors for cardiotoxicity was similar in both arms. These
15 included older age, prior exposure to doxorubicin, cardiac
16 irradiation, and prior cardiac disease. One-third of
17 patients in both arms had one or more risk factors for
18 cardiotoxicity, a finding similar to other patients treated
19 with metastatic breast cancer. The patients with these
20 risk factors form a subgroup at high risk for
21 cardiotoxicity.

22 The relationship between the total cumulative
23 dose of doxorubicin, the incidence of significant left
24 ventricular ejection fraction change, and congestive heart
25 failure is shown for the D-99 and doxorubicin group in this

1 slide. 21 percent of the patients receiving doxorubicin
2 had protocol-defined cardiac toxicity compared with 6
3 percent in the D-99 arm. This occurred despite the higher
4 cumulative doses of doxorubicin in the D-99 arm. In
5 addition, there were 5 cases of congestive heart failure
6 with doxorubicin, none with D-99.

7 It's important to note that the majority of the
8 change in ejection fraction, as well as the congestive
9 heart failure, occurred in a dosage range of between 300
10 and 500 milligrams per meter squared, a dosage range
11 frequently used to treat patients with metastatic breast
12 cancer.

13 The difference between the estimated median
14 cumulative lifetime dose of doxorubicin at the first
15 occurrence of protocol-defined cardiac toxicity was
16 statistically significant, as shown on this Kaplan-Meier
17 analysis with a p value of .0001.

18 The hazards ratio of 5 indicates that patients
19 treated with doxorubicin were 5 times more likely to
20 develop cardiac toxicity than those treated with D-99.
21 Cardiac toxicity was first evident at between 300 and 400
22 milligrams per meter squared of doxorubicin where the
23 curves begin to separate.

24 In single-agent study 2, both arms received a
25 median of 4 cycles of therapy. There was a significantly

1 higher range in the D-99 group, and a significantly greater
2 portion of patients were treated with greater than or equal
3 to 8 cycles in the D-99 arm.

4 The prevalence of cardiac risk factors was
5 again noted to be similar in both arms, as shown in study
6 1. Up to 50 percent of patients in this study had one or
7 more cardiac risk factors for cardiotoxicity, again
8 representing a high risk group.

9 A similar analysis comparing the two arms with
10 regard to cumulative dose of doxorubicin, significant
11 change in ejection fraction, and incidence of congestive
12 heart failure is shown here for study 2. The difference
13 between the 28 percent in the doxorubicin arm and 13
14 percent in the D-99 arm was statistically significant. 9
15 patients with doxorubicin had heart failure, only 2 with
16 D-99. Cardiac toxicity tended to occur at higher
17 cumulative doses of doxorubicin in the combination trial,
18 which is a finding consistent with doxorubicin's labeling
19 which cites an increased risk of cardiotoxicity when
20 doxorubicin is given with cyclophosphamide.

21 As in study 1, a Kaplan-Meier analysis for the
22 estimated median cumulative lifetime dose of doxorubicin at
23 the first occurrence of cardiac toxicity was performed, and
24 the difference between the two groups is statistically
25 significant.

1 The hazards ratio of 3.7 indicates that
2 patients receiving doxorubicin were over 3 and a half times
3 more likely to develop cardiotoxicity compared with D-99,
4 and in these curves, the separation is at about 450
5 milligrams per meter squared when cardiotoxicity was first
6 seen.

7 Before amending the protocol to delete the
8 requirement for endomyocardial biopsies, 36 patients, 19
9 receiving D-99 and 17 receiving doxorubicin, qualified for
10 the procedure. 70 percent of the patients with doxorubicin
11 had scores of 2.5 or greater in contrast with 5 in the D-99
12 arm. Importantly, none of the D-99 patients had grade 3
13 cardiac toxicity.

14 Thus, determined from either a functional
15 standpoint using MUGA scans or with endomyocardial
16 biopsies, it appears that D-99 is significantly less
17 cardiotoxic compared with conventional doxorubicin. These
18 two differing methodologies support the same conclusion.

19 A meta-analysis of the high risk group of
20 patients, those with one or more cardiac risk factors, was
21 performed. On this Kaplan-Meier analysis, the difference
22 between the D-99 group and the doxorubicin group was
23 statistically significant with a p value of .0001. In this
24 high group of patients, those receiving doxorubicin were
25 greater than 6 times more likely to develop cardiac

1 toxicity compared with D-99, using the hazards ratio.

2 In the third phase III trial comparing D-99 to
3 epirubicin, cardiac toxicity was not a primary endpoint
4 since it was anticipated that these anthracyclines would be
5 relatively cardiac sparing when capped at a dose of 600
6 milligrams per meter squared. Indeed, as shown here, 12
7 percent of the patients with D-99, 10 percent with
8 epirubicin, developed a significant change in ejection
9 fraction as determined by echocardiography. No patient in
10 either group developed congestive heart failure.

11 Hazards ratio on the corresponding 95 percent
12 confidence limits for studies 1 and 2 are presented here.
13 There's a highly statistically significant difference in
14 cardiac toxicity with a p value of .0001 for study number 1
15 and .0002 for study number 2. The hazards ratios,
16 indicated here between 3.7 and 5 again, indicate that
17 patients treated with doxorubicin were 3.7 to 5 times more
18 likely to develop cardiac toxicity than those treated with
19 TLC D-99.

20 A review of the entire database of 542 patients
21 with predominantly solid tumors who were treated with D-99
22 at a starting dose of less than 100 milligrams per meter
23 squared was reviewed. 8 patients, or 1.5 percent,
24 developed congestive heart failure. As shown here, it is
25 estimated that the cumulative dose of TLC D-99 was

1 associated with a 5 percent risk of congestive heart
2 failure with 780 milligrams per meter squared. With
3 doxorubicin, it's estimated to occur at 400 milligrams per
4 meter squared.

5 In conclusion, data from two randomized, well-
6 controlled clinical trials reproducibly demonstrate that
7 D-99 compared with the same dosing schedule of doxorubicin
8 affords a significant reduction in cardiac toxicity as
9 defined by functional criteria using MUGA scans, incidence
10 of congestive heart failure, or by myocardial biopsy
11 scoring.

12 Thank you for your attention.

13 Before I turn it over to Dr. Lee, I'd just like
14 to take a moment. As a clinical cardiologist who
15 interfaces with many of the patients who are at risk for
16 cardiotoxicity or who develop it, the prospects of having a
17 drug that will limit the cardiotoxicity is very exciting,
18 undoubtedly resulting in a significant decrease in the
19 medical burden to the patients, with less cardiac
20 medications, less need to interface with cardiologists,
21 reduced need for hospitalizations, and a significant
22 reduction in the morbidity associated with this disease.

23 DR. LEE: I will now present the results for
24 the antitumor efficacy of TLC D-99.

25 All three phase III studies were designed as a

1 non-inferiority study for the efficacy endpoints. Since
2 we're comparing a test drug to standard known therapies,
3 the primary goal is to ensure that there is no loss in
4 antitumor efficacy. The protocol-defined primary efficacy
5 endpoint is response rate, and the protocol-defined test of
6 non-inferiority is a one-sided test to rule out a
7 difference of 15 percent with a type 1 error of 0.05. This
8 specification of the primary endpoint and the analysis
9 criterion was agreed with the FDA at the end of phase II
10 meeting and was specified in the protocol.

11 In my presentation, I will also present the
12 more stringent criteria of the two-sided 95 percent
13 confidence interval for the difference in response rates.
14 The data will show that the response rates in all three
15 studies met the criterion of 15 percent delta and also the
16 more stringent criterion of 10 percent delta. The FDA
17 analysis that you will see for the response rate is based
18 on relative risk.

19 Other protocol-specified secondary endpoints
20 include duration of response, time to progression, time to
21 treatment failure, and overall survival. To evaluate non-
22 inferiority, the lower one-sided 95 percent confidence
23 limit of the hazards ratios for these parameters should be
24 greater than .75 if significant reduction in cardiotoxicity
25 is demonstrated, as in studies 1 and 2, and should be

1 greater than .80 if there is no difference in
2 cardiotoxicity, as in study 3. In my presentation, I will
3 once again present the more stringent two-sided 95 percent
4 confidence limits.

5 Study 1 compares the combination treatment of
6 D-99 plus cyclophosphamide to equivalent doses to
7 doxorubicin and cyclophosphamide. The objective response
8 rates in disease progression results presented here are
9 based on the treatment blinded assessment by Dr. Joyce
10 O'Shaughnessy. Objective response is defined by the WHO
11 criteria lasting at least 6 weeks. Disease progression is
12 defined as an increase of 25 percent in any lesion or the
13 appearance of new lesions. Time to progression is defined
14 as the documented disease progression, as defined here, or
15 death within 6 months of last dose. This criterion and
16 this definition of time to progression was agreed upon with
17 the FDA review team.

18 Patients were well balanced with respect to
19 baseline characteristics. 10 percent of the patients in
20 each treatment group had received prior adjuvant
21 doxorubicin. The objective response rates were 44 percent
22 versus 43 percent. The p value to rule out the 15 percent
23 delta was highly statistically significant and the results
24 also fulfilled the criteria of no more than 10 percent
25 delta as evidenced by the two-sided 95 percent confidence

1 | limit. There was no difference in duration of response.

2 | There was also no difference between the two
3 | treatment groups in time to progression. The hazards ratio
4 | that is presented here, as well as in all of the subsequent
5 | presentations, are expressed with D-99 as the denominator.
6 | A hazards ratio of 1 indicates that there is no difference
7 | between the two treatment groups and a hazard ratio of
8 | greater than 1 favors D-99. The hazards ratio shown here
9 | is 1.07. As you can see, the lower limit of the one-sided
10 | as well as the two-sided 95 percent confidence limit
11 | fulfilled the criteria for non-inferiority.

12 | Time to treatment failure is a composite
13 | endpoint considering both the efficacy and the safety
14 | component of treatment. Treatment failure is defined as
15 | the first onset of progression, cardiotoxicity, or off
16 | study due to adverse events. As can be seen in this
17 | analysis, D-99 is associated with significantly longer time
18 | to progression. The p value is 0.04, and the hazards ratio
19 | is 1.32.

20 | There was no difference between the two
21 | treatment groups in overall survival. Overall survival was
22 | 19 months for the D-99 treated patients versus 16 months
23 | for the doxorubicin treated patients. The hazards ratio is
24 | 1.04 and the lower limit of the one-sided confidence
25 | interval was .80.

1 Just as a note of information, the numbers that
2 you will see in the survival analysis in the list of
3 questions that were handed out by the FDA will be slightly
4 different from the ones that you will see presented by me.
5 The difference is because the FDA numbers were based on a
6 previous older data set. All of the other parameters that
7 you will see from both sides are based on the updated
8 latest data sets which were submitted to the agency in
9 April of this year.

10 Quality of life is always important to
11 patients, particularly for women who have metastatic breast
12 cancer. Quality of life information was collected in this
13 study using two instruments. The patient's self-assessment
14 of symptoms based on a 10 centimeter visual analog scale
15 where patients were asked to rate the cancer related pain
16 as well as the other kinds of related symptoms.
17 Additionally, an EORTC quality of life questionnaire
18 consisting of 30 questions was also administered.

19 There were no major differences emerging from
20 the EORTC questionnaire, but there were some very
21 interesting and consistent findings observed from the
22 patients' self-assessment. There was pain reduction for
23 both treatment groups during the course of treatment as
24 evidenced during the first 5 cycles where more than 50
25 percent of the patients were still on study. The

1 difference between D-99 and doxorubicin was statistically
2 significant at cycle 3 and 4 in favor of the D-99 treated
3 patients. Furthermore, D-99 patients also reported
4 significantly less other cancer related symptoms at cycles
5 2, 3, and 4.

6 A summary of the efficacy results for this
7 pivotal trial is presented here. D-99 fulfilled the
8 protocol-defined criteria of demonstrating non-inferiority,
9 as well as the more stringent criteria of the two-sided
10 tests. Importantly, it is interesting to note that all the
11 hazards ratios for the time to event parameters were
12 greater than 1 in favor of D-99 and there was a
13 statistically significant difference in time to treatment
14 failure in favor of D-99, a reflection of the comparable
15 antitumor efficacy and improved safety profile for TLC
16 D-99.

17 In summary, this pivotal trial demonstrates
18 that the antitumor efficacy of D-99 plus cyclophosphamide
19 is comparable to that of doxorubicin plus cyclophosphamide.

20 Study 2 compared single-agent treatment of D-99
21 to doxorubicin. The efficacy endpoints and defined
22 analyses in this study are identical to that of the pivotal
23 study 1. Objective response in disease progression were
24 assessed on a treatment blinded basis by Dr. Joyce
25 O'Shaughnessy.

1 The treatment groups were well balanced in
2 baseline characteristics. The only notable difference is
3 the higher proportion of patients in the D-99 treated group
4 with a negative progesterone receptor status.

5 Response rate is identical between the two
6 treatment groups, 26 percent in each arm. The results
7 fulfilled the protocol-defined test to rule out a 15
8 percent delta and also the more stringent criteria to rule
9 out a 10 percent delta. The lower limit of the 95 percent
10 confidence interval was minus 9 percent. There was no
11 difference between the two treatment groups in duration of
12 response.

13 I would like to note that in the original
14 protocol, the protocol assumed a response rate of 60
15 percent, which in retrospect was unrealistic for single
16 agent treatment. This observed response rate in the 20
17 percent range was actually more in line with what is
18 recently reported in the publications and in the
19 literature, and the study still fulfilled the protocol-
20 defined criterion of non-inferiority.

21 There was no difference between the two
22 treatment groups in time to progression. The hazards ratio
23 is .91 and the lower bound for the 95 percent confidence
24 limit was .70.

25 There was also no difference in time to

1 treatment failure. The hazards ratio is 1.21, and the
2 lower limit for the 95 percent confidence was .94.

3 There was a nonsignificant trend towards the
4 difference between the two treatment groups in overall
5 survival. The separation of the curves began at around 12
6 months after the start of treatment. The hazards ratio is
7 .76, and the one-sided 95 percent confidence limit was .58.

8 The most appropriate analysis is the protocol-
9 specified, protocol-defined analysis. We did conduct some
10 exploratory analyses to try to understand the reason for
11 the survival findings. Exploratory analyses were conducted
12 including stratification factors, center effect, and
13 covariate adjustments with prognostic factors. The result
14 shows a spectrum of summary statistics with p values
15 ranging from .06 to .19, suggesting that these summary
16 statistics are sensitive to the models and factors
17 included. We do recognize that these are all post hoc,
18 unplanned analyses and are exploratory in nature.

19 We do not fully understand the reason for the
20 difference in survival. However, there are a few important
21 points that I would like to bring to your attention. There
22 is no difference in survival during the first year between
23 the two treatment groups. You may recall that the median
24 duration of treatment in the study was 4 cycles, about 3
25 months of treatment. The timing at which the separation of