

1 agents. New drugs in development--they should be encouraged
2 if they show activity against resistant virus so, on the
3 preclinical level, just to reinforce what you said,
4 characterization of resistance at baseline and, importantly,
5 activity against clinical isolates that already have
6 resistance would be important to demonstrate.

7 DR. FLETCHER: Just a comment on the clinical
8 drug-development side, at least as you being Phase I
9 studies, there it would seem to me that the opportunities
10 for use of phenotypic assays could become very important in
11 terms of trying to integrate, as Dr. Mellors and others
12 said, that information on how susceptible the virus is with
13 what concentrations are actually going to be achievable in
14 the body.

15 To state the obvious, you can have the most
16 sensitive virus but, if you can't reach effective
17 concentrations, it is not really going to matter. I think a
18 converse could be plausible as well--that is, you could have
19 an intermediately susceptible virus but the concentrations
20 that you can achieve in the body are so several-fold higher
21 that you could have activity in that setting.

22 So I think there, in the Phase I environment, that
23 integration between phenotype and pharmacology could be a
24 real role for that. Just lastly, what will need to be
25 sorted out is what is really important quantitatively about

1 the phenotype. Is it the IC50? Is it IC95? Is it
2 multiples of the IC95 that one needs to use there?

3 DR. HAMILTON: A nuts-and-bolts question that I
4 think has some implications for preclinical-trials design
5 and that has to do with several points that I think Doug
6 Richman raised having to do with what seemed pretty
7 sensitive levels of detection of mutants for both the
8 genotypic and phenotypic assay.

9 If I understood him correctly, it was between 95
10 and 99 percent for phenotypic versus genotypic assays. Does
11 that mean, actually, that above a thousand copies, let's
12 call it, that the level of detection is the same at all
13 levels or would one need, actually, to stratify based upon
14 viral load when using this methodology?

15 DR. RICHMAN: If I could clarify that a bit. The
16 assays that are based on amplification of RNA from plasma,
17 and this applies both to the genotype and the phenotype
18 assays--what they are doing is analyzing the population of
19 the amplified material so that, at most concentrations of
20 plasma RNA, it really doesn't make a difference if you are
21 starting with 10,000 or 100,000; you are looking at sort of
22 the consensus or majority population.

23 The issues; it depends upon the specificity of the
24 assay. I showed examples of both phenotype and genotype,
25 that maybe some situations for a particular drug or a

1 particular codon, 20 percent of resistant is enough to show
2 the difference. Sometimes it maybe 50 or 60. That depends
3 on the drug and the codon.

4 That is really independent of the virus load.
5 Where an exception occurs is at the very lowest
6 concentrations. There you can have what we call a founder
7 effect. If you happen to get a positive amplification from
8 someone with a hundred copies, the source for that
9 amplification could, just by chance, be a single RNA
10 molecule.

11 So, at the very lowest concentrations of RNA, you
12 can get founder effects and stochastic-effect data. But, in
13 general, from a practical point of view, what we do is we do
14 RNA assays to define whether someone has succeeded or failed
15 the treatment and then someone who has failed is someone who
16 then gets a drug-resistance assay for either evaluating a
17 drug or for managing a patient.

18 For most of those patients, we don't start doing
19 these assays when they have got 200 copies. But that is a
20 potential risk.

21 DR. HAMILTON: Doesn't that, then, make
22 quantification difficult over time when you are evaluating
23 longitudinally the emergence of resistance if, in fact, what
24 you are looking at is an amplicon and not a total load of
25 resistant virus?

1 DR. RICHMAN: I think what I tried to say is once
2 you are up in the thousands or more, what you are looking at
3 is reflective of this complex mixed population. Now, that
4 doesn't deal with the fact that that complex mixed
5 population is changing over time, too. That is true as
6 well.

7 So you may get a different result when someone is
8 at 2,000. If you keep treating them and they go up to
9 200,000, the result may change because the population has
10 shifted as well.

11 DR. STANLEY: I want to go back and build a little
12 bit on what Dr. Gulick said and break this again, as you
13 suggested, Dr. Hammer, into the preclinical and the
14 clinical. Clearly, resistance testing and drug interactions
15 are not going to predict in the individual patient but I
16 think we need to decide, preclinically, what do we want to
17 know, in vitro, about these drugs before we then go to
18 Phase I trials and can go better pharmacokinetic studies and
19 look at interactions.

20 As Dr. Gulick said, if, in your in vitro studies,
21 your early preclinical development, you are able to pinpoint
22 some advantages that this agent may have over certain
23 resistant strains or whatever, then, as you move into
24 Phase I, you can start to do the pharmacokinetics to
25 understand the drug interactions and also any resistance

1 advantage.

2 So I think I still would advocate for some drug
3 studies on panels and resistance and combinations in the
4 preclinical in vitro setting.

5 DR. HAMMER: We need to move on to the second
6 question. Are there any other comments? Just for the
7 agency's sake, maybe just to help summarize as we go
8 question-by-question, first I think, as was stated earlier,
9 two of the last slides that Dr. Richman showed really answer
10 the first question pretty much as was summarized by the
11 committee.

12 When asked about the relative strengths and
13 limitations of genotype versus phenotype, I think we saw
14 them--for the purposes of drug development, both are
15 important. The genotypic profile of each drug that comes
16 through, in vitro needs to be classified.

17 We need to know whether it is multiple step
18 resistance or whether single-step high-level resistance and
19 what the phenotypic correlations in vitro are. We already
20 talked about looking at a new drug that has activity against
21 a defined panel of isolates in vitro.

22 On the clinical side, one is interested, of
23 course, in what the emergence of resistance will be to that
24 drug, recognizing, again, that this will be in combination
25 with other agents and so there is a challenge there because

1 of what may be effect of other agents on the combination.
2 But at least one can try to determine the pattern and also,
3 as Dr. Mathews said, I think, on some of the clinical-
4 development side, and this subsumes Dr. Gulick's comments
5 too, an agent that looks good against resistant isolates
6 should be potentially tested prospectively in populations
7 where you define, up front, those populations.

8 I think, to echo Dr. Mathews, when one knows that
9 isolates are resistant to a particular agent and that will
10 threaten a combination doing prospective studies that
11 eliminate patients who would be potentially harmed by that
12 combination being suboptimal, should be more and more a part
13 of the developmental process.

14 So I think, basically, on the clinical side, it is
15 resistance emergence which, I think, is part of a lot of
16 profiles but prospective resistance at baseline, et cetera,
17 is important. Then, also, one can think about management
18 and strategic studies along the way but I think that may be
19 best discussed tomorrow.

20 Anything else you want on this question?

21 DR. HAMILTON:

22 DR. MURRAY: I wonder if anybody could comment on,
23 perhaps, using one type of genotypic assay over another
24 early in clinical development when you are screening
25 virologic failures for the presence of mutations. Would you

1 want to use, like, a hybridization technique where you might
2 detect lower numbers, or a lower percentage of mutant
3 mixture, to have a more sensitive screen for resistance
4 popping up with that drug on what you have predicted
5 preclinically? Or could that data be, actually, misleading?

6 DR. HAMMER: I think I will tackle that because I
7 think when you are dealing with a new drug in a new
8 combination, as I think Dr. Richman outlined, you don't know
9 what the outcome is going to be and, therefore, I think you
10 need a dideoxy method that sequences the region of interest
11 because, if you are not smart enough to know in advance what
12 to look for, you will miss it with an assay that
13 interrogates certain regions.

14 My personal feeling is that, in looking for
15 emergency of resistance in vivo, once a drug hits the
16 clinical-research side early and in different combinations,
17 that you really need to look at the regions of interest--RT
18 and protease is what we are interested in today--and make
19 assessments as to the relevance of those mutations.

20 Then one can go back to the laboratory with what
21 one sees and prove, or disprove, what those mutations may do
22 to an isolate in vitro. However, I think a point in favor
23 of the assays that interrogate certain regions, once you
24 have defined, for example, perhaps a key mutation, those are
25 very helpful assays to look at baseline to see whether

1 somebody has or has not that particular mutation and then
2 randomize on the basis of that.

3 Therefore, a more sensitive assay even helps you
4 with your randomization scheme. So I think it depends,
5 really, on the nature of the study design as to which assay
6 is better. But I think when you don't know what the answer
7 is going to be, you should not be doing an assay that goes
8 just after codons of known, previously determined,
9 resistance.

10 Anybody want to disagree? Dr. Mayers?

11 DR. MAYERS: I wouldn't disagree. I would just
12 extend that even further to the point that the isolates that
13 have been selected in the preclinical development programs
14 for resistance have, not infrequently, not been the isolates
15 that you actually see in the clinic so that I think you have
16 to look very carefully in your Phase I program to see what
17 the failure mutations are in your patients before you could
18 even consider doing that.

19 The problem is going to be, as you start combining
20 these drugs into regimens, you can shift to new patterns
21 that you didn't anticipate before. So I think you are sort
22 of stuck, at least in the understanding of what is happening
23 in your trials, in doing something that screens the whole
24 genomic interest.

25 DR. HAMMER: We will let Dr. Pomerantz have the

1 last word on this first question.

2 DR. POMERANTZ: Thank you. I just wanted to point
3 out something that I had talked about before and that is, if
4 you are going to look at phenotypic resistance and correlate
5 it with genotypic assay as you are asking which assay, I do
6 agree that you should sequence it up front. But I think it
7 is going to be even more complicated than that and that
8 there are groups of viruses that have their resistance that
9 may vary based on context dependency, which is a term that
10 John Condra taught me a while back.

11 It is not only within a gene but there are a
12 variety of other genes in HIV that affect protease and, in
13 particular, affect reverse transcriptase. So, if you are
14 not going to sequence that gene, you may actually need to
15 look at other genes besides that. Nucleocapsid is important
16 for template switching and reverse transcription.

17 There are differences. There are differences.
18 There are integrase effects. The integrase gene affects
19 reverse transcriptase. So it is going to get somewhat even
20 more complicated and I think you are going to need the
21 baseline values of sequencing at least that gene of
22 interest.

23 Protease not only has mutations in protease but,
24 as you know, there are PR cleavage sites that there are
25 mutations in that may become more and more important. so I

1 would definitely agree with sequencing them up front as a
2 genotypic assay because you are going to need that as you
3 move to the more complex molecular interactions.

4 I see Dr. Mayers shaking his head, so I would be
5 very strong on that.

6 DR. RAMMER: Thank you.

7 We will move on to the second question which we
8 have already sort of broached a bit with Dr. Murray's
9 question; what studies are needed to further define
10 performance characteristics of available assays in order for
11 them to be useful in drug development.

12 I think no one disagrees with the fact that they
13 are useful in drug development. It should be there. I
14 think this is the issue of where we need to move from the
15 current state of the art as was described this morning.

16 DR. KUMAR: I have a practical issue to raise
17 regarding this. Most assays currently available need to
18 have a viral load greater than 1,000. So, for clinical
19 trials, right now, the problem that they are facing is **as**
20 soon as a patient fails, we withdraw the patient from the
21 clinical trial. That is usually when we define failure; in
22 most clinical trials, it is greater than 400 copies.

23 And then we move them on to another regimen. And
24 so we really lose a window of what to do with these patients
25 because we have withdrawn them and we have given them

1 another antiretroviral regimen. So that is something that I
2 would like to raise here to see what will we do with this
3 information that will clearly be lost.

4 DR. HAMMER: I would just say that this is, a), a
5 moving target and already the manufacturers are moving down
6 and have some data to suggest that they can get down to 500
7 copies. It is not as reproducible as a thousand or more
8 copies, but, like everything else in assay development in
9 HIV, this is moving and will be different in six months and
10 twelve months than it is now.

11 MR. HARRINGTON: That raises something that I
12 wanted to mention before which is there is a difference
13 between fitness and virulence. That is particularly clear
14 in studies like Steven Deeks where those patients are only
15 partially suppressed but there is some virus around their
16 CD4, there is a disconnect between their CD4 and their
17 virus.

18 So if the clinical trials are just taking everyone
19 off who fails, then we are not really looking at what is
20 happening out in the real world.

21 One other thing that is, I think, very interesting
22 clinically is what is the relative fitness and virulence of
23 the resistant phenotype because, if the 3TC-resistant virus
24 is replicating very well in people but it doesn't replicate
25 very well in the lab assay that Doug showed, you have to

1 actually have a lot more 3TC-resistant virus than protease-
2 resistant virus.

3 So that would something else that might be very
4 useful clinically to know which is the disconnect or what is
5 the relative fitness versus the relative virulence of the
6 resistant phenotype of the given virus.

7 DR. KUMAR: I want to come back to this issue
8 because this is an issue that we continue to face while we
9 do clinical trials. Invariably, written within these
10 protocols, as it is written right now, for most protocols,
11 is if the viral load goes about 400, we withdraw patients
12 from these protocols.

13 So I really want to raise that issue here to see
14 what to do with this disconnect that we are seeing.

15 DR. HAMMER: I think that is a slightly separate
16 topic about the strategic issues of clinical-trial endpoints
17 but I would make a general comment that, although the
18 failure endpoint, whether one chooses 400 copies or
19 whatever, is quite a valid endpoint depending upon the study
20 design. Many studies, although they call that an endpoint,
21 don't necessarily mandate a switch at that point. It is up
22 to a physician and patient to determine whether one moves to
23 a second regimen or not.

24 It varies study to study, but the mandatory switch
25 is not necessarily a part of every regimen. I think the

1 point that you are raising for this morning's discussion is
2 the window of knowing, irrespective of what you do
3 strategically at the next step, is defining what the
4 genotype or phenotype of the virus is at the time of the
5 virologic endpoint.

6 So I think, if I may interpret, the point you are
7 raising is what we need, and what you would like to see, is
8 greater sensitivity at the levels of 400 copies and even
9 above 50 copies to be able, actually, to define the profile
10 of the viruses that patients are failing with and
11 correlating that with the endpoint, whether that is first
12 failure or multiple failure.

13 We know that one can be surprised, if not
14 intrigued, by what the results are when one looks in those
15 failure populations as to whether it is adherence or drug
16 resistance or whatever.

17 So I think, if I may infer, what you are saying is
18 we need greater sensitivity at lower copy numbers to
19 eliminate the disconnect between the virologic endpoints and
20 the threshold for amplification that we currently see today.

21 DR. STANLEY: When I try to answer this question
22 when it comes to the genotypic studies, aside from needing
23 more sensitivity, as you just discussed, I guess if I
24 understand Dr. Richman's data, I have a little bit of
25 difficulty because, when he showed the comparison of the

1 laboratories and how much they got things right, he made the
2 statement that the success or failure was independent of the
3 kit that was used, that it really depending on the quality
4 of the lab.

5 So I think that is going to be critical and who is
6 doing the preclinical testing or who is doing the drug
7 development, how do you assess the quality of the lab as
8 opposed to the individual kit, if I am understanding the
9 data.

10 DR. HAMMER: You can hold that for question No. 3,
11 what quality-control data are needed. You are leading us
12 right into the next question.

13 DR. MAYERS: An issue that I think is going to
14 come up with the committee is going to be how to interpret
15 results done by different companies for different drugs.
16 There has got to be some effort made to get a comparison of
17 what the expected range of normal would be and what, for
18 certain type isolates, the expected range for an assay done
19 by one company versus an assay done by a second company
20 versus, perhaps, a PBMC-based assay would show you because
21 it isn't clear that the ten-fold difference for one company
22 would be a ten-fold difference for another company or that
23 that would relate in any way to a PBMC assay.

24 So I think there is going to have to be some
25 effort to define what those ranges are for the different

1 assays. It may be drug-specific.

2 DR. HAMMER: One thing to ask in that regard is,
3 certainly when you only have a single isolate from an
4 individual in a trial, you have nothing to relate it to
5 except the control that the assay uses in a phenotypic
6 assay. But one thing to also think about is having
7 sequential assays from the same patient which provides not
8 only more real baseline information than what is available
9 in the clinical trial but what the sequential changes are
10 related to an individual's isolate.

11 More and more, that information could be, and
12 perhaps should be, part of packages that one sees where it
13 is definable and would help answer that question that you
14 have got.

15 DR. YOGEV: Maybe one would like to see resistant
16 in vitro really mean in vivo. We define resistance and in a
17 certain situation, we try to use the genotype getting nice
18 phenotype--those blue marks and everything--and it didn't
19 work in real life.

20 So I was wondering how to define what we call
21 resistance; for example, a phenotype of eight-fold. What
22 does that mean in a certain situation? Just to reflect of
23 what was allowed by Dr. Masur before, it is into
24 microbiology. We know for a fact that what is called
25 resistant for a certain number of bacteria in the blood is

1 completely irrelevant to what is happening in the CNS let
2 alone the penetration of the drug.

3 But you need much more of the drug when you have
4 much more of the virus in certain areas. I don't think that
5 we understand what resistance really means defined in vitro.
6 So I would like to see, in the performance, in vivo
7 correlates to that specific definition so that we can accept
8 it.

9 I think the best example is AZT. If you have one
10 mutation, it is two-fold. If you have two mutations, it is
11 four-fold. This is the gray area that one should define a
12 little better by the range of pharmacological data in a
13 certain area versus the correlate of the in vitro. So,
14 basically, I am asking for an in vivo definition.

15 DR. HAMMER: That raises the question, again, and
16 I think, also, as Dr. Mellors mentioned, that the drug
17 exposure is the key issue and we need to be able to
18 interpret, at some point, IC50s and IC90s in relation to
19 achievable drug concentrations in vivo and whether it is
20 Cmin, Cmax, area under the curve, whatever, that is really
21 the exposure characteristic that is important.

22 That may be drug-class specific and even drug
23 specific. But one would hope, I would think, as this
24 process moves forward, that a database will develop of what
25 achievable drug concentrations are and what is important.

1 We already have some data about trough protease inhibitor
2 levels being predicted, for example, and trying to relate
3 certain achievable drug concentrations to a particular fold
4 change in drug.

5 Whether this also means therapeutic drug-level
6 monitoring is going to be important for certain drugs and
7 should be available is the topic of another symposium.

8 DR. YOGEV: I agree with your statement. I just
9 am raising also the issue of the amount of the virus. We
10 all are taught that what you have in the blood is 100 or
11 1,000 or what is in the lymph node or in other issues. I
12 would like to see a correlation of resistant to the amount
13 of the virus.

14 So I know, for example, that drug is called
15 resistant when I tested it at 10,000 as well as at
16 2 million. So, I think, on the top of the pharmacokinetic,
17 I am talking about the virus, itself; the test done in a
18 defined number of viruses is appropriate to the in vivo just
19 on the virus amount.

20 DR. HAMMER: I think Dr. Richman answered this
21 before and he may want to comment again that at levels of,
22 certainly, in the several thousand and above range, that the
23 amplification techniques from plasma are relatively
24 representative of the population, at least the lymph-node
25 population that is most immediately reflected in the plasma

1 and that, as you get to the very low copy numbers, then you
2 are at risk of the population.

3 DR. MAYERS: I would extend the previous comments
4 even further. The cut points, and that is the real
5 challenge to the industry and the phenotyping companies
6 right now, is what is the upper limit of normal for your
7 drug and where is the break point for your drug where you
8 lack of clinical responsiveness.

9 They have got to define those break points by
10 drug, not with an arbitrary relation to a one-virus
11 standard. So I think that is going to be the real challenge
12 is what is normal, what is the break point that defines lack
13 of activity in the clinic. And then you have your three
14 ranges. We don't have those for any drug at this point.

15 DR. HAMMER: Are you suggesting that we need an
16 NCCLS-like apparatus for HIV drug-resistance testing?

17 DR. MAYERS: I think we need a thought process
18 like NCCLS in this. There has to be a relationship between
19 your break points and the clinic. Right now, those
20 arbitrary break points are not that standard and we don't
21 have the data. The only way we are going to get the data is
22 if the companies acquire it during the drug-development
23 process.

24 DR. HAMMER: Other comments on question 2?

25 DR. MATHEWS Again, dealing with clinical trials

1 and experienced patients, it seems like there needs to be
2 some standardization of the background therapies in
3 relationship to when the baseline resistance assays are
4 done; in other words, a cross-sectional estimation of what
5 the resistance is conditioned on, whether the patient has
6 been unstable or antiretroviral therapy for eight weeks,
7 four weeks, has had a washout period, all those kinds of
8 things.

9 So if you are trying to correlate response based
10 on a given mutational pattern, it may be completely
11 uninterpretable if there is a great deal of heterogeneity
12 across trials trying to evaluate the same drug or the same
13 regimen and those factors.

14 DR. GULICK: Just to add on to that, it is a
15 problematic situation when you are in drug development at an
16 early stage and you want to characterize in vivo what
17 resistance mutations are developing with a new drug.
18 However, directly opposed to that is our wish that we can
19 come up with a new regimen that will actually suppress viral
20 load.

21 How do you come to terms with wanting to do both
22 those things at the same time? Just to echo something Dr.
23 Mathews said before, we don't want to design unethical
24 trials in order to gain resistance information. It is a bit
25 problematic.

1 DR. HAMMER: It is very problematic. I think one
2 thing it raises, which, I think, is a little bit beyond the
3 scope of today is what the role of certain animal models
4 might be in allowing you to investigate regimens and drugs
5 that would be unethical to use in humans and where animal
6 models may or may not help you define, at least generate
7 hypotheses and give you some look at what may happen in an
8 in vivo situation--Skid-U mice, for example, have been used,
9 primate models, et cetera.

10 DR. MELLORS: Just to follow up on what Chris and
11 Trip said, we are not always going to be successful in our
12 salvage regimens. Hopefully, we will be more successful in
13 the future but it points to the critical need to not let
14 failures escape or follow up and our detailed evaluation.

15 There has to be a shift from presenting the
16 proportion that are successful to the proportion that failed
17 and why and what resistance occurred as a consequence.
18 Right now, we are in an era where dropouts are treated as
19 failure and they are kind of swept under the rug.

20 But the agency and the research community has to
21 really focus on characterizing well the failures. That is
22 the way we will build a database. It won't be a
23 comprehensive database. If we do our job well, there will
24 be few failures. But we really have to hang on to them and
25 learn as much as we can from them. No counseling jokes.

1 DR. HAMMER: Any other questions on question 2?
2 We need to move on to question 3. Again, just to summarize
3 for the agency, what studies are needed to further define
4 performance characteristics of available assays in order for
5 them to be useful in drug development. I think the
6 processes that are going on now are defining them. I think
7 we have raised a couple of issues here.

8 Everyone is interested in greater sensitivity of
9 these assays. Personally, I think that is coming. I think
10 the issue of picking up the mixtures is also important and
11 some assays are better at this than others. We will never
12 be able to be perfect because we know that even less than
13 1 percent of a viral subpopulation can emerge under the
14 right conditions and will likely not get quite there.

15 But I think the two areas for further improving
16 the performance characteristics of available assays are
17 lower limits of sensitivity on amplification and the ability
18 to detect mixtures.

19 Anyone want to add to that?

20 Question 3; it is already sort of introduced a bit
21 by Dr. Stanley. What quality-control data are needed to
22 support use of these assays in clinical trials and drug
23 development. What additional studies should be conducted
24 with respect to reproducibility and quality control

25 Among others, I would like to hear from Dr.

1 Jackson on this question. Sorry to put you on the spot,
2 Brooks.

3 DR. JACKSON: I think Doug presented a number of
4 the performance characteristics that need to be quality-
5 controlled such as sensitivity, specificity, the precision.
6 I think, in judging from the ENVA panel, there is still a
7 real need for this type of data and standardization of this
8 sort of quality-control data that needs to be developed both
9 for the kit performance characteristics as well as for the
10 performance of the laboratories.

11 I guess the latter is really not part of FDA's
12 thinking on this at this point in terms of this discussion.

13 DR. HAMMER: Although, I think we should separate
14 two things. One is kit approval issues through CBER and
15 drug application packages that come to this division and
16 this committee. That is different. That is not an approval
17 process. That is sort of what should the data package look
18 like.

19 Of course, the assays underneath that have to have
20 a degree of validation but I think we can make suggestions
21 about what a data package should look like in the drug-
22 development process which I think is what we are being asked
23 to do.

24 DR. JACKSON: Just like any diagnostic assay, I
25 think the same sort of things that are required when data

1 are submitted in terms of sensitivity, precision, the
2 specificity, all those sorts of things in different
3 populations, different sets of populations that are looked
4 at in terms of how these assays perform in those respects
5 are going to be needed.

6 I can't think of anything other than those
7 specific topics that Doug brought up but clearly there is a
8 need for that.

9 DR. HAMMER: Other comments? This could be a
10 short discussion.

11 DR. HAMILTON: I have a question of the agency
12 which may not be answerable but I wonder if, in the
13 preclinical mode and prelicensing mode, the agency provides
14 any advice to applicants, to sponsor, relative to the
15 testing procedures they are proposing to use in a subsequent
16 study.

17 DR. IACONO-CONNORS: What we normally tell
18 sponsors when they propose a series of studies, we basically
19 ask them to identify what assays they would like to use to
20 support their clinical studies. If those assays are
21 unlicensed or unapproved, as would be the case with these
22 types of studies, then we ask them to provide us with
23 additional performance information that would allow us to be
24 able to interpret the data that those assays would generate.

25 So we don't give them any direct advice. We wait

1 for them to come in with their proposals and then see what
2 kind of performance information packages that the drug
3 companies would need to get from the kit companies in order
4 for us to understand the data.

5 So it becomes a bit of a problem.

6 DR. POMERANTZ: I have a question for Doug. You
7 presented a nice picture of how the ViroLogic assay goes for
8 phenotypic analysis. My question is, as you are thinking
9 about generalizing things, you pointed out that they are in-
10 house. That is basically an HIV-based pseudotyped vector.

11 If we are going to start using phenotypic assays
12 as we are talking about now more globally, that is going to
13 be an interesting way of straining the ability to use what
14 is still a complicated assay. How do you see it developing
15 or how would you see the company developing it so that it
16 could come to use in all these different studies and non-
17 studies for patients that we are talking about now.

18 DR. RICHMAN: I can't speak for the two companies
19 but I have talked to them. I think they think they can or
20 hope to expand to accommodate the phenotype needs of
21 investigators and practitioners. Now, if a third or fourth
22 company comes along with performance characteristics that
23 are similar, that is fine.

24 I think I can say they have been talking about
25 trying to document that they come up with similar results on

at

1 the same specimens as Doug Mayers tried to raise. I am not
2 concerned with the numbers that they come up with in terms
3 of the fold change because I think I showed slides from both
4 companies showing how tight their values from wild-type
5 isolates were around the control value.

6 The issue of what value is important is of
7 practical concern. You will see data this afternoon giving
8 some indications that for many of the drugs we are using
9 now, four is a better number than ten. But, for each drug,
10 we do need to know some data.

11 There are, in fact, I think good data regarding
12 abacavir, retrospective data with monotherapy, indicating
13 that somewhere about four- or six-fold is probably enough to
14 be enough to make it not work.

15 That is probably true with a number of the drugs,
16 but the pharmacologic issues with some of the protease
17 inhibitors raised a question about more moderate levels. I
18 think there are really no alternatives because the data from
19 the PBMC-based assays--blinded comparisons have been done
20 with these other assays and they certainly can outperform
21 speed, cost, precision and everything else.

22 There are a lot of internal problems that we have
23 all observed with the data that we have generated with our
24 own assay. So, for these two targets, I don't see an
25 alternative from a practical point of view. I am not sure

1 if that answers your question.

2 DR. POMERANTZ: It does. The main point is that
3 you think that one company in the United States can, in one
4 site, handle this?

5 DR. RICHMAN: You will have to ask--

6 DR. POMERANTZ: Because we are talking about doing
7 a lot of trials and moving phenotypic analysis--

8 DR. RICHMAN: There are representatives from both
9 Virco and ViroLogic.

10 DR. POMERANTZ: Would you think about having
11 subsites? How are you going to do this? This is not a
12 trivial--it may not be the PBMC assay but it is still not a
13 trivial process.

14 DR. RICHMAN These are not assays that can go out
15 of house.

16 DR. POMERANTZ: Exactly.

17 DR. HAMMER: I think it is fair to say that we are
18 talking about market forces here. If the demand is there, I
19 think that it will be met. I don't know that we need a
20 specific--since we were asked, really, not to be product
21 specific, I would rather not ask representatives of
22 ViroLogic or Virco to answer this directly, officially, but
23 during a break, I think this question can be asked.

24 Given entrepreneurial skill and market forces, one
25 would think the need could be met.

1 DR. JACKSON: Just to follow up on some of my
2 earlier comments that Dr. Dayton brought up I thought would
3 be relevant that things we have found with viral-load
4 testing, for example, in terms of these assays being used in
5 clinical trials over time, I think it is important to see
6 quality-control data regarding lot-to-lot variation, that
7 sort of thing.

8 We have seen, in past trials, various
9 deteriorations sometimes with the stability of reagents.
10 These sorts of things will probably need to be shown as well
11 as validation panels that are done showing the performance
12 of the laboratory personnel using the assays in these
13 trials. These are fairly standard procedures but I think
14 they are important.

15 DR. HAMMER: I think there are a couple of things.
16 One is we should probably think about phenotype and genotype
17 a little bit separately here. There are two commercial
18 groups in the phenotype field at the moment. That may
19 change in the future, but I think the data we have seen
20 about the descriptions of their assay performance and at
21 least the approvals that have come from some regulatory
22 groups gives a fair amount of credence, I think, to the data
23 that are generated and help in any submission in a packet.

24 But those are the kinds of things we need to see.
25 I think, as Brooks outlined and as was outlined by the

1 earlier--Dr. Dayton's talk, that is sort of the standard
2 things. On a genotyping side, there are the classic kit
3 issues, reproducibility and lot-to-lot variations.

4 I think one other thing just to mention with
5 regard to PCR and sequencing, as Betty Korper has, more than
6 once, made us aware of the issues even in very good
7 laboratories of contamination and that when large datasets
8 or even moderate datasets are sent in of sequencing in a
9 single laboratory, what procedures that laboratory goes
10 through to be sure they have not had a problem with
11 contamination--that is, sort of checking their sequences
12 across their isolates and against the isolates that are
13 commonly used in the laboratory is a reasonable question to
14 ask of any laboratory, whether that be in something that is
15 submitted for publication or in something that is submitted
16 for drug approvals because that problem is one even the best
17 labs have occasionally.

18 Are there any other comments on the quality-
19 control issues and issues of reproducibility?

20 DR. WONG: I guess I just want to make one comment
21 and that is that I am a little concerned that phenotypic
22 testing seems to be going to route of adopting a
23 heterologous expression technology exclusively. I
24 understand why this is necessary and that it is easier and
25 cheaper and more reproducible for now, but I guess I would

1 .ope that we not abandon completely the idea that the virus,
2 n the context of the virus itself, has to be assessed as
3 well.

4 Just taking out a gene, expressing the protein in
5 tester strain and then testing that strain is not,
6 necessarily, the whole story. Although practically it may
7 be the way to do it today, I wouldn't want us to get locked
8 into that sort of system.

9 DR. MATHEWS: I had a question about the assays
10 or reproducibility. It seemed that most of the ones that
11 you mentioned were assay reproducibility and not really
12 looking at sources of biological variability besides drug
13 pressure. When the viral-load assays were looked at, when
14 CD4 assays were developed, biological variability was one of
15 the big issues that had to be grappled with.

16 To what extent has this been looked at,
17 variability in patients not on drug at different time points
18 and under different conditions?

19 DR. HAMMER: You mean multiple sample from the
20 same patient, either off drug or on the stable regimen and
21 the reproducibility--

22 DR. MATHEWS: If they are on drug, that is a
23 problematic issue because, obviously, if they are not
24 suppressed, they may evolve. Is there a sort of steady-
25 state background biological variability that needs to be

1 taken into account?

2 DR. RICHMAN: In the interest of time, I took out
3 slides. Richard Haubrich, whom you may know--there is a
4 strategic study looking at the utility of phenotypic testing
5 versus not done by the California Collaborative Treatment
6 Group. He presented at ICAAC the results of two consecutive
7 tests taken on each of those people who entered at that
8 time.

9 I could show you the slides, if you like, or he
10 could--but, basically, the reproducibility on two
11 consecutive assays was quite impressive.

12 DR. HAMMER: What was the interval between the
13 sampling?

14 DR. RICHMAN: It was 30 days.

15 DR. HAMMER: Thank you.

16 I think, on that note, we will break for lunch.
17 We will return in an hour at 1:05.

18 [Whereupon, at 12:05 p.m., the proceedings were
19 recessed to be resumed at 1:05 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 [1:10 p.m.1

3 DR. HAMMER: We will begin this afternoon with
4 Session 2 entitled the Evaluate of Relationships Between
5 Genotype, Phenotype and Treatment Outcome. I would like to
6 introduce Dr. Girish Aras who will introduce the afternoon
7 session.

8 **SESSION 2**

9 **Evaluation of Relationships Between Genotype, Phenotype**
10 **and Treatment Outcome**

11 **Introduction**

12 DR. ARAS: Good afternoon.

13 [Slide.]

14 I am Girish Aras from the Division of Biometrics 3
15 and the Division of Antiviral Drug Products, CDER, FDA. I
16 am going to introduce Session 2 that is devoted to the
17 evaluation of relationships between genotype, phenotype and
18 treatment outcome.

19 The session objectives are: one, to discuss
20 approaches for categorizing mutational patterns for
21 assessing their prognostic value on treatment outcome; two
22 to discuss approaches for categorizing susceptibility
23 profiles for assessing their prognostic value on treatment
24 outcome; three, to determine whether available evidence
25 supports the clinical utility of HIV genotyping in drug

1 development and to determine what additional information is
2 needed; four, to determine whether available evidence
3 supports the clinical utility of phenotypic testing in drug
4 development and what additional information is needed.

5 Prospective studies will be presented first
6 followed by retrospective studies. The prospective studies
7 are VIRADAPT and GART and will be presented by Drs.
8 Clevenbergh and Baxter, respectively.

9 The Resistance Collaborative Group developed a
10 Data Analysis Plan abbreviated as DAP to statistical model
11 the link between treatment outcome at the end of the trial
12 to the baseline covariates including genotypic and
13 phenotypic baseline covariates.

14 Dr. John Mellors will present an introduction to
15 the Resistance Collaborative Group's reanalysis of selected
16 studies using the DAP methodology. That will be followed by
17 Dr. DeGruttola's presentation of the DAP methodology. Dr.
18 John Mellors will then present an overview of retrospective
19 and prospective studies reanalyzed using DAP.

20 Drs. Para, Ait-Khaled and Miller will present some
21 of the retrospective studies analyzed according to DAP
22 followed by a summary by Dr. Mellors. Finally, I will make
23 a few statistical comments on retrospective analysis.

24 We look forward to an interesting and productive
25 discussion. I will introduce the first speaker and then

1 turn the session over to our committee chair, Dr. Scott
2 Hammer. Dr. Phillipe Clevenbergh will now present the
3 VIRADAPT study.

4 Thank you.

5 Prospective Studies

6 VIRADAPT Study

7 DR. CLEVENBERGH: Good afternoon.

8 [Slide.]

9 This is an overview of the VIRADAPT study as it
10 has been published and presented at the San Diego meeting
11 regarding the long-term follow up and the pharmacological
12 data.

13 [Slide.]

14 Numerous retrospective studies have linked the
15 presence of resistance mutations with drug failure. Two
16 prospective pilot studies have shown short-term virological
17 benefit of using genotyping technology to manage patients
18 failing therapy.

19 [Slide.]

20 In VIRADAPT, heavily pretreated patients failing
21 therapy were randomized into two arms, a control arm and a
22 genotypic arm in which the antiretroviral treatment changes
23 were based on the detection of resistance mutations. Entry
24 criteria were a plasma HIV RNA remaining over 10,000
25 copies/ml despite treatment with at least six months of a

1 nucleoside analogue and at least three months of a PI.

2 Clinical status, CD4 cell count, HIV RNA and genotype were
3 assessed every three months.

4 If viral load failed to decline at least 0.5 log
5 after treatment change, therapy could be changed again based
6 on the latest available genotype in the study arm or
7 standard of care in the control arm.

8 From March, 1997 until March, 1998, 106 patients
9 were enrolled in the study, 43 in the control arm and 65 in
10 the study arm. The study protocol was approved by the
11 institutional ethical committee in our hospital and informed
12 consent was obtained from all patients.

13 [Slide.]

14 We report here the 12-month follow up. After the
15 month 6 interim analysis, a statistically significant
16 advantage was formed for the genotypic group and, therefore,
17 we decided to allow genotyping for all patients.

18 [Slide. 1

19 Therefore, the first six months was a randomized
20 study in which the study arm received genotypic guided
21 treatment, yellow, and the control arm received standard of
22 care, blue, whereas, during the second six months, both arms
23 received genotypic guided treatment changes in an open label
24 fashion.

25 [Slide.]

1 The primary endpoint of the study was the
2 variation of HIV RNA from baseline at months 3 and 6.
3 Analysis was performed by intent-to-treat.

4 [Slide.]

5 For the 6 to 12 months, open-label follow-up
6 study, the primary endpoint was the evolution of HIV RNA
7 from baseline at months 9 and 12. All data collected were
8 included in the analysis which was performed by on-treatment
9 analysis.

10 Of note, since some patients in the control arm
11 had already progressed beyond six months, genotypic-guided
12 treatment change was performed only at month 9 and for those
13 who were already completing the first year, no genotyping
14 could be performed. Genotyping was performed for 30 of the
15 43 patients in the control arm--that is, 69 percent of the
16 patients.

17 [Slide.]

18 Complete sequencing of the major part of the
19 reverse transcriptase gene and the entire protease gene was
20 performed in plasma HIV RNA. During the first six months of
21 the study, a number of sequencing technologies were used
22 including, initially, a home brew ABI-based sequencing
23 followed by the prereleased version of the VGI TrueGene
24 assay and, ultimately, the VGU TrueGene kit.

25 After the first few months, technology was

1 standardized and the 6-to-12-month follow-up study was
2 performed using the VGI True Gene HIV system as depicted on
3 this slide.

4 [Slide. 1

5 Decisions for genotypic guided therapeutic changes
6 were based on correlations linking specific mutational
7 patterns to decreased activity of specific drugs. This
8 table is rapidly evolving over time as knowledge about
9 resistance pattern increases. Shown here is the actual
10 version of that table used to guide our decisions regarding
11 RT inhibitors and protease inhibitors during the study.

12 [Slide. 1

13 As can be seen on the slide, baseline
14 characteristics were similar between the two arms. Mean HIV
15 RNA about 4.8 log.

16 [Slide. 1

17 All patients were heavily pretreated with
18 antiretroviral drugs. They were exposed to a mean of 3.9
19 nucleoside analogues and to a mean of 1.8 protease
20 inhibitors. This exposure was similar in both groups.

21 [Slide.]

22 The overall prevalence of primary mutations for
23 the reverse-transcriptase gene was 90 percent. All patients
24 presented at least one or more secondary mutations. We
25 found one strain with the 151 and one strain with 69S

1 insertion mutation conferring multidrug resistance.
2 Mutations at positions 67, 69 and 215 on the RT gene were
3 statistical more prevalent at baseline in the study arm than
4 in the control arm.

5 [Slide.]

6 The overall prevalence of primary mutations in the
7 protease gene was 48 percent. All patients had at least one
8 secondary mutation in the protease gene resulting in an
9 average of 6.2 primary or secondary mutations.

10 [Slide.]

11 103, 99 and 92 of 108 patients were evaluable at
12 months 3, 6 and 12, respectively and included in the
13 analysis.

14 [Slide.]

15 Depicted are the changes in HIV RNA from baseline
16 for patients in the genotypic arm, in red, and for the
17 control arm, green. Shown are both, the first six months
18 presenting the randomized study and the second six months in
19 which both arms received genotypic-guided treatment.

20 In the genotyping arm, reduction in HIV RNA **was**
21 maintained throughout the twelve months of study with a mean
22 drop in HIV RNA of 1.15 log. In the control arm, at the
23 completion of the randomized study, viral load had dropped
24 0.67 log. During the second six months, open-label
25 genotyping phase, there was an additional drop of 2.98 but

1 since this phase was not controlled, no conclusions can be
2 drawn regarding the cause of causes of this additional
3 reduction.

4 [Slide.]

5 Shown is the proportion of patients with an HIV
6 RNA below level of detection of 200 copies in both arms. In
7 the genotypic arm, in red, the percentage of patients with
8 an HIV RNA below level of detection remains stable, around
9 30 percent throughout the 12-month follow up. In the
10 control arm, in green, the proportion of patients with an
11 HIV RNA below the level of detection rose from 14 percent at
12 month 6 to 30 percent at month 12.

13 As noted earlier, some patients in the control arm
14 had a delay in receiving genotyping-guided treatment to
15 month 9 which may partly explain the delayed increase in
16 percent of patients undetectable.

17 [Slide.]

18 We performed additional analysis to determine
19 predictive factors effecting HIV RNA responses. The
20 presence or absence of primary protease gene mutations at
21 baseline were correlated with reduction in viral load at
22 three and six months. Shown are the changes in HIV RNA from
23 baseline at three and six months according to the treatment
24 arm and the presence or absence of primary protease
25 mutations.

1 The greatest reduction was seen in patients who
2 did not have primary protease mutations and received
3 genotypic-guided treatment, yellow symbol, with a drop of
4 1.5 log. The poorest response was seen in those in whom
5 primary protease mutations were present and received
6 standard of care, pink. Intermediate results were seen in
7 groups in whom protease mutations were absent and received
8 standard of care, orange or patients in whom primary
9 protease mutations were present and received genotypic-
10 guided treatment, green.

11 [Slide.]

12 In this heavily pretreated patient population,
13 genotypic-guided therapy resulted in a sustained reduction
14 in HIV RNA of greater than one log throughout one year
15 follow up. Performance of genotypic-guided therapy may have
16 contributed to additional viral-load reduction seen in
17 control patients. Multivariate analysis showed that the
18 presence of primary protease mutations and performance of
19 genotypic-guided treatment both independently affect
20 virological response.

21 [Slide.]

22 Even with the use of genotypic guided treatment,
23 70 percent of the patients in the VIRADAPT study did not
24 achieve complete viral suppression. Multiple parameters
25 determine the response to antiretroviral therapy and many

1 causes other than development of drug resistance should be
2 considered when analyzing drug failure. Among these
3 factors, suboptimal concentrations of antiretroviral drugs
4 may play a major role.

5 [Slide.]

6 In contrast to the nucleoside reverse-
7 transcriptase inhibitors, significant correlation has been
8 found between antiviral activity and plasma concentrations
9 of protease inhibitors and low plasma levels of PI have been
10 linked to rebounds in HIV RNA.

11 [Slide.]

12 Our objective was to correlate protease inhibitors
13 plasma levels with the changes in HIV RNA and to determine
14 the multiple factors contributing to the efficacy of
15 antiretroviral therapy in treatment-experienced patients.

16 [Slide.]

17 Of the 108 patients included in the study, the 87
18 patients from both arms participating at the Nice center
19 were included in the pharmacological substudy. Several PI
20 plasma trough levels were performed in these patients during
21 the twelve months study periods.

22 [Slide.]

23 Plasma PI concentrations were measured by HPLC.
24 Patients were instructed not to take the morning dose until
25 after drug levels were drawn. The analysis was performed on

1 batched frozen samples and levels were determined for the
2 four PI utilized in the study. Data were analyzed only for
3 patients having at least three samples obtained.

4 [Slide.]

5 Eighty-one patients were included in the substudy.
6 Similar to the parent study, the two groups, according to
7 genotype utilizations, were comparable for risk factor, age,
8 sex, previous treatment, CD4 cell count and HIV RNA at
9 baseline.

10 [Slide.]

11 Shown are the mean and median plasma levels
12 obtained for each protease inhibitor from patients in the
13 standard of care and the genotypic-guided treatment groups.
14 Concentrations are expressed in micrograms per ml. The
15 median plasma levels were not significantly different
16 between the two randomization arms.

17 An efficacy threshold level, as will be explained
18 later, was defined, permitting to categorized patients with
19 optimal or suboptimal concentrations. Between 27 percent
20 for nelfinavir and 43 percent for ritonavir of the patients
21 had suboptimal drug concentrations following our criteria.
22 Among these patients with suboptimal concentrations, the
23 majority even had no detectable PI plasma levels.

24 [Slide.]

25 Shown is the correlation between PI plasma levels

1 and HIV RNA for all individual time points where both
2 measurements were available. Linear regression analysis
3 showed a statistically significant correlation between
4 plasma concentrations and HIV RNA for each PI. Higher drug
5 concentrations correlated with lower HIV RNA levels.

6 [Slide.]

7 A wide range performance pharmacokinetic data is
8 available in the literature for the various PIs. We did not
9 find a consensus statement standardizing these values.
10 Presented in this table are values for IC95, Cmax, Cmin and
11 efficacy threshold concentrations we used for the various
12 PI. The threshold value of twice the IC95 was used as a
13 cutoff for optimal PI level.

14 [Slide.]

15 Patients were divided into two groups; suboptimal
16 concentrations for the patients having had a PI plasma
17 concentration below the defined threshold on at least two
18 occasions during the study period, optimal concentrations
19 for patients having at no more than one level below two
20 times the IC95.

21 According to our efficacy threshold, 32 percent of
22 the patients had suboptimal concentrations and 68 percent
23 had optimal concentrations. The distribution was similar in
24 both groups.

25 [Slide.]

1 Shown are the changes in HIV RNA from baseline for
2 the groups of patients segregated based on optimal or
3 suboptimal PI concentrations regardless of randomization
4 arm. HIV RNA decreased 1.2 log at month 12 in patients in
5 the optimal concentration group, in pink, versus 0.36 log at
6 month 12 for patients in the suboptimal concentration group,
7 orange.

8 [Slide.]

9 Patients were categorized based on randomization
10 arm and drug level: group 1, suboptimal PI concentrations in
11 the control group; group 2, optimal PI concentrations in the
12 control arm; group 3, suboptimal PI concentrations managed
13 with genotypic guided treatment; and group 4, optimal PI
14 concentrations managed with genotypic-guided treatment.

15 [Slide.]

16 Shown are the changes in HIV RNA according to PI
17 concentration and genotype usage at six months. The
18 smallest reduction in was obtained in patients with
19 suboptimal PI concentrations managed on the control group.
20 The greatest reduction in HIV RNA was obtained for patients
21 with optimal PI concentration and genotypic-guided
22 treatment. Intermediate results were seen in patients with
23 suboptimal PI concentrations managed with genotype, light
24 blue, and in patients with optimal concentrations in the
25 control arm, orange.

1 [Slide.]

2 Shown are the proportion of patients with an HIV
3 RNA below detection limit according to PI concentration and
4 genotype usage at six months. The highest proportion is
5 seen in patients with optimal concentrations managed with
6 genotype, yellow. No patients with suboptimal PI
7 concentrations managed with standard of care reached
8 undetectability, orange symbol. Intermediate results were
9 seen in patients with optimal concentrations and standard of
10 care, in red, and suboptimal concentrations managed with
11 genotype, in blue.

12 [Slide.]

13 To summarize our data, multivariate analysis of
14 the predictive factors of virological response showed that
15 optimal PI concentrations, genotypic-guided treatment and
16 the absence of primary protease mutations were all
17 independent significant predictors of favorable virological
18 outcome. The use of different cutoffs for optimal drug
19 levels and definition for primary protease mutations may
20 have influenced these results.

21 [Slide.]

22 In conclusion, exposure to drugs was inversely
23 correlated with plasma HIV RNA levels for all PI.
24 Genotypic-guided therapy, drug concentrations and the
25 presence of primary protease mutations are all factors which

1 independently affect the response to therapy in experienced
2 patients. Therefore, assays to determine both drug levels
3 and resistance mutations may be useful in improving
4 therapeutic responses in drug-experienced patients.

5 [Slide. 1

6 This is the list of the contributors, particularly
7 Dr. Gerome, Dr. Garrafo, Professor Dellamonico, Professor
8 Schapiro. The VIRADAPT st was sponsored by Roche, Abbott
9 and Visible Genetics.

10 DR. HAMMER: Thank you.

11 Are there clarification questions for Dr.
12 Clevenbergh?

13 DR. KUMAR: Would you clarify for me, in your
14 control group, who chose the salvage regimen? Was it the
15 same group of physicians who chose the salvage regimen?

16 DR. CLEVENBERGH: The study was conducted on three
17 sites and for patients, as it is written in The Lancet,
18 considered difficult to treat, there was a discussion
19 between the physician in charge of the patients and the team
20 running the study to decide which was the best treatment to
21 give to these patients.

22 DR. HAMMER: Other clarification questions?

23 DR. WOOLSON: Even under the best of
24 circumstances, it is difficult to interpret subgroup
25 analyses that are done in clinical trials. I was wondering

1 if you had done any modeling of those variables to try to
2 see which of those might be more important, in particular
3 with some of the comparisons you were representing a moment
4 ago with the optimal and suboptimal concentration in those
5 groups.

6 DR. CLEVENBERGH: I think that the most important
7 of the variables analyzed is the pharmacological effect on
8 the genotypic effect.

9 DR. WOOLSON: Let me try to ask a follow-up
10 question, actually a somewhat different question. You
11 mentioned, at twelve months, there are some 85 percent of
12 the individuals for whom you have evaluable data. The
13 15 percent of individuals who are not evaluable, can you
14 describe those individuals in terms of their outcomes?

15 DR. CLEVENBERGH: I know that there were six
16 deaths. Six patients were lost to follow up. Six patients
17 died and four did not complete the study. I have no idea of
18 what happened to these last four patients.

19 DR. WONG: Can you say something about the numbers
20 of drugs and the classes of drugs that the patients in the
21 two groups received? Were they comparable, except that on
22 one group, they were chosen specifically based on their
23 resistance pattern?

24 DR. CLEVENBERGH: The number of new drugs given to
25 the patients is similar and, also, the new classes, because,

1 at the time the study was done, there were not a lot of new
2 classes. There was just nelfinavir arriving on the market
3 and NNRTI appeared at the end of the study. So the
4 distribution of new classes of drugs is similar between the
5 two groups.

6 DR. HAMILTON: Since the endpoints that you have
7 chosen to analyze were virologic, were statistical tests
8 done to compare the differences, actually, in these lines
9 chat you generated? It appears to me that, in this one
10 table, at least, or this one graph, for the person who
11 actually doesn't take his drug optimally or at least has
12 suboptimal levels, genotypic analysis doesn't add that much,
13 if anything.

14 Were there, in fact, statistical differences
15 between these lines?

16 DR. CLEVENBERGH: I didn't get the question; I'm
17 sorry.

18 DR. HAMILTON: This graph here which shows--

19 DR. CLEVENBERGH: Ah, yes; the impact of the
20 pharmacological concentrations.

21 DR. HAMILTON: Right. Were there statistically
22 significant differences between these lines?

23 DR. CLEVENBERGH: I don't think that we performed
24 a p-value on this.

25 DR. HAMILTON: A follow-up question, and it is a

1 very nice study. I am very interested in the outcome here.
2 It would be a shame not to collect clinical events in the
3 course of this even though they might be small in number.
4 Are there clinical events that are occurring during this
5 period of time that are related to HIV?

6 DR. CLEVENBERGH: Yes; these are depicted in The
7 Lancet. I don't remember them that we defined. I think
8 that four new defining events in each arm.

9 DR. HAMILTON: Each arm being--which arms, now?
10 Genotype or--

11 DR. CLEVENBERGH: Genotype and control; yes.

12 DR. GULICK: It looks like very few patients had
13 taken prior non-nucleosides. Could you comment on the
14 number of patients who used a non-nucleoside in their
15 salvage regimen?

16 DR. CLEVENBERGH: There were only a few patients
17 who received a non-nucleoside because it arrives only at the
18 end of the study. The number is written exactly in The
19 Lancet, also.

20 DR. KAPLAN: This is not included in the
21 presentation but I am wondering if there is any phenotypic
22 resistance data done for some of the protease inhibitors
23 used. Based on some discussion we heard this morning, it
24 would be very interesting to see if there was a correlation
25 between phenotype resistance and the virologic responses.

1 DR. CLEVENBERGH: We have baseline phenotype for
2 about 90 patients of the 108 and we will present this data
3 on a later meeting.

4 DR. HAMMER: Thank you very much.

5 I think we need to move on. The next speaker is
6 John Baxter. Dr. Baxter will speak and describe the GART
7 study,

8 **GART Study**

9 DR. BAXTER: Thank you.

10 [Slide.]

11 CPCRA 046 was a randomized study of antiretroviral
12 management based on plasma genotypic antiretroviral
13 resistance testing, or GART, in patients failing
14 antiretroviral therapy.

15 [Slide.]

16 To enter the study, patients had to have at least
17 a three-fold rise in viral load while taking at least 16
18 weeks of two nucleosides and a protease inhibitor. Patients
19 also had to have a CD4 count between 50 and 500 and a total
20 nistory of at least twelve months of antiretroviral therapy.

21 Patients came in for a baseline visit at which
22 time plasma was collected for viral load testing and
23 genotyping. The genotype results were then reviewed by the
24 protocol virologists and the protocol virologists also had
25 the treatment history. They then prepared a GART report

1 which included the mutations as well as the interpretation
2 and up to four treatment suggestions.

3 Patients were randomized between July of 1997 and
4 December of 1998. There were 153 patients randomized, 78 to
5 the GART group, 75 to the no-GART group. Prior to
6 randomization, we required clinicians to prespecify what
7 salvage regimen they would prescribe in the absence of the
8 GART report. For the no-GART patients, these regimens were
9 prescribed.

10 For the GART patients, in contrast, those
11 clinicians prescribed a regimen after reviewing the GART
12 report. Patients then had follow-up visits at 4, 8 and 12
13 weeks. At 8 weeks, the clinicians were permitted to change
14 the salvage regimen. Patients were encouraged to stay on
15 their salvage regimen at least until 8 weeks unless toxicity
16 occurred. The clinician could change at 8 weeks based on
17 the 4-week viral-load result.

18 [Slide.]

19 This is the algorithm that the protocol
20 virologists used for interpreting the genotypic mutations.
21 That you can see here is the major mutations in yellow, and
22 for the YD4 mutation, for example, we defined this as
23 conferring full resistance to 3TC, ddI and ddC.

24 For the non-nucleoside mutations, they are listed
25 here. However, only about 10 percent of patients entering

1 AZT and 3TC or d4T and 3TC in combination with either
2 indinavir or nelfinavir. There were a small number of
3 patients entering failing ritonavir or saquinavir and about
4 half of the patients were failing on their first protease
5 inhibitor.

6 [Slide.]

7 This shows the distribution of major mutations.
8 Overall, 73 percent of patients had both a major RT and
9 major protease mutation. 20 percent had a major RT mutation
10 only. 4.6 percent had no major mutations and 2 percent had
11 a major protease mutation only.

12 The major mutations are shown here on the left
13 side of the slide showing that the most common failure
14 genotype was 82 percent having the 184V mutation. 61
15 percent had either 215 F or Y. For protease, 14 percent had
16 30N, 31 percent had 461 or L. 34 percent had 82F or The and
17 31 percent had L90M.

18 [Slide.]

19 This slides shows the impact of the GART report
20 for patients randomized to the genotype arm of the study
21 showing that the GART report influenced the choice of the
22 salvage regimen for 83 percent of the patients. So, in
23 other words, the report altered what the clinician would
24 have done in the absence of the report.

25 However, for only 54 percent of the patients did

1 the clinician actually prescribe one of the suggested
2 treatment regimens. The reason why some clinicians chose
3 not to follow one of the suggested regimens included
4 concerns about drug toxicity, patient preference and also
5 saving classes of drugs such as non-nukes for subsequent
6 regimens.

7 [Slide.]

8 The primary endpoint of the study is shown here
9 which was the change in viral load from baseline to the
10 average of the 4 and 8-week visits showing that the in GART
11 arm, the mean viral-load reduction was 1.19 logs versus 0.61
12 logs for the no-GART arm with a highly significant
13 difference. The 95 percent confidence interval ranged from
14 --0.29 to -0.77.

15 [Slide. 1

16 This slide shows the viral-load change by study
17 week showing that, at each time point, there was a
18 significant difference favoring the GART arm. Out at
19 12 weeks, the difference was 0.44 logs with a p-value of
20 Cr.003.

21 [Slide.]

22 This slide shows the percent of patients
23 undetectable by study week showing a significant difference
24 between the two arms at the 4 and 8-week visit. By 12
25 weeks, the p-value was 0.1. The difference here in the GART

1 arm, 34 percent were undetectable versus 22 percent in the
2 no-GART arm.

3 [Slide.]

4 One of the things we looked at was changes in
5 viral load by the number of active drugs prescribed. Active
6 drugs here were defined as drugs which the study virologists
7 interpreted as either sensitive or possibly resistant. What
8 we found is that for each additional active drug prescribed,
9 when we combined both treatment groups, there was a 0.37-log
10 reduction in viral load for each additional active drug.

11 So, for example, those patients who received one
12 active drug in their salvage regimen, the viral-load
13 reduction was 0.1 and, for those who received four active
14 drugs, the viral-load reduction was 1.25 logs.

15 What you can see here is that the GART group
16 received a greater--there were actually a greater number of
17 GART patients who received three or more active drugs,
18 86 percent, and in the GART arm received three or more
19 active drugs as compared to 45 percent in the no-GART arm.
20 We believe that this explains part of the treatment
21 difference we saw between the two groups.

22 The other interesting finding is that, for each
23 additional inactive drug, there was a 0.17-log reduction in
24 viral load.

25 [Slide.]

1 We also looked at patients in both arms who
2 received the same number of drugs in their salvage regimen.
3 What we found is that patients in the GART arm were more
4 likely to receive three or more active drugs. So, for
5 example, if you compare patients in both arms who received
6 four drugs, 91 percent in the GART group received three or
7 more active drugs as compared to 62 percent in the no-GART
8 arm. This was similar for those that received three or five
9 or more drugs.

10 [Slide.]

11 The other part of the study that we looked at was
12 baseline predefined subgroups and the effect of GART versus
13 no-GART. We found that there was a favorable effect of GART
14 across all of the baseline predefined subgroups so, for
15 example, looking at patients who had CD4 counts above and
16 below 200, the effect of GART was significant.

17 Also looking at patients that were above and below
18 the median viral load, 25,000 copies, there was a favorable
19 effect for the GART arm.

20 [Slide.]

21 Also, looking at patients who were failing their
22 first protease inhibitor versus those who had failed two or
23 more proteases, there was a similar favorable effect of
24 GART. Also when looking at the individual proteases the
25 patients were failing on at study entry, there was also a

1 favorable effect of GART for each protease inhibitor. The
2 error bars are wide here for ritonavir and saquinavir
3 because there were small numbers entering on those drugs.

4 [Slide.]

5 When looking at the presence of baseline RT and
6 protease mutations, we looked at those who had both major RT
7 and PI mutations and found a favorable effect for GART and
8 also for those who did not have both a major RT and PI
9 mutation.

10 Also, in looking at those patients where the
11 clinician proposed using a non-nuke and a salvage regimen,
12 we found there was a favorable effect for GART. So, in this
13 group of patients, essentially all of them in both arms
14 received a non-nucleoside but you can see that there is
15 quite a difference between the response favoring the GART
16 patients.

17 For those where the site clinician did not propose
18 to use a non-nuke, there was also a favorable benefit from
19 GART. What this suggests is that when you are adding a new
20 class of drugs to a patient failing a protease-containing
21 regimen, genotyping helps you choose drugs to use along with
22 that new class of drug, in this class, the non-nuke.

23 [Slide.]

24 We also looked at the impact of baseline mutations
25 on virologic outcome and found something that was rather

1 interesting. We found that those patients that were
2 entering the study that had the 30N mutation versus those
3 who did not have the 30N mutation in protease had an average
4 viral load change of -0.41 logs. In contrast, the 90M
5 mutation had an adverse impact on virologic response with
6 those patients with the 90M mutation having a 0.31-log
7 higher viral load as compared with those patients without
8 the 90M mutation.

9 We found that 46, 82 and 84 did not have an impact
10 on virologist response.

11 [Slide.]

12 So, in conclusion, GART with expert advice in
13 patients failing therapy was superior to no-GART as measured
14 by short-term viral-load responses.

15 [Slide.]

16 The greater viral-load reduction with GART is
17 attributed to the greater number of active drugs prescribed
18 in the GART arm and we found that those patients who
19 received three or more active drugs had a better outcome.
20 Furthermore, the impact of GART was similar for patients
21 failing their first protease inhibitor than for those who
22 had received multiple proteases. Baseline genotype was
23 associated with virologist response to salvage therapy.

24 [Slide.]

25 I would like to acknowledge the protocol team

1 members, especially the protocol co-chairs, Doug Mayers and
2 Tom Merigan as well as the protocol statistician, Jim Neaton
3 and the protocol manager, Debbie Wentworth.

4 Thank you.

5 DR. HAMMER: Thank you very much.

6 Let me start off the questioning by asking about
7 the clinicians who were influenced by the GART report but
8 didn't prescribe one of the specific regimens. Have you
9 looked at is there any consistency in how those clinicians
10 were influenced, because I think that is a very interesting
11 potentially practical derivative of this study.

12 DR. BAXTER: It was interesting. We found that
13 the report impacted 86 percent of the clinicians. It
14 changed what they would have done in the absence of the
15 report, but, apparently, 54 percent did they actually follow
16 one of our treatment suggestions.

17 The other thing that we found is that clinicians
18 who were more likely to follow one of our suggested
19 treatment regimens, those patients tended to have a better
20 outcome virologically. Although it was not significant,
21 there was a trend suggesting that those clinicians that
22 followed our treatment suggestions, those patients did
23 better.

24 DR. HAMMER: The ones who were influenced but,
25 again, didn't specifically use one of the recommended

1 regimens, did they tend to use more drugs or a different
2 class or go along with the general results of the study that
3 suggest the more active drugs you use, the better one is--I
4 am just trying to sort of think about how they interpreted
5 this.

6 They may have avoided a drug because of potential
7 toxicity or a drug interaction but then they would
8 substitute what the physician might have thought would be a
9 comparable agent along the lines of the suggestion of the
10 committee or is it just not possible to really take a look
11 at that.

12 DR. BAXTER: We have looked at that. For four
13 patients, the regimens that were prescribed were very
14 similar except there was a substitution for the non-nuke,
15 for example. For the rest of them, they tended to use less
16 drugs than what we had prescribed but I can't give you the
17 specific details.

18 DR. HAMMER: Thank you.

19 Other clarifying questions?

20 DR. POMERANTZ: A couple of quick questions. When
21 you showed that the level of undetectability became
22 insignificant at twelve weeks, that was a p-value of 0.1, I
23 think it was.

24 DR. BAXTER: Correct.

25 DR. POMERANTZ: 400 copies? 50 copies?

1 DR. BAXTER: We used the bDNA second-generation
2 assay, 500 copies. But even though there was a loss of
3 significance for undetectable, that wasn't the primary
4 endpoint.

5 DR. POMERANTZ: No; I understand.

6 DR. BAXTER: But there was still a significant
7 difference overall between the two groups.

8 DR. POMERANTZ: Did you see any difference in
9 effects on CD4 counts?

10 DR. BAXTER: CD4 counts; no, there was not a
11 significant difference over twelve weeks in CD4 count. I
12 think, by twelve weeks, it was +25 CD4 cells for the GART
13 group and +18 for the no-GART group.

14 DR. POMERANTZ: Do you have any longer-term follow
15 up than twelve weeks?

16 DR. BAXTER: We are actually rolling--we have
17 rolled patients over into a long-term monitoring study so we
18 will be able to get some additional follow up on these
19 patients. But this was really a short-term look.
20 Clinicians could change therapy at eight weeks and we felt
21 that going much further than twelve weeks probably wouldn't
22 yield much.

23 DR. YOGEV: In the slide which you are showing HIV
24 RNA changes by number of active drugs prescribed, was GART
25 suggesting one drug only in two? Those are the physicians

1 who did not follow GART and are still called GART?

2 DR. BAXTER: For that slide, what we did was to
3 combine both treatment groups. So we looked at all patients
4 and we looked to see how many drugs prescribed in a salvage
5 regimen would have been sensitive or possible resistant
6 according to the algorithm that the protocol virologists
7 used.

8 So those were for drugs that were either
9 considered sensitive or possibly resistant in the salvage
10 regimen. The greater number of active drugs, the better the
11 virologic response for both groups overall.

12 DR. YOGEV: So the GART would suggest, let's say,
13 three but the physician would use one which is sensitive?
14 It will be in the category of GART?

15 DR. BAXTER: That is combining both the GART and
16 the no-GART group so that for the GART group, those patients
17 received a greater number of active drugs. So 86 percent
18 received three or more active drugs in the GART group versus
19 5 percent in the no-GART group.

20 But if you are asking how many drugs we
21 recommended in the treatment suggestions, it varied per
22 patient. In retrospect, looking back, what the three
23 protocol virologists were actually doing was trying to
24 recommend at least two or three active drugs in that
25 regimen. So that is how we, in retrospect, were making our

1 treatment suggestions.

2 DR. YOGEV: It is a little bit puzzling that when
3 two drugs in the GART were used, were less effective
4 percentagewise than--

5 DR. BAXTER: That is active drugs, So, for
6 example, in that group where we are looking at two active
7 drugs, those patients could have been prescribed four drugs
8 but only two of them were active.

9 Does that make sense? That is just looking at
10 active drugs. That is not looking at total drugs.

11 DR. YOGEV: It is just surprising that, through
12 the GART which supposedly by genotype is more sensitive,
13 there was less percentage in that group.

14 DR. BAXTER: Yes. The GART patients were less
15 likely to receive fewer active drugs. They were more likely
16 to get more active drugs in their salvage regimen.

17 DR. HAMMER: Not to go into two many specifics,
18 but how did the expert panel deal with multidrug pain
19 resistance?

20 DR. BAXTER: Those were the most difficult
21 patients. Those were the longest conference calls. We
22 tried to come up with regimens where there were at least two
23 active drugs but there were some patients who really had
24 very few options and, when we specifically looked at the
25 outcome for those patients, they didn't do well regardless

1 of which treatment arm they were in.

2 DR. MATHEWS: It looks like about 25 percent of
3 the patients either had only RT mutations or no mutations.
4 Did you 'look at whether GART was less effective in that
5 subgroup at all?

6 DR. BAXTER: We looked not specifically in that
7 subgroup. We looked at those who had both RT and protease
8 major mutations versus those that did not and we found that
9 for those that did not have both a major RT and protease
10 mutation that there was a favorable effect for GART,
11 favoring GART.

12 DR. HAMMER: So is that similar to VIRADAPT?
13 Would genotyping help even when the virus was wild type at
14 baseline? Essentially, is that sort of what you are asking,
15 Chris?

16 DR. MATHEWS: Yes.

17 DR. BAXTER: There were so few patients. There
18 were only 4.6 percent of patients who were really wild types
19 so the numbers are too small to really say anything about
20 those individuals.

21 DR. KAPLAN: Sorry if I missed this but I wonder
22 about the people in the GART arm who did not follow the
23 advice regardless of the number of active drugs. When you
24 showed the slide of mean HIV RNA by study week, where would
25 that group fall into that slide? Do they become, then,

1 nondifferentiable from the no-GART arm?

2 DR. BAXTER: I don't know that we specifically
3 looked at that group's virologic response. We basically
4 just looked at the GART treatment group. I think that, from
5 looking at it the other way which is how well clinicians
6 adhered and their response, we found that those clinicians
7 that adhered less well to the treatment suggestions, their
8 patients had a weaker response or a less vigorous response
9 as compared to the clinicians that followed the treatment
10 suggestions. So it did dampen the response when they did
11 not follow treatment suggestions.

12 What is interesting is that when we looked at
13 which patients the clinicians were more likely to accept one
14 of our treatment suggestions, it **was** typically patients who
15 were more advanced and had lower CD4 counts and higher viral
16 loads.

17 DR. HAMMER: Thanks again.

18 I think we should move on. We are now going to
19 move into the Resistance Collaborative Group's analysis. I
20 would to just introduce Dr. John Mellors.

21 **Retrospective Studies**

22 **Introduction to Resistance Collaborative Group's Reanalysis**

23 **of Selected Studies Using the RCG Data**

24 DR. MELLORS: Thank you, Scott.

25 [Slide. 1

-- 1 It is a pleasure to present the work of the
2 Resistance Collaborative Group. What I would like to do is
3 just give you a little history to start out with, to tell a
4 story. What we are going to do in the next hour or so is
5 review the goals of the Clinical Validation Subcommittee,
6 review the subcommittee workshop that occurred in April of
7 this year, work through the development of a standardized
8 data-analysis plan. Victor DeGruttola will present that to
9 you.

10 I will then review the mutation table used for the
11 DAP and I will describe the reanalyzed studies and then
12 present the composite data from the reanalyzed studies.
13 Then there will individual presentations of representative
14 studies, ACTG 33 by Mike Para, CNA2007 by Mounir Ait-Khaled
15 and data from the Frankfort cohort by Veronica Miller.

16 I will then review prospective studies in progress
17 and make some summary points about the analysis of the data
18 presented.

19 [Slide.]

20 The Resistance Collaborative Group Clinical
21 Validation Subcommittee members are shown here; Rich
22 D'Aquila, who had a key role in developing the DAP table,
23 Veronica Miller, Louise Pedneault, Amy Patick, Victor,
24 Andrew Phillips, Lynn Dix, Dan Holder and Jeff Murray from
25 FDA.

1 Our progress was rapid and steady. There was a
2 temporary slowing of progress while the Boston Red Sox were
3 losing to the Yankees. There was some anger and depression
4 that we had to work through but we persevered.

5 [Slide.]

6 The goals of the Clinical Validation Subcommittee
7 were simply to compile and evaluate existing data on
8 validation of resistance tests and to review issues relevant
9 to that clinical validation including study design, patient
10 populations studied, definitions of drug sensitivity and
11 resistance as we heard this morning are critical,
12 definitions of the virologic endpoints used in these studies
13 and, importantly, the methods of analysis and how important
14 covariates such as HIV RNA and new drugs were controlled for
15 in the analysis.

16 [Slide.]

17 Let's review the workshop that occurred in April
18 of this year. The goal of that workshop was to review
19 existing clinical data on the relationship between genotype
20 or phenotype and virologic response. We identified studies
21 **by** review of meeting abstracts. There were presentations by
22 lead investigators that flew in from all over the globe.

23 There were questions and clarifications by the
24 subcommittee similar to what you all have been doing for the
25 last 48 hours. The studies presented and reviewed were

1 thirteen retrospective studies and two prospective
2 intervention-based studies.

3 [Slide.]

4 ' The impressions⁵ of the subcommittee were that
5 there were consistent associations presented between
6 baseline genotype or phenotype and virologic response but
7 there were highly variable methods of analysis including
8 different definitions of resistance, different types of
9 mutations analyzed, different cutoffs for phenotypic assays,
10 different virologic endpoints, methods of analysis and
11 control for key covariates.

12 It was clear at the end of the subcommittee
13 meeting that there was a need for a standardized data
14 analysis of these studies to make sense out of them
15 collectively. So the action item from the committee was to
16 develop a data-analysis plan for standardized reanalysis of
17 the retrospective studies and the prospective studies
18 presented.

19 [Slide.]

20 So we developed a standardized analysis plan and
21 it is a royal "we."

22 [Slide.]

23 Because it was really Victor DeGruttola, the
24 chair, Dan Holder, Andrew Phillips and Lynn Dix who are all
25 in the audience.

1 Now I would like Victor to come up and present the
2 data analysis plan.

3 **DAP Statistical Methodology**

4 DR. DeGRUTTOLA: Thank you. If we could have the
5 first slide which gives the objective of the data-analysis
6 plan.

7 [Slide. 1

8 The primary objective of the analysis plan **was** to
9 specify an analysis that could be applied to a wide variety
10 of clinical HIV resistance studies to relate genotypic and
11 phenotypic testing to response to treatment. The goal was
12 not to try and come up with the most subtle or
13 scientifically powerful analysis of each individual study
14 but to try and come up with an analysis plan that would
15 address the fundamental question and, most importantly, be
16 applied consistently and could be applied consistently
17 across studies so that we could put all of the information
18 together.

19 Both intervention and non-intervention studies
20 were included but the objectives of the intervention studies
21 that we have just seen presented are different from those of
22 the non-intervention studies so they would be analyzed and
23 presented separately.

24 Of course, the focus was on resistance data in the
25 experienced population. Where studies may have followed

1 naive patients, they would be analyzed separately.

2 [Slide. 1

3 The non-intervention studies; the first request
4 was to characterize the study population in terms of
5 summarizing baseline RNA and CD4, prior ART experience, and
6 summary of duration of therapy on a HART regimen.

7 [Slide.]

8 The most important purposes of the DAP was to try
9 and get a consistently applied definition of an endpoint.
10 Our primary endpoint was virologic failure by week 24 which
11 meant an RNA value about threshold. For studies that were
12 of duration 8 to 16 weeks, change from HIV RNA could be used
13 as an endpoint. Once again, those would be handled
14 separately.

15 In determining virologic failure, we excluded or
16 asked the individual analysts to exclude transient increases
17 in HIV RNA. If a week-24 value was greater than threshold
18 but the next determination was below, despite no change in
19 therapy, we would not call that a failure.

20 Our week-24 window was defined to be week 16 to
21 week 32 to try to keep things as inclusive as possible but,
22 for studies whose duration was 16 to 24 weeks, we used the
23 last week with sufficient data. The failure threshold
24 should have been taken to be whatever was specified in the
25 original protocol but if it was not specified, we used

1 400 copies/ml.

2 [Slide.]

3 Patients who withdrew early from study medication
4 were handled in two different ways. The goal here was to
5 handle them in different ways statistically to try and get
6 some sense of how much study results are affected by the
7 withdrawals. So we proposed two different analyses, a DAC,
8 dropout as censored, and the DAF, dropout as failure,
9 analysis.

10 In the dropout or censored, patients who withdrew
11 early from study medication without evidence of virologic
12 failure were treated as censored and then excluded from
13 these analyses, but there were provisions given to define an
14 early virologic failure for patients who did not make it out
15 to 24 weeks.

16 For example, if two of the RNA values were above
17 baseline, they would be scored as a failure even in this DAC
18 analysis. If the reduction from baseline was less than half
19 a log between weeks 4 and 8, they were failure or if the
20 nadir was below threshold.

21 Patients who were on a study regimen for at least
22 16 weeks but who had no RNA values in the week-24 window
23 were excluded from all analyses. Finally, we get to the
24 dropout as failure analysis where we had patients who
25 withdrew early from treatment were counted as failures.

1 [Slide.]

2 There were two measures of genotypic information
3 that was explored. The first one was a genotypic
4 sensitivity score which, combined across all the drugs in a
5 study regimen, to which a patient had genotypic sensitivity.
6 For each drug in the regimen, the genotypic sensitivity was
7 generally 1, if the patient genotypic has no resistance
8 mutations and 0 otherwise.

9 I say generally because there were a few
10 exceptions that John Mellors will describe in more detail in
11 a minute. So the genotypic sensitivity score is the sum of
12 the genotypic sensitivities over all the drugs in the
13 regimen, basically the number of drugs to which a patient is
14 genotypically sensitive.

15 In addition to that summary score, genotypic
16 information was used as three separate variables. The
17 numbers of mutations in each class, protease, NRTI and
18 NNRTI, for classes of drugs represented in this study
19 regimen but not limited to drugs in the patient's actual
20 regimen.

21 [Slide.]

22 For phenotypic resistance, we first used two
23 different metrics of phenotypic resistance, the first using
24 the minimum cutoff for the assay, sensitive if the value was
25 less than or equal to the minimum cutoff and resistant

1 otherwise. In addition to that, a ten-fold cutoff was used.
2 For each drug in the regimen, the patient's phenotypic
3 resistance score was defined as 1 if sensitive or 0 if
4 resistant.

5 [Slide.]

6 Once again, there were two measures of phenotypic
7 sensitivity. The first was aggregated against across all
8 the drugs in the study regimen. The PSS is defined as the
9 sum of the phenotypic sensitivities over all drugs in the
10 regimen. The second one disaggregated this and looked at
11 phenotypic sensitivity in each one of the drug classes for
12 classes of drugs that were represented in the study.

13 [Slide.]

14 Our analyses also included investigation of the
15 effect of confounding variables measured prior to initiation
16 of therapy. Those included baseline HIV RNA, whether or not
17 the patient was on the potent PI or NNRTI. This would be a
18 yes if the patient was naive to protease and there was
19 protease in the regimen or if they were naive to NNRTIs and
20 there was an NNRTI in the regimen.

21 So this was just a yes/no variable. Finally, the
22 number of new drugs in the regimen. Once again, nothing is
23 totally straightforward here because after much discussion,
24 it was decided that in ddI-naive subjects, ddI plus
25 hydroxyurea would count as a new drug, but if patients were

1 ddI experienced and added hydroxyurea, that counts as half a
2 new drug. A mini-dose of ritonavir did not count at all.

3 Once again, the goal here is to try and get
4 something which is reasonable and consistently applied
5 across all studies although some might argue about where the
6 0.5 is the perfect choice.

7 [Slide. 1

8 Method of analysis was logistic regression of the
9 binary response, just failure versus success, by week 24
10 with a common set of covariates. There were six models for
11 studies with genotypic data. The first one is just looking
12 at the effective baseline HIV-1 RNA; second, looking at new
13 drug covariates, whether there is a potent PI or NNRTI and
14 number of new drugs; C, the one, of course, of most
15 interest, the genotypic sensitivity score; D, the number of
16 mutations in each one of the drug classes; E looks at the
17 GSS, genotypic sensitivity score adjusted for the baseline
18 RNA and for the new drug covariates so it combined the
19 variables in models A, B and C.

20 F looks at the number of mutations in each one of
21 the drug classes and also includes baseline HIV RNA and the
22 new drug covariates. So F includes all the variables from
23 models A, B and D.

24 [Slide.]

25 For the phenotypic data, there were ten models

1 because of the fact that we were interested in both the
2 assay minimum cutoff and the ten-fold cutoff. Models A and
3 B are the same as before. C is now the phenotypic
4 sensitivity score using an assay minimum cutoff. D is the
5 phenotypic sensitivity score using the ten-fold cutoff.

6 E is the disaggregated phenotypic sensitivity
7 score for each one of the classes of drugs separately using
8 assay minimum cutoff. F is using the ten-fold cutoff.

9 [Slide.]

10 G is the phenotypic sensitivity score adjusted for
11 or including baseline RNA and the new drug covariates, the
12 covariates from models A, B and C put together.

13 H is the same thing using the ten-fold cutoff for
14 the phenotypic sensitivity. I is just the phenotypic
15 sensitivity scores disaggregated to look in each drug class
16 adjusted for RNA new drug covariates and J is the same as I
17 out using the ten-fold cutoff.

18 [Slide. 1

19 In order to summarize results, the summary
20 statistic was the odds ratio for a unit change in each
21 covariate and the 95 percent confidence interval for all the
22 covariates in each model. P-values for testing each
23 covariate individually were also developed. P-values were
24 calculated either using likelihood ratio tests from nested
25 models or from the wild statistic.

1 [Slide. 1

2 The final slide, for the intervention studies that
3 we just heard presented, we requested that, first of all,
4 the studies examine the effect of the treatment, the
5 randomization to receiving genotypic information versus not-
6 -obviously, studies would have already done that--then to do
7 all the models which I just went through and, finally, go
8 through **all** these models again with the addition of the
9 treatment covariate.

10 That **was** the DAP analysis plan which was sent out
11 to everyone who participated in the Resistance Collaborative
12 Group.

13 DR. HAMMER: Thank you.

14 Just for the committee sake, I think what we will
15 do is go through all of the presentations and then take
16 questions **as** a group so that we can get through the
17 retrospective studies.

18 DR. MELLORS: Thank you, Victor.

19 **Overview of Retrospective and Prospective Studies**
20 **Reanalyzed Using the DAP**

21 DR. MELLORS: What I would like to do next is
22 review the mutation table used for the DAP.

23 [Slide.]

24 The **goal** of the mutation table is a
25 standardization of genotype analysis. It is really not

1 intended for patient management. It was a consensus, and a
2 hard-fought consensus, of the RCG members and it **was** based
3 on clinical data and opinion. I think the collective number
4 of e-mails was probably over 500 and approached 1,000
5 surrounding the development of the DAP.

6 Let me stress that the table was developed before
7 there was any reanalysis of study so it was a priori. We
8 focussed on primary mutations for each drug that would be
9 expected to markedly reduce response to that drug. The
10 table that is before you is not inclusive of all possible
11 mutations that could influence susceptibility.

12 The table was used to calculate genotypic
13 sensitivity score and the number of mutations present for
14 each drug class.

15 [Slide.]

16 You can review this table. It is your materials.
17 It is self-explanatory. I won't read through the individual
18 mutations because that is even more lethal than the
19 presentations of the data analysis plan.

20 [Slide.]

21 We had a group for nucleoside--1 hesitate to show
22 this drug because I think that we have heard enough about
23 adefovir for a while, but, suffice it to say, 65, 70E and
24 the second pathway to multinucleoside resistance was scored
25 as a 0 for adefovir but the 184V was scored as 1.5 because

1 it increased susceptibility.

2 There are two multinucleoside resistance pathways,
3 one involving the 151M and the second involving the 69
4 insert complex. Here are the non-nucleoside reverse-
5 transcriptase inhibitor mutations.

6 [Slide.]

7 And, finally, the protease inhibitor mutations.

a [Slide.]

9 Let me just review the calculation of GSS. As
10 Victor said, when a mutation was present for a drug
11 received, the score was 0. When a mutation was not present
12 for a drug received, the score was given as 1. When a
13 mutation was not present for a drug received, the score was
14 given as 1. The exceptions, as I mentioned, 184V for
15 adefovir was given a score of 1.5. Because AZT resistance
16 mutations can reduce the response to subsequent nucleoside
17 therapy, when these were present, d4T, ddI, or ddC were
18 given a score of 0.75. The total score is the sum of the
19 individual drug scores.

20 [Slide.]

21 For the calculation of PSS, similar; resistance
22 present, score 0; resistance absent, score 1. The total
23 score was the sum of the individual drug scores that the
24 patient received and resistance was defined as either
25 greater than four-fold or greater than ten-fold decrease in

1 susceptibility or increase in IC50. Separate analyses were
2 done for each of these cutoffs.

3 [Slide.]

4 I would like, in the next two slides, to describe
5 the studies that were reanalyzed. First, the criteria that
6 were used to select studies for reanalysis were that the
7 study was completed, that there was adequate size for
a multivariate analysis and twelve of the fifteen studies we
9 reviewed qualified ten retrospective, two prospective,
10 intervention-based.

11 These tables are difficult. These are summary
12 tables of the develop studies including abacavir pooled
13 data, ACTG 333, ACTG 364, 372, CNAA2007. These are studies
14 with treatment defined by the protocol. The investigators
15 are listed and the number of patients with genotype and
16 phenotype is shown. Note that the numbers, overall, are
17 relatively low.

18 The treatment experience was varied from
19 nucleoside experience only to heavily nucleoside experience
20 and PI experience with 42 percent non-nucleoside experience.
21 The resistance technology was ABI sequencing or, for these
22 studies, phenotyping was done by Virco. Median baseline RNA
23 shows a broad range as does CD4 cell count.

24 [Slide. 1

25 Here are the cohort studies in the GS408 study

1 that you heard about yesterday. The cohort studies were
2 relatively small in size, experience ranging but generally
3 heavily pretreated. Resistance technology is shown and
4 baseline RNA and CD4 showing a broad range as with the
5 protocols that had treatments specified in them.

6 You can look at these at your leisure.

7 [Slide.]

a Now let's move on to the composite data from the
9 reanalyzed studies. What I am going to present is first the
10 eight retrospective studies that were reanalyzed and then
11 the two prospective studies. The GS408 and Swiss studies
12 will not be included because HIV RNA change from baseline
13 was modeled rather than the failure endpoint, and this is
14 difficult to show as a metric with the other studies.

15 I will show you the dropout as failure analyses.
16 The models that I will show you, both unadjusted and
17 adjusted, are the odds ratio of failure for HIV RNA, GSS,
18 number of mutations by drug class and PSS. The other
19 analyses and models have been provided in the documents.

20 [Slide.]

21 To put these data that I am about to show in
22 perspective, let's look at the results of the Surrogate
23 Marker Working Group, their metaanalysis of RNA relationship
24 with outcome. These were studies of dual nucleoside
25 therapy, familiar old names, NUCA 3001, 2, ACTG 116a, VA 298

1 and other studies.

2 This metaanalysis looked at the reduction in risk
3 of progression to AIDS and death per log reduction in RNA.
4 Here we see the point estimates for the reduction in risk
5 across this metaanalysis. Two things are obvious. First,
6 most of the points are less than 1 showing that there is a
7 lower risk of progression with a log reduction in RNA, per
8 a log reduction in RNA, but not all the studies show the point
9 estimates less than 1.

10 The second thing that is obvious is that there are
11 broad confidence intervals around these estimates. The one
12 thing I would like to point out is here is a composite
13 estimate showing a narrower confidence interval of all the
14 studies combined. We did not do that because we did not
15 have time nor did we have the logistics to do that quickly.

16 So that is background for the data that I am about
17 to present.

18 [Slide.]

19 Let's warm up to the data by looking at a tried-
20 and-true variable, baseline HIV RNA, as a predictor of
21 failure. What we have here is the odds ratio on a log
22 scale, the points estimates and the 95 percent confidence
23 intervals for baseline RNA. This is the odds ratio per 1-
24 lot unit increase in RNA unadjusted. This is the
25 retrospective studies with the dropouts as failures.

1 What you can see, and the reason we showed this,
2 is that we showed a consistent finding that has been
3 reported in the literature that baseline RNA is highly
4 predictive of treatment failure with an odds ratio above 1
5 in all the studies examined.

6 The confidence intervals are broad but, with one
7 exception, they do not intersect 1 unless they are
8 statistically significant. So this tells us that there is
9 internal validity to the data set.

10 [Slide.]

11 This shows the same variable, HIV RNA, adjusted
12 for genotypic sensitivity score and the new drug covariates,
13 whether or not they received a potent PI and NNRTI and the
14 number of new drugs.

15 What we can see is the unadjusted and, beneath it,
16 the adjusted point estimate and confidence interval. We see
17 that there is some shift in some of the studies to a lower
18 power of the variable but, in general, there are not major
19 shifts. The majority of the studies, the confidence band
20 did not intersect 1 and, thus, show a statistically
21 significant association of RNA with failure after adjusting
22 for the GSS and new drug covariates.

23 [Slide.]

24 Let's move on to the baseline genotypic
25 sensitivity score. Let me say that this is a rather crude

1 estimate of the activity of drugs and the odds ratio is
2 shown per one unit increase. This is unadjusted, again
3 retrospective, analysis, dropouts as failures.

4 What you can see, again, is the point estimates
5 with one exception, ACTG 372, fall less than 1, meaning the
6 higher the GSS score, the lower the risk of failure, the
7 opposite of RNA. So the more sensitive drugs, the lower the
a risk of failure and the confidence intervals do not
9 intersect 1 in five out of the eight studies.

10 [Slide.]

11 When we adjust for baseline RNA and new drug
12 covariates, we find that there is a general shift in the
13 point estimates towards 1 and that the confidence intervals
14 broaden, but there are no major differences after adjusting
15 for RNA and new drug covariates, and the majority of the
16 point estimates, again with the exception of 372, group b,
17 fall less than 1.

1a [Slide.]

19 Lets shift to the baseline number of nucleoside
20 mutations. The odds ratio is shown for additional mutation.
21 This is adjusted for other mutations in classes used in the
22 regimen. In this dataset, we see that the point estimates
23 generally are above 1, meaning that for each additional
24 mutation, nucleoside resistance mutation, the risk of
25 failure is increased.

1 The confidence intervals intersect 1 in several of
2 the studies but the point estimates are consistently greater
3 than 1.

4 [Slide.]

5 This is after adjustment for HIV RNA and new drug
6 covariates, again adjusting for mutations in other classes.
7 After all this adjustment, we still see that the point
8 estimates for the majority of studies are greater than 1
9 although the magnitude is not as great as the GSS score.

10 [Slide.]

11 Let's move on to number of PI mutations. Similar
12 analysis. Point estimates are all greater than 1,
13 unadjusted.

14 [Slide.]

15 And adjusted, we see some diminution of the effect
16 of the number of PI mutations but, still, there is
17 consistency among the data in that the number of mutations
18 in protease is associated with a greater risk of failure.

19 [Slide.]

20 When we looked at phenotypic, the first analysis
21 we did was the four-fold phenotypic sensitivity score
22 meaning that if the IC50 was less than four-fold, there was
23 one point, if greater than or equal to four-fold zero
24 points. This looks at the four-fold PSS score odds ratio
25 per one unit increase adjusted for baseline HIV RNA and new

1 drug covariates, unadjusted in white, adjusted in yellow.

2 What you see here is consistency, again, in the
3 relationship between the PSS and the risk of failure. Each
4 of these point estimates substantially less than 1, meaning
5 the higher the PSS, the lower the risk of failure and,
6 before adjustment, one of the analyses intersects 1, after
7 adjustment 2.

a But I think the important point is not how many
9 confidence intervals intersect 1 but the consistency of the
10 point estimates of the odds ratio.

11 [Slide.]

12 When we look at the ten-fold phenotypic
13 sensitivity score adjusted for RNA and new drug covariates,
14 we see a little less effect of that variable in the model.
15 The point estimates are generally unadjusted, less than 1,
16 and there is some movement after adjusting for RNA and new
17 drug covariates.

18 But, in general, the magnitude of the effect is
19 less for a ten-fold cutoff than a four-fold cutoff.

20 [Slide.]

21 I am going to show you just a few slides looking
22 at the reanalysis of the prospective studies, VIRADAPT and
23 GART. Each of these studies is broken down by whether there
24 was genotyping or no genotyping. Here, GART, no genotyping,
25 VIRADAPT, no genotyping; GART genotyping and VIRADAPT

1 genotyping.

2 This looks at baseline HIV RNA unadjusted and
3 adjusted for GSS and new drug covariates. The dropout is
4 failure analysis. What we see is that for GART, it is
5 consistent with our previous **datasets** that the higher the
6 RNA, the greater the risk of failure for VIRADAPT. In the
7 no-genotyping arm, we don't see that effect.

a For GART, in the genotyping arm, there is a
9 curious effect in that the baseline RNA is no longer
10 predictive of failure and suggests that the intervention
11 altered that effect, meaning that the resistance test
12 interpretation and therapies prescribed negated the effect
13 of baseline RNA on outcome.

14 In VIRADAPT, in the genotyping arm, we see an
15 effect similar to previous studies that I presented showing
16 the greater risk of failure with higher RNA.

17 [Slide.]

18 When we look at the baseline genotypic sensitivity
19 score, again for the same studies, divided by treatment arm,
20 we see that, with the exception of the VIRADAPT no-
21 genotyping arm, the point estimates are less than 1
22 suggesting, again, that the higher scores associated with a
23 lower risk of failure, broad confidence intervals and some
24 intersect 1.

25 [Slide.]

1 So that is a composite data analysis of the
2 retrospective studies and prospective studies that we did.
3 Now I would like to turn it over to the individual
4 presenters.

5 [Slide.]

6 There will be presentations first by Mike Para and
7 then the other individuals listed.

a Thank you.

9 DR. HAMMER: Thank you.

10 Mike?

11 Key Retrospective Studies **Analyzed**

12 **in a Standard Fashion**

13 **ACTG 333**

14 DR. PARA: Good afternoon. Thank you for the
15 opportunity of presenting briefly the results of ACTG 333
16 which was the study titled The Antiviral Effect of Switching
17 from Saquinavir, Hard Capsule, to the New Formulation of
18 Saquinavir Versus Switching to Indinavir After Greater than
19 One Year of Prior Use of the Saquinavir Hard Capsule. This
20 will be the DAP analysis that you just heard about.

21 [Slide.]

22 The objectives were to determine if, after
23 prolonged use of the saquinavir hard capsule, Invirase,
24 there was a fall in viral load upon switching to either
25 indinavir or saquinavir, soft gel, the Fortovase. The

1 objective of the DAP was to determine if the amino-acid
2 substitutions at the protease positions associated with in
3 vitro saquinavir or indinavir resistance at baseline were
4 predictive of the RNA response.

5 [Slide.]

6 This is the protocol design. Eligible patients
7 had been on saquinavir--that is, Invirase--for more than 48
8 weeks prior to study entry. Of note, they had no
9 antiretroviral change for the two months prior to admission
10 and they were naive to the other protease inhibitors.

11 Upon entry, they were randomized in an open-label
12 fashion, to continue their saquinavir hard capsule or have a
13 single drug switch, the protease switch, to the saquinavir
14 soft gel, or a single-drug switch from the hard capsule to
15 indinavir. Viral loads were then followed for the next six
16 months.

17 For the purpose of the DAP, a success was being
18 suppressed below the level of 500 at 24 weeks. Within the
19 DAP, because these individuals were only placed on this drug
20 for sixteen weeks, they were not further analyzed. In the
21 study, patients who failed this were allowed to cross arms.
22 Those failures were considered in the DAP analysis as
23 failures.

24 [Slide.]

25 Baseline characteristics of the population are

1 here. Note that these are extensively treated patients with
2 over two years of prior saquinavir, hard capsule. They had
3 been on greater than or equal to, at the time of entry, to
4 nucleosides. 85 percent were on two or more nucleosides.
5 Their baseline viral load was 4.1 log-base 10. Their
6 baseline CD4 count was 240.

7 [Slide.]

a The next slide shows the virologic response for
9 the first eight weeks. You can see with a single drug
10 switch from the hard capsule to the saquinavir soft gel,
11 viral load fell about 0.25 logs at eight weeks. With a
12 single-drug switch to indinavir, viral load fell about 0.6
13 log at eight weeks.

14 [Slide.]

15 The purpose was to look at the predictive power of
16 the baseline genotype. We did genotyping by two methods.
17 One was population-based sequencing performed by Charles
18 Bouchet with ABI sequencer. The second was a multiple PCR
19 independent clonal sequencing also with ABI which was
20 performed in the laboratory of John Condra.

21 The amino-acid positions that were analyzed were
22 those described in the DAP and are shown here. Of note, if
23 people were found to have a mixture of both wild type and
24 resistant at a particular position, they were considered to
25 be mutant at that position.

1 Differences by the two methods were resolved by
2 repeat sequencing.

3 [Slide.]

4 The next slide shows the distribution of the
5 number of **protease** mutations for the 89 subjects enrolled in
6 the study. As you can see, in spite of the fact that these
7 patients had a median of two years of prior saquinavir hard
8 capsule, 31 percent had none of the mutations that were
9 selected to be analyzed.

10 [Slide.]

11 The next slide shows baseline covariates and
12 virologic response. This is the baseline RNA. As you can
13 see, with increasing baseline RNA, there was a greater
14 likelihood of virologic failure, as John just said. That is
15 not surprising. But you can also see with increasing number
16 of **protease** mutations, there was also an increasing
17 likelihood that these individuals would fail their new drug
18 regimen.

19 [Slide.]

20 Statistical analysis of this shows that these
21 relationships were highly statistically significant in that
22 a one-log change of baseline RNA was associated with a
23 seven-fold increased risk of failure. Also, for every
24 additional **protease** mutation, there was a two-fold increased
25 risk that they would not be successfully suppressed.

1 [Slide.]

2 I am going to present the retrospective analysis
3 of the baseline resistance in CNA2007 to investigate the
4 predictive value and the predictive quality of genotype and
5 phenotype data on biological response to salvage therapy in
6 HIV-infected patients.

7 [Slide.]

8 The objective of that study was to evaluate the
9 treatment with abacavir, amprenavir and efavirenz as salvage
10 therapy in heavily experienced patients who experienced
11 viral rebound on the PI-containing HART.

12 Ninety-nine subjects were exposed to the study
13 drugs and patients in this trial were heavily pretreated
14 with 44 percent NNRTI-experienced. This reflects the
15 inclusion of an NNRTI-naive patient in the protocol.
16 50 percent of patients had experience with three or four PIs
17 and over 70 percent had prior experience to four to five
18 NRTI.

19 [Slide.]

20 The methods used in that study were as follows;
21 the plasma HIV RNA was measured by the Roche Amplicor.
22 Genotyping was carried out by a plasma-population sequencing
23 using the ABI technology. Phenotyping was obtained by the
24 recombinant virus assay using the antiviral grown at Virco.
25 The statistical analysis was carried out according to the

1 RCG data-analysis plan using the virological endpoint of HIV
2 RNA greater than 400 copies/ml in the week 24 time window.

3 [Slide.]

4 The baseline characteristics of the study
5 population is shown here. Genotyping was obtained for 94
6 patients and phenotype values were obtained for 64 patients.
7 Both populations had high baseline HIV RNA of about five
8 logs or 100,000 copies/ml, a low baseline median CD4 count
9 of around 100,000 cells/microL. The prior NNRTI usage was
10 similar in both populations with 40 percent being NNRTI-
11 experienced prior to study entry.

12 [Slide. 1

13 In accordance with the numerous prior therapies of
14 these heavily pretreated patients, a large number of
15 mutations were found in reverse transcriptase and protease.
16 65 percent of the baseline isolates had more than four
17 nucleoside reverse-transcriptase-inhibitor-associated
18 mutation. 45 percent had more than one non-nucleoside
19 reverse-transcriptase-inhibitor mutation with 21 percent
20 having more than two. 81 percent of viruses had more than
21 four protease-inhibitor-associated mutations with 50 percent
22 having more than five PI-associated mutations.

23 Looking at the baseline phenotypic data for the
24 study drug in CNA2007 at the two different cutoffs, at the
25 four-fold cutoff, 45 percent of the isolates were sensitive

1 to abacavir, 58 percent sensitive to amprenavir and
2 67 percent sensitive to efavirenz.

3 Looking at the ten-fold cutoff, over 70 percent of
4 the baseline isolates were susceptible to all study drug
5 according to that cutoff, so 94 percent to abacavir, 86 to
6 amprenavir and 73 to efavirenz. In that particular study,
7 the ten-fold cutoff had less discriminatory power to
8 discriminate the baseline isolates according to phenotype.

9 [Slide.]

10 I am now going to present the results of the
11 various univariate and multivariate analyses described in
12 the data-analysis plan for both the dropouts-as-failure and
13 dropouts-as-censored population. This graph--luckily, Dr.
14 Mellors had made an excellent job by describing the way this
15 data is presented.

16 The graphs here show the odds ratio or the risk of
17 virological failure and the X axis represents the variable
18 used in the model presented. The risk of 1 is marked for
19 comparison and the 95 percent confidence intervals are also
20 shown.

21 So here in the univariate analysis, you can see
22 that a higher baseline HIV RNA is, as stated, with an
23 increased risk of failure. The introduction of a potent new
24 drug and, in this case, efavirenz in the NNRTI-naive patient
25 is associated with a lower risk of failure, about five-fold,

1 and the higher genotypic sensitivity score, again,
2 associated with a decreased risk of virological failure.

3 [Slide. 1

4 The multivariate analysis using those three
5 variables has shown that the genotypic sensitivity score
6 remained the only factor associated with virological
7 failure.

8 [Slide.]

9 Looking at the genotype analysis using the number
10 of mutations for each drug class, the mutations for NRTI,
11 NNRTI and PIs, after adjustment for baseline RNA, potent new
12 drug, one can see that the number of NRTI mutations and
13 NNRTI mutations remained the only factor associated with an
14 increased risk of virological failure.

15 [Slide.]

16 Looking now at the phenotypic analysis, this is
17 the univariate analysis of each of the variables with the
18 baseline HIV RNA, potent and the four-fold cutoff phenotypic
19 sensitivity score and the ten-fold cutoff sensitivity score.
20 What is apparent here is that increased baseline HIV RNA was
21 associated with increased risk of virological failure and a
22 higher phenotypic sensitivity score at the four-fold cutoff
23 was associated with a decreased risk of failure but not the
24 ten-fold cutoff.

25 [Slide.]

1 In the multivariate analysis, if you concentrate
2 just on the left-hand side of the graph, looking at the
3 phenotypic sensitivity score based on RNA and potent new
4 drug, the baseline HIV RNA and phenotypic sensitivity scores
5 remained the only factors associated with virological
6 response.

7 [Slide.]

8 In this multivariate analysis, looking at the
9 phenotypic sensitivities for each of the drug classes, the
10 baseline HIV RNA, an increased baseline HIV RNA, was
11 associated with an increased risk of about six-fold of
12 virological failure. NRTI sensitivity score at the four-
13 fold cutoff and the NNRTI sensitivity score were--sorry; in
14 this multivariate analysis, the only sensitivity score that
15 remained associated with a decreased risk of failure was the
16 sensitivity score for the nucleoside reverse-transcriptase
17 inhibitors.

18 The possible explanation for the loss of
19 association between the NNRTI sensitivity score and
20 virological failure is probably the use of the presence of
21 confounding variables in the model such as the use of potent
22 new drug which was the use of the efavirenz in NNRTI-naive
23 patients, so, in other words, looking at two different
24 variables of two identical effects and of two different
25 variable measures.

1 [Slide.]

2 In conclusion, this retrospective study has shown
3 that the genotypic sensitivity score was the only predictor
4 of virological response in the multivariate analysis using
5 baseline HIV RNA and new drug covariate as the variables.
6 The genotypic analysis has also shown that the number of
7 nucleoside reverse-transcriptase inhibitor and non-
8 nucleoside reverse-transcriptase inhibitor mutations were
9 also associated with biological response after adjustment
10 for baseline HIV RNA and new drug covariate.

11 [Slide.]

12 The phenotypic analysis has shown that, in the
13 univariate analysis, the four-fold cutoff was more
14 predictive of virological response than the ten-fold cutoff
15 but here, again, it was population-dependent.

16 Finally, the study seems to suggest that, in
17 heavily pretreated patients, the phenotypic sensitivity
18 score using the four-fold cutoff was the best predictor of
19 virological response independently of baseline HIV RNA and
20 new drug covariates.

21 [Slide.]

22 Finally, I would like to acknowledge all the
23 investigators, team members and patients who participated in
24 this study.

25 Thank, you for your attention.

1 DR. HAMMER: Thank you very much.

2 Again, we will hold questions. Dr. Veronica
3 Miller will now present the study results from the Frankfort
4 Cohort analysis.

5 **Frankfort Cohort**

6 DR. MILLER: Hi.

7 [Slide.]

8 What I will be talking today about is the
9 association between phenotypic resistance and virologic
10 response to mega-HART regimens in patients from the
11 Frankfort HIV cohort. What I want to point out here is that
12 this is going to be different from the other trials that you
13 have just heard about because here we have a total mixture
14 of the kinds of pretreatments these patients have seen and,
15 also, complete freedom as to the types of drugs that the
16 patients could receive in their treatment.

17 [Slide.]

18 So the Frankfort HIV cohort consists of all
19 patients attending the clinic who had presented at least
20 once since January 1 of 1995. Data pertaining to
21 antiretroviral history, immune status, HIV-1 viral load,
22 HIV-associated clinical events and demographics are in this
23 database.

24 Patients with multiple treatment failures were
25 treated with a salvage regimen consisting of six or more

1 antiretroviral drugs and phenotypic resistance tests were
2 performed from stored plasma samples.

3 [Slide.]

4 So, in terms of the patients and methods, we had
5 50 patients who met the definition criteria and the DAP
6 requirements. Viral load was assessed in the Amplicor
7 system. Resistance was assessed using the Antivirogram.
8 Virologic failure was defined as having more than 400 copies
9 at the week-24 window. And the statistical analysis
10 basically followed the DAP requirements very closely.

11 [Slide.]

12 Here are the baseline characteristics of these
13 patients. As you can see, they were relatively advanced
14 with the virus meeting viral load of 5.52 and a CD4 cell
15 count of 95. They had been on HART for a median time of
16 18.3 months.

17 [Slide.]

18 This is the summary of previous ART divided as to
19 less than one week, one week to one year in yellow and more
20 than one year in red. So you can see drugs like ZDV, 3TC
21 these patients had seen quite a bit and, also, 90 percent of
22 these patients had been on HART for more than one year.

23 [Slide.]

24 The susceptibility status at baseline looked like
25 this. As you can see, there was a lot of resistance to most

1 of the drugs, a lot of resistance to the nucleosides,
2 especially ZDV. 3TC; most of the patients did have
3 resistance to 3TC, cross-resistance to abacavir although
4 this patient population hadn't seen this drug very much,
5 extensive resistance to the non-nucleosides as we presented
6 here as well as to the protease inhibitors.

7 [Slide.]

8 These were the drugs that were used on treatment.
9 About 90 percent of the patients used six or seven drugs and
10 10 percent of the patients used eight or nine drugs. You
11 can see that all of the drugs were represented and
12 100 percent of the patients did receive protease inhibitors,
13 most of them two and, in some cases, even three.

14 [Slide.]

15 This is the univariate analysis where we looked at
16 the variables of RNA, number of new drugs, sensitivity of
17 all the drugs with the cutoff of 4, the same with a cutoff
18 of 10, NNRTI sensitivity cutoff of 4, PI sensitivity cutoff
19 of 4 and the same with the cutoff of 10.

20 But we see what comes out statistically
21 significant is the RNA level using both types of analysis,
22 the sensitivity cutoff score of 4 as well as a 10. Then,
23 when we get into the individual drugs, the PI sensitivity of
24 4 and of 10 remains statistically significant. So we see
25 about a two-fold increase in the OR here and about a

1 50 percent reduction in the OR for the amount of sensitive
2 drug that the patients were receiving.

3 [Slide.]

4 Here we have the different multivariate analyses.
5 We first looked at an RNA level at baseline, the number of
6 new drugs, sensitivity score of 4 of sensitivity score using
7 the ten-fold cutoff assay. What remained significant was
8 the RNA with about a four-fold increase in the OR and the
9 sensitivity score at 4 as well as at ten with about a 60
10 percent reduction in the OR.

11 [Slide.]

12 Looking at the multivariate analysis 3 and 4, in
13 this case we also kept RNA, number of new drugs, but now
14 looked at the sensitivities to NRTIs and 2PIs using a cutoff
15 of 4 or of 10. In this analysis, the only thing that really
16 remained significant was the PI sensitivity either at 4 or
17 at 10.

18 [Slide.]

19 So, in conclusion, in this retrospective analysis,
20 based on a small group of extensively experienced patients,
21 phenotypic resistance was a significant predictor of
22 virologic response independent of baseline virus load and
23 previous antiretroviral history. Either a four-fold or a
24 ten-fold cutoff provided significant independent
25 information.