

1 public health problem.

2 Successful intervention depends on pharmaceutical
3 and psychotherapeutic treatment approaches', as well as a two
4 fold approach to education in professional and treatment
5 settings, as well as in the patient population and general
6 public.

7 Since primary care physicians and community mental
8 health staffs are most likely to see people with PTSD first,
9 they must learn to ask about trauma exposure, recognize the
10 symptoms, and treat or refer patients appropriately.

11 Educating professionals first is paramount to
12 managing the influx of clients that will certainly follow
13 the public awareness programming that might come with this
14 indication for PTSD medicine.

15 Thank you.

16 DR. TAMMINGA: The committee appreciates your
17 ~~remarks~~, Ms. Giller, and thank you for appearing before us.

18 The second public speaker we have is Ms. Bonnie
19 Green, who is representing the International Society for
20 Traumatic Stress.

21 Ms. Green.

22 DR. GREEN: Good morning. My name is Bonnie
23 Green. I am a Professor of Psychiatry at Georgetown
24 University Medical School.' I am here today as president-
25 elect of the International Society for Traumatic Stress

1 Studies, the ISTSS, which is an international organization
2 of approximately 2,500 mental health professionals who study
3 and treat survivors of traumatic events.

4 I am here to speak today on behalf of the ISTSS to
5 the importance to posttraumatic stress disorder, PTSD, as a
6 public health issue, and of the necessity of identifying
7 treatments for this potentially debilitating disorder.

8 PTSD is an anxiety disorder that is experienced
9 following a traumatic life event. An event that can
10 precipitate PTSD is usually a direct or indirect
11 confrontation with death, or with serious bodily injury,
12 which produces an overwhelming experience of fear,
13 helplessness, or horror.

14 Traumatic events, such as rape, assault, domestic
15 violence, accidents, and disasters are, unfortunately,
16 relatively common in the general population. Estimates are
17 ~~that~~ one-half to three-quarters of Americans have
18 experienced a traumatic event in their lifetime.

19 Individuals with a PTSD diagnosis following such
20 events reexperience the traumatic event in a number of ways
21 including intrusive recollections, having disturbing dreams
22 about the event, and becoming very upset when they are
23 reminded of the event.

24 Trauma survivors with PTSD also try to avoid
25 reminders of the event, they feel emotionally numb, and they

1 have difficulty being close to others. Finally, PTSD
2 involves symptoms of physiological arousal, such as
3 difficulty with sleep and concentration, exaggerated startle
4 response, and hypervigilance. These symptoms can cause
5 substantial disability and disruption of interpersonal
6 relationships.

7 PTSD is a relatively frequent disorder in the
8 general population. There have now been several studies in
9 general community samples including a very large
10 epidemiologic study of over 8,000 people between the ages of
11 15 and 54 in the United States, the **National Comorbidity**
12 **Survey**, which I am sure you have already heard about this
13 morning, that have assessed exposure to traumatic events and
14 to PTSD.

15 In spite of very different methodologies, these
16 studies have produced remarkably similar estimates of the
17 prevalence of PTSD in the general population. Specifically,
18 this diagnosis occurs on a lifetime basis in about 10 to 12
19 percent of women and 5 to 6 percent of men.

20 Point prevalence estimates, estimates of who would
21 have PTSD at any given time are about 5 percent for women
22 and 2 to 3 percent for men in the United States.

23 Heidi Resnick and her colleagues, in their
24 national study of women, estimated that nearly 10 million
25 women would have PTSD at some point in their lives, and that

1 over 4 million had PTSD at the time of the study.

2 If left untreated, PTSD can last for decades.
3 Recent studies have found high prevalence of PTSD half a
4 century later in Holocaust survivors, World War II
5 combatants, and prisoners of war. The National Comorbidity
6 Survey found that among those people who developed PTSD
7 Following a traumatic event, one-third of them continued to
8 have the diagnosis 10 years later.

9 In addition to the mental anguish that PTSD
10 causes, it also contributes significantly to problems with
11 physical health, as Esther just mentioned. Studies have
12 been accumulating for the past decade that have documented
13 the relationship between exposure to traumatic events and
14 increased levels of physical health complaints, physical
15 illness conditions, physician diagnosis, visits to
16 physicians, and cost of health care.

17 Only in the past few years, however, have
18 researchers begun to investigate the mechanisms for these
19 relationships. It turns out that there is convincing
20 support for PTSD as an important link between trauma and
21 poor physical health.

22 This means that among those traumatized in various
23 ways, it is the development of PTSD that predicts poor
24 health and higher utilization of care. PTSD also impact in
25 the economic realm, with findings from a recent study

1 .ndicating that having PTSD is associated with high rates of
2 unemployment and with significantly lower wages. The
3 combined impact of PTSD on emotional, physical, and economic
4 well-being, therefore, makes it a significant public health
5 problem.

6 While PTSD has been associated historically with
7 combat trauma, studies in the past decade have clarified its
8 frequency in non-military populations, indeed, PTSD occurs
9 most often outside of military settings.

10 In the National Comorbidity Survey and in other
11 studies, PTSD was most likely to develop in both women and
12 men following rape. Physical abuse was very likely to lead
13 to PTSD in both genders, as well.

14 For women, being sexually molested and being
15 threatened with a weapon were also important predictors of
16 PTSD. Since PTSD is more common in women than in men, it is
17 clear that PTSD is an important concern, not only for
18 military veterans, but for civilians in all walks of life.

19 PTSD often coexists with other psychiatric
20 disorders. The National Comorbidity Survey found, for
21 example, that half of men and women with a lifetime history
22 of PTSD also had a lifetime history of major depression.
23 However, although anxiety and depression often coexist with
24 PTSD, in recent years, it has become increasingly clear that
25 PTSD has a distinct neurobiology that can be

1 differentiated from depression.

2 Some of the more compelling evidence includes the
3 observation that levels of the stress hormone cortisol are
4 lower than normal in PTSD, whereas, they are consistently
5 higher than normal in major depression.

6 Moreover, in PTSD, the negative feedback
7 inhibition of cortisol, which regulates the sensitivity of
8 the stress response mechanism in humans and animals, is
9 altered in such a way as to produce an increase
10 responsiveness to stress.

11 This has been established with **numerous** studies
12 demonstrating an increased sensitivity of the glucocorticoid
13 receptor, evidenced by an exaggerated cortisol suppression
14 **following** dexamethasone administration, and an augmented
15 ACTH response to the cortisol inhibitor, metyrapone, in
16 PTSD.

17 In contrast, depressed individuals typically show
18 a decreased sensitivity of the glucocorticoid receptor as
19 evidenced by escape from dexamethasone suppression. This
20 evidence strongly **suggests** that PTSD is a distinct
21 psychiatric disorder.

22 Although PTSD first appeared in the Diagnostic and
23 Statistical Manual of Mental Disorders of the American
24 Psychiatric Association as recently as 1980, there is
25 already enough preliminary information about potentially

1 efficacious strategies to warrant publication of a Treatment
2 Guideline for PTSD, spearheaded by our organization, the
3 ISTSS.

4 This guideline reviews different treatment options
5 for PTSD including both psychotherapeutic and pharmacologic
6 approaches. As the guidelines indicate, there are indeed
7 efficacious treatments for PTSD.

8 With regard to medications, the **SSRIs**, in
9 particular, appear to be frequently used in clinical
10 practice and are well tolerated by patients. Medication
11 trials have indicated that the **SSRIs** are **associated** with
12 reduction of symptoms in all of the PTSD symptom clusters,
13 reexperiencing symptoms, numbing symptoms, and physiological
14 arousal.

15 In closing, the ISTSS wishes to be present today
16 to speak to PTSD as a significant public health problem, and
-7 **to underscore** the importance of developing effective
18 treatments for it.

19 We believe an **approved medication** for PTSD would
20 serve to encourage the **public** to seek and receive treatment
21 for this disorder, and would add significantly to our
22 treatment options when we treat patients suffering from this
23 serious health condition.

24 Thank you.

25 DR. TAMMINGA: Thank you, Ms. Green, for speaking

1 to the committee and sharing your concerns with us.

2 We have a third speaker here this morning, Ms.
3 Jerilyn Ross from the Anxiety Disorders Association of
4 America.

5 Ms. Ross.

6 MS. ROSS: I am Jerilyn Ross. I am president of
7 the Anxiety Disorders Association of America, or ADAA. I am
8 director of the Ross Center for Anxiety and Related
9 Disorders here in Washington, and I am author of a book
10 called "Triumph Over Fear."

11 Thank you, Mr. Chairman, and **members** of the
12 Advisory Committee for the opportunity to speak to you here
13 this morning.

14 For those of you who don't know us, the ADAA is a
15 national nonprofit organization, and we are dedicated to the
16 early prevention, identification, and treatment of anxiety
17 disorders. We were established in 1980, and we are a
18 partnership of researchers, clinicians, patients with
19 anxiety disorders, and their **family** members and other
20 interested individuals.-*

21 Together, we work towards the prevention and the
22 cure of anxiety disorders by supporting research and by
23 helping consumers gain early access to diagnosis and
24 treatment. We also seek to reduce stigma, we stimulate
25 ongoing research, and we educate health care professionals

1 and consumers about effective treatment.

2 Increasing access to safe and effective treatment
3 for people with posttraumatic stress disorder, as well as
4 with other anxiety disorders, is a major concern of our
5 organization, and therefore we hope for a positive outcome
6 to your deliberations today.

7 I am here on behalf of more than 19 million
8 Americans who suffer from an anxiety disorder, specifically,
9 today, the 8 million Americans who suffer from PTSD,
10 posttraumatic stress disorder, which is a severe and
11 potentially debilitating mental health problem.

12 People with PTSD come from every walk of life,
13 every social class, every educational level, and every
14 professional achievement. These are people who have been
15 exposed to an extreme trauma, maybe an accident, a natural
16 disaster, been raped, criminally assaulted, or exposed to
17 c &at or physical or sexual abuse.

18 And these are people who may at one time have been
19 healthy, productive individuals, who now, following exposure
20 to this trauma, are suffering real life-altering, but
21 treatable disorders.

22 People suffering from PTSD reexperience the
23 traumatic event in the form of flashbacks, nightmares,
24 intrusive, distressing recollections, and they develop
25 avoidance behavior, they develop increased arousal, and

1 numbing, where they can't feel anything, positive or
2 negative, emotionally.

3 They become vulnerable also to secondary problems,
4 panic attacks, depression, substance abuse and suicidal
5 thoughts and attempts, just to name a few, and many of them,
6 most of them are unable to receive an accurate diagnosis for
7 their illness, and many of them end up being dismissed as
8 hypochondriacs or eccentrics or malingerers without getting
9 of the help that they need and so desperately deserve.

10 Each year at ADAA, we receive tens of thousands of
11 requests for information from people with anxiety disorders.
12 As a matter of fact, we are currently experiencing more than
1 3 43,000 people per month who spend a minimum of 10 minutes on
14 our web site seeking information, and we also get letters
15 and phone calls from people who describe their heart-
16 wrenching pain, their suffering, as well as their fear,
17 their confusion, and their despair.

18 What we hear, what we find most frustrating from
19 these people is that they are not able to find health
20 professionals in their communities who are both
21 knowledgeable about anxiety disorders, and able to provide
22 effective treatment. Sadly, at this time, particularly for
23 PTSD patients, there are no approved medications and
24 millions of people with PTSD are suffering, Oftentimes
25 silently in the dark, with ignorance, frustration, and

1 shame.

2 According to a study that we published recently in
3 the Journal of Clinical Psychiatry, called "The Economic
4 Burden of Anxiety Disorders in the 1990s," PTSD was found to
5 be one of the two anxiety disorders with the highest rates
6 of risk factors for psychiatric service usage. PTSD was
7 also among the anxiety disorders associated with most
8 substantial impairment in workplace performance.

9 The good news is that thanks to new scientific
10 understandings of the biochemical component of PTSD, and
11 studies demonstrating the efficacy of **specific** biological
12 and psychological treatment, things are beginning to change
13 for the better.

14 Our association has joined with other mental
15 health professional, as well as with other advocacy groups,
16 in hopes of spreading the word, getting the word out that
17 PTSD is a bio-psycho-social disorder that is real, that is
18 serious, and that it is treatable.

19 Improving physician education about PTSD and
20 increasing the **availability** of safe and effective
21 medications, as well as of psychological treatments, are
22 vitally necessary, so that those suffering from PTSD are
23 better able to manage their illness and go on to lead full
24 and productive lives.

25 We have seen the difference that this has made as

1 effective treatments have become available for other anxiety
2 disorders , like panic disorder, obsessive compulsive
3 disorder, most recently for social anxiety disorder, and I
4 believe that your deliberations here today can contribute
5 greatly to achieving the objective that all people with
6 anxiety disorders can get the diagnosis and the treatment
7 that they need and deserve.

8 I thank you very much for your consideration
9 today.

10 DR. TAMMINGA: Ms. Ross, thank you very much for
11 your remarks to the committee.

12 **Advisory Committee Discussion and Deliberations**

13 With this presentation, we conclude the open
14 public hearing portion of our meeting, and we begin the
15 Advisory Committee deliberations about sertraline for PTSD.

16 We have heard this morning from Pfizer, who
17 produced the data about sertraline, you had an opportunity
18 to ask them some questions. We have heard from the FDA
19 about their analysis.

20 We have a number of questions in front of us by
21 the FDA, and the questions that the committee has in front
22 of us today are questions that are not only about safety and
23 efficacy of the compound for the indication, but actually
24 questions about the indication itself, those questions that
25 Dr. Laughren posed to us earlier.

1 I would like to suggest that the committee begin
2 its deliberations by addressing some of those questions
3 about the diagnosis that Dr. Laughren put to us, about PTSD
4 as a new indication, how widely recognized and accepted is
5 the entity, can PTSD be considered an independent diagnosis,
6 and then more practical questions about actually doing
7 studies in PTSD.

8 I would like to invite the committee to begin a
9 discussion on that.

10 DR. DOMINGUEZ: I will make a very general
11 statement to begin with. It is a disorder that is hard to
12 ignore, although I think refinements will continue to take
13 place in the definition of the disorder. I think that there
14 are clusters of symptoms that are distinct enough that
15 indeed it is recognized within our field.

16 So, I would like to immediately begin by
17 ~~expressing~~ expressing my opinion that yes, this is a distinct disorder
18 where we should be seeking specific forms of therapy, both
19 psychosocial and pharmacotherapy. I have no problem with
20 that.

21 DR. TAMMINGA: Thanks. We have three PTSD experts
22 here, and perhaps the committee could hear from them.

23 Dr. Southwick.

24 DR. SOUTHWICK: I also feel this is a distinct
25 disorder that has had a very long history and gone by many

1 different names throughout history, and many of the early
2 names were derived from combat experiences like shell-shock,
3 irritable heart of soldiers, combat fatigue, et cetera, and
4 as DSM was formed in 1980, PTSD became a formal diagnosis,
5 and I think what we have seen, although some of the symptoms
6 have changed since DSM-III, they are relatively stable
7 between DSM-III-R and IV.

8 There has been really very little change with the
9 core symptoms, suggesting that with experience and research
10 and clinical input, that the disorder has been more
11 carefully and rigorously defined over the last number of
12 years.

13 Also, as mentioned earlier, there are a number of
14 very distinct PTSD symptoms, I think there are eight, that
15 are specific to the trauma, which helps to differentiate
16 from other comorbid diagnoses.

17 DR. TAMMINGA: Thank you.

18 Dr. Brewerton.

19 DR. BREWERTON: **Yes.** I think among the questions
20 that are posed to us today, this is probably the easiest
21 one. In my mind, there is no doubt that PTSD exists. It
22 certainly fits with all of my clinical experience, and I
23 think also the science is at a point now that does, in fact,
24 confirm its existence and distinction from depression.

25 I would add, among the comments made already, that

1 there are several psychiatric disorders that have
2 significant overlaps with major depression. Certainly PTSD
3 is just one of many.

4 We think about the anxiety disorders, eating
5 disorders, substance use disorders, somatoform disorders,
6 dissociative disorders, personality disorders, all of those
7 have strong and important links to depression, but yet
8 remain as fairly distinct entities, and I think PTSD is just
9 yet another that fits that bill.

10 So, I would think that this is the easiest
11 question and I think the overwhelming **evidence** is in favor
12 of its independent existence.

13 DR. TAMMINGA: Dr. Brewerton, would you comment a
14 little bit more on the nature of the evidence that it is an
15 independent disorder?

16 DR. BREWERTON: Well, I know at the Medical
17 University of South Carolina, in the National Crime Victims
18 Research and Treatment Center **dataset**, which is in reference
19 to **one** of the studies mentioned **today** by Heidi Resnick,
20 which is the National **Women's** Study, which included over
21 **4,000** women randomly selected across the United States,
22 there have been detailed cluster analyses of the symptoms
23 generated from this study, clearly again showing the links
24 between PTSD and depression, but that they do, in fact,
25 separate out in terms of factor analyses as clustering

1 together and separately.

2 I know that there are a number of other studies
3 like that, that show its independence.

4 DR. NORTH: There is considerable controversy
5 regarding the diagnosis of PTSD among clinicians, and I
6 think part of the force responsible for that is the
7 comorbidities and confusion among diagnoses and the
8 preexisting disorders, but I myself come from epidemiology
9 of disasters, and I can say that what we see after disasters
10 often appears very different from much of what we see in
11 other populations, and that is because we can study PTSD in
12 a more pure form after disasters because in other
13 populations, PTSD is confounded with vulnerability to a
14 traumatic event, whereas, disasters select populations
15 actually unselected for previous psychopathology.

16 In this setting, I can say after interviewing very
17 many disaster survivors, that I have seen many people with
18 PTSD without any previous or coexisting comorbidity, and I
19 am--definitely a believer in PTSD from my own research
20 experience, and I believe that PTSD looks different in
21 different populations, and that may be a source of the
22 disbelief among many clinicians, but in my experience as a
23 researcher and a clinician, it is apparent to me from the
24 data and from clinical experience that this is an important
25 disorder.

1 DR. KATZ: I have a related question. Everything
2 or most everything we have seen today, both in terms of the
3 literature, the previous literature that was discussed, and
4 the data that we have had presented from this application
5 suggests that veterans, people whose particular traumatic
6 event was war or combat, don't seem to respond to treatments
7 that perhaps others do respond to, raising the question as
8 to whether or not that is a fundamentally different thing,
9 whether that is a variant of PTSD, and it raises the sort of
10 generic question of does the event, does the specific
11 traumatic event have anything to do with what we are calling
12 PTSD. I just wonder what people think.

13 DR. HAMER: That is an interesting question, and I
14 think it relates directly to what happened in the clinical
15 trials here. There a number of events or characteristics
16 that are clearly very confounded, at least in the databases
17 we have - being a veteran, being male, the type of trauma,
18 the age of exposure to trauma, and the length of time since
19 trauma and the duration of reported PTSD.

20 We are focusing, you are focusing at the moment on
21 the veteran versus non-veteran issue. Pfizer and the
22 reviewers tended to focus on the gender issue, but to some
23 extent it could be any of them. I mean it could be that if
24 we had the data to find a cohort of males who had been
25 sexually assaulted at roughly the same age as the women in

1 our cohorts have, and it has been that duration of time
2 since the assaults, we could find a similar pattern in terms
3 of response to medication or we might not, but given the
4 data that we have at hand, trying to separate out gender
5 effect, trauma effect, veteran, duration, age, and all that
6 sort of material seems to me to be fundamentally difficult.

7 DR. TAMMINGA: I am wondering if people who have
8 had experience with treating PTSD veterans versus non-
9 veterans, or combat trauma, could speak to that.

10 Dr. Southwick.

11 DR. SOUTHWICK: I think it is a complicated issue.
12 One factor is in combat, one is typically exposed to
13 multiple repetitive traumas that may last, go on for years
14 or a year or whatever, so that one of the most important
15 questions I think is looking at the nature of the trauma,
16 how repetitive it is, that sort of thing.

17 It is also true that how you sample, which
18 patients you select, I think is very important because if
19 the patients are selected from the VA now, 30 years later,
20 as opposed to a community sample, advertising for veterans
21 who may have some of these symptoms, you may see a different
22 response because most of the veterans who are coming to the
23 hospital now have very severe PTSD and have been coming for
24 a long period of time, and have probably been in treatment,
25 and that sort of thing.

1 So, I think sampling is very important issue. I
2 am not totally convinced that veterans do not respond to
3 medications. For example, 15 years ago, there were some
4 studies done on veterans at outreach centers, and so forth,
5 and they had not been in treatment for as long a period of
6 time, and I think some of those results were more promising.

7 DR. BREWERTON: I definitely agree it's a most
8 complicated issue, and another factor that I wonder about is
9 the issue of service-connected disability and what
10 percentage of the veterans had service-connected disability,
11 which becomes a disincentive to improvement.

12 DR. HAMER: That is another confound. I would
13 actually be curious to ask Pfizer, since I haven't seen the
14 protocol, what kind of exclusion criteria there were for
15 either involvement in some sort of a legal process, that is,
16 **whether** a lawsuit was ongoing, or whether the subject was
17 **receiving** or about to receive some sort of disability
18 payment that would be an incentive to continue to report
19 PTSD symptoms.

20 DR. TAMMINGA: I would like to broaden that
21 question just a little bit to include the question of
22 whether these veterans were, like Dr. Southwick implied,
23 recruited from a VA hospital **or were** they veterans recruited
24 generally from the community.

25 DR. FARFEL: The **exclusion** criteria for all four

1 of the trials, which include the veteran study, excluded
2 subjects who were currently in litigation, but did not
3 exclude veterans or anyone who was currently receiving
4 disability benefits related to their PTSD, only if it was in
5 terms of litigation.

6 DR. HAMER: And, in fact, was there a higher rate
7 of people receiving disability payments for PTSD in the
8 veterans sample as opposed to the community sample?

9 DR. FARFEL: That would be somewhat of a logical
10 conclusion, but we did not actually collect the data.

11 I am sorry, could you repeat your question?

12 DR. TAMMINGA: Where did you recruit from, did you
13 recruit from the hospital?

14 DR. FARFEL: Primarily, as I understand it, the VA
15 medical centers recruited from their hospital patient base,
16 but they were permitted to advertise and, in some cases,
17 they did. In addition, several of the VA medical centers
18 were allowed to enroll subjects who were not veterans that
19 they found through their recruitment, -so there are
20 approximately, if I am correct, about 20 percent of subjects
21 who met that criteria.

22 DR. WINOKUR: While we are on this tack, were
23 there differences in this study with respect to prior
24 treatment attempts and also treatment failures as compared
25 to the other studies?

1 DR. FARFEL: From what we could determine, there
2 was no difference in the prior treatments' success or
3 failure in Study 641 compared to the two positive general
4 population trials, however, as noted in your briefing
5 document, we did not in the most rigorous way collect the
6 prior treatment history data, so we backed into it looking
7 at using the data that we did collect regard the patient
8 self-report of psychiatric medication or psychotherapy
9 administered within the past five years, and we used the
10 indications of PTSD, depression, sleep, and I believe
11 anxiety to approximate those who might ~~have been~~ treated for
12 symptoms related to this disorder, so it was not the most
13 rigorous collection of prior treatment history.

14 DR. BREWERTON: In response to your question, Dr.
15 Katz, regarding the type of trauma and what might account
16 for the differences in the males, in the veterans, there has
17 ~~been~~ a number of studies that have shown that life threat is
18 a powerful predictor of PTSD and the degree of life threat,
19 and I think, by definition, ~~combat-related~~ PTSD is probably
20 in general--certainly ~~there~~ are exceptions--but in general,
21 a more life-threatening situation and trauma than assault
22 even though they certainly can be life-threatening, but not
23 necessarily so. That is one possible explanation for the
24 findings that we hear today.

25 DR. TAMMINGA: Do we know anything about whether

1 the dose of life threat is related to the severity of the
2 illness as related to the treatment outcome?

3 DR. SOUTHWICK: There are many studies that
4 clearly show that level of traumatic exposure is related to
5 development of PTSD symptoms., so the more combat, the more
6 life-threatening experiences, the more likely one is to
7 develop PTSD, and not just combat, but other civilian
8 traumas, as well.

9 DR. TAMMINGA: Is that related to treatment
10 response?

11 DR. SOUTHWICK: I am not sure. I ~~assume~~ that it
12 is related to treatment response. I can't think of specific
13 studies, but that is my impression.

14 DR. HAMER: I also have a question for Dr.
15 Southwick. One fundamental difference between combat and
16 civilian assault or rape is that in combat, you are part of
17 a-cohort which is being assaulted somewhat impersonally. By
18 and large there is not a specific individual out there
19 trying specifically to hurt you; while an individual
20 assaults or rape, there ~~is~~ is.

21 Do you think that relates, do you have any data to
22 think that relates in any way to the potential difference in
23 efficacy that we have seen in these trials?

24 DR. SOUTHWICK: I don't know data specifically to
25 answer your question. I think that one of the variables

1 ;hat is felt to be very important with regard to stress
2 **disorders** is how uncontrollable the stress is, and combat is
3 **highly** uncontrollable. If you are sitting in a foxhole,
4 you cannot control whether the mortar around is going to hit
5 you or not.

6 So, there is a huge literature on the effects of
7 **uncontrollable** stress on later development of symptoms, and
8 I think combat is the perfect example of stress that you
9 cannot in any way control or have very little control over
10 it, at least at times.

11 DR. COOK: I would just like to **point** out that
12 From the data that we are looking at in terms of efficacy
13 **today**, most of it that is positive seems to not be the
14 combat related, and a very large group seems to be **post-**
15 child and sexual abuse.

16 This may be something different, so I have no
17 **question** about the existence of PTSD, but having seen lots
18 of victims of child physical and sexual abuse at the time,
19 it---is remarkable that there **is quite a** bit of disconnect
20 between the literature;;

21 What I see are--again, not knowing which factor is
22 which and perhaps from a skewed perspective--it seems like
23 there may be a relationship between onset and later
24 treatment.

25 Now, this is worth pursuing because many times

1 people would assume, well, if the onset is early, it may be
2 harder to treat. It is actually possible that the assault
3 during a different time, the nervous system may have a
4 different consequence that may have a relationship to this
5 treatment, and not to the other.

6 In terms of the specific question in terms of the
7 data, I am not sure that we have evidence that postcombat-
8 related PTSD responds to Zoloft. It may respond better to
9 something else. I don't know that we could say that yes or
10 no, but I raise the question.

11 DR. BREWERTON: Along those same lines, I thought
12 that the data were interesting that showed that the men who
13 were physically or sexually abused as children did respond
14 to sertraline versus the men who had non-childhood sexual or
15 physical abuse. So, I think it supports the notion that the
16 type of trauma is important in response and perhaps more
17 important than gender.

18 DR. TAMMINGA: You are suggesting that the gender
19 effect may be an epiphenomenon about the type of trauma.

20 DR. BREWERTON: That's right. You know, they are
21 embedded within each other. Clearly, the males have much
22 more combat related, and the females have much more civilian
23 related.

24 DR. TAMMINGA: Dr. Temple.

25 DR. TEMPLE: It just seems worth mentioning, as

1 Pfizer pointed out, that that is a tiny number of patients,
2 I think maybe eight in the treated group.

3 DR. TAMMINGA: It is a good point remembering what
4 Dr. Smith cautioned us about.

5 In addition to the more general discussion of PTSD
6 and its status as an independent entity, the FDA also would
7 like us to comment about the specific study of PTSD, the
8 kinds of protocols, the duration of studies, the need for
9 long-term studies, the appropriateness of the outcome
10 measures.

11 I would invite some comment on those practical
12 issues now. Dr. Southwick.

13 DR. SOUTHWICK: With regard to duration of
14 treatment, I think there is mounting evidence that the
15 trials need to be perhaps somewhat longer than in other
16 conditions or some other conditions anyway, and I would
17 think a minimum of eight weeks and more, as we saw in the
18 sertraline, 12 weeks, there was a difference in their other
19 pharmacologic studies that seem to have shown similar
20 results, that the effects may take a while to be seen.

21 DR. TAMMINGA: Dr. Brewerton.

22 DR. BREWERTON: I would very much like to second
23 that. I know from the Yale group, there was a study by
24 Goodman and Price, I believe, about OCD and fluoxetine, and
25 if you followed out the patients to 16 and maybe even 20

1 weeks, I think, you have gotten a significant amount of
2 responders out at that end, whereas, if you just cut it off
3 at 8 or 12 weeks, you don't get as much of a response, and
4 it may very well be true with this anxiety disorder, as
5 well. When you have got patients being ill for 12, 18
6 Tears, it may be unreasonable to expect them to improve in
7 such a short time.

8 DR. TAMMINGA: Surely, if PTSD is a chronic
9 condition, one would ask the question whether the acute
10 symptom response to drug treatment predicts long-term
11 response. One would want to have some information about
12 that. The treating physician would want to have some
13 information about that.

14 DR. NORTH: Along those lines, it would be
15 important to have data on acute PTSD as opposed to chronic
16 PTSD as defined as DSM-IV.

17 DR. TAMMINGA: You might suggest how one would get
18 those data. They may only come from the kind of PTSD
19 populations that you run into. Would that be true?

20 DR. NORTH: I don't have the exact statistics on
21 what percent of people showing up for treatment show up
22 shortly after a trauma, but the data seem to indicate that a
23 considerable majority of people have onset of symptoms
24 acutely after trauma, but that might be one way of obtaining
25 subjects short of going to a disaster and doing a study

1 .here.

2 DR. WINOKUR: In this study, as I recall the data,
3 .t was, of course, a dose titration study, and the dose
4 gradually crept up, as would be expected, to about 150 mg in
5 all four studies, and also it was at 12 weeks that some of
6 the measures started to be clearly different, so it does
7 raise the possibility that perhaps a higher dose for a
8 longer period of time may have brought out even more clearly
9 some differences that were apparent at 12 weeks, but clearly
10 might have been more evident with a more continued period of
11 treatment.

12 DR. TEMPLE: Actually, I was curious about the
13 titration design. Here is a condition that seems to
14 actually respond very late and people are titrating every
15 couple of weeks in terms of response. It doesn't make a
16 whole lot of sense.

17 I would be curious as to why that design was
18 chosen. If it was chosen to avoid adverse effect, that
19 would make some sense, but ordinarily I think you would
20 learn more from a randomization to fixed doses even if you
21 inched your way up to those doses, and you didn't really get
22 any of that kind of information here.

23 Now, of course, you could analyze this to see if
24 there is a dose/response hidden in there, but I would be
25 curious about that.

1 DR. HAMER: Actually, I think it is unfortunate
2 that there were no fixed dose studies done as part of the
3 set, because it makes it really utterly impossible to
4 discern in any decent way a dose/response effect.

5 Given a particular side effect profile, it is more
6 than possible that the people in whom there is a lack of
7 efficacy could be the ones who get inched up to the higher
8 dose, so you wind up showing an inverse dose/response effect
9 if you analyze these data naively.

10 So, I would have a hard time leaving dose/response
11 out of any set of purely flexible dose ~~trials~~ **unfortunately**.

12 DR. TEMPLE: You would say then that we should be
13 advising people to utilize fixed dose designs in this
14 situation as we do in most others, of course, frequently
15 ignored?

16 DR. HAMER: Yes, I was really surprised that there
17 ~~was~~ not one flexible dose and one fixed dose study in terms
18 of the set we were really asked to examine, because it is
19 true, in almost all of the **other things** you do, you strongly
20 advise people to do **both types** of studies, and there is a
21 good reason for that, so that you get a handle on dose and
22 dose/response, and this makes it more difficult.

23 DR. TAMMINGA: In the current clinical research
24 climate, one would have to recognize there is some skew
25 against doing dose/response studies and going to doses that

1 are most efficacious for most people to be compared with
2 placebo. I was just trying to state the other point of
3 view.

4 Dr. Dominguez.

5 DR. DOMINGUEZ: I would like to make two points.
6 Again, I was also surprised at the absence of fixed dose
7 studies. I think that the excuse that previous applications
8 for other indications have not found a relationship between
9 dose and response is a very weak excuse not to do it.

10 So, even though previous applications have not
11 shown that, **that does** not justify not having that
12 information available.

13 One more comment regarding the duration of
14 treatment or the duration of the acute phase of a study. I
15 personally believe that 12 weeks may be the optimal, and I
16 disagree with you. Having considerable experience in the
17 **treatment** of OCD, the vast majority of patients, if you
18 treat them aggressively with pharmacotherapy, will respond
19 well within 12 weeks of **treatment**. You only get the
20 outliers at week 8, week 10 or week 12.

21 You have to balance that against the human
22 subjects issue, the continued exposure of the individual to
23 placebo for an extended period of time. So, I personally
24 believe that a **12-week** trial, and when I received the
25 information initially, was optimal in duration.

1 DR. TAMMINGA: Dr. Temple.

2 DR. TEMPLE: Just an observation. I am sure any
3 sponsor that is interested in pursuing this sort of claim
4 will note that one of the two favorable studies would have
5 been much less persuasive if it had stopped prior to 12
6 weeks. That is an important lesson I think people will pick
7 up very quickly.

8 DR. TAMMINGA: Would any of the PTSD experts like
9 to comment on the dose/response question?

10 DR. BREWERTON: I would tend to agree with the
11 sentiment about 'having fixed dose studies. I think that are
12 some, even though not with Zoloft, there are precedents with
13 other SSRIs, notably OCD tending to respond at higher doses
14 in depression, and bulimia nervosa, as well, tending to
15 respond at higher doses than normal antidepressant doses.

16 DR. TAMMINGA: Dr. Hamer.

17 DR. HAMER: I want to get back slightly to the
18 issue earlier of gender difference, type of combat
19 difference, and so forth.

20 We haven't seen them in our handouts, but you did
21 Phase I trials prior to this, and furthermore, did you
22 collect blood levels during the Phase III trials? Was there
23 any sort of a difference in pharmacology, pharmacokinetics,
24 pharmacodynamics between men and women, and were there
25 different dose blood level curves which might explain a

1 piece of whatever gender differences we see here?

2 DR. RYAN: We did not collect plasma samples
3 during our Phase III clinical program with sertraline,
4 however, if my memory serves me correct, for the panic
5 disorder program in a randomized fixed dose design, patients
6 randomized to 50, 100, and 200 mg at steady state, when
7 trough levels were taken, and when we evaluated the levels
8 in males versus females, there were no significant
9 differences in those concentrations.

10 DR. HAMER: What about the Phase I, even though we
11 are going back a while, pharmacokinetics and
12 pharmacodynamics data, does anyone remember those?

13 DR. RYAN: Dr. Alderman, could you come forward
14 and speak to that, please.

15 DR. TAMMINGA: Could you identify yourself,
16 please, and your relationship to Zoloft.

17 DR. ALDERMAN: My name is Jeff Alderman. I am
18 with Clinical Pharmacology in Pfizer.

19 We did have one Phase I study that looked at
20 differences in gender and age as it happened. If I could
21 have Slide No. 6, please.

22 [Slide.]

23 These are results from 11 subjects in each group,
24 young and elderly, as you see, the young being 18 to 45,
25 elderly 65 and over. In each case, male and female, equal

numbers were looked at. If you look across the pharmacokinetic parameters, you can see some differences, but if you look only for statistically significant ones, the young males were somewhat less than any of the other groups. There were no gender-specific differences.

DR. TAMMINGA: Could you tell us what young and elderly are in terms of years?

DR. ALDERMAN: 18 to 45 for young, and 65 and older for elderly. All of these, by the way, I don't think I mentioned, this was the top dose of sertraline 200 mg per day for more than two weeks.

DR. TAMMINGA: And these Phase I data are similarly manifest in your other studies with sertraline, your depression studies or whatever?

DR. ALDERMAN: These levels are consistent, yes.

DR. HAMER: So, to interpret this correctly, you have an area in the young, you have an area under the curve that is 50 percent higher in the females, and you have a half-life that looks like it's about .50 percent longer.

DR. ALDERMAN: In this particular group of 11 each, yes.

DR. HAMER: Which, with a little bit of interpretation, would mean that there is sort of far more sertraline hanging around in the blood of the females than the males.

1 DR. ALDERMAN: There is the difference that you
2 pointed out.

3 DR. TAMMINGA: Dr. Winokur.

4 DR. WINOKUR: I was interested in any information
5 from the depression clinical trials with Zoloft in terms of
6 even hints of gender differences in terms of either
7 magnitude of response or rate of response or different
8 doses, anything that we can kind of think about in
9 considering this issue here.

10 DR. RYAN: Yes. For the other three currently
11 approved indications for Zoloft, depression, obsessive
12 compulsive disorder, and panic disorder, there was no hint
13 of this sort of gender by treatment interaction in any of
14 those pivotal studies which supported those indications.

15 DR. TAMMINGA: Has the company done any dose
16 analysis of the PTSD effect?

17 DR. GAFFNEY: Are you asking whether we attempted
18 to do a dose/response within these four studies?

19 DR. TAMMINGA: Yes.

20 DR. GAFFNEY: No, we did not do that for the
21 reasons that were pointed out, that it is very difficult to
22 yet a dose/response effect when you are doing a titration
23 study such as this.

24 DR. TAMMINGA: Thank you.

25 Dr. Lacey.

1 DR. LACEY: Part of the inclusion criteria
2 required young women to be on contraceptives or practicing
3 some form of birth control. In raising the question about
4 the pharmacological kind of differences and seeing the
5 gender differences, I am curious as to whether or not--well,
6 when I looked at the medication list, contraceptives were
7 not listed either place as an included or excluded
8 medication, so I am just curious as to whether any look was
9 made at those types of medications in terms of effect?

10 DR. FARFEL: Oral contraceptives were permitted,
11 and, no, we have not looked at any analysis of subjects who
12 were or were not on oral contraceptives.

13 DR. WINOKUR: I wanted to ask Dr. Farfel, since,
14 as we talked about before, the doses did creep up in all
15 four of the studies pretty much to the same level, and I
16 think that is acceptable, do you have a sense or I am not
17 sure what kind of instructions the clinical investigators
18 had in terms of was dose increase, especially later,
19 primarily driven by lack of or inadequate response, or do
20 you have any other sense about why dose was continually
21 upward titrated to the end of the study?

22 DR. FARFEL: No, I do not have a specific sense of
23 why the dose continued to be moved upward. They were only
24 instructed, the investigators, to titrate in terms of
25 considering both efficacy and tolerability.

1 DR. TAMMINGA: One of the questions that the FDA
2 sked us to consider is the kind of trial designs that might
3 e optimal to use to suggest long-term efficacy and whether
4 r not those trial designs should be required before
5 pproval or whether we need those data eventually, but not
6 t the time of approval, and what kind of trial designs
7 ight be optimal.

8 Any comments on those kinds of questions from the
9 ommittee?

10 DR. DOMINGUEZ: Just briefly, I think that any
11 .ype of crossover trial carries with it so much baggage,
12 .hat I was even surprised to see it as a question in this
13 .ight as a possibility for a chronic disorder.

14 I cannot think of any sort of crossover design
15 :hat would be convincing.

16 DR. SOUTHWICK: I think one of the other problems
17 .ich a crossover design in PTSD is that the symptoms do wax
18 and wane, for example, people talk about anniversary
19 reactions where their symptoms are worse at a particular
20 :ime of year, and it would be really impossible to factor
21 :hat out.

22 DR. TEMPLE: I guess that was a reference to the
23 initial study being crossover design, and certainly what
24 everybody said makes sense. A maintenance trial in which
25 there is a withdrawal is, technically speaking, a crossover

1 design although it is not a randomized order crossover, and
2 those are commonly used now to show there are persistent
3 effects in depression, and we eventually see those for most
4 drugs.

5 Actually, Pfizer does have a trial of that design
6 here. I guess the question is how do you feel about those,
7 and we are still interested in whether you think that is so
8 important it ought to be done prior to approval, which is
9 not the normal standard in this country although it is in
10 Europe actually.

11 DR. HAMER: First of all, I want to say how much I
12 appreciate seeing a physician argue eloquently against the
13 use of crossovers, because they do carry with them so much
14 statistical and methodological baggage that it is really
15 difficult to figure out just what you are generalizing to and
16 how you are generalizing.

17 In terms of Dr. Temple's comments, the kinds of
18 sustained efficacy/relapse prevention trials that we get
19 with these re-randomizations, they are not crossovers in the
20 same sense, because we are really restricting the
21 generalizations we make to the population in some sense that
22 we are using, and so there really is much less difficulty in
23 those in making those generalizations.

24 DR. WINOKUR: I think longer term studies for the
25 treatment of PTSD will be important eventually certainly in

1 light of the chronicity of the illness, but I think in
2 fairness, the same points and issues apply to so many of the
3 disorders that we treat, and such longer term studies for us
4 and our colleagues clinically have been very important in
5 establishing guidelines for once treatment and remission of
6 symptoms has been established, how to best manage patients
7 in the longer term, but I think it was pointed out very
8 nicely in the introduction, I think by Dr. Marmar, that
9 there have been so few studies even looking at acute
10 treatment under controlled conditions, that for this
11 disorder, this seems like a very key point to establish
12 before going on to longer and more complex designs.

13 DR. TAMMINGA: I think the committee may be ready
14 to move on to the specific questions of sertraline in PTSD.

15 We have had several quite specific parts of this
16 question addressed to us by Dr. Smith. I could just
17 summarize a couple of those, that we have two out of three
18 studies in the general population that show an effect, but
19 one that doesn't show an effect, and we could have some
20 discussion of that, some additional discussion, although we
21 have had a lot about the gender by treatment interaction,
22 and then just a carrying on of our more general discussion.

23 Dr. Cook.

24 DR. COOK: As far as the general question we
25 didn't address, there is one that I thought was very

1 important, particularly based on the data today, is the
2 question of is PTSD found in pediatric populations and
3 should sponsors of drug products be encouraged to study this
4 disorder in pediatric patients.

5 I think we have already made those comments, but I
6 wanted to have that fully discussed, because in a sense,
7 more than 40 percent of the population is being treated as
8 adults, when they should have been treated as children or
9 were treated as children, but without this.

10 DR. TAMMINGA: So, the committee certainly
11 supports early and aggressive studies of **PTSD treatment** in
12 children. Yes, Dr. Dominguez.

13 DR. DOMINGUEZ: I know that the Agency can do just
14 so much in the encouragement of development of a product or
15 an agent for a specific indication, but as I was reading the
16 materials that were provided prior to the meeting, I thought
17 **myself** wouldn't it be nice to have been able to dissect
18 the pharmacologic effect of the drug in the context of a
19 study which would include at **some** well-established
20 psychosocial **intervention** to run concurrently with either
21 medication or placebo.

22 I think this is particular germane to a disorder
23 with so much comorbidity and where psychosocial
24 interventions have been shown, in my opinion, to have a more
25 robust response than the pharmacologic response that I am

1 itnessing from this application.

2 DR. TAMMINGA: There may be some psychosocial
3 reatment buried in the design of the trial. In other
4 ords, if you have a newly diagnosed PTSD person that hasn't
5 one through the list of symptom response, talked to
6 omebody extensively about their trauma, it seems that just
7 he conduct of the study itself will include some
8 sychosocial treatment.

9 Dr. Southwick.

10 DR. SOUTHWICK: I think this is a very important
31 ssue. It has to deal with recruitment and ~~how~~ subjects are
12 recruited, are they recruited from a clinic where a person
13 s accustomed to the idea of PTSD, are they recruited by
14 advertising, someone who has never been in treatment, and as
15 you said, part of the response--and we saw some pretty big
16 placebo responses--may be education.

17 The person becomes educated, perhaps they have
18 ever been in a relationship with a therapist who is really
19 attending to them, and in some ways you could see the
20 repetitive asking of ~~quest~~ions about PTSD as a form of
21 exposure.

22 So, it seems to me it is important to really
23 understand how recruitment is done and exactly who the
24 patients are, and how closely the patients that are being
25 studied will match the patients that you are actually going

1 0 treat.

2 DR. DOMINGUEZ: Let me also add that that is my
3 eeling, that the sociodemographic profile of the population
4 hat was studied, it does not appear to be representative of
5 he individuals with PTSD. It is representative of those
6 ndividuals who will sign an informed consent for a double-
7 lind trial.

8 In general, outreach must take place in order to
9 nclude a more mixed racial population, a more mixed
10 inority population, and yet let me just personalize this
11 for a second.

12 It is quite different to have gone through
13 hurricane Andrew in Miami in 1992, and have your roof blown
14 off, knowing that you have insurance, knowing that they are
15 going to put you up, knowing that you have a mother who has
16 a home, that you can stay there for a while, versus various
17 brackets of the population in South Florida which did not
18 have the social support system, did not have these
19 recourses, and you may indeed get a differential response to
20 pharmacotherapy when you factor in those social demographic
21 variables.

22 Again, it is an issue of average. It is an issue
23 of getting out there and expending more effort to try to
24 recruit those populations into studies that many of these
25 populations are very wary to participate in for various

1 reasons, but they are absolutely necessary and certain
2 postmarketing, they are necessary.

3 DR. TAMMINGA: Dr. Lacey.

4 DR. LACEY: I would like to I guess follow up on
5 r. Dominguez's comment there about the recruitment of
6 opulations. Race, as we heard, is mandated as a
7 onsideration in these studies, but at the same time, as we
8 aw the analysis of the data, the number ended up being so
9 mall that we got no meaningful differences there,
10 eaningful findings there.

11 As we discussed posttraumatic **stress** disorder, we
12 alk about sort of like the combative disorders in males, on
13 he one hand, as has been pointed out here, and the sexual
14 ssault, on the other, and we also talked about disaster
15 hings, yet, we know within this society, for all of this
16 entury at least, there is a type of violence that is
17 **perpetrated** and has been perpetrated and continues to be
18 hat causes some of the same kinds of **things, and they end**
19 **up showing** up in people of **various** racial makeup other than
20 **the** white majority.

21 **So**, I am once again just saying that as we recruit
22 for persons in studies, I think those kind of considerations
23 need to go into the formula. Otherwise, we end up with a
24 definition that says we have something that works, but we
25 haven't studied it in various parts of our population, yet,

1 hen those persons with that definition come in, they may
2 ot respond at all to what is going on.

3 So, I would want to follow that as much as
4 ossible.

5 DR. TAMMINGA: Thanks, Dr. Lacey.

6 Dr. Hamer.

7 DR. HAMER: I also what to emphasize that for
a iological reasons, that is, we know that there are
9 ometimes vast ethnic and racial difference in
10 etabolization and processing by the cytochrome P450
11 soenzyme systems, and it is entirely possible that
12 ifferent doses may be required in different subgroups, and
13 . it is important that we know that.

14 DR. TAMMINGA: Any ideas or opinions about Study
15 82? That was the study in the general population which
16 showed no difference between placebo and drug. Any comments
17 out it? Dr. Brewerton.

18 DR. BREWERTON: One of the things that I noticed
19 was that it had a lower rate of assault in terms of the
20 percentages. The other two were 62, 63 percent physical,
21 sexual assault, whereas, this one I think was 54 percent, so
22 I am not sure how significant that difference is, but that
23 is one thing that jumped out at me.

24 Again, it gets back to the issue of type of trauma
25 and the role that that plays,

1 DR. TAMMINGA: Dr. Smith, we are not giving you
2 very much help on this one.

3 Dr. Hamer.

4 DR. HAMER: Well, as a statistician, then, I will
5 give Dr. Smith some help. You know, things happen.

6 [Laughter.]

7 DR. TAMMINGA: We need more than that.

8 DR. HAMER: No, but it is true, sometimes clinical
9 trials fail. Sometimes placebo groups respond, sometimes
10 drug groups especially in psychiatry trials tend to fail to
11 respond. You know, a failed clinical trial is not
12 particularly unusual, and not particularly really
13 disconcerting.

14 You know, if we saw a pattern of eight clinical
15 trials of which only two were successful and six failed,
16 that would be very different, but I don't have any--I know
17 that that is not real help, but, you know, probability is
18 such that sometimes these things happen.

19 DR. TAMMINGA: Dr. Katz. . .

20 DR. KATZ: I just want point out, just sort of
21 maybe enlarge the context, we have asked the question about
22 how do we reconcile 682 with the other two positive studies,
23 but the reality is there are two negative studies out of
24 four, and we have sort of assumed--I am sure we will have
25 more discussion about this later--that that is because it is

1 . different population, it is not the general population,
2 out, you know, that is an explanation after the fact even
3 though it seems sort of fairly obvious, but reality is just
4 looking at the results, two out of four are positive, two
5 out of four are not, so it is not really two out of three.

6 DR. HAMER: I didn't say it was two out of three.
7 I just concentrated on the one in the general population if
8 for no other reason than in some psychological sense, we
9 have sort of pushed the other one off the books.

10 DR. KATZ: Right. I just sort of want to put it
11 back on the page.

12 DR. WINOKUR: But for a perspective with the two
13 out of four, what we have clearly heard is there appears to
14 be a significant gender effect, or at least that is
15 connected to something else that we need to try to
16 understand better, and there is something very strikingly
17 different about the veteran population study.

18 So, at least on the face of it, the gender effect
19 is as robust as the data we have, we have reason to focus
20 primarily on the three studies that would have more of a
21 chance of being evaluable in terms of a response.

22 DR. SMITH: If I might follow up to Dr. Hamer's
23 comment that things also don't happen, as well, so what our
24 concern is, is that could the trend be in the other
25 direction in which we have two unusual results in 640 and

1 71, as a regulatory agency, we want to protect the public
2 rom something that doesn't work.

3 DR. HAMER: Although, of course, since we attempt
4 o rig statistics so that we don't say something happened
5 nless there is a whole lot of evidence that it did, to some
6 xtent there is a difference in weight between two things
7 hat happened and two things that didn't happen or failed to
a rove that they happened.

9 DR. TAMMINGA: We have already said a lot about
10 he gender issues. Is there anything more that we have to
11 omment on about the gender issue? I am sure. Yes, Dr.
12 Keller.

13 DR. GELLER This actually is a question for the
14 'DA. Are there any rules about the ratio of positive to
15 egative studies that are desirable at approval time?

16 DR. LAUGHREN: There are no strict rules about
17 hat. One thing that we like to see for an indication that
18 .s more mature in some sense than this is, from a regulatory
19 standpoint, we like to see an active control arm in a trial
20 o help us in interpreting it, so that if an active standard
21 drug, which is believed to work, also fails, we are more
22 inclined to discount that study.

23 That obviously is not a strategy that you can use
24 early on in the development of a new indication, but there
25 are no strict rules about what the ratio has to be.

1 DR. TAMMINGA: Dr. Geller.

2 DR. GELLER: What in the experience would be a
3 desirable ratio?

4 DR. LAUGHREN: Are you asking what is the worst
5 case?

6 DR. GELLER: Or the best case.

7 DR. LAUGHREN: I really can't give a number. It
8 is always a judgment based on the entire set of evidence
9 provided. You can look at individual studies, even those in
10 which you don't have an active standard to rely on, as is
11 being done here with the veteran study, to try and explain
12 why that study might have failed. But there isn't any
13 precise number that one can rely on. It is always a
14 judgment based on the entire set of evidence.

15 DR. TAMMINGA: The phrase I recall is a
16 preponderance of evidence?

17 DR. LAUGHREN: Yes, it's an art more than a
18 science.

19 DR. TAMMINGA: Dr. Brewerton.

20 DR. BREWERTON: Along those same lines, are there
21 any guidelines in terms of sample sizes or sheer numbers in
22 the studies, however many they are?

23 DR. KATZ: No, not in terms of determining
24 effectiveness in any event, that we often say that the
25 trials need to be as big as they need to be, and it is going

1 o depend on the variability, of course, it is going to
2 epend on the treatment effect, the population, the placebo,
3 ou know, presumed placebo response.

4 It is hard to say. Certainly, there are
5 onditions where we have considered studies positive or
6 pproved drugs on the basis of fairly small studies, but in
7 hich the treatment has been shown to be statistically
8 ignificantly different from the control.

9 Of course, the smaller the studies, the more
10 ikelihood that there is some bias creeping in or that there
11 .s some imbalance in important **characteristics** that you
12 on't really know how to test for, you don't even know what
13 hey are necessarily.

14 So, we like to see **larger studies**, but there is no
15 specific requirement for numbers. The standard in law for
16 **determining** effectiveness is substantial evidence of
17 **effectiveness**, which is ordinarily considered to be at least
18 **two** trials.

19 I am not even sure **the standard** is preponderance.
20 It is just that the **presumption** is if two adequate and **well-**
21 **designed** trials give you statistical significance, that is
22 pretty unlikely by chance that the drugs actually don't
23 work. **so**, how many studies out of how many? At least two
24 ordinarily.

25 DR. TAMMINGA: **Sertraline** is a little different

1 rom some of the other drugs that come before the FDA, that
2 ave broad safety database and other indications available,
3 hich we didn't see, but which we can understand is solid
4 nd reasonable.

5 Dr. Geller.

6 DR. GELLER: This bears on the question that you
7 ad raised, that actually I was indirectly addressing in
8 erms of gender. Are there precedents in a case like this
9 or recommending approval just for one gender or the other?

10 DR. KATZ: Apparently, there are cases in which a
11 pecific indication has been approved for one sex, but we
12 ave no personal experience in the Division as far as I know
13 ith that, and in those cases, I think it is usually because
14 nly one sex has been studied. This is a different
15 situation.

16 DR. LAUGHREN: If I can just add a comment on
17 hat, it is a little bit problematic in my view from a
18 regulatory standpoint to entertain approving a claim on what
19 ould essentially be a subgroup-analysis if we were to focus
20 nly on the women even though it is obvious after you see
21 he overall effect, and you go back and subgroups, it
22 appears that the effect is coming largely from women.

23 There are ways of handling that in labeling. This
24 is the situation we faced before. We have a drug Luvox,
25 which is now approved for use in children with OCD based on

1 study which stratified on the basis of age, children and
2 adolescents. The study was positive overall, but again if
3 you go back and look at the subgroups, it appears that the
4 effect is coming virtually entirely from the children in
5 that sample even though it is not a power question. There
6 were more adolescents in that trial.

7 We ended up approving that claim, but went on in
8 the clinical trial section to describe where the effects
9 appeared to be coming from, but more descriptively, but
10 again, the question is whether the Agency wants to approve a
11 very specific indication that is based, on a subgroup
12 analysis.

13 DR. TAMMINGA: But I would wonder whether the
14 committee would even want to recommend that. I was somewhat
15 impressed listening to the data presentation, the efficacy
16 data presentation this morning, that, in fact, there were
17 male subgroups, there were subgroups within the male
18 population that responded significantly, the males with a
19 history of drug abuse, although they are very small and it's
20 an early analysis and exploratory and all that.

21 In my opinion, it would be a bit rash to say that
22 the drug is only active in women, even though the
23 preponderance of its effect, it seems to be most active
24 there.

25 DR. KATZ: We are actually very interested in the

1 :ommittee's view on this question, because we are always
2 :oncerned about what is the label going to look like, what
3 .s the actual indication going to be. It is a critical
4 question for us.

5 Just to sort of close the loop, there is no
6 prohibition against indicating a drug for one sex or
7 another, or one subgroup or another. It is just that
8 particular subgroup, it would be very unusual to do that.

9 DR. TAMMINGA: Dr. Hamer.

10 DR. HAMER: However, I think I would personally
11 have some difficulty with concluding **really that** this drug
12 **was** only effective in women, simply because of the fact that
13 gender was so confounded with so many **other things** that it
14 **might** be connected to, and it would be--you know, I would
15 strongly urge the sponsor to do some clinical trials to
16 attempt to address those issues.

17 I would like to see clinical trials of males with
18 childhood sexual abuse. I would like to see clinical
19 trials, powered appropriately, **in** females with and without
20 histories of drug **abuse**, and so on, and so forth, to attempt
21 to get a handle on what may be driving this in addition to,
22 or instead of, sex itself.

23 DR. TAMMINGA: Dr. Katz.

24 DR. KATZ: Again, I would be very interested to
25 know what the committee thinks about whether or not there is

1 any hint of anything going on in men and what the basis for
2 granting a global claim, if the committee decides to
3 recommend that the drug ought to be approved, I would be
4 interested to know what the evidence would be that it should
5 be indicated for everybody, and beyond that, as we have
6 heard, there are other studies ongoing, and the question I
7 put to you is whether or not you think it would be necessary
8 to have one or another of those studies in hand before you
9 recommend approval, so maybe they will shed light on the
10 male/female question.

11 DR. TAMMINGA: Dr. Geller.

12 DR. GELLER: This goes back to what Dr. Cook was
13 saying before about the importance of child studies.
14 Another reason is you can study males before the onset of
15 drug abuse, take out some of the confounds.

16 DR. LAUGHREN: Can I raise a question that has
17 come up at several points during our discussion. My
18 impression is that the sponsor has data from a relapse
19 prevention trial, and we have not seen those data yet, and
20 ordinarily, we wouldn't have those discussed at this meeting
21 if we hadn't had a chance to candle them in some sense, but
22 I am wondering if it would be useful to take a peek at those
23 data from the standpoint of this gender issue..

24 I mean, for example, if there were another source
25 of evidence, even if it was in a relapse prevention context

1 hat addressed possibly efficacy in men versus women. Maybe
2 hat would be helpful to the committee.

3 DR. TAMMINGA: The committee would be interested
4 in seeing whatever we were allowed to see.

5 [L a u g h t e r .]

6 DR. LAUGHREN: Well, I think it is in sort of an
7 exploratory nature, you know, given that we haven't had a
8 chance to candle it, but it may shed some light on this
9 burning question about men versus women.

10 DR. TAMMINGA: Dr. Katz.

11 DR. KATZ: I just want to make a caveat about
12 that, which is that, as Tom pointed out, we haven't looked
13 at it, and the question that I am interested in is whether
14 or not, if we do see some preliminary discussion of it here,
15 whether or not it would be critical, whether or not the
16 committee thinks it would be critical for us to look at that
17 closely and establish that there is or is not an effect on
18 males, let's say, from that study before we take an action.

19 In other words, we need to know whether or not you
20 think that data would be critical for an action or for a
21 specific indication.

22 DR. TAMMINGA: So, the company should understand
23 when it shows it to us that we could recommend that you be
24 given the data and take a careful look at it.

25 DR. KATZ: Right, and that anything you recommend

1 bout ultimate action on the application, whether it should
2 e approved at all or whether or not it should be approved
3 or a specific subgroup would depend upon what we think of
4 he data when we actually look at it closely. Is that
5 lear?

6 DR. TAMMINGA: Under those conditions, would the
7 ompany like to present any additional data?

8 DR. RYAN: Dr. Farfel will present a very brief
9 overview of these additional studies.

10 [Slide. 1

11 DR. FARFEL: Pfizer conducted **two long-term**
12 **extension** trials. They were extension studies of two of the
13 **four** double-blind, placebo-controlled **12-week** trials. The
14 **initial** studies that fed into the long-term studies were
15 **studies** 671 and 682, and I will remind you that Study 671
16 **showed** a treatment effect in favor of sertraline while Study
17 **did** not.

18 Subjects who completed Study 671 or 682,
19 regardless of treatment group or response data', were
20 **entitled** to enroll in **an** extension study 672, which was a
21 six-month open label study with open label treatment of
22 **sertraline** in a flexible dose format.

23 At the end of the six months in Study 672,
24 subjects 'who met response criteria, which I will elaborate
25 in a minute, were allowed to **enroll** in the relapse

1 revention study 703, in which subjects were re-randomized
2 o either sertraline or placebo.

3 [Slide.]

4 It is important to note that in these two feeder
5 studies, 671 and 682, there were 380 randomized subjects and
6 75 completed, so were eligible to enter open label
7 reatment. Of the 275 completed, 252 entered the open label
8 rial. 155 completed the six months of open label
9 reatment, and of those 155 completers, 139 met the
10 **responder** criteria, so were eligible to enroll in the re-
11 **randomization trial.**

12 Of the 139 who enrolled in the re-randomization
13 **trial**, 96 actually chose to enroll in the re-randomization
14 **trial**, and so 50 were randomized to placebo and 46 were
15 randomized to sertraline.

16 [Slide. 1

17 Just to restate, the eligibility criteria for
18 **entering** the six-month open label trial was simply
19 **completion** of one of the **double-blind feeder** studies. The
20 **eligibility** criteria for **entering** the re-randomization
21 study, the additional six months, was to meet responder
22 criteria for two consecutive visits, the subject's last two
23 **consecutive** visits, and this is where the responder
24 criteria, as we discussed earlier, were developed, a 30
25 percent decrease in the **CAPS-2** total severity score from the

1 subject's initial baseline from the double-blind studies,
2 from the first visit that they came to this investigator.

3 In addition, the subject had to have a CGI
4 improvement score of 1 or 2 at both of the final visits.

5 [Slide.]

6 This slide shows the mean daily dose by selected
7 visit week, it was a long trial, in Study 672 for the safety
8 analyzable subjects. Again, we start with 252 subjects and
9 end with 158 subjects.

10 Because subjects coming into the open label trial
11 were either on sertraline or placebo, all **subjects** began
12 again at 25 mg per day at **week 1**, and then were flexibly
13 titrated between 50 and 200 mg, and the mean dose at week 14
14 was 138 mg, which is consistent, which was also seen as the
15 mean dose in the **12-week** studies.

16 [Slide.]

17 This slide shows the mean change on the CAPS total
18 severity score during the six-month open label trial, and
19 this point here, about 74, **represents the** mean on the CAPS
20 for these same subjects when they entered the initial
21 double-blind, **12-week** study, Study 671 and 682.

22 so, at the beginning of the **12-week** trial, they
23 had CAP scores of about 74. After 12 weeks of treatment,
24 and this is the placebo and sertraline groups I believe, Or
25 just sertraline--I am sorry, we will clarify that in a

1 inute--they had a mean CAPS of about 45, and then this was
2 heir improvement over the six months of open label
3 reatment. This is the observed cases, and then this is the
4 OCF at endpoint.

5 [Slide.]

6 The readings on the Davidson Scale and the CGI
7 mprovement Scale followed the same pattern.

8 In Study 703, which was the re-randomization
9 study, the double-blinded continuation trial, the primary
10 efficacy parameters were the time to relapse, so that the
11 Kaplan-Meier estimates of the time to relapse, and then the
12 proportion of subjects who actually met relapse criteria.

13 Relapse criteria had to be met for the last two
14 consecutive visits in order to be called a relapse patient,
15 and then the other primary endpoint was a combination of
16 subjects who met relapse criteria, as well as discontinuing
17 due to insufficient clinical response, which is the ICR
18 abbreviation, because some subjects, when suspecting they
19 were on placebo and beginning to relapse, may have chosen to
20 exit the study after one week of meeting relapse criteria or
21 one visit rather than two.

22 The secondary efficacy measures for these trials
23 were the mean changes from baseline to endpoint on the
24 efficacy rating scales.

25 For two consecutive visits, subjects had to have a

1 GI improvement rating of 3 or greater. We had considered a
2 esponder one who had a CGI improvement of 1 or 2, so all
3 **subjects** in Study 703 began the trial with CGI ratings of 1
4 r 2. In addition, they had to have had their CAPS-2 score
5 **increased** by at least 30 percent, which was a minimum of 15
6 **oints**, from the baseline of the relapse trial, not the
7 baseline of the original feeder study, but they had been
8 **onsidered** responders when they entered the relapse trial if
9 **hey** had their CAPS increase by 30 percent in addition to
10 **he** CGI change, that was considered relapsing, and the
11 **nvestigator** had to concur with the rating **scale**.

12 [Slide. 1

13 This slide shows the doses across selected visit
14 reeks in the study for sertraline and placebo. In this
15 **ase**, subjects began the trial on the same doses that they
16 had been on at the end of the open label trial, and the mean
17 **se** at endpoint is similar to what we have been seeing in
18 the'-other studies.

19 You can also note **here** the **decrease** in N's from 46
20 to 28 in the sertraline-**reated** group and from 50 to 20 in
21 the placebo-treated group.

22 [Slide. 1

23 This is a slide of the first primary efficacy
24 parameter, the Kaplan-Meier estimate of the probability of
25 not relapsing, and the red line indicates the sertraline-

1 reated subjects who had an extremely low probability or
2 elapsing during the course of this trial, and the subjects
3 n placebo had a significantly greater probability of
4 elapsing.

5 [Slide.]

6 The proportion of subjects who actually did
7 **discontinue--and discontinuation if you met relapse**
8 **criteria, you were required to be discontinued from the**
9 **study--the proportion of subjects who discontinued due to**
10 **meeting relapse criteria, there were 2 of 38 in the**
11 **sertraline-treated group and 12 of 46 in the placebo-treated**
12 **group, and this difference was statistically significant.**

13 [Slide. 1

14 This is the probability of two things, not
15 relapsing and not discontinuing due to insufficient clinical
16 response, and again, the sertraline-treated subjects had
17 **significantly** lower probability of these events than the
18 **placebo-treated** subjects.

19 [Slide.]

20 This slide **shows** the proportion of subjects who
21 discontinued for either of these two reasons in both **groups.**
22 **Six** of 38 sertraline-treated subjects compared to 21 of 46
23 **placebo-treated** subjects, and again this difference is
24 statistically significant.'

25 [Slide.]

1 These are the main changes in some of the efficacy
2 parameters, the CAPS, the DTS, and the IES. Sertraline-
3 treated subjects are in the red bars, placebo-treated
4 subjects are the blue bars, and some of you may be realizing
5 that the fact that the change is always positive indicates
6 that, in general, all of the subjects were having a
7 worsening of their symptoms at endpoint--the mean, the mean
8 change was a worsening of symptoms at endpoint.

9 I would like to go to the next slide and put this
10 in perspective for you.

11 [Slide; 1

12 At the beginning of Study 703, subjects had CAPS
13 scores of about 74. When they finished 12 weeks of double-
14 blind treatment and entered the six-month open label trial,
15 they had CAPS scores of about 38.

16 For those who elected the double-blinded
17 continuation study, their CAPS scores at the beginning of
18 that--and all of them were de facto defined as responders--
19 their CAPS scores here were below 20..

20 So, what you see here is the fluctuation in the
21 CAPS scores over the course of this additional six months of
22 treatment including those who might have discontinued due to
23 relapsing on placebo, and then who were responding on
24 placebo, who continued in the trial.

25 So, although there was an increase in symptom

1 scores for the group as a mean in both cases, relative to
2 how they were when they came to the trial, is in not
3 remarkable.

4 [Slide.]

5 To conclude from these two trials, we feel that
6 sertraline was shown to be safe and effective in maintaining
7 a response in PTSD symptoms over the course of a year and
8 that it was more effective than placebo in relapse.

9 Dr. Katz.

10 DR. KATZ: I thought the reason we wanted to see
11 preliminary results of this related to the gender question.

12 DR. FARFEL: We felt it was hard to skip right to
13 the gender question without the trial that we have.

14 DR. KATZ: Well, again, as I say, my concern or
15 the question that we need to have answered from the
16 committee is whether or not additional data on the gender
17 question from this trial or some other trial, is critical
18 for us to have in hand before we make a final decision on
19 the application.

20 That is really the question, not whether or not
21 the study is positive or negative. The committee has
22 already said that long-term data may not be necessary for an
23 approval, but it's the gender question we thought this was
24 trying to get at.

25 DR. FARFEL: We have that. Do you have the slide

1 f the-men, the change over the course of 672 and 703?

2 [Slide.]

3 This is for Study 672, the six-month open label
4 reatment study. Is this men? This is not men. Okay.

5 In Study 672, of the 244 subjects who entered, 67
6 ere male. Their baseline CAPS score was a 42, which was
7 imilar to the baseline of the females who chose to enter
8 he study, and their endpoint mean CAPS score was a 27
9 ompared to a 28 in the female cohort.

10 So, the mean point change for the males compared
11 o the females was comparable, and in this somewhat enriched
12 opulation, the mean percentage change of the males who were
13 n this six-month open label trial, compared to the females
14 as also comparable, approximately a 36 percent change.

15 DR. KATZ: Maybe you will get to it, but this is
16 open label data.

17 DR. FARFEL: The next slide.

18 DR. KATZ: I want to make it clear that this
19 doesn't address the question that we are asking.

20 DR. FARFEL: Do you have the similar slide for
21 Study 703 by gender?

22 [Slide. 1

23 In Study 703, the discontinuation study, there
24 were nine males in the sertraline group and 18 males in the
25 placebo group. The mean change from baseline to endpoint in

1 he sertraline-treated group for the males was a decrease in
2 core of 12.8 points, so that is improvement in symptoms to
3 the tune of 13 points, whereas, on the placebo group, the 18
4 males had an increase in score of 17.5 points.

5 DR. KATZ: What about the primary outcome, which
6 as time to relapse or proportion of relapse?

7 DR. FARFEL: Could you bring up the Kaplan-Meier
8 or the males in 703?

9 DR. GELLER: Could go back to the slide you just
10 had?

11 DR. FARFEL: Bring the slide back up.

12 DR. GELLER: On this slide, it may just be I am
13 looking at it quickly, but there is a negative change for
14 males and a positive change for females?

15 DR. FARFEL: Yes. That goes to the females, as a
16 group mean, were actually increasing slightly in symptoms.
17 Again, the mean score on the CAPS for the study cohorts when
18 they entered at the beginning of the double-blind trials was
19 about a 75.

20 When they began after six months of open label
21 treatment and were called responders, they had a CAPS score
22 of about 18, so they are fluctuating now around what may be
23 floor effect.

24 DR. HAMER: While we have the slide up, those p-
25 values, exactly what are they testing?

1 DR. FARFEL: This is quite a back-up slide. It's
2 a, significant difference in terms of the sertraline group
3 compared to the placebo group. I am not sure which analysis
4 was used. But the asterisks next to the placebo numbers are
5 extra.

6 DR. TAMMINGA: So, in both of these genders, male
7 and female genders, placebo causes relapse significantly
8 different from sertraline, which causes less relapse.

9 DR. FARFEL: Yes.

10 DR. TAMMINGA: Dr. Winokur.

11 DR. WI-NOKUR: Where were the males at the start of
12 this, that they improved or they had a further decrease of
13 12.8 points? This was at the point that they were
14 responders and then went into the--

15 DR. FARFEL: Could you back up to the slide
16 previous to this one? They were not in order. Because
17 these were males who elected to enter the double-blinded
18 continuation, their levels of symptoms on the CAPS were
19 roughly the same, so they had mean scores of about 20 when
20 they entered this double-blinded continuation study, and
21 then they decreased further by 12 points compared to
22 increasing by 17 points on placebo.

23 DR. WINOKUR: so, in effect, they were almost
24 super-responders, they improved to close to zero,

25 DR. FARFEL: Yes. The numbers of subjects in the

1 male sertraline group was 9.

2 DR. KATZ: I really hate to sound like a broken
3 record, but we are not going to be able to adequately
4 analyze these data here. That is why we ordinarily don't
5 have a sponsor present data. This just is not the
6 appropriate forum to do that.

7 I will ask it again. What we really need to know
8 is whether or not data of this sort are necessary in order
9 for you to be able to recommend a particular action. If you
10 think it is necessary, we will have the sponsor submit it,
11 we will review it, and if we confirm what they say, then, we
12 will take an appropriate action.

13 That is really the question that we need to have
14 addressed by the committee, not so much whether or not at
15 the moment we think this study is positive or negative. We
16 are not going to be able to do that.

17 DR. TAMMINGA: But thank Pfizer for your
18 presentation on the spot.

19 So, the committee needs to really continue talking
20 about the gender question. We have seen what additional
21 kinds of data the company has and can submit.

22 The issue that I would like to see the committee
23 discuss is gender issue, to what extent gender is a factor
24 in drug action as we see it in the data presented here. We
25 have said a lot about it already.

1 Dr. Hamer.

2 DR. HAMER: Gee, I wasn't going to say very much
3 today. I have got two small pieces of slightly opposing
4 information, if you will. One is whatever difference we saw
5 in the pharmacology leading to different blood levels,
6 different areas under the curve, and different half-lives
7 between the two sexes, and not knowing how that might be
8 related to differential response rates, but it is something
9 that bears investigating, and then the other is the confound
10 between sex and all of these other things which may well be
11 related to differential response rates.

12 The only way to get at those is to do some studies
13 with sufficient sample sizes in various subgroups, so you
14 can ask the questions. As I said earlier, I would have a
15 hard time concluding that this drug is effective in women,
16 and not effective in men in the absence of being able to
17 attribute that difference to these other confounders.

18 DR. TAMMINGA: Dr. Katz.

19 DR. KATZ: But in the absence of that additional
20 information which we would all love to have, what evidence
21 is there that it should be indicated in men?

22 DR. TAMMINGA: We have, in fact, seen data from
23 several subgroups of men that showed significant--in
24 exploratory analysis, showed significant responses. I
25 wouldn't guess that clinicians would like to have their male

1 patients denied this. I don't know if any of our experts
2 want to speak to that.

3 DR. HAMER: But it's all a matter of labeling.
4 They could approve it, but put in the appropriate clinical
5 trial information, so that the physicians had information
6 that there was more evidence currently in females than
7 males, which would then perhaps provide some motivation to
8 the pharmaceutical company to provide other evidence.

9 DR. TAMMINGA: Dr. Winokur.

10 DR. WINOKUR: In his presentation and analysis,
11 Dr. Smith advised us or questioned us **about making**
12 conclusions about subgroups for which studies were not
13 adequately powered, and I am struck that we are needing to
14 make calls, if we do get down to the gender difference, on
15 sample sizes that are strikingly low.

16 DR. KATZ: Of course. I am we certainly are very
17 **wary** about doing that, as Tom said, and lots of others have
18 said. There is one slide that Dave showed, that I think
19 that is interesting **in this** regard, whatever you make of it,
20 which was a slide I **guess that looked at the men, not in the**
21 VA study, but maybe it was just the men in the two studies
22 that were positive.

23 If you look at that slide, there was.--it could
24 still be, I suppose theoretically it could still be a power
25 question-- there are I think **50** men on drug and 50 men on

1 placebo or something like that. The scores on sertraline
2 and placebo were identical except for the global, which
3 actually had the same response as the women did, but all the
4 others were right on top of each other.

5 It was suggesting that it's not really a power
6 question. They didn't have the same treatment size effect,
7 it just wasn't significant. Really, nothing was going on in
8 that analysis anyway. I just throw that out, and I would be
9 interested what people think about that.

10 DR. TAMMINGA: Dr. Smith.

11 DR. SMITH: If I might just clarify, the slide
12 that Dr. Katz is referring to is the slide titled women
13 versus men, PTSD-specific symptoms 640-671.

14 It is lots of columns of p-values. If I might
15 repeat what Dr. Katz said, it sounded like the column for
16 women did show an improvement, the column for men were
17 essentially the same, so if you go across the rows for each
18 of the specific symptoms, women showed an improvement, men
19 were essentially the same for sertraline versus placebo. I
20 think that was his point.

21 DR. KATZ: Right. In fact, in some cases, it goes
22 in the wrong direction. That would just suggest then to me
23 that it is not really a power question, it's maybe something
24 else is going on.

25 DR. TAMMINGA: Dr. Cook.

1 DR. COOK: This relates to the way that I was
2 ought that things happen in statistics, meaning that you
3 **et** different results when you pull different samples out of
4 he barrel, so I am still reluctant to call that a gender
5 effect knowing that genders don't have the same--there is a
6 good chance that we have sampled different populations.

7 So, if we had, let's say, a pathologically defined
8 disorder in the, sense of under the microscope, a very
9 specific condition, sampled from exactly the same clinics,
10 for exactly the same problems, exactly the same histories,
11 tge of onset, then, I might say yes, these **are** the same.

12 I get a strong sense of apples and oranges by
13 Tender.

14 DR. TAMMINGA: Thank you.

15 I am going to ask one more question first. Is
16 **there** anybody on the committee who would take the opposite
17 **position** to the one just articulated by Dr. Cook?

18 DR. DOMINGUEZ: I am not going to take the
19 opposite position, but I **wonder** if perhaps this is the time
20 to make a motion for **the** committee to consider the question
21 whether indeed the gender issue has been inadequately
22 studied versus whether the study drug has failed to show an
23 effect in men, and I submit that motion to the chairperson.

24 DR. TAMMINGA: In my opinion, we are not quite
25 ready to address that motion/yet since I would like some

1 more discussion on both sides of the question first.

2 There are a number of people on the committee that
3 would suggest that with the data at hand, these is not
4 enough data to suggest that this drug would only be
5 effective in PTSD in women.

6 Is that a proposition that is shared by the
7 committee?

8 DR. HAMER: Just one other point about the
9 subgroup analyses which applies less of an extent to the
10 gender issue simply because that is really mandated
11 beforehand, so to some extent, it's a planned hypothesis,
12 but there is a multiplicity issue, and in addition to the
13 power. issue in subgroups, the more subgroups we have, the
14 more tests we do, and the more tests we do, the more likely
15 we are to pop one up by chance alone. So, we need to filter
16 that issue in, as well as the power issue.

17 DR. TAMMINGA: Dr. Smith.

18 DR. SMITH: I understand what Dr. Hamer said.
19 Because of the multiplicity issue, the results that we are
20 seeing would be diluted essentially, is that correct? Okay.

21 DR. TAMMINGA: Dr. Katz.

22 DR. KATZ: Certainly, multiplicity is a concern,
23 but this is a difference. It seems to be fairly robust in
24 the sense that wherever you look for it almost, certainly at
25 least in the positive studies, it is clearly there.

1 I am wondering what are the differences, what
2 takes us think that there is lots else going on besides
3 lender. is it the type of initiating event? If you look at
4 the slide that we just talked about, that Dave put up, those
5 were men who, again small numbers, but those were men, who
6 had not been in combat. Those are men who had the same
7 sorts of initiating trauma largely as the women did.

8 So, duration of the disease in men, I don't think
9 it is really much different, certainly the non-traumatic
10 men, so I am just sort of wondering what the differences are
11 that would suggest to people that there is really--we really
12 can't say anything about the difference.

13 DR. TAMMINGA: Dr. Brewerton.

14 DR. BREWERTON: Is there, in fact, a data slide
15 that shows us what exactly the types of trauma are in the
16 boy-gender?

17 DR. TAMMINGA: We haven't seen it.

18 DR. BREWERTON: I don't think we have.

19 DR. TAMMINGA: I have a procedural question for
20 the committee. I am trying to gauge whether we ought to
21 move forward or stop for a lunch break. If people could
22 make a slight nod of their heads one way or the other.

23 Move forward? We will move forward.

24 We do have one issue that we haven't approached
25 yet, and that is the independence of Zoloft's antidepressant

1 effect, the independence of the sertraline effect on PTSD
2 from depression.

3 Nobody really responded to Dr. Katz's last
4 question, and I am not sure that we have anymore to say to
5 that question than we have already said. I would like to
6 have us focus some on whether the committee saw this PTSD
7 effect as an independent effect on PTSD symptoms separate
8 from its antidepressant effect.

9 Dr. Southwick.

10 DR. SOUTHWICK: Obviously, that is a very
11 complicated question as the data suggests. I think that
12 because of the overlap, for example, the HAM-D, I think is
13 obviously picking up a lot of PTSD sorts of symptoms.

14 I found one of the analyses to be illuminating,
15 and that was to look at PTSD with major depression compared
16 to PTSD without major depression, and which showed that the
17 PTSD without major depression responded as well.

18 I also think it is important that PTSD-specific
19 symptoms responded, but I think to pull them apart is
20 obviously very complicated.

21 DR. WINOKUR: One other point that at least would
22 make me cautious about some of the analysis that Dr. Smith
23 provided, and I did feel that some of the presentation from
24 the Pfizer investigators in terms of separating out patients
25 diagnosed with primary or significant major depression and

1 Lso the improvement being across the spectrum of including
2 more, quote, unquote, "PTSD-specific symptoms," some of the
3 analysis that you presented took the item from the Hamilton
4 spression Scale, the depressed mood, and I think one needs
5 o be very cautious about using that item as clinical
6 epression.

7 There are so many circumstances in which people
8 ight acknowledge points on that particular item, where they
9 ould not be, by most clinician's or researcher's judgment,
10 ignificantly clinically depressed, and I think that it
11 ould also be expected that with overall **improvement** in the
12 rimary disorder, that that item might well be expected to
13 hange.

14 So, I think that some caution in terms of
15 **eneralizing** from data with that item to depression per se
16 n proving is crucial to PTSD responding would really be in
17 **der**, and my overall weight of things was to feel that the
18 **evidence** more supported specific'effect.

19 We heard from **several people** including Dr.
20 lrewerton on our **committee** that anxiety disorders tend to be
21 **importantly** overlapping with depression commonly, so I think
22 **this** is a challenging issue that is difficult to sort out,
23 **not** just with PTSD, but with virtually all of the anxiety
24 **disorders** that have been ldoked at in terms of drug
25 efficacy.

1 DR. SOUTHWICK: I just want to make it clear, if I
2 didn't, that is what I was actually trying to say, that I
3 feel that, reading and listening to all the data, to me it
4 would appear that sertraline has an independent effect on
5 PTSD symptoms.

6 DR. HAMER: I have two comments about that issue.
7 The first is that when we looked at the slide with the
8 correlations between the Hamilton Depression Scale scores
9 and the various PTSD indices, they were all correlations in
10 the 0.6 range, which means that in terms of shared variants
11 between the two scales, the R-squares for **those** are about
12 0.36.

13 So, to put it another way, even though there is
14 some overlap between at least the scales that measure the
15 severities of the two diagnoses, there is two-thirds non-
16 overlap, so that is to me some evidence that, in fact, there
17 is at least a difference between depression and PTSD. There
18 is an awful lot of non-shared variation.

19 The other was that the analysis in which you
20 looked at the **difference between** the two treatments on the
21 PTSD scales after covariating out the Hamilton, there still
22 remained a significant sertraline effect, and I find that to
23 be reasonably convincing that there is a sertraline effect
24 on PTSD above and beyond that which may be due to
25 depression.

1 Now, I may be wrong. One of the things about
2 **statisticians** is that statisticians demand the right to be
3 **wrong** 5 percent of the time, and so I could be wrong, but
4 **what** I see is that it certainly looks like it is not just
5 depression.

6 DR. TAMMINGA: Any more discussion or comment on
7 **this** question?

8 I think, Dr. Dominguez, we may be ready to
9 **consider** what your question was, if you could restate it for
10 **u s .**

11 DR. DOMINGUEZ: I would like to **make** the motion
12 **ffor** the chairperson to consider that based only on the acute
13 **data, the 12-week** data, whether the issue of the gender
14 **differences** were either inadequately studied or whether
15 **indeed** that was a failure to show a response based only on
16 **the 12-week** acute studies, the positive studies.

17 DR. TAMMINGA: So, perhaps the committee can
18 **discuss** the proposition that we cannot fully answer the
19 **gender** question from the data **presented**. Is that it?

20 DR. DOMINGUEZ: It's one opinion versus another,
21 **whether** it was again inadequately studied, in other words,
22 **there** have to be more studies to answer the question, or
23 **whether indeed** the data that was presented failed to show an
24 **effect** in men

25 DR. TAMMINGA: **Can** we have some discussion on Dr.

1 Dominguez's proposition? I understand that these studies
2 were not powered to separately demonstrate a PTSD effect in
3 men and women, so the idea that that be studied gave us
4 independent information on sertraline effect in men and
5 women is true.

6 DR. DOMINGUEZ: Yes, I agree with you. On the
7 other hand, as I understand it, it's a free country, and the
8 Agency may indeed wish to hear the opinion of the committee
9 with regards to those two questions.

10 In fact, I think that the question was presented
11 as such in Dr. Smith's presentation, correct? Okay. Wasn't
12 that a question in your presentation?

13 DR. KATZ: I am sorry. Could you repeat that? I
14 would appreciate it.

15 DR. DOMINGUEZ: Versus whether it was inadequately
16 studied versus whether it failed to show an effect in men.

17 DR. KATZ: To answer the question whether or not
18 the committee thinks the drug can be approved, and if it can
19 be approved, what would the committee propose as an
20 indication, in other words--and I think we have sort of been
21 talking about this a lot, whether or not it should be
22 approved, if you believe it should be approved as a general
23 treatment for PTSD, and then some statement later on about
24 where the data came from, or whether it should be restricted
25 to approval in women only, if you think it should be

1 approved, whether or not you think more data of any kind,
2 out specifically with regard to the gender question needs to
3 be reviewed before you can even recommend an action.

4 DR. TAMMINGA: I think it is important for the
5 committee to keep in mind, I think that everybody here would
6 recommend more data in gender, more information since all of
7 us are of that bent in any case.'

8 The question that you want our opinion on is
9 whether or not they need more data before approval. Is that
10 correct?

11 I think it is time for me to **address** the first
12 question, which actually requires a vote and take some
13 discussion on this:

14 Has the sponsor provided evidence from more than
15 one adequate and well-controlled clinical investigation that
16 supports the conclusion that Zoloft is effective for the
17 **treatment** of posttraumatic stress disorder?

18 Do we have any additional discussion around this
19 question?

20 [No response.]

21 DR. TAMMINGA: Then, I would like to call for a
22 vote on the question. There is three non-voting members of
23 the committee - Dr. Brewerton, Dr. North, and Dr. Southwick,
24 so I think we should just go around the table and vote on
25 the question: Has the sponsor provided evidence that Zoloft

1 is effective for the treatment of posttraumatic stress
2 disorder?

3 Dr. Geller, might you start?

4 DR. GELLER: I think yes with some label
5 consideration to the need for further studies in certain
6 areas, types and gender.

7 DR. TAMMINGA: Dr. Cook.

8 DR. COOK: Yes with the caveat that it is only in
9 the age groups studied.

10 DR. TAMMINGA: Dr. Lacey.

11 DR. LACEY: I think the sponsor has provided
12 evidence from more than one adequate study, but I don't feel
13 comfortable voting that there is sufficient data in the
14 studies presented, so I would be no.

15 DR. TAMMINGA: Dr. Winokur.

16 DR. WINOKUR: I would vote yes overall for
17 demonstration of efficacy, and I agree with the need to
18 discuss labeling guidelines in light of the limitations of
19 the information that we have.

20 DR. TAMMINGA: Dr. Hamer.

21 DR. HAMER: I vote yes also with the trust that
22 the FDA will be judicial and careful in its labeling.

23 DR. TAMMINGA: Dr. Dominguez.

24 DR. DOMINGUEZ: My vote is yes, as well. My bias
25 is toward my belief that the sponsor failed to show efficacy

1 n men.

2 DR. TAMMINGA: My vote is also yes, that the
3 ponsor has showed that sertraline is effective for the
4 reatment of PTSD with all the caveats that people have
5 lready submitted.

6 Dr. Katz.

7 DR. KATZ: I take to heart Dr. Hamer's hope and
8 ish that we will do the right thing, and we will try. But
9 gain, is there a general sense from the committee--I don't
10 now that this needs a formal vote--that the specific
11 ndication should not be limited to women?

12 I just want to sort of make this explicit. There
13 re ways that labeling can be written. The indication
14 tself could say approved for PTSD in women or it could just
15 ay approved for PTSD as a treatment for PTSD, and then in
16 another place describe where the data come from.

17 I am just trying to get a sense from the committee
18 which of those two or perhaps some other option would be
19 preferable.

20 DR. TAMMINGA: What I would say is that we just
21 voted on the general efficacy of sertraline in posttraumatic
22 stress disorder and previously had a discussion about the
23 gender question, and that in the gender discussion, although
24 there was a universal call for more data, the majority of
25 the group was not willing to exclude men from the efficacy

1 question. If I am misstating that, would somebody from the
2 committee--

3 DR. BREWERTON: I don't think that we really took
4 a formal vote on that.

5 DR. TAMMINGA: We didn't take a vote on it. .I
6 said that was the gist of the discussion.

7 DR. BREWERTON: I would feel more comfortable
8 limiting it to women personally. I see absolutely nothing
9 in the data that would supports its efficacy in men.

10 DR. TAMMINGA: More discussion on this issue?

11 DR. LAUGHREN: If I can just clarify, the question
12 that I framed in my mind clearly was focused on the **claim**
13 generally, not limited to men or women or any other
14 subgroup.

15 Again, we have great flexibility in writing
16 labeling to describe the findings in the clinical trial
17 section, but the question that everyone voted on in my mind
18 was a question on a general claim for PTSD.

19 DR. TAMMINGA: Dr. Katz. . .

20 DR. KATZ: That is I think what we meant when we
21 wrote the question, but again, it is useful to us to know
22 explicitly how people feel about that, because I am not sure
23 that everybody, when they vote on it, voted on it the way it
24 was technically worded.

25 For example, Dr. Brewerton suggested he would like

1 t to be restricted, the indication itself to be restricted
2 ust to women.

3 DR. TAMMINGA: Would you like us to take a formal
4 ote on that/

5 DR. KATZ: Or at least poll the committee, I don't
6 are really about a vote, but if you could poll the
7 ndividual members of the committee, this way we would have
8 t on the record, we would know what people think.

9 DR. TAMMINGA: I would like to then repeat this in
10 **sequence**, not taking a vote, but having a statement from
11 **each** member of the committee on their **position** on the
12 **lender**.

13 Dr. Geller, could you start?

14 DR. GELLER: I would like it not restricted by
15 **render** because my experience is the FDA will do its usual
16 **outstanding** job of including information on the trials in
17 **the** labeling.

18 DR. TAMMINGA: Dr. Cook.

19 DR. COOK: I would **agree** that it should be labeled
20 and obviously, data **needs** to come in. I really want to
21 emphasize that although we aren't presented with anything
22 that suggests stratification by previous history, age of
23 onset, that this should be looked for, maybe more important
24 in terms of getting the truth of what should be labeled.

25 So, for example, women with combat experience, we

1 don't know that they respond any better than men with child
2 abuse. So, it's just a little caveat. We can't say what we
3 don't know, and I guess we have to label for what is
4 presented.

5 DR. TAMMINGA: Dr. Lacey, would you like to make a
6 statement?

7 DR. LACEY: I certainly strongly recommend that
8 the differentiation be clearly specified about differences
9 in populations even though we might leave it open.

10 DR. TAMMINGA: Dr. Winokur.

11 DR. WINOKUR: Taking literally or as expressed,
12 the question that we were asked to address, I, as others,
13 felt that we have been presented with convincing data from
14 two good, well-controlled studies that support the general
15 efficacy question.

16 I feel personally, as a committee member, unable
17 at this point, with the information available to address or
18 project whether in the long run, men will be shown to
19 respond differently or other factors will come out, as well,
20 but to me, this is an issue that we commonly face in our
21 field where we are dealing with disorders that were
22 primarily describing syndromally or phenotypically, there is
23 heterogeneity in response, I think having treatments that
24 are well studied and shown to respond in at least a fair
25 percentage of individuals gives us a chance then to go

1 forward and do more specific studies to factor that out, so
2 gender or age of exposure or type of exposure may well down
3 the road turn out to be very important dimensions for
4 further research, but I don't feel that we have the
5 information at this point to really make appropriate
6 decisions about that.

7 DR. TAMMINGA: Dr. Hamer.

8 DR. HAMER: One of the first things we learn in
9 statistics is to not over-interpret a null hypothesis that
10 we failed to reject. We certainly failed to reject the null
11 hypothesis that there is no difference **between sertraline**
12 and placebo in males here.

13 I will make the statement that in my opinion, the
14 sponsor has failed to show us that sertraline is effective
15 in males, but that is a vastly different thing from saying
16 that we were shown that it is not effective in males.

17 So, however the labeling gets written, whether the
18 labeling is written in such a way that says the indication
19 **is only** given in females or whether the labeling is written
20 with the indication saying the indication is for PTSD in
21 general and then appropriate labeling saying the sponsor
22 failed to demonstrate that it was effective in males, again,
23 I leave up to the FDA.

24 They do a wonderful job of this sort of thing, in
25 negotiating with the pharmaceutical company, and then just

1 as long as you are asking for opinions, if I were
2 constructing an ideal world, I would want to construct the
3 world 'in such a way that the sponsor was motivated to
4 investigate the issue of effectiveness in males.

5 DR. TAMMINGA: Dr. Dominguez.

6 DR. DOMINGUEZ: Deleting that very, very last
7 statement that you made, since English is not my primary
8 language, I will ditto everything that Dr. Hamer said. I
9 think the sponsor has failed to show an effect in men.

10 DR. TAMMINGA: And I also, adding my opinion in as
11 the chair of the committee, think that **this indication** ought
12 to be in PTSD without reference to gender, but that the
13 data, as it has been presented, go into the labeling.

14 The next question that we have to consider is the
15 safety question. The voting committee can assume that the
16 safety question of sertraline as a treatment in humans has
17 been already answered by the FDA, and we need to consider
18 the safety question of sertraline in PTSD.

19 Is there any discussion, any specific discussion
20 by anybody about **safety** concerns of sertraline in PTSD?
21 Comments by the voting and the non-voting members of the
22 committee.

23 Any concerns that any committee member has of
24 sertraline's safety in PTSD other than the concerns that we
25 might have about human use in general?

1 [No response.]

2 DR. TAMMINGA: I think I would like to go around
3 again and then take the final vote on has the sponsor
4 provided evidence that sertraline is safe when used in the
5 treatment of PTSD.

6 Dr. Geller.

7 DR. GELLER: Yes.

8 DR. TAMMINGA: Dr. Cook.

9 DR. COOK: Yes.

10 DR. TAMMINGA: Dr. Lacey.

11 DR. LACEY: Yes.

12 DR. TAMMINGA: Dr. Winokur.

13 DR. WINOKUR: Yes.

14 DR. TAMMINGA: Dr. Hamer.

15 DR. HAMER: Yes.

16 DR. TAMMINGA: Dr. Dominguez.

17 DR. DOMINGUEZ: Yes.

18 DR. TAMMINGA: Dr. Tamminga. Yes.

19 I cannot believe it, we had no additional
20 discussion.

21 With answering these two questions for the FDA and
22 providing additional and extensive, if you will, or at least
23 some discussion of PTSD as an independent diagnosis worthy
24 of an indication, I would like to thank the committee, both
25 the voting and the, non-voting members, for their

1 participation in this discussion, and wonder if the FDA has
2 any final comments.

3 DR. KATZ: I also would like to thank everybody
4 very much. I think it was an interesting discussion, some
5 tough issues, and I appreciate it very much. We will take
6 our advice to heart.


7 DR. TAMMINGA: I would like to bring the meeting
8 to a close and thank everybody very much.

9 [Whereupon, at 1:15 p.m., the meeting was
10 adjourned.]

11

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings **have** been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in black ink and is positioned above a solid horizontal line.

ALICE TOIGO