

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

USING THE RISK ALGORITHM
IN DETERMINING THE CATEGORIES OF INSPECTION
IN 30 PROTOTYPE PROCESSING PLANTS

+ + + + +

April 2, 2007
9:00 a.m.

George Mason University
Arlington Campus
3401 Fairfax Drive
Arlington, Virginia 22201

MODERATOR: ROBERT TYNAN
Deputy Assistant Administrator
Office of Public Affairs
Education and Outreach

PARTICIPANTS:

DR. RICHARD RAYMOND
DR. DAVID GOLDMAN
MR. WILLIAM SMITH
MR. MATTHEW MICHAEL
MR. CHARLES GIOGLIO
MR. DON ANDERSON
MR. BRYCE QUICK
DR. DAN ENGELJOHN

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

MS. SHARON BEALS
MS. PATRICIA BUCK
MR. TONY CORBO
MS. SANDY HOFFMAN
DR. REX HOLT
MR. BOB McKEE
MS. ROSEMARY MUCKLOW
MS. FELICIA NESTOR
MR. STANLEY PAINTER
MS. JENNY SCOTT
MS. CAROLINE SMITH-DEWAAL
MR. SKIP SEWARD
MR. DENNIS JOHNSON
MS. CAROL TUCKER-FOREMAN
DR. DANA VETTER
MR. CHRIS WALDROP

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Opening Remarks by Mr. Robert Tynan	4
Welcome and Introductions by David Goldman, Acting Administrator	6
The Importance of Risk Based Inspection in Processing by Dr. Richard Raymond, Under Secretary for Food Safety	9
REVIEW OF ALGORITHM MEASURES	
Inherent Risk Measure by Matthew Michael, OPEER	20
Comments and Questions	32
Risk Control Measure by Don Anderson, OPEER	58
Comments and Questions	79
HOW THE COMPONENTS COME TOGETHER	
Computing Risk-Based Inspection Levels for Processing Establishments in 30 Prototype Locations by William Smith, Assistant Administrator, OPEER	107
Comments and Questions	113
Public Health Noncompliance Records by Charles Gioglio, OPED	149
Comments and Questions	155
WHERE DO WE GO FROM HERE	
Next Steps/Future Meetings by David Goldman, Acting Administrator	184
Adjourn	

P-R-O-C-E-E-D-I-N-G-S

(9:00 a.m.)

MR. TYNAN: Thank you to all of you for coming this morning. We're going to talk about using the risk algorithm to determine the categories of inspection.

In addition to the audience that we have here at George Mason, we also have phone-in participants. So we're going to be alternating back and forth a little bit in terms of taking questions and comments from the group here as well as those that are on the phone.

I should mention that all of the materials for those folks that are on the phone, all of the materials for today's session are on the FSIS website. You can just check on the search engine under risk-based inspection, and you can access all of the materials for today's meeting.

Just briefly, I'd like to take you through the agenda. Everyone should have copies of that. They were at the table outside. It's a very simple, straightforward kind of an agenda. We're going to

1 have a few welcoming remarks from Dr. Goldman and
2 Dr. Raymond, and we're going to then get into in a
3 little bit more detail into the issue of the inherent
4 risk measure paper. Again, that's on the website, and
5 we'll talk about the risk control measure as well. So
6 that will set us up. These are papers that we
7 discussed at previous meetings, perhaps not in as
8 great of detail as we'll go into today.

9 And after those two initial sessions, we're
10 going to talk a little bit about computing the risk-
11 based inspection levels for processing establishments
12 in our prototype assignments, how those two measures
13 come together.

14 And last but not least, we're going to talk
15 a little bit about the noncompliance records and their
16 impact to their public health ranking. So we'll talk
17 about that, and then we'll have a short closing, and
18 we'll talk maybe about next steps and where we go from
19 here.

20 So that's essentially the agenda. We're
21 scheduled to close at 1:00. We've left ample time for
22 discussion of the topics today, but one last thing

1 before I introduce Dr. Goldman. I wanted to mention
2 that there are a number of topics that we're going to
3 touch on as we go over the algorithm, and things such
4 as production volume, industry data, perhaps even
5 discuss the elicitation. Those are not the subjects
6 of our meeting today. So we will be handling those.
7 They will be touched on for purposes of understanding
8 how they relate to the algorithm, but we have
9 additional meetings set up for later in April and
10 during the summertime, to talk about those in more
11 depth. So we may handle one or two questions
12 regarding those, but we're going to ask you to hold
13 some of the more specific and in the weeds type
14 questions for later on.

15 And with that, I'm going to introduce
16 Dr. David Goldman, Acting Administrator for Food
17 Safety and Inspection Service.

18 DR. GOLDMAN: Thank you, Robert. And I want
19 to also thank all of you for coming today, those of
20 you who are here in the room who have traveled in
21 perhaps from out of town to D.C. or to Northern
22 Virginia, and those who are joining us on the phone.

1 This is a very important meeting. This
2 begins a series of meetings as Robert just pointed out
3 in which we will discuss in great detail, hopefully
4 enough detail, the various aspects of risk-based
5 inspection so that as we move forward, we can all
6 collectively be comfortable with its individual
7 components.

8 This is my first public meeting on RBI in my
9 role as Acting Administrator, and I think it's fitting
10 that this is the first in that series of summits that
11 I just referenced.

12 Although it's my first meeting in that role,
13 I'm obviously not new to RBI. I have participated in
14 the past in the smaller stakeholder meetings that
15 we've been holding since the rollout in February. Of
16 course, I was here last October as well and
17 participated in that meeting.

18 I'm very pleased to see so many of our
19 public health partners here, our industry and consumer
20 representatives as well as our own employee
21 organizations, and I want to mention in particular, we
22 have Bob McKee from the Association of Technical and

1 Supervisory Professionals, Stanley Painter from the
2 National Joint Council of Food Inspection Locals, and
3 Pat Basu from the Asian Pacific American Network in
4 Agriculture. And I think also on the phone we have
5 Chris Bratcher who is representing the National
6 Association of Federal Veterinarians.

7 FSIS and I remain committed to an ongoing
8 and open process as we continue to implement this more
9 robust risk-based system. This is a science-based
10 initiative and it's critically important in our
11 ability to maintain the safety of meat and poultry.

12 While we are creating the best system that
13 we can, we'll continue to work on other public health
14 initiatives that are very important to the Agency,
15 that are somewhat separate from but yet in support of
16 risk-based inspection. One example would be our data
17 infrastructure project. Another would be the data
18 consolidation project. You'll hear more about those
19 both as we discuss RBI and as well as projects in
20 their own right.

21 Risk-based inspection at FSIS rests on our
22 ability to use real time data in an integrated public

1 health data infrastructure. This infrastructure will
2 allow us to make better informed proactive decisions
3 to protect public health, and we'll be able to save
4 lives even before RBI is completely implemented.

5 Data is important to our Agency and to all
6 of you, our public health partners, who are committed
7 to building an infrastructure which is vital for any
8 public health agency to have. It is important to
9 protecting public health and improving food safety.

10 I look forward to our discussions today and
11 to your ongoing commitment to this project.

12 At this point, I'd like to introduce to you
13 someone that you all know well by now, our Under
14 Secretary for Food Safety, Dr. Richard Raymond, who
15 will continue to welcome you as well as to lay out a
16 little bit more in detail about our vision for risk-
17 based inspection. Dr. Raymond.

18 DR. RAYMOND: Thank you, Dr. Goldman. It's
19 been a great experience working with you to create
20 this dynamic risk-based inspection system that will
21 help us insure the continued safety and security of
22 the U.S. meat and poultry supply. You mentioned that

1 this was your first address as the head of the Agency,
2 that you've been working on this for a few years.
3 Well, you've been doing the rolling before. Now you
4 are at the helm and you are guiding this thing. So
5 I'm glad to have you with us.

6 And I want to echo your comments thanking
7 all of our partners for coming today, some from as far
8 away as the Republic of Berkley, California, and
9 participating in this first of many upcoming public
10 summits. Your comments and input are vital to this
11 process and they are greatly appreciated by those of
12 us within the Agency.

13 Everyone here in this room today knows I
14 believe strongly in the importance of a more robust
15 risk-based inspection system and the public health
16 benefits that such a system will offer to all of us.
17 It is also essential to realize that this idea of
18 using a scientific background and understanding of
19 risk to better protect the safety of the food supply,
20 has been around a lot longer than the almost two years
21 that I've been privileged to serve as the Under
22 Secretary for the Office of Food Safety in the United

1 States Department of Agriculture. It's been around a
2 lot longer.

3 In fact, this important idea has percolated
4 through the highest levels of the Department and has
5 been discussed and studied by respected academics for
6 more than 30 years.

7 The really interesting thing that I
8 discovered is that throughout the past 30 years, 3
9 necessary elements for an enhanced risk-based
10 inspection system have always been agreed upon for
11 pretty much that entire time. This includes improving
12 a plant's control of risk. It includes varying
13 intensive inspection based on risk-based factors, and
14 it includes factors for failure to meet inspection
15 requirements.

16 In fact, even the thinking of what would go
17 into the creation of the algorithm to determine the
18 risk that a given plant would pose has remained
19 remarkably consistent over those 30 years. According
20 to a report issued by the USDA, in 1978, focusing on a
21 strengthened meat and poultry inspection program,
22 there were five important elements that any plan

1 needed to have to categorize plants based on risk.
2 Those elements in that document in the Federal
3 Register included the nature of the meat and poultry
4 product, the method of processing, the volume of the
5 product produced, the compliance history of the plant
6 and the ability to monitor plant performance through
7 data collection and laboratory analysis of product
8 samples.

9 Now 30 years is a lot of time for due
10 diligence, and especially given the continuity of
11 thought seen over that period of time. However, it's
12 our opinion that, the Office of Food Safety, that this
13 initiative is far too important to public health to
14 rush. That's why we've decided to wait until this
15 series of technical summits in April and May and June,
16 as well as the most recent expert elicitation is
17 completed, before we begin implementing the timeline
18 that FSIS proposed in February.

19 One of our topics today was to discuss the
20 timeline. So I'm letting you all know that the
21 timeline has been moved backwards. We probably will
22 roll this out in our prototype location sometime in

1 July. I'm not giving a firm date or commitment yet.
2 It will depend how the summits go and the input we
3 receive.

4 This is a big change. This means that FSIS
5 will begin implementation of this probably in July
6 rather than April. This means that we have listened
7 and once again, we're trying to be responsive to the
8 comments that we have received. This decision as made
9 after listening to the constructive comments that have
10 been coming in by a number of consumer groups,
11 employee associations and industry representatives
12 expressed after reviewing our proposed timeline and
13 our formulas to determine establishment category of
14 inspection.

15 We felt that the information that we were
16 receiving deserved to be heard and discussed in
17 public, with the rest of our stakeholders. So you all
18 have a vote on how this will look. It's just another
19 example of how important we all believe your input is
20 to this process and of us acting on that input and
21 reacting to that input.

22 I've heard some very good ideas. If I were

1 a dictator, I would have changed them and rolled this
2 out in April, but I'm not a dictator and the good
3 ideas I've heard, I want your comments on as we air
4 them at public meetings, particularly on plant volume
5 and plant data. We do need to make some changes
6 there, but I want your input on what those changes
7 will look like.

8 The timeline that we issued late February
9 was never meant to be cast in stone. This is a
10 science-based initiative and all good science has to
11 be flexible enough to evolve as additional data and
12 input is gathered throughout the process.

13 As our discussion continues over the next
14 few months, I'm sure that additional improvements and
15 refinements to this dynamic initiative will occur.

16 Today we want to talk about the algorithm.
17 We're going to talk about the timeline. Specifically
18 I want to hear your input on how we have used
19 noncompliance reports. We spent a lot of time and
20 effort to come up with a formula that I believe is
21 responsive to what we have heard over the last year
22 from industry, consumers and our own employees about

1 how to use noncompliance reports into this algorithm.
2 That's our goal today.

3 Our goal today is not to talk about whether
4 or not we're going to do risk-based inspection and
5 it's not to talk about the politics. If we get into
6 that area, we will waste those people's time who have
7 come here to talk about the algorithm, the
8 noncompliance reports and the timeline, and I ask you
9 to keep your comments to those three areas. Anything
10 else is not the subject of this particular meeting
11 today.

12 Now I'll end on that note so we can get
13 started with this important meeting. I want to thank
14 everyone once again for coming and spending a
15 beautiful day in November -- in November -- we're not
16 there yet.

17 (Laughter.)

18 DR. RAYMOND: That's wishful thinking -- in
19 April in Northern Virginia when you could be over
20 looking at the cherry blossoms. They are beautiful.
21 The peak was yesterday for those of you who came from
22 outside of this area. You should get there yet this

1 afternoon. So I want to thank you for coming. I look
2 forward to working with all of you today and in the
3 future to improve the safety of the United States
4 meat, poultry and egg product supply. Thank you.

5 MR. TYNAN: Good morning again. I don't
6 think I introduced myself earlier, I apologize. I'm
7 Robert Tynan. I'm the Deputy Assistant Administrator
8 in the Office of Public Affairs, Education and
9 Outreach in FSIS.

10 As Dr. Raymond said, we'll be done early,
11 and you should get over to the Tidal Basin if you can.
12 We're purposely closing at 1:00 so you'll have ample
13 time to do that and, Craig, you agree with that. Is
14 that correct?

15 DR. BRATCHER: Yes.

16 MR. TYNAN: Okay. I would like to introduce
17 the folks that are sitting up here that are going to
18 be speakers for today. To my immediate right, I have
19 Matthew Michael who will talk a little bit about the
20 inherent risk paper. Don Anderson, he'll be talking
21 about the risk control measures paper. Bill Smith
22 will be talking about how those two come together in

1 terms of determining our level of inspection, and at
2 the very far right, I have Mr. Charlie Gioglio, who
3 will talk a little bit about the NRS of public health
4 significance. So that's our group of speakers for
5 today.

6 Before we start, I wanted to ask you to try
7 and make your comments and questions when we get to
8 that portion as brief and concise as possible. We
9 have a fairly large group. So I want to be fair to
10 everybody so that we have an opportunity to get
11 everyone's questions in.

12 We're not imposing a time limit on -- as we
13 have in a couple of our other meetings where we've
14 allowed for a minute or two minutes for a comment or a
15 question. But I may ask you to wrap up a comment in
16 the interest of time, and that's not because we don't
17 value your comments, but rather we just need to stay,
18 again to be fair to everybody and stay within the time
19 limits that we've set for the meeting today.

20 I should remind everyone that you may also
21 provide your comments, if you don't get a chance to
22 get in everything that you wanted to say today, to our

1 e-mail address. It's riskbasedinspection@fsis.usda.
2 gov. So that site is available. It has been used,
3 and we're grateful for the comments we've received and
4 hope to have more after the meeting today.

5 When we get to the comment period, we have
6 microphones in each aisle. I would ask you to come to
7 the microphone if you could, and state your name and
8 your affiliation before you begin your question or
9 comment. That will help us a little bit. I have a
10 gentleman over here who is our transcriber, and if you
11 give your name and affiliation, that's going to help
12 him out to get us a transcript. I may gently remind
13 you if you forget to do that.

14 We also again have callers, callers in. So
15 when we get to that comment period, I'll alternate
16 between comments and questions here as well as the
17 people on the phone. In that regard, our technician
18 here has told me that there is a possibility that
19 there will be a little bit of a glitch with the audio
20 portion for people presenting from the phone-in, that
21 our gertner box isn't working quite the way it's
22 supposed to for some reason. So we may have

1 difficulty with that. I hope that is not the case,
2 but I just want to warn everybody in advance. And
3 again, if there's some issues on things that we can't
4 get to, then we will try and get those through our
5 risk-based inspection address.

6 We did not build in, in the agenda, a
7 specific time for a break. I'm sure everybody
8 probably looked at that and closed their eyes when
9 they saw that. But there is a lot of information that
10 we want to get to. We're going to leave it to you to
11 decide when you need to get a cup of coffee or grab a
12 stretch break. The coffee is down one floor below us.
13 I think there's a small bookstore that has coffee
14 there. There's a coffee shop evidently right around
15 the corner from that where you can get a little bit
16 better coffee I think, and so if you need to take a
17 stretch break or whatever.

18 The last thing I would mention to you, as
19 you face the front of the room, if you haven't found
20 them already, the restrooms are around the corner to
21 the right. So my left, your right. And that's
22 basically it. Any questions before we get started

1 with the day's proceedings?

2 (No response.)

3 MR. TYNAN: Okay. With that, I'm going to
4 turn it over to Matthew Michael to talk a little bit
5 about the inherent risk.

6 MR. MICHAEL: I'm Matthew Michael. I'm with
7 the Program Evaluation and Improvement Staff at FSIS,
8 and as Robert said this morning, I'll be talking about
9 the measurement of inherent risk in the processed meat
10 and poultry products.

11 MR. TYNAN: Let me get you on the
12 presentation portion. There you go.

13 MR. MICHAEL: Thanks. For those of you who
14 attended the meeting back in October, some of these
15 first slides will probably look familiar to you. As
16 most of you know, we use two measures of risk in RBI,
17 the inherent risk measure and the risk control
18 measure.

19 The inherent risk measure is a measure of
20 the inherent risk posed to the public health by each
21 type of processed meat and poultry product, assuming
22 typical process control by the producing

1 establishment. The risk control measure is a measure
2 of the amount of risk control achieved by each
3 establishment and that's what Don will be talking
4 about later. We use both of these measures together
5 to create a risk-based score for each plant, and Bill
6 will be talking about that later.

7 The measure of inherent risk provides a
8 relative value for the risk posed to the public health
9 by each category of processed meat and poultry product
10 produced in an official establishment. It takes into
11 account the species of animal processed and the type
12 of processing. That's a hazard component. And then
13 the production volume which is a proxy for exposure
14 for that particular product that's produced at each
15 establishment.

16 This next slide explains this better. We
17 based our inherent risk formula on the general formula
18 used for calculating risk, which is hazard times
19 exposure equals risk. In our case, we have a species
20 process value times volume equals inherent risk.
21 We've combined species and process into a single
22 variable to account for the different risks they pose

1 in combination. For example, all things being equal,
2 raw poultry might pose more risk than raw pork whereas
3 canned poultry and canned pork might pose equivalent
4 risks. So by combining the two variables in this one,
5 we can differentiate among different risks.

6 We've used production value as a proxy for
7 exposure assuming a direct relationship volume
8 produced and exposure to the inherent risk posed by
9 the product.

10 Okay. The species/process values, those
11 that we have now -- well, let me start. Each value
12 represents a measure of the relative risk of illness
13 posed by one or more categories of processed meat and
14 poultry products. The values we have now and that
15 you've seen on our web page were determined through a
16 2005 expert elicitation. We're having a new modified
17 expert elicitation developed by a contractor now, and
18 it'll be completed in June 2007.

19 In 2005, we did conduct the elicitation with
20 23 experts from academia, the Federal government and
21 industry, and we asked them to score 24
22 species/process categories to reflect the relative

1 risk of illness per serving that each poses to
2 consumers. The instrument we used in that expert
3 elicitation was peer reviewed by experts outside of
4 FSIS. These were experts in data collection and
5 analysis, and we conducted that peer review under the
6 OMB Guidelines for the Information Quality Act. A
7 list of the experts can be found on the FSIS web page,
8 and the site is up here. That's been up for a while.

9 In 2005, we placed a number of constraints
10 on the experts. That is we asked them to assume a lot
11 of things about the production of the products when
12 they gave us the scores. For example, we asked them
13 to assume that the product they were scoring was
14 produced in a plant with typical processing and a
15 plant that operated under SSOPs and had SSOPs and
16 HACCP and operated under USDA inspection. We asked
17 them to assume that the product isn't an irradiated
18 product, that the consumers were healthy adults, et
19 cetera. A lot of those assumptions were made in 2007,
20 but in a lot of ways, the 2007 elicitation is modified
21 in response to the comments we received back in
22 October.

1 And again, these are the values from the
2 2005 -- these are the median scores from 2005, the
3 2005 expert elicitation, and you'll see here the 24
4 categories of products. For the purpose of the
5 calculation, we'll be doubling these scores to put
6 them on a scale of 100. It doesn't change their value
7 relatively speaking. You'll also notice here we have
8 -- we cover about every product, every type of
9 processed meat and poultry product with the exception
10 of thermally processed commercially sterile products.
11 And when we conducted the elicitation in '05, we
12 believe that those products were exponentially safer
13 than the next most dangerous product, and therefore we
14 would fit them in as the lowest score.

15 As a result of the October meeting, and some
16 of the comments we received, we've now included
17 thermally processed commercially sterile products in
18 the 2007 elicitation. So we'll have values for those
19 coming up.

20 As I said, the next elicitation will be
21 completed in early June 2007, and we're using
22 instruments -- we're working on those instruments now.

1 They were modified in response to the public comment
2 as well as the peer review. The peer review was
3 recently completed and we had a lot of very good
4 significant comments that we've used to improve the
5 instrument.

6 The major changes we made to the instrument
7 is we are asking about severity. We'll have a
8 separate instrument where we ask the experts to rank
9 these products by severity of illness that could occur
10 through their consumption, as well as the original
11 instrument that asks them to rank the products by
12 probability of risk per serving.

13 Another change we made in response to
14 comments is that we'll have an upper bound of
15 responses, on the responses from the experts. We
16 think we figured out a way to do that to preserve the
17 proportionality of responses. That comment came about
18 from the October meeting, and I believe also from the
19 Advisory Committee. A lot of people were concerned
20 about the ranges of responses and some of the earlier
21 responses. So we do have an upper bound. And then,
22 of course, as I mentioned in the previous slide,

1 another change is we will be asking about thermally
2 processed, thermally sterile product or typically
3 canned product.

4 And then also in response to public comment,
5 we're going to have a very specific make up of the
6 expert group this time around, and we haven't
7 recruited -- the contractor hasn't finished recruiting
8 the experts yet, but what we want to get are experts
9 in equal numbers from three groups, and those would be
10 academics, public health professionals and experts
11 from industry, and we're shooting for eight experts in
12 each of those groups. The response rate in 2005, only
13 about 66 percent of the experts we asked to
14 participate did. So I can't say we'll get eight this
15 time, but that's our goal, eight in each group.

16 Okay. We'll be using production volume for
17 each type of product to develop the exposure component
18 for each establishment. We've already collected
19 volume data for every one of these categories from
20 every inspected plant. The inspection personnel were
21 giving us estimates of volume data into PBIS. They
22 began entering data as a pilot in a single district

1 back in November of '06, and then it went national in
2 December of '06, and they've completed it now and
3 we've got a very good response on that. So we do have
4 some volume data for every plant.

5 This slide shows -- this is a facsimile of
6 what the inspection program personnel see in PBIS and
7 we arranged it this way for a number of reasons. This
8 is a grid we've seen before when we previously
9 collected similar data. You'll see on our Y axis we
10 have the pounds shipped in a typical day, and we have
11 ranges of pounds and the X axis we have the number of
12 days product shipped in the last 30 days. And then
13 what I've put in each square then are the ranges if
14 you multiply the axes together. You'll probably
15 notice immediately that there's some overlap in these,
16 and that's a shortcoming of this way of collecting
17 data, but we felt it was necessary to collect the data
18 at this time because, first of all, the experts had
19 seen this type of grid before, they were comfortable
20 with it. I'm sorry. Not the experts. The inspection
21 program personnel were comfortable with this type of
22 grid, and secondly, we didn't believe at this time

1 that inspection program personnel would be able to
2 give us point estimates for product type per plant. I
3 think in the future, we'll be trying to get more
4 accurate volume data. But this data is pretty good.

5 This next slide shows the product of
6 averages of the possible ranges of volume, and there's
7 been a lot of comments on this, and the paper that's
8 on the Internet, this is actually two graphs, but I
9 combined them into one because I couldn't fit it into
10 a single slide. Let me see if I can go back here.

11 You see on our Y axis, the volume is 25 and
12 that is the average between 1 and 50 pounds, our first
13 value on the top of the Y axis, and then you'll see on
14 the X axis our first value is 2.5 days and that's the
15 average of 1 to 5 days on our X axis. So we took the
16 averages of our ranges and we multiplied them together
17 to get the values you then see filled out in the box,
18 the boxes. So 25 times 2.5 is 62.5. 25 times 7.5 is
19 187.5, and so on. These are products of averages and
20 they are hypothetical possible ranges of production
21 for each type of product. So we calculated these for
22 each box in the grid, and then we arranged them and

1 divided them into quintiles or five groups. They're
2 rough quintiles in that you'll see quintile number 4,
3 in fact, has one extra value because otherwise we
4 would have had the same value 375,000 in two groups,
5 which we didn't want.

6 So we divided it into five groups and now
7 the score of 1 through 5 is what would be used as the
8 volume value in the equation. So were a plant to
9 produce, to end up in the 62.5 box for example for raw
10 ground, comminuted beef, they would get a 1 in the
11 calculation.

12 Okay. Then I have a little -- some sample
13 scores. This is just a general slide. This is from
14 the paper on the Internet, and see the inherent risk
15 score will be calculated on 100 point scale, with 2
16 being the lowest calculated score and 100 being the
17 highest. The reason it's a 2 right now because our
18 lowest value is one, and as I said, we're going to
19 multiply them all times 2 to put them on a scale of
20 100. Once we get the new expert values including
21 canning, I'm not sure if this will stay the same, but
22 obviously it won't change very much because we intend

1 to keep this measure on a 100 point scale.

2 Okay. Here's an example calculation. The
3 first one is fairly simple. We have two plants, Plant
4 A and Plant B, and they both produce the same product.
5 They're both raw ground beef. You see Plant A is
6 relatively small or they're producing a relatively
7 small volume of product. So they have a 1 in the
8 volume, the V column. Plant B is the largest. It
9 gets a 5. They both have the same inherent risk
10 ranking because raw ground beef in our current table
11 is a 20. You can ignore the next two columns. You'll
12 see them in the next slide. They're for weighting
13 numbers when we have plants that produce multiple
14 types of product. So what we get here is Plant A gets
15 a score of 20, Plant B gets a score of 100. They
16 produce a single product but in disparately different
17 amounts and so they receive a very different score
18 that reflects a higher volume.

19 And here's some more complicated
20 calculations. Each of these plants, Plant C, Plant D
21 and Plant E, produce the same combination of processed
22 products, raw ground beef, raw ground pork and raw

1 intact beef. If you look at Plant C and Plant D, they
2 also produce the same combination of products in the
3 same proportion, but Plant D produces twice as much.
4 So if you look, the inherent risk scores are
5 identical. In the volume scores, Plant D, their
6 volume scores for each type of product are double or
7 twice as much, and then we have computed the percent
8 that the volume of that product makes up for their
9 total production.

10 So if you look at Plant C for raw ground
11 beef, the percent volume for raw ground beef is 20
12 percent, .2, and the way we get that is to look at the
13 volume column, it's 1 divided by 5. Their percent for
14 raw ground pork is 4. That's 2 divided by 5.

15 If you look down at Plant D, and you see
16 that the percent volumes are exactly the same even
17 though they make twice as much product. So when we
18 weight their hazard score based on the proportion of
19 different products with different risk rankings, they
20 come up with the same score which is 14.4, and you see
21 that in that final column. We re-multiply it then
22 times their volume scores so that we can express that

1 Plant D in fact makes twice as much product but in the
2 same, the same proportions, and appropriately they get
3 double the score.

4 If you look down at Plant E, that's an
5 example of a plant that's making the same combinations
6 of processed products but in very different volumes
7 and very different proportions. You see the scores
8 are obviously very different. And that's it.

9 MR. TYNAN: Okay. We have time for comments
10 and questions from the audience. Again, I would
11 remind you that we are making a transcript of the
12 meeting. So if you have questions, we'd like to ask
13 you to come to the microphone and pose your questions.
14 I'm going to start with the audience here, and then
15 after we take a few questions, I'll ask the operator
16 to help us with the phone calls, the folks that are
17 calling in. And if you could introduce yourself and
18 your affiliation.

19 MR. WALDROP: Chris Waldrop, Consumer
20 Federation of America. Matthew, you mentioned that
21 you are doing a 2007 expert elicitation.

22 MR. MICHAEL: Yes.

1 MR. WALDROP: You already have the 2005 that
2 you've used for your examples here.

3 MR. MICHAEL: Yes.

4 MR. WALDROP: How are you going to -- are
5 you going to compare the two expert elicitations once
6 the second one is done? Does the second one replace
7 the first one? How is the second one to be put into
8 this?

9 MR. MICHAEL: I think the second one will
10 replace the 2005 but we will compare them. I think
11 we're expecting to see roughly the same distributions
12 of values for a lot of the products. If we don't,
13 we'll have to go back and figure out why but the
14 second elicitation, it has some different constraints
15 upon the experts which we think improve it. Of
16 course, we're asking about severity and we'll have
17 those values. So it will replace the 2005
18 elicitation, but we will compare them, yes.

19 MR. WALDROP: Okay. Because I would just,
20 if you would compare them, because of those different
21 constraints, I think that would make it difficult but
22 if it's replacing it, that makes a little bit more

1 sense. Are you including susceptible populations in
2 the new one?

3 MR. MICHAEL: We're still working on the
4 draft including of the instrument with the contractor,
5 and looking at the peer review comments and that is
6 something we have in the draft instrument right now,
7 vulnerable populations as well as healthy adults but
8 it'll probably stay in there. I mean our concern is
9 just that the more complex our instrument becomes, the
10 more difficult it is for the experts to fill it out,
11 but I expect that will stay in there.

12 MR. WALDROP: Thank you.

13 MR. PAINTER: They were up next.

14 MR. TYNAN: Thank you for helping me, Stan.
15 Felicia.

16 MS. NESTOR: Felicia Nestor, Food and Water
17 Watch. I just want to make sure I understand this
18 business on volume. So a plant, the top value for
19 volume that a plant can get is not 5. The plant could
20 get -- if the plant made a good number of products, it
21 could get even higher than a 10 for a volume amount,
22 correct? I'm looking at the last page.

1 MR. TYNAN: Before Michael answers, we'll
2 enter maybe a couple of questions to clarify but keep
3 in mind that later on in the month we're going to do a
4 meeting specifically on volume.

5 MS. NESTOR: Uh-huh. Yeah.

6 MR. TYNAN: Go for it, Matt.

7 MR. MICHAEL: The highest score you can get
8 per type of product is 5.

9 MS. NESTOR: Right.

10 MR. MICHAEL: And then we weight them by
11 proportion of product and we re-multiply. Actually,
12 you know what? You see in that final column where
13 there's the 4 and the 12.8 and 8, that is the re-
14 multiplied score. So yes and no. I mean the 5 is the
15 volume score they get for that particular type of
16 product, but then the product of the multiplication is
17 how we --

18 MS. NESTOR: Look at Plant D.

19 DR. RAYMOND: Let me try, Michael, if I may.
20 And it would really help if we could get that slide
21 back up, but I don't know if I'm smart enough to do
22 that.

1 Felicia, the volume is 1 to 5. The inherent
2 risk of the product is 1 to 20, and you take those two
3 times each other and you get the score of somewhere
4 between 1 and 100. And I think what you're looking at
5 on that last page, for instance, is like 39.4 or
6 something for the bottom.

7 MR. MICHAEL: That's right, yeah.

8 MS. NESTOR: Well, let's look at either
9 Plant D or E, whichever one.

10 DR. RAYMOND: Let's take Plant E, and it's a
11 score of 39.22.

12 MS. NESTOR: Yeah.

13 DR. RAYMOND: That's the combined score of
14 the volume and inherent risk of the product. The
15 inherent risk of the product can be no more than -- I
16 mean the volume will be no more than 5. We're to take
17 that number and then what Matthew has done here is
18 taken a plant that makes three different products and
19 so you have to weight the importance of each one of
20 those three products.

21 MS. NESTOR: But you have a volume score
22 here of 10 for Plant D and a volume score of 6 for

1 Plant E if you look at the volume column.

2 DR. RAYMOND: It's part of an algebraic
3 equation. There's the total of the 6, but then you go
4 take, and what you're doing is figuring out the
5 percentage. Okay. So we'll take volume, that, that
6 column, if everybody is with us on Plant E, your
7 handout, you've got a volume of 3 for the raw ground,
8 you've got a volume of 1 for the pork and you've got a
9 volume of 2 for the intact beef which gives you a
10 total of 6.

11 MS. NESTOR: Uh-huh.

12 DR. RAYMOND: But then you go to the next
13 one over, the percent volume.

14 MS. NESTOR: Uh-huh.

15 DR. RAYMOND: The volume for raw ground beef
16 is 50 percent, and that should say 50 percent instead
17 of 0.5 but we all know where Matthew is going with
18 this. It's one-half of the total volume of that plant
19 is raw ground beef. So you take that number then
20 times the 20 which is the risk of the product and you
21 get a score of 10 for the raw ground beef.

22 MS. NESTOR: I understand that.

1 MR. MICHAEL: Those numbers that are higher
2 than 5 are the products of the inherent risk are times
3 the adjusted volume score. So it's not just the
4 volume score by itself. So, no, they could only get a
5 score of between 1 through 5, but once you multiply it
6 times the inherent risk score, then the product is
7 greater than 5, yeah. But the multiplier itself is 1
8 through 5.

9 MS. NESTOR: All right. I'm going to have
10 to study that a little bit more.

11 I've got another question. It seems to me
12 that you made some kind of arbitrary decision here
13 that the volume categories top out at a plant that
14 makes 50,000 pounds a day. And I think, you know,
15 that's -- well, how nice for the large plants that
16 make 500,000 pounds a day, but the risk of a plant
17 that makes 2,000 pounds a day, 16 days a month, is not
18 the same risk to consumers of a plant that makes a
19 half a million pounds a day.

20 MR. MICHAEL: Well, we have to make a cutoff
21 somewhere. This might be the one we eventually use
22 and might not. You know, we can't differentiate

1 between every possible volume because we don't have
2 point estimates.

3 MS. NESTOR: No, you can but having 5
4 quintiles up to 50,000 and then everything from 50,000
5 to --

6 MR. MICHAEL: Right.

7 MS. NESTOR: -- infinity is one --

8 MR. MICHAEL: Well, you also want to think
9 about, we're going to use these values to allocate
10 inspection resources, and they need to be figures that
11 give us -- they need to be practical figures
12 ultimately. You have two plants that are completely
13 equivalent but one produces 10 million pounds of
14 product and the other products 10 pounds of product.
15 You're not going to give the larger plant 10 million
16 times more inspection. So, yeah, there are cutoffs,
17 and we do group these values together. That is true.

18 MR. TYNAN: Felicia, I don't want to cut you
19 off but --

20 MS. NESTOR: I just want to say I completely
21 object to that and think you need to look that over.
22 I mean 50,000 and half a million is ridiculous.

1 MR. TYNAN: And you'll have another
2 opportunity, as I say, later on in the month.

3 Ms. Buck? Ladies before gentlemen.

4 MS. BUCK: Okay. My name is Patricia Buck,
5 and I am with the Center for Foodborne Illness,
6 Research and Prevention, CFI, and I am coming to speak
7 to you, of course, because of some of the concerns
8 that my statistical members of my group have put
9 together for me to try and explain. I please hope
10 that you'll understand that I'm not a statistician.
11 So going too deeply with me is not going to be
12 productive.

13 MR. TYNAN: You have the same problem that I
14 do.

15 MS. BUCK: Yeah. Okay. However, I do
16 understand this because it's been drilled into my
17 brain. You have volume which you are portraying in a
18 uniform fashion, which if you would throw that up in a
19 graph, it's going to look like a rectangular box
20 because you've used uniform percentiles.

21 Now CFI does not have access to your data.
22 So we can only make assumptions on what we have heard

1 about volume, and one of the assumptions we have made
2 is that there's a very few number of plants that are
3 performing huge amounts and then there are a quick
4 drop off, and then most of the plants are doing small
5 amounts of volume.

6 Based on this, and based on that assumption,
7 it does not seem reasonable to take skewed data and
8 attempt to put it into a rectangular box. There are
9 other distribution methodology that can be used, and
10 the question that my statistical people have are, who
11 are this panel? Who is this panel of experts? And,
12 will they be available to discuss with a consumer
13 group like ourselves, the impact of volume? I
14 understand you have another meeting coming up, but
15 this is such an important issue. How quickly can that
16 kind of discussion happen between FSIS' statistical
17 group and someone who understands the statistics from
18 the consumer side?

19 MR. MICHAEL: Well, I'll just remark. You
20 talked about a panel of experts. The experts who will
21 be participating in the elicitation this month will
22 not be talking -- will not be giving a statement on

1 volume, only on the --

2 MS. BUCK: We're not looking to talk with
3 your experts from your elicitation. We're looking to
4 talk to your statistical analysis team.

5 MR. MICHAEL: Well, I believe there is a
6 meeting on volume, isn't there?

7 MR. TYNAN: Right. On April 25th, we're
8 going to do something specifically related to volume
9 and get into the --

10 MS. BUCK: Because this whole thing is
11 hinged, your volume and your product inherent risk is
12 hinged on the fact that you have taken skewed data and
13 put it into a uniform distribution, and that does not
14 seem to be appropriate.

15 MR. TYNAN: Ms. Buck, do you have some
16 specific methodology that you're proposing? Could you
17 send those to us or --

18 MS. BUCK: Yes, my affiliations --

19 MR. TYNAN: Okay.

20 MS. BUCK: -- could do that, yes.

21 MR. TYNAN: Okay. That would be fine.

22 Maybe in anticipation of April 25th --

1 MS. BUCK: Yes.

2 MR. TYNAN: -- if we could get those, we
3 could start to look at them.

4 MS. BUCK: Would it be possible to have a
5 discussion with your statistical team that is devising
6 your algorithm?

7 MR. TYNAN: Okay. Let us take a look at
8 them and we'll see how they fit.

9 MS. BUCK: Thank you.

10 MR. TYNAN: Thank you very much. We have
11 three questioners on my left and then we're going to
12 flip over to the folks on the phone. Mr. Painter, if
13 you would identify yourself and your affiliation.

14 MR. PAINTER: Yes. Stan Painter with the
15 National General Council. My question is regarding
16 the poundage. Who's going to monitor that? Is that
17 going to be incumbent upon the inspector to monitor
18 poundage produced by the plant as it goes up and down?

19 If so, how often would that be monitored? Or is it
20 going to be incumbent upon the plant to report to
21 someone, whoever that may be, regarding the amount of
22 poundage that they're producing?

1 MR. MICHAEL: Do you want to answer that
2 one, Don?

3 MR. ANDERSON: Yeah, this is Don Anderson,
4 OPEER. Let me speak to the volume for a minute.
5 Several months ago, I think it was around December,
6 maybe November, the volume extension, this is what we
7 call a PBIS volume extension, sort of like a survey
8 but it's launched in a different way, the volume
9 extension went national, if you will, to all IICs late
10 last year, and we now have a 90 to 92 percent response
11 rate. So IICs are actually providing the information
12 about volume. Charlie may correct me, but my
13 understanding is that when the instructions went out
14 with the extension, inspection personnel were
15 requested that at the earliest convenience to please
16 go into the PBIS extension and complete the volume
17 information which is the pounds per day and pounds per
18 day and days per month for each of a number of
19 questions that we believe based on data were pertinent
20 for that establishment.

21 The instructions also told IICs that when
22 they complete that information, that if there are any

1 inaccuracies kind of the questions that we've asked
2 them, to please alert us about that and one way to do
3 that is by changing, if necessary, the information in
4 the profile extension itself as to what type of
5 activities are occurring.

6 The instructions went on to ask IICs, if and
7 when there are any significant, I don't remember the
8 exact words we used, if and when there are any
9 significant changes that would affect the volume of
10 that establishment, please go back into PBIS and make
11 the corrections. The PBIS extension is open to
12 inspectors at all times. They can go back in and make
13 the changes.

14 And the last thing I would say is that we've
15 decided that at least annually we will go and kind of,
16 if you will, rebroadcast or resend a request to the
17 inspectors that even if they've made some changes to
18 the profile in the past year, or if they haven't made
19 changes, to please revisit the profile extension
20 information and insure that the information in the
21 extension about the establishment is still accurate to
22 their best knowledge.

1 MR. PAINTER: Okay. So you're stating it's
2 supposed to be the inspector's responsibility to
3 insure that that's updated, correct?

4 MR. ANDERSON: Absolutely.

5 MR. PAINTER: Okay. Now how are they going
6 to obtain that? Do they go to the plant manager and
7 say how many, how many pounds are you producing and
8 the plant manager says we're producing 99,000 pounds.

9 MR. ANDERSON: No, in fact, they're actually
10 explicitly told not to ask the plant management for
11 that kind of information. The IICs are required, are
12 requested to provide the information to the best of
13 their ability based on all the information and records
14 and knowledge available to them.

15 MR. PAINTER: What records?

16 MR. SMITH: That would be the -- review
17 records. They also -- anytime you have an adjustment
18 in your hazard analysis, as you know, if that
19 increases the production or adds a new product line,
20 that would have to be considered in the hazard
21 analysis and at that time would be a trigger to
22 determine volume also.

1 MR. PAINTER: So it's going to be incumbent
2 upon the inspector to calculate that whenever they
3 ship. Some plants ship daily. Some plants ship
4 weekly. So the inspector should calculate that on a
5 weekly basis, monthly basis, a daily basis? According
6 to what I'm hearing you say, it's all incumbent upon
7 the inspector to determine how many pounds that the
8 plant's producing?

9 MR. SMITH: Again, Stan, what Don just
10 walked through on how the first calculation was
11 done --

12 MR. PAINTER: And I understand that. That's
13 not --

14 MR. SMITH: -- that established the base.

15 MR. PAINTER: I understand.

16 MR. SMITH: That establishes the base.
17 That's their knowledge base. If, in fact, anything
18 changes, either through adding production, a new
19 product line, new pieces of equipment that increase
20 production which they've known through their hazard
21 analysis, that would be the stimulus and then he said
22 once per year.

1 MR. PAINTER: Once per year.

2 MR. SMITH: It'll be -- we'll ask them to go
3 back at least annually, if nothing else changes and
4 make sure the original base was correct.

5 MR. PAINTER: But would I have to keep a
6 running tally throughout the year?

7 MR. SMITH: No, they would determine that
8 the same way they did for the base.

9 MR. TYNAN: Stan, you had a question and
10 follow up to the follow up to the follow up. So if I
11 can impose on you, if you could hold maybe your
12 questions for just a minute to let some of the other
13 questions in --

14 MR. PAINTER: Thank you, Robert.

15 MR. TYNAN: -- and then we'll cycle back if
16 there's time. And Tony, we're going to allow Caroline
17 to be the last one, and then we're going to the
18 phones, and then we'll come back to you if there's
19 still time.

20 Ms. Mucklow, I think you can take that off
21 there if you need to.

22 MS. MUCKLOW: I think if I stand on my

1 tiptoes I can make it.

2 I love these Washington meetings, and on
3 April 25th, I will be contemplating the magnificence
4 of the Grand Canyon. So I will not burden you with my
5 presence that day, nor even probably be on the phone
6 because I don't suppose it works from there.

7 I would appreciate it if Matthew would
8 restate so that I get a clear understanding, because I
9 don't have it yet, why volume is included in the
10 inherent risk rather than the risk control. It is my
11 opinion that it is within every establishment's
12 capability to set up the controls to manage the volume
13 that they are running and I'd just like a clear
14 clarification because I think we're going to have
15 challenges on that, and I don't -- and I understand
16 you have a meeting to address it. I'd just like to be
17 really, really clear on that point. Thank you.

18 MR. MICHAEL: Okay. Well, again, we've
19 based our inherent risk formula on the general
20 calculation for risk which is hazard times exposure.
21 We've determined, at least up until this point that
22 our best proxy for exposure is volume. Assuming that

1 a plant produces "X" amount of product, we assume that
2 it will all be eaten, and that is the exposure value.
3 And that's the short answer. Volume is our proxy for
4 exposure given that our inherent risk formula is based
5 on the general calculation for risk is hazard times
6 exposure.

7 MS. SMITH-DEWAAL: Caroline Smith-DeWaal,
8 Center for Science in the Public Interest. I'm most
9 interested in your new chart, median species process
10 values, where you have reduced the number of
11 categories from 24 to 19, and you've given them some
12 median scores.

13 MR. MICHAEL: Yeah, we had median scores
14 back in October as well. Though we reduced these to
15 19, it doesn't -- we haven't lost anything because
16 where the categories were collapsed, they had the same
17 score.

18 MS. SMITH-DEWAAL: Well, the chart that we
19 were working from in the original expert elicitation
20 had your products ranked 1 through 24, and one of the
21 things I'm noticing is that your ranking pork, raw
22 intact poultry, raw intact chicken together under one

1 -- under the value of 8 and raw intact turkey under
2 the value of 9. Now in the original expert
3 elicitation, turkey was 20 and -- raw intact turkey
4 was 20 and raw intact chicken was 19, and we saw them
5 as quite equivalent. So there appears to be a slight
6 shift in the risk value and I would just like to
7 understand it.

8 MR. MICHAEL: Well, if there's a shift -- if
9 there is in fact one, it's a typo. This plant -- this
10 table is a reduced version of the original table, and
11 I'm not sure if it's the one that appeared -- this is
12 the table that appeared in the previous paper based on
13 this, but when we collapsed these categories, we used
14 the same -- we only collapsed categories that have the
15 same median scores. So we didn't lose any data. If
16 one score became another incorrectly, then that's a
17 typo and we'll fix it.

18 But the median, taking the median is
19 something we discussed in the October meeting.
20 There's a lot of literature on expert elicitation that
21 advises using a median score when aggregating expert
22 values, using the median as a measurement of central

1 tendency.

2 MS. SMITH-DEWAAL: And I don't necessarily
3 object to that. I'm just -- as we had done the
4 breakout from 1 to 24, we had seen turkey and chicken
5 as quite equivalent and we've also compared it with
6 the outbreak data. To have turkey now -- I just need
7 to understand better your rationale for having turkey
8 ranked significantly higher than chicken and it would
9 be for both raw intact products, and that -- I need to
10 understand better kind of how that differentiation was
11 made because it didn't show up in the original ranking
12 of 1 through 24 that we had seen.

13 MR. MICHAEL: Well, I'd need to go back and
14 look at that chart, but I will remind everybody that
15 we are doing another elicitation where the values will
16 replace these.

17 MS. SMITH-DEWAAL: Yeah, we're having a
18 meeting on Thursday dealing -- where this ranking
19 will, will in part be discussed. I know it's not the
20 major topic, but this, this comparison. So perhaps if
21 you could talk to me at the end of the meeting on
22 whether this is a typo or not, I'd really like to

1 know.

2 MR. TYNAN: We'll go back and look at that
3 to be sure and we'll repost it if it is, in fact, an
4 error.

5 What I'd like to do now is ask the
6 technician if he can get us some of the phone-in
7 calls, that would be great.

8 Operator, can you help us with the phone-ins
9 please? Operator? Nothing is easy. We put the
10 operator to sleep. Patrick, nothing happening?

11 Okay. While we're waiting for the operator
12 to come on, Mr. Corbo, you had a question?

13 MR. CORBO: I'm just appalled at what I
14 heard regarding how the volume is going to be
15 calculated. You know, it's like Ronald Reagan saying,
16 there you go again. You're getting garbage put into
17 this system, and that's -- you know, I can understand,
18 you know, developing algorithm but it depends on what
19 you're putting into it. And I'm really, really
20 appalled at what I'm hearing here this morning.

21 MR. TYNAN: Thank you, Tony. Our worst
22 fears have been realized. There are technical

1 difficulties on the phone.

2 MS. NESTOR: I have a quick question.

3 MR. TYNAN: Okay.

4 MS. NESTOR: How many, how many plants, how
5 many very small plants make 2,000 pounds of product 16
6 days a month?

7 MR. TYNAN: Could I ask you to introduce
8 yourself?

9 MS. NESTOR: Felicia Nestor, Food and Water
10 Watch.

11 MR. MICHAEL: I couldn't tell you off the
12 top of my head. I don't know.

13 MS. NESTOR: Any idea whatsoever? Bill, you
14 must have some idea from field operations? I mean is
15 it one? Is it 200? Is it, you know, 20 percent of
16 them? It's really hard for me to believe you have no
17 idea? You can't even ballpark this.

18 MR. ANDERSON: If I may, one of the things
19 that is important to understand is we set up the
20 values for -- I think your question commenting on
21 the -- I'm not sure I --

22 MS. NESTOR: Volume and quintiles.

1 MR. ANDERSON: Yeah, the volume and
2 quintiles. The extension asks inspectors to complete
3 that two-part question about days per month in the
4 last month that a particular product was produced and
5 shipped, and it asked about the volume per day in
6 those ranges. Very small establishments, a lot of
7 very small establishments produce multiple products.
8 Indeed, most plants produce multiple products. So one
9 of the things we need to be able to do is set up
10 ranges that go low enough to capture small volumes of
11 multiple product.

12 You seem to be thinking that, well, maybe
13 there aren't many plants that produce that little
14 product. Well, there may not be many plants that
15 produce that little product of all the products that
16 they produce in total, but there are quite a few
17 establishments or IICs that are completing the
18 information that says, yes, this establishment is
19 producing a very small volume of this product and a
20 very small volume of that product, and still a very
21 small volume of another product.

22 MS. NESTOR: My assumption is exactly the

1 opposite.

2 MR. ANDERSON: I'm sorry.

3 MS. NESTOR: My assumption is that there is
4 probably a good number of very small plants that make
5 at least 2,000 pounds a day, and what you are saying
6 by establishing this formula, is that a company that
7 makes 2,000 pounds of product a day, deserves the same
8 amount of inspection presence as the largest producer,
9 the packers in the world that make, you know --

10 MR. TYNAN: Again, we're getting into the
11 weeds of volume and not to deter you from having
12 questions, but just in the interest of trying to move
13 things along, can we make this the last question.

14 MR. MICHAEL: I'll mark -- earlier you had
15 mentioned that the top, you felt the top off was
16 50,000 pounds. It's really not. If you look at
17 the --

18 MS. NESTOR: 50,000 pounds a day.

19 MR. MICHAEL: 50,000 pounds a day, that's
20 right, because if you multiply that times more than 20
21 days, you see that it's 1.5 million pounds.

22 MS. NESTOR: Per month. But I'm talking

1 about a day. I'm talking about, you know, say we're
2 talking about a size of plant. This -- if I'm not
3 mistaken, a mom and pop plant would get the same
4 amount of inspection as a ConAgra under this formula.

5 MR. MICHAEL: No.

6 MS. NESTOR: No.

7 MR. MICHAEL: No. And I don't know the
8 numbers off the top of my head of the distributions,
9 but I know that from the distributions of scores we've
10 done and the distributions of volumes we've done, we
11 do have a range of RBI values per plant. It hasn't
12 worked out that everybody's grouped at one end or the
13 other.

14 MS. NESTOR: Okay. If you could give us
15 sort of a ballpark about how many -- if there are any
16 very small plants that are making 2,000 pounds a day,
17 2,001 pounds a day?

18 MR. MICHAEL: I couldn't. I don't know off
19 the top of my head.

20 MS. NESTOR: Well, I'm not saying right now.
21 I mean afterwards.

22 MR. MICHAEL: Sure.

1 MR. TYNAN: And we'll probably hold that one
2 for the 25th.

3 And with that, I understand our operator is
4 not working. We just have open lines. Is that
5 correct, Patrick?

6 So I'm going to ask if there's any questions
7 from our phone-in callers? The lines are open. So
8 please anybody that wants to make a comment or ask a
9 question.

10 (No response.)

11 MR. TYNAN: Okay. What we're going to do is
12 see if we can work on the technical difficulties again
13 and in the meantime, we're going to close out
14 questions on this portion of the agenda, and I'm going
15 to introduce Mr. Don Anderson, to talk a little bit
16 about the management risk control.

17 MR. ANDERSON: Okay. Thank you, Robert. My
18 name is Don Anderson, and I'm also in Program
19 Evaluation, Enforcement and Review.

20 As Matthew said, we've got several measures
21 of risk and several dimensions of risk that are
22 important in risk-based inspection. One is inherent

1 risk which Matthew just talked about and the other
2 which he mentioned is the risk control measure which
3 goes to how well establishments control the risk that
4 is inherent in their operations. So I'm going to talk
5 more about that, and then Mr. Bill Smith will then
6 talk about how the two measures, inherent risk and
7 risk control come into a single measure that we might
8 call the RBI measure to determine the levels of
9 inspection.

10 There's also a part of my presentation which
11 I'm about to do where I talk in some detail about one
12 of the factors of risk control which are NRs and
13 Mr. Charles Gioglio is going to talk later this
14 morning also in greater detail about the so-called NR.

15 What you see on this slide are the different
16 types of information that enter into the measure of
17 establishment risk control. Look first at the center
18 of the risk control measure that we call it or the
19 RCM, is also by design a measure on a 100 point scale
20 where establishments that have lower risk control
21 measures, closer to zero, according to the data, have
22 better risk controls.

1 So you see that there are seven different
2 types of data that enter the establishment risk
3 control measure. The data that you see in blue
4 bubbles on the right here are the types of information
5 that are available to the Agency, from Agency
6 databases on all of the approximately 5400 or so
7 active HACCP establishments that we currently inspect.
8 So that so-called blue bubble data is available for
9 all establishments.

10 The data that you see in the green bubbles,
11 is data that is also available for a large number of
12 the establishments that we inspect. The green -- the
13 so-called green bubble data that I'm calling it again
14 is available for some but not all establishments. So
15 while all 5400 establishments have data of the type
16 that you see in blue, we have data from at least 1,
17 and sometimes 2 or 3 additional sources, that you see
18 in green, we have that type of data for approximately
19 three quarters of all of the establishments that we
20 have under our inspection.

21 So again, the blue bubble data is available
22 for all plants, and the green data is additional data

1 that is available for certain types of establishments.

2 Let's look for a minute on this slide at the
3 number of establishments for which we have 4, 5, 6 or
4 7 different types of information. Again, these are
5 the types of information or factors that we're going
6 to use in our risk control measure. As I said, we do
7 have 4 types of information for all plants, but there
8 are approximately 1450 establishments for which the
9 only type of information we have are the blue bubbled
10 factors if you will.

11 But for most establishments as you can see,
12 we have additional sources of information. And by
13 virtue of what they produce, they may produce products
14 that are subject to *Salmonella* verification. So they
15 have *Salmonella* verification data.

16 Or they may produce RTE or I should say
17 ready-to-eat products. And so for those
18 establishments, we have much additional information.

19 We have data from our pathogen testing
20 programs for RTE products and for plants that produce
21 RTE products that are also exposed, subsequent
22 exposure to the environment after the lethality, we

1 also have an RTE *Lm* control alternative.

2 So we have lots of additional kinds of
3 information for plants that produce RTE products and
4 we have still more data available for plants that do
5 all of those things and if they also happen to produce
6 products such as raw ground beef that are subject to
7 still additional kinds of test data.

8 So as you see, we have actually most plants,
9 I mean I should not say most plants, let's say the
10 plurality of plants, almost 2500 plants, we have 6
11 different types of information from because of the
12 nature of what they produce.

13 Let's look now at the weighting or the
14 importance that the different types of data that enter
15 the calculation have. Now this, this pie chart is for
16 an establishment, and there are about 300
17 establishments like this. There are about 300
18 establishments under active Federal inspection that by
19 virtue of what they produce, we actually have all 7
20 types of information from them. What this basically
21 means, what it does mean, is they produce at least one
22 product that's subject to *Salmonella* verification,

1 like raw intact chicken or raw ground beef. It also
2 means that they produce -- in addition to that, they
3 produce some ready-to-eat products, and in addition to
4 that -- well, actually that would pretty much cover
5 it. An establishment that would produce ready-to-eat
6 products and would produce raw ground beef, they would
7 have -- most of them would have an RTE *Lm* control
8 alternative. They would have RTE pathogen test
9 results. They would have *E. coli* O157:H7 lab results.
10 If they're shipping again raw ground beef, we have a
11 *Salmonella* performance standard for that. They would
12 have a *Salmonella* verification category. And, of
13 course, all of them have information on NRs, food
14 safety recalls, consumer complaints and enforcement
15 actions. So in an establishment that produces
16 products and hence we have data for all seven of these
17 factors, this shows here the relative importance or
18 contribution of those different factors.

19 Now if you have an establishment that again
20 by virtue of what they produce, doesn't have all seven
21 types of information available from it, then as you
22 might guess what happens since this is a pie chart and

1 it always adds up to 100 percent, if you have an
2 establishment that produces products that aren't
3 ready-to-eat or doesn't produce any ready-to-eat
4 products, then they won't have an RTE *Lm* control
5 alternative. They won't have RTE lab results. They
6 may or may not have O157:H7 results. But basically
7 what happens in a plant like that is the contribution,
8 the raw contribution of each of the remaining factors
9 goes up. So the pieces of the remaining pie, of
10 course, get bigger, but the relative contributions
11 remain relatively the same or approximately the same.

12 For example, public health in our data
13 always contributes more to the risk control measure
14 than the verified food safety consumer complaint data
15 or the *Salmonella* verification data.

16 So let's talk about the first and arguably
17 one of the most, and I can guarantee you the most
18 complicated of the factors. So we'll tackle it first.
19 The most important and complicated factor that we have
20 are the public health NR data. And why do I say the
21 most important?

22 Well, it's very important information

1 because we have inspectors in our establishments every
2 day performing multiple inspection tasks, HACCP tasks,
3 sanitation tasks, other kinds of inspection
4 procedures. And when they perform those procedures,
5 they report in the PBIS system usually the same day,
6 always within a few days, they put into the PBIS
7 system in Headquarters, for a synchronization process,
8 the findings of their inspection procedures of that
9 day, and when they perform an inspection procedure and
10 they find that something is non-complaint, not in
11 compliance with regulations, they note that in what is
12 called a noncompliance record or a NR. And that is a
13 term that most of you are familiar with.

14 For over a year now, since December of 2006,
15 and this was a major change, and I think a very
16 important improvement in PBIS, since December of,
17 excuse me, December of 2005, so for over a year now,
18 when inspectors write NRs, they write a NR narrative
19 and they note a NR in the PBIS system, but they also
20 cite with a dropdown, one or more regulatory --
21 specific regulatory requirements that they found not
22 noncompliant in the performance of their work. And

1 there are, as of recently now, currently in PBIS,
2 there are 564 regulatory requirements that are
3 citable, and these 564 regulatory requirements have,
4 have different importance for lack of a different word
5 I guess or different levels of how strongly they
6 indicate a loss of food safety system process control.

7 So some regulatory requirements, when they're cited
8 as noncompliant, are very strong, we believe,
9 indicators of an establishment that is having problems
10 with food safety process control.

11 Other types of regulatory requirements when
12 they cite them, we think are also food safety
13 indicative, but we don't think that they're as
14 important as others. And we have a number of
15 regulatory requirements that we really don't think go
16 to food safety process control at all. We think
17 they're purely economic regulatory noncompliances.

18 Mr. Gioglio is going to talk at greater
19 length about this, but the important thing to
20 recognize then is that the Agency does believe that
21 NRs are not equal at all times in all establishments.

22 We believe that some NRs are more important than

1 others, and so we need to weight NRs depending on how
2 important we think they are or how indicative we think
3 they are that an establishment has problems with
4 process control.

5 So we use basically the weights from
6 individual citations to compute a weighted NR and then
7 a weighted NR rate. A traditional -- remember, a
8 traditional NR rate, for those of you who are familiar
9 with it, it's a bit of a nuance to some in the room,
10 but most of you are familiar with this, a traditional
11 NR rate takes the number of NRs in a specified period
12 of time and divides it by the total number of
13 inspection procedures that were performed in that
14 period of time, and that's what we call a NR rate.

15 The Agency has been using those for sometime
16 in its management controls and other types of other
17 activities, other purposes.

18 Now what we're talking about is computing a
19 six month, we're using a six-month window here, a six
20 month public health NR rate which is divided -- which
21 is computed by dividing the weighted NRs, NRs that are
22 more indicative of public health problems, and divided

1 the weighted NRs by the number of inspection
2 procedures performed, and we come up with what we call
3 a public health NR rate.

4 If you look at a recent, a fairly recent
5 six-month window of data, and computer public health
6 NR rates, you get a distribution that looks something
7 like this. In this presentation here, what we're
8 showing is that approximately equal numbers of
9 establishments, which I think is about 16 percent or
10 something, 16 to 17 percent, of all establishments
11 have NR rates within these bounds. So what I'm saying
12 here is that we have, and I believe I have pretty
13 close to the exact number here. If you look at a
14 recent 6-month window, there are approximately 875
15 establishments that have a public health NR rate of
16 less than 0.35 percent.

17 There are another roughly 875 establishments
18 that have a public health NR range or rate that's
19 between .35 percent and .89 percent. So what we're
20 doing with this computation is we're basically
21 calculating for each establishment a public health NR
22 rate and then we're classifying it into one of these 6

1 ranges to identify whether we think the public health
2 NR rate in that establishment should get 0 points
3 which indicates that the establishment has very few
4 public health NRs relative to the amount of work, if
5 you will, that's going on in that establishment and we
6 think that that establishment, according to this
7 measure, has good establishment risk controls.

8 Or, if they have higher NR rates, they
9 accumulate more points. So basically an
10 establishment's public health NR rate, the more points
11 they accumulate towards the risk control measure.

12 Remember, it's kind of like a golf score, a
13 lower measure is a good score, and a higher measure is
14 a less good score. So establishments are trying not
15 to rack up points if you will for among other things
16 public health NRs.

17 The next data factor, and again this is
18 available for all types of establishments, I'm calling
19 in-commerce findings, and these are recalls and food
20 safety consumer complaints.

21 Let's talk first about recalls. In our
22 measure of establishment of risk control, we include

1 two types of recalls. Both are public health recalls.
2 They're not economic recalls. Class I recalls which
3 are the most serious type of public health or food
4 safety recall and Class II recalls. In the algorithm
5 or formula that we're proposing, a Class I recall
6 would get 3 points or an establishment that
7 experiences a Class I recall would get 3 points, and
8 an establishment that experiences a Class II recall
9 would get two points.

10 We know that it's very rare but it's also
11 possible that an establishment could have two recalls
12 in a six-month window. So we do allow for that. So,
13 for example, an establishment might have a Class I
14 recall early in the period and then later on in the
15 same six-month window, they might get a Class II
16 recall. So we will account for that by giving them 3
17 points for the first recall and then 2 points for the
18 second recall, but we would recap that so that it
19 doesn't exceed or wouldn't exceed 6 points in a 6
20 month window, but I don't think there have been any
21 establishments in the data that I've looked at for the
22 last few years, any data indicating that

1 establishments have had two separate Class I recalls
2 within a 6 month window. We do have establishments
3 that issue a recall and then expand that recall, but
4 we would treat that as one recall because we think
5 that it's an indication -- some indication that one
6 time that an establishment had a problem with a
7 particular aspect of their risk control.

8 Verified food safety consumer complaints, is
9 information that we get from our data warehouse which
10 actually originally comes from our consumer complaint
11 monitoring system, and we're proposing to allocate 1
12 point for each verified food safety consumer complaint
13 that occurs in a 6 month window, not to exceed 3
14 points in a 6 month window.

15 And you might ask, well, why do we have a 6
16 point cap say for recalls and we have a 5 point
17 maximum for NRs, we have a 3 point maximum for
18 consumer complaints? These different maximum points,
19 5 for NRs, 6 for recalls, and a maximum of 3 points
20 for example for verified consumer complaints, it's
21 that point allocation that gives rise to the size of
22 the pieces of that pie and I believe it was chart

1 number 3. Basically, this is how the weights of the
2 different factors come about based on the number of
3 points that we give for public health NRS versus
4 recalls versus consumer complaints.

5 Another type of information that is
6 available for all establishments are enforcement
7 action information. Now if you look back, and I won't
8 flip back to it, but if you look back at the bubble
9 chart, near the beginning, you'll see that I call
10 enforcement actions a status variable. Because we
11 don't look at enforcement actions over a six-month
12 window. We look at enforcement actions at a point in
13 time. Of course, on any given day, the vast majority
14 of all establishments that are under Federal
15 inspection aren't under any type of enforcement action
16 at all, but some establishments are in some kind of
17 enforcement action, and these are the major
18 enforcement statuses that we believe that we need to
19 account for and reflect in the risk control measure.

20 And, I've got them sort of sorted in
21 ascending order. So an establishment that's operating
22 under inspection with an NOIE under deferral, that

1 establishment would be operating with 2 points in its
2 risk control measure. And at the other extreme you
3 see the most serious types of enforcement actions in
4 establishments that have up to 6 points. So these are
5 different, if you will, enforcement statuses.

6 The four types of data that I've discussed
7 already which are NRs, enforcement actions, consumer
8 complaints and recalls, those are the types of
9 information that are available for all of the
10 approximately 5400 establishments. We also have
11 another type of information to us, and I think
12 probably 1800, maybe close to 2,000 of our
13 establishments, and that's the *Salmonella* verification
14 category. These are establishments that produce one
15 or more products that are subject to *Salmonella*
16 verification testing.

17 The *Salmonella* verification categories that
18 you see here, and I won't go into a lot of detail, but
19 they would be familiar with many of you because this
20 is a relatively new or recent development in the
21 Agency. You've probably seen the Federal Register
22 notice or notices on this, but basically we use a

1 system where we look at information on an
2 establishment's recent *Salmonella* sets and based on
3 that information, we put establishments into one of
4 several *Salmonella* verification categories. And
5 basically the better an establishment is showing us
6 through *Salmonella* testing, the better they're showing
7 us that they control *Salmonella*, the lower the
8 *Salmonella* verification category.

9 So what we're proposing in this risk control
10 algorithm, is that establishments that are in the
11 lowest *Salmonella* verification category, which is
12 category 1, would have 0 points. And remember this is
13 good. Establishments want to have as few points as
14 they can. It goes to good establishment risk control.

15 So establishments that have passed, not only
16 passed, but actually exceeded the requirements
17 substantially in their last two sets, are in
18 *Salmonella* verification category 1 and they have 0
19 points. And at the other extreme, an establishment
20 that failed its last *Salmonella* set, would have 3
21 points. Other establishments with kind of mixed
22 results are in *Salmonella* verification 2 and they have

1 1 point.

2 This slide shows how we use information from
3 our pathogen testing programs in establishments that
4 produce ready-to-eat products and/or produce certain
5 raw beef products. Establishments that produce ready-
6 to-eat products, who perform *Lm* testing on those
7 products and on food contact surfaces, would perform
8 *Salmonella* testing and in products that are ready-to-
9 eat and they contain beef products or beef
10 ingredients, also perform in product samples *E. coli*
11 O157:H7 tests. So those are what I will call the RTE
12 pathogen test results.

13 Also in establishments that produce one or
14 more products that are subject to the raw beef *E. coli*
15 O157:H7 testing program, from those establishments, of
16 course, we also have those test data. We believe that
17 pathogen test results for RTE products and O157:H7,
18 are extremely important and for those reasons, we
19 actually are giving them a fair amount of weight, if
20 you will, in our calculations. Establishments can
21 accrue 3 points for each positive pathogen finding,
22 not to exceed 9 points in a 12-month window. This is

1 the only data, the only type of data that's based on a
2 window of time longer than 6 months. It's got a 12-
3 month window.

4 The RTE *Lm* control alternative again is
5 available or information that we have on
6 establishments that produce ready-to-eat products that
7 are also -- that are exposed to the environment after
8 the lethality, kill step if you will. Establishments
9 that have the best *Lm* controls, use sanitation and
10 anti-microbial agents and post-lethality treatment in
11 their products, in their RTE products, and those
12 establishments because they have such a robust *Lm*
13 control alternative, would have 0 points. At the
14 other extreme, the establishment that doesn't use
15 either an anti-microbial agent or a post-lethality
16 treatment, they rely only on sanitation, they would
17 have 3 points in their score.

18 We have this type of information probably
19 on, I don't know, about -- it looks like we have
20 either *E. coli* 0157:H7 information for raw products
21 and/or RTE products samples from about 62 percent of
22 all the establishments. So almost 2/3 of our

1 establishments, I'm sorry, that's the lab tests. This
2 is the *Lm* control alternative. So this is about,
3 almost 2/3, maybe 2,000 establishments have this kind
4 of information.

5 Now let's go through two example risk
6 control measurement calculations. This will show how
7 we actually use the information, the seven factors
8 that we just talked about, to compute the
9 establishment of risk control measure.

10 This is an example of in some sense the
11 most, the most simple type of establishment that we
12 have. This is an establishment that produces raw
13 intact beef. It can also be an establishment that
14 produces only raw intact pork. It could be some
15 combination of raw intact pork or raw intact chicken.

16 If they don't slaughter, and if they don't produce
17 ready-to-eat product, and if they don't grind, then
18 this establishment or establishments like this don't
19 have a *Salmonella* verification category. They don't
20 have pathogen lab results. They don't have an *Lm*
21 control alternative. What they do have are the public
22 health NR data, food consumer complaint data, food

1 safety recall data and enforcement information.

2 In this establishment then, the highest
3 possible number of points that the establishment could
4 get would be 20 because remember, the most you can get
5 for public health NRs which is under that possible
6 column, the most points you can possibly get for
7 public health NRs is 5 points, consume complaints 3,
8 food safety recalls 6 and enforcement 6. So there's a
9 possibility of 20 points.

10 This establishment actually got an NR rate
11 that gives it 3 points for public health NRs. They
12 had two verified food safety consumer complaints for 2
13 points. The other data looked good. So this
14 establishment got 5 points out of 20. 5 divided by 20
15 put on a 100-point scale is 25. So this
16 establishment's risk control measure is 25.

17 The second and last example is a
18 considerably more complicated establishment because
19 they also produce -- in this particular establishment
20 I said they produce fully cooked beef patties. So
21 they have a *Lm* control alternative which gives them 1
22 point because they're pretty good at *Lm* control or a

1 robust *Lm* control alternative, and they also have RTE
2 pathogen testing results. As you see from this
3 particular establishment, all of their slab samples
4 for RTE product came back negative. Their *Lm* control
5 alternative is good. So basically for this type of
6 an establishment, instead of their maximum possible
7 being 20 points, it's 32 points, and this
8 establishment has only accumulated 3 points towards
9 that total of 32. So we have a lot of types of data
10 for this plant and all of the data that we have
11 indicates the establishment has good controls. So
12 they have a very low risk control measure of 9.4,
13 which is 3 divided by 32, again normalized on a 100
14 point scale.

15 MR. TYNAN: I was going to start with the
16 phone people, but we already have folks queuing up.
17 So if -- we'll start to my left.

18 MS. SMITH-DEWAAL: Thank you. Caroline
19 Smith-DeWaal, CSPI. I have one question, and that is
20 are you contemplating a system of rolling averages so
21 that the six-month time period would change every
22 month?

1 MR. ANDERSON: Yes, absolutely. This is
2 Don Anderson. Absolutely. I should have pointed out
3 our current plan is to compute updated risk control
4 measures that are then available to the inspection
5 force on a monthly basis, and that would be a moving
6 six-month window.

7 MR. TYNAN: Thank you.

8 MR. PAINTER: Stan Painter with the National
9 Joint Council. My question's regarding the NRs and
10 the time period that was used for the NRs in
11 calculating this, last 5 year, last 10 year, last
12 year?

13 MR. ANDERSON: The results that you see on
14 the chart, you're maybe referring to the public health
15 NR ranges, for that particular data run, I used April
16 1st to September 30th. So it was that six-month
17 window, but again, that information has been available
18 essentially since December of 2005.

19 MR. PAINTER: Okay. And keeping in mind
20 that the past couple of years that we've been
21 extremely short. We've been double covering. We've
22 been triple covering. So the likelihood of NRs, if no

1 one is there to cover or is only there for a short
2 period of time, is going to go down. So I have a huge
3 issue with the use of the NRs. And let me ask you
4 this regarding the NRs as well.

5 When an inspector writes an NR currently,
6 you could have multiple NRs under the one NR. Was
7 that taken into consideration or was it just looked at
8 as though it were one NR although there were 10
9 incidents tied to that one number?

10 MR. ANDERSON: It may depend on what you
11 mean by that. One thing that is certainly true is
12 that when, and this may not answer your question, if
13 it doesn't, you can ask it again, and I'll try to
14 clarify it. It is true and, in fact, when an
15 inspector writes a noncompliance, they can make cite
16 1, 2, 3, 4 or more specific regulatory requirements
17 that they found noncompliant. Is that -- was that not
18 what you're asking?

19 MR. PAINTER: No, that's not what I'm
20 asking. For instance, if I find an issue of a HACCP
21 or operational sanitation issue, I could have 10
22 different incidents that would go under that 1

1 particular number. So if I'm at one location and I
2 have 10 incidents under that 1 number, is plant "X"
3 down the street, if they only have 1 incident under
4 that 1 NR number at that particular plant, is that the
5 same?

6 MR. SMITH: Presently, yes. If you're
7 reporting under one inspection procedure, that cite
8 and that value goes with that inspection procedure
9 performed.

10 MR. PAINTER: Okay. Thank you.

11 MR. TYNAN: Okay. Dr. Raymond, I think you
12 had a comment?

13 DR. RAYMOND: Yeah. Your comment about
14 possibly plants at various times of the year getting
15 less inspection because of a shortage. Rating the NRs
16 I think will take that in effect. If you only have
17 time to do one procedure per day because you are
18 doubling up, then the NR score is weighted by the
19 procedures you've done. So I think it does take that
20 into account. If I have four hours in the plant, and
21 I do three procedures, that's three times the chance
22 of getting a NR as opposed to if I only had one hour

1 in the plant and I only do one procedure, but it's
2 weighted divided by the number of procedures done.

3 MR. TYNAN: Tony, I'm going to ask you to
4 yield. I see that -- Stanley, Stan, do you --

5 MR. PAINTER: I was just stepping up to hear
6 Dr. Raymond.

7 MR. TYNAN: Okay. I thought you might have
8 a follow up.

9 MR. PAINTER: No.

10 MR. TYNAN: Mr. Corbo.

11 MR. CORBO: Tony Corbo, Food and Water
12 Watch. Last October at two successive public meetings
13 I asked the question regarding the status of an IG
14 audit report on your prep program. At both meetings
15 Agency officials denied even knowing about it even
16 though the report had been handed over to the Agency
17 back in September.

18 Question number one, have you all received
19 -- have you all reached management decision with the
20 Office of Inspector General on that report? And
21 number two, are any of the plants involved in the
22 prototype rollout of RBI, part of the issue of dispute

1 with the Inspector General on *Salmonella* testing?

2 DR. RAYMOND: Dr. Raymond for the record.
3 Tony, you know, I asked that we keep the comments to
4 the formula, the algorithm, so we can discuss that.
5 The Inspector General Report, as you know, because you
6 were at my hearing on Thursday, has been read by me.
7 We have full management agreement with the OIG and for
8 those who aren't familiar with what Mr. Corbo is
9 talking about, the OIG said we had some poultry plants
10 that did not get a *Salmonella* set done during the
11 period they evaluated. We have about 224 poultry
12 slaughter plants, 185 get *Salmonella* sets at least
13 annually, and those 185 constitute 99.7 percent of the
14 chickens and turkeys that you eat. And we're off of
15 that subject now, Tony.

16 MR. CORBO: Thank you very much.

17 MR. TYNAN: Thank you, Tony. Chris, if you
18 could identify yourself.

19 MR. WALDROP: Chris Waldrop, Consumer
20 Federation. I have two questions. One is a follow up
21 on Caroline's window question. So if a plant gets --
22 has a Class I recall every 6 months, is the plant then

1 treated the same as the plant that gets their first
2 recall, Class I recall in say several years based on
3 the point system?

4 MR. ANDERSON: One thing I'd say is what's
5 done in the point system and what's done in practice
6 is two different things. That establishment would
7 probably also be looking at enforcement actions that
8 would raise their score. Of course, you mentioned
9 what? *Salmonella*.

10 MR. WALDROP: Just a Class I recall.

11 MR. ANDERSON: A Class I recall. Yeah, they
12 would have -- they would always have 3 points in their
13 score I mean at all times but they would also have
14 other things that would enter their risk control
15 factor like enforcement action that would further
16 raise their score. The establishment would most
17 certainly be under a higher level of inspection.

18 MR. WALDROP: Okay. And then on this page
19 with the contribution of risk factors, how did you
20 guys develop those percentages? What is that based
21 on?

22 MR. ANDERSON: Well, it's maybe a two-part

1 answer. One is that the percentages that you see
2 there are the mathematical result of the number of
3 points that an establishment can get for these types
4 of information. If you're asking more of a policy
5 kind of question which is why are recalls weighted
6 more than consumer complaints and why are lab data
7 weighted more than recalls, the answer for that is
8 these are, these are different levels of importance
9 that the Agency believes go to the question of risk
10 control because lab data is such an important finding,
11 that a product actually tested positive let's say for
12 O157:H7. We think that that needs a lot of weight in
13 the score.

14 Verified consumer complaints, again these
15 are valid or verified consumer complaints. We trace
16 these back to the best of our ability to the
17 establishment but we don't think that consumer
18 complaint information needs or, if you will, deserves
19 as much weight in the calculation.

20 MR. WALDROP: Well, I think consumer
21 complaints probably are less likely to happen than
22 say -- maybe this is the wrong sort of analogy, but

1 you have *Salmonella* verification category at 9 percent
2 and consumer complaints at 9 percent. I wouldn't
3 think complaints would happen very often. So I'm just
4 kind of confused as to why that would be the same
5 as --

6 MR. ANDERSON: I think I see what you're
7 saying. One thing that's important to understand is
8 that all establishments have recall data and have
9 consumer complaint data. When an establishment
10 produces product 6 days a week, 25, 26 days a month,
11 and they ship out huge volumes of product, and they
12 don't have consumer complaints, or they don't
13 experience recalls, we believe that the main reason
14 for that is because that establishment is by and large
15 exercising good risk control measures. So all
16 establishments have recall data and consumer complaint
17 data. The fact that they don't have recalls or
18 consumer complaints is a good thing, and it goes to
19 risk control.

20 MR. WALDROP: Well, recall is different than
21 consumer complaint though because I mean the recalls,
22 there's been some sort of finding in the marketplace,

1 and consumer complaints, I have to -- the -- is
2 actually on me to call and say, my beef tasted weird
3 or it made me sick the night before. That's -- I
4 would say they're equally important in saying a plant
5 is doing a great job.

6 MR. ANDERSON: I think you're comparing I
7 think the 3 points we give, for example, for
8 *Salmonella* verification versus consumer complaints. I
9 think what you're saying that you think maybe the
10 consumer complaints, if I hear you, should have less
11 weight or perhaps the *Salmonella* verification for
12 example should have more weight.

13 MR. WALDROP: Yeah. And I was just trying
14 to get an understanding sort of how those percentages
15 came out and from what I'm hearing, it's -- you sort
16 of went through and figured out the weights and the
17 numbers and then created your percentages. Is that
18 correct?

19 MR. ANDERSON: That's correct. These are
20 basically policy decisions based on what we think is
21 the importance, the validity, the recency and those
22 kinds of things of the different types of information.

1 MR. WALDROP: Okay.

2 MR. TYNAN: Chris, can I impose on you? If
3 you have other questions, we'll kind of loop back.
4 The people who are up at the microphone or are coming
5 up, those are all the questions, and then we'll go to
6 our phone-in callers. And, with that, Ms. Buck, I
7 think you were next.

8 MS. BUCK: Yes. I'm Patricia Buck, CFI.
9 And I actually would like to just reiterate what Chris
10 was trying to get at. The consumer complaint files
11 should not be at your 9 percent. I feel that that's
12 way too high. And from what I hear, what you were
13 just talking with Chris, you actually sort of as an
14 Agency sat down and figured this out without really
15 reviewing the data, the totality of the data, because
16 this is part of your whole algorithm problem. You
17 have to have a statistical analysis of each of these
18 components to make sure that you're weighting them
19 correctly so that you can come up with a correct
20 algorithm.

21 I mean I'm not a statistician but I do
22 understand that. And if you don't have adequate data

1 systems to help you devise what that all is weighted
2 for, then you really don't have the systems in place
3 that you need to have a risk-based inspection system.

4 That's not why I came to the microphone. That's
5 different.

6 My problem is with the weighting that you've
7 given -- well, not only to NRs but in particular let's
8 use the NRs. And this again is a little fuzzy for me
9 but I will read from my notes.

10 You have given a system where you've put 0
11 down for no food safety problems. And then you have
12 for minor food safety 1, according to your paper, not
13 according to this chart, and then for 2, you have
14 probably caused problems, and number 3, definitely
15 caused problems. I don't know exactly 4 and 5 are
16 more definitely causing problems I guess.

17 Anyhow, these categories are not really
18 weighted well because you've included that 0.

19 MR. ANDERSON: I think that probably one
20 thing that would help would be hold that particular
21 question until Mr. Gioglio's presentation because the
22 topic of his talk and we'll know a lot more after

1 that.

2 MS. BUCK: Oh, okay. Well, then all right.
3 The last question that I would have to ask you about,
4 going back to your chart, we have evidence that multi-
5 drug resistant *Salmonella* is coming out, and I think
6 you just said in the presentation that there are
7 2,000 -- 1800 to 2,000 plants that get *Salmonella*
8 verification. We know that the United States does not
9 have *Salmonella* under control. So what are we going
10 to be doing to increase *Salmonella* verification or
11 testing so that we can drive down those numbers? Is
12 there anything planned in the risk-based inspection to
13 increase *Salmonella* testing given the evidence that
14 multi-drug resistant *Salmonella* is on the rise and it
15 has longer range health problems for victims.

16 MR. TYNAN: Is that a question that you can
17 address?

18 MR. ANDERSON: I would say that as the
19 *Salmonella* verification system improves and becomes
20 more sophisticated and looks at some of these types of
21 things like serotyping which I know that we're looking
22 towards, we would certainly update the algorithm to

1 reflect that.

2 MS. BUCK: Thank you.

3 MR. MICHAEL: I'd like to comment just on
4 the first part of those comments, that we did do
5 analysis on the data that led to each of these
6 factors, and they do have all of this data. That's
7 one of the reasons we picked these various factors,
8 but statistical analysis of the data is not all that
9 went into the decision to write these things. It's
10 also determining how much we think these reflect how
11 well a plan is controlling risk.

12 And then finally I'd remark, and Don said
13 this in his presentation, these percentages here are
14 hypothetical. They're for a plant that has all seven
15 factors. If the plant didn't have seven factors, they
16 would change, but they do -- but they wouldn't change
17 in terms of the relative value to each other. They
18 just show sort of a hierarchy of values.

19 MR. TYNAN: Okay. We're getting a little
20 bit close on time. I'll let Bill have the last word.

21 MR. SMITH: Well, I just wanted to -- we
22 seem to have some misconception about the consumer

1 complaint. That was verified food safety. So that
2 means we've done a trace back. We can verify that we
3 have evidence that that particular consumer complaint
4 contributed to a food safety issue. Otherwise, those
5 -- when you say verify, those were the ones we'd be
6 using. So they are important.

7 DR. RAYMOND: I'm going to play Loren Lange
8 and get the last word instead of Bill. I think I
9 would be remiss, Pat, if I didn't respond a bit to
10 your question about *Salmonella* and the fact that we do
11 not have it under control. And that's why, of course,
12 we did announce the *Salmonella* initiative and for
13 those in the room that don't know it, I think you
14 should know that when we started this initiative, 36
15 percent of the plants, poultry plants were category 1,
16 and at the end of the year, 49 percent were in
17 category 1. That is not victory, but we're moving in
18 the right direction.

19 And, of course, if a plant fails a
20 *Salmonella* set under this risk-based inspection
21 system, that may be enough points to move them into a
22 higher level of inspection. So I think we are

1 addressing your concerns.

2 MR. TYNAN: Thank you, Dr. Raymond.

3 MS. BEALS: Sharon Beals, Tyson. A question
4 and a clarification. For the calculation for the NR
5 risk, that will include all procedures and tasks
6 including scheduled, unscheduled and non-food safety?

7 MR. ANDERSON: It would -- it does include
8 all procedures both scheduled and unscheduled. Under
9 risk-based inspection, and Mr. Smith will talk about
10 this, under risk-based inspection, there won't be
11 scheduled versus unscheduled inspection tasks anymore.
12 That's a concept that will go away, and Mr. Smith will
13 talk about that. But in these calculations, yes, we
14 looked at the NRs that were written in all -- as a
15 result of all inspection procedures performed.

16 MS. BEALS: And just a question. Is the
17 Agency going to share this calculation with the
18 designated plants once that number is calculated for
19 them? We just want to see how close we just came on
20 our own best guess.

21 MR. SMITH: I believe we stated in the
22 public paper that you will have the factors. You know

1 the factors and you know through the paper. If you
2 have any questions, we'll certainly, the local people
3 can work -- demonstrate what numbers we're using,
4 you're using and if there's a difference of opinion,
5 that can be resolved through the appeal process.

6 MR. TYNAN: Okay. Ms. Mucklow?

7 MS. MUCKLOW: Rosemary Mucklow, National
8 Meat Association. I'd like to frame the same question
9 for Don Anderson that I asked Matthew Michael, but I
10 didn't like Matthew's answer very much, and that is,
11 what is the policy behind putting volume in the
12 inherent risk category rather than as a risk control
13 factor? And I don't want the repetition or what I can
14 read on a piece of paper. I want to try to understand
15 why you made that policy decision. And if I don't
16 like your answer, I'll be back to ask Bill Smith.
17 Thank you.

18 MR. ANDERSON: I think that part of the
19 answer, and if I went too far astray, there's some
20 people in the room I know that are formal risk
21 assessors, but having exposure in volume is an often
22 used proxy for exposure. Having volume or exposure in

1 the inherent risk or the risk calculation is the
2 traditional, it's the conventional way to handle that
3 factor. It is -- it does go to inherent risk. This
4 is, this is basically the risk that an establishment
5 poses to the public by the virtue of what they produce
6 and at what volumes they produce it. An establishment
7 with a very large volume of a relatively risky
8 product, if you will, can have very, very good risk
9 control or vice versa.

10 MR. MICHAEL: I can add, too, if you look at
11 the categories of product we use now in the inherent
12 risk expert elicitation and when you tie that to a --
13 category, you do see that at least for the category of
14 ready-to-eat product produced without further exposure
15 to the environment after lethality, we are accounting
16 an inherent risk for something the plant does. As we
17 get more data, you know, once, and if we consider plan
18 intervention, it could be that we would expand the
19 number of product categories in which case volume
20 would be tied to something the plan is doing. I mean
21 it's tied to that one category now for ready-to-eat
22 product that's produced without exposure to the

1 environment. So you're getting, if you had more
2 categories than the volume when, in fact, expressed
3 things that the plant did.

4 MR. TYNAN: And I'll just ask Bill to be
5 prepared for that question as well.

6 MR. SMITH: I thought Don did an excellent
7 job.

8 MS. HOFFMAN: Sandy Hoffman from Resources
9 for the Future. I just have a clarification question.
10 I'm also still trying to understand this pie chart and
11 where it comes from. Is, is this an example of a
12 theoretical plant? Is it -- or is it the --
13 representing the maximum, you know, an example where a
14 plant gets maximum points and has all categories?

15 MR. ANDERSON: It is the latter. It is an
16 establishment that produces products that have a
17 *Salmonella* verification category because of what they
18 produce. They have RTE and/or O157:H7 lab results and
19 they have an RTE *Lm* alternative. So that particular
20 pie chart shows the relative contribution of the seven
21 factors in an establishment that has all seven types
22 of data. If an establishment has fewer than those

1 types of data, each piece of the remaining -- each
2 remaining piece of the pie would get bigger, but they
3 would remain roughly proportional to one another.

4 MS. HOFFMAN: And then are the actual
5 percentages because this plant has maxed out on the
6 score on each of those categories that again kind
7 of --

8 MR. ANDERSON: No, it's the base score. So
9 an establishment that has had a lot of RTE testing
10 done in that plant in the last 12 months, then they
11 get a maximum score of 9 points. So that brings down
12 their score. Only if they accumulate positives do
13 they get 3, 6 or 9 points.

14 MS. HOFFMAN: I guess what I'm trying to
15 understand is whether this is some kind of a benchmark
16 like this is a plant that gets -- has performed very
17 well on a measures and this is what they get or
18 whether this is you've just created some kind of --

19 MR. ANDERSON: No, no. This pie chart --

20 MR. MICHAEL: You could have two plants that
21 each have the seven factors, but they have very
22 different risk control scores.

1 MS. HOFFMAN: Right.

2 MR. MICHAEL: But these percentages would
3 still hold.

4 MS. HOFFMAN: Okay.

5 MR. MICHAEL: These percentages are based on
6 the fact that they have the same denominators because
7 each of these factors is measured.

8 MS. HOFFMAN: Thank you.

9 MR. TYNAN: Caroline, just a moment.
10 Felicia, I'm going to go to the phones in just a
11 second. So you'll have to hold your question.

12 MS. NESTOR: I can.

13 MR. TYNAN: Caroline.

14 MS. SMITH-DEWAAL: Am I --

15 MR. TYNAN: Yes, please.

16 MS. SMITH-DEWAAL: I just -- I have a couple
17 of comments and one question but having lived through
18 the Supreme beef case, I would urge that your
19 scientists actually talk to the lawyers involved as
20 well because I am a little worried here that these --
21 the facts that these percentages, these weights are
22 going to be variable depending on how much data the

1 Government has may not have legal mustard, that you
2 might have two similar plants, one of whom only has --
3 meets four categories and one who meets six, those we
4 saw, and the weights in those categories are going to
5 change. That may not work. I think you need to
6 consult the lawyers on that.

7 The second issue I want to comment on is on
8 behalf of CSPI's 900,000 consumer members, at least
9 800,000 of which are in the U.S., we do support having
10 food safety consumer complaints considered as part of
11 your algorithm. However, we do want to know, and I
12 don't need an answer today unless you've got it, what
13 verified means because I think that's critical both
14 for the industry and for consumers. But we do believe
15 the consumer complaints should be considered in this
16 algorithm.

17 MR. TYNAN: I think we have an answer for
18 the verified now maybe. Bill, if you can --

19 MR. SMITH: Again, what we're using for
20 verified criteria is we have evidence that we can
21 track directly back to a plant that then associates
22 that piece of evidence with illness or injury.

1 MR. TYNAN: Okay. I'm going to ask the
2 folks on the phone, the technician to maybe hook us
3 into those on the phone. Are there any questions from
4 those that have called in?

5 (No response.)

6 MR. TYNAN: One more time.

7 (No response.)

8 MR. TYNAN: Okay. Ms. Nestor, we'll let you
9 have the last word.

10 MS. NESTOR: Thanks. Felicia Nestor, Food
11 and Water Watch. I've got a comment and a question.
12 I speak with inspectors all the time, and they tell me
13 that there are other things that go into writing a NR
14 such as like Stan mentioned, how much time they have,
15 but also some of them have been instructed, don't,
16 don't bother with the inconsequential NRs, just do the
17 most significant NRs. I mean and I've been hearing
18 this for years and from a lot of people. Have you
19 done any outreach to the field to determine whether
20 that is true and where it's true?

21 MR. SMITH: Felicia, as you know, all our
22 policy is very clear and directives that inspectors

1 are to document all findings of noncompliance. Our
2 front line supervisors look for them to be doing that
3 when they assess their performance and so that's part
4 of supervisory controls and management control
5 systems.

6 MS. NESTOR: Okay. And then I have a
7 question. On the bottom of page 6, above figure 3, it
8 says, "In effect, an establishment with no positive 0
9 tolerance samples based on only 1 or 2 samples will
10 not have a risk control measure as low as an otherwise
11 identical establishment from which the Agency has 3 or
12 more samples."

13 MR. TYNAN: Felicia, this is page 6 on ours.
14 Is that what you were looking at?

15 UNIDENTIFIED SPEAKER: She's looking at the
16 paper.

17 MR. TYNAN: Oh, you're looking at the paper
18 itself. I'm sorry.

19 MR. ANDERSON: And if you'll repeat it, I'll
20 try to answer your question.

21 MS. NESTOR: It's basically saying that if a
22 plant has a lot of RTE negatives, they do better than

1 if they have only a few RTE negatives. And so I'm
2 just wondering how much and how can that impact the
3 whole number?

4 MR. ANDERSON: Yeah. Actually that is a
5 true statement but it's only to an extent. We have a
6 number of establishments that because of the frequency
7 with which they produce and ship certain types of
8 products, they may have, even in a year, they may have
9 only one or two or three types of a sample taken, a
10 RTE sample. I mean it's not very prevalent but we do
11 have some establishments that in the course of a year,
12 they may only have two RTE samples. So let's say an
13 establishment has two RTE samples, and they both come
14 back negative, and we have another establishment that
15 in the year, they had 20 or 40 or 60 RTE
16 establishments, and they all come back negative, that
17 establishment with more samples would have a lower
18 score -- that establishment with fewer samples would
19 have a lower score than an establishment with only one
20 or two samples.

21 MS. NESTOR: And what's the maximum?

22 MR. ANDERSON: The maximum?

1 MS. NESTOR: The maximum number of points?

2 MR. ANDERSON: The maximum number of points
3 is 9 points --

4 MS. NESTOR: Okay.

5 MR. ANDERSON: -- which is -- yeah, 9
6 points.

7 MR. TYNAN: Okay. We have two last
8 questions. We'll start with Mr. Painter, and then
9 we'll go over to --

10 MR. PAINTER: Yes, Stan Painter with the
11 National Joint Council, and I'll try to make this
12 quick. Bill, in the comment that you made regarding
13 the NRs and every NR is supposed to be written,
14 personally as an inspector, I've been told from my
15 supervisor, you're doubling, you're tripling, things
16 of that nature, that you should insure regulatory
17 compliance has been made, and if you don't have time
18 to write the NR, just insure that the compliance has
19 been met and move on.

20 And then regarding the consumer complaints
21 and the weighting, you know, I could just imagine that
22 if a person had lost a relative due to eating

1 contaminated product, you know, maybe calling in to
2 report a consumer complaint may not weigh heavily on
3 their mind at the time.

4 MR. TYNAN: Okay. Thank you, Stan.

5 MS. SCOTT: Jenny Scott, GMA/FPA, and it's
6 not a question. It's a comment on this whole issue of
7 volume being applied to the inherent risk versus the
8 risk control measure.

9 We agree with you that risk is hazard times
10 exposure. Volume can serve as an exposure measure.
11 But I would remind you that it's the risk control
12 measures that are put into place, that impact the
13 presence of the hazard and therefore we really need to
14 see this volume issue addressed on both sides of the
15 equation.

16 MR. TYNAN: Okay. Thank you, Jenny. One
17 item before we pass onto the next topic. So we're
18 going to close this out right now. Since we're having
19 a little bit of difficulty of audio, Dr. Raymond made
20 a good suggestion that, we try and have an e-mail box.
21 It'll be a little bit difficult for us to set that up
22 so that we could take questions as we go. So I'm

1 going to give you mine, and it's going to ring in,
2 everyone will hear it buzzing up here, and I'll
3 probably get a callous, but at any rate, it's
4 robert.tynan@fsis.usda.gov. So I'm going to turn my
5 Blackberry back on so in case there's some questions.
6 But in that regard, I would suggest just a quick
7 stretch break, not coffee break or anything like that,
8 but just to allow everybody to stand up and kind of
9 stretch just a little bit before we get Mr. Smith
10 here.

11 (Whereupon, a short recess was taken.)

12 MR. TYNAN: Okay. We're going to get
13 started. Before I do though, we did a little
14 discussion here about the volume because it seems to
15 be causing a little bit of confusion here. I'm going
16 to let Matthew again clarify how the volume ranges
17 will be applied.

18 MR. MICHAEL: I just wanted to mention, as
19 you saw on the one chart with the squares, the ranges
20 are divided into quintiles by color code, and those
21 quintiles are based on the hypothetical scores we can
22 get given the ranges we've given the inspectors.

1 We're going to look at that and if it turns
2 out they, in fact, don't create a differentiation
3 between the smallest plants and the largest plants, we
4 can adjust using the real volume we've received. But
5 those quintiles, the reason we've divided those ranges
6 of scores into quintiles is to, in fact, make that
7 differentiation. But we can revisit it if in practice
8 it doesn't work as it did in theory.

9 MR. SMITH: Okay. Now we want to move onto
10 how these two different components come together to
11 establish a RBI measure.

12 And really what we've been talking about,
13 risk-based inspection level measure, what we've been
14 talking about is combining the inherent risk and the
15 risk control measure and we're treating them equally.
16 So it's 1 plus 1 and then dividing by 2.

17 The IRM, the inherent risk control and the
18 plant risk control measure are on 100 point scales,
19 and this will also be based on a 100 point scale.

20 So essentially the inherent risk contributes
21 50 percent. Like I said, the risk control 50 percent.
22 You determine an RBI measure and for what we're

1 looking at presently would place the plant in one of
2 three levels, 1, 2 or 3.

3 So the level of inspection for each
4 establishment is derived by the risk-based inspection
5 measure. And by statistical design, we are starting
6 with 60 percent of the plants under Level 2, 20
7 percent under Level 1 and 20 percent under Level 3.
8 We've talked in the paper we've presented, also we had
9 some references there, for a hypothetical set of
10 establishments. So those that were between the risk-
11 based inspection measure, 24 to 55 were in 2, and so
12 forth.

13 This is just demonstrating again how the two
14 come together from the information you've seen
15 earlier. We have the inherent risk measure which for
16 this particular plant had an inherent product hazard
17 of 10, volume range of 3. Their measure was 30. Then
18 we have our actual risk values for the data in the
19 establishment. That totals 25. We add the two
20 together, 30 plus 25, divide by 2, we get 27.5. So
21 according to our hypothetical limits that we have
22 established in the paper that we used, that would be

1 inspection level 2.

2 And here we have a very, very small plant
3 that has an inherent product hazard of 6 and a volume
4 range of 1 which give them an inherent risk measure of
5 6, and then a very good compliance rate of the factors
6 that for risk control measure, that totals 9.4, and
7 again, we add those two, divide by 2, and we have a
8 risk control measure or risk inspection measure for
9 that plant of a level 1.

10 So really what are we talking about here?
11 Because this gets into what is this system actually
12 going to look like?

13 So level 2 mirrors what we are currently
14 doing in PBIS today. We will be turning off the
15 scheduler but the instructions to the inspectors will
16 be to perform the procedures at the frequencies they
17 do today in the PBIS system. So that's what we'll be
18 looking at. So what does that mean?

19 For HACCP 01 procedure, just like we're
20 doing today, we schedule that once per week, and the
21 HACCP again 01 procedure for those of you who don't
22 remember, that's a random verification of the five

1 features of a HACCP control program. That includes
2 monitoring, verification, corrective action record
3 keeping and reassessment. So one or more of those are
4 done in that procedure.

5 And the HACCP 02 procedure today currently
6 they're doing two per week per processing category,
7 and again that is the inspector verifies all five
8 components of the HACCP program on a specific
9 production. And so that's done two times per week.

10 Pre-operational and operational sanitation
11 procedures are done daily and the SPS stands for
12 sanitation performance standard procedures once per
13 week.

14 This includes some establishments who
15 because of their inherent risk cannot under, as it's
16 presently designed, we have some prototype plants that
17 may not be able to achieve level 1 because of their
18 inherent risk score.

19 In a level 1 RBI plant, what changes then is
20 we have the HACCP 01 procedure. They do one per week
21 in one processing category. The HACCP 02 procedure
22 frequency stays the same, and we either do a pre-

1 operational or an operational sanitation procedure
2 daily, and again the sanitation performance standard
3 procedures stay as one per week.

4 Under level 3, the HACCP 01 procedure we are
5 now doing is two times per week. The HACCP 02
6 procedure stays the same as two times per week, and
7 pre-operational and operational sanitation procedures
8 are done daily, same as in level 2, and the sanitation
9 performance standard procedures we include one more
10 per week. Again, we have in the prototype, we have
11 very few establishments in that prototype grouping
12 that because of their inherent risk are presently
13 going to be assigned to level 3 until we have the
14 outcome of further discussions here, but that is where
15 we are today, a very small percentage.

16 So I wanted to give you an example in a real
17 life plant, and here we have a plant, XYZ, and so they
18 have SSOPs and they have raw ground HACCP. They have
19 raw not ground HACCP process, and they have one ready-
20 to-eat HACCP category. And so today, the system would
21 schedule inspection procedures this way, and this
22 would be what the inspectors will be doing under level

1 2. And so as I said earlier, the pre-operational, it
2 would be record verification or observation and record
3 verification, once per day. Same thing with the
4 operational. The raw ground 03B01 is once per week.
5 Same thing for the Raw not ground and same thing for
6 the 03G01 ready-to-eat HACCP and then as we said
7 earlier, that the 02s are all done at the frequency of
8 two times per week.

9 Our other consumer protections, those are
10 scheduled at the frequency of once per week. We will
11 do our sampling as directed, and there is the
12 sanitation performance standard procedure of once per
13 week.

14 So on under level 1, what changes is that
15 grouping as I said earlier, the pre-op, you either
16 pick one or the other of the pre-operational or the
17 operational, you perform one or the other, once per
18 day, and the only other thing that changes is that the
19 01 HACCP inspection procedure, they will perform that
20 once and they will chose that either from 03B or 03C
21 or 03G. And then everything else remains the same as
22 level 2.

1 For a level 3 plant, then all the pre-
2 operational and operational sanitation inspection
3 frequencies return to each one being done once per
4 day. What changes is the 01 inspection procedures for
5 raw ground, raw not ground and ready-to-eat goes to
6 two per week. And the 06 sanitation performance
7 standard goes to two per week.

8 So that is basically when we talk about what
9 we'll be doing in this system, those are the changes,
10 those are the things we're talking about based on
11 their score.

12 MR. TYNAN: I'm going to try one more time
13 with the phones if you don't mind, Felicia. I didn't
14 get any e-mails, so that's a good sign. I'm told that
15 we are connected. So the folks on the phone have the
16 opportunity to contribute if they would like to do
17 that. So I'm going to allow at this particular point
18 once again to ask the phone calls in, if they have any
19 questions to offer them now.

20 (No response.)

21 MS. MUCKLOW: Robert, do they know the
22 number to call?

1 MR. TYNAN: I'll give you my home phone as
2 well. I think they're out there. They just decided
3 not to contribute. I think Patrick's told me he can
4 hear them on the line. Hopefully they're not telling
5 any bad jokes.

6 MS. MUCKLOW: Why don't you give them the
7 number to call?

8 MR. TYNAN: They're already on the call.

9 MS. MUCKLOW: They're already on the call?

10 MR. TYNAN: Yes, ma'am. Okay. With that,
11 I'm going to start. I think Felicia was up first, and
12 if you could identify yourself again for the
13 transcriber.

14 MS. NESTOR: Felicia Nestor, Food and Water
15 Watch. Okay. Bill, I want to ask you about this --
16 the total algorithm here and the 60/20/20. The
17 60/20/20, if I'm not mistaken, is where the plants
18 would be today based on their current performance. Is
19 that right?

20 MR. SMITH: No.

21 MS. NESTOR: Because some of your factors
22 have to do with where the plants are today like the NR

1 factor, the percentage of public health NRs.

2 MR. SMITH: It went into the calculation,
3 but we did a total -- you do both the inherent risk
4 and the risk control measure. And then we had -- we
5 know the numbers for all the total population of the
6 plants, and then we chose based on as a starting point
7 the 20 percent would be level 1, 40 percent or 60
8 percent level 2, and 20 percent level 3. So it's a
9 statistical design. It's just a statistical starting
10 point. And as soon as the first month's calculation
11 is applied, that can change.

12 MS. NESTOR: Okay. So, in other words, you
13 know, Dr. Raymond's talking about the radical
14 improvement in *Salmonella* numbers in the poultry
15 plants, conceivably six months out, everybody could be
16 in level 1?

17 MR. SMITH: As I had on my slide earlier,
18 that's not possible.

19 MS. NESTOR: Because there are some that
20 cannot.

21 MR. SMITH: That's correct.

22 MS. NESTOR: Everyone could be in level 2.

1 MR. SMITH: As I said in my other slide on
2 -- that I had, there couldn't be because their
3 inherent risk value as calculated today, they can
4 never obtain level 2.

5 MS. NESTOR: So there are some plants that
6 will never attain level 2? They will always be --

7 MR. SMITH: As we currently have it
8 configured today, and that's not because they don't
9 have risk control measures because they could have a
10 value of 0 for the risk control measures which means
11 excellent and still when you do the calculation, would
12 take it above where the level 3 cut is today.

13 MS. NESTOR: Okay. But the 60/20/20 is not
14 a constant. So in other words, if a bunch of plants
15 improved, it's not going to knock some of the plants
16 that are in level 1 down to level 2 because --

17 MR. SMITH: Correct. It's only a starting
18 point.

19 MS. NESTOR: Okay.

20 MR. TYNAN: Ms. Hoffman.

21 MS. HOFFMAN: Thank you. Sandy Hoffman from
22 Resources for the Future. I have a couple of general

1 comments actually. One is on the scoring of relative
2 risk of illness per serving in the expert elicitation,
3 I think one issue as you're trying to implement this
4 further, there's a lack of transparency in what that
5 means, that I think may cause you problems as you move
6 on. And since you're still under review in the new
7 expert elicitation, I think something that had a more
8 concrete end point, health end point, such as illness,
9 hospitalizations, deaths, that gets that a comparable
10 measure across different hazards, would probably serve
11 you better, that you'll be able to compare it with
12 more types of databases and it'll be more meaningful
13 to you over a long period of time. So that's just a
14 general comment on that measure.

15 The other goes to this issue of volume, as
16 whether it's an inherent risk or a matter of control.

17 And I generally -- I mean I think you're absolutely
18 right, that you're doing what's traditionally done in
19 risk assessment, that volume is a measure of exposure.
20 But I know Richard Williams and Kim Thompson have a
21 recent paper in risk analysis, and I would agree with
22 them, that volume is something that firms control, and

1 what you're doing effectively is making an assumption
2 that your inspection and scoring system is not going
3 to be so onerous that it's going to affect their
4 choice about volume. And that may or may not be true.

5 It's kind of an empirical question. So it may end up
6 being something that they try to gain you on. And I
7 think that that will, especially as you think about
8 categories and as you think about the size of those
9 volume, those quintiles, you might want to think about
10 whether you're setting them in ways that may encourage
11 people to shift their volume around a bit to affect
12 the kinds of scoring they get. So it is exposure, but
13 people's behavior can affect exposure.

14 MR. MICHAEL: If I can respond to that
15 quickly. In our first elicitation, we did, we asked
16 only for a probability of illness per serving from the
17 experts. We received many, many comments asking that
18 we also inquire about severity of illness that could
19 come from contaminated products, and we are in the
20 next elicitation. In the first one, the reason they
21 limited it to probability of illness per serving, with
22 all the constraints on production or assumptions we

1 give the experts, which was precisely to get at what
2 you mentioned which was comparable data.

3 But now that we're expanding it with a
4 second instrument, that's by vulnerability, I think we
5 will.

6 In regard to your second comment, you know,
7 we have heard the comments on volume, we've received
8 them since the October meeting, and we are looking at
9 it. I think along with the idea of volume being a
10 proxy for exposure in the general calculation for
11 risk, we're working with this idea that inherent risk
12 is, in fact, inherent. So if you have a high volume
13 of risky product, you have a volume of risky product
14 regardless of how you control it. It doesn't mean
15 when it comes out of your plant it's still risky, and
16 I think we can look at ways to account for that in the
17 formula. But right now, we're working with the idea
18 that inherent risk is inherent and it doesn't change
19 because of your process control. Otherwise, it
20 wouldn't be inherent.

21 MS. HOFFMAN: But their behavior is going to
22 affect volume. I mean --

1 MR. MICHAEL: It could, sure.

2 MS. HOFFMAN: It could.

3 MR. MICHAEL: Absolutely. Yeah.

4 MR. TYNAN: Thank you, Ms. Hoffman.

5 Mr. Waldrop.

6 MR. WALDROP: Hi, Chris Waldrop, Consumer
7 Federation. I had a question about the inherent risk
8 and the establishment of risk control. Why are they
9 both 50/50? Why not 60/40 or 30/70?

10 MR. SMITH: The Agency based on the
11 information and notice today, that was a policy
12 decision to treat them equally.

13 MR. WALDROP: Okay. So it was just a policy
14 decision within the Agency.

15 MR. MICHAEL: I think if you go back to my
16 previous comment, we've been working with the idea
17 that inherent risk is, in fact, inherent, and it
18 doesn't change because of your process control.
19 Inherent risk is what's coming into your plant, and
20 that's not changing. Therefore, we gave it an equal
21 weight.

22 MR. WALDROP: Do you anticipate that ever

1 changing, maybe once you get some more information
2 coming in?

3 MR. MICHAEL: It could. Sure. Sure.

4 MR. WALDROP: Okay.

5 MR. TYNAN: Ms. Buck, I think you were up
6 next, and then we'll go to the left side.

7 MS. BUCK: Patricia Buck from CFI. I am
8 curious about the 60/20/20 split as well. What's
9 going to happen when a plant moves from a higher level
10 down to the lower level? In other words, you have a
11 plant that's ranked at level 3, and then it's going to
12 move down to level 2 or from level 2 to 1. Are you
13 going to maintain that 60/20/20 split? And if you do
14 maintain it, would you not expect some resistance from
15 the industry because then somebody obviously in the
16 lower level has to be bumped up to the higher one to
17 maintain this?

18 MR. SMITH: Again, as I stated, I believe
19 it's a starting point. The first time it's applied,
20 it'll be 20/60/20.

21 MS. BUCK: Oh, you're going --

22 MR. SMITH: From that point on, the

1 calculation will drive the level.

2 MS. BUCK: Okay. The other thing I'm
3 curious about is what about recurrent problems. It
4 doesn't seem that there's anything in here that
5 addresses recurrent problems, or are we just going
6 to -- I mean it seems to me that every six months this
7 slate sort of get wiped clean and then we start
8 afresh. Or am I just misconstruing that?

9 MR. SMITH: I think Mr. Anderson said
10 earlier, it would be a running six months. So --

11 MS. BUCK: Sort of a rolling type?

12 MR. SMITH: So it's rolling, correct. Plus,
13 again, enforcement actions in a plant, actions to take
14 for corrective actions for noncompliance records,
15 actions taken because of positive laboratory results,
16 all of those things are ongoing also, and if there's
17 reoccurrence, then the Agency will use its enforcement
18 strategies to deal with those.

19 MS. BUCK: Okay. And as a general comment,
20 I'd just like to once again voice my displeasure at
21 using a consumer complaint file as a major component
22 in your, you know, tools. Not that it should be

1 discounted. I don't mean it that way. I just mean
2 that it is such a small file, such a small amount of
3 information, and I believe it was Chris or Tony or
4 maybe Stan Painter that pointed out people do not
5 routinely go to a consumer complaint file to go
6 through that process to report a sickness, an injury
7 or a death from a foodborne disease. Thank you.

8 MR. TYNAN: Thank you, Pat. We're going to
9 go over here. Mr. Painter, if you would identify
10 yourself again.

11 MR. PAINTER: Stan Painter, with the
12 National Joint Council. In listening to everything
13 that gone on, a lot of the people that have been here
14 have been a part of the process from the very
15 beginning. And I'm going to lead into that to
16 training. What type of training does the Agency
17 propose to do for the inspectors in what has been
18 categorized as the 30 prototype locations?

19 MR. SMITH: I'm going to let Dr. Peterson
20 address that.

21 DR. PETERSON: Ken Peterson, FSIS. A couple
22 of things, Stan. As you know, we're in the middle of

1 Article 6 negotiations with the Union where that very
2 topic will be discussed.

3 In general, what the inspectors will be
4 trained on is there will be a FSIS notice that lays
5 this out. We'll lay out the work that Bill described.
6 And so they will be trained on, now that I don't get
7 my schedule, here's the work I have to do, how do I
8 know which level the plant's in, and once I know that,
9 you know, what work do I do. So that's really the
10 nature of the training. What we're currently thinking
11 for the initial training would be classroom training
12 for the first group of inspectors, first group being
13 those in the 30, that are in the prototype locations
14 with their supervisors. So face-to-face training to
15 get everybody oriented.

16 So they're going to do the same work in the
17 same way. It's really how do I get my work, and then
18 enter my procedures as unscheduled procedures. That's
19 how we intend to start out.

20 MR. PAINTER: Well, the concerns that the
21 Union has is if most of us who have been involved in
22 this process are having trouble understanding it, how

1 are we going to get the people who should be
2 implementing this in the field to understand it. And
3 I'm going to turn it back on you, you said as I know,
4 we're in Article 6. As you know we're in Article 6
5 regarding midterm bargaining on this issue. But as
6 you know as well, the Union has no right under the law
7 to determine training, and what the Union was told, it
8 would be handled in a manner such as a team inspection
9 where you will be called in and you would sit down
10 with your circuit supervisor for a short period of
11 time, maybe an hour, maybe two hours, and having that
12 said, the Union feels as though that's not adequate to
13 be able, for the inspectors that should be involved in
14 this, to understand it.

15 And then in the same token, you have your 30
16 prototype plants. What, what meetings, if any, is the
17 Agency planning with these 30 prototype plants to
18 inform, involve, to train, those plants?

19 DR. PETERSON: Okay. The last one first.
20 The plants, this is about how we apply inspection
21 methods. So the plants need to understand and that's
22 why their representatives are here, have some

1 understanding of the process.

2 For the inspector, and I agree with what you
3 said on training. They need to know the level so that
4 they can go to the appropriate source, the notice, and
5 say here's the level, here's the work I do. We don't
6 intend to train them on the nuances of so many points
7 for this, so many points for recalls, so many points
8 for that. They don't need to know that in phase one
9 to know how to do their work. They need to know what
10 level the plant's in.

11 The team training, yes, was a work unit
12 meeting. Most of those ran anywhere from four to
13 eight hours. As I said, the initial training will be
14 what it is which is classroom for the first group of
15 inspectors.

16 MR. PAINTER: Well, the team training did
17 not run from four to eight hours, and in my Council, I
18 can certainly say that, but I'm going to close by
19 saying this and step away from the microphone. You
20 know, a lot of the plants train their people better
21 than we're trained, and it should not be the plant's
22 responsibility to train us and in the same token, it

1 shouldn't be our responsibility to train them. But I
2 go into a number of plants and they have more
3 information than I do in a lot of cases, and that
4 shouldn't be the case. The Agency should do what it
5 takes, if it wants to implement a process, and expects
6 the people to be able to perform a job, the Agency
7 needs to train us. Thank you.

8 MR. TYNAN: Thank you, Stan. Dean, nice to
9 see you.

10 MR. BERNARD: Nice to be here.

11 MR. TYNAN: Will you introduce yourself?

12 MR. BERNARD: Dean Bernard with Keystone
13 Foods, and thanks for the opportunity to be here and
14 to comment. A question for Bill Smith.

15 Carrying on from your statement about
16 certain establishments that do their inherent risk
17 don't have an opportunity to move from say a level 3
18 to a level 2. Is the Agency contemplating any
19 incentives for someone in that category to improve
20 their food safety systems?

21 MR. SMITH: Well, a couple of things.
22 Dr. Raymond, and he's right behind you, has always

1 talked about his nano system with nine boxes of
2 inspection. Again, we're starting here for the
3 prototypes to learn and with the information we have
4 now. So it is contemplated as we develop this, that
5 we will be able to get industry information and then
6 be able to use that to adjust that. Dr. Raymond, do
7 you want to --

8 MR. BERNARD: I yield the microphone to the
9 Under Secretary.

10 DR. RAYMOND: Thanks, Dean. For the record,
11 Dr. Raymond. And, Bill, the real reason I'm here is
12 I'm blocking you from the person behind me because you
13 know what she's going to ask you. So this is to give
14 you a little time to come up with an answer better
15 than Michael's and Donald's so far.

16 MR. TYNAN: We still have Charlie down at
17 the end. So there's still a chance.

18 DR. RAYMOND: Charlie's going to run us
19 right to 1:00, and head out the door.

20 There's a couple of comments I want to make
21 before it gets close to the noon hour and people maybe
22 start leaving for other things. There's several

1 comments, and I'll make them very brief.

2 One is the verified consumer complaints.
3 I'm a little bit -- I'm not understanding the
4 concerns, Pat, that you and some others have about the
5 verified consumer complaints. So I would like to have
6 further conversation, not today, but I need to know
7 what your concerns are, whether we give it more or
8 less points, et cetera. As you may know, I'm going to
9 have a cup of coffee with Barbara Thursday morning at
10 7:30. So maybe she could bring some information to me
11 at that particular time.

12 Verified consumer complaints so that you
13 know, last year we did verify about 120, 130,
14 somewhere between 100 and 150 were verified. We only
15 had 20 positive *E. coli* samples unfortunately. So,
16 you know, there is a place for the consumer
17 complaints, verified consumer complaints. You guys
18 talk to me and let me know how to work that one out.
19 I'd be happy to move it up or down the scale.

20 Secondly, the biggest reason we're doing
21 this is to make the food supply safer, and the way
22 you do that is to incentivize industry to get even

1 better than they already are. And I am troubled,
2 Dean, by the fact that there are some plants that
3 will be in category 3 for all of their lives unless
4 they reduce production. I don't think there's
5 anybody out there that's going to reduce production
6 if they've got a great product. And I also know as
7 we do the math and look at this, we have some small
8 plants that can have a significant number of points
9 in the plant's ability to control risk but because of
10 volume, they'll get less inspection than a large
11 plant who maybe had no -- got 0 for NRs. I shouldn't
12 say had no NRs, but they're in that 0 category.
13 Their samples all come back positive, et cetera.

14 So we don't maybe have time today, but you
15 guys be thinking about this and for the volume
16 meeting on the 25th, and that's why we're having
17 separate meetings on volume. That's about half of
18 what we've talked about today is volume, and it's
19 very contentious. It's very debatable how to use it.
20 And I'm still all ears on this. That's one of the
21 reasons I've told the Agency we're not going to roll
22 this out in April because there's too much debate

1 going on. We need to reach some compromises. And
2 one of the things I'll throw out on the table so you
3 can think about the next three weeks, if volume stays
4 where it's at, I don't -- I'm not comfortable with
5 the plants being locked into their category for the
6 rest of the plant's life. They have no incentive to
7 try to do better as far as the level of inspection.
8 They do have incentives, of course, because of the
9 pride they take in their product, et cetera. But I
10 want incentives. It would really be nice if they all
11 got into level 1 eventually. How would we handle
12 that problem? It won't happen while I'm here, I'm
13 sure but, you know, that would be -- that would say
14 this thing was a huge success. We'll cross that
15 bridge when we get to it.

16 In the meantime, we I think need to develop
17 ways that we reward good behavior. So far we
18 basically have been talking about penalty boxes. You
19 get points in that penalty box for things that didn't
20 go well, and what happens when a plant comes in on a
21 *Salmonella* set, two consecutive sets in a row, under
22 5 percent. They don't get any points out of this

1 penalty box, but maybe if they're that good, they
2 ought to get some points taken out of the penalty box
3 which would allow them to move from category 3 to
4 category 2 to category 1.

5 Maybe for plants that hold the product and
6 retest the product, they should get a point taken out
7 of the penalty box for good behavior. Then we
8 wouldn't have recalls based on our testing at least
9 because right now when we do a recall based on our
10 testing, that means the product's out there, the
11 consumer have it in their hands, they probably have
12 already ingested most of it.

13 So hold and test is a -- I know all plants
14 are not set up to do that today. I'm not that naïve,
15 but this would be an incentive for them to figure out
16 ways to hold and test, if they could move from
17 category 2 to category 1, because of good practices.

18 There are probably other good practices out
19 there that I'm not thinking of today and others here
20 representing the industry, but if you can come up
21 with rewards for proven, proven success, and all I
22 can think about right now is laboratory tests which

1 is indisputable, positive or negative, if there are
2 other ways that we can take a look at this, I'm all
3 ears for rewarding good behavior as well as
4 penalizing bad behavior, and I'm trying, Bill, but
5 she's going to get to the microphone anyhow. So I
6 might as well let her step up now.

7 MR. SMITH: Okay.

8 MR. TYNAN: Thank you, Dr. Raymond. And
9 before you start, Ms. Mucklow, is it true you're
10 going to ask the question?

11 MS. MUCKLOW: Of course, but I've got a
12 preface to it first.

13 MR. TYNAN: Please introduce yourself.

14 MS. MUCKLOW: Rosemary Mucklow, National
15 Meat Association.

16 First of all, I'd like to thank Stan
17 Painter for the indirect compliment to the training
18 that the industry is doing. Thank you, Stan. It was
19 worth coming all the way to Washington to hear that,
20 just as it was worth hearing Caroline Smith-DeWaal
21 tell the produce industry, they ought to do what the
22 meat industry has done, which she did a few months

1 ago when they were in the middle of their problem.
2 We appreciate that, and we appreciate that people in
3 the greater world know that the meat industry has
4 done an incredible amount of training of its people.
5 And if we could recruit these nice people as honorary
6 members of our organization, they'd also get all the
7 benefits of our advice.

8 So back to the question for Bill. Bill has
9 actually conceded in what he said by saying that some
10 plants can never make level 1 given the algorithm.
11 And that should be a big message to him, you know.
12 He's now got an unattainable goal and the
13 unattainable goal is mostly unattainable from the
14 large plants.

15 Michael has told me that volume represents
16 exposure. Don has told me that the policy decision
17 behind that was traditional and conventional. I've
18 heard Bill that he's got an unattainable goal. So
19 now I want to know how he's going to fix it. Thank
20 you.

21 MR. SMITH: Well, I think as we've said
22 here, we're going to have a separate meeting on

1 volume.

2 MS. MUCKLOW: I'm not going to be here.
3 I'm going to be at the Grand Canyon that day.

4 MR. SMITH: And as Dr. Raymond also said,
5 we do need to incentivize how we can impact that
6 score so we can get plants to move from one level to
7 another using industry information.

8 MS. MUCKLOW: All right. I'm going to
9 leave you with a fix, Bill, and it'll just be between
10 you and me, before God and everybody.

11 MR. TYNAN: Did you say that was the bill
12 for the inspection training the industry does? I
13 don't recall who was up first but -- okay.
14 Ms. Foreman, would you like to introduce yourself
15 please and pose your question?

16 MS. TUCKER-FOREMAN: Carol Tucker-Foreman
17 with Consumer Federation of America, and I would hold
18 my comments if I can be assured that we're going to
19 have one more comment session before we end today.

20 MR. TYNAN: We'll have another comment
21 session in relation to the next speaker, but this is
22 where we're going -- if you have a question to

1 address Bill's comments.

2 MS. TUCKER-FOREMAN: I have questions to
3 address the scope of the -- most of what's happened
4 today. So should I do it now or hold it.

5 MR. TYNAN: Well, again we have time
6 limitations. So if it's a couple of minutes, it'll
7 be great.

8 MS. TUCKER-FOREMAN: Foodborne illness is a
9 serious public health problem, and everybody wants to
10 reduce the number of people who get sick and die.
11 I'm afraid that nothing I've learned about risk-based
12 inspection or any of the risk measures today makes me
13 think that this can do it, and I want to address a
14 few reasons why.

15 First of all, everything you're doing is
16 based only on data that FSIS already has and most of
17 those numbers were collected for reasons that did not
18 have to do with reducing foodborne illness, and in no
19 case, do you have any data that you can say we know
20 what this contributes to the problem of foodborne
21 illness. You've never ever collected the data that
22 say if we change this, the number of illnesses are

1 down. If we change that, the number of illnesses go
2 up.

3 Now you purport to say that your reductions
4 in carcass contamination relate to reductions in
5 foodborne illness, but number one, the Office of
6 Inspector General says you can't use numbers that
7 way, and number two, since 2001, foodborne illness
8 has stopped dropping off, and in some cases has gone
9 up.

10 So you don't know that anything that you're
11 proposing here, you don't have any databases that say
12 this will make a difference. It's not reassuring to
13 hear that this staff thinks or believes that this is
14 the way to go here. The problem is you don't have
15 any numbers. You cite PBIS as the base for much of
16 this. PBIS was developed before you even
17 acknowledged you had authority to control pathogens
18 in raw meat and poultry. It's been adjusted but it
19 was certainly never set up because you knew that if
20 you did the things in PBIS, you would have an impact
21 on foodborne illness. We need to see the data that
22 show the relationship.

1 There are a number of terms that you use
2 that don't have definitions. I know you know what
3 they mean but you have not set forth definitions for
4 them. I don't know what a typical plant is. I'd
5 like for you to say a typical plant is specifically
6 one that -- first, how can you decide what's a
7 typical plant out of all the plants out there. I'm
8 not sure. Is that an average? Is it a medium? What
9 is it?

10 I don't know why there are three levels of
11 inspection. How did you come up with three? Why not
12 five? Why not two? I can't find anything in the
13 papers that say we have health related data that show
14 we should have three levels of inspection.

15 Finally, with regard to that 2005 expert
16 elicitation, I'm distressed to read through these
17 papers and find that all of them reference still the
18 2005 expert elicitation which was roundly criticized,
19 obviously was severely flawed, and you say that
20 you're going to do another one. And I have some
21 questions about that.

22 One, will you put the methodology for that

1 out for public comment before you begin it? It might
2 be a good way to avoid some of the problems that you
3 had with the 2005 one. So far, it's my understanding
4 that you've got it well down the pike but, of course,
5 nobody knows anything about it.

6 Will you have it please peer reviewed by
7 people who do not work for the United States
8 Department of Agriculture. I know they're outside
9 FSIS but I don't think peer review standards would
10 accept all USDA employees.

11 Will you please bring them together and put
12 them in one room? That was an industry suggestion
13 that was made at an earlier meeting and I think is
14 absolutely essential to coming up with something.

15 Our organization will continue to object
16 vigorously to basing all of this solely on expert
17 opinion. It is expert, but in the end, it's still
18 opinion and we need to see data that show if you do
19 "X" it will have "Y" impact on public health, and so
20 far there is nothing like that.

21 Now until you have that, we'll have to go
22 forward on the assumption that all of these things

1 that you're proposing may make the problem worse
2 because we have no data that we can use to assume
3 that it will get better. I'll be glad to take
4 responses to that.

5 MR. TYNAN: I'm not sure where I heard the
6 questions though.

7 MS. TUCKER-FOREMAN: Oh, there were
8 several. Going back to can you define a typical
9 plant for me?

10 MR. MICHAEL: When you say typical plant,
11 are you talking about in the instrument or the
12 instructions to experts?

13 MS. TUCKER-FOREMAN: No, no, no, no. I'm
14 talking about the papers earlier today where they
15 kept making reference to a typical plant does this,
16 and a typical plant does that, and I don't know what
17 a typical plant is. I'll go find it in the paper if
18 you want.

19 MR. MICHAEL: I think we presented a
20 variety of examples and given the data we have, both
21 risk control and inherent risk, they are fairly
22 typical plants in that context. They have a certain

1 number of factors or certain types of factors.

2 MS. TUCKER-FOREMAN: How do you know they
3 are typical? And let me tell you that when I was
4 going to school, you could not define your terms
5 solely by example. You had to lay out a definition
6 and then use an example to illustrate it. I'm just
7 getting examples.

8 MR. MICHAEL: Well, I think we used typical
9 a number of times in both Don and mine and I think
10 Bill's presentation, and typical probably meant
11 something different each time. We have looked at the
12 data. When we've presented example plants, they're
13 usually examples that represent a large number of
14 plants that have those factors or have that data. So
15 typical, whether it works out to be an exact average,
16 I don't know, but it's something we saw and it's
17 something we see very often.

18 MS. TUCKER-FOREMAN: Now I'm going to have
19 to have definition and that's the lack of specificity
20 that I think plagues this whole process. Do you put
21 the peer review out for public comment before you --

22 MR. MICHAEL: We don't have plans to do

1 that, no.

2 MS. TUCKER-FOREMAN: I mean the expert
3 elicitation. I urge you to do that because otherwise
4 you're going to keep going forward on something that
5 may have terrible flaws in it, unintentional flaws.

6 MR. TYNAN: Bill, did you want to respond
7 to that comment?

8 MR. SMITH: -- to go back and look at, I
9 don't know if we --

10 MS. TUCKER-FOREMAN: Thank you.

11 MR. TYNAN: Felicia.

12 MS. NESTOR: Felicia Nestor, Food and Water
13 Watch. Following up on what Carol was -- make a note
14 of what they're basing their opinion on?

15 MR. MICHAEL: In the first elicitation
16 where we queried for their opinion, the probability
17 of risk per serving, we did have a column where we
18 asked them to put in comments as to why they gave it
19 a particular score relative to the other scores.
20 We're doing that again. Of course, the instrument is
21 different in that we're asking about severity of
22 different populations. In the severity instrument,

1 at least the latest one I've seen, we don't just ask,
2 we demand that they tell us why. In the risk of
3 illness per serving, I don't believe we've done that.
4 We could. Of course, we can't make the experts do
5 anything.

6 MS. NESTOR: Right. I think it's a good
7 idea. I went to the risk analysis meeting up in
8 Baltimore and someone gave a presentation and the
9 whole problem with -- well, one of the problems with
10 expert elicitation is you do it when you don't have
11 data but what the experts are relying on is the best
12 data that you have at that time.

13 MR. MICHAEL: That's right.

14 MS. NESTOR: So it would be good to know
15 for the future what their estimations were based on.

16 Bill, can you, can you just give me a quick
17 example of a plant that cannot go to level 2? Why
18 could it not go to level 2, because if it's zeroed
19 out on the inherent risk, and it just happened to be
20 a high, you know, sorry about the plant control risk,
21 but it was just high inherent, you get to 50 which is
22 level 2. So --

1 MR. SMITH: Well, again, those numbers and
2 that's why -- those numbers that were in the paper,
3 and the paper does provide for that, so that means
4 the Agency is thinking that way, did provide for a
5 means for a plant in the paper, because it was
6 hypothetical, to go from level 3 to level 2. What I
7 was saying today, the actual number was based on the
8 30 prototype locations we know now, and the
9 establishment of 20/60/20, that we have one or two
10 scenarios where it is not possible today because the
11 number -- the value of that has been set at the 80th
12 percentile.

13 And we know we need to look at that, and we
14 know that we're going to talk about that at the
15 volume, and we know we're going to get continual
16 feedback and we know, just like we have to again get
17 data from the industry in order to affect and make
18 decisions on the scoring. We also know that we need
19 to get illness data and how we're going to use that
20 information and we're setting up our systems to do
21 that now. I just can't tell you how we're going to
22 do it today, but we're certainly looking into that

1 for the next phase of risk-based inspection also.

2 MS. NESTOR: But can you give me, why could
3 a plant not move from 3 to 2? Give me an example of
4 a kind of plant? Where would the factors fall?

5 MR. SMITH: That would be a high volume
6 beef grinder.

7 MS. NESTOR: Okay. A high volume beef
8 grinder gets you to let's say 100 on the inherent
9 risk and what about the other side?

10 MR. SMITH: It would be 0, and that would
11 be a score of 50.

12 MS. NESTOR: Right.

13 MR. SMITH: And what I'm saying, the 80th
14 percentile for the prototype locations, that number
15 currently, I can probably share that, is 28. And so
16 as you can see, 50 --

17 MS. NESTOR: Okay. I'm going to have to
18 ask you about this later because when I see 50, I see
19 50 right between 24 and 55.

20 MR. SMITH: Again, that was for purposes of
21 the paper, and that was based on 500 plants, but that
22 was a hypothetical collection of plants for

1 demonstration purposes.

2 Now I'm talking about live prototype
3 locations and the 20 and 80th percentile are
4 different than those numbers. So --

5 MR. TYNAN: Can we hold your follow up
6 questions for after? And before you do that, if I
7 could ask you to give way. We're right at 12:00.
8 Mr. Johnson has a question. So we'll let him go
9 and --

10 MR. JOHNSON: This is Dennis Johnson,
11 Olsson, Frank and Weeda. Caroline, I want to make
12 this perfectly clear. I want to put this issue to
13 bed once and for all time. The Agency has the
14 statutory authority to vary the inspection at the
15 establishment. That is industry's view. That is the
16 Agency's view. The statute allows them to do that.
17 We don't need to talk anymore. All right. I'm on
18 record, aren't I? There's absolutely, positively no
19 way we can sue the Agency for varying the level of
20 inspection intensity at an establishment. It was
21 tried in 1983. Harrison Brothers v. USDA. I can
22 file for the record that decision in that case. This

1 is over with. This isn't Supreme Beef. They can
2 vary. Can we end this one once and for all because
3 Bill, when you start implementing this, am I going to
4 have a boatload of questions for you, but until we
5 start implementing it, they're all hypothetical.
6 That's my two comments. Thank you very much.

7 MR. TYNAN: Thank you. Felicia, I'm going
8 to give you the last word but it has to be a quick
9 one.

10 MS. NESTOR: I've got questions. I mean
11 maybe this meeting is not long enough. I think
12 people have said that.

13 Can an inspector do all the procedures in a
14 plant except for sanitation on one day?

15 MR. SMITH: I'm not sure what you're
16 asking.

17 MS. NESTOR: Can an inspector go to -- say
18 he's got five plants. He goes to the ground beef
19 plant on Monday. Can he do all of the HACCP
20 procedures at the ground beef plant on Monday and
21 then only have to go back to that plant for
22 sanitation?

1 MR. SMITH: Again, the level 2 is what the
2 PBIS system is scheduling today. So it would mirror
3 what they're doing today. If they have to make
4 choices because they have to take a laboratory
5 sample, one or more, if they have to initiate an
6 enforcement action, if they have to take regulatory
7 control action, that affects the time.

8 MS. NESTOR: Yeah, but now they get an
9 assignment. I mean now they are told on Tuesday, you
10 will do this procedure, and on Thursday you are going
11 to do this procedure. Whereas, under RBI --

12 MR. SMITH: They will get the same amount
13 of procedures and my guess is they will have the
14 flexibility how they apply that --

15 MS. NESTOR: To do it all in one day.

16 MR. SMITH: -- as long as by the end of the
17 week, they get that number done.

18 MS. NESTOR: All right. I've got more
19 questions, but I'll save them for the next comment.

20 MR. TYNAN: You'll have to table them
21 because we need to move onto the next topic. Let me
22 see if I can -- Mr. Charlie Gioglio who is going to

1 talk a little bit about the noncompliance records of
2 public health significance.

3 MR. GIOGLIO: Okay. Thank you, Robert.
4 And I guess good afternoon.

5 I wanted to -- I guess I thank you for the
6 opportunity here, and what we're intending to do with
7 this presentation is really to drill down into part
8 of the presentation that Mr. Anderson had made
9 earlier this morning.

10 And if we take a look and you remember the
11 bubble chart that we had and he talked about the risk
12 factors in risk control measures, okay, and then we
13 did have some discussion about NRs of public health
14 control. He also touched on how -- just generally
15 how we come to that or how we decided which NRs
16 should, in fact, be factored into the risk control
17 measure and are, in fact, a public health control.

18 Don mentioned earlier, and I'll just remind
19 us, that I guess about a year and a half ago, we did
20 make some changes to the PBIS system, that automated
21 the system of inspectors, for inspectors to actually
22 cite the regulations that would be associated with a

1 given NR. Okay. So previous to that, inspectors
2 were, in fact, requested to write onto the NR which
3 regulations were, in fact, associated with it.

4 Now what we do is we give the inspectors a
5 drop down menu, that are specific to that given
6 procedure, okay, that lists out the regulations
7 specific to that procedure and allow them to pick
8 from that menu which regulations they have found to
9 be noncompliant. Okay.

10 This doesn't change any of the enforcement
11 actions that may be taken in a plant. It does not
12 change any of the follow up actions that would be
13 expected of establishments or anything else, but it
14 does allow the inspectors something that's more user
15 friendly and allow our analysts more access to the
16 data.

17 And just to make the point, that was
18 earlier in Don's slide, there are presently 564
19 regulations that could be associated with any of
20 those NRs or any of those procedures.

21 Okay. So the question was how would we go
22 about rating those regulations, okay, to come up with

1 a measure of what the public health risk was. And
2 what we did was develop criteria to assign a weighted
3 value to each of the regulations that are currently
4 in the system, okay. And we developed a scale from
5 zero to three, zero meaning that there would be no
6 adverse health impact if that particular regulation
7 was, in fact, violated and the inspector needed to
8 write a NR. And three would indicate the higher
9 level. In other words, it would indicate that there
10 was a definitive loss of the food safety system's
11 process control.

12 We took those criteria, and I'll get to
13 those specifically in a minute. We took those
14 criteria and we gave them to nine of our Headquarters
15 staff officers. Those folks have a diverse
16 background, most of which -- most of their
17 backgrounds deal with working either with the
18 regulations as regulators in the field for some
19 portion of their career, some actually working here
20 in Headquarters, writing regulations or instructing
21 people through directives, notices, how to apply the
22 regulations, and basically had backgrounds in either

1 food science or related public health fields. Okay.

2 We gave them the criteria and asked each
3 one of them to go through, look at each one of those
4 regulations that might be associated with the PBIS
5 procedure, and give a rating either zero, one, two or
6 three. Zero meaning no health risk and three being
7 the most significant health risk.

8 Those scores from each of those raters
9 then, from each of those people, we calculated a mean
10 value, okay, and that value is then what is being
11 used to calculate the weighted NR rate in the
12 algorithm that goes in. When you go back and think
13 about the bubble, that one factor that informs the
14 risk control measure. Okay.

15 Now the criteria that we use were category
16 3 indicates a definitive loss of control of the food
17 safety system, and an example of that would be --
18 this happens to be a HACCP regulation, 417.3(a),
19 corrective actions after a deviation of a critical
20 control point, meaning that the establishment
21 experienced a deviation of one of their CCPs.
22 Something was not right when they did their finding,

1 but they did not, in fact, take the expected or the
2 required corrective action that is laid out in their
3 plan.

4 Category 2 indicates a reasonable
5 probability of a loss of control, and an example of
6 that might be 416.13(a) which is the conduct of pre-
7 operational sanitation procedures.

8 Category 1 was a more remote probability of
9 a loss of control of the food safety system, and this
10 got us to, if you're familiar with the regs, 416.2(a)
11 is about the establishment's grounds and facilities,
12 something that may be happening or some conditions
13 that may be outside of the establishment that could,
14 okay, if one thought it through, have an impact, in
15 fact, on the establishment's food safety system.
16 Okay. But there was, in fact, a remote probability.

17 And the 0 category is there's no bearing on
18 the food safety system. So the example there is a
19 standard of identity, 319.307, and that's how much
20 meat is in the meat sauce, and we could have picked
21 any number of those types of regulations.

22 So again, the key point I think is to

1 remember, we didn't rate specific NRs but we did, in
2 fact, look and rate the regulations that inspectors
3 would go ahead and cite on those NRs based on their
4 findings at the plant level, at the given time that
5 they did their PBIS procedure.

6 Okay. And these were the results of that
7 scoring. We had 53. These are the actual numbers,
8 okay. We had 53 that scored in the category 3 or the
9 most severe, 140 in category 2, 175 in category 1 and
10 actually 196 in the 0 category or regulations that
11 don't have a food safety impact.

12 And the next slide here just basically it
13 down in terms of percentages, and we have
14 approximately 9 percent, okay, of the regulations
15 that we could cite on a NR would indicate a
16 definitive loss of control, 25 percent in category 2,
17 31 percent and 35 percent in the category 0.

18 A couple of things that I would add is that
19 the factor or the category that is used to inform the
20 risk calculation or that goes into the algorithm
21 rhythm as it were, and this actually gets back to a
22 question I guess Bill had answered to Mr. Painter

1 earlier, an inspector could cite a number of
2 different regulations that may have been, in fact,
3 not complied with on a given NR. Okay. What we're
4 going to use from that list of regulations is, in
5 fact, the one that falls into the most -- the highest
6 category, or the most severe category or the one that
7 would indicate the most loss of control of the food
8 safety system. Okay. So the inspector is not being
9 asked to make a judgment about whether or not the NR
10 is food safety or not but, in fact, by their findings
11 and the regulation that they're describing as not
12 being complied with, is what ultimately informs the
13 algorithm. And that is all as far as the
14 presentation. Not quite. Questions?

15 MR. ANDERSON: The list is on the web.

16 MR. GIOGLIO: Oh, I'm sorry. Yeah.
17 Mr. Anderson just reminded me. The list of all of
18 the regulations that we work through is, in fact,
19 posted up on our website, okay, with the scores that
20 we found, and I think they are rated probably from
21 the most severe to the least. Thank you.

22 MR. TYNAN: I was looking the other way.

1 Who got up first? Mr. Painter.

2 MR. PAINTER: Stan Painter with the
3 National Joint Council, and I'm here on a repetitive
4 issue just like Ms. Mucklow from earlier. I'm still
5 interested in knowing the type and amount of training
6 that's going to be given to the inspectors in these
7 particular locations in the beginning, in these 30
8 "prototype" plants.

9 MR. SMITH: Well, Stan, we'll certainly get
10 back to you on that. You do know that, as
11 Dr. Peterson said, we are in -- until we can complete
12 the negotiation process, so we know what the actual
13 process is going to be, then we can finalize the
14 methodology, then we can finalize the training, and
15 discuss that with you.

16 As we've done with HACCP, the 5000.1, we
17 want our folks knowing what they're doing, how they
18 have to do it, how they're going to record things,
19 how they're going to determine their work, how they
20 apply the methodology. That would all have to be
21 part of a training packet. How much that is, I don't
22 know until we know what the final method that's in

1 the process comes out.

2 MR. PAINTER: Well, regarding the
3 negotiation portion of it, under 7(a)(106) of the
4 law, the Agency has the right to train, not only the
5 right to train, the responsibility, and we continue
6 to be reminded of that sitting at the negotiation
7 table, that that's basically none of our business as
8 the Union. But I'm still curious. I mean we're not
9 in a negotiation setting. So that being the case,
10 it's none of my business at the negotiation table.
11 It's my business here, and I would like the Agency to
12 explain. I mean have you given no thought to the
13 amount of training that will be given and what type
14 of training will be given to the inspectors?

15 MR. SMITH: I can only answer that now that
16 they will be equipped to do their job, to carry out
17 their method and to report the information through
18 the systems in order to be able to carry that out,
19 whether that is one day, one week, two weeks, I can't
20 sit here and tell you today. You will, before we got
21 to implementation, know that.

22 MR. PAINTER: But you don't have anything

1 now to say that we have this amount of time
2 scheduled?

3 MR. SMITH: We're still -- again, we will
4 share that -- we will get that information back to
5 you if you need an exact timeline.

6 MR. PAINTER: I understand that, but you
7 don't think the rest of the people here would be
8 interested in knowing how the inspectors that's going
9 to work in their facilities are trained?

10 MR. SMITH: And again, we've committed, I
11 just said, I'll say it again, they will know how to
12 do their methodology. They'll know how to apply it.
13 They'll know how to report it. They'll know how to
14 -- they already know how to actually do the
15 inspection procedures. They already know how to
16 document noncompliance. They already know how to
17 verify HACCP, SSOP, OCP, sanitation performance
18 standards regulations and since this only applies to
19 processing, there is no slaughter involved. So they
20 have all those requisite skills that they have been
21 trained up to six weeks on already, and so now we're
22 talking about how do I get once a month, my schedule

1 or the list of activities to be done, we're going to
2 train them to do that. Again, any questions they
3 would have on reporting information, any and all of
4 that will be in the training program.

5 MR. PAINTER: Two weeks of HACCP training,
6 three weeks of FSRE does not add up to six weeks of
7 training, and that would be six weeks of training
8 since 1998. Thank you.

9 MR. TYNAN: Thank you, Stan. Jenny,
10 identify yourself again and ask your comment or ask
11 your question or make your comment.

12 MS. SCOTT: Jenny Scott, GMA/FPA. A
13 question for Charlie, with respect to the NRs and how
14 these regulatory citations are categorized. Do you
15 plan on doing a QC review of this or a validation and
16 adjusting some of these? Let me point out a couple
17 of issues.

18 Hermetically sealed, contaminated
19 containers shall be examined, rehandled under FSIS
20 supervision, 31814.C. That's for red meat, beef.
21 That's a 3. The same provision for poultry is a 2.
22 There is one dealing with lethality of products

1 produced -- lethality and stabilization processes
2 other than HACCP for cooked poultry is a 3.

3 The same provision for beef is a 2.
4 Foreign material, foreign material per se is not
5 necessarily a food safety hazard. It's really
6 dependent upon the nature of the foreign material and
7 maybe someone needs to look at how you might
8 categorize certain foreign -- hazardous foreign
9 materials would be a 3. Non-hazardous would go
10 somewhere else.

11 Thermal processing, critical factors in
12 application of the process schedule was given a 2.
13 It seems to me that if you lose control of a critical
14 factor, that more appropriately belongs in a 3.

15 So I would suggest the Agency may need to
16 look at these and make some adjustments.

17 MR. TYNAN: Thank you, Jenny. Ms. Buck?

18 MR. GIOGLIO: Robert.

19 MR. TYNAN: Oh, I apologize.

20 MR. GIOGLIO: I want to respond to Jenny.
21 I guess the short answer to your question is, yes, in
22 fact, we're working back through these. These were

1 done first to make sure that both the meat
2 regulations and then the parallel poultry regulations
3 are, in fact, exactly the same, so that, you know,
4 the same factors would be applied in both cases.
5 Okay.

6 To I guess your other point, anyone of us
7 can look at any one of these regulations and may come
8 out with a different, you know, opinion than the nine
9 raters here, but that's why we use nine different
10 people with the backgrounds that they had.

11 But to your first point, yes, in fact, we
12 are working back through that now and the plan is to
13 go back to those same people to go ahead and adjust
14 one way or the other.

15 MR. TYNAN: Thank you. Ms. Buck?

16 MS. BUCK: Patricia Buck from CFI. I have
17 a question, two-part question for you, and one is on
18 the nine raters. My daughter, as you know, is
19 involved in statistics and clinical studies which do
20 an awful lot of rating of unusual categories. You
21 have 564 NRs that have got to be weighted. Did you
22 have any training for your nine experts that you used

1 to rate it, to check if there was inter-reliability,
2 meaning within that particular rater or intra, that's
3 intra-reliability or inter-reliability to check
4 between the nine of them to see if there was a, you
5 know, agreement on their responses to either the
6 questions or to how they would score the questions?
7 So I mean did FSIS pursue doing that type of thing
8 which is standard evidently in clinical trials where
9 you actually go and consult with the experts before
10 you have them rate it so that you can have a certain
11 amount of confidence that they're reliability is at a
12 certain level.

13 MR. GIOGLIO: Let me address that. You
14 have a couple of points there that I want to address
15 first. The folks that did the ratings here are, in
16 fact, FSIS employees that were selected because of
17 their background and knowledge in working with the
18 regulations.

19 MS. BUCK: That's wonderful, but did you go
20 to this step to do this type of reliability rating?

21 MR. GIOGLIO: We did provide them with the
22 criteria, okay, with the criteria and had worked

1 through with any of them, with some examples that
2 senior management had selected as far as being
3 examples of the types of regulations that would fall
4 under each one of the categories.

5 MS. BUCK: With all due respect, now I'm in
6 the category where I'm starting to lose confidence
7 because I'm not a statistician, of course, but it
8 seems to me that what is being referred to here is
9 something that's done a little more in depth like
10 what you would do for a clinical trial if you follow
11 my meaning. And I would hope that FSIS, since you're
12 NRs are such a huge and important factor would have
13 this type of research behind it before you would go
14 further down the line. Okay.

15 The other question I have which is probably
16 as important, you have these things rated zero, one,
17 two and three. Now as was pointed out to me, the
18 difference in those violations may not be weighted as
19 clearly as what FSIS has expected that they weighted
20 them. The difference between a 0 and a 1 rating is
21 infinite percent if you follow that. But the
22 difference between a 1 and a 2 rating is 100 percent,

1 and the difference between a 2 and a 3 rating is 50
2 percent. So as you keep going up your scale, you may
3 not be giving a full weight to the citations simply
4 because you've included I think zero in there. I'm
5 not a mathematician either. Okay. But this is one
6 concern that has been raised by the people who do
7 know this that they are concerned that FSIS may not
8 be giving the full weight to the most serious
9 problems. And we would like you to take that into
10 consideration when you are putting your scale
11 together. Thank you.

12 MR. TYNAN: Thank you, Ms. Buck.
13 Ms. Nestor.

14 MS. NESTOR: Felicia Nestor, Food and Water
15 Watch. I had a similar question to Jenny Scott's and
16 to Pat actually.

17 A lot of these particular regulations are
18 very similar. Like you have SRM regulations in
19 category 3 and you have SRM regulations in category
20 2, and, you know, the 416 and 417, I mean I was
21 reading through those regulations. They are very --
22 there's a lot of minutia you have to know to know

1 whether you're hitting 417.2(c) or 2(d). Have you
2 done any checks to make sure that your inspectors,
3 that there will be no variation whatsoever on the way
4 inspectors are going to assess what a violation is,
5 which category it belongs in?

6 MR. GIOGLIO: You're asking a question at
7 the field level, the inspectors -- okay.

8 MS. NESTOR: How usable are these
9 categories?

10 MR. SMITH: Again, the inspector will be
11 using the methods that they've been in 5000, on how
12 to verify the HACCP system and then how to determine
13 noncompliance. Their instruction now has been when
14 they find a noncompliance, to document the regulatory
15 site. Then the system takes over from there on the
16 value that's been assigned to it. They're
17 responsibility is verifying regulatory noncompliance,
18 not to assign weight.

19 MS. NESTOR: I didn't ask about assigning
20 weight. I asked what category it's in, whether it's
21 a 417(c) or a 417(d)?

22 MR. SMITH: And I believe that the training

1 they've received in 5000 and FSRE on sanitation, raw,
2 ready-to-eat and shelf stable, provides them with
3 that background and knowledge.

4 MS. NESTOR: You believe that. Have you
5 done any study to determine if it's correct?

6 MR. SMITH: We evaluate our training
7 constantly. Training is a condition of employment.

8 MS. NESTOR: This particular -- on this
9 particular -- gee, Bill, you know, I'm sorry. I
10 really just don't want double talk. I'm asking you,
11 have you done this specific assessment with
12 regulations and your workforce? You're putting out
13 these 500 some regulations, you are categorizing the
14 NRs by them. I am asking you, have you done a
15 survey, have you done tests to find out that these
16 categories are usable by your inspectors and that
17 there is not significant variation in which regs
18 they're citing?

19 MR. SMITH: Okay. Again, I am telling you
20 that they -- we know through supervision, management
21 controls, their training, training is a condition of
22 employment, that they're trained to identify the non-

1 compliance and document that and apply the regulatory
2 site. They do not have to assign those values.

3 Can they determine a HACCP system failure?
4 Yes, we have plenty of experience in that.

5 Can they determine if there's direct
6 product contamination for a sanitary noncompliance?
7 Yes, they can do that and have demonstrated that on
8 an ongoing basis.

9 MS. NESTOR: Well, that still doesn't
10 answer my question.

11 MR. TYNAN: May I interrupt just a second.
12 I saw Mr. McKee get up. Mr. McKee is with our
13 Association of Technical and Supervisory
14 Professionals. He's also one of our field
15 supervisors in California, and so apparently he got
16 up with --

17 MR. McKEE: Well, perhaps we can help a
18 little bit, Felicia. We correlate with our people on
19 an ongoing basis. Frontline supervisors are out just
20 about daily into the plants. We review the work the
21 inspectors do. We compare the write-ups and the NRS
22 to the regulatory citations, and that's also an

1 activity that's conducted monthly through all of our
2 district offices. So there is very strict oversight
3 and comparison between the content of a NR, the
4 actual finding, and the regulatory site they choose
5 to support it.

6 MS. NESTOR: Okay. That might be
7 sufficient. I'm not sure. But I mean, let's face
8 it, the OIG and GAO have gone out there and found
9 tremendous variations between one district and
10 another about what they're understanding of huge
11 policy issues are. So I mean I'm talking about the
12 minutia here. I wouldn't, I wouldn't assume that the
13 FSIS field gets it better on the minutia than they do
14 when they can't keep the large policy issues
15 straight, but --

16 MR. TYNAN: Felicia, I'm going to ask you,
17 there's a gentleman that's standing behind you and
18 he's looking real tired. So we don't want him to --
19 come on up.

20 MR. SMITH: Let me just add one thing. We
21 know we need to look at these things as part of the
22 evaluation package of the implementation of this

1 system, and we will certainly -- this is one of the
2 critical factors that we'll be looking at in that
3 evaluation.

4 MR. SEWARD: Skip Seward, American Meat
5 Institute. Bill, I know this issue has come up
6 before. It's similar, and that is the training issue
7 and the consistency in the field and by and large
8 your inspectors probably do an admirable job, but the
9 quarterly enforcement report are the only data I
10 know -- I'm aware of that are public data that
11 illustrate that, you know, there are issues out there
12 and NRs get appealed and they get rewritten. So
13 there are some data out there that suggests that, you
14 know, they have a tough job. And so I would
15 encourage you, like the other folks have, to work
16 hard on the education piece and provide that to them.

17 My question is, on the drop-down menu that
18 they have to select from, will all of the regulatory
19 citations which have been identified to be associated
20 with that be randomized in the drop down menu?

21 MR. GIOGLIO: No, Skip, they're not
22 randomized. The, the regulatory sites are, in fact,

1 associated with a given PBIS procedure.

2 MR. SEWARD: Right. But within that drop
3 down menu for that particular procedure --

4 MR. GIOGLIO: I believe they are listed --

5 MR. SEWARD: Alphabetically or --

6 MR. GIOGLIO: -- in the order of --

7 MR. SEWARD: Numerically I heard back here.

8 MR. GIOGLIO: Yes, numerically. So that if
9 the inspector knows he or she is looking for a given
10 regulation which is going to what Bill has been
11 saying, they've been trained and through --

12 MR. SEWARD: It's driven by their normal
13 practice. Okay. Thank you.

14 MR. GIOGLIO: Exactly.

15 MR. MICHAEL: One more question and then
16 actually I have an e-mail question that came in from
17 one of the folks on the phone.

18 MS. NESTOR: Okay. I know we're on this
19 topic until 12:45. So -- Felicia Nestor, Food and
20 Water Watch. Bill, I asked you before about whether
21 you've done an assessment about whether the
22 inspectors think that the NRs reflect the conditions

1 in the plant, and you gave me an answer that the
2 circuit supervisors go out there and management
3 controls and they verify, blah, blah. Now here's the
4 issue. Have you gone to the circuit supervisors and
5 asked them do these NRs reflect the condition in the
6 plant?

7 You know, if you were to tell me that your
8 circuit supervisors could come to you and tell you,
9 you know what? I'm short 25 percent of my
10 inspectors, and we can't do the work we have to do
11 with the budget that you've given us and you would
12 make an adjustment based on that. Then I might say
13 your circuit supervisors could assess that. But
14 basically you have told them you will get done
15 everything you need to do given the limitations, and
16 we don't want hear if you can't actually do the job.

17 So my question is, have you gone out and
18 done the assessment? Have you asked them? I know of
19 EAIOS that say they go into a plant and the NRs in
20 the plant do not at all reflect the condition of the
21 plant. You have tremendous variation here, and I
22 just wonder what have you done besides assume that

1 your system is working properly. I mean this is the
2 same issue we have with daily inspection. You assume
3 that plants are inspected on a daily basis, and you
4 publicly state the plants are inspected on a daily
5 basis, but you refuse to record whether plants are
6 inspected on a daily basis.

7 MR. TYNAN: Ms. Nestor, I think you've made
8 your point. Bill will respond.

9 MR. SMITH: Yes, I mean a number of things
10 again. We ask our people, whether it be inspectors
11 or supervisors to again prioritize their work to get
12 the most important things done if they have a
13 shortage situation. And that has been the standard
14 direction to people for a long time.

15 We changed our supervisory system so that
16 we could have a review system that actually looks at
17 each and every one of the components of the method,
18 was the method applied right? Was the decision
19 making based on the applications of that method right
20 to determination of compliance? Was it documented
21 properly or not and with the right regulatory action?
22 That's been standard practice in the last three

1 years. In the last two years, field operations has
2 spent hundreds of thousands of dollars in being able
3 to collect that information and set performance
4 criteria for things like HACCP, SRM control,
5 sanitation, so that they can get information at all
6 levels of the organization so they can make a
7 determination from a management control standpoint
8 whether things are meeting the expectations of the
9 organization.

10 Now there's been quite a commitment. If
11 you're asking how do I know their assessment, all I
12 know is that the tools are in place. They're
13 collecting the data. There is a recognition that if
14 somebody gets sick in the middle of the day or sick
15 in the morning, we have to double assignments. Yes,
16 a lot gets done then, you know, four establishments
17 go to six establishments because you're doubled up.
18 We know we've prioritized for them to do the food
19 safety and not necessarily some of the other things,
20 and what we use as our supervisors to see that
21 they're making those right choices. That's what I
22 can tell you exists today.

1 MR. TYNAN: Okay. I have two questions
2 from the phone. If you don't mind, let me start with
3 those. I have one from Dr. Rex Holt with our Georgia
4 State Program, and he has a comment and a question.

5 It's too bad there is a resistance -- there
6 is such resistance internally and externally to RBI.
7 Dr. Holt points out, Georgia, as a state programs,
8 could implement in selected establishments very
9 easily.

10 His question for the group, is there any
11 interest in including either state plants or TNA
12 plants. Georgia does not have a unionized workforce
13 in your initial plants. And that's his question.
14 I'll turn it over to Bill maybe for a quick response.

15 MR. SMITH: We have an interest and again,
16 we need to learn from this experience and everybody's
17 telling us we need to take small steps. So that's
18 the 30 prototypes, learn from that and then we expand
19 from there. I'm not sure -- I don't have the 30
20 prototype locations, all the plants that are in
21 there. So there may be a TNA plant already in it.

22 MR. TYNAN: Okay. Thank you, Bill. And I

1 have another question, an e-mail question that came
2 in from Dana Vetter who is sitting in for the NAFE
3 today. She has three questions or he has three
4 questions. I'm not sure of the spelling.

5 Considering that the field level supervisor
6 will have to have biweekly work unit meetings in
7 these prototype plants, participate in training and
8 insuring individual inspectors understand and
9 implement this system, perform increased data
10 analysis of PBIS to insure NRs are being cited
11 appropriately, and therefore weighted properly, has
12 the Agency considered the effect that this will have
13 on already overburdened field level supervisor
14 position? And I may ask Mr. McKee to respond to that
15 after Bill takes a crack at it.

16 MR. SMITH: Yes, we are aware that we ask a
17 lot of our frontline supervisors and a lot of what
18 we're trying to do with the data systems is provide
19 them information so they can collect information, and
20 make decisions before they go out and have to
21 interact with the people they direct. So we're
22 constantly trying to improve that interaction.

1 We know there's additional work. I believe
2 some of the things the districts have been doing for
3 a number of years is take the administrative workload
4 off the supervisors so they can spend more time doing
5 this and my understanding is that that is also
6 underway.

7 MR. McKEE: This is Bob McKee again. What
8 we really experience out there with the teams is that
9 it's a little different type of oversight, but we're
10 actually getting help from within the team with data
11 collection, things like that. As we work with the
12 team and they become more efficient at it, it's taken
13 a little of the burden off. So while it may look a
14 little different, we get support from the Agency in
15 terms of administrative reduction, things like that.
16 Hopefully it's all going to work out, and I think
17 it's heading in a good direction.

18 MR. TYNAN: Thank you, Bob. There was a
19 second question that Dr. Vetter had. How will or
20 will linkage affect weighting of NRs? Will linkage
21 be a factor in RBI? Does anyone want to -- Bill.

22 MR. SMITH: Linkage plays its critical role

1 in making determinations about enforcement action.
2 It does not have a role in this calculation.

3 MR. TYNAN: Okay. And the third and last
4 question from Dr. Vetter is not all regulations can
5 be cited in the drop down list. For example, 416.1.
6 Will this be included in the drop down list as RBI
7 comes into play? Charlie, can you --

8 MR. GIOGLIO: I guess off the top of my
9 head I'm not exactly familiar with the entire list or
10 416.1.

11 MR. TYNAN: You don't have all 546
12 memorized?

13 MR. GIOGLIO: Not memorized exactly, but I
14 will say we do have a system set up to, in fact,
15 update that list as we need to. So that as, you
16 know, either new regulations are promulgated or
17 potentially even oversights or whatever it happens to
18 be, are brought to our attention that a given
19 regulation, in fact, need to be cited under a given
20 PBIS procedure, those are then called to our
21 attention and then we can add those into the system,
22 as well as we threw in those that are, in fact, no

1 longer applicable for any given reason can be dropped
2 out. So it's not a static list that we expect to be,
3 you know, 564 regulations, you know, for eternity.

4 MR. SMITH: Just for the record though,
5 Robert, on that one, 416.1, if they don't have it,
6 they don't operate because that's a requirement to
7 have a SSOP, and no plant in this country can operate
8 without a SSOP.

9 MR. TYNAN: Okay. I didn't have the 460
10 some odd -- 564 memorized myself. Thank you,
11 Dr. Vetter, and thank you, Dr. Holt for that, and I'm
12 going to turn it back over to questions from the
13 audience. I think, Mr. Waldrop, you were next.

14 MR. WALDROP: Chris Waldrop, Consumer
15 Federation of America. In response to Felicia's
16 concern, Bill had mentioned about how that was one of
17 the issues you were evaluating, you were looking at.
18 I want to know if the Agency has an evaluation plan
19 for all of RBI, to look at all of these different
20 issues, you know, complete with your objectives up
21 front so kind of we know how to measure the success
22 of this plan and also know, you know, how we're going

1 to evaluate all these different elements that are
2 being put in, and if you could share that with all of
3 us.

4 MR. SMITH: We're developing the plan now
5 to accomplish exactly what you're saying, and I don't
6 know how we could not share.

7 MR. WALDROP: And will that be shared
8 before the implementation of the pilot?

9 MR. SMITH: Yes.

10 MR. WALDROP: Okay. Thanks.

11 MR. TYNAN: Ms. Buck, I think you were next
12 and then we'll go over here to you, Stan.

13 MS. BUCK: I just -- first of all, in
14 response to the gentleman from Georgia, I think that
15 all of us in the room here are very interested in
16 risk-based inspection, and I don't think that there's
17 a sense of resistance for risk-based inspection. I
18 think it's more a question of how we're putting it in
19 place. So I think that all of us here, industry,
20 consumer groups, everyone, FSIS is interested in
21 risk-based inspection because we have to do something
22 to meet the challenges of providing safe food to a

1 growing and large population. So while I understood
2 his comments because we all seem to be taking
3 negatives here, I think we are all interested in
4 risk-based inspection.

5 And I'm really here just to give a little
6 -- I promised my daughter I would ask this. Last
7 October, we had two days to discuss two papers that
8 FSIS put out. You've given us four hours to discuss
9 a lot more information that is much more complex than
10 what we had before. I don't know if this particular
11 four-hour session is enough to resolve all of the
12 issues. In particular, my daughter is concerned with
13 there does not seem to be a valid statistical
14 justification for the algorithm that FSIS has
15 developed. We would very much like to investigate
16 further with FSIS, and I've already asked this
17 before, and you responded that you would provide
18 additional time. In particular, Barbara would like
19 to include people like Joseph Glover from the
20 Economics Department of USDA and also Beth Johnson if
21 that would be possible.

22 In conclusion, I think that what you are

1 trying to do here should be very, very much applauded
2 because I see this as a positive investigation into
3 the next steps that FSIS has to take in building
4 risk-based inspection. However, this is not enough
5 and I hate to keep going back over and over and over
6 again, but I do not see with all due deference to
7 Dr. Raymond, how we are going to put this in place
8 starting in July until we resolve some of these major
9 issues. Thank you.

10 MR. TYNAN: Thank you, Ms. Buck.
11 Mr. Painter, if you would introduce yourself again.

12 MR. PAINTER: Yes, Stan Painter, with the
13 National --

14 MR. TYNAN: Not that we don't know who you
15 are, but --

16 MR. PAINTER: I'm telling you. Stan
17 Painter with the National Joint Council. I need a
18 clarification on the question that came in from
19 Dr. Holt. Was that Dr. Christian Holt that used to
20 be an FSIS employee?

21 MR. TYNAN: No, it's Dr. Rex Holt from the
22 State of Georgia.

1 MR. PAINTER: Which used to be a circuit
2 supervisor, that was a FSIS employee in the Atlanta
3 District.

4 MR. TYNAN: As long as I've been in the
5 Agency, I couldn't recall whether he is or he isn't.

6 MR. PAINTER: He was a circuit supervisor
7 in the Atlanta District, and was a FSIS employee.

8 Regarding the comment regarding the
9 frontline supervisors being hands on and what have
10 you, take a survey of your second and third shift
11 processing inspectors and ask them how many times
12 they have saw their frontline supervisor in a year?
13 When your frontline supervisor says swing by here on
14 the way and we'll do your progress review at
15 McDonald's or swing by here and I'll meet you at a
16 gas station, and we'll perform your progress review
17 or your rating on the hood of the car, you're not
18 seeing that supervisor. So supervisors are,
19 especially on third and second shifts, are not
20 visible to the inspectors in these processing
21 locations. Thank you.

22 MR. TYNAN: Thank you, Stan. And I'm going

1 to let Ms. Mucklow have the last question, comment
2 for this period.

3 MS. MUCKLOW: I always like to have the
4 last word. Thank you.

5 FSIS is a billion dollar program almost,
6 give or take. It impacts the livelihoods of a
7 multibillion dollar industry, and I just want to say
8 again as I said at our last meeting, that the
9 interactive process that you have set up to develop
10 risk-based inspection is appreciated, and Ms. Buck
11 just applauded the effort to reach out.

12 I will also tell you that if you had solved
13 the inconsistency problem, there are several of us in
14 this room that would be unemployed. We earn a living
15 finding your inconsistencies and I see no threat to
16 our future employment.

17 (Laughter.)

18 MS. MUCKLOW: We want to work with you in
19 this process because we have a self-interest to solve
20 the problem. Will it be solved? Not in my lifetime,
21 and I plan to live quite a few years yet. You
22 haven't fixed it in the 48 years I've been here, but

1 you've got a heck of a lot better, and I have to
2 applaud that. And, sure, I can pick problems with
3 algorithm and so on and I'm not a statistician and
4 not that good at that. We'll work them out. I know
5 we will but you are -- you've opened yourselves up to
6 work with us, and for that, we appreciate it. Thank
7 you.

8 MR. TYNAN: Well, thank you for that nice
9 closing remark.

10 I think we're at a point where we're
11 closing out this portion. I think it's 12:45 in the
12 agenda, and I believe the next speaker is Dr. Goldman
13 for some discussion of next steps.

14 DR. GOLDMAN: Thanks, Robert. I really
15 want to thank all of you. I think we are between 50
16 and 75 percent of the way toward a successful
17 meeting, and I say we're not 100 percent because
18 you've given us a lot of work to do. I'm very
19 impressed with some very thoughtful and constructive
20 questions and comments. And now we're on the hook to
21 respond to those, and we'll do that. We didn't come
22 here to hear ourselves talk, that is FSIS. We came

1 here to hear you engage with us, and I think the fact
2 that you're here after you were here in October, says
3 a great deal that you are indeed, as we've heard from
4 several speakers here toward the end, committed to
5 helping us, as we've asked you to do, to make this
6 the best system that we can. So I really do
7 appreciate that. It makes a difference as we move
8 forward.

9 I know sometimes you didn't get an answer
10 to your question or the answer you would have liked
11 to your question, and I think you will all appreciate
12 that sometimes we -- in whatever we do, you can't
13 anticipate all the questions you're going to get.
14 You can't possibly do that. That's another reason
15 why this kind of a forum is very constructive and
16 helpful to us, but as I said, we will get answers to
17 your questions. We will get responses to your
18 comments.

19 There will be a transcript available as was
20 said earlier. It'll be a matter of some days, I
21 think, but just a few days, and then as Robert said,
22 I want to reiterate, we really want you to look at

1 the transcript, want you to share the transcript with
2 people who weren't here or calling in, and we want to
3 get some further comments, and we want to keep that
4 period open for about 30 days. We will work through
5 those comments as we get to them.

6 So I do appreciate exactly what you've
7 attributed to the success of this meeting here.

8 As I said at the beginning, this is the
9 first in a series of technical meetings. We'll
10 continue to need your participation in the meetings
11 that we have lined up. I want to spend just a couple
12 of minutes here to kind of outline some of those
13 meetings.

14 We have one coming up later this week, and
15 so hopefully many of you can attend, or if not, you
16 can send representatives who can represent your
17 interests and concerns.

18 The first of the meetings that's next in
19 the series is a technical summit on foodborne
20 attribution. Some of the comments that Carol Tucker-
21 Foreman made, get right at the attribution issues.
22 So for those who were interested in her comments, I

1 think underlying her comments at least in part were
2 concerns about attribution.

3 This meeting will be slightly different in
4 a couple of ways. One is although it will be here I
5 think in this room, in fact, it'll be a long meeting.
6 So brace yourself. It's going to be eight hours.
7 It's going to be roughly divided into two parts.
8 You're going to hear from a variety of speakers about
9 what they think attribution is and how it might be
10 used and then in the second half of the meeting, we
11 will hear folks presenting -- scientific
12 representatives presenting on their work, their own
13 work on attribution and I hope you'll be impressed as
14 I am in looking at the agenda. There are people who
15 have spent several years on this issue. That should
16 give you an indication about how complex the
17 attribution issue is, and again, we need your
18 assistance and contributions to that meeting.

19 Just briefly, there will be a third meeting
20 in this series on April 25th. As Dr. Raymond pointed
21 out about halfway through this meeting, much of the
22 comments at least early on were about volume. That

1 will be a whole meeting devoted to the volume issue.
2 We've already heard a lot today that will help us
3 hone our thinking a little bit about the use of
4 volume data.

5 The fourth meeting will be on the use of
6 industry data in the risk algorithm. We've had a few
7 brief discussions about that as we've -- in the
8 various meetings, but we'll devote sometime there to
9 the pros and cons of including industry data, some of
10 the concerns that there may be about including
11 industry data from various angles. So I'll invite
12 you to that meeting as well.

13 The last in the series of meetings, again
14 as was mentioned, is the meeting on expert
15 elicitation. As was noted, the expert elicitation is
16 due back to us, in its final form, the first week of
17 June. We will have a meeting soon thereafter to
18 again engage you, our stakeholders, in a discussion
19 of the results of that expert elicitation and how we
20 might employ that in the algorithm. We're still
21 working out the details in terms of the time and date
22 of that meeting. We'll continue to keep you posted

1 as we do through our website, constituent and other
2 fora.

3 With the exception of the meeting
4 concerning foodborne attribution, we will also offer
5 a one or two or sometimes separate page issue paper
6 on each of these topics, so that it will help you to
7 focus your thinking in advance of the meeting, and it
8 may elicit some questions from you as you read those
9 papers, and hopefully you will bring those questions
10 to the meeting.

11 As I said, we'll accept comments on each of
12 the meetings for 30 days after the completion of the
13 public meeting.

14 At the last meeting of the National
15 Advisory Committee on Meat and Poultry Inspection,
16 which was to remind you in October of 2006, it
17 actually followed the two day meeting we had that
18 RESOLVE hosted, we asked the members of that
19 committee to begin looking at risk-based inspection
20 slaughter operations. This summer, we plan to hold a
21 public meeting, again the date will be determined in
22 the future, but likely in July, in that timeframe,

1 and we will have a public meeting again to engage a
2 wider discussion about that issue and to get your
3 feedback on the plan that we have as of that time.

4 Risk-based inspection in processing
5 establishments has benefited from your input to this
6 point. Obviously not only your input and your
7 opinion, but the expertise represented collectively
8 by those of you in the room. We will continue to
9 accept and incorporate this input as we move along.
10 You've heard that over and over. It's important for
11 us to say that over and over because we do mean that.

12 We will continue to use RESOLVE, the third
13 party contractor, who will help us to assess and take
14 in stakeholder input as we continue our deliberations
15 on RBI processing, and we'll also continue to use
16 them for the RBI and slaughter proposal, which is up
17 and coming.

18 I think you can take away from today that
19 we have been listening to your comments. Again, you
20 have my commitment as the Acting Administrator to
21 continue to listen to those comments, to respond to
22 your questions. I know you know that you have the

1 commitment of the Under Secretary, Dr. Raymond, to do
2 the same, and as we move forward, we will continue to
3 need the input of all of our public health partners,
4 consumer groups, the industry from whom we didn't
5 hear a whole lot today, and our own employees. We
6 need those three groups to continue to help us make
7 this RBI system as good as it can be.

8 So with that, I will conclude and thank you
9 for your participation.

10 MS. BUCK: I do have one question.

11 DR. GOLDMAN: We do have three minutes, so
12 in fairness to you. Yes.

13 MS. BUCK: At the last FSIS meeting with
14 the Safe Food Coalition, I asked about the timeline
15 of these meetings, and you said to me, Pat, we'll
16 discuss that today. And I just would like to know,
17 this timeline that you're putting out of these
18 meetings backed up one right after another, is
19 onerous and I get the feeling that it is almost too
20 much for anyone of us, whether we're in industry,
21 FSIS or the consumer groups to manage. Has there
22 been any thought to expanding this timeline out just

1 a little bit? Thank you.

2 DR. GOLDMAN: Well, I'll respond in part to
3 your question. This original set of meetings,
4 excluding the expert elicitation, was set up with the
5 idea that we would begin implementation in April. So
6 we started to set those dates sometime ago. So some
7 of those dates have been set. We've got people
8 committed to presenting data, people committed to
9 coming in from out of town, and the contracts with
10 the University to have the meeting space. There's
11 lots of things that went into place in terms of
12 scheduling those meetings.

13 I will reassure you though by saying that
14 you've heard earlier, at the very beginning, that we
15 will not begin implementation until after the last of
16 those meetings. So whereas we've said you'd have 30
17 days to comment and lots of opportunity to read
18 transcripts, come to meetings, to have other meetings
19 with us, you can be assured that until we have that
20 last public meeting on expert elicitation and have
21 the comment period, we've said we won't be ready to
22 implement. So you've got that time period and you

1 can, you know, once we set that meeting, then you'll
2 know exactly what the lay of the land is. So I
3 appreciate your comment.

4 MS. TUCKER-FOREMAN: Carol Tucker-Foreman
5 with Consumer Federation. A very quick question
6 please. Did I understand you to say that you would
7 have completed the expert elicitation in May?

8 DR. GOLDMAN: It's underway in April, in
9 this month. I'll let Matthew give you the details of
10 that.

11 MR. MICHAEL: The contractor is still
12 recruiting experts now, and we're finishing up the
13 instruments. The contractual timeline calls for it
14 to be conducted this month, I think a little into
15 May. The contractor is to deliver the results as
16 well as the contractor's analysis, June 8th I
17 believe.

18 MS. TUCKER-FOREMAN: So there is no
19 opportunity for public comment on your methodology in
20 this one? You've already got it or is there still
21 time to have public comment on the methodology?

22 DR. GOLDMAN: Well, there may be time in

1 certain venues. One of the concerns, and Matthew can
2 elaborate on this, the idea if you put the
3 instructions and the methodology out, that those who
4 are actually asked to be the experts may be
5 influenced by having that out in advance and for all
6 the stakeholders to know that it's out in advance.
7 So we're just concerned about the bias that might be
8 created by putting all of that out, but there may be
9 some other venues in which we could have that
10 discussion.

11 MS. TUCKER-FOREMAN: There's not a bias I
12 think if you make the name of the experts available
13 at the same time. Then everybody would have the same
14 information, wouldn't they? We don't know either who
15 your experts are or what the methodology is. If they
16 were both out there, then everybody has the same
17 information. Are you afraid I might influence an
18 expert? Heaven forbid.

19 MR. MICHAEL: We are committed to putting
20 the names of the experts and their comments, although
21 not associated with the individuals out after the
22 process.

1 MS. TUCKER-FOREMAN: Well, I would really
2 urge you to make the methodology available. I think
3 you could have avoided some of the problems with the
4 2005 expert elicitation if you had gotten it outside
5 the closed box of FSIS. That's number one. Number
6 two, I find it really amazing that you done this
7 methodology and have really just come close to
8 finishing before you had the food attribution meeting
9 at which -- as I've gone through, you're going to get
10 a lot of comments about the best way to use that
11 expert elicitation, but you've already decided how
12 you're going to use it. You've decided how you're
13 going to do it.

14 DR. GOLDMAN: Well, the attribution meeting
15 is this week.

16 MS. TUCKER-FOREMAN: You haven't decided
17 how you're going to use that.

18 MR. MICHAEL: I will say that the
19 instrument we're working on now with the contractor
20 contains many changes that came about as a result of
21 comments at the October meeting, comments after that,
22 comments from the Advisory Committees and now

1 comments from our peer reviewers. So --

2 MS. TUCKER-FOREMAN: And are your peer
3 reviewers from outside the Department of Agriculture
4 this time?

5 MR. MICHAEL: Two are and two are not. But
6 none are in FSIS.

7 MS. TUCKER-FOREMAN: Outside the Department
8 helps. It really would be nice if you had the
9 opportunity to hear from the widest range of people
10 about the nature of the methodology. It might reduce
11 the number of people who say, you didn't get this one
12 right either. Thank you.

13 DR. GOLDMAN: Thank you. And thanks again
14 to all who participated today.

15 (Whereupon, at 1:00 p.m., the meeting was
16 concluded.)

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

USING THE RISK ALGORITHM
IN DETERMINING THE CATEGORIES OF INSPECTION
IN 30 PROTOTYPE PROCESSING PLANTS

Arlington, Virginia

April 2, 2007

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

Andy Vogel, Reporter

FREE STATE REPORTING, INC.