

## U.S. CHEMICAL SAFETY AND HAZARD

## INVESTIGATION BOARD

## COMBUSTIBLE DUST HAZARDS

## PUBLIC MEETING

THURSDAY

NOVEMBER 9, 2006

WASHINGTON, D.C.

The Public Meeting was convened at 9:30 a.m. in the Consulate Room of the Embassy Suites Hotel at 1250 22nd Street, Northwest, Washington, D.C., Carolyn Merritt, Chairman, presiding.

Present:

CAROLYN MERRITT	Chairman
JOHN BRESLAND	Board Member
GARY VISSCHER	Board Member
WILLIAM B. WARK	Board Member
WILLIAM WRIGHT	Board Member
CHRIS WARNER	General Counsel

Staff Present:

JORDAN BARAB  
ANGELA BLAIR  
BILL HOYLE

Commenters:

DAVE KIRBY  
TAMMY MISER  
STEVE SALLMAN  
JIM FREDERICK  
JACKIE NOEL  
DAVID CONOVER  
RICHARD PRUGH

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

**Opening Statements**

Carolyn Merritt, Chairman and CEO .....3  
Board Member Statements .....9

**Dust Hazard Investigation Report Findings**

Angela Blair, P.E., CSB Investigation  
Team Lead .....15

**Board Questions** ..... 43

**Report Recommendations**

Jordan Barab, CSB Recommendations  
Manager ..... 59

**Public Comment** ..... 79

**Board Consideration of Report & Vote** ..... 110

**Closing Remarks** ..... 135

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 9:32 a.m.

3 CHAIRMAN MERRITT: I don't have a  
4 gavel this morning so this will have to  
5 subsist for it.

6 Good morning, everybody, and thank  
7 you for coming for this public meeting of the  
8 U.S. Chemical Safety and Hazard Investigation  
9 Board. I am very happy that so many of you  
10 came out this morning. I know you have busy  
11 schedules and we appreciate very much your  
12 support of the board by your attendance. I'm  
13 Carolyn Merritt, Chairman and CEO of the  
14 board. With me today are board members Mr.  
15 John Bresland to my left, Mr. Gary Visscher on  
16 the end.

17 We are pleased this morning also  
18 to have two distinguished additions to the  
19 board, our new board member Mr. William Wark  
20 and Mr. William Wright. Both have been busy  
21 in the past few weeks getting familiar with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the work of the CSB.

2           There has been quite a lot of  
3 activity in the month or so that they've been  
4 here. They have been very quick studies.  
5 Also joining us this morning is our general  
6 counsel Chris Warner, and CSB staff and  
7 members whose efforts have facilitated this  
8 meeting.

9           The purpose of today's meeting is  
10 to present the final report and  
11 recommendations of the CSB's two-year study on  
12 combustible dust hazards. Before we begin I  
13 would like to point out, however, some safety  
14 information. This exist here, as you know,  
15 leads out to the lobby. These two doors if  
16 you exist you need to turn left and you will  
17 find an exit to the outside.

18           Also, if you would, please mute  
19 your phones. I know you won't turn them off  
20 so mute your phones so that these proceedings  
21 are not disturbed. As soon as I say that,  
22 mine goes off. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I would like to acknowledge the  
2 CSB Combustible Study Hazard Investigation  
3 team who will be presenting their draft report  
4 to us today. They will be describing their  
5 findings on the history of combustible dust  
6 fires and explosions in the United States.  
7 They will propose new measures for preventing  
8 future explosions.

9 We launched this study after three  
10 fatal combustible dust fires and explosions  
11 that our agency investigated in 2003. The  
12 three accidents resulted in a total of 14  
13 fatalities and numerous injuries. The purpose  
14 of this study was to determine the scope of  
15 the problem and recommend new safety measures  
16 to prevent future catastrophic dust  
17 explosions.

18 In June 2005 we held a day-long  
19 public hearing on combustible dust and  
20 received extensive testimony from expert  
21 panelists and the public. We considered all  
22 the information from the public hearing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 carefully in drafting our final report.

2 Combustible dust fires and  
3 explosions are devastating, preventable, and  
4 often fatal tragedies. As we pursued our study  
5 our thoughts were never far from the families  
6 of those who were killed or terribly injured  
7 and the communities that were impacted by  
8 these accidents.

9 I observed first-hand the affect  
10 of a combustible dust explosion at West  
11 Pharmaceutical Services in Kinston, North  
12 Carolina. I saw extreme devastation both in  
13 the loss of life and the loss of an important  
14 business the night I arrived on the scene.

15 The adverse impacts on this small  
16 community cannot be overstated. Everyone knew  
17 of someone who was employed or injured by  
18 West. Many expressed worries over the  
19 potential loss of one of Kinston's largest  
20 employers when they were forced to suspend  
21 operations because of the physical destruction  
22 that was so severe. Dust explosions often

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cause loss of life and terrible economic  
2 consequences.

3 The West facility was eventually  
4 rebuilt but production did not resume for over  
5 18 months. I would like to emphasize that  
6 this hazard study not only applies to  
7 facilities in the chemical industry but also  
8 to other industrial facilities that produce or  
9 handle combustible dust.

10 Findings, lessons, and  
11 recommendations from the final report are  
12 applicable to many industries. While some  
13 programs to mitigate dust hazards exist at the  
14 state and local levels, it is a patchwork of  
15 adapted and adopted voluntary standards that  
16 are challenging to enforce.

17 There is no comprehensive federal  
18 program that addresses this program. These  
19 and other findings are contained in the draft  
20 report now before the board. The process the  
21 board uses is the following. Each independent  
22 member has had the opportunity to study the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 draft report and has come to this meeting with  
2 his or her own opinions.

3 This public meeting is our chance  
4 to discuss these opinions and to points of  
5 agreement and differences. It is also our  
6 opportunity to present potential modifications  
7 in the language and perhaps alternate  
8 recommendations. This deliberation and voting  
9 is important work of the board.

10 Our objective is to leave here  
11 with strong effective recommendations based on  
12 the study's findings that will help to prevent  
13 these devastating accidents. If anyone in the  
14 audience wishes to comment publicly after the  
15 investigator's presentation, please sign up at  
16 the table in the check-in area and I will call  
17 your name at the appropriate time.

18 The public comment period will  
19 occur prior to the board's discussions and  
20 voting. We ask that you keep these comments  
21 to three minutes or under and that you keep  
22 them focused on the topic of this meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Please note that we will have to  
2           limit public comments.

3           I would like to thank the Dust  
4           Study Investigation Team for their strong  
5           commitment and dedication to this work. I  
6           would also like to thank you, the audience,  
7           for being proactively interested in the  
8           hazards that often are overlooked until it's  
9           too late.

10           I would now like to recognize any  
11           other board members for any opening  
12           statements.

13           Mr. Bresland.

14           MR. BRESLAND: Just a few words,  
15           Madam Chairman. I would like to add my words  
16           of welcome to our two new board members. This  
17           is their first experience of public meeting  
18           procedures. I know they are going to find it  
19           very interesting and educational and I know  
20           they are going to do an excellent job as the  
21           years go on during their five years on the  
22           board.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I would also add my thanks to the  
2 investigation team who has worked very hard  
3 for the last two years developing this report  
4 and developing their conclusions on the  
5 recommendations and I look forward to hearing  
6 from them and having some interaction with  
7 them.

8 As Chairman Merritt said, I have  
9 also experienced the impact of combustible  
10 dust explosions. I was at the West  
11 Pharmaceutical facility and saw the damage  
12 done there, both the physical damage and human  
13 damage that was done there. I was at the CTA  
14 facility in Kentucky and again I saw the human  
15 damage and the physical damage that was done  
16 there.

17 Certainly we on the board are  
18 aware that this is an issue and it is  
19 something we need to deal with. I look  
20 forward to hearing the presentations from the  
21 team today.

22 CHAIRMAN MERRITT: Was there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anyone else?

2 Mr. Visscher.

3 MR. VISSCHER: Thank you, Madam  
4 Chair. I, too, want to welcome our two new  
5 members, Bill and Bill. Other than the  
6 confusion with the names it has been most  
7 enjoyable having them here with us for the  
8 past few weeks that they have been and we are  
9 certainly benefiting from having them.  
10 Welcome to certainly all of you who came out  
11 this morning. I look forward to the hearing.

12 Thank you.

13 CHAIRMAN MERRITT: Mr. Wark.

14 MR. WARK: Thank you, Madam  
15 Chairman. I would like to express my sincere  
16 gratitude and how much I am honored by the  
17 appointment to this position. I especially  
18 would like to thank the President and the  
19 Senate.

20 I take this position as a sacred  
21 trust. There is no more important  
22 responsibility for those of us in Government

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than protecting the health and safety of the  
2 public, our fellow citizens and the workers.

3 I, too, would like to thank the  
4 staff for all their outstanding work. I look  
5 forward to your presentation. I would just  
6 wrap up by saying I will work to the best of  
7 my ability to honor the trust that has been  
8 placed in me. Thank you.

9 CHAIRMAN MERRITT: Thank you.

10 Mr. Wright.

11 MR. WRIGHT: Thank you, Madam  
12 Chairman. I am humbled and honored by the  
13 fact that the President nominated me for this  
14 position. I am grateful to the Senate for  
15 their confirmation and my eventual  
16 appointment.

17 Like Bill Wark I, too, feel this  
18 is a position of public trust and I intend to  
19 exercise all due care and diligence with that  
20 position. I bring about 30 years experience  
21 in the explosive safety area and I hope to  
22 objectively apply all that information in my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assessments and my recommendations that I make  
2 as a member of this board.

3 I would also like to thank the  
4 current board members and the chairman for  
5 welcoming us to the board. It has been a  
6 very, very busy time these past few weeks.  
7 For those who were unaware, this is just one  
8 study among many events that have taken place  
9 there so we have been very busy trying to  
10 grasp all the issues and make sense out of all  
11 this. I thank you for all your attention and  
12 support and conversations and counsel.

13 I would also like to thank the  
14 members of the study for all the hard work and  
15 the rest of the staff in welcoming us aboard  
16 as well. With that I thank you for your  
17 attendance.

18 Thank you, Madam Chairman.

19 CHAIRMAN MERRITT: Thank you all  
20 of you.

21 At this time I would like all of  
22 you to view a short video which provides

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first-hand accounts of individuals whose lives  
2 have been irrevocably changed by combustible  
3 dust and explosions.

4 (Video shown.)

5 CHAIRMAN MERRITT: At this time  
6 I'll introduce our Combustible Dust  
7 Investigation Team. Bill Hoyle, the first  
8 gentleman in the red tie, is an investigative  
9 manager with over 20 years of experience in  
10 chemical safety and major accident  
11 investigation.

12 He was the lead CSB investigator  
13 for the dust explosion at CTA Acoustics. He  
14 has experience in incident investigations,  
15 process safety management, and occupational  
16 safety and health.

17 Next to him is Mr. Jordan Barab.  
18 He is a former special assistant to the  
19 Assistant Secretary of Labor for OSHA and has  
20 a direct health and safety -- and has directed  
21 health and safety programs. He served as the  
22 recommendation's manager for this study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Last but not least is Angela  
2 Blair. She is the team lead for this study.  
3 She is a chemical engineer and has done  
4 extensive work in process safety and is a  
5 registered professional engineer in the state  
6 of Alabama.

7                   I would now like to ask Ms. Blair  
8 to present the draft report and findings.

9                   MS. BLAIR: Thank you, Chairman  
10 Merritt. Good morning, members of the board,  
11 General Counsel Warner, and to our guests. We  
12 are before you today to present the findings  
13 and recommendations of the CSB study of  
14 combustible dust hazards.

15                   Please allow me to acknowledge the  
16 many CSB investigators and staff members who  
17 contributed to the dust study and to the  
18 report. The investigation team included  
19 Jordan Barab, Bill Hoyle, Jennifer Jones, Giby  
20 Joseph, Mark Kaszniak, Cheryl McKenzie, Reepa  
21 Schroff, and Jeff Wanko.

22                   Today's presentation is organized

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as follows. First, I will present some  
2 background information and a summary of key  
3 findings. I will review a few basic facts  
4 about dust explosions and then present case  
5 histories and data that illustrate the  
6 catastrophic nature of dust explosions and the  
7 extent of this hazard in industry.

8 I will discuss the possible  
9 approaches to preventing dust explosions that  
10 the study addressed including hazard  
11 communication, consensus standards, fire  
12 codes, industry initiatives, and OSHA  
13 regulations. After I conclude my presentation  
14 of the study findings, recommendations manager  
15 Jordan Barab will present the proposed  
16 recommendations.

17 I would like to begin by reminding  
18 everyone why the CSB undertook this hazard  
19 study. As Chairman Merritt told you earlier,  
20 we investigated three fatal dust explosions  
21 that all occurred in the same year, took the  
22 lives of 14 people, and injured 81.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Our involvement in combustible  
2 dust began on the afternoon of January 29,  
3 2003, when major news networks broke into  
4 coverage with, "Massive dust explosion at a  
5 factory in Kinston, North Carolina." The CSB  
6 deployed a team to that investigation and  
7 weeks later deployed another team to Corbin,  
8 Kentucky for a similar explosion.

9           Later the same year we deployed to  
10 our third dust explosion in Huntington,  
11 Indiana. Individually, these investigations  
12 revealed that although voluntary guidance was  
13 available for preventing dust explosions, the  
14 facility managers did not seek or follow this  
15 guidance.

16           Furthermore, the investigators  
17 found no comprehensive federal safety  
18 regulation that addressed preventing these  
19 dust explosions. Finally, investigators found  
20 in all three investigations that managers,  
21 engineers, and regulators were generally  
22 unaware of dust explosion hazards. These

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 common issues and the detailed regulatory  
2 analysis were deferred for this much broader  
3 hazard study.

4 As Chairman Merritt mentioned, we  
5 held a public hearing on dust explosion issues  
6 in June of 2005. Nearly 100 people heard  
7 presentations from 16 panelists representing  
8 industry, academia, fire services, insurance,  
9 and regulators. Panel topics ranged from  
10 technical issues and dust explosion prevention  
11 to the difficulties of enforcing consensus  
12 standards through state fire code inspections.

13 These are the key most important  
14 points of this presentation. First, that dust  
15 explosions are a serious threat to the safety  
16 of workers and many industries. Second, that  
17 existing efforts failed to control the hazard.

18 Finally, that new regulation is necessary to  
19 prevent future dust explosions.

20 In their deliberations at the  
21 conclusion of the CSB's three dust explosion  
22 investigations, the board commissioned a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 special hazard study charging investigators  
2 with determining is this a widespread hazard  
3 or did 2003 represent a random peak in dust  
4 explosions? If the problem is pervasive  
5 throughout industry and history, what is being  
6 done to prevent dust explosions? Finally,  
7 what additional efforts are needed?

8 The following are the most  
9 significant findings of our study of dust  
10 explosions. I will provide more detail on  
11 each of these findings a bit later in this  
12 presentation. Are investigators confirmed  
13 that dust explosions are, indeed, a serious  
14 hazard in industrial facilities, in many  
15 industries, and involving a wide variety of  
16 materials.

17 The West CTA and Hayes explosions  
18 by themselves represent three distinctly  
19 different industries. Over 100 workers died  
20 because of dust explosions in the past 25  
21 years.

22 The system for communicating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hazards to employees does not adequately  
2 address combustible dust. Material Safety  
3 Data Sheets generally do a poor job of  
4 informing workers of dust explosion hazards.  
5 The OSHA regulation for hazard communication  
6 and the guidance for creating material safety  
7 data sheets do not address combustible dust.

8 Voluntary Consensus Standards,  
9 published by the National Fire Protection  
10 Association, provide guidance on preventing  
11 dust explosions but they are not universally  
12 adopted as fire codes throughout the United  
13 States and they are not adequately enforced at  
14 industrial facilities.

15 Private sector activities do not  
16 adequately address dust explosions. We  
17 consulted with industry, trade associations,  
18 and professional associations and learned that  
19 industry guidance is limited in scope and not  
20 widely distributed. Our next move was to  
21 investigate OSHA's regulations and actions to  
22 address combustible dust hazards.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           While there are OSHA standards  
2 that address extremely limited aspects of dust  
3 explosion hazards, there is no comprehensive  
4 regulation for preventing dust explosions in  
5 general industry.

6           Before we talk about some of the  
7 tragic events that illustrate the devastating  
8 power of combustible dust, I'll go over just a  
9 few basic facts about dust explosions. For  
10 any fire to occur, the three elements of fuel,  
11 oxygen, and ignition energy must be present.

12           Dust explosions require two  
13 additional elements. The dust must be  
14 disbursed or lofted into the air and ignited  
15 inside a building, room, or other enclosure to  
16 generate explosive pressures.

17           Now, I would like to point out the  
18 distinction between primary and secondary  
19 explosions. A primary dust explosion occurs  
20 within a limited area or piece of equipment.  
21 If accumulated dust gets suspected and ignited  
22 by an initial explosion, a devastating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 secondary dust explosion can occur. Secondary  
2 dust explosions account for much of the  
3 widespread damage in the facilities we looked  
4 at.

5 So how much dust is too much?  
6 Even very light accumulations can form an  
7 explosive cloud if they are disturbed. The  
8 National Fire Protection Association in its  
9 standards warns that layers 1/32nd of an inch  
10 thick can constitute a hazardous condition.  
11 That is less than the thickness of a U.S.  
12 dime. Less than a dime.

13 What kind of materials present a  
14 dust explosion hazard? Any solid material  
15 that will burn in air can be a combustible  
16 dust if it is divided into small enough  
17 particles. This list contains only a few of  
18 the hundreds of materials that can be  
19 explosive under the right conditions. Some of  
20 the items on this list frequently surprise  
21 people. They know about grain dust but they  
22 are not quite aware that textiles will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explode, for instance.

2 Now that I've established some of  
3 the basics about dust explosions, I'll talk  
4 about several catastrophic events that clearly  
5 illustrate the nature of this hazard. We  
6 begin with the three investigations that CSB  
7 investigated, all of which occurred during  
8 2003. Then we will go on to talk about  
9 additional explosions that have happened since  
10 1995.

11 At West Pharmaceutical Services in  
12 Kinston, North Carolina, employees who  
13 survived the blast on January 29, 2003,  
14 described the sound of rolling thunder as  
15 secondary dust explosions moved rapidly  
16 through the building.

17 This photograph is from a few  
18 hours after the explosion that killed six  
19 workers and injured 38 others. Many of the  
20 victims were severely burned. After weeks in  
21 the hospital they faced long, difficult  
22 recoveries including multiple surgeries and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 painful rehabilitation.

2           The explosions occurred when  
3 polyethylene powder used to cool and coat  
4 rubber sheets had drifted on air currents to  
5 accumulate above a suspended ceiling much like  
6 this one. It was lofted and ignited by a  
7 small initial flash.

8           Only three weeks after the  
9 explosion in North Carolina another deadly  
10 blast occurred in Corbin, Kentucky, at the CTA  
11 Acoustics facility. Thirty-seven people were  
12 injured and seven workers died, some weeks  
13 after the explosion from severe burn injuries.

14           This photo shows one of the many  
15 production areas where workers were caught in  
16 secondary dust explosions that traveled from  
17 one production line to the next. The fuel for  
18 this explosion was a phenolic resin used to  
19 help form sheets of fiberglass matting into  
20 insulation shades for automobiles.

21           Resin dust had accumulated on  
22 floors and other surfaces throughout the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 production lines and was ignited when a small  
2 cloud of dust encountered flames from an open  
3 curing oven.

4 Later the same year the CSB  
5 deployed a third team of investigators to the  
6 Hayes Lemmerz Aluminum Foundry in Huntington,  
7 Indiana. Two workers were engulfed in flames  
8 from an aluminum dust explosion. One of those  
9 workers died later that evening. Another  
10 spent weeks in the burn unit.

11 Hayes remelted scrap aluminum in  
12 their automotive wheel casting plant. A dust  
13 collector attached to the recycling equipment  
14 exploded. The explosion propagated through  
15 piping to a furnace where the burned employees  
16 were working.

17 Now let's look at four other  
18 catastrophic dust explosions that have  
19 occurred since 1995 and were investigated by  
20 other agencies. The explosion at the Malden  
21 Mills factory in Methuen, Massachusetts,  
22 occurred on December 11, 1995, where 37 people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were injured in an explosion of nylon fibers.

2 Even though the facility was  
3 completely destroyed, the owner of the company  
4 managed to keep the employees on the payroll  
5 long after the explosion. The Malden Mills  
6 explosion was likely ignited by the static  
7 electricity used to make the fibers stand on  
8 end where they could be glued to fabric to  
9 make fleece.

10 On February 1, 1999, a natural gas  
11 explosion at the power plant for the Ford  
12 River Rouge facility near Dearborn, Michigan  
13 triggered subsequent secondary explosions of  
14 coal dust that had accumulated on surfaces in  
15 the plant. Six people died and another 30  
16 were injured. The power plant had to be  
17 completely rebuilt.

18 Nearly three years to the day  
19 before the CTA explosion a phenolic resin  
20 explosion at the Jahn Foundry in Springfield,  
21 Massachusetts resulted in the deaths of three  
22 people and injured nine others.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The resin that fueled this  
2 explosion was quite similar to and was made by  
3 the same company as the resin that exploded at  
4 CTA. OSHA and fire investigators determined  
5 that the damage was caused by secondary  
6 explosions of accumulated resin dust within  
7 exhaust duct work throughout the building.

8           On May 16, 2002, the Rouse  
9 Polymeric Rubber Recycling facility in  
10 Vicksburg, Mississippi was rocked by an  
11 explosion of rubber dust that killed five  
12 workers and injured seven. A fire that  
13 started on the roof of the building spread to  
14 a bagging unit for recycled rubber and  
15 triggered secondary explosions in other parts  
16 of the building.

17           The reports of the CSB and other  
18 agency investigations for these seven  
19 catastrophic explosions reveal that some of  
20 the same factors were involved in many of the  
21 incidents. The hazard was not recognized.  
22 Engineering controls were not adequate to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prevent or lessons the impact of explosions.

2 Changes were made without  
3 sufficient hazard review. Dangerous dust  
4 accumulation resulted in devastating secondary  
5 explosions in some cases. Poorly designed or  
6 maintained dust collectors contributed to the  
7 deaths and injuries. These case histories  
8 clearly illustrate the devastating nature of  
9 dust explosions.

10 Now I'll go over the extent of  
11 those explosions in industry. Our research  
12 into the available information on accidents  
13 and injuries revealed that there were at least  
14 281 fires or explosions of combustible dust  
15 from 1980 to 2005. These events took the  
16 lives of 119 people and injured 718 others.

17 There are also significant  
18 economic losses. Many workers lost their jobs  
19 and entire communities are affected when a  
20 major company is forced to close its doors. I  
21 must note that our data do not include  
22 incidents in grain elevators or coal mines.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 These were not within the scope of this  
2 particular study.

3 There was only one year, 1981, in  
4 which we found no fatalities or injuries due  
5 to dust fires or explosions. Although the  
6 number killed and injured does vary from year  
7 to year, this chart provides more evidence  
8 that dust explosions are a very serious  
9 industrial hazard.

10 If dust explosions were limited to  
11 just a few materials, the problem would be  
12 much easier to address. Unfortunately almost  
13 any combustible solid can present a dust  
14 explosion hazard under the right conditions.  
15 This chart shows the diversity of materials  
16 involved in the incidents we catalogued.

17 You can also see from the pie  
18 chart that metals, food products, and food  
19 products account for over half of the  
20 incidents, but the plastics category shown  
21 here includes at least a dozen different  
22 polymer products.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Likewise, the problem of dust  
2 explosions is not confined to a handful of  
3 industries. The dust incidents occur in many  
4 segments of our industrial economy. To name  
5 just a few, electric power generation. That  
6 accounts for most of the goal incidents on our  
7 chart.

8           Automotive and aircraft parts,  
9 household appliances, vitamins, starches,  
10 glues, pigments and coatings, furniture,  
11 textiles, electronics and toys. I should note  
12 even safety gear was involved in one of the  
13 explosions.

14           So having established that dust  
15 explosions are a very serious industrial  
16 safety hazard, investigators began to study  
17 ways in which this problem could be solved.  
18 We looked at hazard communication consensus  
19 standards, fire codes, private sector  
20 initiatives and OSHA regulations.

21           One well-known component of hazard  
22 prevention is a work force including managers,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 engineers, and safety professionals, and line  
2 workers that understand the hazards that they  
3 face.

4 The most common tool used to  
5 communicate hazards involving processed  
6 materials is the material safety data sheet.

7 We found that Material Safety Data Sheets by  
8 and large fail to convey dust explosion  
9 hazards to the people who need this  
10 information.

11 The MSDSs for the materials that  
12 exploded at West and CTA did not communicate  
13 the dust explosion hazards at all in one case  
14 and not very well in the other. The DSB then  
15 studied samples of publicly available MSDSs  
16 for known combustible powders. Forty-one  
17 percent, which is nearly half of the 140 MSDSs  
18 we studied, did not mention the dust explosion  
19 hazard. Only seven of those 140 MSDSs  
20 referred the reader to the pertinent consensus  
21 standards for preventing explosions.

22 The OSHA Hazard Communications

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Standard requires employers to include  
2 chemicals that present a physical hazard in a  
3 hazard communication program and that  
4 manufacturers provide MSDSs for these  
5 chemicals.

6 Although the definition of  
7 physical hazard includes combustible liquid,  
8 compressed gas, oxidizers, flammability, and  
9 five other specific hazards, the definition  
10 does not include combustible dust.

11 We next look to the national and  
12 international guidance on hazard  
13 communication. The American National  
14 Standards Institute, Standard Z400.1, is a  
15 voluntary consensus standard that provides a  
16 standard format and guidelines for preparing  
17 MSDSs. We found that the ANSI standard does  
18 not address combustible dust.

19 The Globally Harmonized System of  
20 classification and labeling chemicals is an  
21 international standard developed by a  
22 committee under the United Nations Economic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Commission for Europe. OSHA published an  
2 advanced notice of proposed rulemaking this  
3 September in which they announced the  
4 intention to change the Hazard Communication  
5 Standard to align with the globally harmonized  
6 system.

7 Well, the GHS gives this hazard  
8 only passing mention in an annex to the  
9 document simply stating that is combustible  
10 dust hazards exist they must be addressed and  
11 that they should be addressed and a chemical  
12 data sheet, which is the GHS version of the  
13 material safety data sheet.

14 Another means of addressing a  
15 hazard is through voluntary consensus  
16 standards. The National Fire Protection  
17 Association, or NFPA, publishes standards and  
18 guidelines for fire safety, fire prevention,  
19 and mitigation.

20 Several NFPA standards deal  
21 directly with combustible dust fires and  
22 explosions. NFPA 484, Standard for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Combustible Metals, and NFPA 654, Standard for  
2 the Prevention of Fire and Dust Explosions  
3 from the Manufacturing, Processing, and  
4 Handling of Combustible Particulate Solids,  
5 are the most comprehensive and pertain to many  
6 industries.

7 Both NFPA 654 and NFPA 484 provide  
8 guidance for designing and managing industrial  
9 processes to prevent dust explosions. And  
10 both standards also include instructions for  
11 hazard identification, inspection,  
12 maintenance, housekeeping, and change  
13 management.

14 The CSB found that if West, CTA,  
15 and Hayes Lemmerz had adhered to the guidance  
16 in the relevant NFPA standards, the deadly  
17 explosions in 2003 would likely not have  
18 happened, or may have been less devastating.

19 These standards, or their  
20 predecessors, existed for decades before the  
21 Occupational Safety and Health Act was passed  
22 in 1970. However, they were not included

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 among the other standards that OSHA adopted as  
2 part of their first regulations.

3 As one panelist at our June 2005  
4 hearing put it, the problem with voluntary  
5 standards is that not everyone volunteers.  
6 NFPA standards are voluntary unless they are  
7 adopted as part of an enforceable law. This  
8 is typically accomplished through fire codes.

9 There are two sets of published  
10 fire codes available for states or local  
11 jurisdictions. The NFPA publishes the uniform  
12 fire code that encompasses many NFPA fire  
13 prevention standards including those that  
14 pertain to combustible dust.

15 The International Code Counsel  
16 publishes a similar set of fire codes, the  
17 International Fire Code, that also references  
18 the NFPA standards for combustible dust.  
19 About 40 states have adopted one of these two  
20 documents as their statewide fire code.

21 Adoption is not uniform, however.

22 States can and have exempted, removed, or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 replaced certain provisions of the codes. In  
2 some states local jurisdictions have adopted  
3 fire codes that differ from the statewide fire  
4 code. Therefore, instead of one code to  
5 prevent dust explosions, we have two fire  
6 codes, at least 40 state laws, and many local  
7 laws in cities like New York, Houston,  
8 Baltimore, and Detroit.

9 North Carolina, Kentucky, and  
10 Indiana had all adopted a statewide fire code  
11 that included an NFPA 484 and NFPA 654 but  
12 none of these states effectively enforced the  
13 combustible dust provisions in industrial  
14 facilities before the 2003 explosions.

15 To better understand this issue,  
16 investigators surveyed the fire marshals in  
17 nine additional states. We learned that fire  
18 code authorities rarely inspect industrial  
19 facilities and when they do, the inspections  
20 are typically limited to basic fire safety  
21 issues such as exit pathways and fire  
22 extinguishers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Most fire inspectors do not  
2 receive training on how to recognize and  
3 address dust explosion hazards. Finally, we  
4 learned that the responsibility for fire code  
5 enforcement is inconsistent among states and  
6 local jurisdictions.

7           The private sector has at times  
8 addressed common hazards through voluntary  
9 initiatives and guidelines. We learned  
10 through our research that there are very few  
11 voluntary industry driven programs for  
12 preventing dust explosions. The Center for  
13 Chemical Process Safety, or CCPS, published a  
14 comprehensive technical guidelines book on  
15 dust hazards management in 2005.

16           Although the book contains  
17 extensive guidance for preventing health and  
18 explosion hazards associated with dust, it has  
19 relatively limited circulation. The Aluminum  
20 Association provides publications and guidance  
21 to its members on recognizing and preventing  
22 aluminum dust explosions. This information is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not widely promoted outside the organization's  
2 membership.

3           Leading drug manufacturers pay  
4 particularly attention to dust hazards but  
5 investigators learned that the high attention  
6 to dust may be driven as much by product  
7 toxicity and cost as by explosion prevention.  
8 Finally, we look to federal OSHA regulations  
9 for combustible dust coverage. We will start  
10 by looking at an example of a standard that  
11 was aimed directly at preventing dust  
12 explosions.

13           OSHA issued the Grain Handling  
14 Facility Standard in response to a series of  
15 catastrophic grain elevator explosions that  
16 began in the 1970s. In one month alone,  
17 December of 1977, grain dust explosions killed  
18 59 workers and injured 49. OSHA first tried  
19 outreach and education to reduce grain dust  
20 explosions. They issued a hazard alert in the  
21 late 1970s. Although grain explosions  
22 decreased for a few years, the affect of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outreach was short-lived, even in this focused  
2 industry.

3 Grain explosions began to increase  
4 again in 1980 and 1981 and OSHA determined  
5 that a regulation was needed to address the  
6 hazard. In 2003 OSHA commissioned a  
7 retrospective analysis of the Grain Handling  
8 Facility Standard. That study credited the  
9 standard with reducing grain dust explosions  
10 by 42 percent, reducing injuries by 60  
11 percent, and fatalities by 70 percent.

12 The Grain Handling Facility  
13 Standard addresses general safety,  
14 housekeeping, hot work, and entry into silos,  
15 but it only applies to grain elevators, grain  
16 mills, and other similar agricultural  
17 processing facilities.

18 While the Grain Standard has been  
19 effective in the narrow range of industries  
20 that it covers, there is no OSHA standard that  
21 comprehensively addresses preventing dust  
22 explosions in general industry. Various other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulations such as the Electrical Standard  
2 and the housekeeping subparagraph of the  
3 Walking and Working Surfaces Standard address  
4 limited aspects of dust hazards.

5 The Electrical Standard only  
6 addresses preventing dust explosions ignited  
7 by electrical equipment. There are many other  
8 possible ignition sources such as static  
9 electricity and metal-to-metal sparking that  
10 are not addressed.

11 Mere adherence to the housekeeping  
12 requirements, which only states in basic  
13 language that good housekeeping conditions  
14 shall be maintained, will not prevent all  
15 secondary dust explosions such as the ones  
16 that occurred at West Pharmaceutical.

17 Finally, we also found that OSHA  
18 inspectors are generally not trained on  
19 recognition and prevention of dust explosion  
20 hazards and that could be, in part, because  
21 there is no course at the OSHA training  
22 institute.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           The General Duty Clause of the  
2 Occupational Safety and Health Act requires  
3 that employers provide work places that are  
4 free from known and recognized hazards that  
5 are causing or likely to cause death or  
6 serious physical harm to the employees.

7           Lacking a comprehensive general  
8 industry Dust Explosion Prevention Standard,  
9 OSHA can and has used the NFPA standards as  
10 evidence of recognized hazards to cite  
11 employers for dust explosion hazards. This  
12 would be done under the General Duty Clause.

13           However, these citations are  
14 nearly always reactive or coming after an  
15 accident has occurred or a complaint has been  
16 filed. Therefore, the General Duty Clause is  
17 not an effective prevention tool.

18           Furthermore, in order to apply the  
19 General Duty Clause to a dust explosion  
20 hazard, the OSHA inspector must be quite  
21 knowledgeable about the hazards and the  
22 requirements of the NFPA standards.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 OSHA also has Special Emphasis  
2 Programs that are mainly used to target  
3 specific industries or facilities for general  
4 health and safety inspections. Sometimes, as  
5 in the case of the Grain Handling Standard, an  
6 SEP helps address a known hazard until OSHA  
7 issued a regulation. In these cases, the  
8 General Duty Clause is often the basis for  
9 citations.

10 OSHA conducts Special Emphasis  
11 Programs on both a national and local scale.  
12 Investigators learned of only one SEP targeted  
13 at preventing dust explosions in general  
14 industry. This was a local SEP conducted by  
15 an OSHA area office whose jurisdiction  
16 includes portions of Pennsylvania.

17 Following an opportunity for board  
18 member questions, I will be handing the podium  
19 over to my colleague, Jordan Barab, who will  
20 present the proposed recommendations for  
21 preventing future dust explosions.

22 Let me conclude my portion of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation by summarizing the key findings  
2 of this hazard investigation. Combustible  
3 dust explosions are a serious hazard in  
4 general industry. MSDSs and the standards  
5 related to them do not effectively address  
6 combustible dust.

7 Private sector activities are  
8 limited. NFPA standards address the hazards  
9 but are not uniformly adopted or rigorously  
10 enforced. OSHA standards do not currently  
11 comprehensively address the hazards of  
12 combustible dust.

13 As this point, Chairman Merritt,  
14 my colleagues and I are happy to answer  
15 questions you have pertaining to the study  
16 findings.

17 CHAIRMAN MERRITT: Thank you, Ms.  
18 Blair. We appreciate your presentation. At  
19 this time I would open it to the board members  
20 for questions that you might have if you would  
21 allow me to recognize you. Do we have any  
22 questions from the board?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mr. Wright.

2 MR. WRIGHT: Thank you, Chairman  
3 Merritt. First of all, I would like to thank  
4 you for your hard work and effort in this  
5 area. I think it was a substantial report. I  
6 do have a question regarding why you don't  
7 think it would be adequate for a Special  
8 Emphasis Program from OSHA to address this  
9 situation versus recommendations.

10 MR. BARAB: Thanks for the  
11 question, Mr. Wright. I think if you don't  
12 mind we would rather save that for after we  
13 present the recommendations and then we would  
14 be glad to answer that question.

15 MR. WRIGHT: Very well.

16 CHAIRMAN MERRITT: Mr. Visscher.

17 MR. VISSCHER: Thank you, Madam  
18 Chair. Just a couple of questions. In terms  
19 of the information that we talked about not  
20 having information on the material safety data  
21 sheet, what information would you think should  
22 be included?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean, I guess there is a variety  
2 of things you can talk about in terms of the  
3 characteristics of the material and what would  
4 make it more or less prone to explosion. Is  
5 it necessary, do you think, that all the  
6 information be -- that all that be included or  
7 just simply mention of the fact if this is in  
8 dust form it may be explosive?

9 MS. BLAIR: It can be a  
10 complicated issue but it doesn't have to be.  
11 We would be happy if all the MSDSs that could  
12 produce combustible powders would simply  
13 state, "Caution, there is a dust explosion  
14 hazard. Consult NFPA 654 or 484." If they  
15 simply did that, then at least facility  
16 managers would be directed to the place where  
17 the guidance of it is available.

18 For some combustible powders there  
19 is already a lot of data out there in  
20 available publications including NFPA, I  
21 think, 68 on explosion protection. There are  
22 tables in the annex that list properties like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explosive constant, the maximum explosive  
2 pressure, ignition energy, that sort of thing,  
3 for many of the common powders.

4 Therefore, for those kind of  
5 materials the manufacturer wouldn't have to do  
6 any additional research. They could simply  
7 refer to that document in that table. For  
8 other materials for which the information is  
9 not currently available, it would be helpful  
10 if they would include information like the  
11 explosibility constant under certain  
12 circumstances.

13 It's kind of like vapor pressure  
14 for a flammable gas or flammable liquid. It's  
15 only accurate for a certain set of conditions  
16 and it is even worse for dust. If a  
17 manufacturer would just tell me, "Look, at  
18 this particle size and this moisture content,  
19 this is what kind of explosion we got out of  
20 this," then I can put it into perspective with  
21 other materials.

22 To answer your question in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 simple terms, some information and a point to  
2 the standard would be great. If it's not  
3 otherwise available, some kind of data to say  
4 relatively speaking how bad is this stuff  
5 would be helpful also.

6 MR. VISSCHER: The tables in NFPA  
7 68 use some fair standardized so that you're  
8 talking about comparable conditions?

9 MS. BLAIR: Right. And not only  
10 do NFPA standardize the data but they also  
11 take that explosibility constant for dust  
12 explosions and then put them into three  
13 categories. They call them ST classes. There  
14 is ST 1 which is the stuff that, yeah, it will  
15 explode but we're not real, real worried about  
16 it, and ST 2 which can explode with some  
17 vigor, and ST 3 which is, you know, you would  
18 rather not handle this stuff but if you have  
19 to, you must exercise extreme caution.  
20 Aluminum powder, for instance, is an ST 3  
21 class dust under some circumstances. The  
22 polyethylene at West was a Class 2.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. VISSCHER: The other question  
2 I had was on the chart with the numbers. I  
3 guess the chart showed the numbers of injuries  
4 and fatalities and had a total number of  
5 incidents. Do we know what share of those?

6 I've seen some mention elsewhere  
7 that a significant share are in dust  
8 collectors themselves. That is sort of like  
9 why rob a bank? That's where the money is.  
10 Why do we have explosions in dust collectors?

11 Because that's where the dust is, I guess.

12 Do we know what the numbers would  
13 be in terms of all those incidents that we  
14 have been able to find were at least initiated  
15 in the dust collector or the dust collector  
16 system?

17 Also, and probably more  
18 importantly, the two NFPA standards that were  
19 mentioned, 654 and 484, you mentioned some of  
20 the things that they address. Do they address  
21 kind of location and other conditions of the  
22 dust collector itself? I think in the one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that we investigated more thoroughly, the  
2 Hayes incident, there was an issue of location  
3 of the dust collector.

4 MS. BLAIR: That is correct, Mr.  
5 Visscher. In the Hayes Lemmerz investigation  
6 we found that NFPA 484 gives some pretty clear  
7 guidance to locate aluminum dust collectors a  
8 certain distance away from occupied buildings.

9 There are also things you can do  
10 like explosion isolation or venting that help  
11 keep that explosion from spreading. With  
12 aluminum that's a problem. If you will  
13 recall, the board recommended to do some  
14 additional study and NFPA is moving forward  
15 with that.

16 Unfortunately the data that we  
17 have to pull from are not very great. We have  
18 Reepa Shroff and Jennifer Jones and some of  
19 the other staff members that work very hard to  
20 go back and pull as much information as we  
21 could about each of these 281 accidents.

22 We only have origin information on about a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 third of them but in the ones where we do know  
2 where it started, yes, dust collectors to  
3 prove to be a frequent cause.

4 In fact, in the CCPS guidelines  
5 that committee cites some data that are  
6 available internationally where dust  
7 collectors are far and away the single most  
8 frequently initiated piece of equipment but  
9 it's not like 75 or 80 percent. I don't  
10 remember the exact data but it's something  
11 like 40 percent and then there's a whole bunch  
12 of other equipment that causes the rest of  
13 them.

14 MR. VISSCHER: Thank you, Madam  
15 Chair.

16 CHAIRMAN MERRITT: Mr. Bresland.

17 MR. BRESLAND: Let me get a little  
18 closer to the microphone here. You have  
19 talked about NFPA 654 and 484. In your  
20 opinion are they adequate for the job of  
21 preventing dust explosions?

22 MS. BLAIR: Yes, they are. I have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to say I have a lot of faith in the Technical  
2 Steering Committee that works on those  
3 standards because it is a very strong  
4 consensus building process. We have members  
5 from industry, regulators, insurance  
6 companies, all different interested parties  
7 that are working through some pretty  
8 contentious meetings to hammer out those  
9 guidelines.

10 We had a chance to go visit one of  
11 their committee meetings early in this process  
12 and see the deliberation actually at work.  
13 Not only did we find that our three  
14 investigations could have been directly either  
15 prevented or much greatly minimized by the  
16 NFPA standards.

17 We also found that they contain  
18 extremely detailed guidance for housekeeping  
19 not only to keep it clean but how to clean it  
20 up because you don't want to do like they were  
21 doing at CTA and go around and sweep your dust  
22 into big explosive clouds.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           You want to use a vacuum cleaner  
2 and you want to use the right vacuum cleaner  
3 because you don't want to be blowing that up  
4 either. A lot of information about dust  
5 collectors, how to build them, how to vent  
6 them, where to locate them, how to ground  
7 them.

8           MR. BRESLAND: I'm following up on  
9 that question about the NFPA code. You said,  
10 and correct me if I'm quoting you incorrectly,  
11 that there is a variation around the country  
12 in the level of knowledge enforcement with  
13 code officials regarding NFPA 654 and 484.

14           It's my sense that picking those  
15 two particular codes and if you studied other  
16 fire codes as well, fire codes written by the  
17 fire code specialists like NFPA or ICC, you  
18 would find the same situation for a lot of  
19 other codes.

20           I guess I'm asking is the code  
21 situation with NFPA 654, 484 any different  
22 from the code situation with other fire codes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in terms of the spottiness of their  
2 applicability around the country?

3 MS. BLAIR: We had the direct  
4 communication, a lot of communication with the  
5 fire code officials in Indiana, Kentucky, and  
6 North Carolina. Then we also surveyed the  
7 fire marshals in nine other states. That  
8 ended up meaning we talked to a lot of staff  
9 members within each of those offices.

10 I think I personally called five  
11 of them and it turned out to be very much an  
12 educational process for them. The issue was  
13 not being aware very much of dust explosion  
14 hazards and any general knowledge. I would  
15 not expect a fire marshall or a fire inspector  
16 to be really well informed on the jot and  
17 tittle of any particular specific technical  
18 fire prevention code.

19 But if they were at least  
20 knowledgeable enough that dust can explode,  
21 there is a lot of dust in this place and there  
22 is a standard that addresses this, then they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can go back and study that standard and learn  
2 what it requires. We also found, though, that  
3 certain things like flammable liquids storage  
4 in NFPA 30 is very well understood by the fire  
5 enforcement authorities.

6 MR. BARAB: Let me just add,  
7 again, from our survey and from our  
8 investigations we found that fire code  
9 enforcement authorities are very good at what  
10 they do frequently and what they mostly do is,  
11 in fact, investigations and inspections that  
12 deal with so-called life safety issues, fire  
13 extinguishers, means of egress, sprinklers,  
14 that type of thing.

15 They aren't nearly as well versed  
16 nor do they do as many inspections in  
17 industrial facilities with so-called  
18 industrial hazards. Generally we found OSHA  
19 has more familiarity with them than fire code  
20 inspectors.

21 MR. BRESLAND: Just following up  
22 on my question, maybe I didn't express it all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that well. I'm really asking about the  
2 adoption of fire codes in various either  
3 states or local municipalities. Do you sense  
4 that there's a difference between the adoption  
5 of the dust codes in terms of the amount of  
6 locality states that have adopted versus the  
7 other fire codes? I guess I'm just asking is  
8 there a difference between the dust codes and  
9 the rest of the codes in their adoption?

10 MS. BLAIR: No. What the states  
11 will do is they will either adopt NFPA 1 or  
12 the International Fire Code and that brings  
13 with them -- either of those two codes brings  
14 with them an inclusion of the standards  
15 particular to dust.

16 One of the things that makes dust  
17 a little different is that there is a  
18 provision in the general coverage section of  
19 the International Fire Code that requires a  
20 permit for any facility that handles more than  
21 600 pounds of combustible dust. What we have  
22 seen, and this was specifically the case in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 North Carolina and Indiana, they adopted the  
2 International Fire Code but then they wrote a  
3 codicil that says, "We delete paragraph number  
4 such and such," which was the requirement for  
5 permits.

6 MR. BRESLAND: One final question.

7 In talking about the traffic accident at West  
8 Pharmaceutical, and that involved dust that  
9 had settled in the false ceiling, in a perfect  
10 world what would have prevented that from  
11 happening? When I talk about a perfect world  
12 I'm talking about either the NFPA codes or an  
13 OSHA regulation or something else. What  
14 really would have stopped that from happening  
15 without the knowledge of the hazards by the  
16 facility managers?

17 MS. BLAIR: Well, I don't know if  
18 you could prevent it without the facility  
19 managers being aware of the hazards but if  
20 they consulted -- if the guys who designed the  
21 building for them -- West did not design the  
22 building. They hired an engineering

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 contractor to design the building.

2 If they had told the engineering  
3 contractor, "This is the material we are  
4 handling," and that contractor had consulted  
5 in NFPA 654, one of the things that would have  
6 jumped right out at them, because it did us,  
7 was that you do not put a suspended ceiling  
8 above a processing area where you are handling  
9 dust.

10 If the Material Safety Data Sheet  
11 had included a warning on it, then we believe  
12 perhaps the employees who routinely went above  
13 that ceiling and saw the dust there could have  
14 known that this might be a problem, "Let me  
15 call it to somebody's attention and let's  
16 clean it up or do something."

17 They cleaned this place  
18 constantly. They just never cleaned above the  
19 ceiling tiles. All they did was replace them  
20 when they look dingy. They were really  
21 concerned with what was below the ceiling  
22 looking pristine and clean.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BRESLAND: Okay. Thank you.

2 MS. BLAIR: One question that I  
3 have is you mentioned that when OSHA was  
4 created it adopted many of the NFPA standards  
5 and other voluntary standards at the time.  
6 Why didn't they adopt the combustible dust  
7 standard at that time. I would like to ask  
8 Mr. Hoyle to answer that question.

9 MR. HOYLE: Well, it was a long  
10 time ago and we don't have specific  
11 information other than that the fact is that  
12 the majority of the regulations adopted by  
13 OSHA when they were first created were, in  
14 fact, consensus codes just like NFPA 484 and  
15 654. In fact, a great deal of those still  
16 exist today and make up a big chunk of the  
17 safety rules in the country. Our findings are  
18 that these did exist at that time or their  
19 predecessors.

20 They are very mature codes. Well  
21 understood and widely universally recognized  
22 as constituting good practice and a standard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of care. However, while OSHA has adopted many  
2 NFPA and other consensus codes, they just  
3 haven't adopted these. That is the extent of  
4 our findings.

5 CHAIRMAN MERRITT: Thank you.  
6 Anybody else have a question? Okay. Thank  
7 you very much, panel. I appreciate that. Do  
8 we want to take a break or move on? Let's go  
9 ahead and move on. If I would I would like to  
10 call on Mr. Barab to report on the  
11 recommendations being presented.

12 MR. BARAB: Thank you, Madam  
13 Chairman, board members, Mr. Warner. I will  
14 now present proposed recommendations. CSB  
15 recommendations are based on the findings of  
16 our investigations. They are the primary  
17 tools used by the board to improve safety and  
18 prevent similar incidents that can endanger  
19 lives, communities, or the environment.

20 CSB recommendations may be  
21 directed to businesses, trade associations,  
22 safety organizations, labor unions, Government

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agencies, or other affected parties.

2 As Ms. Blair described in her  
3 presentation, the report found that inadequate  
4 controls in environments where combustible  
5 dust exist are responsible for a significant  
6 number of deaths and injuries as well as  
7 property damage and job loss around the  
8 country.

9 CSB investigators have concluded  
10 that combustible dust explosions pose a  
11 serious problem and that existing mechanisms  
12 are not adequate to address these problems on  
13 a national scale. To address these issues  
14 this report contains recommendations that if  
15 thoroughly implemented will have a significant  
16 impact on combustible dust hazards throughout  
17 this country.

18 I will now explain and read the  
19 recommendations. The study's most significant  
20 recommendations, those which we expect to have  
21 the broadest impact in preventing similar  
22 incidents, are addressed to the Occupational

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Safety and Health Administration, or OSHA.

2 The first recommendation that OSHA  
3 derives from five key findings which I would  
4 like to take a moment to explain. First, dust  
5 explosions are a serious hazard in American  
6 industry. Second, that although NFPA  
7 standards are widely recognized as effective  
8 and have been widely, although not universally  
9 adopted by most states and localities, they  
10 are seldom enforced by state and local fire  
11 officials in industrial settings.

12 Third, there is no national fire  
13 code and there is no federal agency with the  
14 authority to mandate the adoption of fire  
15 codes where they do not currently exist, nor  
16 the enforcement of those codes where they are  
17 not currently being enforced.

18 Fourth, OSHA is the only federal  
19 agency that has the authority to set and  
20 enforce national work place safety standards  
21 that will protect employees from the hazards  
22 of combustible dust. Finally, that no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comprehensive federal regulations currently  
2 exist that directly empower OSHA to enforce  
3 the requirements of the voluntary consensus  
4 standards issued by NFPA to prevent  
5 combustible dust explosions in general  
6 industry.

7           Therefore, in order to have the  
8 maximum national impact on combustible dust  
9 hazards, this report recommends the  
10 Occupational Safety and Health Administration  
11 issue a standard designed to prevent  
12 combustible dust fires and explosions in  
13 general industry.

14           Base this standard on current  
15 National Fire Protection Association Dust  
16 explosion standards including NFPA 654 and  
17 NFPA 484 and include at least the following:  
18 hazard assessment, engineering controls,  
19 housekeeping, building design, explosion  
20 protection, operating procedures, and worker  
21 training.

22           The second proposed recommendation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to OSHA addresses hazard communication.  
2 Material Safety Data Sheets are a key tool for  
3 managers and workers to learn about the  
4 hazards of the materials they work with.

5 The CSB incident investigation and  
6 survey found that OSHA's Hazard Communication  
7 Standard does not adequately or clearly  
8 address combustible dust hazards and that  
9 MSDSs generally do not adequately warn about  
10 dust explosion hazards. Nor do they provide  
11 sufficient information about safe work  
12 practices or reference appropriate guidance  
13 documents.

14 This report, therefore, recommends  
15 that OSHA revise the Hazard Communication  
16 Standard 1910.1200, to clarify the Hazard  
17 Communication Standard covers combustible dust  
18 including those materials that may reasonably  
19 be anticipated to generate combustible dust  
20 through downstream processing or handling.

21 And that OSHA require Material  
22 Safety Data Sheets to include the hazards and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the physical properties of combustible dust as  
2 well as clear information on safe handling  
3 practices and references to consensus  
4 standards.

5 The third proposed recommendation  
6 to OSHA addresses shortcomings in the globally  
7 harmonized system of classification and  
8 labeling of chemicals, or GHS, which is  
9 intended to address the uniformity of chemical  
10 hazard communication.

11 The GHS is led by the United  
12 Nations Economic Commission of Europe. OSHA  
13 serves as the official U.S. representative to  
14 the GHS. As we heard according to the  
15 findings of this investigation, the globally  
16 harmonized system like the Hazard  
17 Communication Standard, does not adequately  
18 address the explosion potential of combustible  
19 dust.

20 The report, therefore, recommends  
21 that OSHA communicate to the United Nations  
22 Economic Commission for Europe the need to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 amend the globally harmonized system to  
2 address combustible dust hazards by defining  
3 combustible dust specifying the hazards that  
4 must be addressed in chemical information  
5 sheets and addressing the physical properties  
6 that must be included on a chemical  
7 information sheet pertinent to combustible  
8 dust.

9 The fourth recommendation,  
10 proposed recommendation to OSHA, addresses the  
11 training of OSHA personnel. Enforcement of  
12 standards or safe work practices requires  
13 educators inspectors.

14 The report found that OSHA  
15 personnel are generally not sufficiently aware  
16 of the hazards of combustible dust and that  
17 the OSHA training institute, which is  
18 responsible for providing training to OSHA  
19 inspectors, does not currently offer courses  
20 in preventing combustible dust hazards.

21 The fourth proposed recommendation  
22 to OSHA, therefore, requests that the agency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provide training through the OSHA training  
2 institute that addresses the recognition and  
3 prevention of combustible dust hazards.

4 Now, the OSHA rulemaking process  
5 is lengthy, yet the deadly hazards of  
6 combustible dust continue to threaten workers  
7 today. We know how to prevent these hazards  
8 now and interim steps are needed while an OSHA  
9 standard is being developed.

10 Although OSHA has prepared a very  
11 thorough information bulletin about the  
12 hazards of combustible dust, the agency has  
13 conducted no outreach based on this  
14 publication. OSHA has a tool, however, from  
15 the Special Emphasis Program, that the agency  
16 can use on an interim basis to educate  
17 businesses at risk and where necessary to  
18 enforce existing OSHA standards or other  
19 recognized best practices.

20 The final recommendation to OSHA  
21 request that during the period that a  
22 combustible dust standard is being developed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identify manufacturing industries at risk and  
2 develop and implement a national Special  
3 Emphasis Program on combustible dust hazards  
4 in general industry.

5 Include in the Special Emphasis  
6 Program an outreach program focused around  
7 information contained in OSHA Safety and  
8 Health Information Bulletin, Combustible Dust  
9 and Industry, Preventing and Mitigating the  
10 Effects of Fires and Explosions.

11 Finally, the report makes one  
12 recommendation to the American National  
13 Standards Institute Z400.1 Committee which  
14 develops voluntary consensus standards to  
15 chemical manufacturers used in the development  
16 of Material Safety Data Sheets.

17 Similar to the report's findings  
18 regarding OSHA's Hazard Communication Standard  
19 the report found that the ANSI standard does  
20 not adequately address the hazards of  
21 combustible dust. The report, therefore,  
22 recommends that the ANSI committee modify ANSI

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Z400.1, American National Standard for  
2 Hazardous Industrial Chemicals Material Safety  
3 Data Sheets, to recommend that MSDSs include  
4 information on combustible dust hazards, safe  
5 handling practices, and references to relevant  
6 buyer codes.

7 Also, hazard information about the  
8 by-products of materials that may generate  
9 combustible dust due to processing or  
10 handling, and identification of combustible  
11 dust hazards and selection of physical  
12 properties to include Material Safety Data  
13 Sheets.

14 Madam Chairman and board members,  
15 this concludes my presentation of the report's  
16 proposed recommendations. Thank you for your  
17 consideration and I would be happy to answer  
18 any questions.

19 CHAIRMAN MERRITT: Thank you, Mr.  
20 Barab.

21 Mr. Wright, do you have a  
22 question?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. WRIGHT: Yes, Madam Chairman.

2 I think this is a more appropriate time for  
3 my question since you have now discussed the  
4 recommendations. I was probably ahead of  
5 myself earlier.

6 Can you please explain why you  
7 think that an OSHA Special Emphasis Program  
8 would not be adequate to address this issue  
9 versus rule making standard?

10 MR. BARAB: Yeah, we looked at  
11 that in some depth. OSHA Special Emphasis  
12 Programs are certainly useful, particularly in  
13 situations -- and this is where they have  
14 mostly been used -- particularly in situations  
15 where you have an OSHA standard already that  
16 is not being well enforced.

17 Where OSHA has detected a high  
18 number of injuries or fatalities, they will  
19 often engage in a special emphasis program  
20 which is really a targeted inspection program  
21 plus targeted outreach.

22 The second place that OSHA's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Special Emphasis Programs have been successful  
2 is where OSHA is in the process of developing  
3 a standard. For example, they had a highly  
4 successful Special Emphasis Program while they  
5 were developing the standard that covers  
6 blood-born pathogens. They also had a Special  
7 Emphasis Program while they were developing  
8 the Process Safety Management Standard.

9 Again, as I've said, we feel that  
10 an OSHA standard is the best solution here but  
11 that in the interim it would certainly be  
12 appropriate and very useful and necessary for  
13 a special emphasis program to be conducted.

14 CHAIRMAN MERRITT: How would a  
15 Special Emphasis Program differ from, say,  
16 just an outreach program?

17 MR. BARAB: Well, OSHA does -- you  
18 mean an outreach program from OSHA  
19 specifically?

20 CHAIRMAN MERRITT: Yes.

21 MR. BARAB: I suppose OSHA's  
22 Outreach Programs, for example, OSHA has quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a few outreach programs and sometimes they are  
2 housed in alliances and things like that.  
3 Those are mostly focused on getting  
4 information out to the targeted industries,  
5 the industries at risk through fact sheets,  
6 through information either from OSHA or  
7 private associations.

8 I think the Special Emphasis  
9 Program differs in that it also gets  
10 information out there but it also has an  
11 enforcement component. Inspectors are  
12 basically instructed to identify and target  
13 specific companies that may be at risk and to  
14 then do inspections and where necessary to  
15 enforce whatever best practices or, in some  
16 cases, standards exist.

17 CHAIRMAN MERRITT: Thank you.

18 Mr. Wark.

19 MR. WARK: Yes. How does the dust  
20 problem rank in priority with other types of  
21 work place issues that OSHA is dealing with?

22 MR. BARAB: That wasn't really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 within the scope of our commission to  
2 determine exactly where the dust problem rated  
3 in terms of either the concerns of OSHA. Nor  
4 did we really look at this as trying to  
5 identify the greatest health and safety  
6 problem facing American workers today.

7 The staff was tasked with looking  
8 at the combustible dust hazard problem and  
9 trying to determine whether it was, in fact, a  
10 significant problem in American industry and  
11 whether there reasonable ways to address this  
12 problem. Again, through two years of study we  
13 found yes to both questions.

14 It was, in fact, a serious problem  
15 and there was a reasonable way to address the  
16 problem without too much burden either on OSHA  
17 or on industry. Again, it really wasn't a  
18 matter of us really trying to scope this  
19 within OSHA's priorities. OSHA will do that  
20 and OSHA do that. We, again, determined that  
21 it is, in fact, a serious problem that can be  
22 relatively easily prevented and certain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 measures need to be taken in order for that to  
2 happen.

3 CHAIRMAN MERRITT: Mr. Bresland.

4 MR. BRESLAND: If OSHA accepts our  
5 recommendation on the Special Emphasis  
6 Program, do they have the resources to get out  
7 and look at the multitude of facilities that  
8 may have potential for a combustible dust  
9 explosion?

10 MR. BARAB: It's certainly -- I  
11 mean, personally you would have to ask OSHA  
12 that but it is certainly is a difficult  
13 problem for I think any agency or any  
14 association, for that matter, to  
15 comprehensibly cover all entities at risk.

16 As Ms. Blair identified, there is  
17 an enormous diverse variety of both materials  
18 and industries that are at risk. It would  
19 require a considerable amount of resources to  
20 really address that.

21 OSHA has done a small special  
22 emphasis program in one area in Pennsylvania,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one area office in Pennsylvania. It required  
2 an enormous amount of resources. They not  
3 only had to identify all the companies  
4 involved at risk. They also had to get extra  
5 training outside of OSHA in order to do that  
6 properly.

7 It would be kind of a heavy lift  
8 for OSHA. It would certainly be a heavy lift  
9 over a long period of time. That is part of  
10 the reason why we identified it and  
11 recommended it as an interim program while a  
12 permanent standard is being developed.

13 MR. BRESLAND: I guess this  
14 follows up on Mr. Wark's question. Without  
15 detracting from the tragedies that we've seen  
16 and we have discussed here, my recollection is  
17 that work place fatalities run around 6,000  
18 per year. I think that number is  
19 approximately correct. If that number is  
20 correct, where do you think OSHA would place  
21 their priority in terms of this particular  
22 recommendation?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BARAB: Well, again, we didn't  
2 look at that, nor were we tasked with figuring  
3 out what OSHA's priorities were. OSHA has  
4 issued many standards that don't necessarily  
5 affect a large number of workers throughout  
6 society. Again, OSHA, just as we were tasked,  
7 they look at whether there are problems that  
8 can be easily eliminated or minimized.

9 In this case we feel that given  
10 that there is a problem out there, given that  
11 you have these NFPA codes, which technically  
12 are very good and well recognized, they are  
13 just not being enforced, it would not be a  
14 heavy lift for OSHA to adopt those NFPA codes  
15 as enforceable standards.

16 OSHA has many issues it needs to  
17 deal with and it needs to figure out its  
18 priorities but this is definitely a problem in  
19 American industry and it is a problem that can  
20 be relatively easily addressed.

21 MR. HOYLE: If I may, let me add  
22 to that, Board Member Bresland. One of the --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are two things going on that OSHA and  
2 the Chemical Safety Board have to focus on in  
3 accident prevention. There are two kind of  
4 accidents. There is the frequent accidents,  
5 the injured workers. Sometimes they are  
6 called slips, trips, and falls.

7 There is a very different kind of  
8 accident which is typically the ones we  
9 examine at the Chemical Safety Board. These  
10 are low-frequency high-consequence events. If  
11 we want to -- it depends what measuring stick  
12 we use. If we use the frequency measuring  
13 stick, we'll get one result.

14 If we use the potential for  
15 catastrophic results, which I think have been  
16 described in detail here today, I think we get  
17 a very different answer that the insidious  
18 nature of secondary dust explosions, which  
19 have demonstrated that they destroy entire  
20 manufacturing facilities, often with loss of  
21 jobs, companies going out of business, as well  
22 as death and injuries, I think we get a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different answer to the question. It's what  
2 measuring stick we use.

3 MS. BLAIR: Also I would like to  
4 add one other point since we've had three  
5 questions now directed at the comparative risk  
6 issue. In its preamble to the promulgation of  
7 the Grain Handling Facility Standard, OSHA  
8 cited some statistics on incident numbers and  
9 deaths and injuries.

10 If you look at the relatively --  
11 we did not do a normalization of our data  
12 based on the number of facilities covered  
13 because, frankly, we don't know how many  
14 facilities are covered. We know there are  
15 many.

16 If you compare just what they  
17 issued themselves in the Grain Standard on  
18 preamble to the incidents and injuries and  
19 fatalities we report, they are pretty similar  
20 if there is a case where OSHA did promulgate a  
21 standard based on a fairly similar frequency  
22 and impact.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BRESLAND: One final question  
2 for Mr. Barab. You talked about NFPA 654 and  
3 484 being used as a model for regulation. How  
4 difficult is it for OSHA to take those  
5 standards and just put them into their  
6 regulatory book and say, "Here it is?"

7 MR. BARAB: Well, they can't just  
8 plop it in over night. First of all, OSHA has  
9 quite a bit of experience with adopting NFPA  
10 standards. Again, as Mr. Hoyle mentioned  
11 earlier, a good number of -- probably a  
12 majority of OSHA standards did come from NFPA  
13 or other standard making associations like  
14 NFPA.

15 Secondly, OSHA has a very  
16 extensive public comment period before any  
17 standard. There are a number of issues, scope  
18 and coverage and things that OSHA would have  
19 to determine that aren't necessarily well  
20 determined, well defined in the NFPA standard.

21 Again, OSHA's got a very extensive  
22 public comment period where they take comments

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the public, from experts, and the OSHA  
2 staff looks at those comments. They study  
3 those comments and they determine how to write  
4 the standard. This is something that OSHA has  
5 done and has to do for every standard. Again,  
6 they are pretty good at doing that, at  
7 defining those terms.

8 CHAIRMAN MERRITT: Are there other  
9 questions from the board? Thank you very  
10 much, Mr. Barab.

11 If there are no other questions  
12 from board members, what I would like to do is  
13 open the floor for questions -- not questions,  
14 not questions, comments from the public. We  
15 would ask that the public not question the  
16 board or the staff but to make comments about  
17 the report that has been presented.

18 Please state your name and spell  
19 your name so that we can get it for the  
20 record.

21 Yes, sir. I don't have a list.  
22 Do I have a list of names? Here it comes now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We'll go ahead and take this gentleman and  
2 then I'll go by the list. Thank you.

3 MR. KIRBY: Thank you, Madam  
4 Chairman and board. I'm Dave Kirby of Baker  
5 Risk. As you can tell I've been around a long  
6 time. I'm a member of 654 committee and have  
7 been for some 20 years. Also a member of NFPA  
8 68 and 69 committee for some 20 years, 25. I  
9 was chairman of that committee for 10 years.

10 I'm also, not much to do with this  
11 issue, but a member of NFPA 30 Flammable  
12 Combustible Liquids Code. I worked 22 years  
13 for Factory Mutual primarily as a field  
14 inspector and 20 years for Union Carbide.  
15 Retired from Union Carbide now.

16 I've seen a lot of plants, good  
17 and bad. I've investigated several  
18 explosions, some unfortunately with  
19 fatalities. It does make an impact. I've  
20 been a consultant on all of these horrible  
21 losses that were showing up here.

22 But jumping back to NFPA 654, when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 you leave out of this room, please look  
2 upwards to the high bay area of this building  
3 and look up at the rafters which are probably  
4 over 100 feet up there. I was recently in a  
5 plant where the roof was approximately 200  
6 feet. Well, it's 118 feet actually from floor  
7 to ceiling.

8           The plant had a citation for poor  
9 housekeeping in the rafters joists and they  
10 were trying to enforce NFPA 654, corrected for  
11 bulk density. You know, 654 says 132nd of an  
12 inch with 75 pounds per cubic foot bulk  
13 density and then you can correct a thicker  
14 layer, thicker accumulation. Turns out it  
15 calculates to be 116th of an inch up some 120  
16 feet above grade.

17           There was an interesting book out  
18 a few years ago called "The Death of Common  
19 Sense." I think Phillips was the writer.  
20 Maybe some of you have read that book, but  
21 trying to enforce a 116th of an inch dust  
22 accumulation as being hazardous which is 118

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feet above the floor is, to me, the death of  
2 common sense.

3 We did not intend that number we  
4 have in NFPA 654 to address those extremely  
5 high ceilings. Also, in 654 this bulk density  
6 issue I thought we only worried about it down  
7 to about 15 pounds per cubic foot. I recently  
8 was made aware of a situation where the dust  
9 or the lint or whatever it is has a bulk  
10 density of about five pounds per cubic foot.

11 Now, in those instances, even  
12 though I've had a lot of experience, you ask  
13 me how much is too much up 118 feet, I really  
14 don't know. No large-scale tests have been  
15 done. How low does the bulk density apply?  
16 At some point the heat of combustion is so low  
17 that it is primarily a flash fire situation.  
18 If the ceiling is fairly high, it's not going  
19 to hurt anybody. Might not do anything more  
20 than open a few sprinklers.

21 My point is that in the  
22 enforcement of 654, and we have to work on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 654. We have to address some of these issues.

2 We do have it mostly in the appendix now.

3 That means that you use judgment in applying

4 it. My concern is that if OSHA gets the bid

5 in their mount and runs with this, they will

6 try to enforce it at the limits of the death

7 of common sense.

8 CHAIRMAN MERRITT: Thank you, Mr.

9 Kirby.

10 At this time I would like to call

11 Tammy Miser.

12 MS. MISER: Hi. I'm Tammy Miser,

13 T-A-M-M-Y M-I-S-E-R. I'm not quite as good a

14 speaker as he is and you've heard everything

15 that I got ready to say but I would just like

16 to enforce how important it is for these

17 regulations and I am here to represent the 14

18 families that lost a loved one and also the 81

19 that are still dealing with their injuries.

20 I basically feel that -- I'm

21 nervous so you'll have to excuse me. You

22 pretty much heard everything. We also know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the NFPA standards are there and they are  
2 really good standards. I read through them  
3 and don't understand everything but did my  
4 best. The facts are that the employers are  
5 following these standards so we have to do  
6 something to get them to do it.

7 I think the only way is for you  
8 guys to help us out by making recommendations  
9 to OSHA so that we can have some really good  
10 regulations for this. It's just basically I  
11 know that you guys have discussed numbers of  
12 people.

13 This affects more than just one  
14 family. It affects generations of families.  
15 We are just asking you to help us, to help  
16 restore our faith in governmental humanity and  
17 help us to ensure that we are not going to  
18 lose other family members and have these types  
19 of injuries.

20 CHAIRMAN MERRITT: Thank you, Ms.  
21 Miser.

22 Steve Sallman. Maybe we should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have ordered these by height.

2 MR. SALLMAN: Thank you and good  
3 morning everyone. Thank you for the  
4 opportunity to be here. My name is Steve  
5 Sallman. My last name is spelled S-A-L-L-M-A-  
6 N. I appear before you this morning on the  
7 issue of combustible dust hazards in the work  
8 place.

9 I'm a safety and health specialist  
10 for the United Steel Workers. I have spent  
11 part of my 14-year career dealing with  
12 combustible dust issues. USW has  
13 approximately 850,000 members in the United  
14 States, Canada, and the Caribbean.

15 We represent members in virtually  
16 every type of industry which includes steel,  
17 paper, forestry, rubber, energy, mining,  
18 aluminum, and other non-ferrous metals,  
19 chemicals, plastic, glass, healthcare  
20 services, and even public employment.

21 We come here today to reinforce  
22 the need for an OSHA standard on combustible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dust. Let me explain why. First, combustible  
2 dust is a real serious problem in all of  
3 general industry. Secondly, voluntary  
4 compliance with consensus standards. As you  
5 have just heard from the board's members, or  
6 from the investigator's team, it's just simply  
7 not working.

8 It will not take much time for me  
9 to speak about particularly what's happening  
10 in an industry today as I have a fellow  
11 brother, Jim Frederick, who will be speaking  
12 about that later.

13 But attached to my comments that I  
14 will present are citations that were issued by  
15 Kentucky OSHA for two separate flash fires  
16 that took place on February 25th and September  
17 21st of 2005. Both of these flash fires took  
18 place at the Continental General Tire Plant in  
19 Mayfield, Kentucky, and it involved phenolic  
20 resin that you heard here today.

21 This brings me to what I would  
22 like to speak mostly about today which is why

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 OSHA needs a combustible dust standard. Today  
2 OSHA relies upon the General Duty Clause which  
3 essentially states that the employer has to  
4 provide a safe work place free from recognized  
5 hazards. If this is not a recognized hazard,  
6 I don't know what is.

7 Unless you work in a grain  
8 handling facility -- I came from the state of  
9 Iowa. I know about a grain hazard. That is  
10 covered specifically by 1910.272 under OSHA  
11 standard. OSHA recognizes industry needed a  
12 standard to address combustible grain dust.  
13 The standard came just like the rest of OSHA's  
14 standards, lives were lost and blood was shed.

15 The standard requires specific  
16 housekeeping and preventative maintenance. It  
17 also requires employers to immediately remove  
18 fugitive grain dust accumulations. The  
19 problem with today's approach with OSHA it  
20 needs to back up a general duty citation with  
21 some type of a consensus standard as we heard  
22 about here today, 654 and so on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Although the standard is a good  
2 guideline, it is simply that, just a  
3 guideline. Employers and employees and their  
4 representatives also need to be made aware  
5 that this guideline even exist and what does  
6 it consist of.

7           Even more troubling is that you  
8 simply cannot go to OSHA's webpage or  
9 standards book for this guideline because you  
10 need to know that you have to purchase this  
11 from NFPA. This sets up hurdles for failure.

12          If you are in the grain handling industry you  
13 can refer to OSHA's webpage and the standards  
14 book for the regulation.

15           Unfortunately, when it comes to  
16 combustible dust, all OSHA has in the general  
17 industry is the housekeeping standard under  
18 22(a)(1) which essentially says that they have  
19 to keep the work place clean and sanitary.

20           Unlike the Grain Handling Standard  
21 which I specifically mentioned, to make  
22 matters worse OSHA inspectors rarely receive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 any training on NFPA's Combustible Dust  
2 Standard. When OSHA has a standard, employers  
3 go to greater lengths to understand the  
4 requirements and resources are provided by the  
5 employer to make sure that they achieve  
6 compliance.

7 Without a standard, upper  
8 management will typically not commit the  
9 resources to achieve compliance but more  
10 importantly to protect their employees.

11 Today we ask for everyone's  
12 commitment on the board to support an OSHA  
13 standard on combustible dust. We cannot have  
14 another tragedy like the one that injured  
15 seven employees at CTA Acoustics Manufacturing  
16 Plant in Corbin, Kentucky. Thank you very  
17 much.

18 CHAIRMAN MERRITT: Thank you, Mr.  
19 Sallman.

20 Next is Mr. Jim Frederick.

21 MR. FREDERICK: Good morning and  
22 thank you. I'm Jim Frederick, F-R-E-D-E-R-I-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 C-K. I like Steve Sallman work with the  
2 United Steel Workers Health and Safety  
3 Environment Department in Pittsburgh,  
4 Pennsylvania.

5 Just to add a few words to what  
6 Mr. Sallman had to say, again, thank you to  
7 the board for the opportunity to speak this  
8 morning on this important topic. The  
9 leadership of our union as well as our members  
10 greatly appreciate the existence of the board  
11 in helping us achieve our goals of work place  
12 health and safety, as well as a safe  
13 environment for the families of our members.

14 Each day our members go to work in  
15 a significant number of work places that have  
16 seen CSB investigations so we know the  
17 importance of the work that is done by the  
18 board and the staff. We know that the efforts  
19 from the CSB have helped to contribute to  
20 improvements made in the work places that have  
21 had these tragedies. For that we give you a  
22 thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We also know that the work  
2 continues. Just this past weekend an incident  
3 occurred in South Carolina that at least one  
4 investigator as well as some of our staff are  
5 at today. Unfortunately it's in a work place  
6 where the employer is not cooperating with the  
7 union in our investigation.

8           It's severe chemical exposure. We  
9 really applaud the efforts of the Safety Board  
10 to continue to help us with that investigation  
11 as we are having a difficult time finding out  
12 the root causes ourselves.

13           Regardless of the work place, our  
14 members face a multitude of health and safety  
15 hazards. As you have indicated, we have about  
16 850,000 members in North America. That is  
17 about 8,000 work places where we represent  
18 workers and there is a large array of hazards  
19 including the hazard of combustible explosive  
20 dust.

21           I would like to read you an  
22 excerpt of an accident investigation report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the USW related incident earlier this  
2 year. At approximately 8:35 a.m. on June 22,  
3 2006, Clay Armstrong, Jim English, and Nancy  
4 Gordon were on their way from a manlift to  
5 apply their lock-out locks to a job lock box.

6 As Clay and Jim passed by waste  
7 wood feed chute No. 2 furnish, an explosion in  
8 the system blew the door open and flames  
9 erupted onto the deck. Nancy was partially  
10 through the floor on the manlift and was only  
11 moderately exposed resulting in burns to her  
12 arms and face. She had gloves on at the time.

13 Jim was past the door on the chute  
14 and Clay was directly in front of it and was  
15 engulfed in flames. Jim managed to stop,  
16 drop, and roll and tried to get back to help  
17 Clay but could not see him through the smoke.

18 John Smith was the first person to  
19 reach Clay and put water on him to extinguish  
20 the fire. Plant rescue responded packaging  
21 Clay and transporting him to the hospital in  
22 the company ambulance. This is one example of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a dust exposure and subsequent incident that  
2 our union has experienced in recent months.

3 Our union works diligently with  
4 our local unions and our employers to  
5 implement a variety of health and safety  
6 programs to address work place health and  
7 safety hazards that exist. In virtually all  
8 of the work places that we represent the union  
9 has jointly engaged to some extent with the  
10 employer to address work place health and  
11 safety.

12 In the U.S. the joint efforts are  
13 largely because of language that the union has  
14 negotiated in collective bargaining agreements  
15 with those facilities. These joint programs  
16 can range from a joint health and safety  
17 committee that meets periodically to  
18 collectively review concerns to very complex  
19 and systematic approaches to jointly address  
20 work place health and safety that involved the  
21 union in almost all aspects of work place  
22 health and safety.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The union also utilizes a union  
2 only mechanism to ensure that the interests of  
3 our members are properly represented in these  
4 work places when it comes to health and  
5 safety.

6           Our efforts are great but sadly  
7 the union continues to experience far too many  
8 members still at work because of exposure to  
9 health and safety hazards. Our records  
10 indicate that one member of our union is a  
11 victim of a fatality approximately every 12  
12 days.

13           About 30 works per year arrive at  
14 work like any other day but because of  
15 exposure to unsafe conditions do not return  
16 home. Each of these incidents is a tragedy on  
17 many levels.

18           In addition to the fatalities our  
19 members also, of course, experience severe  
20 injuries, illnesses, and many, many near  
21 misses to these hazardous situations. In  
22 recent months we have experienced combustible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dust injuries and several near-miss events.

2 Our union investigates almost  
3 every fatality to one of our members. Through  
4 these investigations we found that in the  
5 majority of cases a direct violation of an  
6 OSHA standard was not the primary causal  
7 factor for the incident. It's not that the  
8 hazards that are addressed by OSHA standard  
9 don't exist in these work places, but rather  
10 that the existence of the end enforcement of  
11 OSHA standards work.

12 There are many, many more health  
13 and safety hazards than there are OSHA  
14 standards. In many cases there are voluntary  
15 consensus standards or other voluntary tools  
16 available for employers to address those  
17 hazards.

18 Several years ago OSHA convened a  
19 Standards Advisory Committee to address one  
20 such hazard of worker exposure to metal-  
21 working fluids. Through two plus years of  
22 deliberations and investigation the Standards

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Advisory Committee recommended that OSHA move  
2 forward with the standard. OSHA has chosen  
3 not to but there are many voluntary tools  
4 available to employers to utilize.

5 Unfortunately, in work place after  
6 work place that we represent workers, these  
7 voluntary standards are not known by the  
8 employer or by the workers and, thus, there is  
9 no opportunity for us to protect our members  
10 from those.

11 In work places where they are  
12 known, employers often say to us that there is  
13 no problem and they are not required to follow  
14 any of these voluntary rules. If there were a  
15 problem, there would be an OSHA standard.

16 Certainly some employers do comply  
17 with voluntary recommendations. We have first  
18 hand experience with many of these instances  
19 where employers do try to follow the voluntary  
20 recommendations. Often those employers say to  
21 us that this puts them at a competitive  
22 disadvantage because of the resources that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 they expend complying with the voluntary  
2 consensus standards that other employers  
3 choose not to.

4 Thank you again for the  
5 opportunity to share these brief remarks. The  
6 USW is happy to work with the Chemical Safety  
7 Board on this and other issues and look  
8 forward to further deliberations on this  
9 issue.

10 It is our sincere hope that the  
11 members of the CSB agree that work place  
12 exposure to combustible dust hazards exist and  
13 that you move forward with the recommendations  
14 for OSHA to initiate rulemaking to protect  
15 workers like Clay Armstrong, Jim English, and  
16 Nancy Gordon. Thank you.

17 CHAIRMAN MERRITT: Thank you, Mr.  
18 Frederick.

19 Last I have Jackie Noel.

20 MS. NOEL: Thank you and good  
21 morning. Where is my technical assistant  
22 here? That's great. Thank you so much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           It is a pleasure to be here this  
2 morning. I do welcome the opportunity to  
3 speak to the Chemical Safety Board. I am the  
4 Safety Director at the United Food and  
5 Commercial Workers International Union based  
6 here in Washington, D.C. We represent about  
7 1.4 million workers in the U.S. and Canada.

8           I am here today to talk about  
9 approximately 87,000 workers in more than a  
10 dozen diverse food industries. I actually had  
11 our research folks print out those 87,000  
12 workers and the stack, as you can see, is  
13 fairly thick.

14           I would also contend that this is  
15 representative of tens of thousands of more  
16 workers who are not in unions who are in these  
17 diverse food industries where combustible dust  
18 can be and is a severe safety and health  
19 problem.

20           Let me give you a taste of some of  
21 this listing here. Animal feeds  
22 manufacturing, flour milling, a couple

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 companies on the list are ADM and MPG in  
2 Cargill. I actually have walked through that  
3 MPG plant which is in Atchison, Kansas.

4 It's a distilling fermenting plant  
5 that had recently -- this was in 2003 --  
6 acquired a flour plant, a flour mill as well.

7 It was my first opportunity to be on a  
8 manlift. I now know what that is.

9 Flour was everywhere. This is an  
10 old plant. Walking up the stairs flour dust  
11 just coated everything. In 2003 they had two  
12 explosions. One actually while OSHA was there  
13 investigating. Four were injured and one  
14 seriously.

15 I think another aspect of this is  
16 to of those workers who were injured were  
17 outside contractors so not only do you have  
18 the employees of the host employer but you  
19 have contractors on site often doing the work  
20 that could either lead to an explosion or they  
21 are in harms way when this happens.

22 I'll just read to you from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 citation. This was a 5(a)(1) citation as your  
2 investigators very pointedly pointed out.  
3 "While we have a grain standard that covers  
4 grain elevators and mills, clearly this one  
5 was not covered in 2003 because they used the  
6 5(a)(1).

7 Employees working on track 753 of  
8 the gluten starch department receiving area  
9 were exposed to fire explosion hazard.  
10 Adequate means were not taken to prevent  
11 static electricity. During the transfer  
12 process ignition of flour dust occurred  
13 resulting in an explosion." I am happy to put  
14 anything in the record that is necessary for  
15 folks to investigate this explosion as well.

16 We also process soy beans. We  
17 make and refine oils. We make breakfast  
18 cereals and we represent commercial bakeries,  
19 breweries, and distilleries. Our workers  
20 bread fish. They work in areas that dry bone  
21 and blood in the rendering department of meat  
22 slaughter plants. They dry and dehydrate food

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and they manufacture pasta.

2 We learned a lot from the grain  
3 elevator explosions and subsequent standard  
4 making. In 1988, as was referred to here, the  
5 1910.272 standard for grain dust, was passed.

6 It covered 2 million farm workers in grain  
7 elevators.

8 In terms of would a voluntary  
9 standard work, I wish I had brought it. I  
10 like show and tell. I found a book in our  
11 file getting ready for this that was about  
12 this thick that was published in 1971 by the  
13 grain elevator operators, by the trade group,  
14 that laid out clearly in much detail how to  
15 control for explosions. Yet, it wasn't  
16 followed.

17 We know we had hundreds of deaths  
18 in grain elevators. When the standard passed  
19 those went away essentially. I mean, your  
20 figures show that. In terms of voluntary  
21 standards, it seems that the fire prevention  
22 standards are out there. They are not being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 utilized.

2 I think Steve from the Teamsters  
3 well illustrated that employers if they know  
4 about them will go by voluntary standards. If  
5 they have time they will go look up these kind  
6 of voluntary standards. If their trade groups  
7 are on top of it, they may very well know  
8 quite a bit about what is going on.

9 If not forced, many employers will  
10 not control dust as the MPG explosion shows.  
11 I urge and am pleased that the Chemical Safety  
12 Board, a very prestigious board, has done all  
13 of this work that OSHA can take great pleasure  
14 in.

15 You have done the background for  
16 this for OSHA. This is fabulous work over  
17 these two years. Your recommendation that  
18 OSHA do a standard on this, I believe, should  
19 carry a lot of weight and we really want to  
20 support that recommendation so thank you.

21 CHAIRMAN MERRITT: Thank you very  
22 much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           At this time if there are no other  
2 -- there is another? How many others do we  
3 have? Two? If you would please say your name  
4 and who you are affiliated with.

5           MR. CONOVER: My name is David  
6 Conover, C-O-N-O-V-E-R. I'm with the  
7 International Code Council. I didn't plan to  
8 make any comments but when I heard the  
9 excellent presentation this morning from the  
10 staff and the board, I had a couple of remarks  
11 I would like to make specifically with respect  
12 to recommendation six which deals with taking  
13 information to ANSI and in discussions we've  
14 had with the board staff.

15           Again, I would like to commend  
16 them for the work they have done and the  
17 presentation they have made. It is important  
18 to point out that just making a recommendation  
19 to ANSI or NFPA or any other developer that  
20 says, "You ought to do this," you have to be  
21 proactive in taking charge to those entities.

22           Whether it's the board staff or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the board itself or individuals affiliated  
2 with the board, they would literally have to  
3 go to those activities, write proposed  
4 changes, prepare the documentation, etc.

5 To point one, or recommendation  
6 No. 1, dealing with OSHA and our focus at ICC,  
7 is public safety. I know you heard a lot  
8 about NFPA documents, ours, state and local  
9 government enforcement. I'll just make a  
10 comment that I think public safety is a shared  
11 responsibility.

12 It is a shared responsibility by  
13 everybody. Why I don't disagree with  
14 recommendation one dealing with an OSHA  
15 regulation, I think the board needs to  
16 consider how that is conveyed to OSHA in terms  
17 of its preemptive nature to state and local  
18 regulations.

19 I think you saw from the  
20 presentation that there are generally some  
21 states that may have nothing and may not  
22 enforce anything. I think generally you would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 find that there are other states that have, in  
2 fact, adopted effective state-wide codes  
3 dealing with this issue and may, in fact, we  
4 doing a fairly good job with permitting and  
5 inspection.

6 Where I'm leading is there can be,  
7 and has been in the past situations, where  
8 when the Federal Government steps in and says,  
9 "Here is this preemptive regulations. We are  
10 going to take care of this. States that  
11 aren't doing anything are no worse off."  
12 States that are may say, "We are budget  
13 strapped. Geez, if the Federal Government  
14 wants to take care of this, we'll let them go  
15 ahead."

16 When I'm talking about shared  
17 responsibility, I think it's important that  
18 that recommendation to OSHA be that they need  
19 to do it in conjunction with and build upon  
20 state and local regulations and those that  
21 are, in effect, being effectively adopted,  
22 implemented and enforced can be reinforced

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with OSHA rather than preempted.

2 An additional point to make is  
3 those codes may deal with not only the  
4 operation and maintenance of the structures,  
5 but also their design and construction. As  
6 you saw today with the location of a dust  
7 collection system, that is an issue that is  
8 dealt with in permitting and the design and  
9 construction of that facility and those  
10 clearances.

11 I question whether OSHA would, in  
12 fact, be dealing with that or just dealing  
13 with the ONM issues. Again, to summarize, I  
14 think that recommendation one is a good one  
15 but it needs to be ensured that there is  
16 continued share responsibility that works on  
17 top of and effectively with state and local  
18 programs. Thank you.

19 CHAIRMAN MERRITT: Thank you.

20 MR. ORTHEY: Thank you, Madam  
21 Chairman, members of the board, everyone.  
22 Especially to Dan Horowitz for inviting me to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this meeting. My name is Scott Orthey spelled  
2 O-R-T-H-E-Y. I'm the staff manager of the  
3 ASTM Committee on Hazard Potential of  
4 Chemicals.

5 It's ASTM Committee E27. Since  
6 1967 ASTM Committee E27 on Hazard Potential of  
7 Chemicals has developed consensus standards  
8 for diverse testing and predictive procedures  
9 widely used to obtain relevant chemical hazard  
10 properties. Such data form the corner stone  
11 of procedures that assess the hazard  
12 associated with commercial chemical production  
13 and use.

14 Among the standards being written  
15 by Committee E27 are the standard test method  
16 for pressure and rate of pressure rise for  
17 combustible dust, standard test method for  
18 minimum auto-ignition temperature of dust  
19 clouds, standard test method for minimum  
20 explosable concentration of combustible dust,  
21 standard test method for minimum ignition  
22 energy of dust cloud in air, and a new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standard test method for eliminating oxygen  
2 concentration of combustible dust clouds.

3 All these standards are written  
4 within the subcommittee on dust. Today we  
5 also have with us the chairman of the  
6 subcommittee whose is with the Pittsburgh  
7 Research Lab with NIOSH, Ken Cashdollar,  
8 meeting with the committee next week in  
9 Atlanta, Georgia, and we look forward to  
10 creating a dialogue and working with you  
11 towards your goals. Thank you very much.

12 CHAIRMAN MERRITT: Thank you. Do  
13 I have one more? Yes, sir.

14 MR. PRUGH: Richard Prugh,  
15 Chilworth Technology, P-R-U-G-H. May I  
16 respond to a couple of questions by Mr.  
17 Bresland? In response to your question about  
18 the adequacy of NFPA 484, 654.

19 It should be recognized that the  
20 NFPA codes and standards are consensus  
21 documents. NFPA committee members may have  
22 conflicting agendas. The NFPA guidelines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 should be considered to be minimal codes and  
2 standards. Other private sector organizations  
3 such as Factory Mutual and Industrial Risk  
4 Insurers have greater loss prevention agendas  
5 and they have and require significantly higher  
6 standards for their clients.

7           Secondly, an NFPA Ventilation  
8 Standard states that spaces above false  
9 ceilings are not to be used as return air  
10 ducts. If West Pharmaceutical used this space  
11 for return air as is frequently done, then  
12 this could have lead to heavy deposits of  
13 polyethylene dust above the false ceiling that  
14 led to secondary explosions.

15           A couple of suggestions for the  
16 board's report. Include a brief precaution  
17 concerning hybrid mixtures of combustible dust  
18 and flammable vapors. Second, include a brief  
19 discussion to precautions for combustible  
20 fibers and flyings of class 3 materials which  
21 are not now very well covered in NFPA  
22 standards.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MERRITT: Thank you very  
2 much. At this time I would like to open the  
3 floor. We have heard the report, heard the  
4 recommendations. Before we have discussions I  
5 would need a motion concerning this report.

6 MR. WRIGHT: Madam Chairman, I  
7 would propose a motion to approve the staff  
8 investigative report, Combustible Dust Hazard  
9 Study, Report No. 2006(h)(1) and all  
10 recommendations contained therein.

11 CHAIRMAN MERRITT: And if I have a  
12 second, please.

13 MR. WARK: Second.

14 CHAIRMAN MERRITT: Mr. Wark has  
15 seconded that. Thank you. We have a motion  
16 on the floor to approve the staff  
17 investigative report, Combustible Dust Hazard  
18 Study Report No. 2006(h)(1) and all  
19 recommendations contained therein.

20 At this time I would like to open  
21 the floor for discussion.

22 MR. BRESLAND: I would like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make a few points about the motion. No. 1, I  
2 recognize that there is an issue with dust  
3 explosions with the physical damage that they  
4 do. The dust study team I certainly commend  
5 them on the excellent work that they have done  
6 on presenting this issue to us.

7           However, my issue with the  
8 recommendation is I believe my sense is that  
9 the main issue here is not necessarily one of  
10 regulation but one of awareness, education of  
11 the civilities involved. There's lots of  
12 educational information available on the  
13 dangers and prevention of combustible dust  
14 explosions.

15           We have the CPS book, the Center  
16 for Chemical Process book. I know at least  
17 two of the people who were involved in the  
18 writing of that book are in the audience  
19 today. We have Professor Rolf Eckhoff's book  
20 on dust explosions. Certainly last but not  
21 least we have NFPA 654.

22           My sense is that if we want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make fast headway on this issue of people  
2 getting killed, facilities getting destroyed.

3 If we want to make fast headway, I think we  
4 need to act quickly. OSHA needs to act  
5 quickly with an awareness and an educational  
6 program.

7 If we wait for a regulation to be  
8 written, my sense is it is going to be many  
9 years before that regulation is written. What  
10 do we do in the meantime? What do we do over  
11 the next five years as we wait for OSHA to  
12 develop and write a regulation?

13 That is why I'm proposing an  
14 amendment to the original notion. My  
15 amendment will state as follows: To table the  
16 motion to approve the draft Combustible Dust  
17 Hazard Study Report and further to defer  
18 additional board consideration of the report  
19 until recommendation No. 1 to the Occupational  
20 Safety and Health Administration requesting  
21 the development of a new regulatory standard  
22 is struck in its entirety and the following

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 new language is inserted:

2 To the Occupational Safety and  
3 Health Administration, OSHA. As part of the  
4 OSHA alliance program form an alliance with  
5 appropriate organizations to raise awareness  
6 in industry on the hazards of combustible dust  
7 and appropriate measures to prevent  
8 combustible dust explosions.

9 Suggested members of such an  
10 alliance could include the Center for Chemical  
11 Process Safety of the American Institute of  
12 Chemical Engineers, the National Fire  
13 Protection Association, National Association  
14 of State Fire Marshalls, insurance companies,  
15 manufacturing companies, appropriate trade  
16 organizations, and labor unions.

17 No. 2, recommendation No. 5 to  
18 OSHA requesting the development of an emphasis  
19 program is amended by striking the words  
20 "while a standard is being developed."

21 No. 3, the draft report as  
22 modified to include greater discussion of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 potential benefits of better hazard awareness,  
2 training, and industry and Government  
3 partnership programs in preventing major  
4 combustible dust accidents. No. 4, the CSB  
5 has briefed relevant parties on the revised  
6 recommendations.

7 That's the end of my revised  
8 motion.

9 CHAIRMAN MERRITT: If this is a  
10 motion to table, it would take precedence and  
11 I would call for a second.

12 MR. VISSCHER: I second the  
13 motion.

14 CHAIRMAN MERRITT: Seconded by Mr.  
15 Visscher.

16 At this time the floor is open for  
17 discussion on this amendment.

18 MR. WRIGHT: Madam Chairman, if I  
19 may.

20 CHAIRMAN MERRITT: Mr. Wright.

21 MR. WRIGHT: I'm not certain that  
22 our responsibility, mission, and/or actions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are necessarily predicated on the immediacy of  
2 word getting out to industry. I think that  
3 has been accomplished in a small measure by  
4 the incidents that have occurred.

5 I also believe that the  
6 recommendations that I had proposed in my  
7 motion include outreach programs. They may  
8 not be to the extent and the depth and breadth  
9 that Mr. Bresland has proposed.

10 Further, if I may comment on some  
11 of the public comments that were made here  
12 earlier in deference to Dave Kirby's comment  
13 with respect to OSHA development of a standard  
14 based upon the NFPA 654. I, too, would hope  
15 that common sense would be part and parcel to  
16 that process and I believe it will be as that  
17 any OSHA standard requires a public  
18 notification and comment period.

19 I would encourage him to raise  
20 those comments with OSHA. I think our  
21 responsibility here is to improve the safety  
22 and prevent accidents like this in the future.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I believe the current study, although limited  
2 in scope and limited resources, has brought us  
3 to this point.

4 I don't think we have the  
5 wherewithal nor the desire to expand this  
6 study any further, at least from my  
7 perspective. I think we have identified a  
8 hazard in industry that needs to be addressed  
9 and that we should do so. That's all I have  
10 to say. Thank you, ma'am.

11 CHAIRMAN MERRITT: Okay. Thank  
12 you. I have a question for staff. Mr.  
13 Bresland has raised the possibility of doing  
14 an alliance program as an emphasis program for  
15 making industry more aware of the hazards out  
16 there. I think the original recommendation  
17 poses a special emphasis program. Could you  
18 comment on those two things and the relative  
19 effectiveness of them?

20 MR. BARAB: Thank you, Madam  
21 Chairman. We didn't study specifically OSHA's  
22 alliance program but we did look at several

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alternatives to regulation including  
2 compliance assistance which is essentially  
3 what OSHA's alliance program is. It is  
4 arrangements with companies or associations  
5 that develop compliance assistance materials  
6 and share that information among other  
7 businesses at risk.

8 We looked at several different  
9 areas. We looked first at the potential for  
10 doing compliance assistance outreach to and by  
11 industry associations. That type of outreach  
12 works very well. Well, it works better, let  
13 me put it this way, when you have narrowly  
14 defined industry sectors that you can actually  
15 define and reach out to.

16 Here, as Ms. Blair described, we  
17 have a wide variety of different industry  
18 sectors pretty much encompassing --  
19 practically almost every industry you can  
20 think of has the potential for combustible  
21 dust explosions as well as a huge diversity of  
22 materials.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Secondly, we also looked at the  
2 possibility of doing outreach, for example, to  
3 fire code enforcement authorities. Now, that  
4 is also a problem. First of all, you've got  
5 50 states that run their own fire codes and  
6 have their own enforcement mechanisms.

7           Again, as Ms. Blair described, we  
8 also have potentially hundreds or more of  
9 counties and cities that may run their own  
10 fire codes and certainly run their own  
11 enforcement. Many of these staff are  
12 volunteers.

13           Most of these -- in fact, hardly  
14 any of these actually inspect industrial  
15 facilities. They are just not staffed or  
16 researched to do this. Nor is there any  
17 central agency that we can look to to  
18 effectively do this kind of outreach.

19           We looked at some of the different  
20 organizations that address fire codes. The  
21 National Association of State Fire Marshalls  
22 which we will be reaching out to and can be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very helpful in this area.

2 But if you look at their webpage,  
3 they focus primarily on residential fires,  
4 catastrophic fires in public places, large  
5 retail stores, hotels, and that type of thing.

6 They are also not staffed or resourced really  
7 to go out to industrial work places. Again,  
8 there is no federal authority that can impose  
9 that either.

10 Again, we looked at that. We felt  
11 it certainly is something we will work on. In  
12 fact, as you well know, after every  
13 investigation we do a fairly aggressive  
14 outreach program to all interested parties and  
15 we will certainly conduct that. But we are  
16 looking for something that is not only fast,  
17 we are looking for something that is  
18 permanent.

19 Although we can certainly do that  
20 outreach and we have recommended that OSHA,  
21 for example, do a focus program, we also want  
22 something permanent that will last beyond

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whatever programs happen to be happening after  
2 catastrophic incidents and that is why we felt  
3 that a standard is superior to just doing  
4 outreach.

5 CHAIRMAN MERRITT: We have a  
6 recommendation to OSHA with regard to  
7 reactives in which they initiated an alliance  
8 program. Can you tell me do you know whether  
9 or not that alliance has been effective?

10 MR. BARAB: Yeah. Just to  
11 familiarize the audience here, the board  
12 issued a recommendation to OSHA that they  
13 revise the Process Safety Management Standard  
14 in the fall of 2002. OSHA has not responded  
15 whether or not they actually will do that,  
16 although they have not initiated regulatory  
17 action.

18 OSHA did establish an alliance.  
19 They put up a fairly impressive webpage on the  
20 OSHA webpage. But the sum total of activities  
21 of this alliance has been -- I was just  
22 looking at this the other day. I attend the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 alliance meetings as an observer. They have  
2 done six presentations. They have staffed a  
3 couple of booths.

4 They have done one table top  
5 display and they have had two workshops.  
6 Twenty people attended one workshop and it was  
7 unclear how many attended the other workshop.

8 That, again, is the sum total of this  
9 specific alliance's activities.

10 CHAIRMAN MERRITT: Thank you. Are  
11 there any other discussion amongst the board  
12 members?

13 MR. VISSCHER: Yes.

14 CHAIRMAN MERRITT: Mr. Visscher.

15 MR. VISSCHER: Thank you, Madam  
16 Chair. I think this is a good motion and I  
17 certainly support it. What we have heard and  
18 I think we have seen in all the incidents is  
19 that the issue is generally the awareness of  
20 the hazard. Once there is an awareness  
21 there's a hazard, there is no shortage of  
22 regulations. There have been plenty of fines.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think if you look at the list of  
2 where there have been incidents and where the  
3 state OSHAs have come in, there hasn't been an  
4 issue of lack of regulation. The issue has  
5 been consistently lack of awareness. How do  
6 you do that? I think what Mr. Bresland has  
7 offered is a good idea in terms of an  
8 alliance.

9 Get everybody working together.  
10 We heard it from one of the speakers as well  
11 of a shared responsibility of getting national  
12 organizations, the state fire marshalls,  
13 working with the fire chiefs in the states,  
14 working with all the players and actors,  
15 national associations, trade associations,  
16 labor unions all helping to raise awareness.

17 I think what Mr. Barab has  
18 described in terms of outreach is kind of an  
19 old fashioned view of what outreach used to be  
20 at OSHA. That is not what an alliance is. An  
21 alliance is working together. Jointly work  
22 together and spread the word amongst members

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is often more effective than having a  
2 Government agency issue things and not have an  
3 effective way of getting it out there.

4 I think it is a good approach to  
5 take. The suggestion that an NFPA standard  
6 could be easily adopted as not heavy lifting I  
7 think is the term. I think if anybody has  
8 gone through the OSHA regulatory process, I'm  
9 looking at Mr. Jeffers here, have gone through  
10 that knows there is no such thing as not heavy  
11 lifting standard setting process at OSHA and  
12 I'm not sure that there should be.

13 It is a long process, certainly  
14 one that would involve dozens of industries as  
15 has been mentioned. You would have to come up  
16 with risk levels and so on in terms of all  
17 these different materials.

18 I think it is also relevant to  
19 consider is when my colleagues ask the  
20 question, we have established the hazard and  
21 it is certainly one that we need to respond  
22 to, we working with OSHA, working with NFPA,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working with the states, and working with  
2 private sector in terms of industries where  
3 this is an issue, again, to raise awareness of  
4 the hazard and certainly one we need to  
5 respond to the hazard.

6 We don't know yet what the extent  
7 of the risk is, however. When OSHA looks at  
8 this they have to look at this in terms of the  
9 extent of the risk. I think these questions  
10 about where this fits is not relevant to this  
11 question. Certainly one that is a priority  
12 and where those incidents have occurred we  
13 need to address those.

14 I think if we put ourselves in the  
15 recipient's place, that is not in your  
16 relevant consideration. I think we can be  
17 effective, far more effective with the  
18 direction of the motion, of working together  
19 with all these other players. We can do  
20 things now instead of waiting years and years.

21 We can be effective working with all these  
22 other organizations and I certainly on that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 basis support the motion. Thank you.

2 CHAIRMAN MERRITT: Are there any  
3 other comments?

4 MR. BRESLAND: I would just like  
5 to reemphasize the major point that I am  
6 trying to make and that is realizing and  
7 recognizing that there is a hazard right now  
8 and we have certainly seen that with the dust  
9 explosions that have taken place.

10 We, OSHA, the industry, trade  
11 organizations, need to be doing something  
12 today. We don't need to be waiting for five  
13 years for a regulation to be published. We  
14 need to get out there today and start  
15 educating people on this to make sure that the  
16 sort of tragedies that we've seen don't happen  
17 again.

18 CHAIRMAN MERRITT: Thank you. I  
19 think from my perspective, I thank the board  
20 members for their thought and consideration in  
21 this. I think the board -- I know I, myself,  
22 have probably been out on a hundred

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentations this year trying to raise  
2 awareness on issues.

3           Although I'm racking up wonderful  
4 frequent flyer miles, I'm pretty tired and I  
5 don't know that we have the resources to do  
6 some of the things that I think if we were a  
7 larger agency we might be able to do. I would  
8 hope with a recommendation like this that OSHA  
9 would be able to take it and ask for more  
10 funding and resources to do some of the things  
11 that need to be done with regard to this  
12 particular issue.

13           I think by -- I think an alliance  
14 or an outreach program or, as recommended in  
15 the first recommend, that they so a special  
16 emphasis program. None of that is excluded by  
17 adopting the recommendation as it was  
18 presented by staff.

19           I think all of that certainly is  
20 still possible and we would certainly  
21 encourage that as an agency and as a board to  
22 enhance the outreach and the information that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 needed to be communicated concerning these  
2 issues.

3 I think the issue with regard to  
4 material safety data sheets is extremely  
5 important and not including that in a  
6 recommendation would be, I think, a  
7 misjustice. Those are my comments from the  
8 Chair. I have the right to do that as well.  
9 Are there any other comments?

10 Mr. Wright.

11 MR. WRIGHT: Thank you, Madam  
12 Chairman. I would just like to echo your  
13 statement with respect to the fact that these  
14 recommendations are not mutually exclusive and  
15 that they can be taken in totality to address  
16 both immediate, if you will, the SEPs and  
17 long-term standing requirements as far as a  
18 standard. Thank you.

19 CHAIRMAN MERRITT: Thank you. Are  
20 there any other comments?

21 Mr. Wark.

22 MR. WARK: Yes. I, too, would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like to echo what you said, Madam Chair, with  
2 respect to this recommendation. One of the  
3 things that keeps going through my mind is the  
4 idea of what the facility management or  
5 industry knows and when they know it.

6 The dual track of a regulation, a standard  
7 plus the outreach program, I think, is the way  
8 to go on this.

9 I also think that due to the  
10 insidious nature of this hazard, which doesn't  
11 seem to be the case in a lot of other areas,  
12 that I would think that industry would be  
13 taking more of an interest in addressing the  
14 hazard instead of their buildings blowing up,  
15 their facilities blowing up, and the loss  
16 prevention in conjunction with adhering to a  
17 standard. That's all.

18 CHAIRMAN MERRITT: Thank you. Are  
19 there any other comments? If there are no  
20 other comments, then the first thing we do is  
21 to vote on the motion to table and the  
22 recommendations that are included therein. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 will read that to you again before we take a  
2 voice vote.

3 The motion by Mr. Bresland,  
4 seconded by Mr. Visscher, is to table the  
5 motion to approve the draft Combustible Dust  
6 Hazard Study Report and further to defer  
7 additional board consideration of the report  
8 until, recommendation No. 1 to the  
9 Occupational Safety and Health Administration  
10 requesting the development of the new  
11 regulatory standard if struck in its entirety  
12 and the following new language is inserted.

13 To the Occupational Safety and  
14 Health Administration, OSHA. As part of the  
15 OSHA alliance program form an alliance with  
16 appropriate organizations to raise awareness  
17 in industry on the hazards of combustible dust  
18 and on appropriate measures to prevent  
19 combustible dust explosions.

20 Suggested members in such an  
21 alliance could include the Center for Chemical  
22 Process Safety of the American Institute of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Chemical Engineers, the National Fire  
2 Protection Association, National Association  
3 of State Fire Marshalls, insurance companies,  
4 manufacturing companies, appropriate trade  
5 organizations, and labor unions.

6 No. 2, recommendation No. 5 to  
7 OSHA requesting the development of an emphasis  
8 program is amended by striking the words  
9 "while a standard is being developed."

10 No. 3, the draft report as  
11 modified to include greater discussion of the  
12 potential benefits of better hazard awareness,  
13 training, and industry and Government  
14 partnership programs in preventing major  
15 combustible dust accidents. No. 4, the CSB  
16 has briefed relevant parties on the revised  
17 recommendations.

18 Having read this report, I would  
19 now -- this amendment I would now take a voice  
20 vote.

21 Mr. Wark.

22 MR. WARK: No.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MERRITT: Mr. Wright.

2 MR. WRIGHT: No.

3 CHAIRMAN MERRITT: Mr. Visscher.

4 MR. VISSCHER: Yes.

5 CHAIRMAN MERRITT: Mr. Bresland.

6 MR. BRESLAND: Yes.

7 CHAIRMAN MERRITT: And I vote no.

8 That motion then does not carry and we go to  
9 the original motion which is on the floor, and  
10 that is -- I would read that.

11 To approve the staff investigation  
12 report, Combustible Dust Hazard Study, Report  
13 No. 2006(h)(1) and all recommendations  
14 contained therein. Is there any discussion on  
15 this motion as this one now is one the floor.  
16 Mr. Visscher?

17 MR. VISSCHER: Thank you, Madam  
18 Chair. I don't want my -- I am going to  
19 oppose the approval of the report. I want to  
20 explain it and say that I appreciate very much  
21 the hard work that the staff has put into  
22 this. We've been through a lot of work on it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and they have been both helpful to the board  
2 members and have done a lot of work so I want  
3 to say that I appreciate that.

4 Not only do I disagree with the  
5 recommendation on a standard because I think  
6 there is a more effective way to go, but there  
7 are a number of other conclusions in the  
8 report that I am not comfortable with. If I  
9 approve of it, I'm saying I approve of these  
10 inclusions that I'm just not comfortable with.

11 I didn't want my no vote to be in any way  
12 suggestive that I didn't appreciate the hard  
13 work that you put into it. Thank you.

14 CHAIRMAN MERRITT: Thank you, Mr.  
15 Visscher.

16 Is there any other discussion on  
17 this matter?

18 MR. BRESLAND: Yes. Can we -- I'm  
19 trying to think if there is a way to work this  
20 issue and get both sides of the story. My  
21 real concern is if we go with this motion as  
22 it's currently written that we are going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wait for OSHA to develop a regulation and  
2 that's going to take a long time. In the  
3 meantime facilities and people are at risk and  
4 we need to figure out a way to get the  
5 information. I am very uncomfortable with  
6 just leaving this motion the way it is without  
7 a real emphasis on outreach and getting the  
8 word out to the public and getting the word  
9 out to affected facilities.

10 CHAIRMAN MERRITT: Thank you. Are  
11 there any other questions? Any other  
12 discussion?

13 MR. WRIGHT: I just had one  
14 further comment, Madam Chair.

15 CHAIRMAN MERRITT: Mr. Wright.

16 MR. WRIGHT: I don't believe that  
17 the recommendation as drafted eliminates or  
18 overlooks or disregards any immediacy in terms  
19 of the special emphasis program that is cited  
20 as one of the recommendations.

21 Unfortunately, I don't have the  
22 wherewithal to know how fast OSHA takes an SEP

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 program from start to finish and how fast that  
2 outreach would be. Nor do I have any  
3 appreciation for how fast your alliance  
4 process would be in comparison to an SEP. I  
5 think the recommendations as drafted try to  
6 meet both sides of the isle here as it were.  
7 Thank you.

8 CHAIRMAN MERRITT: Thank you, Mr.  
9 Wright.

10 If there is no other discussion,  
11 then I would like to call for a vote. This,  
12 again, would be an oral vote.

13 Mr. Wark.

14 MR. WARK: Approve.

15 CHAIRMAN MERRITT: Mr. Wright.

16 MR. WRIGHT: Yes.

17 CHAIRMAN MERRITT: Mr. Visscher.

18 MR. VISSCHER: No.

19 CHAIRMAN MERRITT: Mr. Bresland.

20 MR. BRESLAND: No.

21 CHAIRMAN MERRITT: And I vote to  
22 approve the report and the recommendation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Therefore, the motion is carried and the  
2 report and recommendations are adopted. I  
3 want to thank all of our panel and the board  
4 members for the deliberation that has gone  
5 into this.

6 It is not always easy. We have  
7 one mission and that is to promote prevention.

8 We all are working towards that. I  
9 appreciate all of your thoughts, work, and  
10 consideration. Thank you for your attendance  
11 at this combustible dust public meeting today.

12 This has been very insightful and  
13 stimulating.

14 Our investigative team has  
15 provided us with new information about  
16 combustible dust hazards that is applicable to  
17 all industries. I thank them again for their  
18 dedication to this project for the past two  
19 years. I also want to thank each of the board  
20 members for their comments and their spirited  
21 debate here today. All of us share a  
22 strong interest in preventing these tragic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explosions in the future and we will be  
2 working together now and with the staff to see  
3 that the important recommendations adopted  
4 today will be swiftly implemented.

5 In the case of recommendation to  
6 OSHA, the Clean Air Act provides 180-day  
7 period for the secretary to respond to what  
8 the board has recommended. We are confident  
9 that once the OSHA leadership has had the  
10 opportunity to review the full report and  
11 recommendations, that they will also see the  
12 importance of acting to control this hazard.

13 We will be eagerly awaiting their  
14 response. I would again like to thank all of  
15 today's participants, the members of the  
16 public, and the staff for all of your  
17 attention.

18 With that, this meeting is  
19 adjourned.

20 (Whereupon, at 12:05 p.m. the  
21 meeting was adjourned.)  
22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)