

1 THE NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND
2 HEALTH/NATIONAL PERSONAL PROTECTIVE TECHNOLOGY
3 LABORATORY (NIOSH/NPPTL) PUBLIC MEETING

4

5

6

7

Wednesday, December 15, 2004

8

9

10 CONTINUED DISCUSSION for Concepts of Powered
11 Air-Purifying Respirator (PAPR) Standards and
12 Introduction of Concepts for Closed Circuit,
13 Self-Contained, Breathing Apparatus Standards
14 Development Efforts Used for Respiratory Protection
15 Against Chemical, Biological, Radiological, and
16 Nuclear (CBRN) Agents

17

18

19

20

Commencing at 8:32 a.m. at Sheraton

21

Station Square, Pittsburgh, Pennsylvania.

22

P R O C E E D I N G S

1
2 MR. BOORD: Okay. You know, to start the
3 meeting, I just need to relate a little experience
4 here.

5 About a month ago, my son -- my son got
6 married, so we had the traditional elaborate
7 wedding. I was in Doylestown, Pennsylvania.

8 So -- so we had the, as I say, the
9 traditional wedding where we had the ceremony. And
10 then about an hour, hour and a half later, we had
11 the reception.

12 So we went through the ceremony, and
13 everything was fine. And I need to put a plug in.
14 My two little grandchildren looked really
15 spectacular at that wedding.

16 But then we went from the wedding to the
17 reception.

18 And we were all meandering about in the
19 reception shaking hands and talking and having a
20 good time, and there was this one couple that was
21 there that seemed to be off to the side.

22 And so we went up and started talking to

1 them. And it turns out that they were in the right
2 place for a wedding, but on the wrong day.

3 So we made sure that they did participate
4 in the reception and had all of the goodies and so
5 on and so forth.

6 But in that spirit, I would just like to
7 make sure that everybody realizes that we are here
8 today for the public meeting to discuss powered
9 air-purifying respirators and closed circuit
10 self-contained breathing apparatus.

11 So if anybody is in the wrong meeting on
12 the wrong day or at the wrong time, now is your
13 chance.

14 Obviously, the opening remarks are
15 reserved for Rich, and I need to extend a -- an
16 apology for Rich. He had another commitment that
17 there was no way to change it or otherwise I'm sure
18 he would have been here. So I would like to extend
19 the regrets for Rich.

20 And also to again re-emphasize that the
21 purpose of the meeting today is to discuss the
22 concepts that we have relative to powered

1 air-purifying respirators and closed circuit
2 self-contained breathing apparatus for CBRN
3 applications.

4 The spirit of the meeting, as with past
5 meetings on these topics, is one of information
6 presentation. So the concepts in the draft
7 requirements that you have been looking at on the
8 concepts on the website will be presented and
9 discussed.

10 In addition, some of the work that has
11 transpired in recent weeks and recent efforts will
12 be discussed as well, and we do encourage the
13 exchange.

14 As I have said previously at these types
15 of meetings, the exchange is very, very helpful.
16 And as we go through the process of identifying
17 draft requirements and developing those
18 requirements, the information exchange and dialog
19 is certainly a critical part in bringing the
20 requirements to a proper perspective.

21 Another topic I just want to touch bases
22 on is there has been quite a bit of discussion

1 relative to how these requirements and standards
2 will be implemented.

3 Those of you who attended the public
4 meeting that we had on total inward leakage several
5 months ago, the discussion and explanation on
6 this -- in this area really comes down to the point
7 that at this point in time, the department is not
8 100 percent sure which of the alternatives
9 available for doing this will be followed, and
10 those avenues are constantly being reviewed.

11 But the important part of what we are
12 doing is that the technology and the technical
13 portions of developing the requirements doesn't
14 change.

15 And that's where we are right now. We
16 are in the process of identifying draft
17 requirements and developing those requirements to
18 an acceptable point.

19 So I think we want to keep that thought
20 in mind as we go through the process today and
21 continue through the meeting.

22 So to close out the welcome and the

1 welcome to cold and snowy and icy Pittsburgh, I
2 just want to restate that the purpose of the public
3 meeting is to discuss the draft concepts for the
4 CBRN powered air-purifying respirator and to
5 present the CBRN closed circuit self-contained
6 breathing apparatus draft concepts.

7 Thank you.

8 MR. SZALAJDA: Good morning. My name is
9 Jon Szalajda. I'm currently the team leader for
10 the CBRN standards development programs at NIOSH.

11 And at least far as we have a -- in
12 telling you what we are going to do today, we have
13 a pretty ambitious agenda that we are going to try
14 to follow. And it may take a little longer or a
15 little shorter, depending on how long-winded we get
16 and the type of questions or comments that you in
17 the audience may have.

18 What we are going to focus on through
19 lunch time is to address the requirements that are
20 currently being considered for the CBRN powered
21 air-purifying respirator, the PAPR.

22 There is a couple of things that we

1 wanted to cover this morning, and you will have to
2 forgive us if we jump around a little bit in the
3 agenda.

4 One of our speakers, Terry Thornton, has
5 the flu, and we felt it was best for him not to
6 present a biological hazard to you during the
7 course of the meeting today. So he is at home
8 recovering.

9 And Dave Caretti was feeling a little
10 under the weather yesterday, but I see he is here,
11 and he will give his presentation later on this
12 morning. We thought we might have to jockey that
13 around a little bit.

14 But we plan on running through lunchtime.
15 And then after lunch, we will wrap up the PAPER as
16 follows, at least as far as dealing with some of
17 the tests and the provisions that people who are
18 involved with the CBRN program are familiar with,
19 with how we do environmental -- are planning to do
20 environmental and human factors type evaluations as
21 well as special CBRN tests.

22 And following that portion of the meeting

1 at the end of the day, we are going to introduce
2 our concept for the closed circuit self-contained
3 breathing apparatus, and that discussion will be
4 led by John Kovac, as well as input from Frank
5 Palya and Nick Kyriazi of NPPTL.

6 As far as some of the logistics are
7 concerned, I think everybody either had
8 preregistered or signed up on the way into the
9 conference area this morning.

10 If you didn't, if you could please see
11 Betty Robey or Marlene at the table outside the
12 conference room at some point during the day to
13 make sure that you are registered.

14 The meeting is being recorded. Copies of
15 the transcript will be available through the NIOSH
16 docket office at a later date.

17 And for the most part, with the exception
18 of me presenting for Terry Thornton, we are going
19 to run through the agenda as it's currently
20 provided.

21 After each presentation, there will be an
22 opportunity for questions and answers as well as

1 comments from the attendees. And we would ask that
2 you come up to one of the microphones in the center
3 aisle and introduce yourself and any organization
4 you represent and then make your comment.

5 There is also going to be a couple of
6 opportunities during the course of the day for
7 presentation -- or for public comments, any
8 comments that individuals may have for the docket,
9 as well as opportunities to make presentations.

10 I wasn't aware of any -- at the start of
11 the meeting, I wasn't aware of any individuals that
12 wanted to conduct a presentation today.

13 If you do have something you would like
14 to present, please see me at some point during one
15 of the breaks, and we will work to accommodate you
16 during the opportunities for public comment.

17 Administratively, there really aren't too
18 many details with where we are. There will be two
19 breaks during the course of the discussions. There
20 will be some light refreshments provided at the
21 back of the room.

22 As far as lunch is concerned, you are on

1 your own. Fortunately, with the location of this
2 meeting, there are a lot of opportunities either in
3 the mall across the street or with the different
4 restaurants up and down the concourse for you to
5 find something to eat.

6 The restrooms are around the corner going
7 back towards the lobby.

8 Other than that, I think it's pretty --
9 today's meeting is pretty simple as far as
10 logistics.

11 As far as formal comments that you might
12 like to provide to us through the NIOSH docket
13 office, here is several different ways for you to
14 get in touch with us.

15 One thing I did want to note and make
16 sure that -- and you will hear it from me again at
17 a couple of points during the day.

18 There are two different control numbers
19 used for collecting the comments to the docket for
20 the PAPR. The control number is ten. And for the
21 closed circuit self-contained breathing apparatus,
22 it's 39.

1 So depending on the comments that you may
2 have regarding our concepts, you know, please make
3 sure you reference the right docket number.

4 And I think in general, we usually begin
5 the presentation talking a little bit about the
6 processes and some of the background information
7 related to the standards development.

8 And today I wanted to spend a few
9 additional minutes to discuss some of the
10 historical background of the standards development
11 program, in particular paying some attention to how
12 we did the vulnerability assessment as well as the
13 selection of the test representative agents.

14 I know for some individuals, you know,
15 that have been consistently attending our meetings,
16 this is old information. But, you know, in light
17 of the public becoming more and more aware of the
18 CBRN standards and with their adoption and other --
19 by other federal or private organizations as
20 standards for equipment, we feel it is appropriate
21 to, at this time, to go over and briefly discuss
22 some of our rationale that was conducted early in

1 the program just so that everybody is back up to
2 speed with the -- with the process that was used to
3 generate the requirements for the different
4 standards.

5 But in the beginning, which I guess there
6 are some other things that start that way, but --
7 there was a need -- there was identified a need
8 within the stakeholder community, the respirator
9 stakeholder community, for coming up with a new set
10 of requirements for respiratory devices.

11 And this was based on the fact that in an
12 evaluation of the potential respiratory hazards,
13 that responders could see that neither NIOSH
14 industrial respirators nor military respirators
15 completely met the requirements for protection for
16 responders in dealing with a CBRN terrorism event.

17 And in looking at the -- in focusing our
18 efforts, there were really some very obvious
19 differences between the industrial respirators as
20 well as the military respirators. And they fell
21 into five main categories: Purpose, the user
22 groups, the hazards, how they were used in

1 operation, and then the protection that the
2 respirators provided.

3 And in looking at these types of
4 protections, the hazards -- the hazards and what
5 the responders would see fell in between what the
6 military may see in a battlefield situation, when
7 you are dealing with uncontrolled, uncharacterized
8 events, and what NIOSH would see in terms of an
9 industrial respirator operation where hazards are
10 known and controlled and quantified.

11 And part of our effort was to come up
12 with a process that we have consistently used
13 throughout the development of all of our respirator
14 standards.

15 The key, up front, was to do a
16 comprehensive hazard analysis, which we did, in
17 conjunction with the old Army Soldier Biological
18 Chemical Command, now the Research Development and
19 Engineering Command.

20 We looked at the protection levels that
21 would be required for responders, you know, whether
22 you were working -- you were dealing with an IDLH

1 or above-IDLH type of event, or in a type of event
2 where the hazards were a little more known and
3 controlled, and you were in an area where
4 respiratory protection was required, but the
5 benefits of having a self-contained unit weren't
6 necessary.

7 We also saw as part of our evaluation the
8 need to identify specific requirements to ensure a
9 degree of ruggedness for the equipment.

10 And in this case, we looked at human
11 factors types of requirements which addressed the
12 ease of wearability for the systems with the
13 potential users as well as environmental factors,
14 trying to anticipate a wide range of storage and
15 transportation conditions that the respirator could
16 potentially see as part of its use.

17 We have also incorporated something
18 called a standards concept, where we share with the
19 stakeholder community via the internet our current
20 thoughts as far as what the requirements of the
21 standards would be.

22 And this document is available either,

1 you know, through the internet, or we have made
2 copies available at the public meeting. And you
3 should have received copies of our current concept
4 paper with your information packet when you entered
5 the conference today.

6 But the standards concept has been very
7 important for us because it provides an opportunity
8 for the stakeholders to give us real-time feedback
9 to what we envision the requirements to be, whether
10 you think that we are on track or off target or if
11 there is other aspects of the requirements that you
12 think we should consider as part of our process.

13 And in conjunction with that, we looked
14 at the requirements for how we are going to certify
15 these systems and -- once the standard has been
16 fully defined, test requirements with regard to,
17 you know, the actual requirements that we will be
18 evaluating and using standard test procedures as
19 part of the certification program.

20 Also doing research where it is
21 appropriate to fill data gaps, where the data may
22 not exist between the stakeholders involved in the

1 process, and focusing our research and applying it
2 to helping us define the requirements for the
3 standard.

4 And finally, we have really tried to
5 promote doing the standards -- standards process in
6 its most visible and public means as possible.
7 This is, I believe, the sixth public meeting we
8 have had related to the CBRN standards development
9 program.

10 NIOSH has also done other public meetings
11 with regard to the Total Inward Leakage program as
12 well as some of the efforts on the industrial
13 respirators.

14 We have tried to make extensive use of
15 the internet as far as using that tool as making --
16 making the information available to the
17 stakeholders for your consumption and your comment,
18 and we will continue to do that as we go forward.

19 We also really encourage you to come in
20 and speak with us individually if that suits your
21 needs better, that our door is always open to the
22 stakeholder community to come in and share ideas

1 with regard to what the requirements of the
2 standards should be.

3 And this effort has been ongoing for a
4 few years now. The very first meeting was
5 conducted on a similar day in Morgantown back in
6 1999. I think there was a little more snow
7 involved with that event.

8 But the whole focus of this chart is
9 looking at partnerships and the fact that we at
10 NIOSH have realized that this not -- this endeavor
11 is not something that we can do alone, that we have
12 needed to develop quality partnerships with the
13 manufacturing community as well as the stakeholder
14 community, the users, to fully identify the
15 requirements necessary for these systems.

16 As well as making sure that we identify
17 the protections levels as well as identifying the
18 technology constraints and helping to do the
19 research that's necessary to bring the technology
20 forward to allow us to have respirators that meet
21 the responders' needs.

22 There were several partnerships that we

1 formally established as we have gone forward. I
2 think a couple of the early memorandums of
3 understanding and interagency agreements that we
4 established were with the National Institute for
5 Standards and Technology, the Army, Occupational
6 Safety and Health Administration, and also with the
7 National Fire Protection Association, the NFPA, who
8 as a standards development activity has been very
9 instrumental in working with us in the development
10 of standard requirements.

11 We have also received a lot of resource
12 requirement support along the way. Initially
13 through seed funding provided NIST by NIJ and now
14 the Department of Homeland Security, as well as
15 funding through Centers for Disease Control to
16 allow us to complete our standards development
17 effort.

18 Another thing that I think is very unique
19 for this program, for those of you who have been
20 working with NIOSH for many years, is that for the
21 first time in the CBRN program that NIOSH uses a --
22 does not do the actual chemical warfare agent

1 testing or the laboratory protection fit level
2 testing themselves.

3 These are things where we have contracted
4 and established a relationship with RDECOM, with
5 the Army at Aberdeen Proving Grounds, to conduct
6 these tests for us. And we really see this as part
7 of the overall strategy for NIOSH as the years go
8 by as the first method for introducing the
9 possibility for third-party testing for doing our
10 certification work.

11 And I think some of the key things that
12 have come along as part of our process is that the
13 standards that we have developed have been
14 recognized and implemented on a national basis.
15 And in particular, two organizations have stepped
16 up and adopted the use of these standards as part
17 of other requirements.

18 One is the Department of Homeland
19 Security who, back in last February, adopted the
20 CBRN self-contained breathing apparatus, the gas
21 mask and escape respirator standards, as
22 requirements for consideration in spending grant

1 money for the purpose of personal protective
2 equipment.

3 These respirator standards have also been
4 adopted by the NFPA as part of their standard
5 protective clothing standards when you look at the
6 respirator as part of the individual personal
7 protective equipment ensemble. And at the -- the
8 standards there are referenced as part of those
9 ensembles, whether they be for the self-contained
10 breathing apparatus or for the gas mask.

11 Also part of what we did early on was a
12 comprehensive hazard assessment, which has not only
13 been used for the respirator program, but also has
14 been used by NIST and RDECOM in looking at the
15 overall strategy for developing all types of
16 personal protective equipment technologies, from
17 clothing to detectors to decontamination, the list
18 of programs that are being administered by NIST as
19 part of their arrangements with the Department of
20 Homeland Security.

21 And in looking at conducting our hazard
22 assessment and the potential respiratory hazards

1 that could be seen by responders in an event, we
2 determined up front that the chemical warfare
3 agents and the toxic industrial chemicals would
4 represent the most critical challenges that a
5 responder and his equipment may see on site.

6 And what we identified as part of helping
7 our program move along is a list of 139 potential
8 respiratory hazards that we are not providing
9 protection for as part of the CBRN standards.

10 And in testing -- as part of the test
11 program, in looking at those requirements, we
12 identified ten chemicals which represent 107
13 different potential respiratory chemical hazards as
14 well as one particulate test representative agent
15 which represents another 32 particulate hazards to
16 provide the individual protection against a CBRN
17 type event.

18 And testing against these 11 test
19 representative agents ensures that the respirator
20 will provide protection for these identified
21 hazards and also other organic vapors. And we will
22 talk about that a little bit more in the course of

1 our presentation today.

2 The focus -- really the focus of the
3 standards effort early on was to look at completing
4 our hazard assessment as well as doing an analysis
5 of the human factors types of criteria that would
6 be necessary to ensure that the respirators had a
7 degree of ruggedness that would protect the
8 responders at the time that the devices were
9 needed.

10 And at least as far as a little
11 background regarding our development process that
12 we went through working with the Army and looked at
13 a lot of different multiple scenarios with regard
14 to the dissemination of either chemical warfare
15 agent material or toxic industrial chemical
16 materials.

17 And what became apparent to us going
18 through the process was that for outdoor events,
19 you have a lot of natural events that are taking
20 place which allow the dispersal of an agent, you
21 know, whether it's environmental conditioning,
22 wind, other activities that would cause the agent

1 to disperse quickly.

2 So we focused the hazard assessment on
3 looking at indoor events because we expected to
4 experience higher vapor concentrations because
5 those dispersal factors weren't available to remove
6 the -- to move the agent.

7 And we looked at -- during the course of
8 the process, we looked at I believe it was 27 to 29
9 different events where different either toxic
10 industrial materials or chemical warfare agents
11 could be deployed.

12 And the intent -- and I know people have
13 worked around the most credible event terminology.
14 But the intent of looking at these different
15 scenarios was to establish a concentration that we
16 could use as part of our evaluation process, not
17 necessarily one that reflected the worst case
18 scenario or the best case scenario, but one that we
19 felt a responder could potentially see in dealing
20 with one of these types of events.

21 And to -- well, I guess I just said that
22 so you -- I won't read this chart for you people.

1 But where we ended up at the end of that
2 process was that all of the information that we
3 collected was rolled into the first standard that
4 was released, the SCBAs.

5 And the requirements were based -- in
6 looking at how the requirements were based and the
7 hazard assessment, we developed a three-tier
8 approach that we have carried forward with all our
9 standards development processes for CBRN.

10 The first is that we look at our
11 requirements identified within 42 CFR, Part 84, for
12 applicability to the standard.

13 And second, we look at existing national
14 or international standards to help provide the
15 human factors and environmental conditioning
16 protections that we feel are necessary for the
17 respirator.

18 And in the sake of the SCBA, we found
19 that the tests that were conducted by the NFPA as
20 part of their SCBA, open circuit SCBA standard, fit
21 the bill for what we were looking for to provide
22 that consideration for human factors and

1 environmental conditioning.

2 And then the third tier of our process
3 are special CBRN tests.

4 And in particular, for the SCBA, we
5 looked at establishing two tests. One was testing
6 with chemical warfare agents, which we heard and
7 continue to hear from the responder community that
8 they wanted to be sure that these devices work if
9 they deal with chemical warfare agent scenarios or
10 potential hazards.

11 The other was a laboratory protection
12 level test which is done at Edgewood for us where
13 we looked to ensure that the respirators provide a
14 degree of fit to the individual as well as provide
15 a wide range -- or fit a wide range of the
16 population. And we use -- currently use the Los
17 Alamos panel for doing that part of the program.

18 At this point, we have approved, I
19 believe, the current number of 46 different SCBA
20 models, as well as -- from six different major
21 respirator manufacturers, as well as 16 different
22 upgrade approvals where we evaluated kits that

1 allow fielded items to be upgraded to meet the CBRN
2 configuration.

3 And the website that is listed at the
4 bottom of this chart -- or you can follow links
5 through the NIOSH webpage -- will provide you a
6 list of those models.

7 And the second aspect of our standards
8 program was to look at the requirements for air
9 purifying respirators, and we released the standard
10 for the gas mask back in March 2003.

11 And this project was unique in a couple
12 of different manners that, one, also in response to
13 stakeholder feedback, that we heard a requirement
14 for one -- that there was a need for one canister
15 which provided multihazard protections; that when
16 responders came to an event, that they didn't need
17 to -- or didn't have the time or didn't want to
18 logistically deal with trying to identify or use
19 multiple -- or sort through multiple canisters to
20 deal with the potential hazard.

21 And the system that was set in place
22 identifies protections for 139 potential

1 respiratory hazards and the CBRN canister.

2 Also for the first time that -- with this
3 standard, we established a provision for
4 interoperable mask and filters, acknowledging that
5 NIOSH is still approving systems or approving
6 respirators as a system, this -- by requiring or
7 putting in a requirement, a design requirement in
8 this standard, that we are allowing the potential
9 for interoperability to be conducted on site,
10 where, if you have approved CBRN respirators, that
11 you could use different filters with -- or
12 different canisters with different masks, depending
13 on logistic needs and giving that flexibility to
14 the incident commander and OSHA on site to allow
15 the use of interoperability.

16 And also with this system, we looked at
17 establishing a crisis requirement to address high
18 physiological flow through the canister.

19 And we established a requirement to look
20 at excursions for individuals where they may be
21 dealing with a secondary device and potentially
22 higher concentrations of a hazard as well as

1 addressing physiological demands of the individual
2 where the canister would need to provide
3 protections at a higher work rate or higher work
4 demand that an individual may be putting on the
5 system.

6 There are two manufacturers that have
7 submitted and received certification on gas masks,
8 and I believe we have three models that have been
9 certified to date. And again, you can identify
10 which models are available through the website
11 link.

12 And the last set of standards that we
13 released were for the escape respirators. And in
14 this we addressed both air purifying and
15 self-contained types of models.

16 And this was truly a challenge for us
17 because the focus of who these respirators were
18 developed for was a little different, that these
19 respirators were developed to protect the general
20 working population and not the emergency responder
21 community.

22 And when you look at potential escape

1 strategies, there are really a wide range of, you
2 know, how individuals may see the need for
3 respiratory protection as part of their risk
4 assessment that they would need to do in
5 identifying why they need to have respirators in
6 the first place.

7 And to that end, we ended up developing
8 three different protection levels for the escape
9 respirators: Two related to the air-purifying
10 device and one for the self-contained device.

11 And in general, if you look at the
12 requirements of the standard, they pretty much
13 track, in terms of the physical testing -- with the
14 test representative agents as well as with the
15 chemical warfare agents, they track what was done
16 for the self-contained breathing apparatus for the
17 self-contained escape respirator, and then the gas
18 mask to support the air purifying types of
19 respirators.

20 But what is different for us in looking
21 at the protection level for what we call the
22 specific category, is that any of the air-purifying

1 respirators provide for a degree, a specific
2 protection level which addresses the ten
3 representative agents as well as testing for
4 particulate.

5 And what we have done is we have allowed
6 the flexibility between the manufacturing
7 community, as well as the user community to
8 increase specific chemical challenges as part of
9 the requirement.

10 I guess ammonia is a good example, as far
11 as -- ammonia is one of our test representative
12 agents.

13 And the thought process there was if you
14 lived, you know, next to an ammonia plant, or if
15 you have a business next to an ammonia plant and
16 you feel that is there a need for you to provide
17 respiratory protection against that specific
18 hazard, we will test and approve, certify the
19 requirement at a higher level that you -- your
20 respirator would have a degree of additional
21 protection at that specific level, in addition to
22 the other test representative agents.

1 And so where that brings us today in the
2 focus of the first part of our meeting is to
3 address the current concepts for the powered
4 air-purifying respirator.

5 And in looking at the comments that we
6 have received at other public meetings and dockets,
7 we went back to our original goal statement. And
8 that's why emergency responders is highlighted on
9 the chart.

10 Now, when we looked at why we were
11 developing these types of respirators, who the
12 focus -- who the target user community was for
13 these products.

14 And when NIOSH initiated the standards
15 development program in lieu of using formal rule
16 making, we used provisions -- policy making
17 provisions that are allowed to us under 42 CFR to
18 specifically generate these requirements for
19 emergency responders.

20 And to that end, as part of our
21 evaluation, we went back and looked at our hazard
22 analysis in relation to what protections are

1 required for emergency responders to use powered
2 air-purifying respirators.

3 And I think in general, when you look at
4 the emergency responder community, which we have
5 defined as the fire service, law enforcement, and
6 emergency medical technicians, that we envision
7 that they would need the same types of protection
8 that would be afforded by the gas mask.

9 And as part of that evaluation, we felt
10 that only a tight fitting respirator, one that
11 covered the eyes, nose, and mouth, as well as
12 sealing to the face or the neck, met those
13 requirements; that loose fitting types of devices
14 that may be more inherent for use by people that
15 have been called first receivers or emergency
16 departments at the hospital, as well as, you know,
17 medical staff, that those types of systems wouldn't
18 be appropriate -- the loose fitting systems
19 wouldn't be appropriate for use by the fire
20 service, law enforcement, or emergency medical
21 technicians.

22 So that the focus of the standard is

1 going to be looking at the tight fitting
2 respirator.

3 And in consideration of that, I think --
4 please keep in mind that, you know, this is not
5 just the face piece, but also hooded systems with
6 neckbands, you know, the things in the respirators
7 that meet the tight fitting requirement, whether
8 it's a face piece helmet or hood with -- or devices
9 with tight fitting neckbands that would meet the
10 NIOSH definition of a tight fitting respirator.

11 And continuing along with the standards
12 development process, we are doing to follow our
13 three tiers of requirements in looking at 42 CFR
14 national and international standards as well as
15 identifying specific CBRN type of requirements.

16 In looking at what is applicable from 42
17 CFR, we have identified these paragraphs would be
18 appropriate for consideration as part of our
19 standard.

20 And these subparts and paragraphs address
21 things such, you know, the general provisions for
22 respirators, quality control, the process for

1 application for approval, general construction and
2 quality control types of requirements.

3 Some of the paragraphs look at
4 definitions, labeling and marking, the exhalation
5 valve leakage requirements where, you know, tests
6 have already been established and defined in 42 CFR
7 that are appropriate for use on this type of
8 device.

9 In the second tier, we start addressing
10 national and international standards.

11 And I think one thing to keep in mind
12 today during the course of our dialog is that, you
13 know, all of those standard concepts are dynamic at
14 this point.

15 And the key -- some of the things that we
16 want to be sensitive to -- and I think if, you
17 know, you have looked at the docket comments and
18 how the concept paper have evolved, is that we have
19 been sensitive a lot of the things that the
20 stakeholder community has said with regard to the
21 different requirements.

22 And I think what you will hear during the

1 course of our discussion today is where docket
2 comments have been addressed as part of our
3 evaluation.

4 You will hear from the different
5 presenters, how those comments have either been
6 accepted or modified, or why we are not choosing to
7 consider them for this application.

8 The other thing to keep in mind -- and
9 one of the things that our standards development
10 team has been working with as we are going along is
11 how to effectively test these respirators.

12 And part of the focus that we are trying
13 to address is we want the testing to be as
14 representative as possible to what individuals will
15 see while these devices are being worn.

16 And to that end, that leads us to be more
17 inclined towards doing testing where the
18 respirators are operating. You know, for example,
19 for the PAPR, doing a lot of the evaluations where
20 the blower is running.

21 Now, however, we also acknowledge that
22 there may be provisions that we want to consider

1 where the blower is not running, you know, that the
2 blower may have failed and you are no longer
3 getting the benefits of forced air through the
4 filter and whether or not we should consider
5 testing requirements where we look at the
6 respirators in those scenarios.

7 But you will hear a lot more detail about
8 those as we move along through the program today.

9 In particular, there is a lot of
10 information that has been generated since the last
11 time we met in May in terms of benchmark testing
12 that looked at the need for indicators on the
13 system and the battery requirements as well as
14 operational controls for the respirators.

15 We are also going to have a discussion in
16 which I will try to give Terry Thornton justice to
17 look at the breathing performance of the
18 respirators and how we are addressing defining the
19 test requirements for those types of systems.

20 Then we have also the other human factor
21 types requirements, the field of view, the
22 ability -- the haze, luminous transmittance, and

1 the abrasion resistance that look at the ability of
2 the respirator wearer to see through the unit.

3 Other tests such as noise levels,
4 hydration, carbon dioxide, all considered; and you
5 will hear more about these as part of the
6 discussion today.

7 Also, we will be discussing with you the
8 special CBRN requirements looking at the gas life
9 testing as well as presentations by Dr. Lynn
10 Hoffland from ECBC to discuss the recent chemical
11 warfare testing that was done on powered
12 air-purifying respirators at Edgewood.

13 One aspect that we weren't going to cover
14 in a lot of detail today is the practical
15 performance requirement that we are conceptualizing
16 for the standard.

17 And in general, the focus behind this
18 type of evaluation is going to be to integrate
19 these type of requirements into any test where
20 human subjects are used, whether it is the LRPL or
21 if we end up doing a manned test for carbon dioxide
22 or evaluations like the fogging criteria, that we

1 would consider human -- performance -- practical
2 performance aspects as part of those evaluations in
3 looking at developing a standard test procedure to
4 address those as we move along.

5 Again, you know, we welcome your
6 comments. We encourage you to visit our website to
7 follow our progress through the use of the concept
8 paper.

9 I know with the -- usually there is
10 several months in between iterations of the concept
11 paper, but I think historically people that have
12 been involved with this business see, as we get
13 closer to the standard, that we may have several
14 concept papers in a row, you know, on a monthly
15 basis that, you know, define -- that further refine
16 and define the requirements for the system.

17 Just a couple of words in general with
18 regard to this type of item.

19 We have had two public meetings so far on
20 the PAPER. This is the third today. At this point,
21 we do not envision whether or not we will have a
22 fourth public meeting to discuss the PAPER.

1 I think a lot of the rationale going into
2 scheduling another one with a public meeting will
3 be to -- once we complete the results of our
4 benchmark evaluations with breathing performance
5 will I think give us an indication of whether or
6 not there will be a need to get back together to
7 discuss in a formal setting the requirements for
8 the system.

9 And we have had some active submittals to
10 the docket, you know, with regard to technical
11 content that we should consider as part of the
12 system.

13 And what we have done in terms of our
14 process today in looking at these different topics
15 is that we have decided to address these as part of
16 our continuing evaluation and presentations to give
17 you an idea of how we are addressing your comments.

18 You know, in the past, we have heard
19 that, you know, people have been concerned that the
20 docket is a black hole; that information goes in
21 and nothing comes out. And I want to assure you
22 that we take very seriously any comment, any

1 criticism, any constructive input that you may have
2 regarding the content of our standards.

3 Here is some of the other items that we
4 have received comments on.

5 And with that, I'm finished. If you have
6 any questions at this time, I would be glad to take
7 them. Otherwise, Dave Caretti will be next and
8 give us a discussion of some of the research that
9 he has been conducting for us in support of the
10 CBRN standards efforts.

11 And following Dave, he has the pleasure
12 of introducing our first break, but we will see how
13 we are in relation to time.

14 MR. DENNY: Frank Denny, Department of
15 Veterans Affairs.

16 One question. You said that the data
17 that you collected concluded that loose fitting
18 PAPRs are not appropriate for first responders but
19 may be appropriate for first receivers.

20 Is there anything on the NIOSH agenda to
21 look at the potential for evaluating loose fitting
22 PAPRs for first receivers.

1 MR. SZALAJDA: Thank you, Frank. That
2 was a good question.

3 And I think a couple of things I wanted
4 to bring up, you know, with regard to that subject
5 is, one, is with the OSHA -- OSHA has a document
6 out on their website which looks at best practices
7 for -- basically for emergency departments dealing
8 with mass casualty incidents, which is currently in
9 a draft form, but pretty specifically addresses the
10 requirements of what a hospital may encounter in
11 dealing with a mass casualty type of event.

12 There has also been some research done by
13 Horton and others that look at the potential for
14 cross-contamination from casualty victims coming
15 into emergency departments. They have looked at
16 that, I guess, over a four- or five-year period as
17 far as, you know, the effects on the responder
18 community.

19 I think at this point in time, in looking
20 at the focus of why we started to develop the
21 requirements that, you know, the focus for CBRN was
22 to provide those protections to emergency responder

1 community.

2 And I think as we move forward, you know,
3 in looking at the other classes of respirators, you
4 know, to follow, as well as the specific needs of
5 other communities, whether it be the first
6 receivers or other aspects of respirator users,
7 that the potential is there to do an evaluation of
8 those concepts.

9 But at least at this time, in the short
10 term over the next three years, our focus is on the
11 emergency responders.

12 MR. DUNCAN: Paul Duncan, Scott Health
13 and Safety.

14 Jon, I have got a question. You talked
15 about this is really the last anticipated public
16 meeting.

17 Do you anticipate another draft of the
18 standard coming out prior to release, or are you
19 going to go right to the release of the standard,
20 and what is the current anticipated date for
21 release of the standard?

22 MR. SZALAJDA: You are stealing my

1 thunder for later on, but that's okay.

2 I envision there is probably going to be
3 several more updates to the concept paper.

4 With the paper that is currently out on
5 the web, I think we have asked -- and somebody can
6 correct me if I'm wrong. I think we have asked for
7 formal comments back to the docket within 30 days
8 from this public meeting.

9 We envision that once we get those
10 comments back and as well as, you know, looking at
11 existing research that is being done and will be
12 done over the next couple of months, that there
13 will be another concept paper out probably in the
14 February, March timeframe.

15 And then I would assume there will be a
16 few more following that as we move forward and
17 continue to do benchmarking and looking at the high
18 flow type of testing.

19 In terms of the standards release date,
20 we are anticipating September of 2005.

21 And part of the aspect that goes along
22 with that is we have, you know -- I guess like the

1 stakeholder community, we have learned a lot as we
2 have moved along too.

3 And one of the things that we really want
4 to focus on is making sure at the time of the
5 release of the standard, that all of the test
6 procedures been developed and are in place for the
7 manufacturing community to have at the time of the
8 standard release.

9 And we know that has been a shortcoming
10 in the past with some of the other standards that
11 we have released. The SDPs all weren't available
12 for the community, and it has made it very
13 difficult for manufacturers to develop and get
14 their products ready to bring in for certification
15 when those tests aren't in place.

16 So our intent over the next several
17 months, and -- you know, like I said, September is
18 probably a good date, though. It could be sooner
19 depending on how our research goes. Our intent is
20 to finalize the supporting documentation to go
21 along with the release of the standard.

22 Okay? Well, our next speaker is going to

1 be Dave Caretti from ECBC, who has -- I have known
2 for several years, and he is going to provide to us
3 some updates on his research work in support of the
4 standards.

5 And, Dave, if it's 10 o'clock when you
6 are done, you can have the pleasure of introducing
7 the break.

8 MR. CARETTI: Good morning to everyone.

9 This is becoming your regular routine, so
10 you have probably seen this several times. If you
11 have seen this before, I apologize.

12 I'm going to try to run through a brief
13 update of my portion of some of the research that
14 we have done for NPPTL and NIOSH related more so to
15 the literature review and trying to get ahold of
16 what are realistic expectations for breathing rates
17 in the workplace.

18 And then I will fumble through the second
19 part of this related to the filter efficiency
20 testing, since that is not my area of expertise,
21 but I tried to get some good information from my
22 colleague, Paul Gardener.

1 If you have questions to that effect, you
2 can ask, and I will make sure I get them back to
3 Paul to get some answers.

4 For the workplace breathing rate
5 assessment, we were approached by NPPTL to really
6 try to take a look at what is in the literature,
7 what would be expected in workplaces for
8 ventilation rates.

9 The main focus being things related to
10 minute volumes, the amount of air exchanged in a
11 minute, essentially. And issues related to peak
12 flow rates. And for respirators, probably our main
13 concern is peak inspiratory flow rates.

14 So what we have done is we have gathered
15 a lot of literature, and we have gathered even some
16 more recent data related to actual respirator wear
17 from different research houses, both university
18 settings and company settings, and tried to really
19 analyze that data to see what it can tell us.

20 Happy to report that the literature
21 review and analysis has been published in -- as an
22 Edgewood report. We have not gone through the

1 process through NPPTL.

2 So what we have done is we wanted to get
3 the information in a document for ourselves
4 in-house. I do have a few copies of that with me
5 if you are interested. It is released -- approved
6 for public release.

7 I just caveat that it is probably not the
8 end-all of the discussions, but it probably
9 represents, we believe, a good compilation of
10 information currently available that is in the
11 literature, and it includes some analysis of some
12 of that data beyond just regurgitating what others
13 have reported.

14 To do this task, we did find there was
15 very limited empirical data to meet the main
16 objectives. There aren't too many studies out
17 there where people will allow you to come into a
18 workplace, whether someone is wearing a respirator
19 or not, instrument them with some type of flow
20 measuring devices so you can actually record
21 ventilation.

22 It probably makes sense they don't want

1 their worker productivity to be degraded because
2 you are trying to run a study. So very limited
3 information out there.

4 So we made a lot of reviews of other
5 types of literature related to energy expenditure
6 studies, which are a little easier to administer
7 without interfering with people doing their work.

8 And we have made some -- we have made
9 some relationships or examined relationships from
10 some of that information based on different
11 metabolic values.

12 What we did is we adopted approach for
13 estimating minute volumes from energy expenditure
14 literature, and we looked mainly at relationships
15 of minute volume to oxygen consumption.

16 Basically, oxygen consumption is an
17 amount of oxygen required to do the work, and there
18 is a relationship that you have to breathe so much
19 air to consume so much oxygen. So that is
20 essentially what we were looking at.

21 The literature review we went through, we
22 have actually adopted a couple of different

1 equations for making these estimations.

2 And I will emphasize the point they are
3 estimations. Every human responds differently to
4 the same type of work. It is really difficult to
5 pinpoint that everyone in this room would breath at
6 the exact same rate doing the exact same task. It
7 just doesn't happen.

8 We believe it is better to have a range
9 of values, at least to show what the low ends may
10 be and the high ends may be for individuals
11 performing certain types of tasks.

12 By the same token, there is very little
13 literature out there related to peak flow rate
14 values because peak flows really are more related
15 to probably clinically diagnosing somebody with
16 some kind of a respiratory problem, a restrictive
17 lung disease or something along those effects.

18 It doesn't mean there isn't any data out
19 there in the literature. And the information
20 related to peak flows become a little more --
21 people have become more interested in that in the
22 past few years, especially as how it relates to

1 filter performance and efficiencies related to
2 respirators.

3 Again, we looked at the data. We made
4 some relationships and came up with the range of
5 peak flows related to minute volumes.

6 Just a brief graph of a couple of
7 relationships in the literature relating oxygen
8 consumption to minute volumes that serve to develop
9 our upper and lower ranges.

10 These curves are somewhat exponential --
11 well, these are exponential. We have evaluated
12 existing literature data on how they fall in
13 between these values, and all of that information
14 is in the tech report.

15 We basically relied on these equations to
16 establish our ranges.

17 Again, I will say this probably is not
18 the end-all to the discussion, but we believe it
19 provided us reasonable information related to
20 minute volumes and the relationship of minute
21 volume and oxygen consumption.

22 Just a brief graph to show the curve of

1 data that we used to estimate peak flow rates. And
2 you can see, there is an upper and a lower bound
3 related to the dash lines. And some of the plots
4 in there are actually empirical data to how they
5 fit into that range.

6 And, again, we used the linear fit of the
7 data to give us an estimate of peak flows based on
8 minute volume values.

9 And the upper and lower lines represent
10 the prediction intervals that we used for
11 estimating, again, multiple values for a given
12 minute ventilation. Just because you inspire a
13 certain minute volume doesn't mean you always have
14 the same peak inspiratory flow value.

15 In reviewing the literature, what you
16 have in the graph here is just a frequency
17 distribution of minute volumes found related to
18 occupational task performance.

19 This is a compilation of actually
20 measured minute volume data from the workplace and
21 our estimations of minute ventilations related to
22 energy consumption or energy expenditure using

1 oxygen consumption data and the equations that we
2 talked about previously.

3 If you take a look at the data here, it's
4 really skewed to the left only because there is one
5 article that we reviewed where there was an
6 estimate of a minute volume of 162 liters a minute,
7 which skews it way out to the -- it shows that one
8 data point way out to the right.

9 This is just to show all the data that we
10 had in the analysis. Here is a breakdown of the
11 data.

12 In the distribution of minute volumes,
13 the average of what we found was about 39 liters
14 per minute. The median was roughly 34 liters per
15 minute. The 95th percentile, about 73 liters per
16 minute. And that peak value of minute volume was
17 162.

18 This, again, is the literature related to
19 workplace energy expenditure for reported minute
20 volumes.

21 Using these minute volume values, we
22 estimated the peak flow rates based on the equation

1 or the linear relationship we showed you before.

2 And, again, really what we are showing
3 here is a range. And based on the values that we
4 have in minute volumes, we really could not
5 estimate anything over 120 liters a minute. So it
6 really only eliminated one data point in our
7 analysis, but we came up with a range of expected
8 or anticipated peak inspiratory flow rates of
9 roughly 270 to about 390. So that really does show
10 that in the workplace or workplace type activities,
11 you can have high peak flow rates.

12 To try to say what those values really
13 mean, we went and looked at literature related to
14 peak human performance. We really only did that to
15 say, Well, these are values that are reported in
16 occupational settings. How often or what is the
17 likelihood that in an occupational setting without
18 a respirator on, how close are you going to get to
19 peak human values that are possible?

20 Reviewed mainly literature related to
21 exhaustive short term work for people normals,
22 meaning they were apparently healthy individuals,

1 different age categories.

2 And some of the data presented here is
3 right out of certain articles where it's a good
4 average of basically the most fit in general
5 population of subjects, males in the 20-29 year age
6 group.

7 And maximal minute values reported for
8 those individuals, roughly 114 plus or minus about
9 23 liters a minute. It can go higher than 114, but
10 114 is a pretty high value for minute volume.

11 Females lower generally due to
12 differences in body size. But there are extremes
13 reported in the literature under peak performance
14 conditions where we have seen minute volumes that
15 can go up to about 200 liters a minute.

16 In trying to find peak flow rates under
17 similar work conditions, we found some literature
18 related to maximum exercise values for healthy
19 individuals around 300 liters per minute.

20 We have recorded some values higher than
21 that in our laboratory for some testing without
22 respirators, and there have been some reports in

1 the literature of extremes in excess of 500 liters
2 a minute.

3 What does that mean? It means it's quite
4 possible to have a high peak flow rate.

5 Does it mean it's sustainable, the
6 question is? The answer to that question is
7 probably not, but it can occur.

8 So in summary, what we found in the
9 paper, for occupational tasks, minute volumes will
10 rarely approach maximal capabilities, at least what
11 we found in the literature that we reviewed.

12 We felt that 73 liters per minute is a
13 sufficient representation of the upper limits of
14 minute volumes anticipated in the workplace, and
15 probably 114, give or take, is a reasonable
16 estimate for maximal minute volume values.

17 Some of the predictions that we came up
18 with are estimates for peak flows based on these
19 minute volume values correspond with some
20 literature. And using that max VE value of 114
21 suggests that an upper limit in the workplace, if
22 you are doing maximal capabilities to exhaustion,

1 is in excess of 400 liters a minute.

2 It is important to note that higher
3 minute volumes can occur. Higher peak flow rates
4 can occur. But based on the literature review, it
5 seems that these instances are not the norm, and it
6 is not something you would anticipate happening all
7 the time.

8 The second part of the analysis of the
9 literature related to occupational minute
10 volumes -- and the fire alarm is going off.

11 The -- we got some data from other
12 sources on more recent investigations that have
13 been done with respirators. Work has been done
14 from different intensity work rates, but a lot of
15 it done at very high intensity work rates, in
16 excess of 85 percent of someone's maximal
17 cardiovascular capabilities.

18 We wanted to get this information to try
19 to validate or update our current knowledge on
20 ventilation during respirator wear and to identify
21 data gaps for further research.

22 We have populated a database, and we have

1 defined all the parameters, and we initiated some
2 of the data analysis. And we anticipate to finish
3 this up in January with a report to follow.

4 Just briefly, what you have here might be
5 hard to see, but on the X-axis is inhalation
6 resistance. This is imposed resistances either
7 with a full facepiece respirator or a half-mask.

8 Whether it is with an off-the-shelf type
9 of a cartridge or some kind of an orifice that is
10 blocking flow, it doesn't really matter. We took
11 the values at face value related to actual
12 resistance in centimeters of water/liters per
13 second.

14 On the Y-axis is minute volume. And what
15 you see is just a distribution of some of the data
16 for those specific resistances that were tested for
17 inhalation resistance.

18 It is just a summary graph. I can't tell
19 you what it means right now. Just this is data on
20 more recent respirator examinations that have been
21 done by four laboratory sources.

22 It is just interesting to note that there

1 is quite a variability in the minute volumes that
2 are capable depending on resistance. And if you
3 look at this particular graph, it kind of begs the
4 question that some of the lower minute volume
5 values are at the lower resistance levels.

6 So this is my quandary right now in
7 trying to analyze this data, so we are going to go
8 through and try to see what that means. But we
9 just wanted to show that -- what some of the data
10 looks like just at face value right at the moment.

11 This is a similar graph, but it's peak
12 inspiratory flows based on inhalation resistance.
13 And we had a little more consistency in the data
14 there.

15 Interesting to note that peak flows are
16 still capable of being quite high, even with high
17 inhalation resistance conditions.

18 And this is just another way to show the
19 peak flow data as particular inhalation resistances
20 down the right-hand side. These are representative
21 of individual studies or different resistance
22 conditions within one or two studies. And that is

1 just a frequency distribution of some of the peak
2 flows that are out there.

3 But if you go back to the 430 liter per
4 minute estimate of probably an upper value for peak
5 flows in the workplace, you will see most of the
6 data falls below that value. And this is, of
7 course, under resistance breathing conditions.

8 Part of the purpose of doing the
9 literature review on the flow rates was to try to
10 provide some moderate to high flow rate conditions
11 for a second study that we are currently conducting
12 for NPPTL.

13 We are doing some filter efficiency
14 testing to assess the impacts of moderate to high
15 flow rates on performance of particulate filters,
16 particulate filters. These are not combination
17 filters. These are not gas filters. These are
18 particulate filters.

19 And we are trying to compare efficiencies
20 measured under constant and cyclic, meaning
21 breather type flow conditions.

22 Another part of this is we are comparing

1 efficiencies measured using inert, or simulants,
2 and bioaerosol challenges. Okay?

3 The current test plan involves eight
4 approved N95 and P100 filters. Again, these are
5 all air-purifying respirator particulate filters.
6 We have four cartridges and four filtering
7 facepieces.

8 We are using different challenge aerosols
9 depending on the particle sizes. That is probably
10 because of the limitations of the equipment we
11 have, but we are looking at submicron and
12 supermicron particle sizes.

13 For the N95s, for the solids, we are
14 using sodium chloride and the -- and PSLs. And for
15 the P100s for the oil, we have got DOP and Emery
16 3004.

17 The sodium chloride and DOP are being
18 used for submicron particles, and the others for
19 the supermicron. And, again, those are limitations
20 due to our equipment. There is really no
21 difference in the particles other than they are
22 materials, but they are all being used for the same

1 sizes.

2 So we have particles below micron and
3 supermicron, and we are testing solids and aerosols
4 and oils through that range from .02 to 3 microns.

5 We are doing some bioaerosol challenge to
6 see how we compare with the inert to the
7 bioaerosols. And for the bacterial particles, we
8 are using BG spore simulants. And for the viral,
9 we have got the MS2 phage.

10 A lot of this work is being conducted in
11 house, and then we do have some of the biological
12 aerosol stuff being conducted outside.

13 But the link back to the aerosol sizes
14 and the flow rates goes back to the review of the
15 literature that we did for the occupational flow
16 rates.

17 Under constant flows, it is pretty
18 straightforward. We are trying to use flow rates
19 to span the range of moderate flows to high flows.

20 Peak inspiratory flow of a constant flow
21 test is the same as mean inspiratory flow. But
22 when we get to the cyclic values, we are using the

1 peak inspiratory flow values and the minute volumes
2 that are associated with that.

3 And the mean inspiratory flow would be --
4 I don't have a pointer. Is there a pointer?

5 Peak flow -- and this is inhalation.
6 This is just a sample of a sinusoidal curve, but
7 this is peak flow would be the highest value as
8 related to that inhalation phase.

9 And mean inspiratory flow would be
10 somewhere in here, depending on the breathing pump
11 that is being used, whether it is a pure sine or if
12 it's a Silverman sine wave.

13 Mean inspiratory flow is the same as root
14 mean squared of that curve, and that's what these
15 values are reflecting here.

16 For the constant flow testing, it is
17 important to understand with the cartridge types of
18 tests that if the cartridge is designed to be on a
19 respirator that is a dual cartridge system, the
20 flow rates are halved because we are only testing
21 one cartridge at a time.

22 And this is just a graph to show the

1 match of the flow rates being used in testing. The
2 40 liter per minute is close to the mean minute
3 volume that we found for the occupational
4 ventilation rates, 85 liters per minute, current
5 test standard for resistance testing.

6 The 115 is representative of the maximal
7 minute volume values, and the 135 is representative
8 of the maximal value plus one standard deviation.
9 Why are they not exact 38 and a half to 38 and a
10 half? Just limitations of the breathing pump in
11 the tests.

12 Just some preliminary findings. Again, I
13 apologize for not being the expert here, but this
14 red line is the efficiency, 99.97 percent for the
15 particular cartridge that was being tested, a P100.

16 This represents a DOP test under constant
17 flow rates, and you have different particle sizes
18 being tested on the X-axis.

19 And it's just a curve of efficiency. The
20 flow rates are halved under constant flow because
21 it is a dual cartridge system, so this is for one
22 cartridge. What the data shows is, under higher

1 constant flows, we lose some efficiency of the
2 particular filter.

3 Same cartridge, just a comparison of the
4 constant versus the cyclic flow data. For the
5 conditions here of constant flow, again, that is
6 half of the 270 value versus doing cyclic where we
7 have a match of peak inspiratory flow to the
8 constant flow. Those would be the light blue bars.

9 And here we have a match of the mean
10 inspiratory flow to the constant flow.

11 Preliminary data suggests that cyclic
12 versus constant, the match is constant for mean
13 inspiratory flow value in terms of efficiency.

14 This data is all very preliminary. This
15 is just a first look at what we have here. Just
16 wanted to show some of that just to highlight what
17 we found to date.

18 The status of the testing is the
19 submicron aerosol testing has been completed, and
20 we have initiated the supermicron particle testing,
21 and we anticipate this testing to be completed in
22 February.

1 Testing with the bioaerosol, the
2 cartridge tests have been completed with the Bg
3 spores, and we are currently conducting testing
4 with the filtering facepiece systems. All of the
5 MS2 phage testing will commence once we have
6 completed the Bg trials.

7 For this research, what we anticipate for
8 future directions is, in terms of the workplace
9 breathing and respirator ventilation data, any new
10 literature that comes to light, we will try to make
11 sure we get it and review it and see how it relates
12 to what we have reported in our tech report.

13 We really would try to -- like to, one
14 day, try to get into some occupational settings and
15 actually measure ventilation in the workplace for a
16 full range or complete range of actual occupational
17 task performance so that we don't have to rely so
18 much on in-house laboratory testing.

19 We would use that information to try to
20 validate and/or update the relationships that were
21 used in the literature review for estimating minute
22 volumes.

1 For the filter testing, the next step
2 that we anticipate is down the road, testing the
3 combination filters to be more representative of
4 the CBRN type of filters that are being used or
5 being certified.

6 And we would also like to determine
7 efficiency based on waveshapes and/or other types
8 of breathing profiles.

9 To date, all of the particulate filter
10 testing has been done with sinusoidal flow curves
11 for the cyclic tests. Humans do not breath just
12 sinusoidally, so we would like to take a look at
13 different waveshape impacts on filter efficiency.

14 One other project related to some of the
15 research for NPPTL is we have initiated a human
16 factors review of issues related to the closed
17 circuit self-contained breathing apparatus, and
18 that information will be summarized into a report
19 to support that particular CBRN standard
20 development.

21 At this point, that's all I have to say
22 related to those projects. I apologize for some of

1 the brevity related to the filter efficiency
2 testing, but any questions you may have, I will
3 entertain those at this time.

4 MR. BERNDTSSON: Goran Berndtsson from
5 SEA.

6 I don't really have a question. I would
7 like to applaud this research you are doing. It is
8 a very good presentation, and we are getting some
9 very interesting results coming out, and it's about
10 time.

11 Very good.

12 MR. CARETTI: Thank you.

13 MS. TOWNSEND: Dr. Mary Townsend, ME
14 Townsend and Associates and the University of
15 Pittsburgh. I have a question about the minute
16 volume.

17 Is it actually the integrated amount of
18 air that is moved in a minute, or is it based on
19 like the flow rate that -- like, say, for a second,
20 that has been multiplied by 60 in order to get a
21 volume?

22 Because it's not --

1 MR. CARETTI: I will tell you how we do
2 it in our laboratory.

3 MS. TOWNSEND: Okay.

4 MR. CARETTI: We actually sample data for
5 30 seconds.

6 MS. TOWNSEND: Okay.

7 MR. CARETTI: And then we will calculate
8 breath-by-breath minute volumes for all the
9 complete breaths in a cycle, and then extrapolate
10 that to be a minute.

11 So it's a time-weighted value for each
12 breath.

13 MS. TOWNSEND: So what --

14 MR. CARETTI: The controversy of what is
15 the actual volume in the whole minute --

16 MS. TOWNSEND: Uh-huh.

17 MR. CARETTI: -- or is it fair to take a
18 ten-second sample and just say that is the minute
19 volume.

20 I believe that's accepted that as long as
21 you have gotten a good portion of a minute to make
22 that estimate, basically under steady state

1 conditions, that should be fairly uniform. It does
2 not mean you can have size and gasps and other
3 things that can change those values, but that is
4 how we handle that information.

5 MS. TOWNSEND: Okay.

6 MR. CARETTI: The minute volumes in the
7 literature, we took great pains to review the
8 procedures that were used and to look at the flow
9 measuring devices that where used. We discussed
10 many of those options in the paper.

11 Essentially, if the data -- it was a lot
12 of data, but the method used might have been
13 questionable.

14 We made sure that we said, Well, they
15 used something out of the ordinary, but these are
16 the values that were recorded.

17 MS. TOWNSEND: I was struck by the
18 histogram that you had where you said that part of
19 it was your projections and part of it were
20 measured -- minute volumes, they were low, weren't
21 they?

22 I mean, is that reflecting that the

1 places that were studied are fairly -- that maybe
2 they only have peaks of rapid breathing? Were
3 there fairly sedentary occupations?

4 MR. CARETTI: That's a good point. And
5 in actuality, there was a full gamut of types of
6 occupations.

7 Some of the heavier occupations that were
8 actually measured values, some of the logging
9 industry, felling trees, moving things around. You
10 would probably know as well as I do that when you
11 want to measure somebody doing something, they may
12 not perform the way they normally would, so there
13 could be some dampening of values to that effect.

14 But by the same token, there is also the
15 white labcoat syndrome where sometimes people get a
16 little more nervous, so their ventilation may be
17 even higher.

18 The best I can answer to that is the
19 literature we reviewed, it's all -- the parameters
20 are all in there. It was lower than I had thought
21 it would be.

22 But an interesting side to this is in the

1 standards for the chemical agent testing where we
2 were exposing them to the TB and HD, that is a
3 40-liter-per-minute minute volume, and our average
4 was 38.

5 By chance? I don't know. But that kind
6 of says, Well, that's a good representative flow
7 for the majority of the occupational tasks.

8 It doesn't mean there are stages in a
9 work day where people work at much higher rates.

10 MS. TOWNSEND: I'm wondering how that
11 would relate to the emergency situations, that all
12 of -- you know, that this is all about, all of this
13 CBRN.

14 MR. CARETTI: One slide that is not in
15 this presentation today was presented in May -- I
16 believe that was the last public meeting -- where
17 we broke out what we identified as first responder
18 type activities.

19 And I won't tell you exactly what that
20 value was in terms of the mean and the 95th
21 percentile. That data was related to firefighters,
22 but I don't believe the mean was much more than the

1 mean that was presented here.

2 I think it may have been in the low 40s,
3 but I don't have that with me, so I won't make that
4 guess. But if you want that information, I will be
5 more than happy to provide that.

6 MS. TOWNSEND: So you are probably
7 looking at peaks, then. But when you integrate
8 them over the whole minute then, it ends up being a
9 kind of low value?

10 MR. CARETTI: Yes.

11 MS. TOWNSEND: Good. Thank you very
12 much.

13 MR. CARETTI: Sure.

14 MR. BERNDTSSON: Goran Berndtsson from
15 SEA.

16 I think you said that in the beginning of
17 what -- when you responded to this, that if you
18 take a too short sample, that will mean that you
19 might not get the right minute volume, and that is
20 maybe what happened on some of the earlier studies.

21 And if you look on them, they very often
22 took a couple of breaths and estimated -- predicted

1 the entire minute on a couple of breaths. And that
2 could have been a couple of large breaths, or it
3 could have been a couple of small breaths.

4 I mean, as you have been measuring entire
5 minutes now, you see the variation can be quite
6 different within that minute, even if it is that
7 work. Because you have sneezing and a few other
8 things.

9 And then if they are on that, it could
10 take just two of those, there will be a
11 misprediction.

12 MR. CARETTI: That's true. Many of the
13 studies with the data may have not had a large
14 enough sample time. But if they are sampling every
15 minute doing a constant rate type of activity and
16 there is not a large fluctuation minute by minute,
17 you can get some comfort in that the data is fairly
18 reliable.

19 And this all went into the thought
20 process and the paper.

21 MR. BROCHU: I'm Lieutenant Commander
22 Paul Brochu from the Marine Corps Chem/Bio Incident

1 Response Force, and I applaud you for your work.

2 And as I appreciate that, the -- one of
3 the studies that was done was done at CBIRF in
4 Maryland, and it's the coughing study, which I know
5 you are aware of, Dr. Caretti.

6 In that study with a young healthy
7 population, a good chunk of our population, over 42
8 percent, were seeing minute volumes in excess of
9 100 liters per minute in that study. And I know
10 you took that into consideration and referenced it
11 in your study.

12 And really, it's just a comment that I
13 wanted to make that in certain populations, which
14 you are addressing, there is that chance that you
15 are going to exceed certainly your estimated 95th
16 percentile of 73. And this was sustained for a
17 20-minute period during the standard firefighter's
18 agility test.

19 So in some cases you could be seeing, you
20 know, even higher rates than possibly this overall
21 literature search, you know, revealed.

22 MR. CARETTI: I thank you for your

1 comments.

2 But just one clarification on that study
3 is those high values were actually sustained for
4 three minutes. That was the peak respiratory
5 period during the heaviest activity of that 20
6 minutes.

7 There were excursions to that level
8 during other tasks, but the overall average through
9 that whole period wasn't reported. It was only
10 reported on the region of peak respiratory
11 interest.

12 But, again, those were in excess of that
13 95th percentile. They were over 100 liters a
14 minute.

15 MR. BROCHU: That's correct.

16 MR. CARETTI: Yes.

17 MR. BROCHU: Thank you.

18 MR. VIJAYAKUMAR: Vijay from Air
19 Techniques. I have two comments, two questions
20 concerning filtration, the testing you have done.

21 There has been a lot of studies for many,
22 many years concerning filter efficiencies at

1 different flow rates and different particle sizes,
2 especially the most penetrating particle sizes.

3 Have you compared your data or compared
4 your data with published -- with commercial and
5 technical -- technically peer reviewed
6 publications?

7 The second question, when you are
8 measuring filter efficiencies on a cyclic flow
9 rate, are you sampling a cyclic flow rate, or are
10 you sampling for measurements on the steady flow
11 rate?

12 I mean, the instrument that you use to
13 measure concentrations up and downstream, are they
14 cycling as well?

15 MR. CARETTI: Your first question about
16 comparison data in the literature, yes, that was
17 the basis for all the testing. All the literature
18 that existed was out there.

19 The only thing that was missing that we
20 could not find -- if you have a source, we would
21 love to have that -- was actually with the Bg or
22 MS2 phage under cyclic conditions.

1 So that phase of the study with the inert
2 particles was to revalidate what has been done and
3 then compare what has not been done to those
4 revalidated values.

5 As far as the equipment, I don't have a
6 direct answer to that. I believe it's sampling
7 continuously through the cycle, but we can check
8 and let you know.

9 You have got my number, Vijay, and we can
10 give you that information.

11 MR. HAUSER: Hans Hauser from Safety Tech
12 International.

13 We saw different numbers of possible peak
14 flows, and my question is now in the draft for
15 discussion of October, you mentioned that whatever
16 will be the peak flow, it will never exceed the
17 breakthrough of the filter.

18 Is that the statement, is that still
19 valid, or how we can understand it?

20 MR. CARETTI: I don't know that I have
21 stated that if you exceed peak flow that the filter
22 is still okay.

1 I guess I need to understand your
2 question a little bit better.

3 MR. HAUSER: Yeah. We have a certain
4 breakthrough time and flow -- maximum flow for a
5 filter.

6 And now that panic mode exceeds most of
7 the time, especially the numbers we have seen here,
8 will exceed the breakthrough capability of the
9 filter.

10 But it is written here that the peak flow
11 should not exceed the breakthrough capability of
12 the filter.

13 MR. CARETTI: That's in the concept --

14 MR. HAUSER: My question is now, is it
15 the statement, is that still valid, or is that a
16 misunderstanding from our side or ...

17 MR. CARETTI: Okay. We will have to get
18 back to you on that when we see the section in the
19 concept paper.

20 MR. BERNDTSSON: Goran Berndtsson from
21 SEA again.

22 You quote that the median flow rate, that

1 was based on a sinuous curve, wasn't it? I mean,
2 when you talked about the peak flow rate and then
3 you -- I think you also put a medium flow rate
4 during it.

5 It was a sinusoidal --

6 MR. CARETTI: Actually, Goran, all of the
7 data in the paper is based on what is in the
8 literature collected under human ventilation
9 parameters.

10 So there is not a lot of -- not everyone
11 is reported -- they didn't measure just the flow
12 curves. They reported values.

13 The peak flow values that were on the one
14 relationship, the linear relationship, were
15 actually empirical data that were just plotted
16 versus minute volume. I can't tell you what the
17 curve shapes were for those values.

18 The filter testing right now is all under
19 a sinuous curve.

20 MR. BERNDTSSON: Yeah.

21 MR. CARETTI: And the next step that we
22 would like to do is change that waveshape to make

1 it more representative of what you would see under
2 high intensity.

3 You would not see a sine curve.

4 MR. BERNDTSSON: That was going to be my
5 point, next thing. Because as you make a more
6 squares (phonetic) curve, which is more relevant to
7 most people how they are breathing, especially when
8 they stopped working a little bit, you will get a
9 higher level on that median number?

10 MR. CARETTI: The median number, yes.

11 The peak flow won't necessarily be
12 higher.

13 MR. BERNDTSSON: No. No. But the median
14 number will be --

15 MR. CARETTI: Yes.

16 MS. SWANSON: Hi, Meghan Swanson, Mine
17 Safety Appliances.

18 Dr. Caretti, you mentioned 430 liters a
19 minute for a peak performance rate, and you said
20 that wasn't very sustainable.

21 I was just wondering if you had any idea
22 how long a person can keep that rate up. Is it two

1 seconds or two minutes?

2 MR. CARETTI: Less than five minutes.

3 Usually, under very heavy intensity exercise,
4 running up a flight of stairs at full speed.

5 The actual maximal sustained time is a
6 great question, okay. What you have to factor in
7 is what is somebody's cardiovascular fitness level.
8 If they are wearing a respirator, how familiar are
9 they with that respirator. How heavy is the -- how
10 much does the respirator system weigh.

11 But those heavy intensity exercises in
12 general are generating the highest minute volumes,
13 generating the highest peak flow rates. You could
14 mimic the peak flow at rest sitting in a chair at
15 high if you really wanted to do it voluntarily.
16 But at rest, when you do that a few times, you will
17 become lightheaded.

18 But under heavy exercise, I would have to
19 say five minutes. I don't think it can be exceeded
20 to that effect. And I don't know if that is every
21 breath in that five minutes that you can exceed
22 that 430 liter per minute peak flow.

1 A lot of times those values are related
2 to, in a full minute you will see flow curves, and
3 you may have a spike because somebody takes a real
4 deep breath, and then it goes back down a little
5 bit lower. So it may be one excursion to that peak
6 value in that five minutes.

7 I don't have the answer to that question.
8 It all goes to the variability in breathing. Even
9 under a constant rate exercise, little things can
10 make big changes.

11 So I guess that's the best I can answer
12 that question.

13 MS. SWANSON: Thank you.

14 MR. SZALAJDA: Thank you very much.
15 Thank you, Dave.

16 Let's take a 15-minute break, and we will
17 start up again at 20 after 10.

18 (A recess was taken.)

19 MR. METZLER: I think a lot of people may
20 have already noticed our technology branch has
21 several posters set up in the lobby, and there is
22 also several individuals from the branch here as

1 well today that are prepared to discuss the posters
2 in some more detail. So during the break or during
3 lunch, the opportunity is available for you to see
4 some of the other activities that are going on at
5 NPPTL.

6 One other aspect I forgot to mention this
7 morning, there will be an attendees list put
8 together for the meeting. It is going to be
9 available during the afternoon break.

10 So you can keep that in mind that there
11 will be a list, and it will be available during the
12 afternoon break.

13 We have fallen a couple of minutes behind
14 schedule. We will work to get back on track and
15 address some of the requirements, one of the
16 research projects and the some of the requirements
17 for the PAPER that we are considering.

18 Mike Monohan is going to provide a
19 presentation that -- a project that he is
20 undertaking with looking at the canister resistance
21 and impacts on service life for CBRN canisters.

22 MR. MONAHAN: This is the second part of

1 a study that we presented at the last public
2 meeting, and it has to do with differences in
3 resistances and what happens to the flow -- to your
4 service life -- if I can get this to work.

5 This work was contracted to AJE Testing
6 and Research. And the object of the study is to
7 determine the effect of differing canister
8 resistances on the service life of a PAPR by
9 artificially altering the pressure drop to pairs of
10 simulated cartridges.

11 We tried to target a fairly wide range of
12 pressure drops from basically matched pairs at zero
13 to 25 percent difference.

14 I'm going to go over this part fairly
15 quickly since it has been gone over once before.
16 And if anybody has any questions, please stop and
17 I'll try to -- stop me, and I will try to answer
18 the questions for you.

19 Our test conditions were 25 degrees C, 50
20 percent RH, and the test concentrations that we
21 used were -- are the same as for the APR standard.

22 And the test gases were cyclohexane,

1 sulfur dioxide, phosphine, and cyanogen chloride.

2 The -- basically, what we tried to do is
3 we picked the cyclohexane as a surrogate for the --
4 your organic vapors, sulfur dioxide for your acid
5 gas, and phosphine as a catalytic type of
6 challenge, and the same with cyanogen chloride.

7 The test cartridge we used was five
8 inches in diameter, and it has -- by adjusting the
9 fill, you can adjust the bed depth.

10 This is only a preliminary study, so we
11 chose to use just one carbon. And we chose a URC
12 12 by 30 that is manufactured by Calgon Carbon.
13 And we looked at different bed depths, and these
14 are represented by the fills of 250 and 300 ccs for
15 the low flow rate of 115 liters per minute. These
16 are steady state flows.

17 And 500 and 600 cc fills for the high
18 flow rates.

19 The effluent and break points were both
20 determined on each cartridge of the tested pairs.
21 Break times -- the system break times were
22 calculated -- is a calculated value based on mass

1 balance.

2 This is a diagram of the cartridge that
3 we used. By varying the bed depth, you can
4 actually -- with these retainer rings, you can
5 tighten down and get a uniform pressure on the
6 beds. And they were quite consistent in our
7 results with these.

8 The apparatus we used was a typical
9 service life apparatus with some modifications on
10 the back end where we were actually using mass flow
11 meters before the test started to get the
12 differences in flow rates and -- with the
13 resistance. And each -- each canister had its own
14 detector so that we could see the differences in
15 breakthrough.

16 When we calculated the actual end point
17 of the particular service life test, we used a mass
18 balance, and this is the calculation we used.

19 For the one example, we had the different
20 concentrations at any particular point. And this
21 one, I had a flow of 63.4 liters per minute for the
22 one cartridge, and 51.6 for the other. So there is

1 a lot -- with a total of 115.

2 This is actually what happens with a high
3 and low resistance cartridge. You have your low
4 resistance cartridge here, which has your highest
5 flow, and the high resistance cartridge here, which
6 is your lower flows.

7 And this is the calculated service time
8 at any particular point on the curve. And these
9 tests were run to twice the breakthrough
10 concentration because the effects of one cartridge
11 is basically contributing all or most of the
12 leakage at one -- at any particular time.

13 These curves are for the different flow
14 rates and bed depths. Your low flow rates are
15 here.

16 Basically what you see is as the flow
17 rate increases, your service life decreases, and
18 the -- for any particular bed depth.

19 We saw very similar conditions or results
20 from when we looked at both cyclohexane and sulfur
21 dioxide.

22 At any particular point, even though the

1 service lives were different, the percentage
2 difference on the pressure drop versus the
3 percentage difference in service life were
4 approximately the same for both cyclohexane and
5 sulfur dioxide.

6 But when we got into cyanogen chloride,
7 there is more of an effect with the higher flows in
8 the service life. It was more -- there was more
9 effect to it. I think it is because there is
10 somewhat of a catalytic effect to it.

11 When we looked at phosphine, we got some
12 very interesting results. Basically what we are
13 seeing here is that we had instantaneous
14 breakthrough on three of the four tests that we
15 ran, and we got a dramatic service life drop-off.

16 And when we looked at it, what we saw
17 was -- there is a minimum amount of time necessary
18 for the reaction to take place to get a successful
19 test. And basically it comes out a little less
20 than .3 seconds residence time is required to get a
21 successful test.

22 You can see here, this is just about

1 where it drops off. And you are getting -- your
2 time is a little less than .3 seconds. As soon as
3 you reach that -- that residence, if you don't have
4 enough residence time, you get almost an automatic
5 breakthrough.

6 When you look at a single cartridge, you
7 can see where you have an induction period with
8 your cartridge. And if you don't have enough bed
9 depth, like in this particular case, you have
10 almost an instantaneous leakage. And then as the
11 reaction -- it's a catalytic reaction. As it picks
12 up, your efficiency or your cartridge gets better
13 and better.

14 But since the end point of the test is --
15 well, you can't see it here. Whoops. Well, you
16 can't see the Y axis, but .03 is right around in
17 this area, which would be your end point of your
18 test.

19 So for this particular challenge, you
20 must have at least a minimum amount of bed depth in
21 the cartridge to get the test to pass.

22 The conclusions that we were able to draw

1 from this study was that the differences in
2 resistance between canisters will cause changes in
3 air flow patterns between the cartridges or the
4 canisters, which will result in lower service
5 times.

6 The type of contaminant does have an
7 effect. With cyclohexane and sulfur dioxide, we
8 didn't see a significant change in the reduction of
9 the service life due to the contaminant challenge.

10 These also were basically physically or
11 chemically absorbed compounds. And, as I said
12 before, the amount of reduction in service life is
13 just about the same for whether it was chemically
14 absorbed or physically absorbed compound.

15 For cyanogen chloride, we saw that higher
16 flow rate conditions produced larger differences in
17 the service life. And this mechanism of absorption
18 is probably a combination of both catalytic and
19 chemical absorption.

20 Phosphine, as we saw, it requires a
21 sufficient residence time within the canister to
22 effectively remove the contaminant. And this is --

1 this is a catalytic reaction, either in whole or in
2 part.

3 And other absorbents will probably show
4 different types of results in that they may not all
5 show the same types because of the amount -- what
6 type of impregnates are used and the amount of pure
7 carbon involved. You probably will get different
8 results, but they should be fairly similar to
9 what -- the results that we have here.

10 And the last one is standard
11 implications.

12 We are going to require a canister
13 uniformity with a resistance variation of
14 approximately 10 percent. This 10 percent is very
15 similar to that -- if you look at EN 141, they have
16 the same tolerance.

17 And we were looking at -- there is two
18 different options we are looking at in relation
19 with this canister uniformity.

20 When you look at -- after a system is
21 certified, we do checks to make sure that the
22 systems are still working right.

1 And one of the things we were looking at
2 was that once you certify the respirator, when you
3 go back to look at and make sure that they are
4 operating right, how would you check the -- to make
5 sure that the uniformity is still there?

6 And we come up with two options. The
7 first is that you take the average resistance of
8 the canisters within what I'm calling a package use
9 unit. We will probably come up with some other
10 crazy name for it.

11 But basically what we are talking about
12 here is that if you have -- if the PAPR has three
13 cartridges, you would package them so that three
14 cartridges are in one package so that when a user
15 goes to use it, the cartridge will be relatively
16 uniform.

17 It will be easy to do since when you are
18 manufacturing them, you would probably do this
19 serially, and you would probably get very little
20 variation amongst the cartridges at any particular
21 point in your manufacturing.

22 The second would be a little tougher to

1 do in that if you decided you wanted to -- the
2 manufacturers wanted to sell cartridges separately,
3 since you would have no control over when -- or
4 which cartridges were used.

5 In other words, you could have one laying
6 on your shelf for possibly a number of years and a
7 fresh one from two different lots and slightly
8 different manufacturing conditions. You would have
9 to have some basis to test the uniformity.

10 So you would probably end up having to
11 base the uniformity on an average resistance that
12 would have to be given by the manufacturer and
13 approved by NIOSH as to its validity. And then you
14 would have -- all your cartridges would have --
15 they would be tested -- after the certification,
16 would have to meet this plus or minus ten percent.

17 These are our options right now. We
18 would like to have your comments on this, and
19 that's about it.

20 And the last part of it is a systems
21 service life test.

22 We feel that -- we were looking at both

1 individual cartridge testing and systems testing.
2 And based on some of the information that we have
3 found out through our benchmark testing -- which I
4 think Ted is going to talk about later on as far as
5 the manifold, the effect of the manifolds' -- we are
6 proposing that -- we are going to do a system test
7 with the manifold and canisters as they would be
8 used in regular use to determine what the service
9 lives are going to be.

10 And that's all right now.

11 Anybody have any questions?

12 MR. DUNCAN: Paul Duncan, Scott Health
13 and Safety.

14 So when you talk about the systems
15 service test and you mention the manifold, are you
16 referring to the PAPR manifold as part of the
17 respirator or a standard NIOSH set up for a
18 manifold?

19 MR. MONAHAN: No. We would use the
20 manifold that the manufacturer uses in his system.

21 We would try to keep, if at all
22 possible -- the new test rigs that we have been

1 putting together are large enough that we can put
2 whole manifolds in with hoses to accomplish this.

3 MR. DUNCAN: And then I ask just to
4 confirm that, what you are talking about, if my
5 understanding of Option 1 is correct, there is -- I
6 think I suggested this before, you are allowing or
7 considering a provision that the manufacturers can
8 package filters in groups for designated use where
9 they maintain that the resistance tolerance is
10 within the specification.

11 Is that correct?

12 MR. MONAHAN: Yeah. In other words, in
13 the package use configuration, the allowable
14 difference would be 10 percent within those
15 cartridges.

16 MR. DUNCAN: In both of those cases, I
17 applaud you guys.

18 I think that's a very practical approach
19 to all of this, and I think it is very well thought
20 out and well done.

21 MR. MONAHAN: Thank you.

22 MR. SMITH: Sorry. Just one further

1 question. Simon Smith, 3M Canada.

2 With the systems service test, as you
3 showed there, what would be the implication for the
4 number of samples of systems and canisters that
5 would have to be submitted?

6 Would you do the service test on every
7 gas and in multiples?

8 MR. MONAHAN: Is the question how many we
9 would use for test certification testing?

10 MR. SMITH: Yes. What would you need in
11 terms of submissions?

12 MR. SZALAJDA: It would probably be the
13 same as we are doing now. Three --

14 MR. MONAHAN: Yeah. There is -- when you
15 look at the different -- we do three at the low
16 humidity, three at high humidity, and then three at
17 crisis demand.

18 MR. SMITH: For every gas?

19 MR. SZALAJDA: Yes.

20 MR. MONAHAN: Yes.

21 MR. SMITH: And in multiples on the
22 manifold?

1 MR. SZALAJDA: Yeah.

2 MR. MONAHAN: Right.

3 MR. SMITH: So quite a large number,
4 then.

5 Thanks.

6 MR. MONAHAN: Thank you.

7 MR. SZALAJDA: All right. In Terry's
8 absence, I will try to give his presentation the
9 due credit it would deserve.

10 The thing is, as I mentioned this
11 morning, in looking at the gas life requirements
12 for the PAPR canisters, the testing is going to be
13 based on the requirements that were developed for
14 the gas mask.

15 And we have discussed that and several of
16 the requirements for the gas mask, and the
17 development for that standard as well as with the
18 escape respirators.

19 I think one thing I did want to note I
20 guess in relation to some of the other comments
21 from this morning, all of our presentations from
22 the previous public meetings are available through

1 the NPPTL website.

2 If you go to the website and follow the
3 links back to the public meeting, it is segregated
4 by the type of respirator that addressed it. And I
5 think I had mentioned in particular Dave's
6 presentation -- the comment about Dave's
7 presentation from the May meeting, you know, and
8 some of the detail regarding the peak flow rates
9 can be found in that document. As well as you can
10 get the transcript from the docket office with what
11 was said and by whom at each of the meetings.

12 And this morning, I had mentioned the
13 fact that we had identified test representative
14 agents for the 139 potential respiratory hazards
15 that this device will protect against, and here
16 they are.

17 I think for the most part, everyone is
18 familiar with those. The manufacturers that have
19 been submitting devices for evaluation are familiar
20 with these tests and the concentrations and the
21 breakthrough rates, the breakthrough
22 concentrations.

1 And in general, when we looked at
2 defining the canister requirements from an
3 engineering standpoint, I'm not an industrial
4 hygienist to tell you how to use the thing, but in
5 terms of the canister itself and the equipment, you
6 know, we focused on capacity and dwell, you know,
7 knowing how much goo the filter, the canister can
8 absorb or adsorb, and how long it takes things to
9 break through, you know, as far -- and what the
10 effects are in relation to the physiological demand
11 being placed on the respirator.

12 In setting these concentrations for --
13 and the breakthroughs for this device, for the
14 air-purifying device, that in general the challenge
15 concentrations are based on multiples of the IDLH
16 and the breakthrough concentrations are based on
17 half of the permissible exposure level, or the
18 recommended exposure level.

19 And that is not entirely true in all of
20 the cases because, again, when you get back to the
21 philosophy of looking at capacity and dwell, you
22 know, where it makes sense from a test technology

1 standpoint to test at a higher concentration and
2 allow a greater breakthrough concentration, we are
3 solely looking at, you know, the ability of the
4 canister to do its job.

5 One of the things that we intend on doing
6 as a part of completing this standard is looking
7 at -- identifying a concept which looks at the
8 different operational technologies. And what is
9 currently defined in the concept paper are constant
10 flow -- are requirements for constant flow, PAPRs,
11 as well as breath response of PAPRs.

12 And one of the comments, or some of the
13 comments that we received through the docket have
14 addressed the inconsistencies with how we have used
15 some of the terminologies with the system and how
16 we described things.

17 And as we are moving along now, I want
18 you all to be aware that we are going to be very
19 sensitive to, you know, the terminologies.

20 I think in general, when you look at how
21 the concept is evolving, we are planning on being
22 consistent with current defined NIOSH terminology

1 that you would see in 42 CFR, you know, and using
2 that type of terminology, and carrying it forward
3 through the standard development process.

4 One other thing on this chart, a lot of
5 questions have come up with regard to capacity and
6 how -- how the devices are supposed to be used once
7 they are fielded and in the hands of the
8 responders.

9 And, again, it gets back to, you know, we
10 are looking -- you know, in defining the
11 requirements, we are looking at capacity and dwell.
12 And to that end, now that we have established, you
13 know, physical parameters that the respirators will
14 provide protection for, part of our policy and
15 standards group, being led by Heinz Ahlers, is in
16 the process of developing guidance documents to
17 help assist the emergency management community and
18 hygienists in how to apply the information that is
19 available regarding these types of devices.

20 You know, what capacity, you know,
21 capacity one means in terms of the protections
22 being afforded by that type of device.

1 I think we -- you know, we acknowledge
2 the fact that there is not a linear relationship
3 between the -- how the capacity is defined and how
4 things may be used in a workplace environment.

5 And part of the guidance documents that
6 we are developing are to take a look at the
7 existing -- the existing requirements and
8 translating them into products that the responder
9 community will be able to use in selecting the
10 respirator and knowing how long that the device
11 will be good for in operation.

12 The service life for the respirators will
13 be -- will include testing with -- of the air flow
14 of the blower or minimum flow, depending on the
15 manufacturer specified breathing performance,
16 whether it is a minimum value or rate specified by
17 the manufacturer.

18 And as I had mentioned this morning, one
19 of the focuses that we are trying to carry through
20 with this system is to replicate how the testing
21 and how the devices will actually be used.

22 And to that extent, as Mike had mentioned

1 in his discussion, that we are looking at testing
2 the manifold and the canister as a system in our
3 operation.

4 You know, we will be doing the
5 traditional gas and vapor evaluations with the test
6 representative agents, three at a low relative
7 humidity, three at a high relative humidity, and
8 also three at a crisis level, which is still to be
9 defined in terms of the development process for the
10 concept paper.

11 I think the -- I think one of the key
12 points here in looking at how we are going to be
13 doing the testing is that we want to meet the
14 traditional systems testing requirements that NIOSH
15 has always promoted for evaluation of respirators.

16 And as well as, you know, by doing the
17 testing of the system, that we will be able to
18 account for flow variations in the canister
19 resistances as part of our evaluation.

20 In addressing some of the public
21 comments, we submit -- on stacking, we submit that
22 we thought it was a good idea to be able to allow

1 certain chemicals to -- or be able to provide the
2 provisions within the requirement to allow for
3 certain chemicals to be stacked and tested at
4 higher concentrations, knowing that, you know,
5 inherently carbon-based canister systems are going
6 to provide, you know, longer service lives for
7 certain materials than others.

8 But in looking at the development --
9 looking at this in concert with the development of
10 the guidance documents and the information that the
11 manufacturers generate with regard to service life
12 of the canisters, we think that, you know, the --
13 the concept of stacking can probably be addressed,
14 you know, through guidance documents, as well as
15 the user documents, and in allowing the user to
16 know exactly how long the specific item may be good
17 for.

18 And this -- the crisis provision has been
19 more challenging than we originally thought, and we
20 have gone through a couple of iterations of what
21 the -- we think the requirements should be based
22 upon information that was generated for the gas

1 mask, as well as some of the research that has been
2 done, you know, including the work that was done by
3 Dave Caretti that was presented at the last public
4 meeting, as well as this public meeting.

5 And I think to address the one question
6 from this morning with regard to the breakthroughs,
7 the breakthroughs that would be considered for the
8 crisis provision, it's not based on time, that we
9 are looking at the concentration that we will be
10 looking through is a breakthrough concentration,
11 not a time.

12 And I think it's a little confusing in
13 the concept paper, and that will be clarified as we
14 move forward with the next edition.

15 But part of our concern in looking at how
16 the testing is done is twofold. You know, one --
17 one that we are looking at, trying to do the
18 evaluation using existing testing concepts that
19 have been used in the industry for many years.

20 And again, it gets back to constant flow
21 testing where it may not be truly indicative of how
22 a respirator is used, but it defines for us the

1 capacity and the dwell for how well the canister
2 will work in an application.

3 As we have moved forward in developing
4 the CBRN with the gas mask and with the PAPR in
5 general, in looking at these, the potential for
6 these high peak excursions of, you know, up, you
7 know, 430 liters per minute, you know, at various
8 points in time, there is a question of whether or
9 not that testing a constant flow will be able to
10 address and provide protection, you know, in those
11 instances, when an individual, you know, under
12 physiological duress will stress the respirator and
13 challenge it with a higher -- a higher flow, a
14 higher physiological demand, whether it be a
15 short-term or long-term effect.

16 And part of what we are looking at doing
17 over the next several months is to conduct a
18 research program to look at whether or not, through
19 the use of constant flow testing, that we will
20 capture, you know, those peak excursions and
21 provide the necessary protection for the user.

22 And if -- and concurrently with that, we

1 are also going to be looking at the potential of
2 doing a cyclic type of evaluation where, instead of
3 testing at a constant flow, we will consider using
4 testing at a cyclic flow to replicate individuals
5 breathing and establish the peaks that way, and
6 then measure the concentrations that -- the
7 breakthrough concentrations to determine the
8 acceptability of the canister.

9 And this is something, you know,
10 something that has been discussed, I guess, in
11 other circles. And at least we are looking at
12 moving this forward over the next several months as
13 part of our research effort to bring some answers
14 to those questions.

15 With regard to the particulate testing,
16 we tested the manifolds -- or when we conducted
17 particulate testing that will reduce -- let me
18 start over again.

19 We are going to be using the P100
20 requirements for CBRN protection. And it's part of
21 the parameters that we set up early in the program
22 and are continuing through the -- all the standards

1 that are being developed.

2 You know, when you look at the industrial
3 certification program, the NIOSH industrial PAPRs
4 use high efficiency particulate filters.

5 And for the difference between that type
6 of device and this type of device is the focus on
7 making sure that the CBRN PAPRs meet P100, the
8 minimum P100 requirements that are identified in 42
9 CFR.

10 But as far as the testing goes, you know,
11 constant -- with constant flow or breath response
12 of demand responsive type units that will test at
13 the air flow of those PAPRs as part of the
14 evaluation.

15 And keeping in mind that when we do the
16 particulate loading, that if you have three
17 canisters on your filter or on your system and we
18 do the particulate challenge against that canister
19 will reduce the air flow by three. So if you have
20 a 300 liter per minute PAPR, each canister will see
21 100 liters per minute as part of the testing.

22 Now, we will be using the standard

1 diosophalate (phonetic) challenge. And also we are
2 going to continue forward the post durability
3 challenging of the filter, the durability
4 challenge, environmental conditioning, testing,
5 taking some samples out of that and evaluating them
6 against the organic vapor cyclohexane.

7 And this addresses a concern that was
8 raised during the CBRN gas mask standard
9 development process, that certain -- certain filter
10 medias aren't all created equal, and that there
11 needs to be a means to ensure that, after exposure
12 to particulate, that the filter efficiency against
13 certain gases hasn't broken down.

14 And we implemented cyclohexane as a test
15 for that.

16 Also, in look at the particulate testing,
17 one of concepts that we had explored was to use
18 a -- an equivalent face velocity where we would
19 look at sections of the filter media that was
20 provided as part of the canister for effectiveness
21 against DOP.

22 But in general it seemed that, after

1 reviewing the comments that were received regarding
2 that concept, that it was just too much research
3 that needed to be involved, and we dropped that
4 part of the concept.

5 And I had mentioned back in the May
6 public meeting that, you know, with regard to
7 completing the requirements for -- well, completing
8 the development of the requirements for the PAPR
9 standard, that the high flow testing equipment was
10 the critical path for bringing this standard to
11 conclusion.

12 And we were correct as far as that being
13 the path -- the critical path for resolving a lot
14 of issues related to the testing.

15 We have had contracts awarded, and are
16 currently expecting delivery of two different
17 systems. One is from Air Techniques in Maryland.
18 The other is from TSI in Minnesota.

19 And my understanding is that basically
20 these are adaptations of existing testers that are
21 used for flow evaluations in the industry, that
22 they are being modified to allow testing up to

1 flows of 450 liters a minute.

2 And we are expecting delivery of those
3 items in February of 2005. And we will begin our
4 evaluations after we do a shakeout evaluation of
5 that equipment.

6 And one thing I did want to note in
7 conjunction with this slide and a comment I had
8 made earlier regarding the test procedures is that
9 we acknowledge this is a very critical piece of
10 information for the stakeholder community with
11 regard to how we are going to do these evaluations
12 as we move forward.

13 And in the development of our test
14 procedures, we will identify the equipment and the
15 parameters associated with the equipment. So you
16 can -- in particular, the manufacturing community
17 can make some decisions regarding, you know, what
18 to do in terms of your pretest development.

19 But having said that, though, with the
20 limitations of not being able to get the equipment
21 quickly, we have done some evaluations internally
22 using our existing equipment within the lab.

1 And there were some studies run where we
2 increased the flow rate of our existing TSI
3 equipment to approximately 100 liters per minute to
4 evaluate the particulate testing.

5 And we did show that for the limited
6 number of samples we did, that there was a
7 consistency with the P-100 requirements.

8 We have also looked at doing some limited
9 laboratory evaluations with the gas life at the
10 higher flows.

11 And, again, these were -- these were
12 basically done using the crisis demand type
13 scenarios that we established for the PAP -- or for
14 the gas mask requirements that the -- the crisis
15 demand for the gas mask is 100 liters per minute,
16 and we have the capability to run evaluations at
17 those levels. And we have completed some studies
18 in that area.

19 In general knowing that -- acknowledging
20 that the canisters, you know, weren't specifically
21 designed for that purpose, they did perform fairly
22 well.

1 And that information, unfortunately, we
2 weren't able to get complete in time for this
3 public meeting, as far as specific test results,
4 you know, to present at this meeting because of
5 Terry's illness, but I will look into making
6 that -- when the slides for the presentations from
7 this program are made available on the internet, we
8 will look at having that information included as
9 well as part of the presentation.

10 And where we are headed over the next
11 several months, is to do additional benchmark
12 testing at the higher flow.

13 And so with that, I have -- I'm complete.
14 Anybody have any comments?

15 I'm shocked.

16 MR. BERNDTSSON: Goran Berndtsson from
17 SEA.

18 Can you go back a couple of slides where
19 you looked on -- maybe five slides back or
20 something where you had...

21 MR. SZALAJDA: This one?

22 MR. BERNDTSSON: Yes, that's right.

1 MR. SZALAJDA: Okay.

2 MR. BERNDTSSON: You thought that was
3 good to talk.

4 We are -- I'm a little bit -- not -- next
5 one actually, go forward one. Another one.

6 That's the one.

7 MR. SZALAJDA: This one?

8 MR. BERNDTSSON: Yeah.

9 Demand responsive PAPRs canisters tested
10 at 115 liters for moderate breathing and then 300
11 liters, why are you sticking with ...

12 Okay, why are we sticking with the
13 constant flow for demand respirators?

14 I mean, in the draft paper here, you are
15 actually requiring higher testing constant flow for
16 demand than you do for a constant -- it's 261
17 liters against 300 liters.

18 Do you see the logic behind that?

19 MR. SZALAJDA: I think maybe the slide is
20 not very clear with how it is presented.

21 But I think in general, we are looking at
22 a minimum flow or the value specified by the

1 manufacturer as part of the testing.

2 And in concert with that, what we will be
3 doing is developing a test procedure that is going
4 to look -- that is based -- I believe, it is on
5 STP -- NIOSH STP No. 12, which determines the flow
6 rate of the PAPR.

7 And we will be using that test procedure
8 to determine flow rate, which will carry forward
9 into the other tests.

10 MR. BERNDTSSON: When it comes to the
11 absorption of the gas cartridge, the gas part of
12 the cartridges, isn't that true to say that there
13 is two parameters that you have meet.

14 You need to meet the peak flow, and that
15 you do with the filter there. And then you need to
16 meet the capacity, and that is the volume over
17 there.

18 And both of those have to be tested or
19 meet some kind of requirement.

20 MR. SZALAJDA: Right. And that's where
21 we will be -- when we do the manifold, we will test
22 the -- well will run the evaluation as a system.

1 You know, we will put your manifold and
2 your canisters into the chamber and run the -- run
3 your device as a system.

4 MR. BERNDTSSON: That's fine. I don't
5 disagree with that. That's fine.

6 But when you have a demand type of
7 respirator, you will not run -- you will meet the
8 peak flows, but you will not flow the volume
9 through the filter.

10 So in other words, I mean, to meet the
11 peak flow of the 300 liters, you maybe have an
12 interactive flow of 100 liters, just as an example.
13 So, yes, you need to meet the peaks at the filter
14 depth, and then you need the flow for -- the
15 capacity is only 100 liters.

16 MR. SZALAJDA: And I think that is part
17 of the research that we are looking at as we move
18 forward, you know, with determining how exactly the
19 tests are going to be done, whether or not by
20 testing at a constant flow if we are going to
21 capture those peaks, or if we need to do something
22 different in terms of the cyclical tests where we

1 may, you know, by varying the flow rate, that you
2 put more of a demand on the respirator to address
3 systems like you are describing, you know, to
4 evaluate the efficiencies at the higher flow rates.

5 MR. BERNDTSSON: So did you -- in
6 conclusion of that, what you are saying is that you
7 haven't -- this is not settled in any way.

8 MR. SZALAJDA: Oh, no, no. By -- again,
9 it is all -- it is still all a concept at this
10 point, and, you know, it is still limited, you
11 know, by what we have been able to do with the
12 equipment -- the equipment in-house. And we
13 certainly haven't been able to address anything
14 above 100 liters a minute yet.

15 MR. PFRIEM: Dale Pfriem, ICS Labs.

16 Jon, just a question, realizing that this
17 isn't final, but just to get a stable, current view
18 of what you have.

19 In this present slide, you have got the
20 constant flow PAPR is going to be tested at the
21 airflow of the PAPR, and you had mentioned that
22 that airflow is going to be determined by the

1 reference STP.

2 MR. SZALAJDA: Okay. I think --

3 MR. PFRIEM: Okay.

4 MR. SZALAJDA: Yeah, I think the way --
5 I'm sorry, Dale. I'll let you finish.

6 MR. PFRIEM: So are we going to work at
7 the measured airflow of the PAPR, or are we going
8 to work at paragraph 5.3.4, in either a medium flow
9 criteria or a maximum flow criteria, testing either
10 at 100 or 115?

11 MR. SZALAJDA: Okay. Well, I think when
12 you look at particulate testing, I think the way
13 the concept paper is written out, it says we are
14 doing the standard 85 liters a minute.

15 MR. PFRIEM: Well, that was my next
16 question. And this goes back to 85.

17 MR. SZALAJDA: And part of -- and part
18 of, you know, the reason for showing the chart this
19 way is there is still information that we are
20 trying to generate to determine the feasibility of
21 testing -- do the particulate testing at these
22 higher flows, and we just haven't had the

1 capability to do that.

2 The other aspect of that is to look at
3 the research that has been developed, you know, in
4 concert with the type of protection that is
5 afforded for particulates in different flow rates.

6 And one of the things that I know,
7 looking at, you know, the work that Dave has done
8 and ECBC is doing for us, looking at different
9 particulates, but also looking at that literature,
10 you know, and there is a lot of -- a lot of
11 differing opinions in the literature as far as, you
12 know, efficiencies at various flow rates.

13 And a lot of that data we still need to
14 crunch through before we make a determination of
15 what exact flow rate we are going to evaluate.

16 MR. PFRIEM: Okay. So right now, it is
17 indeterminate, 85, 100, 115, or at the exact
18 performance level of the PAPER?

19 MR. SZALAJDA: Right.

20 MR. PFRIEM: And I'm not sure if this is
21 the appropriate time, but since we are talking
22 about particulate performance, and I had a chance

1 to talk with Dave briefly on what he presented
2 before, what is the rationale with the -- behind
3 the current proposal of dispensing with any kind of
4 a loading test for the media, either dolomite or
5 silica dust?

6 MR. SZALAJDA: Right. Actually part of
7 Ted's presentation is going to address the loading
8 tests that we are envisioning in relationship to
9 the filter and the indicators, the low-flow
10 indicators.

11 MR. PFRIEM: Right. Because it's an
12 instance both of the filter performance and of
13 system performance where we have got 30, 60, et
14 cetera, failure notice criteria and performance
15 specification, but they are done under nonload
16 conditions.

17 MR. SZALAJDA: Right.

18 And part of the issue that we have seen
19 with doing the actual loading is with the silica
20 dust test that is currently used by NIOSH.

21 And what we are trying to leave open in
22 terms of the concept is looking at other avenues

1 to -- other than that test to replicate how it --
2 you know, the results of that test without having
3 to go and do the particulate loading.

4 MR. PFRIEM: Thank you. I will wait.

5 MR. SZALAJDA: Okay.

6 MR. BERNDTSSON: Goran Berndtsson, SEA.

7 Just another comment which I would like
8 you to consider. And this may -- I don't know if
9 this is the right time for doing it.

10 But on all your parameters, for example,
11 we are using 25 plus/minus 5 degrees Celsius, and
12 we are using a fairly broad plus minus number.

13 I would like that you go through the
14 entire document and tie it up as much as you
15 possibly can to make it more specific.

16 I mean, it is 25 degrees plus/minus five
17 is much broader than you need with a new modern
18 equipment that you put into the lab today. That
19 could probably be plus/minus two and which means
20 that it makes much easier for us to make things to
21 meet the requirement without overdoing it.

22 MR. SZALAJDA: That's a good comment.

1 Thank you. That's, you know, one thing that, you
2 know, I -- why -- I should have mentioned with --
3 when I mentioned the -- talked about the, you know,
4 the terminology differences that you may see in the
5 document, that, you know, we are trying to be
6 sensitive to those types of requirements, as well,
7 in the documents.

8 Thank you.

9 At this point, Ted Klemetti will continue
10 the presentation with battery requirements and
11 carbon dioxide.

12 MR. KLEMETTI: My presentation is going
13 to cover the battery requirements as we have them
14 right now in the concept paper.

15 I'm going to start off with some public
16 comments and our responses to them for all of the
17 different battery requirements within the concept
18 paper.

19 We have had comments that this is a
20 difficult test to perform, consider standardizing
21 it. We are planning on performing these tests in
22 standardized conditions using manufacturer

1 submitted equipment.

2 Test low flow indicator against both
3 conditions, a fault caused by a low battery or by
4 clogging or filter loading. On both conditions, we
5 are planning on testing both conditions, if
6 appropriate.

7 Changes in work rates require -- or cause
8 a change in battery life. Our plan is to test to a
9 minimum standard and have -- require in the users
10 instructions explanation of the effects of changing
11 work rates on the battery life.

12 We have had comments to both require only
13 the low flow indicator and/or to only require the
14 low battery indicator. We are going to at this
15 point in time require both to alert the user to
16 more fault conditions.

17 We have had suggestions that the
18 manufacturers should provide data on extreme
19 temperature use and other pertinent issues rather
20 than testing to a -- to extreme temperature
21 conditions.

22 Our plan at this point in time is to

1 require some level of minimum testing at these
2 extreme conditions, as well as require the data
3 into the users instruction, and then also to leave
4 the indicator battery alarm or low flow indicator
5 method of signaling optional.

6 Our plan is to require one, a form of
7 indication, but no specific form, i.e., it could be
8 a visual or an auditory alarm or a vibratory alarm,
9 but we are not going to say that it has to be any
10 one of the three or that it only has to be one.

11 Now, we get into the first test which is
12 the battery performance test. Well, actually this
13 is a description of the three major tests that we
14 foresee in this section.

15 We have the battery performance test,
16 which is the test performed at minus 30 degrees C
17 to ensure the functionality of the system at this
18 temperature.

19 The second test is the low battery
20 indicator test, which will evaluate the PAPER's
21 ability to alert the user to a low battery
22 condition.

1 And the third is the low flow alarm test.
2 This is used to evaluate the unit's ability to
3 alarm user prior to negative pressure.

4 This will kind of also fall into the
5 whole loading condition. The intent is to test the
6 unit to ensure that upon a certain level of
7 loading, that it will actually alarm the user prior
8 to negative pressure.

9 Here is some of the results from the
10 benchmark testing that has been performed to date.

11 For the battery performance, the only
12 testing done there has been a preliminary test of
13 whether or not current PAPRs on the market are able
14 to run while being in the temperature condition.

15 We had a couple that ran periodically
16 throughout a 72-hour cold soak period, and we had
17 some that ran periodically throughout a 12-hour
18 cold soak period.

19 They weren't run constant through any of
20 this. Four hours, 12-hour increments. They would
21 be tested, turned on. Some of the devices that had
22 methods of indicating flow rates, indicated that

1 they were operating in the proper flow area or
2 realm.

3 For high flow testing for the battery
4 performance, we have not completed any testing
5 there yet.

6 For breathing performance, which is a
7 similar test the battery performance is performed
8 at, 25 degrees Celsius rather than the minus 30.

9 As you can see, we have ran several
10 different systems and have different running times,
11 and that test is dependent upon the negative
12 pressure inside of the facepiece.

13 Low flow alarms, as you can see it has
14 only been run at the ambient condition, 25C. We
15 had two systems in particular that had that
16 ability, and they appeared to alarm in the correct
17 times of the pressure, the low pressure.

18 At minus 30, we have not run any of those
19 tests yet. That will be done at a later date. The
20 low battery indicator, this test is requiring a
21 minimum of 15 minute at the 25 degrees C to a
22 45-minute alarm prior to negative pressure.

1 As you can see here, we have roughly 10,
2 12, 13 minutes prior to that negative pressure
3 after the alarm.

4 That's for the audible alarm on these
5 systems. They have varied levels of battery
6 indication throughout the time, and some of those
7 different levels were well within the 15- to
8 45-minute period showing that this is something
9 that can be accomplished with current technology.

10 Battery performance test concept
11 criteria: It must maintain positive pressure in
12 the breathing zone while breathing at the
13 manufacturer selected breathing performance rate,
14 whether it be moderate or high performance, 40 or
15 103 liters a minute.

16 Each individual PAPR will be required to
17 meet this positive pressure condition for 35
18 percent of the rated battery life; and the average
19 of all of the systems tested for this test will
20 have to meet at least 40 percent of that
21 operational battery life.

22 This test will be performed at minus 30

1 degrees C. And the plan is at this point to cold
2 soak the systems for four hours prior to beginning
3 the test.

4 As you saw the benchmark results earlier,
5 we have done benchmark on breathing performance
6 using both breathing rates, multiple PAPR systems,
7 and using both the Posicheck and the NIOSH
8 breathing machine with the Silverman cam.

9 There was very little difference between
10 the two systems as far as what the results look
11 like, and preliminary testing on the ability to
12 work in low temperature conditions have been
13 performed.

14 Future direction for the battery
15 performance test. We will perform benchmark
16 testing on several PAPR systems, finalize standard
17 test procedure, and perform verification testing.
18 This is for the minus 30 degree test for battery
19 performance.

20 For the low battery indicator, the
21 concept criteria is it will be either a moderate or
22 high performance test at 25 degrees C. And the

1 requirement is that it will passively alarm the
2 user at least 15 minutes prior to negative
3 pressure, but no more than 45 minutes by passively
4 alarming -- I mean, it's -- it will alarm the user
5 to where you don't have to go looking for an
6 answer.

7 If you're busy doing something, it's
8 going to alert you to the low battery condition,
9 not you have to go find the condition.

10 Likewise, this test will be performed at
11 minus 30 degrees with the same criteria, with the
12 exception of the time limit after the alarm goes
13 off prior to negative pressure. There will be no
14 minimum or no maximum time.

15 The test conditions, at this point we are
16 looking at 25 degrees C plus or minus 5 degrees
17 Celsius for ambient conditions with the breathing
18 machine operated at the manufacturer's specified
19 breathing rate of 40 or 103 liters per minute,
20 although it says 2 up there, with a relative
21 humidity of 50 plus or minus 5 percent.

22 And then at the minus 30 degrees, plus or

1 minus 5C, we are looking at a relative humidity of
2 20 percent plus or minus 5 at this point in time.

3 Benchmark results, we have performed
4 tests at 25, as you saw earlier. The alarms -- the
5 PAPRs with built-in alarms showed the ability
6 depending on when the alarm -- or what part of the
7 alarm you used as your decision maker in the 15
8 minutes.

9 And the PAPRs without built-in alarms
10 could very easily be adapted with the current
11 technology that is there to meet the standard or
12 concept criteria.

13 There is an example at the end of the
14 pressure, at the end of a battery indicator test.
15 It is showing the last couple of minutes of a
16 breathing performance test.

17 As you can see -- well, not really well,
18 but the line that is right above the sample numbers
19 that are going across on the X-axis is actually
20 the -- is the minus .2. The next line above that
21 is zero.

22 You can see distinctly where it goes

1 below zero and begins to increasingly go downhill
2 and stay negative on the inhalation portion of the
3 breath.

4 Future direction is to perform benchmark
5 testing at the low temperature criteria, finalize
6 the standard test procedure, and perform
7 verification testing.

8 The last condition within the battery
9 requirements is the low flow alarm. This is really
10 a two-part test to test both the causal factors of
11 a low battery and causal factor of loading or
12 clogging of the filter.

13 The first part of the test will be
14 performed similar to the breathing performance or
15 battery performance test, having a breathing
16 machine running. When the battery gets low, it
17 goes off -- the low battery alarm goes off. At
18 some point prior to negative pressure, does the low
19 flow alarm go off.

20 This brings up an interesting question.
21 What if our alarms are integrated, i.e., the low
22 battery and low flow alarm, there is only one

1 signaling method or component for both of those.

2 If that happens to be the case, for this
3 instance, as long as the low battery indicator went
4 off at the appropriate time and that indicates to
5 the user that they need to leave and the low flow
6 alarm indicator could be considered part of that
7 low battery indicator.

8 For part two of the test, we are looking
9 at adding additional resistance with an adjustable
10 orifice to the level of where it reaches negative
11 pressure to ensure that an addition of resistance
12 or loading, simulated loading causes the low flow
13 alarm to go off so the user knows that he is in a
14 negative pressure or approaching a negative
15 pressure condition.

16 Concept criteria.

17 It alarms the user upon or just prior to
18 negative pressure in the breathing zone for both
19 conditions or both parts of the test.

20 As we saw earlier in the benchmark
21 results table, initial evaluation of PAPRs with low
22 flow indicators have shown the ability to provide

1 the required warning on the couple that did have
2 that feature currently available.

3 The other ones, there is distinct breaks
4 in where the pressure went below zero, leading to
5 the decision that this could be something added to
6 any of the current PAPRs with today's technology.

7 Further testing will be performed, and
8 this will eliminate the need -- and this last
9 statement is back on the thing that I said about if
10 the low battery and low flow indicator are an
11 integrated alarming system.

12 You would only need one of the two to
13 indicate that there is a fault needing to leave the
14 area for the low battery causal condition of low
15 flow.

16 Here is an example of the pressure
17 readings during a -- during a couple of low flow
18 alarm tests. Throughout all of these, as you can
19 see, there are peaks that reach below the zero
20 line. The PAPRs that were tested during each of
21 these peaks did alarm.

22 Future direction is to evaluate the

1 effects of instantaneous negative peaks in the
2 breathing pattern, perform low flow testing at a
3 low temperature condition, finalize the standard
4 test procedure, and perform verification testing.

5 As Jon stated earlier, I was going to
6 touch on the removal of the loading or clogging
7 test, or filter loading test that we are doing.

8 Right now, we are looking at using an
9 artificial simulation of a loading to facilitate
10 that you still have the proper flow upon a loading.

11 This concludes my -- well, no, it
12 doesn't. Moving on to the next -- well, let's go
13 ahead and take a break here for any questions
14 before we get too far ahead.

15 MR. BERNDTSSON: Goran Berndtsson, SEA.
16 Couple of questions here.

17 You said the cold test was going to be
18 done after four hours of cold soaking. Does that
19 include the batteries?

20 MR. KLEMETTI: Yes. That falls in line
21 with our fogging test.

22 MR. BERNDTSSON: Okay. And the next

1 question is maybe stupid, but I don't necessarily
2 know the answers to it.

3 How does the filter perform at minus 30
4 degrees Celsius?

5 MR. SZALAJDA: Could you repeat the
6 question?

7 MR. BERNDTSSON: Does anyone know how
8 filters perform at minus 30?

9 I mean, do we have a problem with some
10 humidity freezing in the filters so they don't work
11 too well when they come down to minus 30?

12 Have we done any verifications test that
13 it does work?

14 MR. SZALAJDA: I think part of that --
15 when you look at the -- how the requirements for
16 the battery were defined, you know, we took the
17 approach of looking at doing the worst case type
18 scenario, the effect on the battery itself in
19 looking at the minus 30 and seeing where it was
20 applied in other standards, like the NFPA standard,
21 use a minus 30 application.

22 I think with the effectiveness of the

1 filters, you know, obviously, we do all of our
2 testing at 25 degrees, you know, with varying
3 humidities. And, you know, the effectiveness and
4 the part of, I guess, where we look for help on
5 that is to go back to the manufacturing side and
6 looking at where your recommendations are for where
7 the system should be used.

8 You know if it is something that, you
9 know, the tests shouldn't -- you shouldn't use this
10 device at temperatures less than 10 degrees or over
11 110 degrees or some range, you know, in your user
12 instructions for the device.

13 Again, it gets back to, you know, looking
14 at setting a minimum performance criteria in
15 testing the filters, the canister's effectiveness,
16 you know, at room temperature.

17 And I think the one thing when you look,
18 like again, with the battery, you know, we are
19 looking -- we know -- we know that cold temperature
20 is going to affect the battery, and, you know, we
21 try to structure the operation to allow, you know,
22 a certain amount of degradation of the performance

1 of the battery as part of our evaluation.

2 So I don't know if that really answers
3 your question or not or ...

4 MR. BERNDTSSON: I think that I
5 understand where you are coming from, but it is
6 fairly useless if we get the batteries to work at
7 minus 30, but the filters don't.

8 I mean, what we need to do is get
9 respirator who works at the certain temperature,
10 and if minus 30 is right or wrong, I'm not the man
11 to say that it is. But we have to be concerned on
12 the total system at those extreme temperatures
13 somehow.

14 So I think that -- spending a lot -- and
15 I think you are doing well. You should be doing
16 it, spending a lot of time investigating how it is
17 working at certain temperatures. But we really
18 need to look at the system as total. Otherwise it
19 doesn't help the end user in the end of the day.

20 And, I mean, we -- minus 30, four hours
21 cold soak, it is going to be really, really
22 difficult to get anything to perform very well.

1 The battery technology is difficult down in that
2 area.

3 And I haven't done any testing on filter
4 performance down at that temperature, so I don't
5 know the answer to the question.

6 And of course, in the activated charcoal,
7 there is some humidity in the beginning in the
8 charcoal embedded to get it to work.

9 And if that humidity freezes, or it would
10 be freezing, and if that affects, I don't know.

11 MR. KLEMETTI: Okay. Thank you.

12 MR. VIJAYAKUMAR: Vijay from Air
13 Techniques. I have some comments on this -- what
14 Goran has mentioned.

15 There has been some work done on
16 filtration at extreme temperatures. The lower
17 temperatures, your performance will be great, even
18 for a standard filter. But even more important,
19 when you have a requirement to test performance low
20 temperatures, the cycling will affect the
21 construction of the filter.

22 I can almost bet that if you take

1 commercially available canisters and cycle them up
2 and down to minus 30, it may lose its integrity
3 between the fact you have in the filter it seems
4 the differential expansions.

5 A lot of work has been done, both for the
6 military, for the NASA, and to put filters up in
7 space, as well as for the nuclear industry.

8 MR. KLEMETTI: Thank you.

9 MR. SZALAJDA: That's a good aspect. And
10 I think when Frank gives his presentation probably
11 after lunch, he will address this part of the
12 environmental conditioning of the filters.

13 MR. GOSSWEILER: Otto Gossweiler, Safety
14 Tech International.

15 You are stating that the breathing
16 pressure is measured inside the mask and specified
17 that it is inside the breathing zone.

18 Can you expand on this?

19 MR. KLEMETTI: The pressure will be
20 measured in the same place -- same general vicinity
21 that the pressure is measured in for the current
22 NIOSH carbon dioxide testing, which is STP64.

1 It is a port right below the nose, in
2 between the nose and the mouth port within the
3 filter, or within the mannequin head form.

4 MR. GOSSWEILER: Okay. Thank you.

5 MR. HAUSER: Hans Hauser, Safety Tech
6 International.

7 To follow this question, if you say you
8 measure it exact at the nose, what is the meaning
9 behind?

10 I say as a manufacturer, as long as we
11 have all pressure in the entire mask, we are safe.
12 You haven't to measure it just by the nose or
13 whatever point.

14 We shall measure it inside the mask
15 somewhere because we have everywhere except inside
16 the nose, mouthpiece, the same pressure. Even says
17 as long as all pressure in the mask, we are safe.

18 I suggest to alter this and to change it.

19 MR. KLEMETTI: We will have to look at
20 that. Thank you.

21 All right. No further questions? We
22 will move on to the carbon dioxide requirements for

1 the CBRN PAPR.

2 Some public comments we have received for
3 the carbon dioxide testing identify the standard
4 test procedure used for this test.

5 As Jon stated earlier, we are planning on
6 having the standard test procedures completed at
7 the same time that the standard will be completed
8 so that -- and referenced within the standard so
9 that all of that comes out at once.

10 What flow rate will be used for this
11 test? Right now we are looking at the standard
12 NIOSH CO2 flow rate, which is ten and a half liters
13 a minute.

14 And will the PAPR be tested in the power
15 off mode? At this point in time, we are not
16 planning on testing the PAPR in the off mode.

17 Concept criteria for the CO2 machine
18 test. The average carbon dioxide inhalation level
19 must be less than 1 percent. Oxygen level 19 and a
20 half percent, must be greater than.

21 It will require both levels to pass the
22 test, and the test will be performed with the

1 blower operating.

2 Test conditions. Temperature range is 68
3 degrees Fahrenheit to 80 degrees Fahrenheit. Gas
4 levels will be averaged for at least five breathing
5 cycles. The breathing machine will run at ten and
6 a half liters a minute.

7 The exhalation air from the breathing
8 machine will contain 5 percent carbon dioxide. And
9 as far as the equipment, the PAPR, the filters, all
10 of that will be as received for the testing.

11 There will be -- with the exception of
12 environmental conditioning, there will be no
13 other -- there will be no loading of the canisters
14 prior to this test.

15 Benchmark results. Preliminary testing
16 has been performed. This test was performed with
17 just the carbon dioxide analyzer attached to the
18 system. There was -- the oxygen analysis wasn't
19 performed nor was the pressure sensor used.

20 Four different PAPR systems were tested.
21 Here are the results from that.

22 As you can see with the blower on, all of

1 the PAPRs are roughly zero that were tested in
2 this.

3 We did perform the test with blower off,
4 which is currently not part of the concept
5 criteria, and those PAPRs that were tested on this
6 test were not sized to fit the head form, per se.
7 Some of them may have, but it wasn't intentionally
8 designed that way or performed that way.

9 Future direction is to perform additional
10 testing with equipment to determine repeatability
11 and equivalency to current testing procedures and
12 then to finalize the standard test procedure.

13 Human subject CO2 test. The criteria is
14 less than or equal to 2 percent carbon dioxide, 19
15 and a half percent oxygen. It requires both
16 levels, and this will also be performed with the
17 blower operating.

18 Test conditions approximately 68 to 80
19 degrees Fahrenheit. The gas levels will be
20 averaged for at least five breathing cycles. Two
21 trials, one stationary and one walking briskly at
22 three and a half miles an hour. And once again,

1 the equipment will be used as received.

2 For the human subject testing, we need to
3 perform benchmark testing, establish the standard
4 test procedure. We are looking at basing this off
5 of STP 0454, which is the escape human subject
6 breathing gas test, and perform verification
7 testing.

8 Any questions?

9 MR. BERNDTSSON: Goran Berndtsson, SEA.

10 What is the logic behind not doing CO2
11 testing for the power off when we are doing LRPL
12 testing with power off?

13 MR. SZALAJDA: Part of what we are trying
14 to come to grips with when you look at the on and
15 off aspect of the blower is trying to address how
16 the system will be used.

17 And you know, if -- in an ideal world,
18 you know, the blower always works, and, you know,
19 it's appropriate to test it that way.

20 What we are grappling with is this part
21 of the discussion is is looking at the
22 appropriateness of testing -- testing the item in a

1 failed mode, you know, where you may, you know,
2 prescribe or identify as part of the PAPR use, you
3 know, that you leave the respirator on to -- if the
4 motor fails, you leave the respirator on for egress
5 purposes.

6 You know, that's a little different than
7 how NIOSH has identified to do business with the
8 industrial respirator because if you are wearing
9 the PAPR, you are in, you know, a less than an
10 ideal type environment.

11 You know, we have told people, you know,
12 as part of the instructions, you know, the system
13 fails, you take the respirator off because of
14 potential CO2 build-up and other factors, and you
15 leave. You egress from the area.

16 You know, with this -- with the CBRN
17 event, it's a little different animal. And what we
18 are looking at in terms of the standards
19 development is, you know, the appropriateness of
20 including, you know, for lack of a better term,
21 failed -- you know, failed equipment test in the
22 standard.

1 You know, when we look at, you know,
2 evaluations of blower on, blower off, LRPL, you
3 know, blower on, blower off, and whether or not
4 that is part of the instructions that we ultimately
5 provide to the user, address the fact that you
6 leave the respirator on.

7 And if we are looking at that aspect as
8 part of the, you know, instructions saying, Leave
9 the respirator on, then it is probably appropriate
10 to do these tests with the blower off.

11 Now, however having said that, you know,
12 you look from the other standpoint, yeah, that the
13 system should always be working and then we should
14 test it that way.

15 So that is something that we are going to
16 continue to work on over the next several months.
17 It's not a -- there is not a straightforward easy
18 answer, but that's sort of the thought philosophy
19 that we have here in trying to determine what is
20 appropriate to test.

21 MR. BERNDTSSON: You just talked yourself
22 into -- to include it, didn't you?

1 Again, it makes sense.

2 MR. SZALAJDA: You're right.

3 MR. BERNDTSSON: CO2 is a critical thing.

4 I mean, if you have something that really
5 builds up CO2, then the reason why we are doing
6 LRPL testing with power off is we want to have that
7 escape capability if it fails, but we don't want
8 them to die because of CO2 death.

9 MR. SZALAJDA: Right. And that's the
10 issue in looking at the requirements when you look
11 at how NIOSH has traditionally, you know, specified
12 or developed guidance based on these systems.

13 And in looking at this particular threat,
14 when you look at the CBRN threat, that, you know,
15 if the failure can be tolerated or not. And on one
16 aspect, you know, we should challenge the
17 manufacturers to say that, you know, the item will
18 not fail, but we know in practice that that isn't
19 true.

20 And when you look at how the systems are
21 used in this type of environment, we have some very
22 specific decisions to make over the next several

1 months as far as that blower off test being
2 appropriate or not.

3 MR. BERNDTSSON: You are absolutely
4 correct. It shouldn't fail, but even though, it
5 does stop sometimes.

6 I mean, it happens. You know, it's ...

7 MR. BROCHU: Paul Brochu from Marine
8 Corps CBIRF. Just following up on this gentleman's
9 question.

10 You know, by God, I would like to have a
11 warm fuzzy when that thing shuts off on me when I'm
12 down range because you know very well that we just
13 don't have that opportunity to take that baby off
14 and happily walk out.

15 And I know you are aware of that, but I
16 would encourage you to please test it as
17 stringently as you can, even in this regard.

18 MR. SZALAJDA: Okay. Thank you.

19 MR. CARETTI: Dave Caretti, Edgewood Chem
20 Bio Center.

21 Ted, I know we will discuss this at
22 greater length, but correct me if I'm wrong. The

1 current PAPR, non-CBRN, the blower is off when you
2 do the CO2 test?

3 MR. KLEMETTI: Actually, there are
4 currently two tests, one blower on and one blower
5 off.

6 MR. CARETTI: Okay. At that low of a
7 flow rate with the blower running, how is NIOSH
8 planning on identifying when inhalation actually
9 starts for averaging CO2 because your pressure
10 values are no longer useful for that.

11 In the current test with the blower off,
12 you see a cyclic change in pressure where you are
13 measuring that, and your shape of your curve may
14 change dramatically.

15 And you showed values up there that were
16 less than atmospheric on average for CO2. You
17 know, .03 percent is what atmospheric is. So you
18 are telling me that with your benchmark testing,
19 it's even less than that.

20 MR. KLEMETTI: Part of that goes into the
21 subtracting out the background.

22 Current NIOSH testing procedures

1 subtracts the background CO2 levels from the actual
2 readings that you get. So that's why it is lower
3 than atmospheric.

4 As far as where -- how we are going to
5 determine the beginning and end of inhalation,
6 that's something that we are seriously going to
7 have to look at.

8 Right now, as I said, these tests were
9 performed without the pressure sensors, so they
10 were estimated, rough guess at where they started.

11 MR. CARETTI: That's fair. I just wanted
12 a clarification on that.

13 One thing that is not specifically clear,
14 as you say, average inspired oxygen level cannot be
15 less than 19 and a half percent.

16 I will tell you in the human subject
17 breathing gas tests, you can get averages below the
18 19 and a half percent, but at the end of
19 inhalation, you are above 19 and a half percent.

20 So you need to be specifically clear what
21 you mean by that value because they are two very
22 distinctly different things. Because to eliminate

1 something that doesn't have an average of 19 and a
2 half may not be correct.

3 And I know we will discuss it at greater
4 length, but that needs to be clear when we go with
5 the next concept paper.

6 MR. KLEMETTI: Thank you.

7 MR. WILLIAMS: All right. Jon Williams,
8 NPPTL.

9 I might suggest, as far as when you are
10 making your measurements during the power on part
11 of the PAPR, as to when the respiratory cycle
12 starts and ends, you could probably use something
13 like plethysmography, link it up to a
14 plethysmograph to look at chest excursions going in
15 and out, and maybe have a marker that says, Okay,
16 well, at the begin of this phase, the
17 plethysmographer reads a certain amount. And then
18 as your chest goes in and out, you can link it to
19 those cycles.

20 Something to consider.

21 MR. SZALAJDA: Thank you.

22 MR. PFRIEM: Dale Pfriem, ICS Labs.

1 Just a point of clarification to your
2 response to Dave's earlier question. Currently we
3 don't assess -- currently you guys don't assess CO2
4 in a PAPR application at all.

5 And then second -- second on to that, the
6 isoamyl acetate test is done in a power on
7 condition, but there is no CO2 assessment.

8 MR. KLEMETTI: We do have standard
9 testing procedures for both blower on and blower
10 off for the PAPR.

11 I know there have been some instances
12 where we have performed the test with the blower
13 off for a particular application.

14 As far as beyond that, I'm not sure that
15 we do do it on a regular basis.

16 MR. METZLER: Well, I think at this
17 point, we are at 5 of -- almost five to 12.

18 Let's take a break for lunch, and we will
19 reconvene at 1 o'clock and finish the PAPR concept.

20 (A luncheon recess was taken.)

21 MR. SZALAJDA: Next is Frank Palya, who
22 is going to discuss the durability conditioning of

1 the CBRN PAPRs as well as human factors type
2 testing.

3 MR. PALYA: May I have your attention,
4 please? May I have your attention, please? We
5 would like to get started here.

6 Okay. I would like to go ahead and
7 discuss some of the durability testing requirements
8 for the CBRN PAPER.

9 Most of you are familiar with these
10 requirements, but I'm going to go ahead there and
11 go through them just for the benefit of the people
12 who are not what familiar with these.

13 I would like to go ahead there and
14 discuss the purpose and goal of the types of tests
15 and conditions of the PAPER when they undergo the
16 durability testing, and address some of the
17 previous public meeting comments.

18 The durability testing includes the
19 environmental, transportation, and rough handling
20 aspects.

21 The purpose of this test is to perform
22 the environmental storage, transportation shock,

1 and drop tests on the PAPR to qualify the
2 durability and to detect any initial life cycle
3 failures that may occur from typical use.

4 And the goal is to ensure that the PAPR
5 provides adequate respiratory after being subject
6 to the normal use by the end user.

7 As you can see, these are the sequence of
8 tests that is going to happen. There is the PAPR,
9 batteries, and canisters will undergo high
10 temperature. Then they will undergo low
11 temperature conditioning, humidity, and then
12 vibration.

13 Then the canisters will be rough handled,
14 and that basically consists of the drop test. And
15 then they will -- the required amount of canisters
16 will come back in and be hooked back up with the
17 PAPR systems, and then they will be tested for
18 agent permeation resistance.

19 The other canisters will go through the
20 life testing, the gas life testing in the manifold.
21 Also there will be a cyclohexane testing -- or
22 after the cyclohexane testing, there will be a

1 filtration testing. And that is to address the
2 concern that some filter media may undergo
3 deterioration after being subjected to organic
4 vapors.

5 This is the matrix explaining some more
6 detail.

7 It explains the test methods that are
8 used to test the hot, the cold, the humidity, the
9 transportation. Basically it's Mil-standard-810F.
10 Then this is the details of the exact conditioning,
11 the duration, and what's being tested.

12 These tests is the same test requirements
13 as the air-purifying respirator. And most likely
14 we are going to be using the same standard test
15 procedure. There may be some modifications to it
16 just to adjust to the size and load of the PAPR
17 systems.

18 The test -- the CBRN PAPR and canisters
19 will be subjected to the test conditions in the
20 minimum packaging configuration as specified by the
21 manufacturer in the user's instructions.

22 That has caused a little bit of

1 confusion, but what we are doing is we are giving
2 the manufacturers the opportunity to let us know
3 how to have the PAPRs undergo this durability
4 testing.

5 As it undergoes this durability testing,
6 this will be conveyed to the users of this product,
7 and we will expect the PAPRs to be stored in that
8 configuration. This configuration may be the bags,
9 different thing, carriers.

10 So again, it may -- it is up to the
11 manufacturer, and it is up to the manufacturer to
12 specify this.

13 After the durability test, we will check
14 it for -- the PAPR for functionality. And then
15 before it gets -- goes on to the next test, it will
16 be -- the batteries will be recharged or replaced
17 before it goes into the agent testing.

18 We did perform some benchmark durability
19 testing. We benchmark tested three PAPRs per
20 manufacturer, and we tested three manufacturer's
21 products.

22 Now I would like to address some of the

1 public meeting comments. There was a comment that
2 NIOSH had considered durability test conditions
3 that shall be set by the manufacturer.

4 The current test, the concept test for
5 the durability testing establishes a standardized
6 test conditions. If the durability test conditions
7 were set by the manufacturer, it would defeat the
8 whole spirit of a standardized durability test, and
9 it would be difficult for the user or community to
10 keep track of the various storage conditions for
11 the various PAPRs that they may have.

12 Another comment was that it may be
13 unrealistic to expect a PAPR to function after the
14 environmental conditioning.

15 What this will happen is in this case the
16 batteries will be replaced or recharged before the
17 subsequent chemical warfare agent test.

18 There was a comment that stated that it
19 would be important to perform leak testing on the
20 PAPRs due to the cracking potential of the various
21 materials of the different types of polymers used
22 to produce a PAPR.

1 There is a leak test performed on a PAPR
2 before it undergoes the agent -- the chemical
3 warfare agent GB and HD permeation resistance test.

4 Another comment was concern that the
5 extreme temperatures in the durability test
6 requirements will adversely affect the sensor
7 calibration and battery performance.

8 After doing some research -- and Ted
9 touched on it earlier -- that there were batteries
10 and pressure sensors that are available on the open
11 market to withstand these temperature extremes.
12 Keep in mind that the batteries will be replaced or
13 recharged before it goes of to the subsequent test,
14 the GB and HD testing.

15 The last comment, there was a comment
16 that recommends drop testing the entire PAPR
17 without packaging by performing multiple drops off
18 the actual human subject, so it would be right on
19 the floor.

20 We feel that dropping the entire unit
21 multiple times without the packaging makes the test
22 more severe, but we feel it's like borderline

1 abuse. Plus it's -- after this abuse, there are
2 alarms to indicate if the PAPR isn't functioning
3 properly if accidentally damaged.

4 Another thing one must consider is that
5 if you want to go ahead there and make this thing
6 that hardy, it would almost be gold plating it. In
7 other words, it would -- you would have to beef up
8 the materials and the cost of it where it would be
9 very expensive, where it's just not needed.

10 At this time, I could answer any of your
11 questions about the durability testing. Or, if
12 not, I could just continue on with the human
13 factors test, and I'll address any of your
14 questions at the end of the presentation.

15 Moving onto the human factors. The human
16 factor requirements for the CBRN PAPR is the field
17 of view, fogging, communications, the haze,
18 luminous transmittance and abrasion resistance test
19 of the primary lens.

20 The field of view requirement is that the
21 PAPR must obtain a visual field of view score
22 greater than or equal to 90. How this is tested is

1 with the currently apertometer that meets the EN
2 136 standard, and it's derived after three
3 fittings. And, again, it's the same standard test
4 procedure that was used for the air-purifying
5 respirator.

6 The next is the fogging resistance
7 requirement where each subject's average visual
8 acuity score must be greater than or equal to 75
9 points. And the number of human subjects tested is
10 two.

11 There will be three visual acuity scores
12 taken, one post chamber don, and then two
13 minutes -- or after 5 minutes of exercise, there
14 will be another set of scores, and then after
15 another five minutes of exercise.

16 These visual acuity scores will be taken
17 and derived for an overall visual acuity.

18 Again, this is the standard test
19 procedure for the air-purifying respirator.

20 The next is the speech requirement and
21 the speech intelligibility where the overall
22 performance rating has to be greater than or equal

1 to 70 percent.

2 The PAPR motor shall be operating during
3 this, and the voice conveyance system shall be
4 operating at maximum if there is an active voice
5 conveyance system.

6 And then the primary lens, haze luminous
7 transmittance abrasion resistance requirements,
8 again, is the same as the air-purifying respirator,
9 and these are the requirements.

10 And that will be just done on the lens
11 specimens. That will not be done on the respirator
12 lens. In other words, we will not cut the lens
13 specimens from the eyepiece of a respirator, but
14 the manufacturer will send in flat
15 four-by-four-inch specimens to be tested.

16 Discussing some of the benchmark
17 testings. The field of view benchmark testing,
18 what we did is we tested four PAPRs, three full
19 facepiece types, type fitting full facepiece, and
20 then the hood type, neck down type.

21 As you can see, they all passed the
22 requirement of 90.

1 Next we tested the -- benchmark tested
2 for the fogging. We tested three full facepiece
3 types, one hood type. And, again, as you can see,
4 they all passed the scores, passed the requirement
5 of the overall performance requirement, sub 75.

6 The communication test, there was a --
7 from the full facepiece, there was two passive
8 systems, an active system, and then there was a --
9 the hood type had no voice conveyance system or
10 voicemitter.

11 There was these two type of -- one
12 passive failed, and a system that had no voice
13 conveyance system also failed.

14 When we originally tested this, there
15 was -- we had the noise generator operating at the
16 time. There was some comments that -- some people
17 felt that there was comments -- had a concern about
18 the noise -- there was excessive noise during this
19 test.

20 So it didn't seem fair that this
21 requirement would be the same as the air-purifying
22 respirator because you had the noise generator

1 operating, plus you had all the PAPRs, or the test
2 subjects operating. Therefore, the noise was
3 excessive during the test itself.

4 And we changed the test procedure for
5 that, and I will discuss that a little bit later.

6 To address some of the public meeting
7 comments, the field of view test, there really were
8 no comments provided on the current requirement and
9 the test method.

10 As far as the fogging requirement, there
11 was a concern that the batteries would not function
12 after four hours of cold soaking at minus 21.C.

13 During the fogging test, the batteries
14 worked adequately in all of the PAPR models after
15 the initial four hours of cold soaking while
16 performing the fogging test.

17 The communication requirement, there was
18 a comment that the four PAPRs would generate
19 excessive noise thus making the requirement more
20 difficult to pass than the APR.

21 As I mentioned earlier, what we did was
22 we have a proposed change that the test procedure

1 to have a minimum background noise of 60.

2 And how this works is that when all four
3 PAPRs are operating during this test, if there is
4 60 decibels or greater noise level, the noise
5 generator will not -- will not be activated.

6 If the four PAPRs during the test do not
7 generate a noise level of 60 decibels, the noise
8 generator would be activated to create this minimum
9 of 60 decibels.

10 The next is the haze, luminous
11 transmittance and abrasion comments. A lot of
12 people -- there are some folks that would eliminate
13 the abrasion requirement for the flexible lens
14 materials that will be used in disposable
15 one-time-use hood-type facepieces.

16 And after considering -- NIOSH is
17 considering eliminating the abrasion test.
18 However, we would still keep the haze, luminous
19 transmittance requirement.

20 Next, there was a comment of how will
21 coverings such as lens protective coverings and
22 overshields be handled for the lens abrasion.

1 This requirement is just for the primary
2 lens on the respirator, not for protective
3 coverings, outskirts, or overshields that are
4 replaceable.

5 Another comment was that NIOSH had
6 considered changing the luminous transmittance and
7 haze requirements to an absolute value.

8 In other words, have the absolute
9 minimum, which is luminous transmittance of 84
10 percent and the maximum haze value at 75 percent
11 instead of the current NIOSH requirements, which is
12 there can't be a decrease in luminous transmittance
13 by 4 percent, or the haze can't increase by 4
14 percent.

15 NIOSH will not adopt this absolute value.
16 The purpose of this test is to test the abrasion
17 resistance characteristics of the lens material
18 which is independent of the initial luminous
19 transmittance and haze values.

20 So, again, the characteristic and the
21 spirit of this test is to test the abrasion
22 resistance characteristics.

1 Another comment was some manufacturers
2 felt that they should not have to provide the
3 abraded lens.

4 NIOSH is considering waiving this
5 requirement for the abraded specimens, but --
6 however, it's currently required just to determine
7 to see if the tests are consistent between the
8 manufacturers and NIOSH. It's just a good way of
9 making sure that there is consistency.

10 And at this time, I will answer any of
11 your questions.

12 Thank you.

13 MR. SZALAJDA: Frank.

14 MR. DENNY: Since nobody else is up here,
15 just a quick question.

16 We just discussed a few moments ago that
17 you guys are doing LRPL testing with the blower off
18 as you are concerned with worst case assessment.

19 But then I noticed you are doing lens
20 fogging with the blower on.

21 MR. PALYA: Yes. You know, that just
22 struck me that, you know, when you go with the off

1 mode, does that mean that you have to do it with
2 the off mode during a fogging test?

3 And, you know, there's a good argument
4 for having it off while you are doing the fogging
5 as well because you certainly don't want to be down
6 range and have it go off and then come back blind.

7 MR. DENNY: Just something --

8 MR. PALYA: That's something under
9 consideration. Thank you.

10 MR. SZALAJDA: Yeah. One thing I wanted
11 to add to that was, you know, I think, keeping in
12 mind how we are considering or trying to consider
13 testing the respirators as they are going to be
14 used.

15 And one of the features when you look at
16 air-purifying systems in general is that the user
17 makes a conscious decision whether or not he is
18 going to put the device on before he goes into a
19 scenario.

20 And with a -- in looking at how the
21 fogging test is done, you know, the respirator
22 could be cold. And part of that aspect is making

1 sure that, you know, is this part of the checks and
2 balances that a user will undertake in evaluating
3 their system before they put it on?

4 MR. TEELE: Bruce Teele, NFPA.

5 The comment and the response about the 60
6 DBA level for measuring the voice intelligibility
7 through the respirator, the PAPR, having been
8 reduced because it was said there was too much
9 noise with the noise generator going and the PAPR
10 is operating sounds to me like a fairly moderately
11 quiet incident scene at that noise level. And to
12 reduce it further, further complicates the ability
13 of the emergency responders to communicate with one
14 another while they are wearing PAPRs or any other
15 respirator for that matter.

16 I don't agree with that position being
17 taken because voice recognition, being able to
18 communicate with the respirator on, has been, is
19 now, and appears to continue to be a problem with
20 emergency responders.

21 MR. SZALAJDA: Yeah. Well, we were just
22 trying to establish a standardized baseline of

1 noise level when performing that test.

2 You know, as with the air-purifying
3 respirator, that was pretty simple because the APR
4 did not generate any noise.

5 And then, you know, again, we were just
6 trying to go ahead here and establish the minimum
7 value. We needed to take that into account because
8 we wanted to check out the feature or test the
9 communication features of the respirator.

10 MR. PALYA: May I respond?

11 MR. SZALAJDA: Yes.

12 MR. PALYA: It would seem, though, that
13 the suggested change, not less than 60 DBA, leaves
14 it wide open as to how you would conduct the
15 testing and it may lead to inconsistent testing
16 depending on the noise generated by the PAPR device
17 or whatever other background.

18 It would seem like you would want to set
19 a decibel level, and then everyone is tested
20 equally.

21 MR. TEELE: Well, yeah, because the thing
22 is -- okay. So there is a minimal level of 60 set

1 during the test.

2 And then if it would increase, you know,
3 you would almost be penalizing the PAPRs that are
4 run very, very smoothly.

5 If there are, let's say, all four PAPRs
6 and plus the noise -- are generating a 61 decibel,
7 by, You know, increasing their noise level up to a
8 certain point than with the lower ones, it just
9 would seem like it would penalize the PAPRs that
10 run at a lower noise level.

11 MR. SZALAJDA: Thank you, Bruce.

12 MR. SMITH: Andrew Smith, SEA Group.

13 You specified that you were going to test
14 active speech devices at full volume.

15 Could you explain?

16 MR. PALYA: Yes. Yes.

17 In other words, so that there would be no
18 discrepancy by saying, Well, gee, you didn't test
19 my PAPR at full volume. You only tested it at a
20 fourth, or this person only tested at
21 three-fourths. This way it would give it
22 consistency.

1 MR. SMITH: And the consistency of the
2 speech volume?

3 MR. PALYA: Pardon me?

4 MR. SMITH: The consistency of the person
5 speaking, how loudly they were speaking.

6 MR. PALYA: That would be one of the test
7 procedures. You establish the volume. The speaker
8 is trained to go ahead there and speak at a certain
9 level.

10 MR. BERNDTSSON: Goran Berndtsson from
11 SEA. That makes it very difficult.

12 I mean, the whole purpose of having the
13 volume is that some people speak very slowly or
14 very low, and others speak very loud. And then, of
15 course, you can adjust that by depending a little
16 bit on the surrounding noise and how hard you
17 are -- how loud you want to speak.

18 So if you are turning it up to the max,
19 and someone speaks really hard in the microphone,
20 it is going to be a lot of distortion.

21 I think you need to consider that it's
22 not really fair to go to put full volume on and

1 then not considering because the whole purpose with
2 the volume was to overcome his kind of thing.

3 MR. PALYA: Okay. Yeah, I understand a
4 need for consistency, and thanks for the comment.

5 We will talk about this today.

6 MR. CARETTI: Dave Caretti, Edgewood Chem
7 Bio Center.

8 Goran, to answer your question and the
9 questions about speech intensity, the MRT test
10 requires probably 60 percent of the time that you
11 train the speakers to use the same intensity
12 throughout the entire test.

13 So there are many efforts made to try to
14 train people to do that.

15 It is always consistent? It is pretty
16 good.

17 Is it perfect? No. But it is the nature
18 of that type of testing.

19 I think the purpose of turning up the
20 microphone all the way is once the speakers have
21 been trained to speak at the same intensity with
22 and without background noise and without a

1 respirator on and with a respirator on, is to give
2 the benefit of the doubt to the speech conveyance
3 system if it's an active system for whatever the
4 manufacturer wants to use. So that's how we try to
5 handle that information.

6 As far as the background noise
7 combinations, that is still an outstanding issue,
8 Bruce. I think we still need to discuss that. And
9 that really addresses the minimum background noise.
10 And correct me if I'm wrong, Frank, you are using
11 some existing standard as to how loud a PAPR blower
12 can be at the level of the ear before you will even
13 do this test?

14 MR. PALYA: Yes. There is a requirement
15 of, you know, in the 42 CFR.

16 MR. CARETTI: And that is what? 80?

17 MR. PALYA: That's the 80 decibels.

18 MR. CARETTI: The 80 decibels.

19 MR. PALYA: Right.

20 MR. CARETTI: And one question, too, one
21 thing that is still outstanding is in terms of the
22 breathe assist types of respirators, it is my

1 experience that when someone is speaking, and those
2 things are ramping up, that background noise really
3 shoots through the roof.

4 And we need to consider the impacts of
5 that if the system doesn't have some kind of a
6 speech transmission that is an active system.

7 MR. PALYA: Okay.

8 MR. CARETTI: Okay. Thanks.

9 MR. PALYA: The next couple of
10 presentations that you are going to hear are
11 related to tests where NIOSH has, I want to say
12 licenses, isn't probably a correct term, but where
13 we use the Edgewood Chemical Biological Center,
14 RDECOM, as our test agent for performing chemical
15 warfare agent challenges on respirators, as well as
16 the LRPL.

17 Dr. Lynn Hoffland from ECBC is going to
18 be discussing the results of some recently, I guess
19 as of last week, completed tests of NIOSH approved
20 equipment, NIOSH industrial requirements versus our
21 conceptual PAPR agent challenges. And Ted Klemetti
22 is going to discuss the LRPL results for

1 benchmarking that were recently completed.

2 One of the things that I did want to --
3 when you look at this aspect of the testing is this
4 is a result of our three-tier process. The whole
5 purpose behind the chemical war -- testing with the
6 chemical warfare agents test was to evaluate the
7 penetration and permeation resistance of the
8 respirator versus chemical warfare agents.

9 And part of the intent in looking at the
10 respirators was to, you know, do that evaluation on
11 a Smartman head form, which Lynn will describe a
12 little bit further. But basically it's not --
13 basically to try to focus -- the respirator to just
14 act as it would normally do being worn by a wearer.

15 We are not testing the breathing
16 resistance. We are not testing the -- if the
17 breathing efficiency. We are testing to see if
18 chemical warfare agents are penetrating and
19 permeating through the equipment.

20 And one of the things that was a little
21 different -- and Dr. Hoffland will explain it in
22 his discussion -- is when we looked at the

1 different types of PAPRs, we evaluated the -- one
2 of the breath response type systems where we
3 considered raising the flow rate of the breathing
4 machine inside the Smartman mechanism to surge it
5 to allow for a higher volume of air, 60 liters per
6 minute instead of 40 liters per minute, as part of
7 the test cycle.

8 And part of our thought process here was
9 that we wanted to see with regard to the equipment
10 and knowing that there may be some changes in the
11 respirator whether or not that -- that change in
12 the breathing flow made a difference in how the
13 respirator responded and whether or not things
14 opened or closed, or, you know, may have loosened
15 while the respirator was responding to the
16 increased breathing flow.

17 We haven't completely analyzed all of the
18 data, you know, associated with that. And probably
19 between now and the next concept paper, we will be
20 able to make a determination whether or not that
21 that's really applicable for the standard or not.

22 So with that, Dr. Hoffland will give his

1 presentation.

2 DR. HOFFLAND: Thank you very much, Jon.

3 As he introduced me, we were given two
4 copies of PAPRs manufactured by -- from three
5 different manufacturers, and we just ran the
6 standard as it was written to determine how they
7 performed as received.

8 Okay. The test requirements are, we
9 perform a leak test, 30 minutes on a clean head
10 form in order to make sure that the PAPR will seal
11 to the head form. And then that is repeated again
12 on the agent head form before the test begins to
13 make sure that it's fit properly before an agent
14 test begins.

15 Breathing rate for constant flow is 40
16 liters per minute, which is 36 strokes per minute
17 with a tidal volume 1.1.

18 If it's a on-demand unit, from minutes 15
19 to 30 of each hour, the rate -- respirations will
20 be increased to 55 strokes per minute, which will
21 increase the total volume to 30 -- or 60 liters per
22 minute.

1 For GB, the agent concentration challenge
2 is 210, plus or minus 10 percent. And the
3 challenge vapor is introduced for the first 30
4 minutes of an eight-hour test.

5 And this gives the break for maximum
6 peak, .044, and the break -- a CT of 1.05
7 milligrams per minute -- milligram minutes per
8 meter cubed.

9 This is a typical challenge profile. So
10 for roughly 30 minutes, we have an agent turned on.
11 And then it's -- the supply is turned off, and it
12 gradually decays as it -- the item breathes and
13 purifies the air in the box.

14 We had three manufacturers. We
15 designated them A, B and C.

16 And these are the results. The
17 cumulative CT for the first one. The second one,
18 it did very well. And the third one didn't do
19 quite as well.

20 I can guess as to what the problem was in
21 this case. I don't know if I should -- should -- I
22 guess not. But, anyway, the third unit failed

1 about right at a little bit before four hours into
2 the test.

3 For mustard, it has the same requirements
4 for breathing rates. Again, if it's on-demand,
5 it's increased for minutes 15 to 30 of each hour of
6 the test.

7 Challenge concentration is 50 milligrams
8 per meter cubed, plus or minus 10 percent. Again,
9 for the first 30 minutes of the challenge, it's
10 turned on as a vapor challenge. And then at hour
11 six, we do a liquid application, and that's on for
12 the last two hours of the test.

13 This gives you break times, maximum peak,
14 .3, and the break is three milligram minute per
15 meter cubed.

16 There is a typical challenge profile.
17 And the three PAPRs, A, which broke about seven
18 hours into the test. B, which didn't break, what
19 is considered passing, although there was some
20 agent getting into the mask. And C, which broke
21 about three and a half, between three and a half
22 and four hours into the test.

1 That's all I have.

2 Are there any questions?

3 MR. CARETTI: Dave Caretti.

4 I would lend just a comment. When you do
5 the 60 liter per minute volume testing, I would
6 recommend that you don't just increase the
7 frequency. You have to change both the volume and
8 the frequency to do 60 liters a minute because
9 that's the way it would be in the real world.

10 And then a comment for NPPTL and everyone
11 else to be aware of. We have two systems where we
12 have moderate breathing rate of 40 liters a minute,
13 but we are using very different tidal volumes and
14 stroke volumes for those tests.

15 And you may want to have those to be
16 consistent. You know, the moderate flow rate under
17 the current concept paper is 24 breaths a minute,
18 so it is roughly 1.7 liter tidal volumes. And then
19 the testing doing at Edgewood is 36 breaths a
20 minute, and it's 1.1 liters tidal volume.

21 And I don't think it would make a big
22 difference in your test, but I think we should just

1 be consistent in that.

2 MR. SZALAJDA: Okay.

3 MR. CARETTI: Thanks.

4 MR. PALYA: Let me just add to
5 Dr. Hoffland's presentation.

6 This pertains to the batteries. And in
7 talking with some of the folks down at Edgewood,
8 disposing batteries off an Army base is very
9 difficult. Disposing agent contaminated batteries
10 is near impossible.

11 So I think when we are looking into the
12 standard test procedures is that we are going to
13 try to keep the batteries outside the hot box and
14 try to rewire and up hook up leads in there.

15 At this time, the standard test procedure
16 is pretty fluent. We are trying to adjust it,
17 and -- so that we wouldn't deal with those problems
18 with disposal of hazardous waste with the
19 batteries. And that has been working out -- from
20 talking with the lab personalities, it is working
21 out rather well.

22 MS. RICHARDSON: Irene Richardson with

1 the U.S. Army Center for Health Promotion and
2 Preventive Medicine.

3 Just a quick question. Are there any
4 plans that have any requirements in case the
5 battery pack does quit as far as performance or
6 protection against the chemical agents in case --
7 again, you just basically have a glorified APR
8 instead of the actual PAPR if the batteries die?

9 MR. SZALAJDA: Go ahead.

10 DR. HOFFLAND: I guess that issue is to
11 be worked out.

12 I know in this case, two of the
13 manufacturers' PAPRs were supplied without
14 batteries, and so we provide a constant voltage
15 source for that unit, for those two units.

16 The third unit had batteries in it, but
17 we also provide a constant -- they were provided
18 with their charging system, and that was plugged in
19 during the test.

20 So the batteries weren't challenged for
21 these tests, but I don't know what the plans are.

22 MR. SZALAJDA: Yeah. Irene, that's a

1 good point, and I think that's something that, you
2 know, with this test, as well as with the other,
3 you know, the other ones that we have talked about
4 that we are going to need to think of in terms of
5 maybe evaluation and any decisions we make in
6 testing a failed type mode.

7 MR. DENNY: Frank Denny, Department of
8 Veterans Affairs.

9 Does the concentration that the batteries
10 are exposed to have any effect on them, or do you
11 know?

12 DR. HOFFLAND: No, not that I'm aware of.

13 The agent shouldn't permeate the battery.
14 It probably shouldn't permeate the casing that the
15 battery is in to begin with. But even if it does,
16 I don't think it will have any effect upon the
17 battery.

18 Liquid agent isn't applied directly to
19 the battery itself, just the housing.

20 MR. DENNY: Thank you.

21 MR. BROCHU: Jon, can I make one comment?

22 MR. SZALAJDA: Okay, Paul.

1 MR. BROCHU: Yeah, just back to the
2 battery issue. Something you may want to consider
3 is you mentioned internal versus external batteries
4 in some of the material questions here.

5 We can -- perhaps if there are external
6 battery packs, perhaps those should indeed be
7 exposed to the agent test. I mean, certainly
8 mustard can do a number on a variety of materials.
9 And, you know, rather than take that battery out of
10 the picture, you may want to consider, as far as
11 agent compatibility.

12 DR. HOFFLAND: That is -- thank you,
13 Paul. That's a very good point.

14 And I think that reminds me of one thing
15 to just keep in mind too, that as part of the
16 evaluation, we look at the effects of the chemical
17 warfare agent on the respirator as well as the
18 accessories.

19 And if there are issues that we see with
20 plastic degrading or components breaking as a
21 result of the exposure, we can work out those
22 issues as part of the certification. So that's a

1 good point.

2 Thank you.

3 MR. KLEMETTI: All right. This one is on
4 the LRPL requirements as we see them in the concept
5 paper as well as some of the benchmark testing that
6 has been performed at Edgewood.

7 Some of the public comments that we have
8 received so far are how are we going to deal with
9 failures due to particles produced from the PAPR.

10 At this point in time, we are looking
11 at -- our primary concern is what the material is
12 made of that is coming off, an MSDS chemical
13 composition of that material.

14 And then the secondary concern is looking
15 at a way of evaluating the background or the
16 particles caused by that, PAPRs, and being able to
17 remove that from the actual result if this becomes
18 an issue.

19 Rationale for 10,000 LRPL, same
20 environment. We are currently not requiring 10,000
21 LRPL level.

22 Perform at minimum flow and with blower

1 off. The test will be performed with the blower on
2 and off as the concept is now.

3 Confusion between different LRPL levels
4 of respirators. The different is twofold,
5 partially because of the different types of
6 respirators that there are, and second fold is the
7 idea behind which we run the testing. For
8 instance, the SCBA is tested as just a facepiece
9 with a P100 filter attached.

10 That is a use configuration that will
11 never be used by that PAPR -- or by that
12 respirator, and all we are looking for is a
13 facepiece fit.

14 Consider one size fits all panel for the
15 LRPL tests. At this time, we are following the APR
16 panel for full facepiece PAPRs, and we are looking
17 at the air-purifying escape for the tight fitting
18 neck dam PAPRs.

19 Same protection at different work rates.
20 This test is being performed over 11 exercises,
21 both blower on and blower off.

22 The concept criteria for the blower on,

1 we are looking at a level of greater than 500 for
2 95 percent of the trials. And for the blower
3 off -- that's backwards.

4 For the blower on, we are looking at a
5 level of greater than 2000 for 95 percent of the
6 trials. And for the blower off, we are looking at
7 a level greater than 500 for 95 percent of the
8 trials.

9 Our test conditions, we are looking at
10 somewhere between 68 to 80 degrees Fahrenheit,
11 relative humidity of 50 percent, plus or minus 10
12 percent.

13 The corn oil challenge concentration is
14 20 to 40 milligrams per meter cubed with a median
15 mass air diameter of .4 to .6 micrometers. Oxygen
16 level will be at least 20 percent during the test.

17 Two trials with each subject for each
18 testing mode. In other words, we are going to run
19 a trial blower on, a trial blower off; a trial
20 blower on and a trial blower off with each test
21 subject. Once again, this will be an 11-exercise
22 routine.

1 And we get back into talking about the
2 panels. At this point in time, they are the same
3 as an APR for the full facepiece, like I said
4 before, and the APER for the tight fitting neck dam
5 PAPER.

6 Benchmark testing, we performed testing
7 on three different full facepiece PAPER systems,
8 completed both the blower on and blower off trial.
9 Each PAPER system was tested by more than one
10 subject. And of concern during the benchmark
11 testing was the hair placement of the subjects
12 prior to testing.

13 Here are the results. We have the
14 subjects listed one through seven, which will be on
15 subsequent slides. Respirators were X, Y, and Z.
16 Values for the on and off mode.

17 The one failure you see here was caused
18 by hair affecting the seal, which we would remove
19 from the test and retest -- or remove that result
20 and retest the subject as it stands right now.

21 Here is more of the results for subjects
22 three and four. There is five and six. And there

1 is subject seven.

2 Future direction is address testing
3 concerns from benchmark testing. One concern is,
4 of course, the tight fitting neck dam, which we
5 currently have not run a test on, as well as the
6 hair placement or any other fitting issues.

7 Finalize standard test procedure, and
8 then perform verification testing with final
9 standard test procedure.

10 Any questions?

11 MR. BERNDTSSON: Goran Berndtsson of SEA.

12 When you do the power on, power off, you
13 intend to take the person out of the chamber, refit
14 it again, and then go back in again?

15 MR. KLEMETTI: Yes.

16 MR. BERNDTSSON: So you are not just
17 turning on and off the power?

18 MR. KLEMETTI: No.

19 MR. DUNCAN: Paul Duncan, Scott Health
20 and Safety.

21 I'm sorry. You may have said before in
22 other portions of the presentation -- I haven't

1 seen it yet.

2 What -- will you be applying -- or I
3 assume you will be, but when will be discussed --
4 is there going to be a maximum inhalation
5 resistance of the respirator with the power off?

6 We can all make blowers that can overcome
7 quite the filter, but when you turn the blower off,
8 the person is supposed to be able to breathe
9 through it for these tests.

10 MR. KLEMETTI: That is actually in the
11 concept paper.

12 There is a standard for the -- I believe
13 it's for the complete system power off. It has
14 minimum, maximum inhalation and a maximum
15 exhalation, I believe.

16 I believe it's 50 millimeters, 70
17 millimeters for initial and final for the
18 inhalation, and 20 millimeters for the exhalation.

19 MR. DUNCAN: All right. Very good.

20 MR. KLEMETTI: And that comes out of 42
21 CFR for the current industrial PAPR, I believe.

22 MR. DUNCAN: Well, it actually says here

1 that -- oh, okay, so those are actually -- and so
2 if I got this right, I guess, we are talking about
3 Section 5.6, here.

4 This refers to the 85 liter per minute is
5 actually drawn through the respirator in the
6 negative pressure mode?

7 MR. KLEMETTI: Yes.

8 MR. DUNCAN: Okay. All right.

9 MR. CARETTI: Dave Caretti.

10 Ted, can you explain to me the rationale?
11 In the spring, the LRPL was 10,000 with the blower
12 running. Now it's 2000 with the blower running.
13 And isn't that not lower than an APR requirement?

14 MR. KLEMETTI: That is part of -- in
15 looking at setting the requirement, what we had
16 received through the docket after the May meeting
17 was at 10,000, was the value that was too high for
18 the PAPR, that we should use -- since the PAPR
19 would be used in the same applications as the gas
20 mask, we should have a similar or use the same LRPL
21 value that we did for the gas mask, which is 2000.

22 And at least at this time, while I think

1 we all appreciate that PAPRs can and will provide
2 protection factors significantly higher than 2000,
3 we are still looking at whether or not there is a
4 technical basis to require something higher than
5 what we specified for looking at the APR.

6 MR. SZALAJDA: Well, it's 1:58, and we
7 are two minutes ahead of schedule, which is pretty
8 novel for us. So I will try to slow down the pace
9 a little bit.

10 But I think in summary, what I would like
11 to try to do is, at least, to wrap up I hope what
12 you, you know, what you heard or you think you
13 heard from us today, and open up, following my
14 presentation, any comments that you may have from
15 your perspective, whether it is as a user or a
16 manufacturer or some other stakeholder involved
17 with these products.

18 But in retrospect, I think it is probably
19 always good to occasionally go back to where we
20 began. And the goal is to develop a system for
21 emergency responders.

22 And I think, at least as far as the

1 evidence you have seen today, I think you
2 appreciate why we focused on the tight fitting
3 types of requirements at this time and in looking
4 at the three different tiers of requirements or --
5 that we were anticipating for the system.

6 There is still work to be done with
7 regard to flow. We do see the potential for two
8 possible types of system, one being a breath
9 response panic demand, however we ultimately define
10 it for traditional NIOSH methodology, and also
11 constant flow type PAPRs.

12 Then we are going to continue -- with the
13 equipment that we have coming in, we are going to
14 continue our evaluations of these systems and at
15 that higher flow rates both for gas and vapor
16 testing as well as particulate type testing.

17 The hazard protection, we are looking at
18 the same requirements that are used for the gas
19 masks, the same test representative agents, the
20 same chemical warfare agent challenges, the same
21 type of testing on a lot of our human factors, and
22 durability type testing that we are going to

1 accomplish during the certification testing.

2 I had mentioned this at the May meeting,
3 and I think these issues still apply as far as
4 things that manufacturers should be considering as
5 part of preparing -- ultimately preparing for CBRN
6 certification.

7 There are going to be some unique
8 labeling requirements associated with this type of
9 system. And I think in particular our concern, at
10 least at this point, is with regard to the
11 canister.

12 And knowing that a lot of manufacturers
13 use 40 millimeter threads as part of their filter
14 design, which we have also identified as a specific
15 design requirement for the APR, for the gas masks,
16 we need to make sure that we ensure through
17 labeling and cautions and limitations associated
18 with the piece of equipment that we are not going
19 to inadvertently allow for interoperability between
20 the gas mask systems and powered air-purifying
21 respirators.

22 Acknowledging that, yes, it may be

1 theoretically possible that if you have developed a
2 canister that is good for the gas mask, it could
3 also be used for the PAPR, but we want to make sure
4 that the labels are distinct enough that one system
5 is for the gas masks, the other is for the PAPRs,
6 and they are not interoperable.

7 Also that we are going to be looking to
8 address the issue of uniformity with the manifold,
9 and to look at your engineering evaluations of
10 differences in manifold air flow.

11 And I think we have established some
12 concepts for addressing how we are going to be
13 doing the service life testing. And in looking at
14 the requirements that we're anticipating for
15 resistance in the canister as well as testing the
16 system as part of the gas and vapor evaluations.

17 Where we are going to go from here?
18 Sometime within the next 90 days, there will be a
19 new concept paper.

20 Parts of what you are going to see in
21 that document are going to be developed based on
22 the ongoing benchmark testing, as well as new

1 benchmark testing and any inputs that we receive
2 from the community with regard to what is currently
3 in the standard.

4 And we also encourage you, as individual
5 stakeholders, to come in and have discussions with
6 us to let us know your feelings regarding the
7 concept and where you feel different requirements
8 or other considerations should be taken into
9 account with regard to the system.

10 With the benchmark testing, as I had
11 mentioned, during Terry's presentation earlier,
12 it's going to be a very interactive quarter coming
13 up as we get the difficult pieces of equipment in
14 that will allow us to do the testing at the higher
15 flows.

16 Some of the -- during the presentations,
17 here, some of the other benchmark testing that we
18 are going to be conducting here over the next
19 several months, carbon dioxide testing and if we do
20 go with a human subject type testing for making
21 that determination as part of the concept.

22 Also doing the additional studies of the

1 battery performance at low temperatures will be
2 ongoing and during the next quarter.

3 Target date for the standard, as I had
4 mentioned this morning, is September of 2005.

5 And, again, please keep in mind that
6 that's -- currently, that day is currently defined,
7 given the -- our current resource constraints with
8 the program and laying out what we need to do, who
9 we have to do it, and how much money we have to do
10 the test between now and September of 2005.

11 And all those parameters are subject to
12 change one way or the other. Hopefully, it's
13 sooner than later. But with regard to the
14 completion of the different testing activities, as
15 well as the STPs that need to be defined, we
16 envision it is going to be a several-month process
17 yet to complete the standard.

18 And with regard to your comments, please
19 keep in mind to -- other than contacting us
20 personally, please feel free to make formal
21 comments through the NIOSH docket office.

22 Reference Project 010, Project 10, to keep it

1 separate from any of the other standards efforts
2 that are ongoing.

3 And with that, I would like to open up
4 the floor for any comments regarding the standard.

5 Upon the end of the public comment
6 period, we will take a 15-minute break. And then
7 we will introduce the closed circuit SCBA project.

8 Thank you.

9 MR. HAFLING: Thank you.

10 Dan Hafling. I'm the Senior medical
11 Advisor representing the Northern Virginia Hospital
12 Alliance. We are a coalition of 13 hospitals in
13 Northern Virginia that have come together over the
14 last three years to work on disaster preparedness
15 efforts.

16 So I guess I'm an end user, and I have
17 some comments in the context of the excellent work
18 that NIOSH and NPPTL have demonstrated thusfar on
19 looking at these issues.

20 But, Jon, sensitive to terminologies, was
21 a phrase that you used -- and I guess that that's
22 one important issue that I want to bring to your

1 attention, at least vis-a vis the health care
2 community.

3 And specifically the need, I think, to
4 distinguish between emergency responders, which is
5 really what this standards paper is focused on, and
6 health care facility first receivers.

7 And I know that my colleague, Frank
8 Denny, from the Veterans Administration, Veterans
9 Affairs introduced that at the beginning of the
10 meeting. But I think it bears repeating because
11 this concept paper as it is in its current form I
12 don't believe is appropriate, or I don't believe it
13 represents appropriate standards for respiratory
14 PPE for health care facility first receivers.

15 And the rationale is -- and I -- bear
16 with me because I'm sure that this is review for
17 all, but I think it is worth describing.

18 The rationale is that when we look at,
19 you know, federal regulations with respect to
20 response to hazardous chemical events or chemical
21 terrorist release events, and we look at the
22 hazlawfer (phonetic) standards, it is very clear

1 that those guidelines are specific for agencies
2 responding to events, but hospital and health care
3 facility personnel are really not included within
4 that definition of response.

5 And in fact, this specific language in,
6 you know, CFR -- 29 CFR 1910.120, states, "That
7 emergency response or responding to emergencies
8 means a response effort by employees from outside
9 the immediate release area or by other designated
10 responders to an occurrence which results or is
11 likely to result in an uncontrolled release of
12 hazardous substance."

13 That is really the audience that you are
14 addressing with this concept paper. And I think
15 that it's important to make that distinction from
16 the first receivers, who are going to be, you know,
17 on the -- literally on the receiving end of
18 whatever response occurs.

19 And OSHA, as I think you are all aware,
20 has recognized the "unique" situations of
21 hospitals. And they have, in fact, affirmed the
22 concept that hospital personnel who provide decon,

1 are not the same as scene providers.

2 And this, in fact, led to what is, I
3 guess, in the final stages of a draft form, an OSHA
4 guidance for the selection of respiratory personal
5 protective equipment for hospital first receivers
6 and this document entitled The Best Practices for
7 the Protection of Hospital Based First Receivers.

8 So you know, I think then that
9 specifically going back to these standards as you
10 have worked them out over the course of the, you
11 know, the many months that this process has been
12 ongoing, including much more restrictive definition
13 of personal protective equipment than is necessary
14 or reasonable in the health care facility setting.

15 And the key issues of concern that I see
16 here, number one, is that the proposed PAPR design
17 is one that has to be tight fitting, and either
18 tight fitting to the face or with a neck dam.

19 And the design that is most favored in
20 hospitals currently is one with a loose fitting
21 shroud to tuck into protective clothing. And these
22 would not be approved under this current guideline.

1 The second issue is that in this proposed
2 standard, the PAPR design must include a low
3 battery warning and a low flow warning, which in
4 the setting of a health care facility would require
5 additional training, additional time for training,
6 and possibly result in increased costs.

7 And because of the fact that the funding
8 pool is so limited, we are very sensitive to
9 whatever may drive up costs for unit of purchase.

10 And in the wording of this standard, it
11 is explicitly stated that the use of NIOSH approved
12 PAPRs would not be for entry quote, unquote where
13 hazards have not been full characterized.

14 And, again, in the health care facility
15 setting, in the setting in which we are literally
16 the first receivers of victims from a release site,
17 whether it's intentional or not, we are not going
18 to know either what the agent -- threat agent is or
19 what concentrations those threat agents will be in.
20 So this is something that is very restrictive
21 language in the context of health care facility
22 setting.

1 And I guess bringing this all together,
2 what is concerning from my perspective is that the
3 Department of Homeland Security has set a track
4 record of looking to NIOSH standards to set their
5 own standards, which are then linked to essentially
6 funding from DHS under their specific grant
7 programs for purchase of equipment.

8 And although one might say that, you
9 know, in the health care setting a lot of personal
10 protective equipment currently is being purchased
11 out of the health and human services HERSA grants,
12 there are also a large number of cities that are
13 receiving DHS specific grants in the context of the
14 Urban Area Security Initiative grants.

15 And all of these purchases would be put
16 in question and at the very least might set a
17 confusing standard if DHS were to adopt these
18 strict standards based on the language of this
19 concept paper as it is written right now.

20 So I guess what I am, you know, asking
21 NIOSH to consider, number one, is to request that
22 the concept NIOSH PAPR standard reference a

1 requirement for development of a unique and
2 distinct standard related to use by first receivers
3 in a CBRN threat environment.

4 I think to the manufacturers who are
5 represented here, you know, the corollary would be
6 that manufacturers really need to begin to explore
7 the development of PAPRs for use specifically in
8 the health care facility environment.

9 And then the corollary to that -- and I
10 believe, Jon, that you made mention of it at the
11 outset of the meeting -- is that ultimately such
12 health care facility first receiver PAPRs are going
13 to require their own set of standards and review
14 under NIOSH's leadership to make it clear that we
15 are, you know, providing the best and safest for
16 our workers in our unique situation.

17 So I thank you for the opportunity to
18 make the comments. I look forward to working with
19 you on this.

20 MR. SZALAJDA: Thank you very much, Dan.

21 MR. KJELLBERG: Bengt Kjellberg, ISEA
22 Group.

1 Let's see if somebody can verify
2 something for me. I don't know if the right forum
3 here.

4 When we are talking about tight fitted
5 hooded PAPR, will they be required to be fit tested
6 on your people using that type of device or not?

7 MR. SZALAJDA: For loose fitting type
8 systems?

9 MR. KJELLBERG: No. The one you specify
10 here, a tight fitted hooded type of PAPR.

11 MR. SZALAJDA: Yeah. We anticipate that
12 would be used as part of an organization's
13 respiratory protection program --

14 MR. KJELLBERG: And they would be
15 required to do fit tests?

16 MR. SZALAJDA: -- to require the testing.

17 MR. KJELLBERG: Okay. Thanks.

18 MR. DeSANTIS: Vic DeSantis, Safety Tech
19 International.

20 Jon, you said early on that you had hoped
21 that this would be the last public meeting and the
22 possibility of two or three revisions to this

1 concept between now and your targeted September
2 '05.

3 There seem to be a lot of issues that are
4 still out there floating around to be resolved. We
5 have heard that there is either test equipment that
6 is going to be modified or test equipment that has
7 to yet be selected and/or designed. Those are
8 still moving targets obviously for the
9 manufacturers.

10 I would like to recommend that we have at
11 least one more public meeting, maybe Juneish, July,
12 if everybody else thinks that is a good idea. I do
13 because there is a couple of moving targets here
14 still.

15 MR. SZALAJDA: Okay. Thank you, Vic. We
16 will take that under advisement as we move forward
17 with the standard concept.

18 MR. BROCHU: Paul Brochu from Marine
19 Corps Chem Bio Incident Response Force.

20 There aren't too many end users here that
21 we have really heard of, at least, on the responder
22 side, so I just have a general comment.

1 There is really -- there is a long
2 standing history of the partnership between
3 industry and our war fighters. And war fighters,
4 when I say that, extends beyond the military to our
5 responders out there, and that is important. And I
6 applaud the work that NIOSH is doing to help us out
7 and all those who supported us.

8 What we need is concrete data on real
9 human performance, physiological function, so that
10 we can make educated decisions regarding our
11 protection equipment. And this is going a long way
12 to assist us with that.

13 This is important so that we can
14 protect -- I can protect our Marines and sailors,
15 okay, soldiers out there, and that they can protect
16 your families as well, okay.

17 We are at war right now. And it is not
18 just Marines and sailors and soldiers kicking doors
19 and getting rid of scumbags overseas. It is right
20 here on land where people are attacking, you know,
21 our senior citizens. They are targeting our
22 children. All right. And that's what this is

1 about.

2 Our first responders are trying to help
3 assist in that endeavor.

4 So, you know, we need a standard, and we
5 are happy to get it next September. And I
6 appreciate the work that you are doing to support
7 that, but I could use that standard today. And I
8 know that there is a lot of other folks who could.

9 So if there are any delays that are
10 coming down the pike or anything like that, you
11 know, I would just like to say that the sooner the
12 better. We can really use this so that we can help
13 protect your families a little bit better.

14 And I thank you for your support in that,
15 ladies and gentlemen.

16 MR. SZALAJDA: Thank you, Paul.

17 MR. SMITH: Simon Smith, 3M Canada.
18 Thank you for a most comprehensive discussion
19 today.

20 One point is that exercises in training
21 in full powered-air systems are considered very
22 important by end users. And they are not likely to

1 want to go to the expense of full scale batteries
2 and filters.

3 We were wondering if a manufacturer
4 wishes to provide a battery for training, how would
5 you approach to finding requirements? Would it be
6 up to the manufacturer alone or a subset of the
7 full approval needs?

8 Likewise for a filter. If a manufacturer
9 wishes to provide a filter for training, would you
10 have minimum performance specifications, or would
11 you, again, leave that to the manufacturer?

12 And one might suggest for those you would
13 require, say, P100 -- organic vapor plus P100, the
14 filter, air flow resistance and weight.

15 Any thoughts.

16 MR. SZALAJDA: Simon, I didn't get the
17 last comment. Could you repeat that, regarding
18 the --

19 MR. SMITH: Oh, I'm sorry.

20 Concerning a filter -- sorry, this thing
21 is way too short.

22 Concerning the filter, would you, again,

1 have some minimum performance requirements for a
2 training filter, or would you leave it up the
3 manufacturer to work out with the user groups?

4 One might suggest that the minimum for a
5 training filter would be P100 because some training
6 is done with tear gas, or organic vapor P100 and a
7 filter of equivalent weight, and air flow
8 resistance to the actual full scale CBRN approved
9 filter.

10 MR. SZALAJDA: Okay. Yeah, those are
11 good comments, Paul.

12 MR. SMITH: Simon.

13 MR. SZALAJDA: At least to date, we
14 hadn't -- I'm sorry, Simon. Too many Pauls coming
15 up to the microphone.

16 I think with -- but I think with regard
17 to the training -- the training concept, I think
18 that it has merit.

19 It is something that we really haven't
20 considered as part of the standard yet. But I
21 think when you look at it in context with how we
22 have addressed training with the other standards, I

1 think there is probably room for us to consider how
2 we would address that as part of the standard,
3 whether it's captured and -- you know, via the
4 accessories or -- some other manufacturers use
5 documents, you know, some means of capturing that.

6 And we will be happy to look at that idea
7 as we move forward.

8 MR. SMITH: Thanks so much.

9 MR. SZALAJDA: Okay. Well, thank you --
10 oh, I should have known.

11 MR. BERNDTSSON: Yeah, just one. Goran
12 Berndtsson, SEA.

13 When I talked with some people from NIOSH
14 over the last couple of months, I was left to
15 believe that you would have a more definite
16 knowledge by now if it is going to go rule making
17 or not. I understand that decision.

18 Do you have any -- does that mean that
19 the legal people haven't made up their minds, or is
20 there a date where we will know which way it is
21 going to go?

22 What do you foresee?

1 MR. SZALAJDA: I think I'm going to defer
2 that one to Mr. Boord.

3 MR. BOORD: Well, the answer to your
4 question is we don't really know. It is something,
5 as I said at the beginning, something that is being
6 reviewed by the department.

7 As you can imagine now, with the changes
8 that are taking place within the department, the
9 new replacement for Tommy Thompson and so on, the
10 whole issue is just being addressed.

11 So I can't precisely say by such and such
12 a date we are going to know what the direction is,
13 but it is something that is in the process of being
14 reviewed.

15 MR. SZALAJDA: Well, with that, thank you
16 for your participation. We will close the PAPER
17 portion of the meeting.

18 Let's reconvene at 20 of 3, which will
19 give us 20 minutes for a break, and we will
20 introduce the closed circuit topic.

21 (A recess was taken.)

22 MR. KOVAC: Okay, good afternoon.

1 We are going to change subjects and, in
2 so doing, change our line of inquiry. And we are
3 going to look at the standard development efforts
4 for CBRN closed circuit self-contained breathing
5 apparatus.

6 I need to remark here that this is the
7 first time this has been presented in public. Much
8 remains to be done; much remains to be discussed.
9 Points are open for revision and reconsideration.

10 Our goal is fairly straightforward, to
11 develop a NIOSH NPPTL full-facepiece closed circuit
12 self-contained breathing apparatus standard that
13 addresses CBRN materials identified as inhalation
14 hazards or possible terrorist hazards for emergency
15 responders.

16 Such advice would be used for
17 long-duration missions involving entry into an
18 atmosphere where contaminant concentrations are
19 IDLH and which may not contain adequate oxygen
20 levels.

21 We should remember that beginning at
22 least as early as the early part of the last

1 century that first responders have made good use of
2 closed circuit breathing apparatus, self-contained
3 breathing apparatus at that.

4 Primarily they were by rescue teams, not
5 only in this country, but elsewhere that had to
6 respond to the aftermath of a major underground
7 mine fire or explosion.

8 That technology has evolved. It has
9 improved. And even today, mine rescue teams are so
10 equipped that they too have made good use of such
11 apparatus under high risk situations.

12 All the photos shown are the early
13 history and the evolution of the devices to today.

14 We are going to talk about how the logic
15 underlying how effective standards ought to be
16 developed.

17 But just as there is a logic underlying
18 scientific inquiry, scientific method, there is a
19 process or strategy for developing effective
20 standards.

21 Ideally, we begin with public process.
22 This is the first of such meetings to date, and

1 that process should be transparent. We should
2 identify key stakeholders and form partnerships
3 with them.

4 Whatever we do ought to be based on best
5 practice, grounded on good science, benchmark
6 testing, research to fill in data gaps and
7 ultimately peer review to validate our results.

8 And lastly we need to focus on the
9 performance of the breathing apparatus beginning
10 with the hazards analysis, a firm understanding of
11 what human capabilities there are, making sure that
12 at the point of manufacture, the devices have
13 sufficient quality assurance in their manufacture
14 that they will prove to be rugged and reliable,
15 that they be reliable at end use. And then we need
16 to investigate issues involving practical use of
17 these devices.

18 All of this we are undertaking beginning
19 today.

20 Our model involves three tiers of
21 standards. NIOSH approval under the program will
22 signify that a respirator is expected to provide

1 needed protection to first responders in situations
2 where an act of terror has released powerful
3 chemicals, pathogens, or radioactive materials into
4 the air.

5 Approvals will, of course, be based on
6 positive results from rigorous tests on sample
7 units submitted to NIOSH by manufacturers and from
8 stringent evaluation of manufacturers' quality
9 control practices, technical specifications, and
10 other documentation.

11 We talk about three tiers of standards,
12 and we begin with 42 CFR Part 84, those sections
13 which are applicable to closed circuit
14 self-contained breathing apparatus.

15 Added on to that are NFPA standards
16 involving operational performance in a fire
17 environment as well as high work rate performance.

18 And I understand that the NFPA
19 subcommittee on closed circuit self-contained
20 breathing apparatus has been reformed, and we
21 welcome their collaboration and participation in
22 this activity. Dick there is a member of that

1 subcommittee, and I see at least one or two people
2 who are so representative thereof.

3 And lastly we overlay on that special
4 CBRN requirements in terms of penetration and
5 permeation of chemical agents as well as practical
6 performance.

7 I need to remark here that NIOSH policy
8 regarding approving closed circuit self-contained
9 breathing apparatus for a fire environment for high
10 heat, high flame, open flame have not really
11 changed. Right now we are discussing how to
12 approve such a device, but the policy itself has
13 not been changed at all.

14 Crucial to this undertaking is looking at
15 how a closed circuit device performs dynamically.
16 So our concept calls for adapting and translating
17 the open-circuit NFPA requirement to closed
18 circuit.

19 How that is best be done, we regard
20 crucial to it, the use of an automated breathing
21 and metabolic simulator for performance testing.

22 How the devices which are currently

1 approved function and perform under these alternate
2 test standards, these alternate test schedules will
3 be the discussion of one of our presentations.

4 And, as a point of reference, an ABMS is
5 a computer controlled breathing machine that
6 simulates human respiration.

7 That is pretty much all I have to say. I
8 would like to introduce Frank Palya. He will talk
9 about the standards requirements.

10 If there is comments and questions, have
11 at them.

12 Frank.

13 MR. PALYA: Thank you for staying around
14 to hear the concept standards for the closed
15 circuit self-contained breathing apparatus.

16 The purpose of my presentation is to
17 discuss the following requirements. The flame --
18 the fabric flame resistance requirement, fabric
19 heat resistance requirement, thread heat resistance
20 requirement, facepiece lens, luminous transmittance
21 and abrasion requirement, communication
22 performance, the CWA permeation and penetration

1 requirement, and the LRPL.

2 These requirements, here, were -- these
3 concept requirements were from the relative
4 sections of the draft National Fire Protection
5 Association 1984 Version 94.

6 The first one I would like to discuss is
7 the fabric flame resistance requirement. As you
8 can see, the fabric average char length is less
9 than or equal to four inches. The fabric average
10 after flame is less than or equal to two seconds.

11 This will be conducted on five samples.

12 When tested with federal test standard
13 19 -- 191A, Method 5903.1.

14 The thing to make note of is that these
15 are established and active tests that are currently
16 being used to test products for the fire service.

17 Again, I want to briefly go through these
18 because most of these are outlined on the concept
19 paper.

20 Again, the fabric shall not melt or
21 ignite when tested with the Fed Standard 191A,
22 Method 1534. This occurs in a forced circulating

1 oven at an air stream temperature of 260C to 265C.

2 The same with the thread heat resistance
3 requirement.

4 Next is the facepiece lens, haze,
5 luminous transmittance and abrasion resistance
6 requirement. It's -- the requirement is that the
7 haze cannot -- the change in haze has to be less
8 than or equal to 14 percent.

9 This standard, however, in test -- this
10 requirement and test method was adopted from NFPA
11 1981 for open circuit self-contained breathing
12 apparatus in the 2002 addition.

13 Next is the communication performance
14 requirement. It's where the average calculated
15 value has to be greater than or equal to 70 percent
16 performance. It also was adopted from 1981.

17 However, the requirement and -- on speech
18 intelligibility may change based on potential new
19 test methods that are under investigation.

20 The next was the chemical warfare agent.
21 These are the special tests that Jon was -- spoke
22 of earlier.

1 For the chemical warfare agent testing,
2 it will be the -- we are going to go challenge the
3 apparatus with GB and HD.

4 The vapor in liquid concentrations are
5 equivalent to the open circuit unit, the open
6 circuit standard that was NIOSH developed for the
7 CBRN open circuit system.

8 It's going to be used -- when we test
9 this -- the apparatus against chemical warfare
10 agent permeation resistance, it is going to be on
11 the SMARTMAN that is used down at Edgewood, but we
12 are going to integrate the NIOSH approved
13 automated -- ABMS system with it.

14 To get into specifics, the sarin
15 challenge will be the same as the open circuit.
16 That will be at -- there is just one vapor
17 challenge for the first 30 minutes at 2000
18 milligrams per meter cubed.

19 The requirement is the breakthrough. You
20 cannot have three consecutive peak readings of
21 0.087 milligrams per meter cubed. The maximum
22 breakthrough allowed is -- throughout the entire

1 length -- run of the test is 2.1 milligrams --
2 minutes per meter cubed, and that is measured in
3 CT.

4 The requirement at this time is six
5 hours, but this is the initial concept. It was
6 initially based off the open circuit. But
7 realizing in some testing limitations, this may
8 change for the open circuit. NIOSH is considering
9 changing the total test time to the rate of
10 duration of the unit plus one hour.

11 Again, the breathing rates it refers to
12 the tables as 100 liters per minute and 40 liters
13 per minute. I believe that is on Table 2 of the
14 concept standard. That is rather aggressive.

15 We may go ahead there and look at more
16 sedentary rates just so that the unit can function
17 for the entire test time in the liquid challenge
18 because remember the purpose of this test, the
19 requirement, is to check the characteristics of the
20 unit to resist permeation to agent.

21 Again, the challenge is the same
22 challenge that is on the open circuit, the 300

1 milligrams per meter cubed. That's the vapor.

2 But then there is the liquid challenge
3 where you apply a total of .86 milliliters of
4 droplets on the unit through various locations on
5 the apparatus, interfaces between components,
6 hoses, eye lenses, what have you.

7 But right now, the failure is .6. If
8 there is any -- three consecutive peak readings of
9 .6 or greater, it constitutes a failure.

10 The maximum breakthrough is 6 milligrams
11 per minute meter cubed for CT. The vapor challenge
12 lasts for the first 30 minutes. The liquid
13 challenge is for six hours.

14 That is applied -- the liquid is applied.
15 The chamber is sealed, and the time begins. So
16 liquid is applied initially.

17 Again, we may go ahead there and change
18 this. NIOSH is considering changing the total test
19 time duration to a rated duration of the unit set
20 by the manufacturer plus one hour.

21 Again, we may go ahead there and add a
22 third column to Table 2 where we reduce the

1 breathing rate times so the unit will last longer
2 for the entire duration of the test.

3 Next, special CBRN requirement and test
4 method is the fit-factor corn oil aerosol or LRPL
5 testing. This test measures -- takes the ratio of
6 a corn oil concentration inside the facepiece to
7 the concentration outside of the facepiece in a
8 test chamber.

9 The purpose of this test is to establish
10 a benchmark level of protection under laboratory
11 conditions. It is not intended as an indication of
12 protection in actual use scenarios for any work
13 conditions.

14 The challenge in the chamber is the
15 concentration would be 20 to 40 milligrams per
16 meter cubed corn oil aerosol with a particle size
17 of .4 to .6 micrometers -- micrometers, excuse me.

18 And the challenge -- or the requirement
19 is set at -- each subject has to get a reading of
20 over 10,000. And there is 11 -- this will
21 happen -- this will occur -- the readings will be
22 taken when the human subject will perform 11

1 exercises. And this will be testing with the
2 self-contained breathing apparatus in operating
3 mode.

4 These are the exercises that are -- that
5 the human subject will undergo through the LRPL
6 testing.

7 If you notice, with the -- with the plus
8 sign, that's for emergency responder exercises, and
9 those will be included in the tests.

10 And at this time, I will take your
11 questions. Any questions, answer any questions.

12 MR. SELL: Hi Frank. Bob Sell, Draeger
13 Safety.

14 Maybe I missed it, but for the mustard
15 test, the liquid droplets applied to the pneumatic
16 system. Now, for the open circuit, that's
17 applied -- since all of the pneumatics are exposed,
18 that's applied in all various points --

19 MR. PALYA: Right.

20 MR. SELL: -- both the Bio and the
21 Draeger are a closed system, not that the gas
22 wouldn't get in, but how do you propose to apply

1 liquid agent to the unit?

2 MR. PALYA: Right now, we are currently
3 looking at -- it's not like we are going to open up
4 the unit and put them on the individual.

5 Whatever is exposed in a natural response
6 scenario.

7 So if it's in a closed case, we would
8 apply the liquid droplets to that, maybe the seams
9 on the closed case or whatever is exposed outside
10 of the case.

11 MR. FLYNN: Bill Flynn from Biomarine.

12 We have been through some experience of
13 this nature. And what the Army did in their
14 testing was test worst case situations, that if you
15 did lose your upper housing or your cover, then
16 they would deposit the droplets internal to that.

17 But in normal operation, obviously, there
18 is no pneumatics exposed whatsoever in either
19 system.

20 So I think that if you are going to test
21 with the cover in place, you would obviously have
22 to place the droplets elsewhere, you know, so there

1 is no exposure to pneumatics on either one.

2 MR. PALYA: Right. Well, again, initial
3 thinking is to go ahead, there, and whatever is
4 exposed to go ahead, there, and put the droplets
5 on.

6 MR. HODSON: Dave Hodson, Draeger Safety.

7 On the LRPL test, it indicated 10,000 as
8 being the reading. Why so high compared to that
9 from the open circuit SCBA?

10 I think we have already discussed it on
11 the PAPR that we were going to drop it down,
12 anyway.

13 MR. PALYA: Yeah. For the closed
14 circuit, we are testing the entire system.

15 For instance, the open circuit, we were
16 just testing the facepiece, the quality of the
17 facepiece itself. And it was being tested in the
18 negative pressure mode, so ...

19 MR. HODSON: Okay. Thank you.

20 MR. PALYA: Mr. Nick Kyriazi will be
21 discussing some of the operational performance
22 requirements on this closed circuit self-contained

1 breathing apparatus.

2 Nick.

3 MR. KYRIAZI: I am going to be talking
4 about the NFPA side of this proposed standard and
5 anything that has to do with simulator testing or
6 closed circuit apparatus.

7 The goal of this standard is to -- or the
8 goal of this whole project is to establish a new
9 standard for closed circuit apparatus by adapting
10 the relevant sections of the FNPA1981 standard for
11 open circuit apparatus.

12 So in general, we attempted -- or I was
13 on the old NFPA1984 committee which was chartered
14 to come up with a standard for closed circuit
15 apparatus. And in general, what we attempted to do
16 was to keep it identical unless it was impossible
17 for some reason because of the design of closed
18 circuit -- or the difference in design between
19 closed and open circuit.

20 The 1981 standard, the major performance
21 criterion was very simple. In the face of all
22 these different treatments, the breathing circuit

1 pressure had to remain positive at the -- at the
2 NFPA recommended ventilation rate at 100 liters per
3 minute.

4 And the air flow performance tests
5 consisted of simply connecting an open circuit
6 apparatus to a breathing machine, a simple air
7 mover, at a rate equivalent to a ventilation rate
8 of 100 liters a minute under ambient conditions,
9 which we assumed.

10 And in this test the breathing circuit
11 pressure in the face mask had to remain between
12 zero and 89 millimeters of water. And it had to
13 remain within that range until it was expended,
14 which for a 1,200 liter apparatus, quote, unquote,
15 30-minute rated unit, would happen in 12 minutes.

16 The major obstacles and application of
17 this standard to closed circuit were these.

18 The -- on open circuit apparatus, at
19 least a 30-minute rated unit would be expended in
20 12 minutes, and that is certainly within the realm
21 of human possibility. But for a longer duration
22 apparatus, it was not.

1 So we couldn't just say, Well, it had to
2 remain that way for four hours. Of course, they
3 wouldn't last that long, but certainly longer than
4 12 minutes.

5 And the positive pressure or the -- yeah,
6 the positive pressure limitations were going to be
7 more difficult for closed circuit since they are
8 more complicated than open circuit, both devices
9 have demand valves and relief or exhalation valves.
10 But closed circuit apparatus also have CO2
11 absorbent canisters and coolant canisters, both of
12 which add resistance to breathing.

13 And in addition, the 1981 standard listed
14 no metabolic parameters, so we had to come up with
15 some.

16 The solution to the obstacles was to test
17 on a breathing and metabolic simulator instead of a
18 simple air moving breathing machine at two work
19 rates alternating between the high work rate, the
20 NFPA 100 liter a minute ventilation rate, and the
21 NIOSH ventilation rate of 40 liters a minute,
22 according to this protocol.

1 The two work loads, the NFPA, which is
2 workload A, the high one, and B was the NIOSH one.

3 It's easier to see graphically -- well,
4 maybe not in this case. But I will point out the
5 first 12 minutes here are at 100 liter per minute
6 ventilation rate. And then for the rest of the
7 half hour, it goes back down to the 40 liters per
8 minute work rate.

9 Then the next half hour continued at 40
10 liters a minute ventilation rate. For the last
11 five minutes of that half hour, go back up to 100
12 liters a minute to make sure it's still remaining
13 positive.

14 And then for the next three half hours,
15 the same pattern is repeated, 25 minutes at the 40
16 liters a minute, and the last five minutes going
17 back up to 100 liters a minute to check to make
18 sure that it was still behaving at the high work
19 rate.

20 And then after those four rounds -- four
21 half hour rounds were done, then you just
22 continue -- it was proposed to just continue at the

1 40 liters a minute work rate until the apparatus is
2 expended.

3 These were the metabolic parameters that
4 we recommended to go with the two work rates, the
5 two ventilation rates. These are subject to
6 revision depending on what we find when we try it
7 on -- go further down the path with performing the
8 treatments on apparatus and running the tests.

9 We have run some tests on new apparatus
10 at this work regimen, but these are the proposals
11 today.

12 I would also call your attention to that
13 the ventilation rate is listed in liters a minute,
14 absolute volume displacement, or just ambient
15 conditions versus the VO₂ and the VCO₂ are listed
16 in terms of STPD conditions, standard temperature
17 pressure dry.

18 This is a picture of the breathing and
19 metabolic simulator with an apparatus mounted on
20 it.

21 This is a schematic of the simulator, and
22 I will try to just cover the main systems of it.

1 Here is the lungs -- the simulated lung
2 piston in a cylinder operated by a stepper motor,
3 and it connects to a mouth port over here so that
4 air goes back and forth like this.

5 And at the mouth, we are monitoring the
6 gas concentrations of oxygen and carbon dioxide as
7 well as breathing pressure and wet bulb and dry
8 bulb temperatures.

9 Here is the humidity loop and the heating
10 loop. We drain water out of the bottom of the lung
11 into a water reservoir where it is heated, pumped
12 up, and rained in on top in a plate, and it rains
13 in evenly so that we heat and humidify the air.

14 The metabolic system is composed of three
15 needle valves which are connected to a CO2
16 cylinder, nitrogen cylinder, and a vacuum pump. So
17 the CO2 is added here. The oxygen consumption
18 simulation is effected here through this needle
19 valve.

20 And since we can't be selective about
21 what exactly we are removing, we are inadvertently
22 taking nitrogen out as part of the oxygen removal

1 process. So we have to add nitrogen back in, as
2 well as some CO2 that we are removing
3 inadvertently.

4 These are all measured by the CO2 and
5 oxygen analyzers and the computer, and of course,
6 the whole thing is controlled by a computer.

7 At the moment, there are two presently
8 approved closed circuit entry positive pressure
9 apparatus, although I should mention, as is typed
10 up here, that they are not approved -- since they
11 are positive -- they are approved except in -- for
12 areas of open flame and high radiant heat because
13 of their status of being positive pressure and "100
14 percent" oxygen systems.

15 So many things have to be changed to
16 accommodate the new proposed standard.

17 The preliminary test results are -- were
18 not surprising. The two models we tested, Model A
19 exceeded the inhalation and the exhalation pressure
20 limits immediately. This was at the -- of course,
21 at the 100 liter a minute work rate, ventilation
22 rate.

1 And Model B, surprisingly, it exceeded
2 the inhalation pressure limit. It went negative,
3 but it seemed to have met the exhalation pressure
4 limit. It was just a little bit under the 89
5 millimeters of water pressure.

6 And like I said, they were -- this was
7 not expect -- I mean, this was definitely expected,
8 that they would not meet the test since they were
9 not designed to past this test.

10 Here is a graph of the Model A breathing
11 pressures with the breathing pressures on the Y
12 axis and time on the X axis.

13 You can see that the pressure is
14 increased over the first 12 minutes from a little
15 over 100 millimeters up to around 200 millimeters
16 of water pressure at the high work rate, dropped
17 down to around 40, 45 during the 40 liter a minute
18 ventilation rate.

19 And for the inhalation pressures in the
20 first 12 minutes at the high work rate, were down
21 around 45 -- minus 45 millimeters of water
22 pressure. And this was repeated throughout the

1 test.

2 Model B, you can see that -- the scale is
3 different by the way, I should point out.

4 You can see that the first 12 minutes, it
5 was -- the exhalation pressure was just underneath
6 90, just underneath 89, and it hit on inhalation
7 minus 25 millimeters of water pressure.

8 And in the 40 liters a minute, it was
9 within the recommended pressure range.

10 There are -- NFPA also does a number of
11 treatments to their open circuit apparatus that
12 they want to be NFPA certified. And some of these
13 are not -- some of these do not use the simulator
14 and some do.

15 I'm going to talk -- actually just
16 mention the ones that are performed on the
17 apparatus and -- performed on the breathing
18 apparatus and then tested on the simulator either
19 afterwards or during.

20 Here are the five treatments.

21 The temperature conditioning, the testing
22 consist of four different -- four different

1 treatments. Soak it cold and then run it cold.
2 Soak it hot, which means make sure the apparatus is
3 stabilized at that temperature, and then run hot.
4 Cold soak and hot run, hot soak and cold run. The
5 temperatures are identical to the NFPA, minus 32
6 degrees Centigrade and positive 71 degrees
7 Centigrade.

8 This is the current NFPA 1981 vibration
9 test.

10 This is the accelerated corrosion test.

11 These are right out of the 1981 standard,
12 I believe.

13 Particulate test.

14 And the heat and flame test. This one is
15 the one everybody is afraid of. Not only the
16 manufacturers, but the people who are performing
17 it.

18 In fact, nobody has done it yet on a
19 closed circuit apparatus. But this is what NFPA --
20 oh, some people have done it apparently. I'm not
21 sure if they did it when the apparatus was
22 operating.

1 MR. SCHUELER: Yes.

2 MR. KYRIAZI: Well, that's news to us.

3 And they are here to tell about it.

4 The test as proposed by -- or as done by
5 NFPA is that there is a 15 minute exposure at 95
6 degrees Centigrade while the apparatus is operating
7 at Workload B, which is the 40 liter a minute
8 ventilation rate.

9 And then you take it out of this oven, I
10 suppose, and put it in front of the flame throwers,
11 where you have a direct flame exposure for ten
12 seconds. And while you switch to the high work
13 rate at 100 liters a minute, then after the ten
14 seconds of the flame test, you drop it six inches.

15 And accept for a consideration that the
16 drop may cause a pressure spike, otherwise, the
17 apparatus has to remain within the stressor limits.
18 And that after the flames shut off, there shall be
19 no afterflame greater than 2.2 seconds.

20 That is the proposal. And if there are
21 any questions, we have plenty of time for them.

22 Well, I don't see anybody, so --

1 MR. NEWCOMB: Bill Newcomb, NIOSH.

2 Do you expect that the requirements for
3 the temperature inspired air to be different than
4 they are now because of the different tests?

5 MR. KYRIAZI: The requirements for this
6 or for the -- for 42 CFR 84?

7 MR. NEWCOMB: For the CBRN closed circuit
8 breathing apparatus.

9 MR. KYRIAZI: So you are asking -- you
10 mean during the heat and the flame test or the
11 temperature conditioning test?

12 MR. NEWCOMB: During the metabolic
13 simulation test.

14 MR. KYRIAZI: For new units or for
15 treatments or during one of the treatments?

16 MR. NEWCOMB: For the new units.

17 MR. KYRIAZI: So you are asking is it
18 going to be any different than the present --

19 MR. NEWCOMB: Yes.

20 MR. KYRIAZI: All right.

21 MR. NEWCOMB: Once you start going to the
22 higher work rates, do you expect it to be any

1 different than they are now?

2 MR. KYRIAZI: The -- we -- I don't think
3 I saw -- in the tests that we have done, the
4 preliminary tests, the temperatures were not
5 exceeded.

6 I think it's 45 degrees, isn't it, the
7 recommendation for this standard, anyway. It's 45
8 degrees wet bulb temperature.

9 And I think neither the apparatus
10 exceeded that temperature during this test from
11 beginning to end.

12 So I don't -- like I said, before, these
13 may not jive with present 42 CFR 84 stressor
14 limits, and those will eventually be resolved. But
15 right now, the two apparatus that are currently
16 approved, the temperature limit did not pose a
17 problem for them.

18 Now, whenever you -- we put them in a
19 chamber at 71 degrees, they are not expected to
20 maintain that same level or maintain, you know,
21 within -- remain below 45 degrees wet bulb
22 temperature.

1 MR. HEINS: Bodo Heins from Draeger
2 Safety.

3 How do you handle it, this your breathing
4 machine? As far as I can remember, the apparatus
5 has to be tested at as well minus 32, also at 71.

6 Is your breathing simulator in the same
7 chamber then?

8 MR. KYRIAZI: No. How we are envisioning
9 it, unless I'm missing something, is to simply
10 encapsulate the apparatus outside of the simulator
11 because the simulator we do not want to put under
12 those conditions.

13 MR. HEINS: Because I try to imagine what
14 happens to a sea of water in your breathing
15 simulator in minus 32 degrees C.

16 MR. KYRIAZI: Yes. I would not want to
17 do that.

18 So the apparatus would be encapsulated in
19 some sort of an environmental chamber, but it would
20 be -- the simulator would be outside the chamber.

21 MR. RICCIO: Louis Riccio, Global Secure
22 Safety.

1 This may be way early to even bring up
2 the question, but --

3 MR. KYRIAZI: Next.

4 MR. RICCIO: Thank you, Nick, I
5 appreciate that.

6 The metabolic simulator, I understand, is
7 the perfect way to measure the performance of the
8 closed circuit, but when it comes to actually being
9 exposed to CW agent in a fume hood, you are
10 proposing that it be very complicated to get a
11 metabolic simulator to operate the unit.

12 So I'm wondering why we couldn't
13 substitute it at best this time, when you are in
14 the CW agent mode, that you just put it through the
15 same kind of breathing cycle that current breathing
16 machines would allow.

17 This way it would simplify the test. And
18 you're just testing for leaks anyway. You are not
19 testing for the actual efficiency of the CO2 bed
20 and all that.

21 So I'm just suggesting that maybe the
22 test doing the CW agent could be simplified.

1 MR. KYRIAZI: That is quite possible.

2 And I think I mentioned that, and some of
3 the people who are experts in that told me
4 something which just went over my head.

5 So all of these will be considered in due
6 time.

7 MR. SZALAJDA: Yeah, that's a good
8 comment, Lou.

9 We are actively working with ECBC right
10 now to look at the integration of the breathing
11 simulator into their operation to support testing
12 of the self-contained escape respirators.

13 And as we get smarter with the operation
14 and the impacts of doing that type of testing on
15 self-contained type units, we will be able to make
16 some determinations if we can do what you
17 suggested.

18 MR. HEINS: Bodo Heins, again, from
19 Draeger.

20 Additional question, please, to your
21 breathing machine, if it's extending outside, how
22 do you handle the different dead space if the

1 machine is outside of the breathing apparatus to be
2 tested inside?

3 It must be distance between --

4 MR. KYRIAZI: What is dead space?

5 No. Just kidding.

6 MR. HEINS: The dead space in your tubes
7 or hoses in which you are connecting the apparatus
8 to your machine.

9 MR. KYRIAZI: Oh, yes. I understand.

10 They are pushing -- they just got the
11 environment chambers in this past year, and we are
12 just simply pushing up the simulator right up to
13 it.

14 And I don't think that it's going to be
15 the trachea -- the simulated trachea is going to be
16 extended from what it is now. If it is, it will be
17 very a minor extension.

18 So it should not affect the metabolism.
19 But whenever we actually do it, and it's up and
20 operating, then we will have more information about
21 that.

22 MR. SCHUELER: My name is Peter Schueler

1 from Draeger Safety.

2 I just want to add some information on
3 this heat and flame test.

4 We actually performed this during our
5 European approval, which is pretty much the same as
6 you described here.

7 And because there was the question about
8 flame and oxygen, we had the same discussion in
9 Europe. And because these steps are more and more
10 used in fire service, we made a test with the
11 defined leakage on the mask and performed this
12 flame test just to see if the flames are going
13 inwards.

14 And we even performed that test. So if
15 you are interested, we can provide you with the
16 context or the result of the tests just to support
17 this here.

18 MR. KYRIAZI: Oh, yes. We are definitely
19 interested.

20 MR. SCHUELER: So that's the first point.

21 Secondly, you showed the results of the
22 breathing resistance when you make the 100 liter

1 tests.

2 The first question is is this workload
3 checked against the human ability over a time
4 period of four hours?

5 Yes, that's the question.

6 MR. KYRIAZI: Say that again.

7 MR. SCHUELER: When you make the load
8 test, 100 liter per minute, 40 liter per minute,
9 over four hours operating this test.

10 Is it proven that a normal working man,
11 first responder, can do this physically from the
12 strength because this is different than open
13 circuit where you just have 12 minutes, as you
14 said.

15 MR. KYRIAZI: Yeah. I don't know.

16 The last time -- the last version of the
17 1984 standard was in '96, and it was operated
18 between '87 and '96, 1987 through '96.

19 And at some point, of course, that
20 question was brought up, is this humanly possible,
21 and there was some responses that it doesn't
22 matter; that's what NFPA wants and this is what we

1 have to get them -- give them.

2 And other -- I think people -- we had
3 Rich -- I can't -- I remember there was a
4 firefighter on the committee, and I think we
5 actually had him do it, and I can't remember
6 exactly how long he went.

7 But I'm sure that there are some people
8 who could do that. They may be in the 99th
9 percentile, but we -- I don't recall anything
10 specific where somebody actually had to perform
11 this test for four hours or do the test and then --
12 I don't think the apparatus would last that long,
13 but I don't recall if somebody actually ever -- had
14 ever done it.

15 MR. SCHUELER: The second remark on the
16 temperature testing. As you know, we have to
17 communicate the CO2 with the soda line, and the
18 soda line has to have a chemical direction, and
19 this is obviously slowed down by low temperature.

20 So would it be possible to say because of
21 the technology involved, that the unit can be
22 stored at room temperature and then used outside at

1 minus 32 degrees because that, at least, would
2 solve the chemical issue.

3 MR. KYRIAZI: Yeah. That has been
4 thought of before.

5 I'm not sure what the resolution is. Do
6 we get to the point where we mold the standard to
7 what the apparatus can achieve on the one hand
8 versus the laws of physics.

9 There are some -- there is going to be
10 some -- a need for improvement in technology, but
11 you can't change the laws of physics. And if there
12 is no chemical that can pass this test, well, then
13 we should probably simply stipulate on these
14 apparatuses that they cannot be stored at these
15 temperatures because there is no -- it is not
16 possible to store them at that temperature and have
17 them function.

18 That is one of the essential differences
19 between open and closed circuit. Closed circuit
20 are dependent upon CO2 absorption. And if there
21 are no chemicals that can absorb or can operate at
22 a cold temperature, then you are between a rock and

1 a hard place, and something has to go.

2 MR. SCHUELER: And the last point is the
3 breathing resistance, as you have shown. And this
4 is also known by us.

5 You will not have -- you will have a
6 higher breathing resistance on the current design,
7 than point -- no -- 89 millimeter water column.

8 This can only be changed by reducing the
9 breathing resistance by increasing the diameter of
10 the hoses, making the cartridge bigger, so we will
11 end up -- and this is also related to our laws of
12 physics -- with a much bigger unit to fulfill these
13 requirements.

14 Is this still -- can this still be
15 thought through?

16 What is the best way? Having a bigger
17 set, more heavier, just to get the breathing
18 resistance down, or is there also a compromise of
19 what is more important, the breathing resistance or
20 the weight and the size of the set?

21 MR. KYRIAZI: Well, we show that one of
22 the units already passes that test. So obviously,

1 it's physically possible without any advance in
2 technology.

3 The other one -- the other apparatus
4 manufacturer can simply adopt the same methods that
5 his competition adopted to achieve that standard,
6 so that does not seem to be a problem at this
7 point.

8 MR. SCHUELER: Sorry. The one unit
9 performed at the 40-minute breathing rate, that's
10 right. But at 100 rate it was negative and -- it
11 went negative.

12 So at 40, you are right, that can be
13 achieved, but --

14 MR. KYRIAZI: No. Here we go, here is
15 Model B. And you can see that the upper points
16 here --

17 MR. SCHUELER: Yes.

18 MR. KYRIAZI: -- here is 100 millimeters.
19 Here is 90. And you can see it's slightly
20 underneath 89.

21 And this is at the 100 liter a minute
22 work rate here, here, here, here, and here. So it

1 passed.

2 MR. BERNDTSSON: At the bottom, those are
3 negative.

4 MR. KYRIAZI: Oh, it goes negative, yeah.
5 I thought he meant the exhalation side.

6 MR. SCHUELER: No. Inhalation.

7 MR. KYRIAZI: Pardon me?

8 MR. SCHUELER: The inhalation side.

9 MR. KYRIAZI: Oh, the inhalation side,
10 oh, yeah, definitely they both failed the
11 inhalation, but only one of them failed the
12 exhalation.

13 I'm sorry. I misunderstood the comment.

14 MR. HODSON: I think Peter's questions
15 result in the simple question. Is it really
16 necessary -- excuse me, is it really necessary that
17 at the 100 liter performance, the unit has to
18 perform the same values as with 40 liters?

19 MR. KYRIAZI: Well, the 40 liter came in
20 only because it was felt that to require the
21 apparatus to remain at 100 liters a minute until it
22 was empty was not a logical thing to do for closed

1 circuit since people could not maintain a workload
2 with a ventilation rate of 100 liters a minute
3 until closed circuit apparatus were expended.

4 So the 40 liter a minute was not -- it is
5 not really a -- the 40 liter a minute is even
6 subject to changing, but the 100 is what the NFPA
7 insists that an apparatus remain positive under.

8 And before you go on, let me just say
9 that I come from the mine rescue and the mining
10 part of the closed circuit apparatus. And before
11 positive pressure came in, I mean, since 1910 or
12 even before the turn of the last century, there
13 were closed circuit apparatus, and they all went
14 negative, and that was never viewed with any alarm.

15 But I think mine rescue teams were very
16 well trained, and especially trained well in
17 getting good face seals. And I guess with
18 firefighters you would think they would have a --
19 well, a lot of times firefighters went into fires
20 without apparatus.

21 And I forget when -- or without breathing
22 apparatus.

1 So they don't -- I think they don't take
2 it maybe as seriously going into burning buildings
3 and such, as mine rescue teams because mine rescue
4 teams knew that they were dead if they didn't have
5 a good face seal.

6 But firefighters, perhaps they don't pay
7 that much attention to a face seal, getting a good
8 face seal. Therefore, the positive pressure
9 requirement was a way of accommodating that
10 cavalier attitude and to compensate for it.

11 MR. HODSON: That's right. But in -- as
12 the case of the new standard now, you are combining
13 two different standards, one is 42 CFR Part 84, and
14 now the Siemens (phonetic) standard.

15 And if you have to follow both
16 regulations, it's not possible with the breathing
17 resistance, for example. You are requiring the 42
18 exhalation resistance of 5.1, and then the Siemens
19 standard 8.7 millibar.

20 To fulfill the 8.7, you have to
21 manipulate your unit so that you can perform this
22 resistance, but then you will not meet the 5.1.

1 And there is -- not only there is the 100
2 liter, which I'm talking about. It is all -- also
3 all the other requirements. How can you expect
4 that if you go with a unit into a 71 degree C that
5 your inhalation resistance temperature would still
6 be 45 degrees C.

7 MR. KYRIAZI: Oh, well, that's not --
8 well, that would be waived, of course, in the
9 actual -- I mean, in the temperature test.

10 And, again, all of these are subject to
11 change depending on what we find when we actually
12 perform the tests on closed circuit apparatus,
13 including all of the treatments.

14 But the 5.1 millibar, 51 millimeters of
15 water pressure is -- that is on a breathing machine
16 at 40 liters a minute. So it would -- and it has
17 to be positive if you want to get the positive
18 pressure certification.

19 But the 89 millimeters of water pressure,
20 we are permitting it to go 39 millimeters higher at
21 the higher work rate. So I don't see that that's a
22 conflict right now.

1 It may be difficult. It may be
2 impossible, but I don't think it's contradictory.

3 MR. HODSON: To make it possible that you
4 have to be at the 100 liter, 8.7 millibar
5 exhalation resistance, you need a special spring
6 load which gives you the pressure inside your
7 system.

8 But just as in a calculated for the 100
9 liter, and it will be above the 5.1 from the 42 CFR
10 because you cannot change in any kind of spring
11 load.

12 MR. SZALAJDA: Yeah. I think sort of at
13 this point, instead of completely designing the
14 standard right now, we will move along.

15 I think one thing that you can appreciate
16 was the complexity of this type of system. And we
17 look at trying to identify the requirements and
18 falling back to our philosophy in how to -- how we
19 have been addressing the development of the
20 standards when we are -- we look to the 42 CFR
21 requirements first and then other standards, other
22 national or international standards to support

1 that.

2 And as we move through the project, we
3 will be able to, you know, further refine the tests
4 and the capabilities, and, you know, the
5 requirements of the concept as we learn more about
6 the equipment in relation to what we think the
7 requirements need to be.

8 MR. KYRIAZI: Also, one last comment.
9 The 89 millimeters, I'm not exactly sure where that
10 came from other than NFPA, but I don't know where
11 they got that from.

12 I understand the positive pressure, their
13 consideration of the importance that it remain
14 positive, but 89 millimeters is certainly not the
15 tolerance limit for a human subject. We can go
16 much higher than that.

17 MR. KOVAC: Well -- this is John Kovac.

18 All these matters are very complicated,
19 and debating them now, this isn't the best forum.

20 We cannot design a regulation today or a
21 standard today. These are just the first
22 fundamental and important steps on fleshing out

1 what a robust enough standard, a logically sound
2 standard for closed circuit self-contained would
3 have to be.

4 But between now and six, eight months
5 from now, much is likely to change. And right now,
6 we are trying to debate details, and there will be
7 a time and a forum for doing exactly that.

8 MR. PALYA: Let me just add to that.

9 As always, we always welcome data input
10 from you that we will take into consideration as
11 the concept evolves.

12 So if you have something for us and if
13 you think it would help, we would certainly
14 appreciate it.

15 MR. KOVAC: Certainly bring forward
16 whatever information, whatever inquiries, whatever
17 studies you have made because they are going to
18 help resolve the ambiguities that are inherent in
19 doing this piece of work.

20 So we appreciate the efforts that
21 Draeger -- the good work that Draeger has always
22 done in this regard.

1 MR. BERNDTSSON: Goran Berndtsson from
2 SEA.

3 This piece of equipment is going to be an
4 alternative to an open circuit breathing apparatus
5 for first responders. Is that correct?

6 Is that the idea with it?

7 MR. KYRIAZI: Yeah, that's the idea.

8 MR. BERNDTSSON: As such, I would expect
9 it to have to meet the same type of requirement
10 because otherwise it won't be an alternative.

11 I mean, the requirement is built of a
12 need of the first responder that is what we have
13 already identified. And if we are going to have
14 another piece of equipment giving the same type of
15 protection in the same type of environment, it has
16 to perform to the same type of rules.

17 So otherwise we start writing standards
18 around products, and that was not what we were
19 supposed to be doing.

20 MR. KYRIAZI: All right. Thank you.

21 MR. TEELE: If I may take the
22 opportunity, I'm Bruce Teele from NFPA.

1 Just a clarification in case you missed
2 it in Nick's presentation. NFPA 1984 was a draft
3 document within the respiratory protection
4 committee for closed circuit SCBA. It was within
5 that committee, and the task of which Nick sat on
6 and worked on for probably eight or 11 years,
7 depending on who is counting.

8 And it came to the point in the system
9 where the emergency responders essentially fed back
10 to the committee, We are not interested in closed
11 circuit SCBA; there is too much baggage that goes
12 along with it.

13 And the committee, through our
14 correlating committee, stopped efforts on
15 developing a standard that would probably be
16 very -- have very little use and application in the
17 field.

18 If there is a need for it here in these
19 type of emergencies, which I won't debate here for
20 a moment -- there certainly may be -- then there
21 are many considerations that have to come before
22 NIOSH and before the user community and with the

1 manufacturers to assure that we get a respiratory
2 protection package out there that is equal to its
3 counterpart in open circuit SCBA CBRN certified.

4 Thank you.

5 MR. KOVAC: I agree.

6 None of this is going to be easy. We
7 have simply taken the first steps.

8 We are here in a public forum. We are
9 starting work on developing an appropriate standard
10 for CBRN closed circuit self-contained breathing
11 apparatus.

12 We encourage meetings with stakeholders,
13 with manufacturers to help us reconcile our views
14 with theirs to help us clarify what we think ought
15 to be the case. We certainly welcome the
16 participation and collaboration with the NFPA
17 subcommittee.

18 Our website is listed below, where copies
19 of the concept papers could be found.

20 What else has to be done? First and
21 foremost, we are going to revise and post our
22 concept for what a standard ought to be.

1 We will obviously continue stakeholder
2 discussions. We are going to undergo development
3 of benchmark testing, and primarily we are looking
4 to translate open circuit standards to a closed
5 circuit equivalent.

6 So we are going to be conducting research
7 into acceptable breathing resistance. We are going
8 to have to look closely at integrating our
9 simulator into test protocols.

10 And lastly, we are going to look at
11 validating the way that we conduct our research.

12 Benchmark testing will be done not only
13 at the NPPTL in Edgewood, but we will also turn to
14 external laboratories for conducting those tests
15 which are outside our span.

16 Our next public meeting is scheduled
17 sometime in September, next year. And a target
18 date for the standard is December of 2005.

19 There is a number of administrative
20 details, where to submit comments for the docket.
21 The docket number is 039.

22 And basically, having said, I think we

1 have said quite a bit this afternoon. So now it is
2 the time for comments, questions, criticisms.

3 The mike is open.

4 MR. FLYNN: Bill Flynn, again, from
5 Biomarine.

6 Of course, we are not going to set a
7 standard here or argue breathing resistance or
8 things of that nature, but I just have a couple of
9 general questions that would affect how we would
10 issue comments in the near future.

11 First, early on, someone said there was a
12 limit on time for agent testing at Edgewood, that
13 it would be probably the rated time plus one hour.

14 Can you explain or elaborate on the
15 limits of the time because our experience is that
16 you can go well beyond that.

17 I don't remember who made the comment.

18 MR. PALYA: Well, we were doing -- as you
19 can see, the concept paper has six hours on it.

20 MR. FLYNN: Uh-huh.

21 MR. PALYA: And, I mean, it would be
22 unrealistic to go ahead there, and they -- closed

1 circuit is only rated for four hours to expect the
2 apparatus to operate for the entire six hours.

3 So that's why we were going to go with
4 rated unit plus one hour.

5 MR. FLYNN: So the limitation was not the
6 lab. It was the apparatus?

7 MR. PALYA: Right. It wasn't the
8 laboratory. It was the apparatus. Because we
9 wanted to operate fully for the entire period of
10 time at a lower breathing rate, so it would for the
11 whole time.

12 So what we are doing is we are testing
13 agent permeation and penetration resistance in the
14 unit, but yet we still want all the components
15 functioning in there in case it has some negative
16 or adverse bearing on it.

17 MR. FLYNN: Pardon my ignorance for not
18 understanding some of the standards that are
19 already existing, but for a one-hour rated open
20 circuit system, what is the length of the test in
21 the agent test?

22 MR. PALYA: It would be --

1 MR. FLYNN: At six hours?

2 MR. PALYA: Yeah. The six hours for the
3 open circuit.

4 MR. FLYNN: Right. So that's on the
5 one-hour operating apparatus. But for that test,
6 you are going with a six-hour duration, an agent
7 challenge for six hours.

8 MR. PALYA: Right. Okay.

9 What happened, we were looking that the
10 responder be operating or working for that time,
11 but he would be changing his air bottles out.

12 MR. FLYNN: Okay. So --

13 MR. PALYA: Yeah. That's where that --

14 MR. FLYNN: Okay.

15 MR. PALYA: All right.

16 MR. FLYNN: The standard, is this
17 intended to be for an escape and entry or --

18 MR. KOVAC: Entry.

19 MR. FLYNN: Entry only. So no escape
20 apparatus in this standard?

21 MR. KOVAC: That's correct.

22 MR. FLYNN: The last thing is this is for

1 first responders, going back to the discussion
2 about the NFPA 1984.

3 We are not trying to duplicate an open
4 circuit system to do the same job that an open
5 circuit system is doing.

6 So I just want to comment that,
7 therefore, why would we be saying we need to
8 duplicate the requirements in 1981?

9 I don't fully understand the reasoning
10 behind that. It is being used by the same people,
11 but it's not doing the same job. So therefore, we
12 have to keep that in consideration when making
13 comment on all of these standards.

14 Thank you.

15 MR. KOVAC: Thank you.

16 MR. NEWCOMB: Bill Newcomb, NIOSH.

17 Jon, I hate to jump on the resistance
18 issue again, but having spent many years of that
19 1984 committee myself, one of the requirements that
20 NFPA had in their 1981 standard and the 1984
21 standard was what the apparatus be NIOSH approved
22 before it could be NFPA approved.

1 And because of that, there was a conflict
2 in the resistance requirements. It could not be
3 NIOSH approved and still have -- and meet the
4 requirements of the NFPA. This being a NIOSH
5 standard, that should not be an issue.

6 Thank you.

7 MR. KOVAC: Thank you, Bill.

8 MR. HODSON: Dave Hodson from Draeger
9 Safety.

10 Again, two points. First of all, on the
11 LLPLs, you are saying you are going to do complete
12 units at -- for inward leakage testing.

13 It's going to be an awful lot of complete
14 units.

15 MR. PALYA: Yes. And at this time we are
16 working out with ECDC on exactly how we are going
17 to do that.

18 MR. HODSON: It's a fair number of
19 facemasks in closed circuit.

20 MR. PALYA: I understand.

21 MR. HODSON: And finally on this, this is
22 more of an appeal about all sorts of standards.

1 It comes up on the PAPR standard. It
2 comes up on the open circuit, and it also comes up
3 here on closed circuit.

4 At the moment when we look at trying to
5 combine NFPA requirements with NIOSH requirements,
6 one of the biggest conflicts that we have as a
7 manufacturer is to maintain the quality of our
8 product and to try and do that with the various
9 types of breathing machines that are used.

10 We have now got the NIOSH breathing
11 machine, which is a piston one. We have just
12 managed to get rid of the piston one out of the
13 NFPA, which was an old Chevrolet engine.

14 We have now gone to the compliant lung.
15 We now have a metabolic simulator now, which,
16 again, I would guess is a compliant lung system --
17 no, it's a piston system. Yeah.

18 One of the real difficulties for us as
19 manufacturers is all these variations in lungs and
20 in the ways in which we measure within those lungs.

21 And I would like, perhaps, the committee,
22 everybody here at the moment, to consider some way

1 of perhaps standardizing on a system of testing the
2 breathing.

3 We spent a long time -- and Bruce will
4 agree with this -- on the last NFPA committee
5 changing from our Chevrolet breathing machine to a
6 compliant lung, and it has worked.

7 I think the other thing as well is when
8 you go out into the first responder community, they
9 also have to be able to test the equipment. And at
10 the moment, they have the posi check. Again, a
11 compliant lung.

12 So when we as manufacturers want to
13 maintain the quality of our product, which one do
14 we test it on, or do we test it on them all?

15 It's a real dilemma, and it does need to
16 be addressed.

17 Thanks.

18 MR. SZALAJDA: Very good. Thank you.

19 MR. KOVAC: The only comment I would make
20 on that is that a number of manufacturers, a number
21 of test houses have breathing machines, breathing
22 simulators.

1 We are trying to initiate a round robin
2 testing regime looking at the simulator that NIOSH
3 currently has and other technologies.

4 Obviously, who cares about the details of
5 how that simulation is achieved. What you are
6 looking at will -- different machines generate the
7 same results or at least results that can be
8 reconciled one with the other.

9 We will undertake an activity of that
10 nature. That is important, yes.

11 MR. BERNDTSSON: It's not the same as
12 that because if you come here -- Goran Berndtsson
13 from SEA.

14 If you would compare the piston breathing
15 machines to, what do you call it, compliance
16 breathing machines, you have -- depending on how
17 your breathing was designed, how sensitive and how
18 well it interfere with the -- or interfere. How
19 well it interacts with a human being, if you get a
20 rough breathing machine, that doesn't work, and
21 that is a problem.

22 I think that every manufacturer who make

1 high quality products in the self-contained
2 breathing apparatus field, et cetera, had this
3 problem around the world.

4 Even if you are stipulating everything
5 else, then you can correlate all the other data.
6 But if the technology is different, it will affect
7 how it works.

8 MR. KOVAC: That's why we are going to
9 compare the technologies, learn how to reconcile
10 the outcomes, the experimental results, learn how
11 to handle variability, learn how to handle
12 uncertainty of the results so that we can compare
13 them in a fair technical sense.

14 MR. FLYNN: Bill Flynn from Biomarine,
15 again.

16 One question I forgot to ask. It's the
17 critical one. When will we have cost estimates on
18 these tests?

19 The sole estimate -- or some cost -- we
20 saw costs yesterday, but any idea on when you will
21 have a -- obviously, the cost from Edgewood.

22 MR. KOVAC: Not right now. At some

1 future time.

2 There will be a cost estimate, but,
3 again, that remains to be seen in terms of fleshing
4 this out.

5 All we have now is a skeleton and some
6 components on that skeleton. We need to flesh it
7 out.

8 Once we reach a more refined version of
9 this, reconciling your comments against our
10 thinking, then we can begin talking about costs
11 incurred for testing.

12 MR. FLYNN: It is crucial. It's crucial
13 for any manufacturer when you are considering the
14 total cost versus the number of systems that are
15 going to be sold.

16 MR. KOVAC: I understand.

17 MR. FLYNN: And it's not as if Edgewood
18 has not been through this testing.

19 They have been through this type of
20 testing. They have been through it on closed
21 circuit systems. They have been through it,
22 obviously, with a number of open circuit and a few

1 other items.

2 So I'm just questioning at this point if
3 there is any way they can generate estimates. We
4 would consider them estimates when they are given
5 to us, but they are needed for consideration.

6 MR. KOVAC: I understand. Thank you.

7 Jon, some concluding remarks?

8 Everybody, happy holidays.

9 MR. SZALAJDA: I promise my comments will
10 be brief, but I thought it was appropriate to at
11 least wrap up before we left as far as what we
12 think we have committed to you to accomplish over
13 the upcoming months as far as our programs.

14 Again, as we had said back last May, our
15 critical path is related to doing the evaluations
16 with the high flow testing, with the acquisition of
17 the machines, as well as looking at the test
18 protocols and developing how we are going to
19 address high flow as part of the PAPR standards.

20 Over the next several months we will be
21 completing other benchmark evaluations as part of
22 our process, as well as the development and

1 verification of test procedures all supporting a
2 standards release later in 2005.

3 Now, please also keep in mind in formally
4 contacting us, the PAPR docket is No. 10. That
5 will insure that the information is properly filed
6 and we will get it in a timely manner to address as
7 part of our document development.

8 The closed circuit, as John has just
9 said, there is no -- this is the initial fleshing
10 out of the concept, you know, looking at building
11 upon the tiers of requirements.

12 You know, we looked at documents that
13 were currently available, whether they were NIOSH
14 requirements or NFPA requirements or draft NFPA
15 requirements, I should say.

16 And we realize that, you know, direct
17 application isn't always possible or desirable.
18 And as we continue to learn more about the
19 technology and the test procedures that are
20 necessary to support that, we will make course
21 corrections in the concept paper as are necessary.

22 With the closed circuit, the target for

1 our next public meeting is September. We
2 anticipate there will be a lot of benchmark data
3 developed and evaluated between now and then
4 leading to a standard later in the year.

5 We also understand the concern of the
6 stakeholders regarding the potential for another
7 discussion to address the PAPRs. And we will
8 definitely take that under advisement as we move
9 forward and refine the concept.

10 And for the closed circuit, Docket No.
11 39.

12 And with that, I want to thank you on
13 behalf of NPPTL and our standards development
14 partners.

15 I want to thank you for your
16 participation in the public meeting. These
17 discussions are very beneficial for us, and we hope
18 they are for you as well, and we look forward to
19 working with you guys in the new year and best
20 wishes for the holidays. Thank you.

21 (Whereupon, the proceedings in the
22 above-captioned matter were concluded at 3:54 p.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF REPORTER

I, Joseph A. Inabnet, do hereby certify that the transcript of the foregoing proceedings was taken by me in Stenotype and thereafter reduced to typewriting under my supervision; that said transcript is a true record of the proceedings; that I am neither counsel for, related to, nor employed by any of the parties to the action in which these proceedings were taken; and further, that I am not a relative or employee of any attorney or counsel employed by the parties thereto, nor financially or otherwise interested in the outcome of the action.



Joseph A. Inabnet

Court Reporter