

FOOD AND DRUG ADMINISTRATION

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

8:30 a.m.

Wednesday, March 12, 2003

Conference Room  
5630 Fishers Lane  
Food and Drug Administration  
Rockville, Maryland 20857

## ATTENDEES

## COMMITTEE MEMBERS:

ARTHUR H. KIBBE, PH.D., Acting Chair  
Chair and Professor  
Department of Pharmaceutical Sciences  
Nesbitt School of Pharmacy  
Wilkes University  
176 Franklin Avenue  
Wilkes-Barre, Pennsylvania 18766

KATHLEEN REEDY, R.D.H., M.S., Executive Secretary  
Advisors and Consultants Staff  
Center for Drug Evaluation and Research  
Food and Drug Administration (HFD-21)  
5600 Fishers Lane  
Rockville, Maryland 20857

JOSEPH BLOOM, PH.D.  
University of Puerto Rico  
School of Pharmacy  
4th Floor, Office 416  
P.O. Box 365067  
San Juan, Puerto Rico 00935-5067

PATRICK P. DeLUCA, PH.D.  
Professor, Faculty of Pharmaceutical Science  
University of Kentucky, College of Pharmacy  
327-H Pharmacy Building, Rose Street  
Lexington, Kentucky 40536-0082

MARVIN C. MEYER, PH.D.  
1700 SW 6th Avenue  
Boca Raton, Florida 33486

LEMUEL MOYE, M.D., M.D., PH.D.  
Associate Professor  
University of Texas Health Science Center  
at Houston  
School of Public Health  
1200 Herman Pressler Street, RAS E815  
Houston, Texas 77030

## ATTENDEES (Continued)

## COMMITTEE MEMBERS: (Continued)

NAIR RODRIGUEZ-HORNEDO, PH.D.  
Associate Professor of Pharmaceutical Sciences  
College of Pharmacy  
The University of Michigan  
Ann Arbor, Michigan 48109

## CONSULTANTS:

(ROBERT) GARY HOLLENBECK, PH.D.  
Associate Professor of Pharmaceutical Science  
University of Maryland School of Pharmacy  
20 North Pine Street  
Baltimore, Maryland 21201

MERYL H. KAROL, PH.D.  
University of Pittsburgh  
Department of Environmental and Occupational Health  
130 DeSoto Street  
Pittsburgh, Pennsylvania 15261

MICHAEL S. KORCZYNSKI, PH.D.  
Senior Vice President/General Manager  
Mikkor Enterprises, Inc.  
P.O. Box 573  
Lake Bluff, Illinois 60044

THOMAS LAYLOFF, PH.D.  
Principal Program Associate  
Center for Pharmaceutical Management  
Management Sciences for Health  
5 Thomas Court  
Granite City, Illinois 62040-5273

WOLFGANG SADEE, DR.RER.NAT.  
Chair, Department of Pharmacology  
College of Medicine and Public Health  
Ohio State University  
5072 Graves Hall, 333 West 10th Avenue  
Columbus, Ohio 43210

## ATTENDEES (Continued)

## CONSULTANTS: (Continued)

CYNTHIA R.D. SELASSIE, PH.D.  
Professor of Chemistry  
Department of Chemistry  
Pomona College  
Seaver North, Room 219  
645 College Avenue  
Claremont, California 91711-6338

MARC SWADENER, ED.D.  
2235 Dartmouth Avenue  
Boulder, Colorado 80305-5207

JURGEN VENITZ, M.D., PH.D.  
Department of Pharmaceutics  
School of Pharmacy  
Medical College of Virginia Campus  
Virginia Commonwealth University  
Box 980533, MCV Station  
Room 340, R.B. Smith Building  
410 North 12th Street  
Richmond, Virginia 23298-0533

## INDUSTRY REPRESENTATIVES:

LEON SHARGEL, PH.D., R.PH.  
Vice President, Biopharmaceutics  
Eon Labs Manufacturing, Inc.  
227-15 North Conduit Avenue  
Laurelton, New York 11413

EFRAIM SHEK, PH.D.  
Divisional Vice President  
Pharmaceutical and Analytical Research and Development  
Abbott Laboratories  
Dept. 04R-1, Building NCA4-4  
1401 Sheridan Road  
North Chicago, Illinois

## ATTENDEES (Continued)

## GUEST SPEAKER:

HERB CARLIN, D.S.C.  
6 Abedin Way  
Califon, New Jersey 07830-3500

## FOOD AND DRUG ADMINISTRATION STAFF:

LUCINDA BUHSE, PH.D.  
CHI-WAN CHEN, PH.D.  
YUAN-YUAN CHIU, PH.D.  
PETER COONEY, PH.D.  
DALE CONNER, PHARM.D.  
DENA HIXON, M.D.  
AJAZ S. HUSSAIN, PH.D.  
STEPHEN MOORE, PH.D.  
ROBERT OSTERBERG, PH.D.  
NANCY SAGER  
JONATHAN WILKIN, M.D.  
HELEN N. WINKLE

## ALSO PRESENT:

THOMAS J. FRANZ, M.D.  
Dermtech International

## C O N T E N T S

AGENDA ITEM	PAGE
MEETING STATEMENT by Ms. Kathleen Reedy	7
INTRODUCTION TO THE MEETING by Ms. Helen Winkle	12
SUBCOMMITTEE UPDATES	
Process Analytical Technology - by Dr. Tom Layloff	22
Manufacturing - by Dr. Ajaz Hussain	31
Clinical Pharmacology - by Dr. Jurgen Venitz	33
FUTURE SUBCOMMITTEES	
Pharmacology-Toxicology - by Dr. Robert Osterberg	38
Microbiology - by Dr. Peter Cooney	44
Biopharmaceutics - by Dr. Ajaz Hussain	47
TOPICAL DERMATOLOGICAL DRUG PRODUCT NOMENCLATURE	
by Dr. Yuan-Yuan Chiu	61
by Dr. Jonathan Wilkin	64
by Dr. Lucinda Buhse	79
by Dr. Chi-wan Chen	91
by Dr. Herb Carlin	103
OPEN PUBLIC HEARING PRESENTATION by Dr. Thomas Franz	149
TOPICAL DERMATOLOGICAL BIOEQUIVALENCE - METHODS DEVELOPMENT	
by Dr. Ajaz Hussain	164
by Dr. Dale Conner	166
by Dr. Dena Hixon	187
by Dr. Jonathan Wilkin	205
COMPARABILITY PROTOCOLS	
by Ms. Nancy Sager	221
by Dr. Stephen Moore	227

## P R O C E E D I N G S

(8:30 a.m.)

1  
2  
3 DR. KIBBE: I see by the clock on the wall that  
4 we are at 8:30. We have two days of wonderful  
5 presentations, but they're all packed together, which means  
6 that you must pay attention continuously for the entire  
7 time frame. No napping.

8 My name is Art Kibbe and I'm acting Chair. The  
9 agency always let's people act, but never gives them a  
10 permanent position. Helen has been acting Director for  
11 three years now. At my school that would allow her to go  
12 up for tenure. I don't know what that means.

13 The first thing we have to do is get Kathleen  
14 Reedy to read from a list of important information about  
15 conflict of interest. After that, I will ask everyone at  
16 the table to go around and introduce themselves, and please  
17 use the mike so we can be officially recorded for  
18 posterity.

19 MS. REEDY: Acknowledgement related to general  
20 matters waivers, Advisory Committee for Pharmaceutical  
21 Science, March 12, 2003, open session.

22 The following announcement addresses the issue  
23 of conflict of interest with respect to this meeting and is  
24 made a part of the record to preclude even the appearance  
25 of such at this meeting.

1           The topics of this meeting are issues of broad  
2 applicability. Unlike issues before a committee in which a  
3 particular product is discussed, issues of broader  
4 applicability involve many industrial sponsors and academic  
5 institutions.

6           All special government employees have been  
7 screened for their financial interests as they may apply to  
8 the general topics at hand. Because they have reported  
9 interests in pharmaceutical companies, the Food and Drug  
10 Administration has granted general matters waivers to the  
11 following SGEs which permits them to participate in these  
12 discussions: Dr. Joseph Bloom, Dr. Charles Cooney, Dr.  
13 Patrick DeLuca, Dr. Gary Hollenbeck, Dr. Meryl Karol, Dr.  
14 Arthur Kibbe, Dr. Michael Korczynski, Dr. Thomas Layloff,  
15 Dr. Marvin Meyer, Dr. Samuel Moye, Dr. Nair Rodriguez-  
16 Hornedo, Dr. Wolfgang Sadee, Dr. Jurgen Venitz.

17           A copy of the waiver statements may be obtained  
18 by submitting a written request to the agency's Freedom of  
19 Information Office, room 12A-30 of the Parklawn Building.

20           In addition, Drs. Cynthia Selassie and Marc  
21 Swadener do not require general matters waivers because  
22 they do not have any personal or imputed financial  
23 interests in any pharmaceutical firms.

24           Because general topics impact so many  
25 institutions, it is not prudent to recite all potential



1 conflicts of interest as they apply to each member and  
2 consultant.

3 FDA acknowledges that there may be potential  
4 conflicts of interest, but because of the general nature of  
5 the discussion before the committee, these potential  
6 conflicts are mitigated.

7 With respect to FDA's invited guests, Dr. Herb  
8 Carlin reports that he does not have a financial interest  
9 in or professional relationship with any pharmaceutical  
10 company.

11 We would also like to disclose that Dr. Leon  
12 Shargel and Dr. Efraim Shek are participating in this  
13 meeting as acting industry representatives, acting on  
14 behalf of regulated industry.

15 Dr. Shargel reports he is employed full-time by  
16 Eon Laboratories, Incorporated as Vice President,  
17 Biopharmaceutics.

18 Dr. Shek reports holding stock in Abbott Labs  
19 and Cephalon, Incorporated, and that he is employed full-  
20 time as Divisional Vice President for Abbott Labs.

21 In the event that the discussions involve any  
22 other products or firms not already on the agenda for which  
23 FDA participants have a financial interest, the  
24 participants' involvement and their exclusion will be noted  
25 for the record.

1           With respect to all other participants, we ask  
2 in the interest of fairness that they address any current  
3 or previous financial involvement with any firm whose  
4 product they may wish to comment upon.

5           DR. KIBBE: Thank you.

6           And now, if we would start perhaps with Ajaz  
7 and introduce everybody. Thank you.

8           DR. HUSSAIN: Good morning. Ajaz Hussain,  
9 Deputy Director, Office of Pharmaceutical Science.

10          MS. WINKLE: Hi. Helen Winkle, acting  
11 Director, as Art has already pointed out, of the Office of  
12 Pharmaceutical Science.

13          DR. VENITZ: I'm Jurgen Venitz, Virginia  
14 Commonwealth University in Richmond, Virginia, and I'm here  
15 to represent the Clinical Pharmacology Subcommittee.

16          DR. KAROL: I'm Meryl Karol from the University  
17 of Pittsburgh, and I'm going to be the Chair of the Pharm-  
18 Tox Subcommittee.

19          DR. SADEE: I'm Wolfgang Sadee. I'm at the  
20 Ohio State University in pharmacology.

21          DR. MOYE: Good morning. Lem Moye, University  
22 of Texas School of Public Health. I'm a physician and  
23 biostatistician.

24          DR. RODRIGUEZ-HORNEDO: Nair Rodriguez-Hornedo  
25 from the University of Michigan, Associate Professor,

1 Pharmaceutical Sciences Department.

2 DR. SWADENER: Marc Swadener, retired from the  
3 University of Colorado, consumer representative on the  
4 committee.

5 DR. MEYER: I'm Marvin Meyer, Emeritus  
6 Professor, University of Tennessee.

7 DR. KORCZYNSKI: Michael Korczynski,  
8 consultant.

9 DR. BLOOM: Joseph Bloom, University of Puerto  
10 Rico.

11 DR. SELASSIE: Cynthia Selassie, Chemistry  
12 Department, Pomona College.

13 DR. HOLLENBECK: Hi. I'm Gary Hollenbeck,  
14 Associate Dean and Professor of Pharmaceutical Sciences at  
15 the University of Maryland.

16 DR. DeLUCA: Pat DeLuca, University of  
17 Kentucky, College of Pharmacy.

18 DR. SHARGEL: I'm Leon Shargel, Vice President,  
19 Biopharmaceutics, Eon Labs, a generic manufacturer.

20 DR. SHEK: Efraim Shek, Abbott Laboratories,  
21 industrial representative.

22 DR. LAYLOFF: Tom Layloff, Management Sciences  
23 for Health, a non-for-profit health sector organization  
24 working in developing countries setting up health systems.

25 DR. KIBBE: Thank you.

1                   Now, Helen, do you want to introduce us to the  
2 meeting?

3                   MS. WINKLE: Good morning, everyone. It's  
4 really my pleasure to welcome you all here for this  
5 advisory committee meeting on behalf of the whole Office of  
6 Pharmaceutical Science.

7                   I think all of you understand that this  
8 advisory committee really provides an important role to the  
9 Office of Pharmaceutical Science in really helping us vet  
10 the significant science that underpins our complex  
11 regulatory processes. This committee continues to provide  
12 scientific evaluation and recommendation on broad  
13 scientific issues that really help us make our day-to-day  
14 regulatory decisions in OPS, as well as in the center. And  
15 the committee's scientific input has helped us strengthen  
16 and confirm and validate many of our own internal  
17 scientific decisions and our scientific knowledge and  
18 expertise. So the committee is really valuable, and I  
19 think this is a thing I want to especially express today  
20 with so many new committee members here, the value that you  
21 all bring to us in the Office of Pharmaceutical Science.

22                   I'm going to start off this morning just  
23 talking a little bit about what I see as really a  
24 significant juncture in the advisory committee. This  
25 committee has been in existence for a number of years, but

1 I think we're starting to change some in structure of the  
2 committee and the focus of the committee. I wanted to talk  
3 first about this before I talked about what we're going to  
4 do for the next two days.

5           The first notable change is Dr. Kibbe. Dr.  
6 Kibbe said he is acting now but he will be serving as the  
7 full Chair of this committee. Art has already been very  
8 invaluable to us as a committee member. His academic  
9 experience and his knowledge on the complicated regulatory  
10 world of FDA has helped us in clarifying and understanding  
11 a number of significant issues in the past. I think many  
12 of you know that Art goes back a long way with FDA, and  
13 that's actually how I met him, in his past life with FDA.  
14 He also brings a keen sense of what FDA needs to do in  
15 enhancing its science and technical processes for the 21st  
16 century. So we're definitely fortunate to have Art not  
17 only as a member of the committee but as its Chair.

18           Secondly, as I said, we have a number of new  
19 committee members. I really appreciate your being willing  
20 to serve for us here at OPS and being part of this  
21 committee. With new and important questions coming before  
22 the agency at a frenetic rate, I think it's really  
23 important that the committee members have the scientific  
24 knowledge and expertise to address the various subject  
25 matters that will come before us and provide us with the

1 wisdom that will help us in serving the public better. We  
2 have been really trying to balance off this committee so we  
3 can address issues in a variety of ways. We feel honored  
4 to have each of you as a member of the committee, and I  
5 personally look forward to working with each one of you.

6 Thirdly, I think there have been a lot of  
7 changes in the advisory committee structure. I have talked  
8 numerous times about the subcommittee structure, and I  
9 think that the structure is going to be extremely important  
10 to us in helping better understand some of the questions  
11 and some of the science that really underpins coming to the  
12 right answer to these questions.

13 It's difficult when we have a committee that  
14 has so many various disciplines on it to really have the  
15 knowledge and the expertise to hone in on a specific  
16 answer. So with the subcommittees being able to do some of  
17 the background for the questions, being able to discuss the  
18 questions, and come back with recommendations to the  
19 committee, I think this will help the committee better meet  
20 its obligations.

21 Lastly, I think we are in a time of significant  
22 changes in the agency. All we have to do is step back and  
23 look at the cGMP initiative for the 21st century and the  
24 consolidation of some of CBER with CDER, and we know that  
25 there are many changes on the horizon for FDA. And these

1 new and exciting initiatives will affect how we in FDA do  
2 our business now and in the future. I think that you all  
3 are an important part of helping us better understand what  
4 our obligations will be as we move to the future and help  
5 us address many of the scientific questions that will come  
6 up. So I think we're really looking at a whole new era,  
7 and you all can be a very active part of that.

8 I hope you all share my enthusiasm in working  
9 on these initiatives, addressing the scientific questions  
10 which will arise because of the changes in our processes  
11 and our day-to-day operations, and I hope that you will  
12 share my enthusiasm in taking on the challenges of this  
13 changing regulatory environment. It's really an exciting  
14 time.

15 For the next few days, we're probably going to  
16 overload you, as Art has already said, with massive amounts  
17 of information. We've got a lot packed into two days, and  
18 I sort of want to apologize. But I think the reason for  
19 this is we have a lot of what we will call awareness  
20 topics. I think with the membership of the committee  
21 changing, we need to lay the groundwork of many of the  
22 topics that we're going to be bringing up in the future.  
23 So it will be fast. Art says you need to listen carefully,  
24 but I think there will be some real good discussions.

25 This morning we're going to start off with a

1 discussion of the subcommittee structure. We've talked  
2 about this in the past. I think that structure is really  
3 pretty much finalized, and we're moving and setting up a  
4 number of subcommittee meetings. We've talked about it, as  
5 I have said, in the past. Today we're going to give you a  
6 little bit of update on the existing subcommittees and then  
7 provide you with where we're going with the future  
8 subcommittees. Most of these will be meeting in the next  
9 couple of months. So I want you all to be aware of where  
10 we are and how we're developing these particular  
11 subcommittees.

12 I do want to publicly, though, thank all of the  
13 people in FDA who have worked hard on getting these  
14 subcommittees up. As you see, when we start talking about  
15 it -- we have, I think, five, six subcommittees -- there's  
16 a lot of work here, a lot looking for membership, getting  
17 the people in. And we've really had to work hard on it.  
18 So I really want to thank the people on my staff and others  
19 within the agency for working on this.

20 After the subcommittee discussion today, we'll  
21 discuss topical dermatological drug product nomenclature.  
22 There are a number of questions which exist regarding the  
23 classification of dosage forms, including definitions of  
24 ointments, paste, lotions, creams, and gels. Dr. Yuan-Yuan  
25 Chiu will lead us in a discussion of the issues and the



1 internal working group's recommendations on how to address  
2 various questions.

3 Dr. Herb Carlin is here representing USP's  
4 Nomenclature and Labeling Expert Committee, and he will  
5 also present some of his observations and also present us  
6 with the direction USP has been going in this area.

7 Dr. Jonathan Wilkin, who is the Director of the  
8 Division of Dermatological and Dental Drug Products in the  
9 Office of New Drugs in CDER, will also join OPS's staff in  
10 this discussion.

11 After lunch, we want to continue our previous  
12 theme of just talking about topical products, and we're  
13 going to be discussing topical dermatological  
14 bioequivalence methods development. We've presented  
15 several times to the advisory committee on this topic,  
16 specifically talking about DPK, dermatopharmacokinetics,  
17 and we really want to continue that discussion. At the  
18 last meeting we sort of agreed that we needed to back off  
19 of our position on DPK to have it as the only method for  
20 doing BE for topical products. We took the committee's  
21 recommendation to withdraw the draft guidance on DPK and  
22 determined that we would take a fresh look at the whole  
23 subject of topical dermatological products and the  
24 bioequivalence for those.

25 So today Dale Conner, who is the Director of

1 the Division of Bioequivalence in our Office of Generic  
2 Drugs, will begin to reinvigorate the whole topic of BE  
3 methods for derm products and will help enhance the  
4 committee's understanding of the issues. Dr. Dena Hixon,  
5 who is our Associate Director for Medical Affairs in OGD,  
6 and Dr. Jonathan Wilkin from OND will talk about the  
7 clinical perspective on therapeutic equivalence, and then  
8 Dr. Hussain will discuss how we plan to address the topic  
9 in the future and will actually solicit the advice of the  
10 committee on developing a comprehensive research plan for  
11 doing future research in the area of topical  
12 bioequivalence.

13           The next agenda item and the last for the day  
14 is on also an awareness topic. Nancy Sager and Steve Moore  
15 will discuss the comparability protocol process and its  
16 specific principles. I think it will be a very interesting  
17 subject for you to hear where we're going with  
18 comparability protocols.

19           Tomorrow we're going to start off with an  
20 update of the cGMP initiative for the 21st century. I  
21 think all of you are familiar or have at least seen some  
22 information on this initiative. We're now starting to call  
23 it the drug product quality initiative for the 21st  
24 century. I think it's somewhat misleading to call it GMP  
25 because it's really focused on the entire quality process

1 from review through the GMP process.

2           You're probably going to think that we're a  
3 little schizophrenic or out of order talking about it  
4 tomorrow, but we didn't want to squeeze it on the agenda  
5 today because we had so much going on.

6           We think, though, it's really important that  
7 you have a better understanding as the advisory committee  
8 of the initiative because I think there will be a lot of  
9 things over the next few years that will relate to some of  
10 the scientific decisions that will come out of the changes  
11 in this whole, entire quality process that we'll want to  
12 bring to the committee. So Ajaz and I will talk a little  
13 bit about that tomorrow with you.

14           After plowing through this initiative, we're  
15 going to shift gears. We'll discuss the recommendation  
16 from the International Pharmaceutical Aerosol Consortium on  
17 Regulation and Science, IPAC-RS, on dose content  
18 uniformity. IPAC submitted this proposal to us a while  
19 back, and conceptually the agency agrees with the  
20 recommendations as presented in the proposal, but we feel  
21 that there are still a number of questions that need to be  
22 answered before we can incorporate that recommendation into  
23 our guidance. So tomorrow we'd like to make the committee  
24 aware of those questions, have some future discussions at  
25 one of the next advisory committees on the recommendation.

1 So basically what we'd like to do is familiarize you with  
2 the recommendations, familiarize you with some of our  
3 questions, and then go from there at a future meeting.

4 Bo Olsson from AstraZeneca will present the  
5 recommendation on behalf of IPAC-RS, and Dr. Walter Hauck,  
6 who has been working with FDA for a number of years and  
7 providing statistical support to us as a special government  
8 employee, will provide an assessment of the proposal. So  
9 it should be a very interesting topic. Wally Adams, who is  
10 on the OPS staff, will lead that presentation.

11 After the open session tomorrow and lunch,  
12 we'll present another awareness topic on bioavailability  
13 and bioequivalence of endogenous drugs. Approving such  
14 drugs continues to be a challenge here in the agency  
15 because of the different characteristics of endogenous  
16 products. Although we feel that we have made some strong  
17 scientific decisions in the past with respect to these  
18 products, we think we can continue to enhance the science  
19 and provide more complete understanding and information to  
20 help better guide the sponsors with regard to what are the  
21 correct bioavailability and bioequivalence studies to do  
22 for these products.

23 We have two case studies we'll present, one on  
24 levothyroxine sodium tablets and one on potassium chloride  
25 modified-release tablets and capsules. In advance I want

1 to thank Abbott Laboratories who has been willing to work  
2 with us and to present some of their study data on  
3 levothyroxine sodium at this meeting relating to the  
4 approaches for baseline corrections.

5 Dale Conner will lead the overall discussion  
6 tomorrow, and Dr. Steven Johnson and Dr. Barbara Davit will  
7 present the case studies. It will be an interesting  
8 discussion and I look forward to your input.

9 Lastly, we will provide an update on our  
10 research program. We're specifically going to focus on the  
11 rapid response program. Dr. Nakissa Sadrieh, who heads up  
12 the Rapid Response Team in OPS, will give you an overview  
13 of some of the projects we've been working on under rapid  
14 response. We feel that it's really important for the  
15 committee to have an understanding of the research  
16 capabilities that we have available in OPS so as different  
17 issues and questions come up before the committee, you know  
18 what we might be able to utilize internally to answer some  
19 of those questions from a research standpoint.

20 So as I said earlier, it's definitely a very  
21 packed meeting, but I think they will be very interesting  
22 topics. I thank you for your participation in advance, and  
23 I will turn it back over to Dr. Kibbe. Thank you.

24 DR. KIBBE: Thank you, Helen.

25 A couple of points just for everyone's

1 information. There is open public hearing time on both  
2 days. Individuals who wanted to make presentations had to  
3 have gotten their request in by March 3rd. So we have 1  
4 person on today's agenda and 12 on tomorrow's. So the hour  
5 tomorrow will be jam-packed and filled with entertaining  
6 presentations.

7 The next speaker will be the beginning of our  
8 reports on the subcommittee updates. Tom Layloff for PAT.

9 DR. LAYLOFF: Good morning. It's a pleasure to  
10 be here in front of the committee again. An unusual event:  
11 this is a closing report. The committee is sunsetting.  
12 We have completed our objectives and we'll be moving on.

13 The interest in process analytical technology  
14 goes, I think, all the way back to the formulation. If we  
15 look at formulating a 50 milligram tablet, we can weigh out  
16 the quantities for active diluents and disintegrants of  
17 lubricants, and the only issue after the accurate weighing  
18 is achieving a uniform product. So it's relatively  
19 straightforward. You weigh this out very accurately. You  
20 throw it in a bucket, and you get to a uniform, consistent  
21 mix. Unfortunately, it's not quite that easy.

22 Traditionally, the manufacturers follow the  
23 active pharmaceutical ingredient as a measure of uniformity  
24 throughout the whole process. So the univariate handle is  
25 applied to a polyvariate process where you have excipients,

1 diluents, and other materials. And in some cases it is a  
2 poor surrogate marker for many of the components in the  
3 process.

4           Process analytical technology is an optimum  
5 application of process analytical chemistry tools. It's a  
6 feedback process with control strategies. It involves  
7 information management tools and/or product/process  
8 optimization strategies to manufacture pharmaceuticals. So  
9 pharmaceutical design is a critical factor as is  
10 information acquired during the process.

11           The 1978 preamble to the GMPs says, "There is  
12 no prohibition in the regulations against the manufacturing  
13 of drug products using better, more efficient, and  
14 innovative methods." Further, the USP in the general  
15 notices says, "Compliance may be determined also by the use  
16 of alternative methods chosen for advantages in accuracy,  
17 sensitivity, precision, selectivity, or adaptability to  
18 automation or computerized data reduction or in other  
19 special circumstances." So neither the GMPs nor the USP  
20 restrict how you make the assessments of product process  
21 streams or product assessments anywhere.

22           The charges to the Process Analytical  
23 Subcommittee were: What is to be gained by embracing the  
24 technology? What is the state of the art? What are the  
25 problems, hurdles, and solutions? How should the new

1 technologies be regulated? How should FDA be prepared to  
2 adapt to dealing with the new technologies? And what are  
3 the staff educational issues and how should these  
4 competencies be assessed?

5           Our subcommittee had three meetings. We did  
6 applications and benefits. At those sessions we observed  
7 that there were, in fact, assessment tools which could be  
8 adapted for monitoring the process stream on a continuing  
9 basis. Those tools could be validated or qualified, and  
10 that there were chemometric tools which could monitor the  
11 process.

12           We went on in our June 12-13 session. At that  
13 session I think we made a very significant contribution  
14 with a group of individuals getting together and defining  
15 the competencies that would be expected for reviewers and  
16 inspectors to deal with process analytical technologies and  
17 to define a curriculum to achieve those competencies. That  
18 was done.

19           Our October meeting was an add-on, and it was  
20 added on because of the perception that there would be  
21 problems with the implementation of PAT technologies with  
22 the interpretation at the time of 21 C.F.R. 11. Because  
23 the PAT is inherently computerized very heavily, the  
24 concept of validating software independent of the data  
25 acquisition units poses a very severe burden. CDER has



1 moved 21 C.F.R., part 11 into its compliance operations to  
2 better bring scientific knowledge of PAT to bear on those  
3 assessments.

4                   We also dealt with rapid microbiology testing  
5 at that 23rd meeting. How should the FDA respond? Well,  
6 the FDA should develop a general guidance, a conceptual  
7 framework, and establish regulatory positions on this. The  
8 FDA has established -- I like this -- PATRIOT. Who came up  
9 with this, Ajaz? Process Analytical Technologies Review  
10 and Investigation, Office of Pharmaceutical Sciences --  
11 you've got to say that quickly because it fits in the "O"  
12 -- Team.

13                   (Laughter.)

14                   DR. LAYLOFF: And it's a science and risk-based  
15 approach, integrated systems approach.

16                   Now, that PATRIOT initiative is probably, I  
17 feel, one of the most important outcomes of the meeting  
18 because reviewers and investigators are trained and work as  
19 a team to assess compliance in the industry, and I think  
20 this is going to be a great boon because if you're not  
21 familiar with the technologies, it's going to be very  
22 difficult to review the material and very difficult to  
23 inspect it. It will be eventually stifled if it's not  
24 handled well. CDER, Office of Pharmaceutical Science has  
25 moved very quickly and properly to develop the individuals

1 to help deal with these issues.

2                   There is a proposed draft, a guidance on  
3 applications with PAT.

4                   A summary of our observations, tools. The  
5 assessment tools, data support systems, and technologies  
6 are available to improve product consistency and reduce bad  
7 production and recalls. We have had many presentations  
8 from individuals in the industry and from academia  
9 describing those tools and their ability to make those  
10 measures.

11                   If we look at one of the problem areas that  
12 occurs, we look at the USP content uniformity test issues,  
13 the USP allows an RSD of 6 percent. If we have a normal  
14 population at 100 percent, there will be 30 tablets in a  
15 million out of 75 to 125. The USP allows only 1. So  
16 statistically no batch of a million could pass the test  
17 because there are more than 1 in 30. There are more than  
18 -- I mean, 1 in 30. We have 30 total. So the PAT  
19 initiative will have to have statistical interpretations  
20 science-based rather than these hard numbers to deal with,  
21 but that's another issue.

22                   The agency's perspective. CDER has assumed a  
23 very strong, I think, good position. They're going to use  
24 the knowledge, experience, and guidance from other FDA  
25 components, NIST, ASTM, and ANSI and do those by reference

1 rather than attempting to develop guidances independently.  
2 They will reach out to those existing bodies where many  
3 people have put a lot of effort in developing guidances,  
4 such as the Design Control Guidance for Medical Device  
5 Manufacturers.

6 Also, they will provide a framework to  
7 manufacturers with the flexibility needed to develop design  
8 controls to comply with regulations and also appropriate  
9 for their own design and development of processes and SOPs.

10 Future issues. These will be left for other  
11 committees. Validation of data and retention of data. In-  
12 process endpoint detection and data acquisition and  
13 storage. Documentation and E-sig closure of decision  
14 points. Incoming material stream consistency of robustness  
15 assessments.

16 Regulatory incentives. The FDA has said PAT is  
17 not a requirement. It's an option for those that want to  
18 implement it. Regulatory support and flexibility during  
19 the development and implementation by meeting with FDA will  
20 eliminate the fear of delayed approval and dispute  
21 avoidance and resolution in the future. So FDA is willing  
22 to work with people, work with the industry.

23 It's a science and risk-based regulatory  
24 approach. Low risk categorization based on a higher level  
25 of process understanding. Continuous monitoring on stream

1 will assure a higher quality product. There will be a  
2 research exemption so that continuous improvement can occur  
3 without fear of being noncompliant. So you can do PAT  
4 development work in parallel with your current process  
5 stream as a research tool rather than an implementation  
6 tool and implement it when you're confident in this thing.

7 Until the FDA has approved a new process approach, the one  
8 that is currently approved will stay in force, which is not  
9 unreasonable.

10 What's missing? I believe -- this is personal  
11 -- industry political will is missing. I think FDA has  
12 bent over backwards to take this initiative and have these  
13 meetings bringing people together. The ball is now in the  
14 industry's court. FDA is waiting.

15 How to move forward. I think the way to move  
16 forward is not to try to eat the elephant in one bite,  
17 evolution rather than revolution. Bring on stream  
18 validated or qualified PAT systems piecemeal, incoming  
19 materials ID, wherever they best fit. But piecemeal.

20 Acknowledgements. I'd like to acknowledge the  
21 leadership of Ajaz Hussain. He has been a greater leader  
22 in this business. And Raj Upoor developing guidances. My  
23 former colleagues at the DPA, Division of Pharmaceutical  
24 Analysis, and Division of Product Quality Research. The  
25 colleagues on and presenters to the Process Analytical

1 Technology Subcommittee.

2           There's a compilation of reports on the FDA  
3 website, and I've given that. And comments and suggestions  
4 can be sent to: PAT@cder.fda.gov. Thank you.

5           DR. KIBBE: Thank you, Tom.

6           I think we have time for a couple of brief  
7 questions, if anyone on the full committee has any  
8 questions of Tom.

9           DR. HUSSAIN: Just sort of an update to all the  
10 recommendations that we have received on the PAT  
11 Subcommittee. I think this committee was amazingly  
12 efficient and effective in getting these recommendations to  
13 us. We have actually progressed quite well.

14           Tom mentioned the PATRIOT team. It's  
15 undergoing training and certification programs as we speak.  
16 In fact, next week they will be going to the University of  
17 Washington in Seattle for hands-on lab experience. That's  
18 the second session. And within this year, we will have  
19 completed the training program for this team.

20           So the training program was brought together by  
21 three schools, the School of Pharmacy at Purdue, the School  
22 of Process Analytical Chemistry from Washington in Seattle,  
23 and the School of Engineering from the University of  
24 Tennessee. So we brought the three disciplines together  
25 to do this training.

1           A guidance is floating around inside OPS right  
2 now, and I think we will plan to get the guidance out as  
3 soon as possible. The reason we held back the guidance is  
4 we wanted to see the evolution of the drug quality system  
5 for the 21st century, the GMP initiative, and make sure  
6 that PAT becomes a model for that. As that has evolved,  
7 the part 11 draft guidance is out, so I think we are now  
8 ready to move the general guidance forward which will  
9 actually provide not only the regulatory process for  
10 implementing PAT, but actually address many of the issues  
11 and concerns that industry has expressed to us. So it  
12 removes all perceived and real regulatory hurdles for  
13 bringing innovative technology into the manufacturing  
14 sector.

15           So I think what was best was, at the final  
16 meeting of the subcommittee, industry representatives at  
17 that subcommittee were very clear, saying that FDA is no  
18 longer the hurdle. The hurdle is within the companies. So  
19 I don't want to see FDA being blamed as being a hurdle  
20 anymore.

21           I just want to thank Tom and his leadership.  
22 In fact, if you really look at it, the proposal on PAT  
23 started in '93 with what Tom had led, but it had subsided.  
24 What I have done is brought it back and added my  
25 pharmaceutical angle to it. So the FDA initiative actually

1 started in 1993, and I thank Tom for that.

2 DR. LAYLOFF: I think I'd like to say the PAT  
3 strategy that has been implemented in OPS is basically a  
4 design strategy for a regulatory action. So it's a quality  
5 system approach on how do you regulate because it defines  
6 competencies, certification of individuals for training,  
7 and the guidance documents are all converging at once. So  
8 it's really an excellent example of a quality system  
9 approach to setting up a regulatory strategy.

10 DR. KIBBE: Thank you, Tom. And I'd like to  
11 add my congratulations. I think the subcommittee did  
12 excellent work. We were very fortunate to be able to bring  
13 to the table with us some knowledgeable individuals from  
14 industry who came and shared quite openly, and I think that  
15 was a good model for moving forward on things like that.  
16 You did a wonderful job.

17 I understand that there's training going on,  
18 and I'm sure there will be a manual or something that comes  
19 from it. And we could call that the PATRIOT missile?

20 (Laughter.)

21 DR. KIBBE: I'm sorry.

22 Ajaz now is going to talk about the  
23 Manufacturing Subcommittee.

24 DR. HUSSAIN: Well, I think the credit for  
25 naming that goes to Karen Bernard, and it was her idea to

1 name it that way, so I sort of accepted that.

2 I wanted to give you a quick update on the  
3 Manufacturing Subcommittee. On October 22nd when we met at  
4 the previous advisory committee, we had made the proposal  
5 on sunseting the PAT Subcommittee and in its place  
6 establishing a broad, general Manufacturing Subcommittee.  
7 The progress I would like to report back to you is that now  
8 we have formed the committee. Judy Boehlert from this  
9 committee will be the chair of that. The first meeting of  
10 this committee is on the 21st of March.

11 Now, I would like to go back and sort of  
12 refresh your memory in terms of why we wanted this  
13 committee and what the goals and objectives are. To a  
14 large degree, we will use this subcommittee to give us  
15 advice to move forward on the drug quality system for the  
16 21st century initiative.

17 The first meeting of this committee will  
18 essentially be to go back and look at the desired state of  
19 manufacturing that we have outlined in our announcement on  
20 February 20th with respect to what that is and how do we  
21 get there and essentially create a framework for the future  
22 activities of the subcommittee.

23 In addition to that, I think there are a number  
24 of issues which have already started, the aseptic guidance  
25 and a draft guidance that we are working on. Some of that



1 will be discussed here.

2                   Also, I'll remind you this is a team effort.  
3 We are partnering with our Office of Compliance and Office  
4 of Regulatory Affairs, and we will bring the combined  
5 effort on managing the process of the subcommittee.

6                   So I don't have much else to report on this  
7 except that now we have formed the committee and the first  
8 meeting is on the 21st of March.

9                   DR. KIBBE: Here?

10                  DR. HUSSAIN: Yes, the same room.

11                  DR. KIBBE: Joe?

12                  DR. HUSSAIN: I'm speaking for Joe and myself.

13                  DR. KIBBE: Oh, that's good. We're gaining  
14 time. I like it.

15                  (Laughter.)

16                  DR. KIBBE: Thank you, Ajaz.

17                  Jurgen.

18                  DR. VENITZ: Good morning and thank you, Art.

19                  I'm here to represent the Clinical Pharmacology  
20 Subcommittee. As most of the members of the committee  
21 know, this was a committee that was recommended and  
22 endorsed by the parent, the Advisory Committee for  
23 Pharmaceutical Science, about a year or so ago.

24                  The intent of this committee is to provide  
25 feedback in three different areas, feedback to this parent

1 committee, in the areas of: exposure response,  
2 relationship between doses, drug levels, and effect;  
3 pediatric clinical pharmacology; and pharmacogenetics. FDA  
4 believes -- and I think this committee agreed with that --  
5 that those are areas where the science is emerging rather  
6 quickly.

7                   We put together the committee membership the  
8 second half of last year, and I've listed the members for  
9 you. As I said, three areas, pharmacometrics, pediatric  
10 clinical pharmacology, and pharmacogenomics. Bill Jusko  
11 was kind enough to be the acting chair at our very first  
12 inaugural meeting last year. He at that time was also a  
13 member of this current committee. You see we had two  
14 industry representatives, Michael Hale and Rich Lalonde  
15 from Pfizer and Glaxo, respectively, both of them with very  
16 extensive experience in exposure response. Myself, I was  
17 not on the committee at that time since I was on a  
18 sabbatical with the FDA. We have three experts in the area  
19 of pediatrics: Ed Capparelli, Greg Kearns, and Mary  
20 Relling. And then we have three individuals, Dave  
21 Flockhart, Howard McCleod, and Wolfgang Sadee, who is a  
22 current member of the parent committee.

23                   We had our first meeting in October of last  
24 year, and I've listed for you the topics that we discussed  
25 as part of this meeting. Most of those are what Helen

1 would call awareness topics. So this was the first  
2 meeting, and we wanted to make sure that the committee  
3 members had an idea of what's going to come down the line.

4           So the first topic was using exposure response  
5 information to individualize dose. How can we use  
6 information from premarketing studies, from clinical  
7 pharmacology studies to optimize dosing regimens and to  
8 label new drug products accordingly? What are the data  
9 sets that we can use to make that decision in terms of how  
10 to label drugs appropriately?

11           Peter Lee, the Associate Director of OCPB,  
12 presented an approach that is currently used that uses  
13 kinetic information from usually a special population or  
14 drug-drug interaction studies, combines it with exposure-  
15 response relationships to predict clinical outcomes. For a  
16 given dose, what is the likelihood that we have certain  
17 outcomes? And are those outcomes acceptable? If they are  
18 not, well, that would lead to a dose adjustment.

19           We had feedback from the committee members.  
20 Rich Lalonde and Lew Sheiner gave an endorsement to the  
21 method in general, but discussed specific potential issues  
22 with it. In general, the committee requested to get  
23 specific case examples to get a better sense for how much  
24 this approach could be generalized.

25           I went on to discuss and introduce a new term

1 called "utility" that deals with linking clinical outcomes  
2 to risk where you look not only at outcomes but also the  
3 consequences of those outcomes and you try to incorporate  
4 that in your decision making process.

5           The second topic, again an awareness topic, was  
6 for the committee to be aware of what the initiatives are  
7 within FDA right now in the pediatric area. Arzu Selen  
8 presented an updated on OCPB's pediatric database where  
9 they're trying to capture on an ongoing basis PK/PD  
10 information from pediatric studies.

11           Rosemary Roberts discussed what is currently  
12 done in terms of the decision tree that is used to help  
13 extrapolate information from adult studies into the  
14 pediatric population.

15           The final topic was in the pharmacogenetics  
16 area. Here the intent again was to make the committee  
17 aware of what are some of the issues that FDA is facing  
18 right now, particularly for drugs that undergo  
19 pharmacogenetically determined either metabolism or other  
20 differences in response. Larry Lesko presented some of  
21 those issues, the labeling that is used that is currently  
22 quite inconsistent.

23           We then specifically discussed TPMT, an enzyme  
24 that shows polymorphic expression, and people that don't  
25 have that enzyme or that enzyme is reduced in its activity

1 are at a very high risk of potentially fatal side effects.

2 So one of the questions that the committee was starting to  
3 address is, is this something that we should incorporate in  
4 the label? Should people be asked to genotype, for  
5 example? Dr. Weinshilboum was the expert that really  
6 presented on that topic.

7 After the meeting, pretty much within a few  
8 days after, we were informed that the committee membership  
9 is not allowed to have industry representatives. So we had  
10 to renominate two individuals, Dave D'Argenio and Marie  
11 Davidian. Both of them are experts in the pharmacometrics  
12 and statistics area.

13 Our next meeting is next month. You can see  
14 it's a follow-up meeting, so the topics look very similar  
15 to what I just presented to you. The first topic is again  
16 to look at risk-benefit information gleaned from exposure-  
17 response data. It's basically a follow-up to the dose  
18 adjustment approach that Peter Lee presented, and he's  
19 presumably going to show us some case examples.

20 We're going to follow up on the pediatric  
21 initiative, trying to develop a template that helps  
22 sponsors to collect information in a way that makes it  
23 suitable for FDA to capture it and analyze it  
24 appropriately.

25 We're going to follow up on the

1 pharmacogenomics or the pharmacogenetics topic, look  
2 perhaps at different pharmacogenomic issues as they relate  
3 to labeling.

4                   And there's a new awareness topic that deals  
5 with drug-drug interactions as it relates to metabolism and  
6 drug transport.

7                   That's all I have.

8                   DR. KIBBE: Questions?

9                   (No response.)

10                  DR. KIBBE: No questions.

11                  DR. VENITZ: Thank you.

12                  DR. KIBBE: Thank you, Jurgen.

13                  Just something I thought of that I'd like Ajaz  
14 to do. Since Jurgen was so kind to give us the names of  
15 everybody on the committee, maybe we could do that for the  
16 -- okay.

17                  This brings us to committees that are in the  
18 "let's get started" phase, the future committees. We  
19 should start with Bob Osterberg.

20                  DR. OSTERBERG: Good morning. I'm Bob  
21 Osterberg, the acting, as Dr. Kibbe pointed out many times,  
22 Associate Director of Pharmacology and Toxicology in the  
23 Office of New Drugs. I think our interaction here  
24 indicates that both the Office of Pharmaceutical Science  
25 and the Office of New Drugs can work together very

1 effectively to resolve scientific problems that perhaps  
2 individually we couldn't do.

3 I'd like to point out to you that the Office of  
4 New Drugs pharm-tox group does not have an advisory  
5 committee that we can go to and ask specific questions, and  
6 we don't have a research laboratory that we can ask to  
7 develop data that we can use to make regulatory decisions.

8 But we do have a Pharm-Tox Coordinating  
9 Committee and a Research Subcommittee of that.  
10 Interestingly, Dr. Frank Sistare, who runs the Division of  
11 Pharm-Tox in OPS at the laboratories, is my co-chair on  
12 this Research Subcommittee. Together we have been asked to  
13 develop this particular Pharm-Tox Subcommittee of the OPS.

14 When Mrs. Winkle told me about this particular  
15 activity that she had in mind, I saw the merit of it and I  
16 immediately said, yes, I think this is a very good idea.  
17 My predecessor in this position also said likewise, I'm  
18 told. Of course, when we briefed our Office of New Drugs  
19 Division Director, he was also very supportive of this  
20 activity.

21 What I'd like to do is to tell you a few things  
22 that we're doing within the subcommittee with respect to  
23 its development and this morning I'd like to mention some  
24 of the things about the committee with respect to  
25 background, its objectives, its mission, and its

1 membership, and a few other things.

2           Now, the Pharm-Tox Subcommittee is an advisory  
3 committee. We pay particular attention to the advice given  
4 because it's valuable information. The people on the  
5 subcommittee will be experts in their field. They'll be  
6 well-recognized scientists and we can rely heavily on what  
7 they suggest to us. But their advice, like all advisory  
8 committee statements, is not binding on the agency. But as  
9 you know, we mostly do agree to accept their opinions.

10           The subcommittee is expected to provide  
11 feedback to the Pharm-Tox Coordinating Committee and to  
12 facilitate activities down at the National Center for  
13 Toxicology Research's Non-Clinical Studies Subcommittee in  
14 meeting not only this subcommittee's research needs but  
15 Pharm-Tox's research needs because, as I mentioned, we  
16 don't have our own laboratories.

17           Now, the objective of this subcommittee is to  
18 provide expert advisory feedback to the Pharm-Tox  
19 Coordinating Committee and the nonclinical pharm-tox  
20 research disciplines in targeting cross-cutting areas of  
21 pharm-tox, the big problems that we see not specific to any  
22 division but across the agency, where integration of new  
23 scientific knowledge or methodology could be helpful in  
24 drug development and in helping to identify laboratory-  
25 based research priorities to address what we perceive to be



1 data gaps as identified by the Pharm-Tox Research  
2 Subcommittee.

3 We also anticipate that the committee will  
4 provide input to the National Center for Toxicology  
5 Research's NCSS -- that's the Non-Clinical Studies  
6 Subcommittee -- to address CDER's identified data gaps.

7 We also expect the committee to advise the  
8 Pharm-Tox Coordinating Committee in the evaluation of  
9 research data related to pharm-tox activities.

10 Now, meetings of the Pharm-Tox Subcommittee of  
11 OPS will occur on an as-needed basis. There's no point in  
12 having a meeting if there's nothing to discuss, but we  
13 anticipate at least that two meetings per year will occur,  
14 especially in the early phases of getting this activity  
15 together and focused on a common concern.

16 The agendas and topics that will be presented  
17 to this Pharm-Tox Subcommittee will come from the Research  
18 Subcommittee of the Pharm-Tox Coordinating Committee  
19 because that coordinating committee is the major Office of  
20 New Drugs pharm-tox group.

21 Also, activities and recommendations of this  
22 subcommittee will be given to this advisory committee and  
23 to CDER's Pharm-Tox Coordinating Committee and on an as-  
24 needed basis to NCTR's committee.

25 A member of this subcommittee will serve on

1 NCTR's Non-Clinical Studies Subcommittee and that will  
2 probably be Dr. Frank Sistare.

3 Now, the first topic that we think we'd like to  
4 have this subcommittee address is pharmacogenomics. It  
5 will be a trial run because, in any new committee, you want  
6 to make sure that ground rules are laid down and certain  
7 activities are ongoing without any problem. It's a  
8 shakedown cruise, if you will.

9 We chose pharmacogenomics because this is a  
10 very interesting and useful area, we think, that because of  
11 being able to observe a chemical or a potential drug's  
12 effect at the molecular level on human genes, we may see a  
13 pattern emerge of up and down regulation perhaps of some  
14 genes which, if we can correlate that change in the gene  
15 expressions, we might be able to see or predict what the  
16 human toxicities may be during the initial phases of drug  
17 review or drug development. So we really think this is a  
18 pretty hot topic and we're very interested in getting as  
19 many experts on this subcommittee as possible.

20 Now, we've already recognized that we have two  
21 members already on the committee, Dr. Meryl Karol, who is  
22 going to be our chairperson, and our consumer rep, Dr. Marc  
23 Swadener. Now, we will be selecting other people, another  
24 generalist and several specialists in this area of  
25 pharmacogenomics and genetics in general, and we hope that

1 they'll be able to help us in this endeavor.

2 We anticipate that the first meeting of the  
3 subcommittee will occur in the early portion of June, and  
4 hopefully by that time, we'll have a series of proposals to  
5 offer the subcommittee to help us.

6 Thank you.

7 DR. KIBBE: Thank you, Bob.

8 Are there any questions from the members?

9 Marv?

10 DR. MEYER: Do you think there will be any  
11 overlap with the clinical pharmacology group, the  
12 subcommittee, in terms of their pharmacogenetic interests  
13 and activities?

14 DR. OSTERBERG: I would say yes to that. I  
15 can't tell you the extent because the Commissioner of FDA  
16 has asked the pharm-tox folks in the agency not only to  
17 address the nonclinical aspects of pharmacogenomics, but  
18 also the clinical aspects. So I would think at some point  
19 in time, after we get our committee ongoing and we start  
20 getting data coming in from industry -- as a matter of  
21 fact, we've had several meetings with industry in the past  
22 year where we have discussed this particular area. But we  
23 just know what to make of it. But when we think we do know  
24 what to make of it, since we're using a human genomic  
25 expression platform, we think this will bear on the

1 clinical aspects of it. Certainly we'll avail ourselves of  
2 the subcommittee.

3 DR. KIBBE: Dr. Karol, do you have anything to  
4 add?

5 DR. KAROL: No, other than that I'm really  
6 looking forward to working with this committee.

7 DR. KIBBE: Well, thank you.

8 Our next future subcommittee is on  
9 microbiology. Peter Cooney.

10 DR. COONEY: Good morning. I'm Peter Cooney.  
11 I'm the Associate Director for New Drug Microbiology in the  
12 Office of Pharmaceutical Science.

13 Product quality microbiology issues, including  
14 sterilization, sterility assurance, and microbial quality  
15 of nonsterile pharmaceuticals, are of critical importance  
16 in the assessment of the safety of drug products. Now,  
17 somewhere between 20 and 25 percent drug products are  
18 marketed as sterile, and the quantity and type of  
19 microorganisms associated with the majority of products  
20 which are not sterile can also be of critical importance to  
21 patient safety.

22 The center, therefore, believes that the  
23 formation of a subcommittee specializing in microbiology  
24 can be of great benefit in providing advice for the  
25 regulatory and scientific approaches taken in the

1 regulation of a great number of products that we regulate.

2 We believe a subcommittee composed of approximately eight  
3 members with diverse backgrounds in microbiological science  
4 can help the agency in making scientific and regulatory  
5 decisions related to microbiology issues.

6 Now, what are some of the potential  
7 subcommittee topics that might come up in the future?  
8 These might include both regulatory and technical issues,  
9 and some of them are as follows.

10 Parametric release of sterile products. Should  
11 the center create a guidance and should everybody use this  
12 type of methodology?

13 Development of vapor phase hydrogen peroxide  
14 decontamination cycles for decontamination of isolators  
15 used in aseptic processing.

16 Interaction of the field and center function in  
17 microbiology relative to sterility microbial limits,  
18 endotoxins, preservatives, et cetera.

19 The appropriateness of microbiology review for  
20 safety and risk assessment.

21 The appropriateness of monitoring adverse event  
22 reports for microbiology risk assessment and determination  
23 of risks which may or may not be related to specific  
24 manufacturing processes.

25 The appropriate use of subject matter experts

1 in risk analysis following event reporting.

2                   Decision criteria for risk management in  
3 microbiology and in manufacturing processes for sterile  
4 products.

5                   And discussions and identification of critical  
6 processes, tests, and criteria to ensure microbiological  
7 quality.

8                   There are many new sterilization technologies  
9 being developed. Pulse beam light, for example. Closed  
10 aseptic filling systems where the container closure system  
11 is closed and then penetrated with a needle to fill it.

12                   Product and process compatibility issues can be  
13 discussed, and combining terminal sterilization and aseptic  
14 filling processing in the same manufacturing operation.

15                   A critical issue that's come up now, of course,  
16 is the PAT initiative, the rapid microbial methods for  
17 detecting, counting, and identification of microorganisms  
18 associated with manufacturing processes and products. What  
19 kind of filing strategies in terms of applications for  
20 instituting rapid methods should be developed?

21                   Experimental evaluation and/or validation of  
22 rapid methods in microbiology versus parallel testing of  
23 old versus new methods. Which approach has the most  
24 scientific validity? And is it always the same or does it  
25 depend on the specific test or process being evaluated?

1                   And finally, what is FDA's role in  
2 harmonization of standard microbiological tests? And can  
3 future rapid methods and new technologies be harmonized?

4                   There's a plethora of issues that we believe  
5 can be discussed in the future, and as those arise, there  
6 would be a need to have the Microbiology Subcommittee. So,  
7 therefore, we look forward to working with and receiving  
8 advice from a microbiology subcommittee.

9                   And I'll entertain any questions anybody might  
10 have.

11                  DR. KIBBE: Questions anybody?

12                  (No response.)

13                  DR. KIBBE: Thank you.

14                  I don't want anybody lulled into a sense of  
15 false security. We're moving too quickly. This will  
16 change.

17                  (Laughter.)

18                  DR. KIBBE: Ajaz on biopharmaceutics.

19                  DR. HUSSAIN: I have one observation that  
20 listening to some of the updates and presentations, I think  
21 we have a wonderful opportunity in this advisory committee  
22 to bring all aspects together and actually connect the  
23 dots. We can look at pharmacogenomics, pharm-tox, clin-  
24 pharm, the risk from clinical, from quality perspectives.  
25 So I hope you see the opportunity here to connect the dots

1 with all disciplines and sort of come up with more cohesive  
2 policies and procedures that not only are specific to a  
3 particular discipline but bring across the generality that  
4 sort of underpins all these activities.

5           The origin of the Advisory Committee for  
6 Pharmaceutical Science was in the Generic Drug Advisory  
7 Committee. That's how we started. At some point we were  
8 looking at issues and topics for discussion that went  
9 beyond generic. As a result the Generic Drug Advisory  
10 Committee became the Advisory Committee for Pharmaceutical  
11 Science.

12           As we grow, in terms of the complexity, in  
13 terms of the topics that we have to cover, I think  
14 biopharmaceutics becomes an important topic to keep our  
15 focus on. Especially in the next several years, we plan to  
16 have a significant research initiative in the area of the  
17 generic drug program, essentially develop methodologies for  
18 approving generic drugs based on pharmaceutical  
19 equivalence, bioequivalence. So there is a need to  
20 essentially come back and establish a biopharmaceutics  
21 committee that will focus on these aspects.

22           So that's the proposal that we have for you,  
23 that we would like to move forward putting this committee  
24 together and would like to develop the charter for this  
25 committee with the help of Professor Marv Meyer, who has



1 graciously agreed to be a chair of this committee, with the  
2 help of Art Kibbe, and develop this subcommittee to focus  
3 on certain areas.

4           But let me take a step back and try to outline  
5 what are the issues in biopharmaceutics. I think I'm  
6 looking at biopharmaceutics as a discipline more in terms  
7 of a critical link between quality and clinical  
8 performance. So there are many topic areas that need to be  
9 addressed in this.

10           If I take a step back and use the test methods  
11 that we use to assess some of these or to link quality to  
12 the clinical aspect, you're looking at drug release  
13 methodologies. How do you establish a meaningful  
14 specification for, say, dissolution tests? For the last 30  
15 years, we have talked in terms of dissolution testing, but  
16 as we go to more complicated dosage forms and release  
17 mechanisms and so forth, what are the strategies for  
18 developing more meaningful release specifications that not  
19 only relate to quality but also provide a meaningful link  
20 to the clinical performance of these dosage forms.

21           For example, we are looking at several  
22 liposomal drug delivery systems that have been approved.  
23 Now, how does one establish meaningful release and quality  
24 specifications for these products or for parenterally  
25 administered microspheres, implants, and so forth that have

1 a very long duration in human use? How does one develop a  
2 meaningful quality control test as well as establish in  
3 vitro/in vivo correlation for some of these products?

4 So that's sort of the tip of the iceberg in  
5 terms of what we can start thinking about, but I think the  
6 major issues also are in methodologies for bioavailability  
7 and bioequivalence.

8 We have for discussion, for example, tomorrow  
9 afternoon an issue on endogenous drug substances, and that  
10 probably will become a topic for discussion in the  
11 subcommittee as we progress. What are the challenges in  
12 establishing bioavailability and bioequivalence? More so,  
13 I think what are the challenges in establishing  
14 pharmaceutical equivalence? Keep in mind I think  
15 pharmaceutical equivalence is the foundation on which we  
16 base a lot of our decisions.  
17 Bioequivalence/bioavailability comes from that in some  
18 regard. And I'll, in a minute, try to explain that process  
19 to you.

20 But in addition, I think the ultimate goal here  
21 is to have therapeutic equivalence for both new drugs and  
22 generic drugs in the event of post-approval changes and for  
23 approval of generic drugs.

24 So these are sort of the major areas or broad  
25 areas for discussion.

1           I think immediate needs that we have in terms  
2 of seeking help from this committee is to seek advice in  
3 terms of developing methodologies for bioavailability,  
4 bioequivalence, challenges such as endogenous drug  
5 substances.

6           But moving on, I think locally acting drug  
7 products would be the major focus for discussion. You also  
8 have a topic that we'll present to you this afternoon on  
9 bioavailability/bioequivalence of topical drug products.  
10 We have struggled for the last 12 years trying to develop a  
11 method for assessing bioequivalence of drugs applied to  
12 skin and we have not been successful in trying to move that  
13 decision forward in a consensus way. There are many issues  
14 and you'll get a flavor of some of those issues this  
15 afternoon. So how does one establish bioequivalence for  
16 locally acting drug products where blood levels may not be  
17 a surrogate or may not be an indicator of rate and extent  
18 of absorption at the site of action? So that would  
19 probably be the starting point for a number of discussions.

20           We would also like to use the committee to  
21 guide us as we develop our research programs. We have an  
22 announcement coming out soon for recruiting a director-  
23 level position for a research leader. I think as we go  
24 through and recruit that person, the biopharmaceutics  
25 research program will sort of reemerge under his leadership

1 or her leadership, whoever that person might be. I think  
2 that would be also a very important link to this committee  
3 and the subcommittee also.

4           So there are many broad topics. I think we are  
5 ready to start moving in that direction from a  
6 methodological perspective in terms of specifications and  
7 so forth, but that's not all.

8           I would like to bring another topic for  
9 discussion at some point. At the training some of you  
10 heard about the TIACC committee, Therapeutic Inequivalence  
11 Action Coordinating Committee. And what is that? It is  
12 essentially a quality system where we respond to complaints  
13 from consumers to physicians to citizens petitions where  
14 there is a claim that a generic was not found to be  
15 therapeutically equivalent to a brand name product. How  
16 does one respond to that? What are the mechanisms we have  
17 used?

18           This is a fairly established, old committee,  
19 but I think we are taking a fresh look at that committee to  
20 see how do we integrate that into a quality system  
21 perspective. What are the most appropriate procedures to  
22 investigate some of these cases, and how can this committee  
23 be more proactive? So I think that also will provide a  
24 number of very interesting situations and very interesting  
25 problems that need to be addressed. We would probably

1 address those in-house, but I think at some point there are  
2 general issues that come from that investigation that I  
3 think would be appropriate for discussion at the  
4 subcommittee.

5           But let me take a step back. I think the  
6 challenges that we see in the future in this area also deal  
7 with misinterpretation or lack of understanding of our  
8 bioequivalence, pharmaceutical equivalence, and therapeutic  
9 equivalence standards. At some point I think this  
10 committee will also be useful in articulating the right  
11 message to explain our standards because many times what we  
12 see is our standards are either misinterpreted or not even  
13 understood by the practicing community, the pharmacists or  
14 physicians. So how do we get over that hump and bring some  
15 of this discussion to explain the scientific rationale for  
16 that?

17           What I'm proposing here is, as we start putting  
18 the goals and objectives of this committee and the charter  
19 for this subcommittee, what we'll do is work with Professor  
20 Marv Meyer, and when we come back next, sort of develop  
21 this with his help, and then start moving towards putting  
22 the subcommittee together.

23           I would like to step back and share with you  
24 the general approach to approval of generic drugs per se,  
25 essentially establishing therapeutic equivalence. In sort

1 of a systems thinking way, I think we need to go back to  
2 the statute, go back to the 1986 bioequivalence hearing  
3 where Marv Meyer spoke and sort of reexamine where we are  
4 what we have accomplished and where we need to go in the  
5 future.

6                   In terms of systems thinking, I go back and  
7 look at our Orange Book and how we define therapeutic  
8 equivalence. So if you go back to the Orange Book, which  
9 is available on our website, U.S. FDA System to Ensure  
10 Therapeutic Equivalence, drug products are considered to be  
11 therapeutic equivalents only if they are pharmaceutical  
12 equivalents and if they can be expected to have the same  
13 clinical effect and safety profile when administered to  
14 patients under conditions specified in the labeling.

15                   The key phrase here is "pharmaceutical  
16 equivalence." Often, especially the practicing community  
17 forgets the pharmaceutical equivalence part of our  
18 analysis. That is the foundation of approval of generic  
19 drugs. It does not get the attention or the recognition as  
20 the bioequivalence part does, and many times all the  
21 discussion is focused on bioequivalence and people have  
22 forgotten that part of that equation.

23                   If you really look at the definition,  
24 therapeutic equivalents are pharmaceutical equivalents  
25 first and then if you put this in sort of a systems

1 criteria, what the subsystems for this program?

2 First, to be a generic drug you need to have an  
3 approved safe and effective new drug application. The  
4 generic has to be pharmaceutically equivalent to be that.  
5 They have to contain identical amounts of the same active  
6 drug ingredient in the same dosage form and route of  
7 administration, meet compendial or other applicable  
8 standards of strength, quality, purity and identity.

9 Then bioequivalent with the caveat that they do  
10 not present a known or potential bioequivalence problem and  
11 they meet an acceptable in vitro standard. So in vivo  
12 bioequivalence is not an automatic need, and in many cases  
13 we don't even need that. If they do present such a known  
14 or potential problem, they're shown to meet an appropriate  
15 bioequivalence standard. So that part is often not  
16 discussed.

17 They have to be adequately labeled, and they  
18 have to be manufactured in compliance with the current good  
19 manufacturing practice regulations.

20 So you can see, as we move in a systems  
21 thinking, the link between manufacturing, the link between  
22 pharmaceutical equivalence, bioequivalence, therapeutic  
23 equivalence, everything is starting to come together. I  
24 think it will be an exciting area as we move forward. We  
25 have much more complex dosage forms coming down the pike,

1 and how do we deal with bioequivalence of, say, liposomal  
2 drug products where now you have a target oriented drug  
3 delivery system and there are many, many challenges.

4           So with that sort of a background, what I will  
5 propose is I think as we move with the Microbiology and  
6 Biopharmaceutics Subcommittee, this subcommittee would  
7 essentially link back to our established biopharmaceutics  
8 coordinating committee within the center. So the aspects  
9 are all there right away. I think what this does is it  
10 gives a much more focused discussion on this important  
11 topic.

12           So with that, I'll stop.

13           DR. KIBBE: Thank you, Ajaz. Are there any  
14 questions?

15           DR. HOLLENBECK: I have a question.

16           DR. KIBBE: Good. Thank you.

17           DR. HOLLENBECK: I thought I'd break the ice.

18           Ajaz, I have a process question. I suppose I  
19 could direct it to anybody, but you're up there right now.  
20 We begin to see subcommittees formed under the advisory  
21 committee. I guess my question is, do you have a vision of  
22 the parent committee serving more of a role as a  
23 coordinating committee and a strategic planning committee  
24 than it has in the past?

25           DR. HUSSAIN: I think the parent advisory



1 committee is an extremely multi-disciplinary committee. I  
2 think we would like to maintain that. I think that will  
3 bring the connectivity between the different disciplines,  
4 different topic areas that need to come about. But at the  
5 same time, I think you do need more in-depth discussions in  
6 certain disciplinary areas, and that's the reason for the  
7 subcommittees.

8                   The process simply is these subcommittees  
9 report back to the main advisory committee, and in that  
10 regard, I think you have an opportunity to take all that  
11 information back because we take advice directly from you.

12       The subcommittee reports to you. I think from that  
13 perspective you will have to be the conduit for the main  
14 advice that we seek. Whether that's a coordination  
15 function or whether that is an integration function or much  
16 beyond, I think it will be up to you and the chair of this  
17 committee to decide. So I'll throw that to Art.

18                   DR. KIBBE: Is that okay, Gary?

19                   DR. HOLLENBECK: Yes. I guess my question is,  
20 is the committee going to be more prospective than  
21 retrospective? My experience on this committee is we  
22 basically hear reports from these working groups, and I  
23 certainly think that's an appropriate philosophy. But my  
24 question is, how will you know when you have enough  
25 subcommittees? Do you anticipate using this committee

1 maybe to help you identify needs that are out there and  
2 future strategic direction?

3 DR. HUSSAIN: Definitely, but I think with  
4 respect to the subcommittee, for example, for the PAT, that  
5 was such a specific one, we did want to continue that  
6 because that job was done. So we sunsetted that. But now  
7 if you look at the key disciplines that we are responsible  
8 for, microbiology is a discipline, biopharmaceutics is one.  
9 CMC is a broader discipline, so we took the manufacturing  
10 part. Clinical pharmacology. So all the disciplines that  
11 are the key disciplines have been addressed, and if there  
12 is a need for a future subcommittee, it might be a  
13 transient, ad hoc, process-specific or topic-specific  
14 committee. I think you are there to advise us if there's a  
15 need for that.

16 DR. KIBBE: I think the workload of this  
17 committee ebbs and flows around issues and how well  
18 developed they are. Staff inside the FDA have to develop  
19 the issue to a point where advice is even worthwhile. The  
20 subcommittees are charged with looking at specific areas  
21 for that purpose. But you'll notice in our agenda even,  
22 we're going to deal with a terminology issue that would  
23 never be fruitful to send to a subcommittee. There's a  
24 limit to how many of those we want to do.

25 I think PAT really set the stage for me in

1 understanding how really effective a subcommittee can be  
2 because when you have two days focused on one topic with  
3 integrated industry input, you really get good conclusions.

4 You bring them back here for one more think-through and  
5 then make recommendations for the agency. So it seemed to  
6 work well.

7 Anything else for Ajaz? Go ahead.

8 DR. MOYE: Ajaz, I may have gotten myself a  
9 little turned around in your conversations about  
10 bioequivalence and pharmaceutical equivalence. I thought I  
11 heard you say -- and please correct me if I'm wrong -- that  
12 bioequivalence is not necessary. Did I hear you correctly?

13 DR. HUSSAIN: For some products, yes.

14 DR. MOYE: All right. But you don't mean to  
15 suggest, do you, that bioequivalence is a second-tier  
16 consideration?

17 DR. HUSSAIN: No. I think it's part of the  
18 system. You have to look at that as a part of one system.  
19 For example, just to give you an example, if you have an  
20 oral solution like elixir or syrup which is a solution,  
21 then the bioequivalence essentially has been waived for it.

22 We don't require an in vivo assessment of bioequivalence.

23 It is simply the pharmaceutical equivalence, and the  
24 quality attributes, the CMC review part of it, is  
25 essentially sufficient. For such a product, we would say

1 bioavailability is self-evident.

2 DR. MOYE: So essentially what you mean to do  
3 then is to re-illuminate the concept of pharmaceutical  
4 equivalence.

5 DR. HUSSAIN: Yes.

6 DR. MOYE: Thank you.

7 DR. KIBBE: Anybody else?

8 (No response.)

9 DR. KIBBE: Thank you, Ajaz. This gets us way  
10 ahead of the game. I can't believe that we are this far  
11 ahead. As a result, we are scurrying around to get our  
12 other presenters here, and we are at our 10:25 break at  
13 9:45. You guys are not into it yet. I can see you need  
14 more coffee.

15 A couple of things I suggest we try to do  
16 first. Is there a reason for us not to go out of order  
17 with the presenters on the next topic? If Yuan-Yuan is not  
18 here, could we -- oh, she is. Okay, great.

19 The second thing is we'll take a small break  
20 now just to keep things in order.

21 (Recess.)

22 DR. KIBBE: I see by the clock on the wall that  
23 you should have gotten your coffee, moved back to your  
24 seat, and then prepared for the next presentation.

25 We will now hear presentations on the topic of

1 dermatological drug product nomenclature. The first  
2 presenter is Yuan-Yuan Chiu and she is ready to go.

3 DR. CHIU: Good morning. We're very pleased to  
4 present this topic to the committee members, and we are  
5 looking forward to listening to your comments, your advice.

6 The objective of this project we put together  
7 since last year is to develop a clear, concise, and  
8 science-based classification, or nomenclature system for  
9 topical dosage forms where the existing system is not  
10 adequate.

11 Right now, there are two existing systems. One  
12 is the USP system. Everybody is familiar from the book.  
13 And the other one is the FDA data standards. Copies of  
14 those nomenclature definitions are in your package. You  
15 could see some of the nomenclatures are very ill-defined,  
16 sort of not very concise.

17 So we decided that we should limit our scope to  
18 only dermatological topical administration. To make the  
19 job easier, we decided that we do not want to go into mucus  
20 administration dosage forms. We only want to discuss  
21 dosage forms which are not quite clearly defined and those  
22 are the ones including liquid emulsion, semi-solid  
23 emulsion, and semi-solid suspension. Specifically those  
24 dosage forms are lotion, cream, ointment, paste, and gel.

25 If one uses the current definition, either the

1 FDA or USP, you will see the definitions are quite broad,  
2 and it creates a gray area. So two different products with  
3 similar physical characteristics could be called the same  
4 name. And two products with similar characteristics may be  
5 called different names. So when you see a product called a  
6 lotion, actually it may be called a cream by another  
7 company. Therefore, it creates some confusion to the  
8 patients and to the physicians.

9           As well, it has a regulatory impact because as  
10 Ajaz said, generic drugs need to be pharmaceutically  
11 equivalent. So you have a different name. Actually it's  
12 considered a different dosage form, but they may have the  
13 same physical characteristics. They should be considered  
14 the same dosage form. So, therefore, it does have economic  
15 and regulatory impact.

16           We are not going to discuss solution, liquid  
17 suspension, powder, aerosol, including foams, because those  
18 definitions would be quite clear and it doesn't really need  
19 further investigation.

20           So we have taken all the following steps. We  
21 identified current practices in labeling and also  
22 specifications establishment at FDA and at USP. We  
23 reviewed the properties and the formulations of more than  
24 50 approved NDA/ANDA drugs. Then we also discussed with  
25 our medical staff any efficacy significance associated with

1 definitions of topical dosage forms. We also reviewed the  
2 literature, textbooks, and most importantly, we also  
3 evaluated many OTC products, as well as the NDA/ANDA drugs  
4 for their physical properties in our own laboratory.

5           With all this in place, we came up with a  
6 proposal we're going to discuss with you today. We would  
7 like to get your input and then we will revise our proposal  
8 as needed. After that, we would like to publish our  
9 proposal for public comments. We also would like to  
10 forward our proposal to USP for their adoption.

11           So today's agenda is after my talk, Dr.  
12 Jonathan Wilkin -- many of you are familiar with him. He's  
13 the Director of the Dermatologic Products in CDER. He will  
14 make some remarks from a medical perspective.

15           Then we will have the Deputy Director of the  
16 Drug Product Analysis, Dr. Cindy Buhse, discuss the  
17 laboratory findings.

18           After that, Dr. Chi-wan Chen, the Director of  
19 the Division of New Drug Chemistry III, will present our  
20 proposal, the definitions, and the decision tree.

21           Then Dr. Herb Carlin from USP will give you an  
22 overview of USP nomenclature for topical dosage forms.

23           After that, I'll come back to present the  
24 questions. Then we will discuss the questions.

25           I'd also like to inform you this project

1 involved collaboration of our review chemists, our research  
2 chemists, as well as our medical staff. So it's really a  
3 true collaborative study.

4 Now I would like to bring Dr. Wilkin.

5 DR. WILKIN: Thank you, Dr. Chiu.

6 I would like to think about this in terms of  
7 what the issues are today and where we can be in the  
8 future.

9 Many know the old saw about dermatologic  
10 therapeutics. If it's dry, wet it, and if it's wet, dry  
11 it. What you may not realize is how old the old saw really  
12 is. It's lost in antiquity. There's very clear evidence  
13 in the ancient Chinese, ancient Indian, ancient Egyptian,  
14 and ancient Greek writings that already topicals were being  
15 used for their physical and sensory aspects to improve skin  
16 disease.

17 So originally there were no active ingredients.  
18 The therapeutic choice was based on the physical and  
19 sensory properties.

20 In the 1800s, there were active ingredients  
21 that began to be added to these preparations. Also in the  
22 1800s, there became sort of a recognized list of usual  
23 terms for different types of these dosage forms. So late  
24 in the 1800s -- I collected these from a variety of medical  
25 textbooks -- colloidal baths, shake lotions, creams,



1 ointments were defined in the textbooks. Pastes,  
2 solutions, tinctures, varnishes, powders all had their  
3 specific place in dermatologic therapeutics.

4 Later in the 1900s, gels, foams, and the  
5 latest, the emollient creams have been added to the  
6 lexicon.

7 As Dr. Chiu pointed out, the FDA and USP dosage  
8 forms are insufficiently defined. Actually they are  
9 somewhat acceptably defined at the epicenter of what is  
10 creamness or ointmentness, but when you get out to the  
11 periphery where an ointment might become a cream if you  
12 modify it ever so slightly, it's those boundaries that are  
13 really not separated very clearly. And manufacturers  
14 produce dosage form intergrades that are very distracting  
15 to our chemistry group trying to figure out exactly whether  
16 they are, say, creams or lotions.

17 So what we'd like to see is a creation of  
18 mutually exclusive definitions for dosage forms and a  
19 consistent terminology. I think in addition to that, there  
20 would be the potential for relevant vehicle properties  
21 being listed in the description section of product  
22 labeling.

23 Why would this benefit the public health? It  
24 would allow clinicians to use the dosage form which would  
25 be a rough guide to what the vehicle properties would be in

1 selecting a product for their patients, and if we had some  
2 extra material in the description section on more specific  
3 vehicle properties, that could even be additive.

4           Examples of potential relevant vehicle  
5 properties. I have to say that this is early in my own  
6 thinking. I just looked through some papers to see what we  
7 might consider. I'm not sure yet that these would be  
8 relevant. It looks like there's a lot of overlap to me.

9           But viscosity may be a useful thing, maybe not  
10 actually listed out in centipoise. I'm not sure how many  
11 dermatologists would appreciate that. But maybe we could  
12 take the range of viscosity for the semi-solids and we  
13 could break it into three categories, which might even been  
14 nonlinear because there may be a psychometric appreciation  
15 of greater differences at lower viscosities and less so at  
16 higher viscosities.

17           Spreadability. I know the industry works with  
18 spreadability for some of their products.

19           Wash and rub resistance.

20           Skin smoothness, time curve.

21           Usual appearance, including color.

22           Odor is important to patients.

23           Permanence on the skin. What's the residue at  
24 10 minutes? That can be a positive. If it's a dry skin  
25 disease, that could be a negative if it's thought to be

1 sticky in a moist skin disease.

2                   Moisturization, the transepidermal water loss  
3 time curve.

4                   Volatilization. How long does it take for the  
5 volatile components to actually leave and leave this  
6 residue?

7                   This is from an article by Barry Salka, and  
8 I'll give that reference on one of the slides. This is not  
9 really talking about vehicles. This is talking about  
10 individual oil components of vehicles. I just would point  
11 out that he has this way of looking at it, spreading value  
12 millimeter squared in 10 minutes. That might be something  
13 that you could actually do with vehicles, and that could be  
14 helpful information for dermatologists.

15                   This is also from his paper. The point of this  
16 slide is you have time on the x axis and smoothness on the  
17 y axis. If you have a rapidly spreading preparation, one  
18 gets skin smoothness early on, but it rapidly dissipates.  
19 If you have a slowly spreading emollient, then that skin  
20 smoothness persists over time. And different aspects could  
21 be advantageous in different skin diseases.

22                   So Barry Salka, Choosing Emollients. It's in  
23 Cosmetics and Toiletries.

24                   So the vehicle choice is an important factor in  
25 patient compliance. There is a huge dermatologic

1 literature that supports this. Often the prescribing  
2 physician today finds out about which vehicle to use simply  
3 by squirting it out on their own hand and letting their  
4 patients do this. Our thought is that we could better  
5 define the dosage forms so that they could know this up  
6 front, and we probably could capture some relevant vehicle  
7 properties to put in the description section.

8           Now, what will be the impact on stakeholders,  
9 especially with putting some specific pieces into the  
10 description section on relevant vehicle attributes? The  
11 innovators may find that they have just an absolutely  
12 superior proprietary manufacturing process that could  
13 reduce generic competition. I mean, that's one plausible  
14 outcome.

15           On the other hand, the generics have been  
16 incredibly good at reverse engineering, and if they have  
17 these specific attributes of viscosity or spreadability,  
18 they're going to have targets to achieve so that the  
19 generic product is actually going to have greater sameness  
20 with the innovator. Right now, one of the disturbing  
21 things one hears from dermatologists is you can take the  
22 innovator, squirt it in one hand, take the generic, squirt  
23 in another hand, and they may work the same in terms of  
24 reducing the psoriasis, but they have a very different  
25 feel, and patients may like the one better than the other.

1           Health care providers. This would be a more  
2 informed choice among products if they have really good  
3 dosage form definitions and if they have some additional  
4 attributes listed in the description section. Of course,  
5 the patients are the ultimate winners. If they end up with  
6 a product that they really like and are going to use, then  
7 they're going to have better control of their skin disease.

8           So looking ahead and breaking this down into  
9 the two parts, one is the dosage form part. I think USP  
10 and FDA have a really nice way of thinking about this  
11 process. Ultimately it will need industry, academia, and  
12 the professional societies to buy into this, but I think  
13 this already has a very good start.

14           The second part, whether we want to add  
15 something to the description section of labeling that  
16 describes relevant vehicle properties, relevant in the  
17 patient care setting, I think the innovator and possibly  
18 the generic industry already have the methods and the  
19 terminology. I think they actually develop their vehicles  
20 with this in mind. But it's something that doesn't come to  
21 FDA in the IND or NDA review process. We just simply don't  
22 see this kind of optimization of the vehicle.

23           So I think industry is going to have to lead  
24 this. I think that's where the storehouse of all this  
25 innovative information would be, and if industry decides

1 that this is desirable, to use a phrase we heard in the  
2 last section, if there's the "political will," then I think  
3 industry must be leaders in this effort.

4 Thank you.

5 DR. KIBBE: Do you want to take questions or do  
6 we want to go through all of them before questions?

7 DR. SHEK: Just a general question. I think we  
8 talked here about medicated topicals. What about the whole  
9 cosmetic industry? If I go and buy a wrinkle-free liposome  
10 cream formulation, will that also apply to those products?

11 DR. WILKIN: So the question is, would the  
12 discussion we're having today also apply to cosmetics as  
13 well as to -- you know, I think if we start out with drugs  
14 and can get the topical drug products sort of in order, the  
15 cosmetics may decide to adopt the same sort of terminology.

16 As you know, a lot of the cosmetics is, if you will,  
17 regulated by industry. It's sort of a different  
18 philosophy. FDA becomes involved when there are problems  
19 with a product. But I think if we have a compellingly  
20 logical system, it may be something that they would want to  
21 adopt.

22 DR. SHEK: Just looking at the consumers being  
23 confused out there when they buy topicals, whether it's  
24 medicated or nonmedicated, if they'll start defining  
25 differently -- I don't know. Maybe the cosmetic industry

1 does it that way because they are so consumer oriented.

2 DR. CHIU: The cosmetic industry is not  
3 regulated as closely as drugs. In terms of whether they  
4 can make certain claims, if they make a drug claim, then it  
5 would be regulated as an OTC product. But if they don't  
6 make a drug claim, then they can market it as cosmetics.  
7 Like wrinkles, it's sort of borderline. Some of the  
8 wrinkle creams are actually prescription drugs and some are  
9 cosmetics.

10 DR. WILKIN: Well, I could add to that. I  
11 think if you look at the wrinkle products that are  
12 cosmetics, they say, "improves the appearance of." If you  
13 look at the drug products, it actually says, "to treat."  
14 That's one of the distinctions. It's subtle. I realize  
15 that.

16 And the other aspect in DDMAC, we have a group  
17 that looks at advertising for all of the prescription  
18 preparations, but it falls pretty much to the FTC for over-  
19 the-counter products and for cosmetics.

20 DR. SHEK: Just if I may as a follow-up, one  
21 concern I'm looking at here is that we will draw or  
22 distract the attention from the therapeutical optimization  
23 of the dosage form or the formulation. I know when you  
24 develop this product, you are trying to optimize their  
25 penetration through the skin or whatever the purpose is

1 when you design the vehicle. And now, we are going somehow  
2 maybe to distract their attention from just appearance or  
3 description and not looking at their therapeutic efficacy  
4 of the two preparations.

5 DR. WILKIN: I think that's an excellent point.  
6 That's something that we don't want to lose track of that  
7 piece. We know that the vehicle contributes to the success  
8 of the topical preparation in a variety of ways. One, of  
9 course, the vehicle participates in several of the main  
10 components of what controls passage across the barrier, the  
11 stratum corneum. Clearly the solubility in the vehicle  
12 provides for the actual concentration of dissolved drug,  
13 and it's only dissolved drug that acts in the concentration  
14 gradient. If you have some that's not dissolved, it's not  
15 participating in the gradient. Likewise, the vehicle plays  
16 a role in the partition coefficient. The vehicle can  
17 actually have independent effects on the stratum corneum  
18 and can modify what is the apparent diffusion coefficient.

19 And then in addition to that, it has some of  
20 these other aspects that may somehow be different and they  
21 may be smoothness, let's say, over time, but that might be  
22 one of the pieces that a psoriasis patient actually  
23 appreciates having that smoothness. They're more likely to  
24 use the product. They're more likely then to get the  
25 corticosteroid that's in that product into the psoriasis



1 lesion. So at the end of the day, it's not something that  
2 is involved in the thermodynamic aspect of getting active  
3 in, but I think it still contributes.

4 We have the saying in our division that the  
5 vehicle is composed of inactive ingredients, but it's not  
6 inactive and it really isn't. It contributes some very  
7 positive things. I think we haven't recognized that as  
8 much in the past.

9 DR. HOLLENBECK: I ask this question out of  
10 ignorance. Does a generic topical have to have exactly the  
11 same name? For instance, if I have a 2 percent  
12 hydrocortisone ointment, if I want a generic product, would  
13 it be called exactly the same thing?

14 DR. WILKIN: It might have a different brand  
15 name, but it would still have to have that same technical  
16 name of hydrocortisone 2 percent. Dr. Hussain actually  
17 mentioned earlier that identical labeling is a key piece.  
18 There must be identical labeling in all those relevant  
19 areas between the innovator and the generic.

20 DR. HOLLENBECK: And that's my question. The  
21 label would have to include, for instance in this example,  
22 ointment.

23 DR. WILKIN: Yes, that's correct.

24 DR. CHIU: Yes. We discussed this in our  
25 working group. We had OGD representatives. They told us

1 they have to be exactly the same. The name must be exactly  
2 the same.

3 DR. HOLLENBECK: And I guess my question comes  
4 from trying to get my hands around the real issue here.  
5 This is one of the real issues. You would have two  
6 products that could have the same name, yet be  
7 substantially different in their formulation.

8 DR. WILKIN: I wouldn't make that an innovator  
9 versus generic issue. I would submit that's plausible even  
10 in the innovator versus innovator issue. You could have  
11 one innovator with the same corticosteroid and another and  
12 they're both called lotions, and yet there would be  
13 substantial differences between the lotion qualities, if  
14 you will.

15 DR. KIBBE: Go right ahead.

16 DR. KAROL: It seems to me the objective here  
17 is to develop science-based classification and  
18 descriptions, and I'm wondering whether that can be done  
19 with such issues as smoothness and spreadability. Is there  
20 any scientific basis for describing something as smooth or  
21 less smooth and so on?

22 DR. WILKIN: A good question. I think there  
23 are actually two separate aspects to this. One is defining  
24 dosage forms. I think the group is taking great pains to  
25 not have such subjective pieces go into the definition of

1 the dosage forms. There may be some temporary things in  
2 there, but we're really sensitive to that and we'd like to  
3 make it as objective and something that one does with a  
4 physical experiment to the extent possible.

5 On the other hand, I think there are some  
6 subjective things that might be permissible, if they can be  
7 documented to be clinically relevant and vehicle-dependent,  
8 that could go into the description section.

9 So I see sort of the rough guide as getting the  
10 dosage forms defined appropriately and exclusively so that  
11 you don't have the problem we have now where some things  
12 look pretty much the same but one is called a lotion and  
13 one is called a cream.

14 And then the other part is thinking about --  
15 and this is much further into the future -- can we do  
16 something with the description section that will be  
17 informative.

18 DR. KIBBE: Marv, go ahead.

19 DR. MEYER: The CDER Data Standards Manual that  
20 was in the backgrounder has some definitions. Are these  
21 the ones that are currently in use or proposed?

22 DR. WILKIN: We're actually going to have  
23 another speaker to that.

24 DR. CHIU: Those are actually for our database.  
25 So they're very rough standards. Basically we use the USP

1 standards, and now we are proposing different definitions  
2 for some of the dosage forms or maybe some modified  
3 definitions.

4 DR. MEYER: I thought it was interesting that  
5 this list really shows the difficulty inherent in this  
6 topic. For example, under salve, it says, somewhere  
7 between an ointment and a plaster, but doesn't define what  
8 a plaster is. So now you need another definition.

9 DR. CHIU: That's right.

10 DR. MEYER: Under tincture, alcoholic. It  
11 doesn't say what kind of alcohol.

12 DR. CHIU: But tincture actually is defined in  
13 USP.

14 DR. MEYER: Okay. Hydro-alcoholic is also  
15 defined?

16 DR. CHIU: Yes.

17 DR. MEYER: Not in terms of percentage, though,  
18 or does it? It is? Okay.

19 DR. CHIU: Those are USP definitions.

20 DR. KIBBE: Is there anyone else?

21 DR. RODRIGUEZ-HORNEDO: Briefly one comment.  
22 Is your initiative similar to what went with the process  
23 analytical technology initiative from industry where you're  
24 inviting industry leaders to come forward? Has there been  
25 an answer to that invitation?

1                   And secondly, to what extent can some of these  
2 maybe subjective measures of the feeling of the  
3 formulations can be correlated to some chemometric  
4 measurements or something along those lines?

5                   DR. WILKIN: Well, if you're talking about the  
6 dosage form definition part, I think this is the meeting  
7 where this is the invitation to get everyone thinking about  
8 this. And likely there will be a draft FR notice at some  
9 point. There will be some way of getting input, I would  
10 think.

11                  DR. CHIU: Yes. When we discuss the questions,  
12 we actually are looking for other technologies or  
13 methodologies which can measure certain parameters which we  
14 have not included if you consider them essential.

15                  DR. DeLUCA: I guess I certainly applaud the  
16 efforts to try to standardize the nomenclature here. I  
17 guess in your slides here, you certainly have gone back as  
18 long as maybe folklore for this and the time when there  
19 wasn't really any of the sophisticated analytical  
20 techniques to make measurements.

21                  It seems that if you're going to come up with  
22 nomenclature, it has to be science-based. These different  
23 dosage forms, it seems to me, have different thermodynamic  
24 activity, different physico-chemical properties, the  
25 structure, the morphology. There are differences here, and

1 I think we have to look at what types of equipment and  
2 analytical techniques for characterization are available  
3 now, like atomic force measurements and that sort of thing,  
4 that have to be, I think, part of this to be able to define  
5 these dosage forms. What makes something a lotion as  
6 opposed to a cream by virtue of some physical measurement  
7 or some property that can be actually defined?

8 DR. WILKIN: So you're actually describing then  
9 two stages. The first is figure out what you really think  
10 are the relevant essential properties of, say, a lotion or  
11 a cream, and then figure out what the assay technology  
12 would be to document that those properties are within the  
13 certain specs for that.

14 DR. CHIU: We come with the proposal based on  
15 our own laboratory data which we use science criteria.  
16 Actually we did an empirical experiment. Our laboratory  
17 prepared placebo ointment and cream and then passed it  
18 around to everybody on the working group. It actually made  
19 several preparations, four or six, and asked people to  
20 identify which one would feel like an ointment, which one  
21 felt like a cream. And based on the criteria we have  
22 established, we had consensus. Everybody figured it right.  
23 So, therefore, we believe our data supports our proposal  
24 based on this empirical experiment.

25 DR. KIBBE: Thank you. I think we probably

1 could move on and come back to a whole slew of potential  
2 questions.

3 I would just like to comment that the creation  
4 of mutually exclusive definitions for dosage forms and  
5 consistent terminology is a wonderful goal.

6 DR. BUHSE: If not a difficult one, right?

7 Hello. I'm Cindy Buhse, and as Dr. Chiu said,  
8 I'm the Deputy Director for the Division of Pharmaceutical  
9 Analysis, and we actually do collect data in our lab. So I  
10 want to go through some of the data we collected to try to  
11 help distinguish between creams and lotions, et cetera.

12 I've just thrown up here some of the  
13 definitions that are included in your packet in the CDER  
14 standards manual. You can see they're fairly broad:  
15 creams, a semi-solid dosage form. A lotion is used to  
16 describe any topical solution intended for application to  
17 the skin. You can see there's really no distinguishing  
18 between any of these definitions. So we tried to use some  
19 data to see if we could figure this out.

20 We looked at a lot of different things for  
21 about 50 different topical dosage forms. We looked at  
22 basically what's their base composition, what are they made  
23 of. We looked at some of the physical properties that I  
24 think we've talked about here. You really can't get away  
25 from, even though you'd like to, things like appearance and

1 feel which tend to be very subjective.

2           And then we tried rely, as much as we could, on  
3 the physico-chemical properties, so those things you could  
4 actually measure with an analytical instrument, and here's  
5 a list of some of the properties that we looked at.

6           I just wanted to briefly go over what we did  
7 with appearance and feel, in addition to passing samples  
8 around. One of the things we obviously tried to look at in  
9 appearance is, is it clear, is it opaque. You can imagine  
10 that there are some trends. Gels tend to be clear or  
11 translucent. Creams are opaque. We also looked at does it  
12 seem viscous, does it seem liquidy. We put a drop on a  
13 microscope slide and basically looked at does it form a  
14 stiff peak, does the peak fall over, is it soft or does it  
15 spread out and form no peak. So we tried to look at some  
16 things that are still subjective but maybe could be a  
17 little bit more nailed down.

18           In terms of feel, there's greasy versus non-  
19 greasy, and there's a cooling sensation. As something  
20 evaporates from your skin, you get that cooling sensation.

21       So we tried to capture that as well for all these  
22 formulations that we looked at.

23           We also looked at microscopy at 400 times,  
24 looking for two phases, one phase, particles suspended, not  
25 suspended, that type of thing.



1           I'm going to start with creams and lotions. We  
2 started with a variety of creams and lotions, and we did a  
3 multivariate analysis looking at viscosity, surface  
4 tension, specific gravity, and loss on drying. Viscosity  
5 was done using a Brookfield viscometer at 5 rpms at 25  
6 degrees C, so we took it as a single point since most of  
7 these obviously are non-newtonian. Loss on drying was done  
8 at 105 degrees in an oven for 24 hours or to constant  
9 weight.

10           You can see in the upper left the scores plot.  
11 This puts the different formulations and clusters them  
12 together based on their different properties. You can see  
13 that using these variables, lotions are kind of clustered  
14 together and creams are kind of clustered together. So  
15 this analysis did separate lotions from creams, but the  
16 main separating parameter was actually viscosity. So  
17 viscosity was the most significant variable that we found  
18 that separated lotions from creams.

19           So we then took a broader range of lotions and  
20 creams than just this and took a look just at viscosity.  
21 Here's an example of some of our data. You can see that  
22 lotions do have a lower viscosity than creams on average,  
23 but there was some overlap between around 30,000 centipoise  
24 up to just under 100,000 centipoise.

25           So we went back and took a look at those

1 lotions and creams that seemed to overlap and tried to  
2 determine what separated them. One thing we wanted to say  
3 about lotions was that creams are semi-solids and lotions  
4 are not. We wanted lotions to be a liquid. So, therefore,  
5 we wanted a lotion to be pourable.

6           So we went back to these creams and lotions and  
7 determined which ones were pourable and which ones were  
8 not. We found that the ones under 30,000 centipoise were  
9 in fact still pourable even though right at 30,000 you're  
10 kind of more like ketchup. So it's very slowly pourable,  
11 but they were still pourable.

12           So one of the criteria we put down on lotions  
13 is that they need to be pourable, and for us that meant a  
14 viscosity of less 30,000 centipoise at the conditions I  
15 mentioned earlier.

16           We also then took a look at viscosity when  
17 trying to separate creams from ointments. There are still  
18 some trends here. Ointments tend to be fairly viscous. If  
19 you feel them, they seem viscous, and we see that even in  
20 viscosity. You can see for all the ointments we tested  
21 there, viscosity was greater than 500,000 centipoise.

22           But there is a huge overlap between creams and  
23 ointments. You can see it's about a 300,000 centipoise  
24 overlap. So we didn't want viscosity to be a determining  
25 factor between creams and ointments.

1                   What we did find between creams and ointments  
2 was loss on drying or the volatility of the vehicle. Some  
3 of this goes back to, I think, what Dr. Wilkin was talking  
4 about. How long does it stay on your skin? What are you  
5 expecting it to do once you put it on your skin?

6                   What we found was that, for the most part, the  
7 ointments had LODs less than 20 percent, and so they  
8 weren't losing very much weight over the time spent in the  
9 oven, and that all the lotions we looked at had greater  
10 than 50 percent LOD.

11                   We did have one ointment, you can see there at  
12 the end, that was above the 20 percent. This is where we  
13 came down to feel and appearance. This is one of the  
14 borderline cases which we took and passed around the table  
15 and asked people to put it on. Do you think it's an  
16 ointment? Do you think it's a cream? And everyone  
17 unanimously thought it was a cream based on what they felt  
18 in putting it on their skin and just feeling it. So we  
19 stuck with the 20 percent LOD for ointments.

20                   The other thing that obviously is very  
21 important is the chemical composition. We looked at the  
22 percent of hydrocarbon or polyethylene glycol content in  
23 the vehicle. Once again, we saw some trends. Ointments  
24 tend to have very high hydrocarbon content or polyethylene  
25 glycol content, typically above 80 percent, and lotions and

1 creams tend to be more water-based although not always. So  
2 we did also decide the criteria, that ointments need to  
3 have a percent hydrocarbon or polyethylene glycol of  
4 greater than 50 percent.

5           You can see there's one ointment on this graph  
6 that does not meet that criteria and that is the exact same  
7 sample that you saw in the previous slide that had the LOD  
8 of greater than 20 percent.

9           Not surprisingly, there is a trend between the  
10 chemical composition and the loss on drying. I just put  
11 this slide in to show you that as you have more hydrocarbon  
12 or polyethylene glycol content, you have less loss on  
13 drying.

14           So we have some scientific criteria that are  
15 separating creams from lotions and creams from ointments.

16           We also took a look at quite a few gels and  
17 gels are tricky. We looked at a lot of the same  
18 parameters. Gels usually go across a fairly low viscosity  
19 range; 10,000 to 70,000 centipoise is what we found in our  
20 lab. They have a very high loss on drying. They're  
21 usually water- or alcohol-based. They tend to be water  
22 soluble but not always. If you put them in a high humidity  
23 environment, they sometimes will absorb water; sometimes  
24 they won't. If you dry them, they'll sometimes dry in a  
25 thin film and sometimes they won't.

1           We also did thermogravimetric analysis on them,  
2 and I'll show you an example of that in a minute. We did  
3 note that gels seemed to have fewer transitions than creams  
4 or lotions.

5           They always contain a gelling agent. Most of  
6 the ones that are available on the market contain carbomer.

7           As I mentioned earlier, they tend to be clear  
8 or translucent but not always. There are quite a few gels  
9 on the market that are still opaque, and if you looked at  
10 it, you wouldn't necessarily know it was a gel versus a  
11 cream if you were just to look at it.

12           They tend to be non-greasy and cooling.

13           We also found no specific trend in microscopy.  
14 We tried to see if we could see something there, but we  
15 couldn't really.

16           I just wanted to show you the TGA data because  
17 it is kind of interesting and we are pursuing it further.  
18 This is an example of two different drugs that have several  
19 different formulations and manufacturers on the market.  
20 You can see drug B. There are four different creams  
21 currently on the market and two different gels for the same  
22 active drug. You can see that the gels tend to have a  
23 single transition for water. That's the light blue and the  
24 light green line. Whereas, the cream, you can kind of see  
25 some multi-transitions. If you read the literature about

1 that, it's often described that creams have two kinds of  
2 water in them. They have what you call free water and then  
3 you have water that's bound up in the emulsion which may  
4 have a different transition temperature. A true gel, where  
5 you have a three-dimensional structure with a solvent in  
6 it, you would expect the solvent itself maybe to just have  
7 one environment that it's in. So we kind of are seeing  
8 some of that with this TGA data, and we are pursuing this  
9 further. You see the same trend over with the drug C which  
10 comes as a lotion, a cream, and a gel.

11 Just to summarize a little some of the data  
12 we've done in the lab. I think, as Dr. Chiu indicated  
13 earlier, we would like your input as to further techniques  
14 we could use to distinguish between these different dosage  
15 forms.

16 We found that lotions were pourable with the  
17 viscosity of less than 30,000 centipoise and they had a  
18 very high loss on drying as they were mostly aqueous based.

19 Ointments have a very low loss on drying  
20 because of their hydrocarbon or polyethylene glycol  
21 content.

22 Gels. We did see that they have quite a bit of  
23 gelling agent, but we would like advice on further  
24 determining how to separate gels out, especially from  
25 creams.

1           And then Dr. Chen will give you more details on  
2 the definitions we came up with based on this data.

3           DR. KIBBE: Questions? Gary, do you want to  
4 jump in or do you want to wait?

5           DR. HOLLENBECK: Let me ask a couple then.  
6 Stop me when you want me to stop, Art.

7           First of all, your viscosity testing. Why did  
8 you decide on 5 rpms?

9           DR. BUHSE: We wanted a low sheer, so we chose  
10 5 rpms. And we chose room temperature. There was a lot of  
11 discussion about whether to choose the temperature the drug  
12 is actually at, the temperature of the skin. You could  
13 make arguments every which way. What we did for this work  
14 was room temperature and the low sheer, 5 rpms. If you  
15 look at the literature, there's a variety of different --

16           DR. HOLLENBECK: Sure. I understand it's a  
17 challenge.

18           Did you shake things up before you measured it?

19           DR. BUHSE: What we did is we equilibrated  
20 everything. None of the formulations we used separated.  
21 I'll just say that first. They were all well emulsified or  
22 gelled. And we equilibrated them at 25 degrees for 24  
23 hours before we measured viscosity on them.

24           DR. HOLLENBECK: 24 hours, okay.

25           I guess my other sort of analytical question

1 is, why didn't you measure moisture content or water  
2 content instead of doing LOD?

3 DR. BUHSE: We had moisture content. We had  
4 the formulation, so we knew how much water had been put in  
5 already just based on the applications to the agency.

6 We looked at LOD because we wanted to pick up  
7 everything that was volatile in the formulation, not just  
8 the water. There are alcohol or other agents in there that  
9 may be volatile but you wouldn't pick up in a moisture  
10 analysis.

11 DR. KIBBE: Does anybody else want to chime in?  
12 Do you have a question, Wolfgang?

13 DR. SADEE: Yes. I just have a very minor  
14 comment here on the definition of a cream. It's a semi-  
15 solid dosage form containing one or more drugs. So if it  
16 doesn't contain any drugs, it's not a cream?

17 DR. BUHSE: I think that's from the Data  
18 Standards Manual. I don't know if you want to address  
19 that.

20 DR. CHIU: Well, we're not going to use that.  
21 You will hear our proposal later.

22 DR. SADEE: And then viscosity is done, you  
23 say, at room temperature. Do you specify that? What  
24 temperature are you actually talking about?

25 DR. BUHSE: 25 degrees C was what we



1 considered. We wanted to make sure everything was at the  
2 exact same temperature, so that's what we chose.

3 DR. MEYER: In the case where you're comparing  
4 viscosity or loss on drying for the various products, these  
5 are actually marketed products? Is it possible then that  
6 where there was overlap or they weren't classified in a  
7 distinctive way, that they were just mislabeled?

8 DR. BUHSE: Yes, there were several. I  
9 mentioned the one product that was labeled as an ointment  
10 that we felt was more a cream. There were several lotions  
11 you saw that were above the 30,000 centipoise. So with  
12 these new definitions, we would consider those to be creams  
13 rather than lotions, yes. So we did look at over 50  
14 different drugs, but we did not make the assumption that  
15 they were labeled correctly. We just tried to look for  
16 trends, and then some of them ended up not being labeled  
17 the way we would necessarily want to label them in the  
18 future if our definitions are adopted.

19 DR. DeLUCA: There's quite a bit of information  
20 in the literature on rheological behavior of these forms.  
21 I'm just wondering whether you looked at that aspect of it.

22 DR. BUHSE: Yes. We did a lot of literature  
23 reading and looking at the rheological behavior. All of  
24 these are non-newtonian and they're all different in terms  
25 of what kind of behavior they have.

1                   We thought about looking closer at the  
2 rheological properties of everything. For our first cut  
3 here, we tried to keep it simple. We just picked a single  
4 point, but that would certainly be one area we could go  
5 into in the future.

6                   DR. KIBBE: Ajaz.

7                   DR. HUSSAIN: I think Pat makes a very good  
8 point, and I think as we go towards the complexity of the  
9 flow behavior, I think you might see certain other  
10 attributes that fall off. In fact, from a use perspective,  
11 I think the rheology, whether it's thixotropic and so  
12 forth, will also be linked to possibly how effective its  
13 use on the skin itself. So I think that's a very good  
14 point.

15                   I had another question. I think Cindy showed  
16 on her first slide a figure where you're looking at a  
17 multivariate approach to classifying and looking at these  
18 attributes to see whether we can cluster and we can do  
19 this. She didn't mention that was a principal component  
20 analysis, the study that she has done.

21                   DR. KIBBE: Anybody else?

22                   (No response.)

23                   DR. KIBBE: I think you're off the hook for a  
24 few minutes.

25                   DR. BUHSE: You can ask later.

1 DR. KIBBE: Don't worry. I'll ask you why you  
2 didn't look at magmas.

3 (Laughter.)

4 DR. CHEN: Good morning. I'm Chi-wan Chen,  
5 Director for the New Drug Chemistry III Division in the  
6 Office of New Drug Chemistry in OPS.

7 I think Dr. Buhse has the work cut out for me  
8 for my presentation. What I would like to present is our  
9 proposal on how to better define these problematic dosage  
10 forms for topical drugs.

11 As Dr. Chiu mentioned in her introduction, our  
12 task is focused mainly on the topical dosage forms that are  
13 for dermatological application. That is not to say that  
14 the same kind of approach, with or without any modification  
15 to some of these dosage forms, can be applied to topical  
16 dosage forms that are not applied to skin, in other words,  
17 mucous membranes.

18 Also, as alluded to earlier, our focus is on  
19 five particular dosage forms for which the currently  
20 existing system or definitions in either the USP or the FDA  
21 standards manual or in the literature are less than  
22 adequate and cannot distinguish among some of the dosage  
23 forms, namely between lotion and cream, gel and cream, or  
24 gel and lotion, cream and ointment, ointment and paste.  
25 That will be our focus.

1                   You will see that the system we are proposing  
2 to define these dosage forms consists of roughly four  
3 parts.

4                   One is a broad classification: liquid, semi-  
5 solid emulsion, suspension. That is the first component of  
6 our system.

7                   The second part of the definition has to do  
8 with chemical composition and/or physico-chemical  
9 properties.

10                  The third one is the appearance, the feel.

11                  And the fourth one is perhaps loosely linked to  
12 the spreadability that Dr. Wilkin mentioned earlier, the  
13 feel when applied rather than just how it looks.

14                  So to start out, gel. We felt it was easy when  
15 we started out. It always contains a gelling agent in  
16 sufficient quantity that it will form a three-dimensional  
17 cross-linked matrix.

18                  But then as we looked a little bit closer, we  
19 found some difficulties. How do you define "sufficient"?  
20 Now, although this is mentioned in some literature  
21 articles, we don't know whether we can actually quote those  
22 numbers. As you know, these numbers certainly will vary.  
23 The absolute amount or even the relative amount may vary  
24 from one gelling agent to another or from one preparation  
25 to another.

1           The next question is the three-dimensional,  
2 cross-linked matrix. Do we have to have some easy physical  
3 measurement to be part of this definition so that there is  
4 another tool that can be used to distinguish this dosage  
5 form from any other overlapping dosage form, namely cream  
6 and lotion, as I'll get into when I get to those two dosage  
7 forms?

8           It's usually translucent or clear and is not  
9 greasy. It provides a cooling sensation when it's applied  
10 to the skin.

11           A paste -- we thought we could easily tease  
12 this one out too -- as a broad category is a suspension  
13 semi-solid. In terms of composition, it contains a large  
14 proportion, i.e., 20 to 50 percent, of solids dispersed in  
15 a vehicle that's either aqueous or fatty. It's opaque.  
16 It's viscous. It's greasy to mildly greasy. In terms of  
17 application, it adheres well to the skin and forms a  
18 physical barrier, a protective layer.

19           A lotion is a liquid. As far as we can tell, I  
20 don't think we will find a lotion that's a suspension. I  
21 think a liquid suspension clearly belongs to a suspension.

22           So right now we're proposing that a lotion is an emulsion  
23 liquid. It generally contains a water-based vehicle with  
24 more than 50 percent of volatiles, as measured by loss on  
25 drying.

1                   The next feature is the viscosity. It has  
2 sufficiently low viscosity. We consider a lotion a liquid  
3 and this viscosity should be sufficiently low that it can  
4 be poured. We find that cutoff to be 30,000 centipoise, as  
5 Dr. Buhse mentioned earlier. And this sets apart a lotion  
6 from cream. We will visit that briefly again when we get  
7 to cream.

8                   It's opaque and non-greasy, and it tends to  
9 evaporate rapidly with a cooling sensation when applied to  
10 the skin.

11                   Ointment is an emulsion or suspension semi-  
12 solid. In terms of chemical composition, it generally  
13 contains more than 50 percent of hydrocarbons or  
14 polyethylene glycol as the vehicle and -- and this is a  
15 capital "and" -- less than 20 percent of volatiles as  
16 measured by LOD. It is translucent or opaque, and it's  
17 viscous and it's greasy. It tends not to evaporate or be  
18 absorbed when applied to the skin.

19                   Cream as a category gave us the most difficulty  
20 and it's most challenging. As you probably can agree, we  
21 almost have to say cream is a default. When it's not an  
22 ointment, not a gel, not a lotion, it's a cream.

23                   (Laughter.)

24                   DR. CHEN: Basically that's what it boils down  
25 to.

1           Chemical composition-wise, unlike an ointment  
2 it doesn't contain more than 50 percent of hydrocarbons or  
3 PEG. It does not contain less than 20 percent volatiles.  
4 In other words, it generally contains less than 50 percent  
5 of hydrocarbons or PEG or more than 20 percent of volatiles  
6 or both. That's in terms of chemical composition what a  
7 cream would be.

8           It's viscous compared to lotion, as I mentioned  
9 earlier, and it's not pourable as compared to lotion.

10           In terms of appearance, it's generally opaque.  
11 It's viscous and it's non-greasy to mildly greasy, but not  
12 extremely greasy.

13           It tends to mostly evaporate or be absorbed  
14 when rubbed onto the skin.

15           In terms of comparison to gel, we know some  
16 creams seem to contain a gelling agent, and I think that  
17 the TGA data show that these creams, though containing a  
18 gelling agent, do have multiple transitions. So we are  
19 inclined to still keep them as creams, and perhaps the role  
20 of the gelling agents present in these creams is as a  
21 thickening agent.

22           On the other hand, some gels are opaque because  
23 of the presence of an emulsifier, and I don't know if we  
24 will leave them. I think we probably will leave them as a  
25 gel if we can show that it has the three-dimensional

1 structure by way of TGA, or maybe there's a better method  
2 than TGA.

3           Then lastly as far as cream, we wonder if it  
4 may be useful to separate the creams into two categories,  
5 hydrophilic versus lipophilic, for the benefit of the  
6 clinicians and patients. Perhaps it will be useful for  
7 them to know one versus the other. But that's one of the  
8 questions that we will present to you.

9           Next I will just present a decision tree not  
10 necessarily as part of a proposal, but as a tool to aid the  
11 thinking process when you are given a topical dosage form.

12       This may be a good exercise or thought process to get you  
13 to where it belongs. This really is a parallel to our  
14 proposed definitions and it's based on the data from the  
15 lab on the select products and chemical composition data  
16 from NDAs and ANDA products approved in recent years.

17           Again, we are limiting this exercise or this  
18 decision tree to dermatological applications, and the goal  
19 of the first test is to tease out those dosage forms that  
20 we are now focusing on.

21           So the question we ask is, is it a liquid  
22 emulsion or a semi-solid emulsion or suspension? If it's  
23 none of the above, it has to be a solution, which is  
24 clearly defined in the standards and literature, an  
25 aerosol, a powder, or a suspension. I think both USP and



1 the FDA standards manual have clear definitions of  
2 suspension, which is defined as a liquid preparation  
3 containing solids dispersed in a liquid phase.

4 Now, if the answer to this test is yes, then  
5 you go to all the branches down in the tree.

6 The first test after that is whether the  
7 preparation contains a gelling agent in sufficient quantity  
8 to form a three-dimensional, cross-linked matrix. Again,  
9 we're not sure how to define sufficient and we're still  
10 exploring what the best method is to clearly demonstrate  
11 that there is a 3D matrix.

12 But if the answer is yes, it's a gel. It goes  
13 to the left in the green box. And if the answer is no,  
14 then you continue the exercise.

15 Test 3 asks the question whether the  
16 preparation contains a large proportion of solids dispersed  
17 in the vehicle. And if the answer is yes, it's a paste.  
18 We actually haven't come across very many pastes in the  
19 FDA-approved products. There is an over-the-counter zinc  
20 oxide and maybe a couple of others. But we thought this is  
21 a clear feature that can separate paste from the rest. If  
22 the answer is no, then you go to test 4.

23 Test 4 asks the question whether it contains  
24 more than 50 percent of volatiles as measured by LOD. You  
25 branch out from this point on. If the answer is yes, you

1 go to 5a underneath. If the answer is no, then you go to  
2 the right to 5b.

3 5a is a test that asks the question whether the  
4 preparation is a pourable liquid with viscosity less than  
5 30,000 centipoise. If the answer is yes, it's a lotion.  
6 If the answer is no, it's a cream. You can see how we view  
7 cream as a default. It's a no, no, no. Then you end up  
8 with cream.

9 Test 5b, where you end up on the right-hand  
10 side after test 4, asks the question whether the  
11 formulation contains more than 50 percent of hydrocarbons  
12 or PEG as the vehicle and less than 20 percent of  
13 volatiles. If the answer is yes to both, then it's an  
14 ointment. If the answer to either is no, then you end up  
15 with a cream. Again, it's another indication that it's a  
16 default compared to ointment.

17 So I hope our proposal is a step in the right  
18 direction. Hopefully we have put some boundaries to better  
19 define these dosage forms and not to stifle future  
20 innovations.

21 DR. KIBBE: Any specific questions? Gary?

22 DR. HOLLENBECK: Do you want to entertain  
23 questions on the decision tree now or do you want to wait  
24 until we get to the end?

25 DR. CHIU: I think we could do it later at the

1 end when we do the discussion.

2 DR. SELASSIE: I have a question.

3 DR. KIBBE: Over here then.

4 DR. SELASSIE: You know the way you delineate  
5 what's a cream it's based on whether it's hydrophilic or  
6 lipophilic. That's based on the continuous phase. What  
7 happens, for example, when your continuous phase is a fatty  
8 ester, often alcohol and acid? Then doesn't that change?  
9 Does it change hydrophilicity?

10 DR. CHEN: I think it's the vehicle that  
11 defines whether it's lipophilic or hydrophilic.

12 DR. SELASSIE: Right, but I'm talking about the  
13 oil in water. Sometimes you use these fatty acids and  
14 fatty alcohols and use the esters.

15 DR. CHEN: And the vehicle is aqueous.

16 DR. SELASSIE: Right. It doesn't have a great  
17 impact on the overall hydrophilicity?

18 DR. CHEN: I think when we say hydrophilic, we  
19 mean it's oil in water.

20 DR. SELASSIE: You're strictly basing it on  
21 what the continuous phase is.

22 DR. CHEN: That's right, yes.

23 DR. SELASSIE: Okay.

24 DR. KIBBE: Leon?

25 DR. SHARGEL: I was curious about the exclusion

1 of suspensions as lotions. As I recall in the USP, there's  
2 a white lotion, a calamine lotion. At least there were  
3 older articles. And those are suspensions. There are  
4 several products that are suspensions that are considered  
5 by the public in its use as lotions. Is there any thought  
6 process in that?

7 DR. CHEN: We feel the definition of suspension  
8 as it currently exists is fairly clear, and the solids are  
9 dispersed in the liquid and it needs to be shaken before  
10 use. It separates, while lotion doesn't separate.

11 DR. SHARGEL: From the concept of the consumer,  
12 the consumer would think calamine lotion is a lotion, not  
13 necessarily a suspension. And how would we then  
14 distinguish if a manufacturer makes a suspension to be used  
15 as a lotion?

16 DR. CHEN: Hopefully this definition we're  
17 providing will clearly separate suspension from lotion.

18 DR. HUSSAIN: I think the point being made is,  
19 in a sense, we already call a suspension lotion, and that  
20 is well established, well recognized. Calamine lotion, for  
21 example. So that falls out from this decision. That's the  
22 point I think Leon is making.

23 DR. CHEN: Yes. I think the products that FDA  
24 oversees and approves may have to be revisited -- some of  
25 them -- if our proposed definition is to be adopted. But

1 some of the products that are truly OTC or are cosmetics,  
2 we wouldn't be able to touch them.

3 DR. KIBBE: I have Marv and then Pat I think  
4 had his light on.

5 DR. DeLUCA: Well, I wanted just to follow up.

6 DR. KIBBE: Why don't we get Marv and then you  
7 and then Leon goes, and Wolfgang, you've got your light on  
8 or off? Do you want to speak or not?

9 DR. SADEE: It's off.

10 DR. KIBBE: Okay. Marv.

11 DR. MEYER: Is there, from a regulatory point  
12 of view, a problem with a formal definition that could  
13 change terms like "generally," "tends to," "mostly" -- that  
14 appears in numerous cases -- "usually." That gets a waffle  
15 in there. Is that a problem from a regulatory point of  
16 view?

17 DR. CHEN: We hope it won't be a problem  
18 because we'd like to provide clear enough distinction  
19 without being too strict. So there could be borderline  
20 cases that would be exceptions. But perhaps as we refine  
21 these definitions or gather more data, we might be able to  
22 better define them. I don't know if we necessarily want to  
23 lose some of the words that are sort of vague or general.  
24 I guess our fear is there may always be an exception, and  
25 that's the reason for choosing those words, "generally,"

1 "usually."

2 DR. CHIU: May I add to this? Although we have  
3 some loose description of the appearance or the feel,  
4 however we also have other criteria in terms of  
5 composition, in terms of viscosity, and the loss on drying.

6 So we believe in the totality of the criteria, we would be  
7 able to define a cream from a lotion and others in most of  
8 the cases. We cannot say there would be no exception, but  
9 we believe it will cover a lot of cases also.

10 DR. DeLUCA: I just wanted to follow up what  
11 Leon had. He gave the example of white lotion, which is a  
12 suspension. But also to come in with the process of making  
13 white lotion. So, in other words, just because you have a  
14 composition, if you don't add these in the right manner and  
15 under the right conditions, you won't get the same product.

16 Aside from the water and the hydrocarbon, I'm wondering  
17 how much importance you put on composition.

18 To me property is the way because we may have a  
19 new surfactant or gelling agent or something we don't even  
20 know about right now that comes down the pike. It seems to  
21 me that it's important to be able to base these definitions  
22 on property on some physical measurement or some  
23 thermodynamic activity, not even therapeutic performance  
24 because something may have the property of being a cream or  
25 gel but maybe not be effective. So I think that I just

1 wanted to kind of stress that some property or measurement  
2 or thermodynamic activity, structural behavior, or  
3 morphology should be the criteria for the definition rather  
4 than composition.

5 DR. CHEN: And I think we can continue that  
6 discussion in our questions and answers.

7 DR. KIBBE: Yes. One more and then we'll go to  
8 the next speaker. Then we can come back. I think all of  
9 the speakers are still here, so we can go back to  
10 individuals.

11 Did you have some, Efraim?

12 DR. SHEK: Yes, just a comment. We have  
13 systems which are thixotropic systems and sometimes you  
14 purposely use it. They might be in the container as very  
15 viscous, and when you pour them or when you agitate the  
16 system, they become liquids. We have to find a way to  
17 handle those because what the customer feels is maybe it's  
18 a cream. When it's in the container, maybe it's close to  
19 an ointment.

20 DR. KIBBE: Thank you.

21 Herb. You have plenty of time, Herb.

22 DR. CARLIN: Thank you. Well, it's a pleasure  
23 to be here today and to meet some of my old friends that I  
24 haven't seen in a number of years.

25 The USP has not devoted much time to topical

1 dosage forms in the past. We are in the process of coming  
2 up with a new taxonomy and a glossary, and this is a very  
3 timely meeting because the definition of lotion is  
4 something we'll discuss in a few minutes.

5 I'm going to give you a little history lesson.  
6 You've had some science. You've had some other types of  
7 information today, but I'm going to give you a little  
8 history on topical dosage forms for the USP.

9 I went back to USP XII because before that, the  
10 titles were all in Latin. I've forgotten everything I  
11 learned in high school, and that was a long time ago. And  
12 I followed through up until the recent. We'll just do this  
13 quickly.

14 From I through XII was titles in Latin.  
15 Nomenclature within the USP was assigned to a Committee on  
16 Scope of the Executive Committee. Attention in naming  
17 products was paid to existing monograph titles for  
18 tradition and at that time coordination with the NF because  
19 that was owned by the American Pharmaceutical Association.

20 Beginning with number XII, the titles changed  
21 to English, stayed with the Committee on Scope, and  
22 synonyms were deleted from the USP. That was a significant  
23 thing, and that was part of one of the Food, Drug and  
24 Cosmetic Acts that said there could only be one name for an  
25 item. It caused a little difficulty, but we got rid of



1 them. Lime water became calcium hydroxide solution, a very  
2 hot, competitive item. Silver nitrate pencils disappeared  
3 and became toughened silver nitrate. Zinc gelatin boot  
4 became zinc gelatin. And it was the first time that routes  
5 of administration were added to titles. Prior to this  
6 time, an ophthalmic solution was a solution. Now it became  
7 an ophthalmic solution. The same with otic solution and  
8 suspension.

9                   We were talking about zinc oxide, and it's  
10 funny how things pop back into your head. All I can  
11 remember is P into the Z. Or what is it?

12                   DR. DeLUCA: [Inaudible.]

13                   DR. CARLIN: If you did it the right way, you  
14 got white lotion. If you did it the wrong way, you got  
15 black lotion.

16                   (Laughter.)

17                   DR. CARLIN: It was always on the boards of  
18 pharmacy. I think the last time I did it was in 1954  
19 making powder papers for the Board of Pharmacy in Rhode  
20 Island. Or making suppositories in August when you put the  
21 cocoa butter on the platter, it just melted by itself. You  
22 didn't have to insert it anywhere.

23                   (Laughter.)

24                   DR. CARLIN: In 1980, the USP purchased the NF.  
25 It should make things simpler. There still was a

1 Committee on Scope, and there was some revision to the  
2 topical titles trying to get these things working together.

3 There was topical aerosols, aerosol solutions, solutions,  
4 solutions for irrigation and powders. And there was  
5 addition of two new topicals, emulsions and magmas. So,  
6 Arthur, we got your magma in there.

7 In 1985, finally the USP created a Drug  
8 Nomenclature Committee. It reviewed past decisions and  
9 recommended many changes to make the titles more user  
10 friendly for health care providers. It added drug topical  
11 solutions, drug gel, drug topical suspension, drug  
12 ointment, drug cream, and made the recommendation to get  
13 rid of lotions. Maybe if we had gotten rid of lotions in  
14 1985, we wouldn't have all the scientific work that's going  
15 on today. These recommendations were passed on to the next  
16 committee.

17 Oh, I should give you the definitions that we  
18 had in 1985.

19 Drug topical solution and drug topical  
20 suspension is the general format for monograph titles of  
21 topical liquid dosage forms. This nomenclature is intended  
22 to displace lotion terminology because lotion has been  
23 criticized as difficult to define with no physical meaning.

24 I guess since 1985 we're finally coming to the point of  
25 defining lotions.

1           I think they made a typographical error back  
2 then. They should have talked about topical emulsions and  
3 topical suspensions, but it's too many years ago.

4           Drug ointment is a preparation of one or more  
5 therapeutic agents in any of the various classes of bases  
6 described in chapter 1151 of Pharmaceutical Dosage Forms.  
7 So you've got to go read another section of the book.

8           Drug cream is a topical preparation that is  
9 formulated in an emulsion base. The term "cream"  
10 preferably pertains to semi-solid preparations in water-  
11 removable bases that are oil-in-water emulsions. 1985.

12           They had one for gel. Drug gel is a  
13 formulation in a water-soluble base and may be regarded as  
14 a greaseless ointment.

15           The committee from 1985 to '90 and '90 to '95  
16 got together and sort of ratified what the previous  
17 committee had suggested and published a stimulus article, a  
18 multi-page article in Pharmacopoeia Forum, January-February  
19 1991, entitled Nomenclature Policies and Recommendations:  
20 Review and Current Proposals and Decisions. And if you're  
21 interested in this nomenclature subject, that would be a  
22 nice one to go back to and read.

23           They came up with a new title, new dosage form  
24 -- and I'm confining myself now just to topicals -- of  
25 pledget. It's a vehicle carrying a topical solution.

1           In the '90s, we got into a lot of veterinary  
2 products and they added soluble powder, intramammary  
3 infusion, and topical gel.

4           There were three powder titles changed. They  
5 called them topical powders instead of just powders. And  
6 one water was changed to witch hazel. For any pharmacists  
7 present, you'll remember it was hamamelis water. It was  
8 too long for the label I guess, and they made it witch  
9 hazel. But now it's very difficult when you got into a  
10 taxonomy, where do you put witch hazel? Where do you stick  
11 paregoric? That was all part of getting rid of synonyms.  
12 The synonyms were more popular than the official titles,  
13 and maybe white lotion is going the same way.

14           There were two new veterinary products added in  
15 the topical area in 2000: concentrate for dip and uterine  
16 infusion.

17           In 2002, we formed a new committee called  
18 Nomenclature and Labeling Expert Committee. It became very  
19 obvious you can't separate the title of an item from its  
20 labeling. If you're going to get very specific in the  
21 title, then you'll have a title that's too long for the  
22 label. So you need to tie in certain labeling aspects.

23           And revisions to current monographs began to  
24 relate to packaging, like mineral oil enema became mineral  
25 oil rectal when suitably packaged, and light mineral oil to

1 topical light mineral oil when suitably packaged.

2 I'm going to spend a few minutes with you on  
3 the USP as it stands today. It's published every year now.

4 So it's USP 26, 2003.

5 There are 310 topicals in the USP. As liquids,  
6 there are 108. One is an emulsion. Three are suspensions  
7 and 78 are solutions. And if you add those up, it doesn't  
8 come out to be 108 because there are 23 or 22 lotions, but  
9 I'll talk about that in a second because we're finally  
10 getting around to getting rid of lotions. Maybe. Semi-  
11 solids, there are 170: 3 collodions, 70 creams, 1 foam, 12  
12 gels, 72 ointments, and 6 pastes. Most of the pastes are  
13 very old. They must be pre '38.

14 Solids. There's 1 gauze, 3 patches, 24  
15 powders, 1 tape, and 3 tablets. The tablets are those that  
16 you dissolve in liquid before you add it to the skin.

17 You might want to know what the one emulsion  
18 is. It's called drug cleansing emulsion.

19 There are 23 lotions that may be changed to  
20 drug topical emulsions, drug topical solutions, or drug  
21 topical suspensions. But I doubt you'll see any drug  
22 topical solutions because it doesn't meet the criteria.

23 Topical solutions. There's a cleansing  
24 solution, 1; 6 irrigating, 1 liquid soap, 2 oral/topical  
25 solutions; 4 solutions; 6 tinctures, which will become

1 topical solutions.

2 I'll tell you why we did some of these things  
3 with solutions. The old-time pharmacists know that elixirs  
4 are supposed to contain alcohol until Tylenol Elixir was  
5 marketed with a big headline, "contains no alcohol." And  
6 they did such a good job with their promotion that the  
7 American public now doesn't relate elixirs to alcohol, so  
8 we decided to get rid of elixirs and call them topical  
9 solutions.

10 And we did the same with syrups. We found  
11 there was some syrups that had a lot of alcohol in them.  
12 We found some syrups with no sugar in them, and they've  
13 become oral solutions or oral suspensions.

14 There's 1 topical oil, and there are 44 topical  
15 solutions.

16 In suspensions, there's 1 drug and it's a  
17 shampoo, and there are 5 topical suspensions, many of which  
18 are veterinary.

19 For semi-solids -- well, we just did that.

20 Powders. There are 12 topical aerosols, 2  
21 topical solutions, 1 dusting powder, 1 just called topical,  
22 and topical powders.

23 Patches. There's 1 film. There's 1 plaster,  
24 and there's 1 pledget.

25 And there's one gauze. You wonder if it's

1 worth the time.

2                   Solids. These are tablets for topical  
3 solutions, and tapes, there's 1 drug tape.

4                   Now that I've bored you, that's the section of  
5 the USP that we have not looked at for a long time. The  
6 Nomenclature Committee spent most of their time on things  
7 we felt more important to patient care which was  
8 injectables. If you'll recall, any of you who are  
9 manufacturers of injectables, all the title changes that  
10 went on in the last few years. Then we went to oral  
11 liquids, and that's when the syrups and elixirs were  
12 changed. And now we decided to look at topicals, and it  
13 becomes an important subject.

14                   There are three committees at USP right now  
15 working on a taxonomy and glossary for dosage forms. So  
16 this is very timely. We have the Dosage Form Committee,  
17 which is chaired by Keith Marshal who was going to be here  
18 today but couldn't make it for other reasons. The  
19 Biopharmaceutics Committee with Tom Foster from Kentucky  
20 because we go into a third tier in the taxonomy. And the  
21 Nomenclature and Labeling Committee.

22                   A stimulus article is in draft form and should  
23 be published in PF very soon. What I'm going to show you  
24 is some of the draft things for the taxonomy. It may  
25 change. Things change rapidly.

1                   There are three tiers. The first tier  
2 delineates the tissues to which the active is first  
3 delivered by the dosage form. The second tier is the  
4 criterion for this group is based on the general type of  
5 dosage form involved. And the third tier is the individual  
6 dosage form grouping depends on the release pattern from  
7 the active.

8                   Here's an example of the first tier. You can  
9 see gastrointestinal, tissues of body fluids by injection,  
10 mucous membrane, skin surface, and lung. What we're  
11 talking about here today is the topicals. You see skin  
12 surface breaks down into topical and transdermal.

13                   You go to the second tier, and you see we break  
14 it down: skin surface, topical, liquid, semi-solids,  
15 solids. Liquids are broken down into emulsions, water in  
16 oil, oil in water, suspensions and solutions. The semi-  
17 solids are collodions, foams, ointments, pastes, creams,  
18 gels. And the solids are powders, which include aerosols,  
19 patches, plasters, films, gauze, tapes, and this slide was  
20 official last week. It's already changed. The sticks have  
21 been changed to tablets because we don't have any sticks.  
22 They went out with silver nitrate.

23                   And the third level, which is still working  
24 very hard at the USP, breaks it down into conventional  
25 release or modified release. And modified release breaks



1 down into a variety of ways: extended release, which are  
2 very common; delayed release, which used to be enteric  
3 coated; targeted release, which we don't have any in the  
4 USP yet; pulsatile release; orally disintegrating we don't  
5 have any but that's where it will fit; and orally  
6 dispersing. I'm not too sure what that is. The first time  
7 I saw it was when this slide was given to me the other day.

8           So you see we're having a taxonomy, and then  
9 there will be a glossary. And that's changing day by day  
10 but will be part of the stimulus article that will be  
11 published in the Pharmacopoeia Forum.

12           So you can see over the years, USP has  
13 converted official titles of dosage forms -- converted from  
14 those that indicated a formulation or a method of  
15 manufacture to describing the finished product in terms  
16 believed to be most useful to the prescriber, dispenser,  
17 and patient, also by adding the route of administration to  
18 the title -- example, ophthalmic, otic, nasal, vaginal,  
19 rectal, topical. It should be noted that the type of  
20 packaging and labeling may become more significant players  
21 in designing dosage form titles.

22           Now, to the one thing that's of interest to  
23 this committee. In 1985 it was decided to get rid of the  
24 term "lotion." We're now getting it to be on the top of  
25 the plate. So we made a decision a year ago to delete

1   lotions and convert them to topical suspensions or topical  
2   emulsions. We then had a meeting with FDA and realized  
3   that FDA was now beginning to look at this situation. So  
4   at our next meeting, we tabled the motion, waiting to see  
5   what will come out of your activity and the USP activity.  
6   So really what's going on in this committee is very  
7   important to us because we were just ready to kill  
8   "lotion," part of it because there are lotions that are  
9   suspensions and there are lotions that are emulsions. And  
10  it is vague. And thixotropic is another problem that comes  
11  in here.

12                   So, we're very pleased to be here with you  
13  today to listen to the deliberations, and I thank you for  
14  your patience of listening to this history of non-activity.

15   Thank you.

16                   (Laughter.)

17                   DR. KIBBE: Thank you, Herb. Stick around.  
18  There might be questions. Don't go wandering off.

19                   Does anybody have questions directly for Dr.  
20  Carlin?

21                   (No response.)

22                   DR. KIBBE: I guess not.

23                   DR. CHIU: I would like to present our  
24  questions. We also welcome comments outside the scope  
25  defined by the questions. When you look at the question,

1 please also refer to this table in your package.

2                   The first question is the appearance and the  
3 feel of the topical dosage form is part of the proposed  
4 definitions. In conversations with practitioners and  
5 evaluation of the literature, words such as "greasy," "non-  
6 greasy," and "cooling" are often used when describing these  
7 dosage forms. Is there any value in including these  
8 attributes in the definitions?

9                   DR. SHARGEL: I just have sort of a question.  
10 In terms of if you label a product a cream or an ointment,  
11 and the manufacturer then in its labeling says this is non-  
12 greasy, it's smooth, it's whatever attributes, how does  
13 that work together in terms of the labeling saying this is  
14 nice, smooth thing, whereas you may title it in USP as an  
15 official name?

16                   DR. CHIU: The labeling has two parts. One is  
17 the name of the product, the established name, and the  
18 other part is the description section. So certain  
19 properties may be included in the description section.  
20 However, it must meet all the definitions for that name.  
21 So that's how it works.

22                   DR. SHARGEL: Just to follow it up, if the  
23 manufacturer then gives an attribute in its labeling, how  
24 would that be in terms of quantifying that attribute, or is  
25 there any need to do that if it's already quantified as a

1 suspension?

2 DR. CHIU: Could you elaborate?

3 DR. SHARGEL: If a manufacturer said it has a  
4 nice, smooth feel or non-sticky or something, that's sort  
5 of a sell point.

6 DR. CHIU: That would not be sufficient to say  
7 this is a cream or this is a suspension because there are  
8 other properties they have to meet in the definition. So  
9 if this preparation is a liquid suspension, which we would  
10 not consider as a lotion or a cream or anything, we would  
11 just say you have a liquid suspension even though it feels  
12 not greasy or greasy.

13 DR. SHARGEL: The reason why I asked is because  
14 the consumer may want to know that or a physician may want  
15 to know something about the attributes.

16 DR. CHIU: Right. Those attributes then will  
17 be described in the description section of the package  
18 insert.

19 DR. HOLLENBECK: Yes. I guess I would follow  
20 up on that. You're not proposing that we label a product  
21 really smooth hydrocortisone ointment.

22 (Laughter.)

23 DR. CHIU: No, no, no. We would just say  
24 hydrocortisone ointment. But in the description section,  
25 the firm may want to say this is not greasy or greasy or

1 something like that.

2 DR. HOLLENBECK: Yes. I think this could be  
3 useful in the description section, but it isn't really part  
4 of your criteria to identify what is a gel, what is a  
5 lotion, what is a suspension. Right?

6 DR. CHIU: It is not a sufficient criteria. It  
7 may be just part of it because usually a lotion is not  
8 greasy and an ointment is greasy.

9 DR. KAROL: In looking at the definitions and  
10 the four broad categories you gave us in the beginning, you  
11 said that the first thing we would look at would be the  
12 broad definition. Then would be physico-chemical  
13 characteristics, and then the appearance and feel, and the  
14 fourth one would be spreadability. It seems that the  
15 definitions are clear based on the first two, the broad  
16 category and the physico-chemical characteristics, and  
17 there really is no need to include the appearance and feel  
18 or the spreadability in any of the definitions. Your  
19 decision tree distinguishes all of these various forms  
20 based upon physico-chemical characteristics and chemical  
21 emulsions and so on. So I don't think including greasy or  
22 non-greasy and spreadability in the definition is  
23 necessary.

24 DR. CHIU: Okay.

25 Jonathan, would you like to address that?

1 DR. WILKIN: Well, I would agree with that  
2 sentiment. I think there are two places where we think  
3 about the attributes of a vehicle. One is in the decision  
4 tree to define what particular dosage form it would be,  
5 say, an ointment or a cream, and then the other is where we  
6 might list some other relevant properties in the  
7 description section. I would hope that in the end all of  
8 the attributes of the vehicle that help determine its  
9 lotionness or ointmentness could ultimately be physical,  
10 tested properties, recognizing that there are some pieces  
11 that when one is looking at viscosity, for example, it's  
12 technique dependent. So I think it's more than just simply  
13 saying we need viscosity. We would need to define the  
14 technique where one is actually looking at viscosity. But  
15 I think in the end, the dosage forms ideally should be  
16 rooted in very specific physical measurements often  
17 defining the assay technique.

18 On the other hand, getting into the description  
19 section of the labeling, I think there would be an  
20 advantage if we could take these psychometric sorts of  
21 senses of really greasy, not very greasy, and sort of the  
22 intermediate things, and if we could somehow find a device  
23 that would help us with that, that would make it more  
24 predictable so we're not relying on 20 or 40 human subjects  
25 to tell us about the greasiness feel, I think that would be

1 better even also for the description section. So I think  
2 in the end, the more we rely physics, really the better  
3 we're going to have consistency from one description  
4 section, one dosage form definition to the next.

5 DR. KAROL: I think we also run into trouble  
6 with these subjective measurements because we're really  
7 interested in the patient's description of whether this is  
8 greasy or spreadable and so on. Are these materials going  
9 to be tried on patients to get their reaction as to how  
10 greasy they are, you know, patients with eczema and so on,  
11 or is this a control panel that's going to decide on these  
12 descriptions?

13 DR. CHIU: I don't think we had planned to do  
14 that. But, Jonathan, in your clinical trials, do you  
15 include an element to have patients to report back?

16 DR. WILKIN: I think there may be patients or  
17 human subjects for some of these. For example, we may find  
18 that moisturization is best defined as sort of the time  
19 curve for transepidermal water loss. There are nice  
20 devices that one can put on the skin after applying some  
21 topical product and look over time at the amount -- I mean,  
22 all of us right now are losing a lot of water through our  
23 skin. And topical products can shut that off. In diseased  
24 skin, it's even higher. So that might be something where  
25 you actually need live human beings who have skin that one

1 is going to look at.

2 But once again, I think to the extent that  
3 these things can be made into physical assays, we're going  
4 to have much better consistency from one label to the next  
5 in what they mean.

6 DR. RODRIGUEZ-HORNEDO: Along the same lines,  
7 it appears that in your definitions perhaps there could be  
8 inconsistency with the feel or this greasy or non-greasy or  
9 cooling effects. You might have ointments that do not feel  
10 greasy or gels that do not have a cooling effect. So what  
11 are you going to do under conditions such as those? It  
12 concerns me that then it may create some level of ambiguity  
13 that may be unnecessary even if you had the physical  
14 measures. So I'd like to know how would you address that.

15 DR. CHIU: If you look at a formulation with  
16 the definition together, you will see based on the  
17 composition you could determine ointment is more  
18 lipophilic. So lipophilic usually is more greasy. So we  
19 just don't have technology or methodology to measure the  
20 greasiness, but it's sort of coupled with the composition.

21 And the same thing with the cooling effect. It  
22 is coupled with the volatiles present in the formulation.

23 That being said, is it important to put the  
24 sort of subjective language in the definition? That's the  
25 question.



1 DR. KIBBE: Ajaz?

2 DR. HUSSAIN: I don't remember. Going back to  
3 the report that Cindy presented, we did look at some  
4 surface tension, interfacial tension, and so forth. Does  
5 that have any link here with the issue of something that  
6 happens on interface and something that is related to  
7 interfacial tension and possibly other attributes?

8 DR. BUHSE: We looked at surface tension and we  
9 didn't find that it correlated to anything really. We  
10 could certainly look at it deeper.

11 DR. HUSSAIN: You didn't look at it from a  
12 greasiness perspective, the correlation from that  
13 perspective?

14 DR. BUHSE: No, we did not. In fact, we did  
15 most of our surface tensions on creams and lotions and not,  
16 in fact, on ointments.

17 DR. KIBBE: Gary, and then I think I'm going to  
18 take the privilege of the chair and say something myself.

19 DR. HOLLENBECK: It seems that there's  
20 agreement that the decision for calling it a lotion or a  
21 cream or an ointment should be based on objective physical  
22 testing as much as possible.

23 But Jonathan's comments earlier about a  
24 prescriber wanting to know the general characteristics of  
25 these systems I think adds a reason for us to have within

1 the description, this usually has a cooling effect, this is  
2 water washable, this is normally a greasy kind of product.

3 I think that kind of general information helps you make a  
4 choice in terms of which one of these forms you might want  
5 to use for a particular application.

6 DR. KIBBE: I teach pharmaceuticals and  
7 pharmaceutical dosage forms. We teach heterogeneous  
8 systems. A lot of the definitions that you put out here,  
9 if my students wrote them down, I'd take off full or half  
10 credit. They'd get it wrong.

11 (Laughter.)

12 DR. KIBBE: We have criteria for establishing  
13 what these things are based on the composition of them, and  
14 then we assume that the physical characteristics will be a  
15 result of the composition. We define them based on the  
16 base or the vehicle and not on the active ingredient.

17 For us, gels are clear. They're either  
18 molecular or colloidal dispersions in water. If they  
19 happen to become opaque, it's because we've added an active  
20 ingredient to it. But if you make a semi-solid which is  
21 clear, whether it's colored or not, it's a gel.

22 Ointments. We have four categories of  
23 ointments depending on what we use as an ointment base.  
24 It's clear what they are. They are in gradations greasy,  
25 starting with hydrocarbon bases going to absorption bases,

1 which are usually compared, if you will to lanolin, which  
2 can absorb water and it's a byproduct of the wool industry.

3 I always like to tell my students that lanolin is on wool  
4 on sheep so that when they get caught in the rain, they  
5 don't shrink.

6 (Laughter.)

7 DR. KIBBE: But it's that greasy material that  
8 covers it.

9 We go from absorption bases to water-washable  
10 bases and then to water-soluble bases. So if you have  
11 ointment on the label, if you say that it is a hydrocarbon  
12 base, absorption base, water-washable base, or water-  
13 soluble base, then I know exactly how it's going to feel or  
14 behave on the surface of the skin.

15 A paste is an ointment with lots of solids. We  
16 know what happens when we add solids to any heterogeneous  
17 system. It makes it more viscous and it makes it more  
18 occlusive.

19 Ointments and suspensions can be lotions.  
20 Lotions is a terrible term, but we use it all the time.

21 I would throw out there that a magma is a  
22 suspension whose viscosity is such that it acts as a semi-  
23 solid rather than a liquid.

24 There is another term that we throw around a  
25 lot called insufflation. Those of you who are interested

1 in insufflation, that's a powder that's blown into a body  
2 orifice.

3                   Liniments, which haven't been mentioned, are  
4 liquid solutions intended for external use with certain  
5 kinds of characteristics.

6                   I wonder if our level of scientific  
7 sophistication is getting us away from the basic  
8 understanding of some of the classic definitions and how  
9 they help us understand things. If we could establish  
10 these classic definitions and then say, if people are so  
11 interested, how does the active ingredient change the  
12 characteristic of that base and how does that base affect  
13 the characteristic of the active ingredient, we might not  
14 need to do a lot more defining.

15                   I read all of this stuff and I wonder what  
16 we're gaining and what we're losing. I think I'm reluctant  
17 to -- clearly question 3 says loss on drying and that's  
18 because creams are emulsions and there are only two kinds.  
19 And if we said that this was a cream and it was an oil in  
20 water, it would have certain characteristics. If it was  
21 water in oil, it would have another. Cold creams and  
22 vanishing creams are different because of exactly how  
23 they're made. And those are the classic bases from which  
24 everything else is relatively derived.

25                   I think we might be overdoing it here.

1 DR. HOLLENBECK: Well, I'll jump in and respond  
2 to that first. I sort of felt the same way as I read my  
3 backgrounder. I was trying to figure out what is the  
4 problem we're actually trying to solve. And yet, as I've  
5 listened to presentations, a few things really have  
6 resonated with me.

7 Art described a system that isn't working. The  
8 confusion that you currently have I think is evidence that  
9 the system isn't working. Maybe that's our fault as  
10 teachers of pharmaceuticals.

11 The idea that some clear guidance to  
12 prescribers might help them make better choices in terms of  
13 pharmaceutical care I found to be a strong reason for maybe  
14 clarifying these categories.

15 The generic drug product issue I find as maybe  
16 a reason for greater clarity too, that you would like to  
17 approve a generic product if it's a paste that is really a  
18 paste according to your definition.

19 So I think I've come to the feeling that there  
20 is benefit to provide some clarity in a system like this.

21 Having said that, I feel that you're quite a  
22 ways away from it. You've got a series of laboratory tests  
23 and some primary criteria which might help you do that.  
24 But I have a lot of problems with the decision tree. Like  
25 Art, I can't even get to gel because I don't see the word

1 colloid on your decision tree anywhere. So I think there's  
2 a lot of work to do there.

3 But I would speak in favor of perhaps five or  
4 six categories here that might provide some clarity.

5 DR. KIBBE: I'm not saying that we couldn't  
6 improve the system, and I think one of the problems we have  
7 is that only a small percentage of the people who deal with  
8 these things actually know the classic definition well  
9 enough and know the reasons for it to make sense out of it.  
10 Clearly that doesn't include the physicians unless they  
11 happen to be dermatologists who were once pharmacists and  
12 then became dermatologists. I think that's part of what we  
13 have to address.

14 DR. CHIU: This is exactly the kind of comments  
15 we would like to hear. If we are not on the right track or  
16 if we are overdoing it or undergoing it, we'd like to know,  
17 and we would welcome specifics. Gary, you're talking about  
18 there may be other attributes or other things, like gel  
19 should include colloidal, and we agree. We are here to  
20 listen. So we really would like to hear a lot more  
21 specific recommendations so we can move forward.

22 DR. MEYER: I think Gary asked an interesting  
23 question. What problem are we solving here? Is it a  
24 bioequivalence Orange Book problem in that you don't want  
25 to approve a cream as an ointment and vice versa? Or is it

1 directions or a description in the labeling that you want  
2 to be expanded and appropriate ways to test that? Just  
3 what are we solving here by the decision tree or  
4 definitions or what have you?

5 DR. CHIU: The problems are multiple. For  
6 example, one company has made a lotion and then they want a  
7 line extension. They made some minor modification of the  
8 formulation, but it hasn't changed the characteristics.  
9 And they said, now, I have a cream. So then you have two  
10 products because the definition is not clear enough. Then  
11 if the generics need to copy it, then they have to copy the  
12 lotion from cream, actually lotion, cream, that could be a  
13 product called the same name.

14 Then when you have products of a different  
15 characteristic, one company calls this hydrocortisone  
16 lotion, the other company calls it hydrocortisone cream.  
17 Actually they have the same physical characteristics.

18 So, therefore, it is important to clearly  
19 define the different terms so we know what dosage forms we  
20 are talking about.

21 DR. HUSSAIN: I think there are two ways of  
22 thinking about this problem. One is I think there's a need  
23 for reexamining the naming system itself, and I think there  
24 is a lot of confusion. So I think one of the aspects is I  
25 think we want to float the proposal of identifying the

1 problem that needs to be addressed and what is the solution  
2 to that, I think you're looking at that as the start to a  
3 proposal. So consider that as you discuss this because FDA  
4 alone cannot handle this. Industry has to be part of this  
5 discussion. Academia has to be part of the discussion.

6           Clearly I think this is just the tip of the  
7 iceberg. This problem is not unique to topicals. It is  
8 inherent in every dosage form. I'm struggling with one  
9 dosage form right now. What is an orally disintegrating  
10 tablet. So I think it's time to rethink and provide a much  
11 firmer foundation to this issue.

12           DR. KIBBE: One of the problems that seemed to  
13 be coming out is that we want a product that's called a  
14 cream to be exactly the same every time it's called a  
15 cream, which means that we need to maybe subset some  
16 creams, or there are creams which are oil-in-water  
17 emulsions and creams which are water-in-oil emulsions. So  
18 that's two subsets. And if you want the industry to follow  
19 along, you almost have to have the equivalent of a USAN  
20 Committee for naming products when you're dealing with  
21 heterogeneous systems.

22           It would be reasonably easy for me to say,  
23 okay, you are claiming an ointment. Which one of these  
24 four categories of ointments have you made? Tell me what  
25 the components of your base is and I'll tell you which one



1 you fit. And you can say you're a hydrocarbon ointment.  
2 You can say you're absorption. You can say a wash and so  
3 on.

4           If you want to continue to keep lotion, you can  
5 say that this is a suspension or an emulsion lotion. The  
6 problem comes when you have both in the same combination  
7 and those things.

8           But do you want an acceptable nomenclature  
9 committee at FDA for topicals that when the companies come  
10 forward and they want to call it X, you say, well, your  
11 base doesn't allow you to call it X? Your base is really  
12 this kind of a base. You have to call it Y.

13           Go ahead.

14           DR. WILKIN: Well, I was going to respond in  
15 part to the query about what are we trying to fix. I think  
16 we had definitions in the past for these different dosage  
17 forms at a time when there weren't many other examples  
18 within a class.

19           If you look at the literature on taxonomy or  
20 systematics, just sort of the general way one approaches  
21 trying to divide things up and making order out of chaos,  
22 some sense, some structure, one of the ways of thinking  
23 about definitions is called a typology, and it's saying in  
24 general this would be lotionness. And then you'd list some  
25 categories. So what you've done is you have a definition

1 of a lotion that's pretty good at the epicenter of  
2 lotionness, but we know that there are intergrades between  
3 lotions and creams. So as one marches out towards the  
4 border, then we at FDA have these difficulties when  
5 products come in deciding whether we're going to call it a  
6 lotion or a cream. So I would say that's one issue.

7           The second issue is the part about the  
8 intergrade. We had an example. And I don't think I'm  
9 divulging any proprietary information here. It was a  
10 topical that was a cream, and the sponsor wanted to have a  
11 line extension. So they were going to keep the active  
12 ingredient at the same concentration. They were going to  
13 keep the inactive ingredients in the same ratio to each  
14 other, but they were going to add a substantial amount of  
15 water. If you just think of the problem between what is a  
16 lotion and a cream, technically at some point there's going  
17 to be a drop of water added to this that's going to then  
18 convert it from a cream to a lotion.

19           Now, I don't know that we have to precise the  
20 boundaries quite to that extreme, but the boundaries are so  
21 soft right now that we have things that I think have more  
22 lotion-type properties that we call creams and other things  
23 with more cream-like properties called lotions. I think  
24 that's part of the confusion. I'm not going to say this is  
25 a horrendous public health issue. I just think it could be

1 made better. It could be made more relevant.

2                   Then the second part is it seems like we're  
3 focusing an awful lot on the composition. It's absolutely  
4 true that the properties of the vehicle are critically  
5 dependent upon the list of ingredients and also the  
6 quantitative aspect, how much each one is there. But I  
7 would say that the manufacturing process adds a lot of  
8 emergent properties that you can take the same, literally,  
9 mix and manufacture it in different ways, and you can end  
10 up with different viscosities. So I think there does need  
11 to be something beyond just simply basing this on what is  
12 the dominant ingredient. I think we may need some more  
13 physical measurements to add to it.

14                   DR. KIBBE: I agree about the difficulty of  
15 putting a line between lotions and creams. I think your  
16 work using 30,000 -- oh, and by the way, generally  
17 accepted, we are now using millipascals instead of  
18 centipoise. It's the same unit value; 1 centipoise is  
19 equal to 1 millipascal. But internationally if you're  
20 publishing, you want to publish in millipascals.

21                   That being said, I think making a decision as  
22 an agency on where the delineation is is, of course,  
23 difficult and worth doing. But you can still define the  
24 lotion as either an emulsion-based lotion or a suspension-  
25 based lotion with viscosity less than 30,000 millipascals.

1 I don't think you have to do an as extensive a  
2 redefinition as it sounded like we were going down.

3 DR. CHIU: We can easily do that. During our  
4 discussion, we thought a liquid suspension is clearly  
5 defined. Maybe we don't need to say that some of them  
6 could be a lotion. But we can relook at that element and  
7 then include this.

8 With respect to the subclasses, which is  
9 hydrophilic cream, hydrophobic cream, we have a question  
10 there later. Our thinking is the subclass information  
11 could be put in the description section of the package  
12 insert rather than use them to define the name. So,  
13 therefore, the name would be a cream and then a cream is a  
14 cream that either is hydrophilic or hydrophobic. But that  
15 the information would be important.

16 But we have that question later. We want to  
17 ask you whether that's the right approach.

18 Could we go on to the next question?

19 DR. KIBBE: Anybody else?

20 (No response.)

21 DR. CHIU: The next question is about  
22 viscosity. Laboratory work found viscosity to be the most  
23 discriminating property that separated lotions from creams.  
24 In addition, most literature sources describe lotion as  
25 liquids and creams as semi-solids. In the proposed

1 definitions, lotion is distinguished from cream based on  
2 pourability which we found in the lab to be a viscosity  
3 less than 30,000 millipascals.

4 (Laughter.)

5 DR. CHIU: I got it.

6 (Laughter.)

7 DR. CHIU: Using the Brookfield viscometer at  
8 25 degrees and 5 rpm. Is this reasonable?

9 DR. HOLLENBECK: Well, I would like to  
10 congratulate us on harmonizing the units for viscosity  
11 today.

12 I'd say fine as a screening tool, but we all  
13 know that rheological characterization is a very complex  
14 process. Somewhat arbitrarily choosing 5 rpms and 25 maybe  
15 is as good as any other choice.

16 I'd make a couple of comments there. I do  
17 think you ought to shear the system first. Usually if  
18 you're trying to assess pourability, you're pouring it out  
19 of something. Normally we shake these things. So I would  
20 shear the system and then measure its viscosity.

21 The second thing I would say is this is perhaps  
22 one of the most powerful tools that you have to somehow  
23 identify this three-dimensional abstract network for gels.

24 You can look at time-dependent, sheer-dependent behavior  
25 here, and maybe that's a tool that you can use to help

1 discriminate gels from other systems.

2 DR. CHIU: Any other comments?

3 (No response.)

4 DR. CHIU: Number 3. Laboratory work found  
5 loss on drying to be a discriminating property that  
6 separated ointments from creams. In addition, a review of  
7 the current submissions to the agency found that ointments  
8 had a large percentage of hydrocarbons or PEGs in their  
9 bases. In the proposed definitions, ointment is  
10 distinguished from cream based on the proportions of  
11 volatiles, less than 20 percent LOD, and composition,  
12 hydrocarbons or PEGs greater than 50 percent. Is this  
13 reasonable?

14 DR. KIBBE: That fits directly with the common  
15 definitions that we give all the time. The four classes of  
16 ointment bases all contain none or low amounts of water,  
17 the water-soluble one being PEG, and then creams are always  
18 emulsions and in most cases greater than 20 percent water.

19 DR. SHEK: Well, if that's the case, why not  
20 just talk about water and say ointments don't contain  
21 water, and if it contains water, now it's a cream?

22 DR. KIBBE: Some ointments have some water.  
23 Absorption ointments can contain small amounts of water.  
24 If you take an active ingredient that's water-soluble and  
25 you want to incorporate it in an emollient, which creams

1 are not as good at, you can take it up in a water-  
2 absorption base. It still would be an ointment because  
3 it's below a certain amount of water. But you're right.

4 DR. SHEK: I'm just saying you can change the  
5 definition and just decide anything which is water it's not  
6 an ointment, it's a cream, the way it feels.

7 DR. CHIU: We will look into that.

8 Other comments?

9 (No response.)

10 DR. CHIU: Question number 4. The distinction  
11 between hydrophilic and lipophilic creams is made based on  
12 the composition of the continuous phase. Is there any  
13 value in including these two types of creams in the  
14 definitions?

15 As I mentioned earlier, our original thought is  
16 to put this kind of information in the description section  
17 of the package insert, not use it to define creams. So  
18 both hydrophilic and lipophilic creams will have the same  
19 name. Drug cream, like that. So we can add this into the  
20 discussion as well.

21 DR. KIBBE: I'm so used to using the emulsion  
22 type rather than saying hydrophilic and lipophilic. It's  
23 either an oil-in-water emulsion type or a water in oil, and  
24 it carries the general characteristics of the external  
25 phase when it's applied. So you can use that.

1                   When we start talking about hydrophilic-  
2 lipophilic, my mind immediately goes to hydrophilic-  
3 lipophilic balance, the HLB nature of the surfactants,  
4 which surfactants are in there.

5                   DR. SHEK: I'll support and agree because I  
6 think the oil in water, water in oil is very, very  
7 important fact in the way you design the dosage form, the  
8 way it really acts. So I think this part is important. I  
9 agree with you that a definition of whether it's lipophilic  
10 or hydrophilic might be confusing.

11                   DR. CHIU: The next question has three parts  
12 about gel. Gel is distinguished from cream based on the  
13 presence of sufficient quantities of a gelling agent to  
14 form a three-dimensional, cross-linked matrix. Is this  
15 reasonable? Should "sufficient quantities" be defined?  
16 Which literature sources should be used as references?

17                   DR. HOLLENBECK: I don't know what to do with  
18 this one. I don't know how to analytically discover the  
19 three-dimensional, cross-linked matrix on a regular basis.

20                   DR. CHIU: When you make a gelatin or gel,  
21 actually the entire container contains a long cross-link to  
22 one molecule. So this is how we got the idea it should be  
23 a three-dimensional, cross-linked. However, we do not  
24 really know how to actually do this. What is the minimum  
25 gelling factor that should be there so therefore you always



1 get a three-dimensional, cross-linked matrix?

2 DR. HOLLENBECK: I know we're mixing physical  
3 tests with composition all through this system. But this  
4 is one that I would resolve based on composition. I think  
5 you know the things that form gels, hydrophilic colloids,  
6 celluloses, carbapols. If those things are in there, you  
7 have a gel. You may end up with a paste later on because  
8 you added a lot of solid or an emulsion if you put  
9 something else in there. But it seems to me the first kind  
10 of screening criteria for a gel might be based on  
11 composition more effectively than this more difficult  
12 thing.

13 DR. CHIU: The question is how much is the  
14 minimum amount to be present because if you add a little  
15 bit, it could be an emulsion factor rather than a gelling  
16 factor.

17 DR. HOLLENBECK: Yes. Again, depending on  
18 which hydrophilic colloid you use, very small  
19 concentrations can give you large viscosities and large  
20 concentrations can give you small viscosities. I think in  
21 a screening sense, if those materials are in there, you  
22 have a gel. Then you can look at your other criteria later  
23 to maybe separate it into subsequent categories.

24 DR. KIBBE: I have a small concern with that,  
25 and that is that there are things that are gelling agents

1 when you use them to make a gel, which are emulsifying  
2 agents when you use them to make an emulsion, which are  
3 thickening agents to stabilize suspensions when you're  
4 making a suspension. And to say that you have to have X of  
5 an ingredient isn't defining the result. The result is  
6 that gels are semi-solid systems with dispersion of small  
7 or large molecules and predominantly aqueous, and when the  
8 base is made, the base is clear.

9 DR. HUSSAIN: Just to go back to that, I think  
10 the 3D structure -- the point you had made earlier. You  
11 get to the rheology, and I think the rheology will provide  
12 that information because it's the yield point there, and  
13 that's where it comes from. That probably would be a  
14 better approach to that.

15 DR. HOLLENBECK: But we know that you can have  
16 that kind of behavior for creams as well. So I would argue  
17 that you can't have a gel without the hydrophilic colloid.

18 DR. KIBBE: That's the definition of a gel.

19 DR. HOLLENBECK: Yes. So that would help you  
20 in terms of your screening characteristics to get to a gel.

21 DR. KIBBE: But I wouldn't worry about  
22 sufficient quantities.

23 DR. HOLLENBECK: That's right. I agree with  
24 that.

25 DR. CHIU: So you don't think we need to worry

1 about whether it contains sufficient quantities. Just the  
2 presence of gelling agents and then look at the physical  
3 characteristics.

4 DR. HOLLENBECK: Yes.

5 DR. CHIU: 5b. Some currently marketed gels  
6 contain an emulsifier that gives the dosage form an opaque  
7 appearance. Should the presence of an emulsifier in a  
8 formulation preclude a dosage form from being classified as  
9 a gel? Should it then be considered a cream instead of  
10 gel?

11 DR. KIBBE: You're going to leave me with this  
12 one. Right, Gary?

13 DR. HOLLENBECK: Yes.

14 DR. KIBBE: This is so good.

15 (Laughter.)

16 DR. KIBBE: This is why have scientists working  
17 years and years to come up with esoteric definitions that  
18 take 40,000 words.

19 If the base is a gel, then it's a gel. If the  
20 active ingredient, in order to be able to be uniformly  
21 incorporated into a gel base must be emulsified because  
22 it's oleaginous in nature and you need an emulsifying  
23 agent, then I think you're really on the horns of making a  
24 call. Do you have a micro-emulsion, which is a colloidal  
25 dispersion and therefore makes the gel cloudier than it

1 would normally be? Do you have an oil-in-water emulsion  
2 where the external phase has been gelled to make it a semi-  
3 solid? Or have you solubilized the active ingredient in a  
4 gel?

5                   Enjoy yourselves.

6                   DR. BLOOM: Do you have any TGA data that will  
7 provide any information to make this distinction?

8                   DR. CHIU: Cindy?

9                   DR. BUHSE: We have some data which I showed  
10 you. We're collecting more now. So we don't have a  
11 complete conclusion yet on TGA, but based on our initial  
12 data, we have collected additional samples of gels and  
13 creams that contain gelling agents whether they're used as  
14 gelling agents or emulsifiers. We went out and  
15 specifically looked for some of those materials and we have  
16 those in the lab currently.

17                   DR. BLOOM: Maybe that will be useful too maybe  
18 in the "sufficient quantities" part of the last question  
19 that we were looking at.

20                   DR. SHEK: Yes. It's interesting whether we  
21 start with a cream and made it a gel or we start with a gel  
22 and made it a cream because if you start with a gel, my  
23 question is, if you have an emulsifier, what are we  
24 emulsifying there? There has to be now another phase, I  
25 would assume, there which is now lipid and we add water.

1 Otherwise what are the emulsifiers doing there? Right? So  
2 that's why I'm asking the question, what did we start with.

3 DR. BUHSE: I think one of the things we've  
4 really seen with our committee is that the formulations  
5 that manufacturers are coming up with are very complex.  
6 They have not been to Art's class and learned what they  
7 should be doing.

8 (Laughter.)

9 DR. BUHSE: And they have everything in there  
10 that you could possibly imagine.

11 DR. HOLLENBECK: I guess I think an emulsion  
12 trumps a gel.

13 (Laughter.)

14 DR. HOLLENBECK: So if you've got oil, if  
15 you've got surfactants, if you're creating this multiple-  
16 phase system, then your gel actually becomes a thickening  
17 agent, a term that Art used earlier. I believe as you move  
18 from the more sort of homogeneous colloidal system, the  
19 gel, to the heterogeneous emulsion system, I'd rather call  
20 that a cream because then I want to know what the external  
21 phase is, and the properties of that system really depend  
22 more intrinsically on its emulsion characteristics than the  
23 gel characteristics.

24 DR. KIBBE: I think we have the same problem,  
25 though, here as we had with differentiating cream and

1 lotion and using 30,000 millipascals is useful in that  
2 case. In this case if the oil phase, quote/unquote, that  
3 we put into our gel represents 1 or 2 percent of the weight  
4 and it's only the active, have I really gone all the way to  
5 making a cream? That's why I was throwing out the  
6 possibility that we might have added enough surfactant to  
7 actually solubilize. Or have we made a micro-emulsion  
8 which is really distinctly different than a standard  
9 emulsion that you make? Or have we really gone to an  
10 emulsion? I think the agency is going to have to try to  
11 think through when does it cross that line.

12 I agree with you that suspensions trump  
13 solutions every time. Emulsions trump -- and we go from  
14 there because as soon as you have an emulsion, you can  
15 define it, oil in water, water in oil. You know a lot of  
16 the characteristics. Along with the viscosity, you've  
17 defined your system. You either have an emulsion that's a  
18 liquid and pourable or you have an emulsion that's a semi-  
19 solid and unpourable. The characteristics of the feel of  
20 that emulsion on you is directly related to whether it's  
21 oil in water or water in oil. One cools, the other  
22 doesn't.

23 So I agree with you. Emulsions trump. But  
24 when have you gotten there?

25 DR. CHIU: 5c. What is the most appropriate

1 analytical technique that can be used to identify the  
2 three-dimensional structure of a gel?

3 DR. KIBBE: Nair, this one is yours.

4 DR. RODRIGUEZ-HORNEDO: I deal with solids, not  
5 semi-solids.

6 (Laughter.)

7 DR. RODRIGUEZ-HORNEDO: I can't answer this  
8 one.

9 DR. HOLLENBECK: Just to repeat, I think  
10 rheological characterization is the only way I know to do  
11 it. To look at the extent of hysteresis in a full-blown  
12 rheological study might help guide you in that direction.

13 DR. CHIU: The last question. Is the overall  
14 approach taken in the proposed definitions appropriate? I  
15 think we have some comments, and if there are further  
16 comments, we'd like to know.

17 DR. SADEE: I just have a general question. I  
18 didn't take Art's classes.

19 (Laughter.)

20 DR. SADEE: So I do not know about these  
21 things.

22 What are the implications if we design very  
23 firm guidelines that distinguish one from the other? And  
24 also, what is the implication if certain definitions or  
25 certain terms are left out? Are those no longer usable?

1 For instance, salves and liniments and concoctions or milks  
2 or however you might label a product.

3 DR. KIBBE: Yes. Don't forget collodions.

4 DR. SADEE: That's right. So are these then no  
5 longer usable if it were to be a drug because it doesn't  
6 fit into the definition?

7 DR. CHIU: If this becomes a formal policy at  
8 the FDA, it will only apply to future products, not  
9 retroactive. Once this becomes a USP policy and published,  
10 then USP usually lets companies phase in existing marketed  
11 products to change their names. So sometimes it could be  
12 10 years to phase it in. But for the agency, we do not  
13 retroactively ask companies to change their current  
14 labeling.

15 DR. SADEE: But proactively then it would mean  
16 that those are the only terms that should be used in the  
17 future.

18 DR. CHIU: If today's proposal, say, is  
19 accepted by everybody, then for liquid emulsion, semi-solid  
20 emulsion, and semi-solid suspension dosage forms will then  
21 use these five terminologies for topicals for skin use.

22 DR. SADEE: I'm just wondering also about some  
23 international issues whether products imported or exported,  
24 for that matter, would fall under these definitions.

25 DR. CHIU: Products marketed in the United



1 States will need to follow the new definitions, but the  
2 products exported to other countries will have to follow  
3 the definitions the other countries adopt.

4 DR. DeLUCA: What are some of the legal  
5 implications here with regards to these definitions and  
6 intellectual property and patent infringement cases and  
7 stuff like that? Has anybody thought about that? When you  
8 starting putting definitions, is this going to be a factor  
9 also?

10 DR. CHIU: In the agency if we propose and then  
11 finally adopt a new policy, it will go through our Office  
12 of Chief Counsel. So the legal aspect will be reviewed by  
13 them. If the approach is not considered legal under the  
14 FD&C Act, then it won't be finalized.

15 DR. KIBBE: Marv?

16 DR. MEYER: Specific to your question, I think  
17 the overall approach seems appropriate. I really like the  
18 decision tree because it causes you to focus in on your  
19 decisions along the way, and it's also helpful in coming up  
20 with a classification.

21 What would be the down side of just eliminating  
22 gel from your nomenclature? Because that seemed to be the  
23 one with the iffiest definition and no perfect physico-  
24 chemical test. In other words, it looks like gel would  
25 fold into either ointment, cream, or lotion. And that

1 couldn't be, according to Art.

2                   Part of the problem is that we're dealing with  
3 an historical thing, and we're trying to make it fit  
4 contemporary attributes. Why couldn't you?

5                   DR. KIBBE: A gel is a solution that has become  
6 a semi-solid. A suspension, which is a heterogeneous  
7 system as opposed to a homogeneous system of a gel, when it  
8 becomes a semi-solid, becomes a paste or an ointment. An  
9 emulsion becomes a cream. Okay? And that's where the  
10 difference is. While you might think it's subtle, those of  
11 us who have been involved with this stuff don't necessarily  
12 think it's that subtle a difference.

13                   Gary?

14                   DR. HOLLENBECK: I like the decision tree idea  
15 too, but I know this is not the place to go into great  
16 detail. But this is really going to be a challenge. As I  
17 look at your decision tree, the first thing I notice over  
18 on the right is an aerosol. Well, an aerosol is inherently  
19 an emulsion. So I can't get to that box by going through  
20 your --

21                   DR. KIBBE: Some of them are solutions.

22                   DR. HOLLENBECK: Some of them are solutions.  
23 Well, okay, proving my point.

24                   (Laughter.)

25                   DR. CHIU: We removed aerosol because of the

1 way it is administered.

2 DR. HOLLENBECK: I understand.

3 DR. CHIU: It needs to be under pressure. So  
4 it's quite different from other semi-solids.

5 DR. HOLLENBECK: Yes, I understand that, but  
6 you'd need a yes to get over to that box for many aerosol  
7 products.

8 As I told you before, I can't get to a gel with  
9 your current decision tree because it's not a suspension or  
10 an emulsion.

11 And one other thing. If you mixed calamine  
12 with propylene glycol or glycerine or something like that,  
13 I'd call that a lotion, and my sense is that you're not  
14 going to see much loss on drying if you study that. So you  
15 really do have a challenge I think facing you in terms of  
16 making the decision tree work.

17 DR. KAROL: I guess my only comment about the  
18 decision tree -- I think it's very good and very effective  
19 -- is the definition of a cream. It's a negative  
20 definition, and it's like saying if something is not black  
21 or white, then it's red, but of course, it could also be  
22 green or blue or something else. So I think eventually  
23 you're going to run into problems with the definition of  
24 cream.

25 DR. KIBBE: Have we run out of things? Are we

1 all hungry enough for lunch? Are there any closing  
2 remarks?

3 DR. CHIU: I would like to thank everybody for  
4 very constructive input.

5 DR. KIBBE: I enjoyed it. It was fun.

6 DR. HOLLENBECK: I think you and I did, Art.  
7 I'm not sure about everybody else.

8 DR. KIBBE: Well, I've got an exam being given  
9 tomorrow by a colleague in my class that covers this issue,  
10 and if I lost all of these definitions, I'd have to go back  
11 and give them all 100s because none of the definitions that  
12 I ask them for would be right.

13 We are now officially adjourned for lunch. We  
14 will return for the open public hearing at 1:30. The  
15 individual who is speaking, Thomas Franz, is he here?  
16 Good.

17 (Whereupon, at 12:30 p.m., the committee was  
18 recessed, to reconvene at 1:30 p.m., this same day.)

19

20

21

22

23

24

25

## 1 AFTERNOON SESSION

2 (1:27 p.m.)

3 DR. KIBBE: Well, folks, I hope you have  
4 enjoyed your lunch and camaraderie with your colleagues and  
5 you are prepared to work diligently through the afternoon.

6 We are lucky today that we will probably end on  
7 time. Remember, that if we get out of here early today, we  
8 will make up for it by getting out of here late tomorrow.

9 Good news and bad news about tomorrow. We have  
10 one hour for an open public hearing. We started out with  
11 17 people. We're down to 12. So we have a chance of  
12 actually getting through the one in two, instead of three.  
13 So, we're getting better.

14 After lunch, we start with our open public  
15 hearing. We have an individual, Dr. Thomas Franz, from  
16 Dermtech. Is Dr. Franz ready to go? He looks ready.

17 DR. FRANZ: I'm Dr. Franz. I'm the Chief  
18 Medical Officer for Dermtech International, which is a  
19 contract research organization in San Diego.

20 I have no vested interest in the material I'm  
21 going to present because as a contract research  
22 organization, we do work for all the pharmaceutical and  
23 cosmetic companies, and whatever method the agency chooses  
24 to promulgate for proof of bioequivalence, we will do. So  
25 we make money no matter which direction the agency goes.

1                   What I'm going to talk about today for  
2 consideration is the use of the cadaver skin model as an in  
3 vitro way to assess the bioequivalence of topical drugs.  
4 This model has been around for a long time, widely used in  
5 the pharmaceutical industry, the new drug part of it, in  
6 terms of developing topical formulations. I'm really not  
7 aware of any pharmaceutical company in developing a new  
8 topical drug that doesn't use this particular model system  
9 to optimize formulations and thereby maximize  
10 bioavailability. So there is a great deal of use of this  
11 particular model.

12                   Through this model, which uses cadaver skin in  
13 an in vitro chamber type setup, one can very easily measure  
14 the rate and extent of absorption of any topical drug  
15 through the skin. So it's measuring parameters that are by  
16 definition those that we use to define bioequivalence.

17                   As I mentioned, there's long experience with  
18 this. It's not only widely used now, but if one goes back  
19 to the literature of 30 to 40 years ago, one will find lots  
20 of articles on this particular model as it was evolving in  
21 its infancy. And particularly 30 years ago, classic work  
22 by Katz and Paulsen and others at Syntex pretty much  
23 developed the procedures that are now used by most  
24 pharmaceutical companies when Syntex developed the first of  
25 the high potency topical steroids, Lidex. So there's a

1 long history of use.

2           Even today what we're finding is many generic  
3 companies have found it necessary to resort to this model  
4 as a means of screening their formulations prior to going  
5 to some expensive clinical tests because sometimes reverse  
6 engineering gets them in the ball park but doesn't  
7 necessarily define the innovator formulation precisely.  
8 And more critically, given the variation in innovator lots  
9 from lot to lot, it's even become popular to screen  
10 innovators and choose that innovator lot which best fits  
11 your generic lot. So there's tremendous background in the  
12 use of this model.

13           There's also good in vitro/in vivo correlation,  
14 and I'm not here talking about clinical in vitro/in vivo  
15 correlation, but just if you take the data that one gets in  
16 this in vitro model and then do a similar test of  
17 bioavailability in living man, usually using radioisotopes,  
18 but not necessarily always using radioisotopes, there's  
19 very good in vitro/in vivo correlation. In our hands --  
20 and I've been working at this over 30 years now -- I've  
21 never found a situation where the in vitro and in vivo did  
22 not correlate.

23           Well, what I'm proposing is use of this model  
24 to screen topical generic drugs for proof of  
25 bioequivalence. For those of you who have followed the

1 tape stripping procedure that was proposed a number of  
2 years ago, loosely characterized as DPK, it's clear that  
3 the agency would like to see two sets of data presented in  
4 order to validate any model.

5           One would be to take a situation where one has  
6 a generic and an innovator drug that have been shown to be  
7 bioequivalent by clinical testing and then show that  
8 whatever model system you use can come to the same  
9 conclusion.

10           Then, of course, the reverse would also be nice  
11 to have. Take a generic and innovator that were shown to  
12 be not bioequivalent by clinical testing and show them not  
13 to be bioequivalent through use of the model.

14           Unfortunately, it's hard to come across that  
15 type of data because generally if that type of data is  
16 available, it's not presented to the agency. So nobody  
17 really has it. So the first one is relatively easy to  
18 find, but the second one is a little more difficult.

19           What I'm going to present today is some data  
20 using the first example of two formulations that have been  
21 shown to be bioequivalent by clinical testing.

22           Within the last year or two, Spear  
23 Pharmaceuticals has had a .01 percent and a .025 percent  
24 Retin-A gel, tretinoin gel, shown by clinical testing in  
25 acne to be bioequivalent to the innovator products which



1 are known as Retin-A. So the question that I am posing and  
2 have data to answer is, will the cadaver skin model reach  
3 the same conclusion?

4           Now, if one focuses on the finite dose part of  
5 this, the cadaver skin model basically is one which uses a  
6 finite dose approach, a finite dose referring here to a  
7 situation where we're going to be dosing the skin with  
8 amounts that are clinically relevant. We use approximately  
9 5 milligrams per square centimeter, just a little bit more  
10 than what most patients would use. Most patients are  
11 probably going to be in the range of 2 to 3 milligrams per  
12 square centimeter, but 5 turns out to be a little bit  
13 easier to use in vitro. So that's the dose we use.

14           Basically the model system involves taking a  
15 piece of cadaver skin. We're usually obtaining frozen,  
16 cryopreserved skin from skin banks. From a single donor,  
17 one obtains multiple sections, and these multiple sections  
18 are mounted over a chamber in which the under side of the  
19 skin, the dermal side, is bathed by warm isotonic saline,  
20 and the top of the chamber is exposed to ambient  
21 conditions, just like exist in this room that most of us  
22 will be applying drugs under those similar situations.

23           So the key to the model system is that it  
24 basically mimics two critical parameters that determine the  
25 rate of absorption. One is that there is a temperature

1 gradient across the skin going from 37 degrees Centigrade  
2 on the inside to room temperature on the outside which  
3 results in a skin surface temperature of about 32 degrees  
4 C. That's what exists in vivo and that's what we mimic in  
5 the chamber. And likewise, there's a water activity  
6 gradient across the skin so that it's close to 100 percent  
7 humidity inside and then whatever room humidity is on the  
8 outside. These two physical parameters are key to getting  
9 results in vitro that agree with what happens in vivo.

10           The receptor solution is stirred, and then of  
11 course through the sampling port, we're able to remove at  
12 various points in time the receptor solution and take an  
13 aliquot for analysis and then replace with fresh solution  
14 so that there's always an infinite sink existing in the  
15 dermal bathing solution.

16           So this is basically the cadaver skin model.  
17 As I mentioned from a single donor, we get multiple  
18 sections. They are all screened using tritiated water to  
19 probe for defects in the skin and certain criteria by which  
20 that skin is either acceptable as being intact or rejected  
21 as not being intact.

22           Generally, enough sections are obtained from  
23 any donor so that the generic product will be applied to  
24 four replicate chambers and the innovator will be applied  
25 to four replicate chambers. The data from those four

1 replicates will be averaged to give a single value for that  
2 donor, and then in the data I'm going to present, we had a  
3 target number of eight donors in the particular test.

4           So this is showing the results, the rate of  
5 absorption profile over a 48-hour period for the two  
6 tretinoin products at the .025 percent concentration. The  
7 y axis is showing the flux or the rate of absorption in  
8 terms of nanograms per square centimeter per hour, and then  
9 the x axis is time. And we're plotting the samples at the  
10 mid-time of that sampling period. So, for example, if the  
11 first sample were taken at 2 hours, that data point would  
12 be plotted at 1 hour. As you can see, there's relatively  
13 good agreement between generic and innovator product with  
14 standard error bars being given.

15           The next slide shows similar results for the  
16 .01 percent gel. Unfortunately, bigger error bars in this  
17 particular case. There were a couple of donors for which  
18 there was larger variation than usual, but this is  
19 presenting the data without any of the data being excluded.

20       This is simply showing everything as it was obtained, but  
21 still in my estimation not bad agreement between these two  
22 products.

23           Using log-transformed data, we see here the  
24 results on top for the .01 percent tretinoin first. AUC is  
25 basically total absorption from 0 to 48 hours. Fmax stands

1 for the maximum rate of absorption. And ratio is the ratio  
2 of the generic to innovator product, very close to 1. And  
3 then the 90 percent confidence intervals, showing that for  
4 the .01 percent product, they easily fell within the 80-125  
5 percent range, and therefore the .01 percent tretinoin did  
6 seem to be bioequivalent by this particular test.

7           On the bottom is shown the same results for the  
8 .025 percent tretinoin products. Again, the ratio for the  
9 AUC is very good, very close to 1, and the confidence  
10 interval being met there. Maximum rate of absorption,  
11 because of the greater variability in a couple of the  
12 skins, did not quite make 80-125 but was, indeed, very  
13 close.

14           I will point out here that we are throwing out  
15 no data. For those of you who may not be familiar with the  
16 vasoconstrictor test, currently as we've looked at final  
17 reports, the data from greater than 50 percent of subjects  
18 are thrown out. I think the value is closer to 70 percent  
19 so that basically only 1 out of 3 subjects who go through a  
20 vasoconstrictor test end up to be acceptable in that  
21 particular test. Here this is our first cut at the cadaver  
22 skin model, and so we're throwing nothing out. But there  
23 are easily some constraints that could be put to throw out  
24 data in terms of particular donor skins where the  
25 variability is very large. So we'll just point that out,

1 that right now we're throwing out nothing.

2           So based on the data shown here, we think it  
3 clearly shows the cadaver skin model could be used to  
4 determine the bioequivalence of certainly most topical  
5 products, most if not all topical products.

6           The second part of the criteria that have been  
7 raised by the agency of taking two products which  
8 clinically are shown not to be bioequivalent and then  
9 showing them not to be bioequivalent in your model -- it's  
10 hard to get data to answer that particular one. But I  
11 will, from this test, just present the data we obtained in  
12 another form.

13           That is, we had two concentrations here. We  
14 had a .01 percent and a .025 percent. Now, as far as I  
15 know, there's no data from an acne clinical study to show  
16 that those are or are not bioequivalent, but we certainly  
17 know clinically, from the standpoint of irritation, that  
18 they are not the same, and it's very easy to generate that  
19 kind of data.

20           So what I've done here is actually take both  
21 the Spear data, .01 versus .025, as well as the Retin-A  
22 data, .01 versus .025, and showed that by this test they  
23 are clearly not equivalent, as can be seen here. Since we  
24 know that clinically they're not equivalent in terms of  
25 irritation, I think this does go at least part way to

1 meeting the second criteria by which the agency will judge  
2 the use of some test as a surrogate for clinical testing.

3           So in this presentation I just hoped to show  
4 you that this is a model, probably a one-size-fits-all  
5 model as the tape stripping was hoped to be, that should be  
6 considered by the agency. Obviously, the data we have at  
7 this point is not sufficient, but I think it's sufficient  
8 to show that it is a model well worth looking at, and  
9 particularly with the recent demise of tape stripping, it  
10 should be looked at.

11           I'll also point out that about 10 to 15 years  
12 ago the agency briefly did consider this method and several  
13 symposia were jointly sponsored with AAPS to look at it.  
14 Then all of a sudden, it disappeared off the radar screen.

15       It was buried without the last rites. I've never heard  
16 why, but I think it's time for it to be resurrected.

17           Thank you.

18           DR. KIBBE: Thank you. Would you stay a second  
19 and respond to questions? Marvin?

20           DR. MEYER: Tom, would it be helpful in a test  
21 like this if the company wishing to have approval of their  
22 product could actually formulate one that was 20 percent  
23 lower in concentration, all things being equal, and include  
24 that as part of, say, a three-way test so then you could  
25 have kind of your control that it is able to detect a 20

1 percent difference or whatever percent?

2 DR. FRANZ: Yes, it would be very easy to do.  
3 Yes, very definitely. The problem is there's no incentive  
4 for any company to do that right now because when they get  
5 that data, they still have the clinical data that they've  
6 paid for and now they've got this extra clinical data that  
7 gets them nowhere. So they do it out of the goodness of  
8 their heart, and I haven't been able to find a company  
9 that's willing to do that. But that is an easy answer to  
10 the problem.

11 DR. MEYER: What would you expect you would see  
12 if you did Retin-A versus Retin-A or Spear versus Spear in  
13 a study? Would the confidence limits fall outside of 80 to  
14 125?

15 DR. FRANZ: I think so if you're talking about  
16 testing them clinically.

17 DR. MEYER: No, no. With this system.

18 DR. FRANZ: Oh. That's what I'm showing in  
19 this last --

20 DR. MEYER: Those are two different strengths,  
21 though.

22 DR. FRANZ: Yes. I'm not showing the --

23 DR. MEYER: I'm saying if you just repeat it.  
24 Instead of doing product A and product B at the same  
25 strength, you did product A twice. What's the variability?

1 You had standard errors, as I understand it, which hide  
2 some variability.

3 DR. FRANZ: Yes. I didn't bring data to show  
4 that. What we've found, for example, is we've taken three  
5 different lots of Retin-A and run them side by side on this  
6 test and they basically overlie each other. There are  
7 still big error bars, as is true for any test that involves  
8 human tissue, a lot of variation. But when you do enough  
9 reps and enough donors, you do get means and you do get  
10 confidence intervals which you can meet.

11 DR. KIBBE: Jonathan.

12 DR. WILKIN: Actually I think I'll hold off  
13 because I'm going to give a presentation later, and I can  
14 mention decision criteria, what arms to have in the study.

15 DR. KIBBE: Okay.

16 Pat?

17 DR. DeLUCA: In your slide here, is that a  
18 Franz cell that you're using?

19 DR. FRANZ: Yes.

20 (Laughter.)

21 DR. DeLUCA: Is that your cell or what?

22 DR. FRANZ: Yes. It's not patented. I get  
23 nothing out of it.

24 DR. DeLUCA: Okay, no. You're very modest.

25 DR. KIBBE: In this last slide, is there a 2.5



1 to 1 ratio?

2 DR. FRANZ: It's a little less than that, yes.

3 It's not 2.5 to 1. I think it's like 2 to 1, something  
4 like that.

5 DR. KIBBE: Okay. So if you normalize for  
6 dose, they wouldn't be equivalent?

7 DR. FRANZ: There's not a linear relationship  
8 between concentration and flux, if that's what you're  
9 getting at.

10 DR. HOLLENBECK: Is it worthwhile investigating  
11 synthetic membranes in your model?

12 DR. FRANZ: No. We've tried that and we  
13 sometimes get flip-flopping of data. People have always  
14 said, well, maybe it doesn't match quantitatively but it  
15 will quantitatively. But we've tried that and sometimes we  
16 find that formulation A is greater than B in human skin and  
17 then B is greater than A in some synthetic membrane. So  
18 we've not ever found that useful, but people continue to  
19 look at that. That's for sure.

20 DR. MOYE: I couldn't help but be drawn to the  
21 comment you made about the missing data. If I understood  
22 right, you said that all the data that you had were  
23 included in this analysis.

24 DR. FRANZ: Yes.

25 DR. MOYE: And I guess I was willing to assume

1 that, but you pointed that out.

2 Then you also said, if I understood you right,  
3 that up to 70 percent of patients are excluded or data are  
4 excluded from -- are there other evaluations, competing  
5 evaluations of bioequivalence?

6 DR. FRANZ: Yes. The vasoconstrictor assay is  
7 a pharmacodynamic assay for the class of topical drugs  
8 known as corticosteroids. In the agency guidance, there's  
9 a criteria that each subject must respond in a greater way  
10 to a higher dose than a lower dose, and if the ratio  
11 between the high dose and the low dose, what they call D2  
12 and D1, is not greater than 1.25, that patient is excluded.

13 But we've seen a lot reports from a lot of CROs where the  
14 data from 70 percent of patients are excluded because they  
15 don't meet that D2/D1 ratio.

16 So I think we can look at this test in terms of  
17 absolutes, but I think the other way to look at it is in  
18 terms of what we've got now. It's not that great. Whether  
19 you look at clinical testing or the vasoconstrictor assay,  
20 they leave a lot to be desired. So let's not hold this to  
21 some enormously high standard. Let's hold it to the same  
22 standard as we're holding these other tests to.

23 DR. KIBBE: Efraim.

24 DR. SHEK: I have a question. I don't know too  
25 much about intrinsic diffusivity because usually you would

1 expect to see a lag time. And here it looks like it goes  
2 very quickly through the skin, and my question would be if  
3 you have other drugs which don't diffuse as well, whether  
4 you'll see differences in the lag time and then differences  
5 in the AUCs.

6 DR. FRANZ: Well, yes. This is an unusual drug  
7 in that it does seem to permeate very fast with very little  
8 lag time. We've done this drug many times in vivo too  
9 because it's a teratogen, so there's a lot of interest in  
10 systemic toxicity. So this is an unusual drug. With most  
11 topicals there is a pretty significant lag time which could  
12 become another parameter for comparison.

13 DR. KIBBE: Ajaz. Oh, I'm sorry. Jon.

14 DR. WILKIN: In terms of not looking at the  
15 data from 70 subjects in the topical corticosteroid assay,  
16 my understanding of how the Office of Generic Drugs  
17 actually asked this study to be done is they're looking for  
18 the 30 percent or 50 percent of human subjects who are  
19 actually very sensitive to the effects of the topical  
20 corticosteroids over a wider range of concentrations so  
21 that in essence, they are better detectors. They're better  
22 subjects for picking up subtle differences from one  
23 preparation to another. The subjects who are no longer  
24 used, which may be 70, but it may be a lot smaller percent,  
25 turn out to not vasoconstrict quite as readily or else they

1 are relatively vasoconstricted from the beginning. I think  
2 that's the major piece. It's the idea of selecting  
3 subjects who are sensitive and good responders for the  
4 assay.

5 DR. KIBBE: Okay. Seeing no one else, thank  
6 you very much. I appreciate it.

7 Now we're going to get with our FDA  
8 presentations, and Ajaz has one.

9 DR. HUSSAIN: Well, again, good afternoon.

10 As I mentioned this morning, topical products  
11 pose significant challenges for us in terms of approving  
12 therapeutically equivalent generic products. I think one  
13 of the reasons is that when we measure blood levels or if  
14 you're able to measure blood levels, that is not a level  
15 that is reflecting the site of action. Even in Dr. Franz's  
16 presentation, he's looking at flux, which is a receptor  
17 phase, concentration in the receptor phase, but the site of  
18 action is the skin. So you have to infer what was the  
19 concentration at the site of action. So I think that's one  
20 of the challenges that we face in trying to arrive at  
21 methodologies for approving generic drugs or even, I think,  
22 approving innovator drugs in the post-approval change  
23 scenario when there are significant manufacturing changes.

24 For the last 10 to 12 years, we have been  
25 working on this, and I do want to acknowledge Dr. Vinod

1 Shah and others who have worked extensively on this and  
2 have actually created quite a body of scientific literature  
3 and knowledge on this that had led to a draft guidance on  
4 dermatopharmacokinetics, skin stripping, where we were  
5 unable to establish consensus between the clinical  
6 community and the pharmaceutical community.

7           As a result, I think we took that guidance,  
8 withdrew the draft guidance, and said, but that doesn't  
9 mean that that methodology is off the table. In fact, as  
10 we come through this discussion and when we come through a  
11 proposal for moving forward, I think we would like to bring  
12 that back as a focal point for discussion in sort of a  
13 different light. So I don't want to get the message out  
14 that DPK is not a method on the table. It is a method on  
15 the table, but I think we're going to reposition that.

16           For today the goal of this discussion is to  
17 take a step back, go back and reexamine the challenges,  
18 reexamine different perspectives, and propose a path  
19 forward in terms of a research program. After you listen  
20 to the presentations, then what I would like to do is come  
21 back and propose a path forward in terms of a research  
22 program which we will bring for an extensive discussion at  
23 a subsequent meeting. Whether that is the entire advisory  
24 committee or the Subcommittee of Biopharmaceutics, we  
25 haven't decided. But that's the plan.

1                   So this is an awareness topic for all of you to  
2 go back and reflect on the challenges we face. And the  
3 three presentations we have on the challenges are Dale  
4 Conner, Dena Hixon, and Jonathan Wilkin. The sequence of  
5 the presentations is somewhat different than what we have  
6 on the screen. So I'll ask Dale to start the  
7 presentations, followed by Dena, and then Jonathan Wilkin.  
8 Then I'll come back with a path forward.

9                   DR. KIBBE: Does anybody want to ask Ajaz a  
10 quick question? He's not escaping, so we'll get him later.

11                   Go ahead, Dale.

12                   DR. CONNER: As usual, my task is usually to go  
13 over the basics of bioequivalence while others give the  
14 more meaty and perhaps even more interesting topics.  
15 Obviously, I go first because you need to understand the  
16 basics before you understand the more important or high  
17 level concepts.

18                   Actually topical drugs fall into what we  
19 largely refer to as locally acting products. Those of us  
20 who are involved in doing bioequivalence every day have  
21 found these an extremely challenging set of issues to do  
22 bioequivalence. If you're used to being a  
23 pharmacokineticist and doing systemic drugs, by comparison  
24 those seem very straightforward and easy even though not  
25 always.

1           First, we have to clearly say what we're  
2 talking about here because for those who don't deal with  
3 dermatologic products or deal with the skin all the time,  
4 there is sometimes confusion. What we're dealing with in  
5 this discussion is products applied locally to the skin to  
6 treat diseases or conditions of the skin. So, for example,  
7 what's been discussed earlier before lunch, creams,  
8 ointments, gels, however you define all those, solutions,  
9 suspensions, and other things that are used for the above,  
10 to treat diseases of the skin.

11           We want to make very clear we are not talking  
12 about transdermals, nor are we talking about certain types  
13 of products that might exist as an ointment but whose  
14 endpoint is to administer drug into the systemic  
15 circulation to treat a systemic disease. So those  
16 particular products, transdermals especially, are using  
17 simply the skin as a route of entry into the body rather  
18 than the actual site of activity or the site of the  
19 clinical condition. So it's very important because that  
20 is, strangely enough, a point of confusion for some.

21           First, I'd like to go over a very brief single  
22 slide about the evolution of scientific thinking. Perhaps  
23 you could say that dermatologists have a history of not  
24 trusting generic drugs or generic drug products. Early,  
25 way back in the ancient era, decades ago, the early

1 regulatory approaches to generic topicals were simply to  
2 treat them -- if they were pharmaceutical equivalents, it  
3 was an ointment versus another ointment, it had the same  
4 amount of drug in it -- pretty much the assumption was,  
5 well, there's going to be no problem with inequivalence or  
6 these are not going to be non-therapeutically equivalent.  
7 By today's understanding, it was kind of naive view that  
8 the skin is very simple and these products are very simple  
9 and I don't really have to worry too much about the  
10 clinical effectiveness of these products as long as they  
11 have some fairly superficial similarities. So back then,  
12 waivers of in vivo studies were granted for most, if not  
13 all, of these products.

14           The result of this, by today's understanding  
15 this is not a simple situation and the skin is not a simple  
16 organ nor are these products simple, uncomplicated  
17 products. And therefore we ended up with clinical  
18 observations at first by dermatologists that some of these  
19 products that were supposed to be equivalent and switchable  
20 were not in any way therapeutically equivalent in their  
21 hands. They were seeing very large and noticeable clinical  
22 differences in the community in the patients they were  
23 trying to treat between these products which were supposed  
24 to be equivalent.

25           So we come to a point in time where the



1 corticosteroids, which at least at the time made up a large  
2 percentage of the dermatologic products, most of the  
3 observations were in the corticosteroid area.

4           McKenzie and Stoughton developed a bioassay  
5 that was related to the ability of topical corticosteroids  
6 to cause a blanching effect of the skin. This is a  
7 pharmacodynamic effect probably caused by a steroid effect  
8 of vasoconstriction in the superficial vessels of the skin.

9       So if you apply a strong steroid to the skin, you'll see,  
10 within perhaps a few minutes to an hour, the skin becomes  
11 light. After the removal of the drug, it's a temporary  
12 effect. It might last 24 hours or perhaps a little bit  
13 more, depending on the drug.

14           But these investigators attempted to quantitate  
15 that with, at first, the potency of different steroid  
16 agents, and eventually Dr. Stoughton actually applied this  
17 technology to try and discern if there were any differences  
18 in equivalent products containing the exact same drug. His  
19 work, which was published in one or two different articles,  
20 showed that many of the steroid products on the market that  
21 were allegedly bioequivalent were, indeed, not  
22 bioequivalent or therapeutically equivalent by his assay.

23           So this and other advancing knowledge in this  
24 area led to a change in the way these products were  
25 regulated, in that it changed to, for a great many of them,

1 certainly the new products being tested through in vivo  
2 bioequivalence testing rather than just simply granting  
3 waivers for all of them.

4           One of the successful developments that  
5 developed from McKenzie and Stoughton's original work was  
6 the current Guidance on Topical Dermatologic  
7 Corticosteroids, which uses that same blanching effect and  
8 attempts to have a quantitative measure of this blanching  
9 effect and relate it to potency and certainly  
10 bioequivalence of pharmaceutically equivalent products.

11           So first off, a definition of bioequivalence.  
12 This is definitely with a generic drug's flavor, in that we  
13 term them as pharmaceutical equivalents whose rate and  
14 extent of absorption are not statistically different when  
15 administered to patients or subjects at the same molar dose  
16 under similar experimental conditions.

17           The pharmaceutical equivalence part has some  
18 importance to the topic that we discussed earlier before  
19 lunch because those definitions that you debated and  
20 discussed really are one of the defining characteristics of  
21 whether two products are pharmaceutically equivalent. By  
22 pharmaceutical equivalence, we mean it's the same dosage  
23 form. It contains the same amount of drug and is used for  
24 the same conditions with presumably the same labeling and  
25 indications. So the definition of the same dosage form, a

1 cream versus an ointment, a gel versus a cream or a lotion,  
2 that has a great deal of importance when you're determining  
3 pharmaceutical equivalence. Therefore, if a drug is an  
4 ointment and somebody else develops another formulation  
5 that doesn't happen to meet your definition of an ointment,  
6 it cannot be matched up as a generic drug against that  
7 first reference-listed drug. So the definition of dosage  
8 form has a great of importance when you're determining  
9 pharmaceutical equivalence.

10           So just a few thoughts on bioequivalence. What  
11 we're trying to achieve here through bioequivalence is  
12 therapeutic equivalence. We want the products that are  
13 switched for each other to be equivalent when used in  
14 patients, and that's equivalent both on the efficacy side  
15 and to have equivalent safety profiles as well. So that's  
16 really the endpoint that we're all looking for. If one is,  
17 for example, either a new formulation of a currently  
18 approved product or a generic drug product that can be  
19 substituted for a reference-listed drug, in the end when  
20 those substitutions or changes are made, through objective  
21 measures the patients and their physicians should not be  
22 able to tell the difference based on therapeutic evaluation  
23 between those two products. That's the ideal and what  
24 we're striving for.

25           Bioequivalent products, therefore, can be

1 substituted for each other without any adjustment in dose  
2 or additional therapeutic monitoring over and above what's  
3 normally done for that type of patient and the most  
4 efficient method of assuring that TE is to assure that the  
5 pharmaceutically equivalent formulations perform in an  
6 equivalent manner.

7           So one of the important messages that I always  
8 try to get across, because sometimes people get confused,  
9 is that bioequivalence testing is all about the  
10 formulation. It's a test or comparison of the formulations  
11 as opposed to bioavailability where there are many other  
12 issues that are studied, including drug substance  
13 characteristics and how the absorption characteristics of  
14 the drug substance, regardless of which formulation it's  
15 in, also play a factor in what you would really like to  
16 know. With bioequivalence and formulation comparisons,  
17 it's all about how that formulation performs in making its  
18 drug available to the body.

19           The regs in 21 C.F.R. 320.24 lay out a number  
20 of different ways to approach the demonstration of  
21 bioequivalence. As you see, for this topic, for these  
22 topical products, choice number one -- and these are  
23 thought to be in order of preference for most products,  
24 especially systemic products -- may not be suitable for  
25 this particular set of products. Usually we are faced with

1 doing topical dermatologic products with number two or  
2 number three, either a pharmacodynamic comparison or a  
3 clinical comparison, to try and determine equivalence and  
4 therapeutic equivalence.

5 I show this. This is one of my favorite  
6 slides. I show it even when I'm not talking about  
7 bioequivalence sometimes because I like it so much. But it  
8 really displays to me what this whole process is. I drew  
9 it out for myself so that I can understand and explain what  
10 is the object in bioequivalence.

11 We have a series of processes. The first slide  
12 I'll show you is the oral product, and then I'll change  
13 this a little bit to show you two versions or two ways of  
14 thinking about the topical products.

15 So the oral one is something that we, I think,  
16 all know something about. It starts out with a dosage form  
17 that's manufactured or designed by formulation scientists  
18 to have certain characteristics. Usually in this  
19 particular case, the drug is in solid form, and it makes a  
20 transition during this process into a solution which is  
21 then absorbed through the GI tract. It ends up going  
22 through the gut wall, ending up in the blood. Eventually  
23 the blood carries it to the site of activity and you have a  
24 therapeutic effect, whether it's a desirable or undesirable  
25 one. So this is a schematic. It's not a kinetic

1 description. It's simply the simplistic set of events that  
2 happen.

3           And where do we want to intervene and take  
4 measurements to determine what actually happens with the  
5 dosage form? Because that's really what we're trying to  
6 do. Most of the things that I put in green and blue are  
7 characteristics that are determined by the patient or the  
8 study subject.

9           The thing that we have control of and are  
10 trying to test as formulation scientists are how this  
11 dosage form performs when you give it to patients or to  
12 study subjects. Unfortunately, we're not able to look  
13 directly at those events and measure them, so we have to  
14 measure them at some downstream event, normally in the  
15 blood or in some cases at a pharmacodynamic or therapeutic  
16 effect.

17           The important points to make from this is  
18 normally blood is best for systemic purposes because it has  
19 some very nice properties. It's not extremely variable.  
20 It's not too many steps in my little scheme away from the  
21 event we're really trying to get some insight about. It  
22 either has a linear -- I drew a little plot down here to  
23 show you. The response is on the y axis that we're  
24 actually measuring, which are the plasma concentrations,  
25 and the dose is on the x axis. So it usually either has a

1 very nice linear relationship of response to dose, or at  
2 worst, it has some kind of nonlinear relationship, which  
3 actually kind of goes up in the air on this plot, which in  
4 effect makes the test even more sensitive than it normally  
5 would if it were linear. So based on those properties,  
6 it's a very nice way to actually determine equivalence and  
7 bioavailability.

8                   On the other hand, if we move towards further  
9 down the stream to perhaps what we're all really interested  
10 in, which is the therapeutic effect, when we do clinical or  
11 pharmacodynamic effects, they don't quite have as nice or  
12 well-behaved properties. They generally have a sigmoidal  
13 dose-response curve. So if you have a clinical response or  
14 a pharmacodynamic response that you're measuring and you  
15 want to relate it to dose or, in the case of  
16 bioequivalence, slightly different doses from different  
17 formulations, you're faced with this relationship which, in  
18 effect, has three sections. The section on other side,  
19 here at the bottom and here at the top, are plateaus.

20                   So if you're testing your two formulations in  
21 this dosage range, you get very little, if any, sensitivity  
22 between those doses. So if I were up here on the plateau,  
23 giving much more drug than I really need to get my maximal  
24 effect, I could have perhaps a 100 times different dose and  
25 I wouldn't be able to tell the difference. The same thing

1 with the bottom plateau where I'm just not giving enough to  
2 get an effect. To get a good bioequivalence comparison you  
3 really need to be at this middle section, the steep part of  
4 the dose-response curve. This is my representation. They  
5 don't all look like this. I drew it especially steep for  
6 illustration purposes.

7           So if you were going to do this type of  
8 bioequivalence test, it is really important that you pick  
9 your dose to do the test at the proper part of this curve  
10 so you can get sensitivity to tell the difference between  
11 the two doses from your two different dosage forms. So  
12 that goes in a straight line from beginning to end. No  
13 problem.

14           However, that's not exactly the set of  
15 sequences that we're dealing with with the skin. We have  
16 now a locally acting product. Now, I have two versions of  
17 this slide. The first one is what I would call a  
18 simplistic or naive model because people come all the time  
19 and say, well, for skin products why don't you simple  
20 measure the blood and do bioequivalence that way?

21           On my scheme the dosage form partitions drug  
22 into the skin. Then it diffuses to the site of activity.  
23 You get a local therapeutic effect, and then eventually it  
24 diffuses through the skin. It's picked up by the  
25 superficial blood supply, and it goes into the systemic



1 circulation. Most people look and think of it this way and  
2 say, well, you know, you could just measure the blood and  
3 infer back. Even though in our previous scheme the blood  
4 acts as an intermediary between what we really want to know  
5 and the event we're trying to measure, the blood is later.

6 But perhaps we could still infer back and it would still  
7 be okay.

8 This is, in a way, a naive view that there's  
9 only one way through the skin, that any drug that comes  
10 into the skin goes to the site of activity. It goes past  
11 the site of activity, is picked up by the blood. And we  
12 have the same types of characteristics of the data and the  
13 same problems I just discussed.

14 If you talk to dermatologists, though, or  
15 people who are experts in the skin, one of the critiques of  
16 that first scheme is, well, perhaps there not just one way  
17 through the skin. The previous slide looked at the skin as  
18 a homogeneous slab with a homogeneous set of layers with  
19 only one pathway through each one. However, if you look at  
20 the skin, there are holes in the stratum corneum, there are  
21 other routes through the skin.

22 So it might be more accurate from the  
23 dermatologist's view to say, well, I have my path 1 which  
24 passes to the site of activity, creates a therapeutic  
25 effect and goes to the blood, but I might have another path

1 which bypasses that site of activity and eventually ends up  
2 in the blood without ever being reflected at the site of  
3 activity. Or it might contribute some variable amount to  
4 that site of activity in an indirect way.

5           Now, this all of a sudden says that if I  
6 measure blood, I have some confounding sources of drug  
7 which may not relate back to drug bioavailability to the  
8 site of activity.

9           So what we're left with with this type of  
10 scheme is that we really need to measure a PD or a clinical  
11 response to determine what's really happening, how that  
12 drug from that product is available to the site of activity  
13 within the skin.

14           Of course, I mentioned the particular problems  
15 of doing pharmacodynamic or clinical response is that we  
16 don't have a nice, well-behaved straight line of response  
17 versus dose. We now have some technical issues to work out  
18 to make sure that that's a sensitive test.

19           I have two examples of things that have been  
20 tried in this area. The first I think has been talked  
21 about. Dr. Franz talked about it a little, and I mentioned  
22 it before. I guess the success story, or the current  
23 success story, is the adaption of the blanching effect for  
24 a biotest to do topical corticosteroids, to do equivalence  
25 of topical corticosteroids. That procedure has come a long

1 way in the last 30 years that it has been developed. Now  
2 I'd like to think Dr. Stoughton, who is no longer with us,  
3 would be very happy at how his method has developed because  
4 he certainly had a significant part in it and tested some  
5 of the early developments.

6                   But it involves assessment of the blanching  
7 effect. It used to be a human observer would simply come  
8 in and say, that's a 1 or that's a 2. And now we have an  
9 instrument that actually reads the color change in the  
10 skin. We have some very, very sophisticated  
11 pharmacodynamic modeling methodology that really does do a  
12 great job in trying to quantitate this effect.

13                   As far as the subject washout that we've been  
14 talking about, we've really not seen much more than 30 or  
15 35 percent. Part of the procedure is a subject enrichment  
16 type of approach where you're really looking for  
17 responders. If you included all comers, all of us respond  
18 to this blanching effect differently. Some people barely  
19 respond at all. Some blanch at even the slightest amount  
20 of corticosteroid. But what you want are people who have a  
21 reasonable response rate over the dosage range that you're  
22 actually looking for so that they can tell you, based on  
23 their own response, whether there's a difference between  
24 the products or not.

25                   So you tend to want to select out those people

1 who don't have any sensitivity, who either over-respond or  
2 perhaps have little, if any, response. And we all differ.

3 Everyone this room probably has a slightly different  
4 blanching response. So it's very important to make sure  
5 that you have the right responders in the study, and often  
6 that means that 30 or 40 percent of the people you evaluate  
7 don't make the cut as far as being a responder.

8           One of the problems with dermatologics that,  
9 again, most people don't realize is, with an oral drug, if  
10 you want to increase the dose you give to somebody, you  
11 just take two tablets or three tablets or four tablets for  
12 most products, and you get four times the dose. With a  
13 topical product, on a given area of skin, you simply don't  
14 have much control over the dose. You can stack up thicker  
15 and thicker amounts, but that really isn't giving a higher  
16 dose per unit time for that area. So your ability to  
17 control the dose and to get yourself into that ideal area  
18 of that curve is very limited.

19           With this topical corticosteroids method, they  
20 did it in a very clever way in that they controlled that  
21 dose by simply putting the product on the skin for varying  
22 lengths of time. So they put it on and they get it right  
23 off again, and that's the way they control the exposure to  
24 the skin. It's a little bit of an artificial way of  
25 controlling things, but it works very nicely as far as

1 getting this blanching response over time and over dose.

2           Part of it is also to establish through testing  
3 what the dose-effect relationship is and that we are indeed  
4 studying it on the sensitive part of the curve. That's  
5 part of the procedure and part of the subject evaluation as  
6 well. So all of the problems that I mentioned in doing  
7 this type of study and this type of approach -- there's an  
8 attempt to actually do those properly in type of test.

9           The other one, which has also been mentioned,  
10 is termed dermatopharmacokinetics. So far it's been less  
11 of a success than of the other one. We've spent a lot of  
12 time and a lot of good research on this. In that case, the  
13 product is placed on the skin and removed at several time  
14 points. The stratum corneum, the upper layer of skin, that  
15 was exposed to the drug is removed by skin stripping with  
16 tape, and then that tape is analyzed to look at how the  
17 drug diffuses through the layers of stratum corneum. So  
18 more or less a kinetic approach is used for the uptake into  
19 the stratum corneum which is the outer barrier layer of the  
20 skin.

21           The problems or the critiques, I guess, of this  
22 technique were that, to go back to my multiple pathways,  
23 that this really just studied only one pathway, the stratum  
24 corneum itself. It did not really give much insight into  
25 other ways of getting into the skin or into the site of

1 activity like hair follicles or sweat glands.

2           There was limited, if any, relation to drug  
3 availability at the site of activity. So we didn't really  
4 have a great correlation to the actual drug appearance at  
5 the site of activity.

6           And last but not least, there were sometimes  
7 different results from different labs. If you were in  
8 previous advisory committees, Dr. Franz was one of the labs  
9 that studied this. He's a well-known expert in this area.

10 We had some trouble with different labs coming up with  
11 very different results.

12           So for those reasons, which obviously if  
13 different labs, all done by well-renowned experts, come up  
14 with different results, it really does shake your  
15 confidence quite a bit in any method. So as Ajaz said,  
16 although this method is not completely dead, there are  
17 still a lot of things that would have to be worked out  
18 before this would become a successful method.

19           So to recap, the special considerations for BE  
20 of topical products.

21           The semi-solid topical products are complex  
22 dosage forms in contrast to what they used to think many,  
23 many years ago.

24           The skin is not a homogenous slab of tissue,  
25 and there are several pathways that the drug can get into

1 the site of activity and into the body, some of which are  
2 stratum corneum, sweat glands, and hair follicles.

3 Plasma concentrations, at least in our current  
4 way of understanding, are not suitable for looking at drug  
5 availability at the site of activity. Now, if we really  
6 developed this idea and got a lot more data, our ideas may  
7 change in this area, but at our current level of  
8 understanding, it just doesn't really look like a good  
9 approach.

10 Surrogate measures, like some of the ones we've  
11 discussed, may not always adequately reflect the  
12 availability at the site of activity, and one of the  
13 burdens of validating a surrogate measure is you really  
14 have to show that it does provide information that is  
15 clinically relevant as far as equivalence.

16 And the clinical PD measures that we currently  
17 use, for the most part, successfully tend to have some  
18 problems of their own. There's a high degree of  
19 variability, which means that you have to study quite a few  
20 patients. They may, depending on how you do them and the  
21 dose issues that I've referred to, lack sensitivity unless  
22 they're done correctly. With all these products, you have  
23 a limited ability to control dose.

24 DR. KIBBE: Does anybody have any questions?  
25 Leon.

1 DR. SHARGEL: Well, Dale, you've heard me  
2 before about the use of plasma concentrations.

3 DR. CONNER: Yes. Leon is one of the people  
4 who always comes in with the plasma concentration idea.

5 DR. SHARGEL: But I didn't quite finish.

6 DR. CONNER: Okay.

7 DR. SHARGEL: We go at this periodically for  
8 those who are not aware.

9 When you're doing plasma concentrations, even  
10 for so-called systemically absorbed drugs, it's really a  
11 surrogate marker for the site of action in most cases. So  
12 if the drug is working in the brain or in tissues or such,  
13 we're assuming that the blood somehow is related to the  
14 site of action as well as safety, and it's also a  
15 measurement of exposure.

16 It seems to me that there's a paucity of data  
17 in most cases in doing locally acting products. There's a  
18 discouragement of looking at blood levels, and the general  
19 thing is, well, it may not mean anything.

20 Is there data available -- and I'm beginning to  
21 see a little bit here and there by hearsay, not too much  
22 published yet -- that would say if I did topical or locally  
23 acting products, would I see equivalent blood levels? And  
24 the next question, of course, you could say, well, even if  
25 you see equivalent blood levels, it doesn't mean anything



1 because it's not the site of action.

2 DR. CONNER: Well, I don't think scientifically  
3 you can ever dismiss anything, especially when you have no  
4 data that supports its dismissal. What I'm saying is at  
5 our current level of understanding and assuming that the  
6 concepts and the conceptual framework that I've laid out is  
7 correct or accurate, it doesn't look good with our current  
8 level of data and understanding.

9 That does not mean that data wouldn't be  
10 welcomed to support that. Any method that we've talked  
11 about or any that we have yet to talk about needs to be  
12 explored. Even if they don't always look good from our own  
13 current conceptual understanding, that doesn't mean that  
14 data wouldn't convince us that our conceptual understanding  
15 is in correct. So I think that all of these things that we  
16 conceive of, including things that we've studied in the  
17 past and perhaps dismissed, still more data can change our  
18 minds. Good scientific work is always welcome in any of  
19 these areas. I specifically want to say that I'm not  
20 discouraging any work in any of the areas of any ideas that  
21 have been brought up.

22 DR. KIBBE: Marvin?

23 DR. MEYER: Dale, are there good examples of  
24 where you have a secondary pathway for absorption and that  
25 is able to bypass the site of local activity? Or is that a

1 hypothetical?

2 DR. CONNER: Well, I'm trying to think of --  
3 usually they've been in in vitro testing like diffusion  
4 cells and things where you can actually show stain going  
5 down into hair follicles. In some of the cases, the actual  
6 site of activity for some products is the hair follicle.  
7 So you're actually trying to get drug down in there. Drug  
8 that might go through the stratum corneum and perhaps  
9 bypass that is drug that's lost in the therapeutic effect.  
10 So a lot of it is theoretical, but I'm not currently aware  
11 of any that actually says that, although that doesn't mean  
12 it does not exist.

13 DR. KIBBE: Ajaz?

14 DR. HUSSAIN: Just to sort of add to what Dale  
15 suggested, I think when you have a solution dosage form  
16 where it's homogeneous, I think those are not a concern.  
17 But as soon as you have a suspension type of a product,  
18 then the particle size ranges, if there are differences,  
19 then I think that brings up a concern in the sense  
20 localization of particles in certain appendages may result  
21 in either an adverse effect at that site or it could be  
22 used as a targeting to that site. Dale is right. These  
23 are at least to a large degree in theory, but there is some  
24 evidence that the particle size differences might be linked  
25 to certain differences in therapeutic or safety concerns.

1 DR. WILKIN: I can just add that I think it was  
2 Hans Schaeffer's group demonstrated for one of the topical  
3 synthetic retinoids that particle size in a certain range  
4 would increase delivery into the follicle, not necessarily  
5 the hair follicle, but the sebaceous follicle. So it was a  
6 good target for acne. That's where acne develops.

7 DR. CONNER: I think Hans has also done some  
8 animal work where he used rats and through giving them  
9 light burns and allowing them to recover, the hair  
10 follicles are eliminated and the skin comes back with its  
11 normal properties except now there are none of the holes  
12 there that were caused by the hair and did some work on  
13 showing the differences in permeation between having hair  
14 follicles and yet the same skin on the same animal not  
15 having those hair follicles and looking at permeation. So  
16 he's done some work on that as well simply trying to look  
17 for how much of an effect, having these holes in has on  
18 permeation through the skin, of various drugs with various  
19 properties.

20 DR. KIBBE: Shall we go on? If you think of  
21 something else interesting that you want to ask Dale, he'll  
22 be around at least for an hour or so.

23 Continuing our inverse order, we now have Dr.  
24 Hixon.

25 DR. HIXON: Hi. I'm Dena Hixon. I'm the

1 Associate Director of Medical Affairs for the Office of  
2 Generic Drugs, and I want to talk about the clinical  
3 endpoint bioequivalence studies that we currently do for  
4 these locally acting drug products. I also want to just  
5 briefly discuss the difference between establishing  
6 bioequivalence for these products and the systemic drugs  
7 and then some of the specific challenges that we get into  
8 with dermatology drug products.

9           As Dale has mentioned, systemic drugs are  
10 delivered to the blood stream specifically for distribution  
11 to sites of action in the body. Bioequivalence of these  
12 systemic drug products can be determined with PK studies.  
13 The PK studies are relatively short studies. They show  
14 relatively little variability in their results, and they  
15 require relatively small numbers of subjects. Those are  
16 also less expensive studies than the clinical endpoint  
17 studies.

18           Locally acting drugs are those that are not  
19 intended to be absorbed into the bloodstream and instead  
20 are delivered directly to the sites of action in the body.

21       Of course, with derm products which we're talking about,  
22 this involves sites of action in the skin, but we also have  
23 locally acting drug products with sites of action in the  
24 mouth, eyes, ears, nose, vagina, urinary tract, or  
25 gastrointestinal tract.

1           This list of locally acting drugs is certainly  
2 not intended to be an exclusive list, but the types of  
3 products that we deal with include topical acne creams,  
4 lotions, or gels; topical or vaginal antifungal creams or  
5 suppositories; oral lozenges for oral candidiasis;  
6 ophthalmic drops for conjunctivitis or other eye  
7 conditions; otic drops for external otitis; oral vancomycin  
8 for pseudomembranous colitis; nasal sprays for rhinitis;  
9 and orally inhaled products for asthma.

10           Now, certainly for these locally acting drugs,  
11 as has previously been stated, the pharmacokinetic studies  
12 are not adequate to establish bioequivalence, and for some  
13 products, such as the topical steroids, we have  
14 pharmacodynamic studies, the skin blanching studies that  
15 were discussed. But most of our locally acting drugs  
16 require clinical endpoint studies to demonstrate  
17 bioequivalence. And combination products such as a cream  
18 that's a combination of a steroid and an antifungal, for  
19 instance, would require both a clinical endpoint study and  
20 a pharmacodynamic study.

21           Our typical clinical endpoint study is a three-  
22 arm comparative trial of the generic versus the reference-  
23 listed drug versus placebo. These studies involve  
24 treatment of an approved indication for the reference-  
25 listed drug in a patient population and according to the

1 approved labeled dosing. The trial design and endpoints  
2 are very similar to those in the NDA.

3 I would point out here that the purpose of  
4 clinical endpoint studies is certainly not to establish  
5 safety and efficacy de novo, but to show that the  
6 effectiveness of the generic product is equivalent to the  
7 effectiveness of the listed drug.

8 Both the generic and the reference-listed drug  
9 must be statistically superior to placebo with a p value  
10 less than .05 in order to assure that that study is  
11 sensitive enough to show the difference between products if  
12 there, in fact, is a difference. Basically the  
13 bioequivalence requirements are the same as those  
14 established for other types of BE studies, the PK studies  
15 specifically.

16 We have a number of challenges that we face  
17 with these clinical endpoint studies.

18 First of all, the clinical endpoints are  
19 significantly more variable than pharmacokinetic endpoints  
20 but still must meet the same established bioequivalence  
21 limits. This may require several hundred patients in a  
22 bioequivalence study with clinical endpoints.

23 The study duration may be up to several weeks  
24 depending upon the approved labeling of the reference  
25 product, and these studies, of course, are very expensive

1 to conduct because of the number of patients involved and  
2 the duration of the study.

3           They also may present more safety concerns than  
4 PK studies partly because they involve a patient population  
5 and partly just because of the duration of exposure.

6           Some of our challenges are the unknown inter-  
7 subject variability within the reference population. We  
8 don't know if the difference from one group of subjects to  
9 another in patients just using the reference population  
10 might actually be more different than the bioequivalence  
11 requirements that we have established to show that the  
12 generic drug is bioequivalent to the reference drug.

13           There also is some difficulty in achieving  
14 consistency between studies. We don't have any one  
15 required study design that sponsors need to follow. In  
16 fact, we encourage sponsors to come to us with their  
17 proposed protocols for these clinical endpoint studies, but  
18 there's no requirement that they all be exactly the same.  
19 So, there are some challenges in looking at study designs  
20 to make sure that the population that is being studied is  
21 appropriate and that the endpoints that are chosen are  
22 appropriate. Those are probably the most significant  
23 components of the study design that need to be evaluated  
24 and need to be acceptable.

25           Of course, some products require multiple

1 studies. Those combination products that have both a  
2 topical steroid and a topical antifungal are one example.  
3 Another example outside of the dermatology field is the  
4 nasal sprays because they require pharmacokinetic studies  
5 in addition to the clinical endpoint studies and some very  
6 stringent in vitro studies.

7           As far as some of our challenges that are  
8 specific to dermatology drug products, we have, of course,  
9 the antifungals and other anti-infectives. We found a  
10 significant number of patients in these trials needing to  
11 be excluded from the evaluable population because their  
12 baseline cultures were negative. This, of course, has  
13 nothing to do with the performance of either the reference  
14 or the test drug product, but simply because of the  
15 sensitivity of the cultures. In some cases, with  
16 antifungals, it's almost half of the study population that  
17 has had to be excluded because of the baseline cultures  
18 being negative.

19           Also, the possibility of false negative cultures  
20 has led to some difficulties in interpreting the outcome of  
21 these studies because with the difficulties in growing  
22 fungi and other agents in culture media, it is quite  
23 possible to get a significant number of false negative  
24 culture results. That makes for more difficulties and more  
25 expensive studies.



1           With acne products, we have to deal with  
2 multiple endpoints in that acne involves treatment of not  
3 only inflammatory lesions, but also non-inflammatory  
4 lesions. So we end up with lesion counts that are  
5 inflammatory lesion counts, non-inflammatory lesion counts,  
6 and total lesion counts, and there are often some  
7 disagreements between FDA and sponsors in terms of what's  
8 important: the percent reduction from baseline, the actual  
9 reduction in lesion counts from baseline, or the actual  
10 lesion counts at baseline and at end of study.

11           In addition, there are some differences of  
12 opinion regarding the duration of studies for these acne  
13 products.

14           We find that sponsors are well aware of the  
15 fact that they need a large number of patients to show  
16 bioequivalence, and it appears as though sometimes the  
17 patients who are included are not severely enough affected  
18 to show a considerable effect size, and that really seems  
19 to result in some decrease in the ability to demonstrate  
20 bioequivalence with these products.

21           Also with topical acyclovir, which is indicated  
22 for treatment of recurrent genital herpes or limited life-  
23 threatening mucocutaneous herpes in an immunocompromised  
24 population, we've had a lot of difficulty in going back and  
25 forth with sponsors and our discussions with the primary

1 new drug review division about what is the appropriate  
2 study population and the appropriate endpoint for studying  
3 these products because herpes can be a very different  
4 disease when you're talking about genital herpes versus  
5 orofacial herpes and when you're talking about recurrent  
6 versus primary disease. It's important that we use a  
7 population for which the reference drug is effective in  
8 order to establish bioequivalence between the two  
9 formulations.

10 That basically is the end of my presentation.  
11 Does anybody have any specific questions on clinical  
12 endpoint studies?

13 DR. KIBBE: Does anybody have questions?  
14 Marvin?

15 DR. MEYER: Are there any ethical issues  
16 associated with a study population that's known to respond  
17 to, let's say, the innovator product, and then you're going  
18 to ask that patient to either take a product that may be as  
19 good -- hopefully is but may not be -- or a placebo which  
20 you know isn't going to work? So two-thirds of your  
21 patients are being switched to something that may not work  
22 as well.

23 DR. HIXON: Thanks for bringing that up. I did  
24 mean to add that in cases where a placebo treatment is not  
25 considered safe or ethical, that a placebo is not required.

1 But in a case where a placebo is not being used, it is  
2 very important that we have some justification for why that  
3 study is sensitive enough to show a difference between  
4 populations. In some cases, that's very straightforward.  
5 If it's a case where the placebo effect is very little,  
6 there's very little chance of spontaneous resolution, and  
7 the treatment effect is extensive, say, 70, 80, 90 percent,  
8 then we can feel more secure that we are, in fact, looking  
9 at a study that can show the difference between treatment  
10 products.

11 I haven't seen a situation where we've had  
12 ethical concerns about doing the trials without placebo,  
13 just comparing a test to a reference product. In cases  
14 where it's a life-threatening indication or a serious  
15 illness, we certainly have escape clauses where a patient  
16 who doesn't respond within a reasonable amount of time is  
17 excluded as a treatment failure and assigned to treatment  
18 with a known effective drug product.

19 I guess that goes back to what some of our  
20 endpoint problems are too because we find that the easiest  
21 endpoints to evaluate are those where we can have a clear-  
22 cut success or failure and look at the percentage of  
23 success or failure in the two different populations. But  
24 it can get a little more complicated when we're dealing  
25 with continuous variables as endpoints, and of course,

1 sometimes we have to do that.

2 DR. KIBBE: Lem?

3 DR. MOYE: If I understood you right, it sounds  
4 like there are fundamental problems with guidelines for the  
5 clinical studies here. Not only has consensus been reached  
6 on endpoints -- and you tell me if I'm wrong, but if I  
7 understood you right, there's been no consensus on effect  
8 size, confidence interval width, sample size, duration of  
9 follow-up. Is that correct?

10 DR. HIXON: I need to clarify here that we're  
11 not determining efficacy of the products so much as we're  
12 looking at bioequivalence of products. So these are  
13 comparative trials and we're looking at the difference in  
14 outcome between the test and the reference. The actual  
15 effectiveness of the product has already been demonstrated  
16 in the NDA for the approved product. So we have the same  
17 bioequivalence limits for clinical endpoint studies that we  
18 have for pharmacokinetic studies in that studies with  
19 dichotomous endpoints need to fall within plus or minus 20  
20 percent as far as the difference between test and  
21 reference, and studies with variable endpoints fall between  
22 80 percent and 125 percent.

23 Now, as far as our difference in study designs,  
24 certainly it's probably not appropriate for us to come up  
25 with one design and say that every generic company that

1 comes in has to follow that design to the T because what  
2 they really need to do is study that product for the  
3 approved indication and show that their drug is as  
4 effective as the reference product.

5           So, for instance, going back to the acyclovir  
6 situation, acyclovir could be studied either in  
7 immunocompromised patients with primary genital herpes or  
8 in the orofacial herpes in immunocompromised patients. As  
9 long as the effectiveness of one of those indications is  
10 the same between test and reference, we can assume that the  
11 effectiveness for the other indication would be the same.  
12 And there's no reason for us to require one of those study  
13 designs over the other.

14           DR. MOYE: Well, I'm glad to hear that because  
15 I wasn't suggesting that.

16           The tenor of your talk to me was that you were  
17 having problems with guidelines. In fact, even though --  
18 and I would agree with you -- there should not be one and  
19 only one clinical trial design that's appropriate, there  
20 certainly is a family of designs that are appropriate and  
21 other designs that are inappropriate.

22           Let me ask you specifically. Are you  
23 comfortable with the family of designs that are  
24 appropriate?

25           DR. HIXON: Yes. In fact, for any given

1 product, we look back at what has been done in the NDA  
2 trials and also what has previously been accepted for  
3 ANDAs.

4 I guess I'm not making myself clear in  
5 discussing that these are challenges. The challenges are  
6 when the very first generic drug comes in. It takes a  
7 tremendous amount of time and effort to go back over all of  
8 the information that has been provided to the agency about  
9 the NDA, what kinds of studies were done in the NDA, what  
10 is the labeling for the approved product, and what is the  
11 sponsor proposing to do. We generally consult with the new  
12 drug review divisions and come to a joint decision about  
13 whether the proposed study design is appropriate or not.

14 On the other hand, many sponsors come in and  
15 say what kind of a study do we have to do. They don't even  
16 go to the effort of proposing a specific study. Of course,  
17 it takes a tremendous amount of time and effort to come up  
18 with proposals for what they need to do.

19 DR. MOYE: Well, that to me doesn't sound like  
20 a problem that is specific and unique to your group. That  
21 to me sounds like a problem that's endemic across the FDA  
22 regardless of which class of drugs we're looking at. You  
23 have sponsors who come in who have a design that they think  
24 is appropriate and the FDA may disagree and have some  
25 discussion there, and a half hour later, here comes a

1 sponsor who has an open heart and is willing to do whatever  
2 the FDA says they want done.

3 DR. HIXON: The issue here is that we're  
4 talking about generic formulations and the requirement for  
5 generic formulations is not to establish safety and  
6 efficacy de novo. The requirement is to establish  
7 bioequivalence, and it puts a tremendous burden on  
8 sponsors, as well as the FDA, to design and conduct  
9 bioequivalence trials with clinical endpoints that may  
10 require hundreds of patients and may require weeks of  
11 treatment of those patients in order to get their answer as  
12 to whether their drug is bioequivalent to the comparator.  
13 I think our whole purpose is to talk about what other  
14 options are there to try to get around such complicated  
15 study designs and such a complicated way to show  
16 bioequivalence.

17 DR. MOYE: But still keep rigorous methodology  
18 and be able to draw conclusions that are confirmatory.

19 DR. HIXON: Right.

20 DR. MOYE: Thank you.

21 DR. KIBBE: Ajaz?

22 DR. HUSSAIN: Just to sort of build on what  
23 Dena was discussing and the sort of challenges I see with  
24 respect to the clinical approach to bioequivalence is  
25 essentially one is the goal post she talked about. We are

1 applying a goal post of 80 to 125 that was essentially  
2 derived from the PK based comparative evaluation. Now, as  
3 we look at a clinical endpoint based comparison, I think  
4 one logical question is, is that an appropriate goal post  
5 that we need to consider?

6 At the same time, should that goal post be one-  
7 sided or two-sided? Because in cases where you have a  
8 product which shows just marginally higher efficacy in the  
9 confidence interval criteria, is there really a difference  
10 between the two products?

11 I think we run into a number of these questions  
12 on a daily basis because now you're comparing the  
13 equivalence of the two products, and what should the goal  
14 post be would be one way of looking at some the challenges  
15 that we face.

16 DR. KIBBE: Anybody else? Leon?

17 DR. SHARGEL: Actually, Ajaz, you raised the  
18 point that I was going to ask. Admittedly a clinical  
19 bioequivalence study -- in a sense you are looking at a  
20 clinical endpoint, though, on these. And because the  
21 variation is a lot greater than PK, and you're still  
22 sticking currently to the 90 percent confidence intervals  
23 of 80 to 125 percent, should that be reexamined in lieu of  
24 the variance?

25 And also an ethical issue. You're exposing a



1 lot more subjects in order to try to meet that 80 to 125 as  
2 you're trying to do that. And is that appropriate to do  
3 that?

4 DR. HIXON: We certainly are open to the idea  
5 that that may not necessarily be the most appropriate goal  
6 posts for bioequivalence, but we need data on innovator  
7 products and just what the degree of variability is in the  
8 innovator product in order to think about changing those  
9 goal posts.

10 DR. SHARGEL: Do you generally have a dose  
11 response on the innovator products that you can refer back  
12 to and give you some idea of that?

13 DR. HIXON: I'm not sure that dose response is  
14 what we need. I think we need more of the type of data  
15 that takes groups of patients who are randomized groups,  
16 both taking either the same lot or different lots or  
17 different batches of the RLD to see just what the variation  
18 is between those patients and whether we're actually  
19 requiring a tighter bioequivalence limit between the test  
20 and reference than what you would see within groups of  
21 patients taking only the reference product.

22 DR. SHARGEL: The reason why I ask in the Emax  
23 model you're at the dose which you can't see differences in  
24 the bioequivalence. This has been brought up to the agency  
25 before. It's nice to know whether we would be able to

1 predict differences in products.

2 DR. HIXON: An interesting point. I don't know  
3 that I have a comment to that.

4 DR. KIBBE: Anyone else, if we have a comment  
5 to that? Wolfgang.

6 DR. SADEE: If you have a bioequivalence  
7 confidence interval and you set that, well, it depends on  
8 the product. On some products it's important. If the  
9 method is such that you cannot measure it, because there's  
10 too much variability, then I would suggest that delivery  
11 through the skin is inappropriate. So there may be  
12 components that just absolutely have to be dosed exactly,  
13 and if you can't do it accurately, then it's inappropriate.  
14 On the other hand, there are others where it doesn't matter  
15 that much and then you can relax the criteria. But it  
16 should really be the drug and the conditions treated that  
17 should predicate as to what you determine there, and it  
18 should be flexible.

19 DR. KIBBE: An FDA comment?

20 DR. WILKIN: I was responding to the query on  
21 whether we have dose ranging information for innovator  
22 topicals, and I can tell you that we always encourage it.  
23 We think it is an important piece of drug development to  
24 find a dose -- I mean, it's both efficacy and safety we  
25 think of in the dose ranging. It turns out that that's

1 often one of the more anemic portions of the NDA when it's  
2 submitted.

3           If you look at the ICH document -- I think it's  
4 E4 -- on dose ranging, there's a major portion of that  
5 document that's devoted to phase IV dose ranging. So that  
6 tells you even on systemic products we're not always  
7 getting dose ranging information in the NDA.

8           So I'm not sure if OGD came over and went to  
9 our document room and started looking for this whether you  
10 would find it very often, especially on the older products.

11           I think that was one of the pieces that Dr.  
12 Hixon was talking about with Dr. Moyer. One of our  
13 difficulties is the endpoints change over time. For a  
14 product to become a generic, that means it's off patent.  
15 So it may have been 10 years ago and it may have been the  
16 thinking of the FDA and the industry at that time what were  
17 the appropriate endpoints. Those endpoints may be  
18 different for the same indication or the indication may  
19 have been divided into two indications today. Things  
20 happen.

21           And so I think part of this extra work that  
22 she's describing her group does is to try to make a fair  
23 linkage with what was actually done for the innovator in  
24 the past and still bring it up to the things that you're  
25 talking about, making sure that it's a good quality trial

1 design that can be defended in 2003.

2 DR. KIBBE: Jorgen, we'll let you be last. How  
3 is that? And then we'll get on to the next speaker.

4 DR. VENITZ: It sounds good to me.

5 One question, one comment. The question is in  
6 your clinical bioequivalence studies, are they a parallel  
7 group design or crossover studies?

8 DR. HIXON: They're parallel designs.

9 DR. VENITZ: So you're using basically the 80  
10 to 125 which is based on crossover PK studies as your  
11 target, your goal post, for parallel group designs. That's  
12 the reason why you end up with those large numbers.

13 So my general comment then -- and I anticipated  
14 that you were going to say it's a parallel group design --  
15 just like Wolfgang said, I don't think there's any magic  
16 between the 80 and 125 even in the PK sense. I've been  
17 involved in those things for close to 20 years. I still  
18 haven't figured out who came up with 80 to 125.

19 (Laughter.)

20 DR. VENITZ: Now we're applying it in a level  
21 above the PK. Now we are applying it in the clinical  
22 endpoint studies. So I don't see any rationale why you  
23 shouldn't be able to flexibility use criteria that are more  
24 appropriate based on the endpoint that you have and what's  
25 considered to be clinical significance.

1                   In addition, we have the argument that the 80  
2 to 125 is definitely inappropriate because it really  
3 assumes that you have a crossover design. So you're  
4 looking at the variability within each subject not between  
5 two parallel tracks. So I think you've got a lot of good  
6 reasons to say that 80 to 125 percent is way too strict.

7                   DR. KIBBE: You'll still be around and we'll be  
8 able to get additional questions, if we need to.

9                   We need to get our last speaker up here. I'm  
10 determined to get done on time or else I'll be late. Go,  
11 Jon.

12                   (Laughter.)

13                   DR. WILKIN: I'll build on some of the topics  
14 that Dr. Conner and Dr. Hixon presented and describe this  
15 from a dermatologist's point of view.

16                   As you know -- and many of you may actually  
17 have family members or people that you know who have  
18 chronic skin diseases like atopic dermatitis and psoriasis,  
19 and you know that dermatologic disease can be chronic,  
20 costly, and it's very common. So there's a huge market out  
21 there. Topical products are the mainstay for most of these  
22 dermatoses, and getting good quality generic topical  
23 products would lower the costs and increase the  
24 availability to patients. So I think everyone can agree  
25 that facilitating good quality generics to the market is

1 what everyone would really like to see.

2 Dr. Conner and Dr. Hixon have described some of  
3 the historical difficulties. 320.24(b)(4) says that for  
4 most topicals, we look at clinical endpoints.

5 They mentioned the clinical reports of lesser  
6 effectiveness. One of the additional things that comes out  
7 in the derm literature and you hear at the meetings is that  
8 a dermatologist can squirt the innovator in one hand and  
9 the generic in another hand, and they have a very different  
10 feel.

11 And then there's just ill will, bad press. I  
12 think there are a few examples that are probably valid  
13 examples, but then you see all these ads out there. They  
14 show a Starbuck's coffee and they say, would you drink  
15 generic coffee? Well, then why use generic topicals? And  
16 there's not much substance to them, but it's out there and  
17 I think it does affect how clinicians think of generic  
18 products.

19 Now, traditionally the focus has been limited  
20 to what everyone calls Q1 and Q2. Qualitative sameness.  
21 It's the same list of ingredients. Quantitative sameness,  
22 those ingredients are there in the same amounts as found in  
23 the innovator. But a noticeable difference in vehicle  
24 properties can also come from Q3, if you will, structural  
25 or the phasic differences. It depends on how one actually

1 manufactures a product that leads to the structural  
2 attributes.

3                   And I'll give you sort of a very homespun  
4 example. I call it the law of Duncan Hines and Wilkin.

5                   (Laughter.)

6                   DR. WILKIN: If you ever go to the grocery  
7 store, you'll see, competing with Betty Crocker, these  
8 boxes of cake mix, chocolate cake mix. My wife is a cGMP  
9 cook. I'm not. So I had to learn that when it says you  
10 preheat the oven, that means you turn it on and you leave  
11 it on but you don't put the cake in until that red light  
12 goes out because that means it's actually heated up. So I  
13 have solved all of the wrong ways that you actually do  
14 this, although now I think I can do it right.

15                   The point is that over time I have managed,  
16 using identical ingredients, using Q1 and Q2, identical  
17 starting properties, to end up with incredibly different  
18 structural creations.

19                   (Laughter.)

20                   DR. WILKIN: But one positive thing I can add  
21 is that even when it's really thin and really hard, if you  
22 soak it in milk for 30 minutes --

23                   (Laughter.)

24                   DR. WILKIN: So the point is that there are  
25 important vehicle attributes that also come from the

1 physical structure of these topical dermatologic products  
2 and just simply knowing Q1 and Q2 really does not predict  
3 all of those important properties.

4           And there's another complication.  
5 314.94(a)(9)(v) in the Code of Federal Regulations tells us  
6 that even Q1 and Q2 are not essential for topical products.  
7 It's got those nice adverbs that Dr. Meyer pointed out  
8 earlier that FDA uses all the time. It says, generally  
9 they're the same. But it allows for the setting where  
10 they're not as long as the sponsor can demonstrate there's  
11 no change in safety.

12           So the manufacturing process is blinded to the  
13 generic manufacturer. That's proprietary information.

14           Even when Q1 and Q2 are identical, the product  
15 can still have different physical properties, depending on  
16 how it's been cooked. One example that Gordon Flynn gave  
17 years ago, when speaking to the FDA group, was using the  
18 same recipe, in the evening someone turned the cooling coil  
19 system off so that what was in the vat cooled to room  
20 temperature very slowly, and they got a very different type  
21 of product than when they used the cooling coils to chill  
22 it down rapidly. One was fairly viscous and the other was  
23 non-viscous. Just one simple step in manufacturing can  
24 make a substantial difference.

25           So thinking of all these different degrees of



1 freedom, it's helpful to think about those when we're  
2 thinking how do we actually facilitate the approval of  
3 generic topical dermatologic products. The question is,  
4 what do we need to know? What is the simplest information  
5 structure that has everything in there that's necessary but  
6 also sufficient and nothing in excess that would get us to  
7 generic approval? I call that regulatory elegance, that  
8 process of thinking through that.

9 I use the term "elegance" in the sense of the  
10 organic chemists who talk about the synthesis of an organic  
11 chemical in the fewest steps with the highest yield. That  
12 same term "elegance" is celebrated by the mathematicians if  
13 you have a mathematical proof that starts out with the  
14 fewest assumptions and it takes the fewest steps, and you  
15 can end up proving the thesis.

16 And I think we should embrace that at FDA, but  
17 I think it's the larger regulatory community. It's  
18 industry and it's academics and the professional societies.

19 We need to look for regulatory elegance. It's the  
20 identification of the simplest information structure  
21 required for a regulatory decision. It wouldn't be the  
22 absence of regulatory creep that we're always accused of,  
23 adding new things that we want to know. And in truth,  
24 we're all information junkies, everyone. I mean, we'd like  
25 to know more about things, but we have to focus on what do

1 we really need to know because information costs money. So  
2 it's the opposite of regulatory creep. It's trying to find  
3 ways to thin out the parts that are not needed.

4           So demands focus on what I call the 3 R's of  
5 regulatory elegance. The first would be reduction. It's  
6 the number or extensiveness of required tests. Refinement  
7 would be the optimization of test design for max  
8 information at minimum cost. And replacement, which I  
9 think, if we're going to go for honors in approving these  
10 generic topicals, is where we really need to go. We need  
11 to replace. We need substitution of a simpler, cheaper,  
12 more informative test.

13           So how I see this in the paradigm of getting to  
14 the new generic topical dermatologic drugs, in the short  
15 term it's reduction and refinement. And Dr. Hixon  
16 described the acne studies and how difficult they are. I  
17 submit that you can actually look at a smaller number of  
18 subjects, bring them in at 9 weeks, 10 weeks, 11 weeks, 12  
19 weeks, average their inflammatory lesion counts, average  
20 their non-inflammatory lesion counts over those different  
21 visits, and what you'll do is you'll take out intra-subject  
22 variability, and by doing so, you can dramatically increase  
23 the power. So I think there are ways that you can maximize  
24 information from a small number of subjects that can be  
25 more economical, and we've offered to participate with OGD

1 and think of ways for the more common products.

2           The long term is replacement, and it's  
3 development of alternative methods. I intended an "s" on  
4 the word "methods" because I don't think in the end there's  
5 going to be one method for all of the topical dermatologic  
6 classes. Antifungals. We may find at the end of the day  
7 that there is a role even for DPK, although I know it's  
8 been through the committee in the past and gotten a  
9 negative response. On the other hand, there are some other  
10 dermatologic conditions that clearly I think would need  
11 something other than DPK. So I think it's multiple methods  
12 that we need to think about, and we need to develop ways of  
13 guaranteeing the Q3 sameness, at least to the extent that  
14 the innovators have that consistent from batch to batch,  
15 from lot to lot.

16           Thinking about alternative methods, I'll not  
17 spend a lot of time on this because I recognize this group  
18 knows about the FDA and USP performance parameters for new  
19 methods. I think they're very nicely discussed in the USP  
20 chapter, but I did want to have them in my slides.

21           Next, in addition to the performance parameters  
22 of a new methodology, is the concept of validation of  
23 utility. I think the very first step is intra-laboratory  
24 reproducibility. Can the same investigator on different  
25 days run the same experiment and get the same result?

1           And then the second stage is can someone else  
2 in another lab take the written instructions for conducting  
3 this method and get the same kind of result.

4           And then the third step, which is really the  
5 highest hurdle, is demonstration of replaceability. That's  
6 replacing what we're currently doing.

7           Now, I would define as the controlled artifact  
8 stage that point in the development of an alternative  
9 method where there has been substantiation of those  
10 performance parameters that are outlined in the USP chapter  
11 that reproducibility has been found intra-laboratory and  
12 also between laboratories, and it's awaiting that final  
13 essential step of can it really truly replace what we're  
14 currently using which is the clinical trial or the  
15 corticosteroid multi-point, Stoughton-McKenzie blanching.  
16 That would be another one that could be considered.

17           So there is a group of folks that will be  
18 coming in over the next, I suppose, three or four years,  
19 and they'll be presenting their models. And I call them  
20 the Guild of Alternative Method Enthusiasts and  
21 Researchers. Incidentally, that contracts into GAMERs, if  
22 you want to look at the acronym.

23           (Laughter.)

24           DR. WILKIN: When they come in, they're often  
25 sold on the method at the controlled artifact stage.

1 Although Dr. Franz mentioned he's going to make money  
2 regardless of which method is chosen, many of them are  
3 going to make more money if their method is chosen. I  
4 think that's been somewhat offputting in the past, maybe a  
5 little bit more to the Dermatologic Advisory Committee than  
6 this committee.

7                   But let me just encourage some tolerance here.

8       This is the group that is actually going to do the  
9 brainstorming, the hard work in the lab, take some risks.  
10 If we're ever going to have an alternative method, we're  
11 going to learn it from this particular group. So the  
12 GAMERs ultimately are our friends.

13                   But when they bring it to that controlled  
14 artifact stage, we still need the evidence of  
15 replaceability, and that's where this committee and others  
16 need to play a role in what I think of as the peer review  
17 process.

18                   So the final step of validation is peer  
19 reviewed demonstration of replaceability. There are a lot  
20 more things that I could have put under here, but I have  
21 limited it to just two.

22                   The first one is does this new alternative  
23 method actually make biological sense. I think one of the  
24 things that we had a good discussion with back for DPK is  
25 it was going to be used for skin diseases where there was

1 no healthy stratum corneum. In fact, many of the diseases  
2 had no remnants of stratum corneum, and yet the method  
3 relied on looking at healthy stratum corneum. So I think  
4 those are the kinds of things that you have to think about  
5 the first principles. Do they actually fit?

6           And then the second part is can the method  
7 reproducibly demonstrate equivalence between the innovator,  
8 the reference-listed drug, and a clinically demonstrated  
9 bioequivalent product so that we have the clinical data  
10 comparing the two.

11           Superiority or inferiority to a clinically  
12 demonstrated superior or inferior bioinequivalent product  
13 in an adequate, well-controlled, blinded comparative study  
14 with at least three arms. And I think it would be nice to  
15 know that it is sensitive enough to pick up differences,  
16 but it's also specific. It would be a horrible method if  
17 we accepted something that would actually pick up  
18 differences from one lot to the next for the innovator. I  
19 mean, we want to have something that doesn't narrow the  
20 goal posts too much but finds it to be just right. So,  
21 very helpful for the future.

22           I'll stop at that point.

23           DR. KIBBE: Who wants the first crack?

24           DR. MOYE: I have a question.

25           DR. KIBBE: Yes, please.

1 DR. MOYE: The GAMERS' laboratory is a wet lab  
2 or a dry lab? Are they actually doing experiments on  
3 physical entities, composite entities?

4 The reason I ask that is because there is new  
5 emphasis on the use of computing as a tool to carry out  
6 these kinds of research experiments to the point that there  
7 is a new institute at NIH which is involved in doing  
8 essentially simulation at the basic science level. Now, we  
9 might have thought that that was foolhardy 15 years ago,  
10 and it still may be. It's yet to be proven, but there have  
11 been important advances in computing technology that  
12 suggest that, to some degree, we can move from a 100  
13 percent reliance on bench biology to a reliance on a hybrid  
14 system that has some real biology components and some  
15 mathematical components.

16 In the era where clinical trials now can cost  
17 hundreds of millions of dollars and there is now a trial  
18 being carried out that cost a quarter of a billion dollars,  
19 we are rapidly going to run out of resources to carry these  
20 things out. And in looking at alternatives, computing as a  
21 hybrid is turning into a very admissible approach.

22 I was wondering what your comments were on  
23 that.

24 DR. WILKIN: Well, I completely agree with you.  
25 I think we have to be very open to computer-based systems,

1     incredibly information-rich ways of looking at things.

2                     But I can describe, I think, what most  
3     laboratories are doing today.

4                     And it just occurred to me I'm probably going  
5     to regret the GAMER thing. We'll have to think of another  
6     name for them.

7                     (Laughter.)

8                     DR. WILKIN: But those folks who are the  
9     creative minds that get it to the controlled artifact  
10    stage.

11                    Generally what they look at is they look at  
12    different concentrations in the same vehicle. We have to  
13    remember that ultimately the alternative methodology that  
14    we're thinking about, the ultimate utility is to let us  
15    know that you have two products with the same active at the  
16    same concentration and different vehicles. So it's really  
17    to tell us that the vehicles are the same, but most of the  
18    work that's done at that very early stage is looking at  
19    different concentrations in the same vehicle, which I think  
20    you would want to know anyway. You'd want to know that  
21    it's linear, it has a range that it's going to be able to  
22    detect, those sorts of things.

23                    So it's, I would say, 99 percent wet in that  
24    context, but it's not so much looking at an innovator and a  
25    generic. It's really looking often at homemade material



1 that is of different concentrations. I think it's a good  
2 first step, though, really.

3 DR. MOYE: If I could follow up. I would  
4 encourage you, if you could contact your compatriots over  
5 at NIH, because they're grappling with this same issue, and  
6 they apparently have some very good mathematical  
7 formulations for underlying biologic processes, components  
8 of which may be useful for your group.

9 DR. WILKIN: Well, maybe they also have some  
10 money that can help fund some of these studies too.

11 (Laughter.)

12 DR. WILKIN: I see Ajaz writing all this down.

13 DR. KIBBE: Anyone else?

14 (No response.)

15 DR. KIBBE: Just a couple of chairman comments.

16 I think Vince Lombardi would be happy to embrace the  
17 GAMERs. He believed that those who got into the fight,  
18 whether they won or lost, were better than those who stood  
19 on the sidelines and applauded. I appreciate the  
20 innovators and the entrepreneurs who try to come up with  
21 solutions. I recognize us and the agency need to take a  
22 careful look at those proposed solutions to see which ones  
23 really are useful for the public good. But I certainly do  
24 appreciate them coming to the plate.

25 I want to thank everybody for their

1 presentations. We will have a short break. During the  
2 short break, there is a --

3 DR. HUSSAIN: I was going to wrap up.

4 DR. KIBBE: Good.

5 DR. HUSSAIN: Well, actually I had dinner with  
6 Art last night, and his advice was, don't make any slides.  
7 And I'm following his advice. No slides. Right?

8 DR. KIBBE: This is an auspicious occasion  
9 where Ajaz has rigorously followed my advice.

10 (Laughter.)

11 DR. HUSSAIN: I think what we wanted to do was  
12 to present to you the challenges we face and then what are  
13 the next steps. In terms of the next steps, what we would  
14 like to do is to come back to this committee or the  
15 Biopharmaceutics Subcommittee to present a research plan  
16 and a research plan for moving forward with respect to  
17 methods for topical bioequivalence. The approach that we  
18 have in our mind right now is a tool box approach. One  
19 size or one method does not fit all situations.

20 So to take an example of the  
21 dermatopharmacokinetic, the skin stripping, studies, I  
22 think we have an opportunity for improving the protocol and  
23 applying it to a class of products where I think it would  
24 be very appropriate, for example, antifungals where the  
25 site of action is itself the stratum corneum. So I think

1 what we would like to do is bring a classification system  
2 forward where I think we can use a body of evidence of  
3 different methods and different techniques to address a  
4 number of issues. Not all the products would be addressed  
5 this way, but I think it would be a starting point.

6           In addition, I think we'd like to open the  
7 discussion on the goal posts. How should we approach the  
8 goal posts with respect to topical products? And I think I  
9 totally agree with Dr. Sadee that I think it has to be  
10 based on the underlying risks, underlying mechanisms, and  
11 so forth. So how do we approach, how do we come up with a  
12 decision tree to say how do we decide what is an  
13 appropriate goal post for this? Should it be a one-sided,  
14 noninferiority sort of thing? Or what should it be? So  
15 that would be another aspect.

16           Dr. Wilkin essentially has added Q3. Let me go  
17 back and explain that concept. For example, if we have a  
18 gel -- and now, I'm defining a gel as a solution with a 3D  
19 structure because of the hydrocolloids. A generic has to  
20 be Q1 and Q2. What does that mean? It has to have the  
21 same ingredients, water, the same hydrocolloids, and Q2,  
22 quantitatively it has to be the same, that is, within  
23 plus/minus 5 percent of the excipient.

24           Now, with that, if you're really looking at it,  
25 in my mind from a pharmaceuticals perspective, bioequivalence

1 is self-evident. You really have to go back and think of  
2 that. But that's not defensible right now, and I think we  
3 have to defend that position. What is the driving force  
4 there? It's the thermodynamic activity. And if you start  
5 arguing from there, I think the Q3 perspective Dr. Wilkin  
6 has brought on the table is the physics of that dosage  
7 form, and I think that has been missing. He created a  
8 wonderful opportunity for PAT in this area.

9           But I think with respect to understanding the  
10 rheological behavior and the physico-chemical attributes of  
11 the dosage form, I think we can provide a high degree of  
12 evidence to say that bioequivalence will be self-evident.  
13 And we would like to start proposing a research program to  
14 address, in a step-by-step manner, how do we get there.

15           We are fortunate. I think we do have funding  
16 available for this research program now, and I think we  
17 will not only think about different clinical studies but at  
18 the same time manufacture products ourselves. And I think  
19 we did not have that opportunity before. I think we will  
20 have that opportunity.

21           So I would like to stop here. I'll let you  
22 know that when we come back this is the research plan that  
23 we'll outline for you and seek your input in discussions on  
24 how do we take the next steps.

25           DR. KIBBE: We stand adjourned for 15 minutes

1 until 3:30.

2 (Recess.)

3 DR. KIBBE: Our break is over.

4 We're down to our last two presenters for the  
5 day. I want to congratulate everyone on their energy and  
6 their involvement. I am really looking forward to these  
7 last two presenters getting us started on another pathway  
8 for the agency. My colleague Marv is dragging along  
9 behind, but we won't wait for him.

10 Nancy Sager.

11 MS. SAGER: Good afternoon. I know it's been a  
12 long day, so I hope this will keep your interest until  
13 dinnertime or close to it.

14 I'm presenting the introduction to  
15 comparability protocols, and I will be followed by Dr.  
16 Stephen Moore who will give you some more details on the  
17 protocols. I'm going to cover what is a comparability  
18 protocol, why has FDA issued a guidance on comparability  
19 protocols, what are the benefits of using a comparability  
20 protocol, and what is the purpose in making the advisory  
21 committee aware of this guidance. Then I'm going to turn  
22 it over to Dr. Moore who will follow with some more details  
23 on comparability protocols.

24 A comparability protocol is specified in our  
25 guidance as a well-defined, detailed, written plan for

1 assessing the effect of specific postapproval chemistry,  
2 manufacturing, and controls changes on the identity,  
3 strength, quality, purity, and potency of a specific drug  
4 product. This plan is supposed to be designed for future  
5 anticipated chemistry changes. And the protocol would be  
6 able to be submitted as part of the original NDA or ANDA  
7 application or it could be submitted as a postapproval  
8 supplement in a prior approval supplement and request  
9 approval at that time.

10                   Why did we develop a guidance? The concept for  
11 comparability protocols was first introduced for  
12 biotechnology products in 1997 as part of the regulation  
13 writing process. It was a way of introducing a procedure  
14 for companies to come in and provide plan for these changes  
15 in complex materials. We had gotten a lot of requests from  
16 industry to extend this concept to all drugs, the  
17 synthesized chemicals and other things other than biotech  
18 products, and we had gotten requests for additional  
19 guidance, what should be in a comparability protocol. So  
20 we in the Center for Drugs just published a guidance that  
21 details what we would expect in a comparability protocol,  
22 the basic elements, and Steve is going to talk about that  
23 in more detail.

24                   Why did we develop it? As I said, we wanted to  
25 provide recommendations to applicants on developing a

1 protocol to assess the effect and give more specific  
2 details.

3           Again, this is one part of a bigger plan in  
4 developing risk-based approaches to the CMC process at FDA.

5     A well-planned protocol can provide FDA with sufficient  
6 information for FDA to determine whether the potential for  
7 an adverse effect on the product can be adequately  
8 evaluated and whether that risk is lowered so an applicant  
9 could report their change in a lower reporting category,  
10 which I'm going to talk about in a little bit more detail  
11 in a couple of slides.

12           One of the questions that we often get is why  
13 do we have to wait for FDA approval. We've done the  
14 studies. The studies turned out good. Why can't we just  
15 implement the change without FDA approval? One of the  
16 reasons we ask for FDA approval on the most complex  
17 chemistry changes is that we need to assure that the right  
18 studies were done and that the study results were  
19 interpreted in a way that we would draw the same conclusion  
20 from the same results.

21           Another aspect of the comparability protocol is  
22 it augments the Scale-Up and Post-Approval Changes, the  
23 SUPAC guidance and the Changes to an Approved NDA and ANDA  
24 Guidance. For those who aren't familiar with these two  
25 guidances, Changes to an Approved NDA and ANDA Guidance is

1 a general guidance that specifies reporting categories for  
2 certain postapproval chemistry changes. The SUPAC  
3 guidances are dosage form-specific. We have a SUPAC  
4 Immediate Release Solid Oral Dosage Form, Modified Release  
5 Solid Oral Dosage Form, and a Nonsterile Semi-solids SUPAC  
6 Guidance. These actually are very detailed guidances  
7 recommending for specific changes what data should be  
8 provided in the reporting categories. By specifying these  
9 up front, it allows for -- a lower reporting category to  
10 FDA means they can implement the change faster than if they  
11 didn't follow this guidance. If a change wasn't done under  
12 one of these guidances.

13 It's also consistent with and complementary to  
14 FDA initiatives on pharmaceutical cGMPs for the 21st  
15 century, which I think you're going to hear about more  
16 tomorrow. I think it's on tomorrow's agenda. This will  
17 help promote continual process and product improvement and  
18 innovation by facilitating CMC changes.

19 As I said, I was going to talk a little bit  
20 more about the reporting categories or reporting mechanisms  
21 for postapproval chemistry changes. One of the benefits of  
22 using a comparability protocol approach is that if an up-  
23 front protocol is agreed upon, the applicant can propose a  
24 lower reporting category than FDA would recommend if there  
25 was not a protocol that had been reviewed by FDA, if they



1 just came in on their own without consulting with the  
2 agency ahead of time.

3           The statute specifies four different reporting  
4 categories: prior approval supplement, which means you  
5 can't implement and sell your product using this chemistry  
6 change, whether it's a manufacturing, chemistry, or control  
7 change, until FDA approves the supplement.

8           Changes being effected in 30 days and changes  
9 being effected supplement still require FDA approval, but  
10 these both allow a company to distribute product at their  
11 own risk prior to FDA approval. If it's a CBE-30  
12 supplement, it requires the applicant to wait 30 days after  
13 they submit the supplement to FDA before they can  
14 distribute the product. The changes being effected  
15 supplement means as soon as it's submitted to the FDA, they  
16 can start distributing the product.

17           An annual report is our lowest reporting  
18 category, and these changes that are annual reportable can  
19 be implemented immediately, and they're reported once a  
20 year in a cumulative report to us.

21           So, first of all, a company can get a reduced  
22 reporting category with an approved comparability protocol.

23           The second important benefit is that an FDA  
24 request for additional information to support a change is  
25 less likely when the change is covered under an approved

1 protocol. We've reviewed the protocol. We've reviewed the  
2 tests and procedures you're going to be using. There  
3 should not be a need for additional information requests  
4 unless there's some change in the science or technology  
5 that maybe warrants additional questions.

6           The third benefit is that it could allow an  
7 applicant to implement CMC changes and place product in  
8 distribution sooner than without the use of a comparability  
9 protocol. If the reporting category is lowered, then they  
10 may not have to wait for FDA approval before they can start  
11 distributing their drug.

12           It also allows companies to design their own  
13 SUPAC based on their knowledge of and experience with a  
14 product. We have three SUPAC guidances that we mentioned  
15 before. It's unlikely that we're going to write many more  
16 SUPAC guidances dosage form-specific because there are so  
17 many kinds of dosage forms, and they take a lot of  
18 resources to write. There are only a handful of products  
19 maybe in a certain dosage form class. The immediate  
20 release and modified release solid oral dosage forms  
21 probably covers about 50 percent of our applications in the  
22 FDA, but for things like liposomes and these more unusual  
23 dosage forms, it's unlikely that we'll ever write a SUPAC  
24 to cover a very narrow class of dosage forms. So this  
25 allows a company to kind of design their own SUPAC based on

1 their development information, their knowledge and  
2 experience with the product.

3 It also allows again the reduced reporting  
4 category for a product that isn't covered by a SUPAC  
5 guidance or another type of guidance.

6 So what's the advisory committee's role? At  
7 this time, CDER has little experience with comparability  
8 protocols. We've accepted protocols in the past typically  
9 for packaging changes, changing resins and things like  
10 that. As Steve will explain, these comparability protocols  
11 are almost wide open for use as far as what kind of changes  
12 might be covered under them. There are a few limitations  
13 that Steve will describe, but they're really expanding into  
14 areas that we have not reviewed protocols in in the past.  
15 So we may at some point ask the advisory committee to  
16 comment on issues raised by the public comments on the  
17 guidance or perhaps even specific proposals for a  
18 comparability protocol, asking for their scientific  
19 opinions on the aspects of a protocol.

20 Just to wrap up my part of the presentation, as  
21 I said, the guidance published on February 25th and it's  
22 open for public comment until June 25th. I've included the  
23 web address for those who are interested in getting a copy.

24 Now I'll turn it over to Dr. Moore.

25 DR. MOORE: Thank you. Nancy has given a very

1 nice overview of the comparability protocols.

2 I want to speak now on more of the specifics  
3 associated with actually using the comparability protocol  
4 and the content of some of the guidance that's out there as  
5 a draft on the web.

6 Some of the specifics I want to cover: When  
7 might a comparability protocol be useful for a CMC change,  
8 what are the various product-specific and process-specific  
9 considerations one might have I think to do a comparability  
10 protocol? When might a comparability protocol be  
11 inappropriate? And what are the basic elements of a  
12 comparability protocol, and what are some of the specific  
13 issues to be considered for comparability protocols for  
14 various types of CMC changes?

15 First of all, to address when might a  
16 comparability protocol be useful for a CMC change. As  
17 Nancy mentioned, comparability protocols are applicable to  
18 a wide variety of CMC changes. There are some exceptions  
19 as she also mentioned. I'll go into that just a little bit  
20 later. The comparability protocols can apply to many of  
21 the kinds of changes that are described in our SUPAC and  
22 BACPAC and changes to approved NDA and ANDA guidances, as  
23 Nancy was mentioning.

24 For example, comparability protocols are not  
25 meant to supersede those guidances, but really to add on to

1 those guidances. One example is that you could take a  
2 SUPAC level 2 change for an immediate release tablet such  
3 as scale-up, and that would be a CBE type of change, or the  
4 category for reporting that change will be CBE. One could  
5 use a comparability protocol and provide the specifics for  
6 that particular drug and that particular process and be  
7 able to get a reduction of that particular change down to  
8 an annual report.

9           Comparability protocols could also cover many  
10 types of changes that are not described in any of our  
11 guidances. For example, the BACPAC guidance specifically  
12 excludes changes to products that are derived from natural  
13 sources or products that are derived from biotechnology,  
14 and the guidance we're talking about here would, in fact,  
15 cover changes you could make to products that are derived  
16 from biological sources, for example, conjugated estrogens;  
17 for example, synthetic peptides. One might think that this  
18 might even be the most usefulness of the comparability  
19 protocol to fill in all those areas where we really don't  
20 have any guidance.

21           Continuing some more with some ideas about when  
22 a protocol might be useful. They're useful for single or  
23 it could be multiple changes. Hopefully those changes  
24 would be related changes, the same kind of changes that one  
25 might submit in an ordinary CMC supplement, and each of

1 these changes being discrete and specific. This is not a  
2 deviation from the way we are reviewing supplements in  
3 general now.

4                   Changes of a repetitive nature might be  
5 particularly useful because one would have a single  
6 document where you have the comparability protocol  
7 described, and then maybe multiple supplements could come  
8 from that in actually implementing changes of a like  
9 nature.

10                   But the bottom line is you really have to  
11 specify up front what are going to be the tests and the  
12 studies and the analytical procedures, and most  
13 importantly, the acceptance criteria for demonstrating that  
14 the CMC changes will not adversely affect the product, that  
15 is, with respect to its identity, its strength, its  
16 quality, purity, and potency, as these factors may relate  
17 to the safety and efficacy.

18                   Turning to some of the product-specific and  
19 process-specific considerations one might go through in  
20 determining whether a comparability protocol would be  
21 useful or would be applicable, consider first the  
22 complexity of the product structure. That is associated  
23 with really the ability that we would have with the  
24 analytical techniques that we have to characterize the  
25 chemical, physical, microbiological, and biological

1 properties of the product. For example, routine testing in  
2 the simplest case and inclusion of stability studies. But  
3 in other cases where the change becomes more complex and  
4 there may be a propensity of the change to actually change  
5 the structure of the drug itself, then one would need to go  
6 in to do characterization studies too.

7           Also a consideration to the degree to which the  
8 differences in the product structure and the physical  
9 properties can be detected by these analytical techniques.

10           And the degree of product heterogeneity, if  
11 present. This doesn't apply that much to purified,  
12 synthetic chemicals, but it would apply in many cases to  
13 products that are derived or purified from biological  
14 sources.

15           And what is the effect on safety of changes in  
16 the impurities? Changing the process may generate  
17 different impurities or the purification process change may  
18 exclude impurities or cause other impurities to flow  
19 through into the final product. So that's a consideration  
20 one has to make on safety.

21           Some more product and process considerations  
22 are the robustness of the product, the ability of the  
23 product to remain unaffected by the changes, and the  
24 rigorousness of the manufacturing process. That means the  
25 ability of the process controls to ensure that the product

1 remains unaffected by changes.

2           Of course, one is expected to meet the approved  
3 drug substance and/or drug product specifications after a  
4 change. This is not much different than the way we view  
5 supplemental changes without a comparability protocol being  
6 involved.

7           And of course, one has to have in place  
8 appropriate and sensitive analytical procedures. These  
9 have to be established and validated or qualified in the  
10 case of characterization type analytical procedures in  
11 order to detect the effect of the change on the product.

12           I now turn to when a comparability protocol  
13 might be inappropriate or not useful. Comparability  
14 protocols have to be specific and discrete, so protocols  
15 that are very broad and for nonspecific plans are not going  
16 to be very useful.

17           A change whose adverse effect on the product  
18 that cannot be definitively evaluated by the prespecified  
19 tests, studies, analytical procedures, and acceptance  
20 criteria also would not be very appropriate.

21           One has to think about this with respect to the  
22 particular product and the process that you're dealing  
23 with. Will the analytical procedures be able to detect  
24 changes? The question comes into play if the product is  
25 very complex. Like some of the natural products that we



1 have are extremely complex. One then questions whether  
2 even the high-powered analytical techniques that we have  
3 that are state-of-the-art would be able to detect the  
4 changes.

5           Any change that warrants a submission of a new  
6 IND or a new original application also. I might also  
7 mention changes that a comparability protocol would try to  
8 substitute for information that's required to be in an NDA  
9 for our review and approval would not be appropriate.

10           A change that requires efficacy, safety, that  
11 being either clinical or nonclinical data, or PK/PD data to  
12 evaluate the effect of the change. For example, certain  
13 formulation changes, clinical or nonclinical studies to  
14 qualify new impurities. What we're talking about in this  
15 last bullet is changes that go beyond just a CMC-only type  
16 of change. They start to become multi-disciplinary changes  
17 where medical staff, pharm-tox staff are involved, for  
18 example.

19           Other examples that may be difficult to  
20 justify. Changes in the drug substance or drug product  
21 specifications. There are exceptions here such as adding a  
22 test or changing the specification to accommodate a change  
23 in the analytical method itself without actually causing a  
24 decrease in the product quality.

25           A change in the qualitative or quantitative

1 formulation of the drug product, and there are exceptions  
2 here. If you have the data and are able to have a  
3 sufficient knowledge and understanding of the product, you  
4 may be able to make changes in the excipients which may be  
5 under a level 3 change in SUPAC, et cetera, and be able to  
6 reduce that to a lower reporting category.

7           A change in the type of delivery system, of  
8 course, is going to be difficult because it's so complex,  
9 the interplay between the device and delivery of the drug.

10           Also, changes from plant, animal, or  
11 multicellular source to a different source are the kinds of  
12 things that are very complex changes likely to ensue new  
13 impurities which then will have to be qualified under  
14 pharm-tox.

15           Some additional examples that may be difficult  
16 to justify under a comparability protocol. A change in the  
17 synthesis from naturally sourced material to synthesis  
18 chemically or vice versa.

19           For synthetic peptides, a change from solid  
20 phase to liquid phase.

21           And lastly, a bullet about changes in  
22 manufacturing site if you change the manufacturing site, a  
23 facility, or the area when a prior approval supplement is  
24 normally recommended because a cGMP inspection is  
25 warranted. This is going to be difficult to do under a

1 comparability protocol because we would not be able to  
2 certify or agree that we would be able to do cGMP  
3 inspection that would be required and get that done before  
4 a minimum of 30 days, which is what a CBE-30 has as a  
5 cutoff, to the point which you can then distribute the  
6 product.

7           Going now on to some of the basic elements that  
8 are in a comparability protocol. This is what would be in  
9 a protocol that you would submit. It would include, of  
10 course, a description of the planned changes, the specific  
11 tests and studies and the analytical procedures and the  
12 acceptance criteria, which is part of the definition of a  
13 comparability protocol, and then what data would be  
14 reported or included with the comparability protocol.  
15 Sometimes there may be some developmental data which will  
16 help in showing that the protocol is in fact feasible and  
17 workable and up front there be data to support that.

18           And then the proposed reporting category, which  
19 is a matter of agreement between the industry and the FDA  
20 what is going to be the final reporting category for the  
21 follow-up supplement that will verify that the change  
22 actually had not an adverse effect on the product.

23           And an action which would be taken if  
24 equivalence is not demonstrated. This is a contingency.  
25 There are going to be some changes in which things are not

1 going to turn out as planned. So it would be good to have  
2 a contingency in the protocol what's going to happen at  
3 that point.

4           And then also a commitment that the protocol  
5 will be updated if it becomes out of date.

6           Specific issues to be considered when you have  
7 different types of changes. For example, here we're  
8 talking about for manufacturing process changes. Some of  
9 the considerations one might go into are effect on the  
10 physical characteristics, the effect on impurity profile,  
11 downstream process, and effect on the in-process controls.

12           For analytical procedural changes, effect on  
13 the characteristics used in the methods validation.

14           For changes to manufacturing equipment, some  
15 examples there, effect on the manufacturing process of  
16 changing that equipment.

17           And manufacturing facilities, for example, as I  
18 just mentioned, the cGMP inspection status and scope of the  
19 changes involved because in many cases when you're changing  
20 the facility, you're also in many cases scaling up and  
21 changing the process to make it more efficient. So the  
22 scope of some of these kind of changes is very large when  
23 you're talking about going to a new manufacturing facility.

24           Also container closure systems. This is one of  
25 the examples where repetitive changes may be particularly

1 useful, changing the container closure systems based on a  
2 comparability protocol.

3 And then there's process analytical technology,  
4 of course. Right now we haven't got guidance out, so we  
5 recommend early dialogue with the agency, and that's highly  
6 encouraged.

7 Then there are changes of a comparability  
8 protocol that's covered under a DMF. The issue there is  
9 the cross-reference to the comparability protocol.

10 I'll just summarize what Nancy and I have both  
11 said. Comparability protocols allow FDA and industry to  
12 agree early on about the specified CMC changes, the plan  
13 for assessing the effect of these changes, and the  
14 reporting category which will be made.

15 We hope that they will have savings in time of  
16 implementation of the changes and savings in resources for  
17 many of the changes.

18 This is a new regulatory mechanism. Therefore,  
19 industry and FDA are experiencing a learning curve. We  
20 have had quite a few comparability protocols that we've  
21 reviewed for biotechnology products, but we have very  
22 little experience for chemical drug products.

23 This guidance is hoped to stimulate interest in  
24 the use of comparability protocols.

25 DR. KIBBE: Questions, anyone? Efraim?

1 DR. SHEK: Just maybe a point of clarification.  
2 Reading the proposal, it's being envisioned that the  
3 sponsor will submit it at the time of filing an application  
4 or it can be submitted at any time?

5 DR. MOORE: A comparability protocol can be  
6 submitted in a new NDA or it can be submitted as a  
7 supplement postapproval.

8 DR. SHEK: Okay. So if it's being submitted as  
9 a supplement, that would be the time, right, for approval  
10 of their comparability? That would be like any other  
11 supplement?

12 DR. MOORE: The supplement has, yes, four  
13 months prior approval due date.

14 DR. SHEK: And just the efficiency we are going  
15 to gain -- I would assume both the agency as well as the  
16 industry -- is just moving things faster. But if I  
17 understand what is proposed, it always will be moved only  
18 one level. Oh, it can be moved more than one level?

19 DR. MOORE: It can be moved more than one level  
20 under certain circumstances, yes.

21 DR. SHEK: Okay. So whenever there is the  
22 agreement on the comparability protocol, it depends on the  
23 protocol whether it moves one level or two levels. Because  
24 the way I read it, I thought it says you can move only one  
25 level.

1 DR. MOORE: That's the usual type of reduction,  
2 is one level.

3 DR. SHEK: Thanks.

4 DR. KIBBE: We've got two here. Go ahead.

5 DR. KORCZYNSKI: I think there's a major  
6 opportunity in industry for a process comparability  
7 protocol. What I'm referring to is that major expense,  
8 labor-intensive activities center around validation, and  
9 prospective validation is validating your process at the  
10 beginning. But then every 12 to 14 months after that, you  
11 go through periodic validation. In many cases, certain  
12 processes have become so well established that it's  
13 becoming basically rote. The information is collected.  
14 You still go through that labor-intensive activity.

15 I haven't thought through it all yet, but there  
16 seems to be a tie-in of PAT and comparability protocols in  
17 the sense that why couldn't one use concurrent validation,  
18 utilizing all the good data that one collects throughout  
19 that 12 or 14 months, and then by some defined protocol,  
20 say I've re-validated the system by this new collection of  
21 data or new analysis of data?

22 I think that really needs to be thought about  
23 and addressed. I think it's a real opportunity because the  
24 industry in many cases is going forward just rotely  
25 collecting this data at major expense when they could

1 utilize some other systems. I think it's an opportunity to  
2 tie PAT into that approach.

3 DR. KIBBE: Gary and then Ajaz.

4 DR. HOLLENBECK: Well, that's perfect. I  
5 think, first of all, I speak in favor of this idea. I  
6 think it's a wonderful idea to put in the hands of industry  
7 this kind prospective approach to making your own SUPAC,  
8 one of Ajaz's favorite things I think. So in a sense I  
9 think that is very encouraging.

10 I just wondering how the agency is going to  
11 handle this. Who reviews comparability protocols?

12 DR. MOORE: The comparability protocols are  
13 reviewed by the chemistry reviewers and is signed off by  
14 the chemistry team leader, the same as supplements are  
15 being reviewed as of now.

16 DR. HOLLENBECK: So you wouldn't anticipate  
17 simultaneously submitting a comparability protocol with  
18 your application would slow it down?

19 DR. MOORE: It is conceivable that it could if  
20 it caused us, of course, more effort in meeting the user  
21 fee goal date for the application, if there are one or more  
22 comparability protocols in the application. But if they  
23 are valid protocols and appropriate protocols, I see no  
24 reason why we couldn't --

25 DR. HOLLENBECK: I know that developing SUPACs



1 has been a major challenge, but you could be actually  
2 transferring that to a gazillion SUPACs, you know, one  
3 submitted with every product. One would hope that a  
4 process like, if good comparability protocols came out of  
5 it, that they could sort of rise to the top and become  
6 generally applied.

7 DR. HUSSAIN: There are sort of two parts. I  
8 think the proposal for looking at concurrent validation,  
9 process validation, and then the linking I think is an  
10 excellent topic for a Manufacturing Subcommittee  
11 discussion, and maybe we'll capture that and take that to  
12 that discussion. I think it's an excellent point.

13 The comparability protocol I think doesn't come  
14 close to what I think the make your own SUPAC concept  
15 essentially is. And I think if I go back to that concept,  
16 essentially that is the University of Maryland-FDA research  
17 model where you have a set of designed experiments which  
18 could be part of the development. See, I think we don't  
19 use all the know-how and knowledge that is present in the  
20 development reports. I think at some point we'll have to  
21 bring this committee to discuss how best to use all the  
22 available knowledge, and then say, here, for this  
23 particular formulation, a .2 percent or .3 percent  
24 magnesium stearate change had no impact, we have this data,  
25 but SUPAC says it's a level 2 change or a level 3 change.

1 Why don't we sort of use the available data to make those  
2 decisions? I think that's the next step in the discussion  
3 that we want to get into.

4 But I think going back to the question raised  
5 on will it slow down the new drug review process when it  
6 gets submitted, I don't think that should happen at all.  
7 In fact, I think that would be counter-productive to the  
8 whole situation.

9 So in many cases, I think these might be after  
10 approval. These protocols will be submitted in the  
11 postapproval sort of scenario.

12 MS. SAGER: Just to follow up on that issue, I  
13 don't think Steve was aware of this but I was at a meeting  
14 where we talked about comparability protocols and how to  
15 handle them as they were coming in. As Steve indicated,  
16 they would be assigned to the normal reviewer and team  
17 leader, but we are anticipating that we would have some  
18 kind of scientific rounds and discussions on the initial  
19 protocols coming in, trying to get consistency and  
20 standards.

21 There's certainly a good opportunity for kind  
22 of a lessons-learned exchange. We could blind protocols or  
23 we could issue some kind of guidance document on what are  
24 the problems we've seen in comparability protocols, trying  
25 to exchange information in a way that we can get everybody

1 on the same level playing field so they're not trying to do  
2 a comparability protocol and having the same deficiencies  
3 that we've seen in previous ones.

4 So we're both on a learning curve, and I think  
5 we're going to have to take this opportunity to find a way  
6 of communicating. If we get good protocols in, there is  
7 always a possibly of, like I say, communicating some kind  
8 of lessons learned in a document.

9 DR. KIBBE: Dr. Bloom?

10 DR. BLOOM: Yes. Maybe it's out of ignorance.

11 Does the industry select which kind of supplement they  
12 should submit?

13 DR. MOORE: I'm sorry.

14 DR. BLOOM: I mean, can they submit like a PAS  
15 or a CBE-30?

16 DR. MOORE: The comparability protocol itself  
17 is a prior approval supplement in all cases unless it's  
18 also part of a new drug application.

19 DR. HOLLENBECK: I'll ask his question. We'll  
20 see if it's the same question. I think his question is who  
21 determines what filing has to be made by the industry? How  
22 would you decide one is supposed to come in as a CBE or a  
23 CBE-30 or an annual report?

24 DR. MOORE: You're talking about the follow-up  
25 submission at this point.

1 DR. HOLLENBECK: Yes.

2 DR. MOORE: If the comparability protocol  
3 itself is prior approval, then one has to make a proposal  
4 what is going to be the category for reporting that change.  
5 We have a lot of guidances out there. We have the SUPAC,  
6 the BACPAC, and the Changes to an Approved NDA and ANDA  
7 that talk about general types of changes and what are  
8 appropriate categories for those changes. That's a good  
9 starting point for gathering the information on what is the  
10 change by itself without a comparability protocol. That's  
11 the starting point. Is it a prior approval change? And  
12 then with a comparability protocol, you might be able to  
13 reduce it to a change that's being effected or a CBE-30  
14 change.

15 DR. BLOOM: Let me ask another one. What if  
16 the company submits a CBE-30 and then the agency can change  
17 that?

18 DR. MOORE: Well, the category for reporting  
19 will be a part of the approval of the comparability  
20 protocol itself. So that will be agreed upon at the time  
21 that the comparability protocol is approved.

22 DR. KIBBE: Anybody else?

23 DR. SHARGEL: I think the idea is very good and  
24 allows a lot more flexibility for the industry.

25 I'm curious. Is this a requirement for those

1 manufacturers who are making more or less standard dosage  
2 forms? By that I mean usually solid oral dosage forms.  
3 And there are SUPAC guidances out there. Would there be a  
4 need to put in a comparability protocol if they followed  
5 the SUPAC at this point?

6 DR. MOORE: Well, comparability protocols are  
7 not required. They're an option. So if you can plan far  
8 enough ahead what specifically is going to be change -- and  
9 you have to factor in there's going to be a four-month  
10 review of the protocol itself, and then that's followed by  
11 gathering the data and then submitting the follow-up  
12 submission. If that time line is shorter on the basis of a  
13 comparability protocol, then it could be useful for that  
14 kind of change. But it's not required by any means.

15 DR. KIBBE: Anyone else?

16 DR. HUSSAIN: If I understood the question  
17 correctly, if I have a SUPAC change right now, which may be  
18 a prior approval supplement, I could use a comparability  
19 protocol to downgrade that reporting requirement.

20 DR. SHARGEL: That's one possibly or just  
21 report it as a SUPAC, since I've already done that. That  
22 was a question.

23 DR. KIBBE: Anyone else? Wrap-ups, thoughts?  
24 Kathleen, do you have something? Business announcements?

25 MS. REEDY: A couple of things. Those purple

1 folders in front of you are for both days, so please leave  
2 them at the table in front of your seat, and they will be  
3 there for you in the morning, along with the slides for  
4 tomorrow.

5                   The second thing is please leave your name tag  
6 with the colored stripe across the top, and it will be at  
7 the table by the x-ray machine in the morning and you will  
8 not have to pick up an orange one, but you will pick up the  
9 one with your name on it. And that will be your badge to  
10 stay here.

11                   DR. KIBBE: Seeing no one else looking to  
12 discuss real business, I guess we are adjourned.

13                   (Whereupon, at 4:07 p.m., the committee was  
14 recessed, to reconvene at 8:30 a.m., Thursday, March 13,  
15 2003.)

16

17

18

19

20

21

22

23

24

25