

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE  
PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE

8:07 a.m

Monday, June 16, 2003

Marriott Washingtonian Center - Rio  
9751 Washingtonian Boulevard  
Gaithersburg, Maryland

## ATTENDEES

## COMMITTEE MEMBERS:

MATTHEW V. RUDORFER, M.D., ACTING CHAIR  
Associate Director of Treatment Research  
National Institute of Mental Health  
Division of Services and Intervention Research  
6001 Executive Boulevard, Room 7163, MSC 9635  
Rockville, Maryland 20892

ANUJA PATEL, M.P.H., Executive Secretary  
Advisors and Consultants Staff  
Center for Drug Evaluation and Research  
Food and Drug Administration, HFD-021  
5600 Fishers Lane  
Rockville, Maryland 20857

TANA GRADY-WELIKY, M.D.  
Associate Professor of Psychiatry  
University of Rochester Medical Center  
300 Crittenden Boulevard  
Rochester, New York 14642

RICHARD P. MALONE, M.D.  
Associate Professor  
Eastern Pennsylvania Psychiatric Institute  
MCP Hanneman University School of Medicine  
3200 Henry Avenue  
Philadelphia, Pennsylvania 19129

IRENE E. ORTIZ, M.D.  
Assistant Professor of Psychiatry  
Veterans Administration Medical Center (116C)  
1501 San Pedro SE  
Albuquerque, New Mexico 87108

## SPECIAL GOVERNMENT EMPLOYEE CONSULTANTS: (Voting)

JEAN BRONSTEIN, Consumer Representative  
814 Beaverton Court  
Sunnyvale, California 94087

PAUL KECK, M.D.  
Professor of Psychiatry  
University of Cincinnati, College of Medicine  
231 Albert Sabin Way, Room 7208  
Cincinnati, Ohio 45267

## ATTENDEES (Continued)

SPECIAL GOVERNMENT EMPLOYEE CONSULTANTS: (Voting)  
(Continued)

ELLEN LEIBENLUFT, M.D.  
Pediatrics and Developmental Neuropsychiatry Branch  
Mood and Anxiety Program  
National Institute of Mental Health  
Building 10, room 4N-208  
10 Center Drive, MSC 1255  
Bethesda, Maryland 20892-1255

ANDREW C. LEON, PH.D.  
Professor of Biostatistics in Psychiatry  
Weill Medical College of Cornell University  
Department of Psychiatry, Box 140  
525 East 68th Street  
New York, New York 10021

NEAL RYAN, M.D.  
Professor of Psychiatry  
Joaquim Puig-Antich Professor of Psychiatry  
University of Pittsburgh, School of Medicine  
Western Psychiatric Institute and Clinic  
3811 O'Hara Street  
Pittsburgh, Pennsylvania 15213

PHILIP S. WANG, M.D., DR.P.H.  
Assistant Professor of Medicine, Epidemiology,  
and Health Care Policy  
Harvard Medical School  
Division of Pharmacoepidemiology and  
Pharmacoeconomics  
Brigham and Women's Hospital  
1620 Tremont Street, Suite 3030  
Boston, Massachusetts 02120

SHEILA WEISS, PH.D.  
Assistant Professor  
Department of Pharmacy Science and Practice  
School of Pharmacy  
University of Maryland at Baltimore  
100 Penn Street, Suite 240  
Baltimore, Maryland 21201

## ATTENDEES (Continued)

ACTING INDUSTRY REPRESENTATIVE: (Non-voting)

DILIP J. MEHTA, M.D., PH.D.  
870 United Nations Plaza  
New York, New York 10017

FOOD AND DRUG ADMINISTRATION STAFF:

TAREK A. HAMMAD, M.D., PH.D.  
RUSSELL KATZ, M.D.  
JUDITH A. RACOOSIN, M.D., M.P.H.  
ROBERT STASKO, M.D.

NOVARTIS PHARMACEUTICALS CORPORATION REPRESENTATIVES:

ROY DODSWORTH  
STANTON GERSON, M.D.  
LAWRENCE HAUPTMAN, PH.D.  
ZAHUR ISLAM, PH.D.  
JOHN M. KANE, M.D.  
VINOD KUMAR, M.D.  
JAMES RAWLS, PHARM.D.  
RIMA VAKIL

ALSO PRESENT:

LYNN GOLDMAN, M.D.  
MAUREEN SCHWEERS

## C O N T E N T S

DISCUSSIONS ON THE WHITE BLOOD CELL (WBC)  
MONITORING SCHEDULE FOR PATIENTS  
BEING TREATED LONG-TERM WITH CLOZAPINE

\* \* \*

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Anuja Patel	8
OPENING REMARKS by Dr. Russell Katz	9
OVERVIEW OF ISSUES by Dr. Judith Racoosin	10
NOVARTIS PHARMACEUTICALS CORPORATION PRESENTATIONS:	
Introduction - by Dr. James Rawls	20
Overview of Agranulocytosis - by Dr. Stanton Gerson	25
Clozaril Registry Data - by Dr. Vinod Kumar	37
Quantitative Analysis of U.S. Data - by Dr. Lawrence Hauptman	56
Summary and Conclusion - by Dr. John Kane	68
FOOD AND DRUG ADMINISTRATION PRESENTATIONS:	
Discussion of Selected Safety Data - by Dr. Tarek Hammad	100
by Dr. Judith Racoosin	104
OPEN PUBLIC HEARING PRESENTATIONS:	
by Dr. Lynn Goldman	127
by Ms. Maureen Schweers	137
COMMITTEE DISCUSSION	145
RESPONSE TO FDA QUESTIONS	183

## P R O C E E D I N G S

(8:07 a.m.)

1  
2  
3 DR. RUDORFER: Good morning. I'm Dr. Matthew  
4 Rudorfer. I'll be acting chair this morning of the  
5 Psychopharmacologic Drugs Advisory Committee. I'd like to  
6 welcome you all here this morning.

7 As you know, we're here to discuss the  
8 possibility of changes in white blood cell monitoring  
9 frequency for patients taking long-term clozapine. We'll  
10 have an interesting discussion during the day, and I  
11 believe that everyone with a perspective on the issue will  
12 have a chance to address the committee.

13 Seated here at the table are members of the  
14 committee and consultants and FDA staff, and perhaps we'll  
15 begin by going around the table and introducing ourselves.

16 Dr. Mehta, could we start with you?

17 DR. MEHTA: I'm Dilip Mehta. I'm the  
18 pharmaceutical industry representative on the committee.

19 DR. LEIBENLUFT: I'm Ellen Leibenluft, member  
20 of the committee.

21 DR. WEISS: Sheila Weiss. I'm a consultant to  
22 the committee, epidemiologist.

23 DR. WANG: Phil Wang, Harvard Medical School,  
24 psychiatrist and epidemiologist.

25 DR. RYAN: Neal Ryan, University of Pittsburgh,

1 psychiatrist.

2 DR. LEON: I'm Andrew Leon, a biostatistician  
3 at Cornell Medical College.

4 DR. MALONE: Richard Malone, a psychiatrist  
5 from Drexel University.

6 DR. GRADY-WELIKY: Tana Grady-Weliky,  
7 psychiatrist from the University of Rochester and member of  
8 the committee.

9 DR. RUDORFER: Again, I'm Matt Rudorfer. I'm a  
10 psychiatrist at the National Institute of Mental Health.

11 MS. PATEL: I'm Anuja Patel, Executive  
12 Secretary for the committee.

13 DR. ORTIZ: Irene Ortiz, psychiatrist,  
14 University of New Mexico.

15 DR. KECK: Paul Keck, from the University of  
16 Cincinnati, psychiatrist.

17 MS. BRONSTEIN: Jean Bronstein, registered  
18 nurse, retired. Consumer representative.

19 DR. HAMMAD: Tarek Hammad. I'm a safety  
20 reviewer in the Neuropharm Division.

21 DR. RACOOSIN: Judy Racoosin. I'm the safety  
22 team leader in the Division of Neuropharmacologic Drug  
23 Products.

24 DR. KATZ: Russ Katz, Division Director of  
25 Neuropharm Drugs, FDA.

1 DR. RUDORFER: Thank you.

2 Why don't we begin with some opening comments  
3 from the FDA. And before we do, Anuja Patel, our Executive  
4 Secretary, will inform us about the conflict of interest  
5 statement.

6 MS. PATEL: Good morning. The following  
7 announcement addresses conflict of interest with regard to  
8 this meeting and is made a part of the record to preclude  
9 even the appearance of such at this meeting.

10 Based on the submitted agenda for the meeting  
11 and all financial interests reported by the committee  
12 participants, it has been determined that all interests in  
13 firms regulated by the Center for Drug Evaluation and  
14 Research which have been reported by the participants  
15 present no potential for an appearance of a conflict of  
16 interest at this meeting.

17 We would like to note for the record that Dr.  
18 Dilip Mehta is participating in this meeting as a non-  
19 voting acting industry representative.

20 In the event that the discussions involve any  
21 other products or firms not already on the agenda for which  
22 FDA participants have a financial interest, the  
23 participants are aware of the need to exclude themselves  
24 from such involvement, and their exclusion will be noted  
25 for the record.



1           With respect to all other participants, we ask  
2 in the interest of fairness that they address any current  
3 or previous financial involvement with any firm whose  
4 products they may wish to comment upon.

5           DR. RUDORFER: Thank you.

6           And now it's my pleasure to introduce Dr.  
7 Russell Katz, Director of the Division of  
8 Neuropharmacologic Drugs Products of the FDA. Russ?

9           DR. KATZ: Thanks, Matt. I just really want to  
10 say welcome. I see a number of faces who've been on the  
11 committee for a while, so thanks very much for making the  
12 trip here again today. And a number of new faces. So I  
13 want to welcome and thank very much our consultants and  
14 members-to-be of the committee.

15           I won't make any substantive comments about the  
16 issue under discussion. Dr. Racoosin will give you a  
17 detailed background and overview of the issues that we'd  
18 like to discuss.

19           I just want to say thanks for the work that  
20 you've done in preparing for it, and thanks for the work  
21 you're about to do today. I think it's fair to say we've  
22 brought you another interesting problem and a somewhat  
23 complex one as well. So I thank you for your help.

24           I just want to make one clarifying comment. In  
25 our briefing document, the first document for the

1 committee, at the end lays out some of the questions we  
2 want to deal with, which are actually different from the  
3 questions that we actually will ask you because our  
4 document mentions that the company's proposal is to switch  
5 the monitoring to every month after a year. That was based  
6 on an earlier draft document that the company had submitted  
7 to us. So I believe it's fair to say that in the current  
8 company document there is no recommendation, and so the  
9 questions will be asked accordingly. We just wanted to  
10 clear up any potential confusion at the outset.

11                   Anyway, with that I'll turn it over to Dr.  
12 Racoosin, who's head of our safety group, who will give you  
13 a detailed overview of the issues.

14                   DR. RACOOSIN: Good morning. I'm going to be  
15 giving an overview of the issues for today's discussion,  
16 and I'll wind up with the specific questions we're going to  
17 address today.

18                   Briefly, I'm going to be giving a little bit of  
19 an introduction to this topic, and then I'm going to cover  
20 the background rates of agranulocytosis in the general  
21 population. I'm going to discuss the incidence of  
22 agranulocytosis with other drugs that are marketed in the  
23 U.S. I'm going to give a brief summary of the July 9, 1997  
24 PDAC meeting in which this topic was addressed for the  
25 first time. I'm going to briefly go over the current U.S.

1 labeling and then lay out the questions to the committee  
2 for today.

3           The clinical development program of Clozaril  
4 identified agranulocytosis, which I'm going to refer to as  
5 "agran" from here on out, as a serious adverse event  
6 associated with the use of the drug. The FDA-approved  
7 labeling at the time it came onto the market required that  
8 the drug only be available through a restricted  
9 distribution system that ensured weekly white blood cell  
10 monitoring, the so-called "no blood, no drug" rule.

11           The data on white blood cell counts and agran  
12 occurrence have been collected by the Clozaril National  
13 Registry, and since the generic version of clozapine became  
14 available in late 1997, the generic companies have also  
15 been responsible to maintain a similar registry. The  
16 purpose of that registry is to not allow patients who've  
17 developed agran related to clozapine to be rechallenged.

18           Previous analyses of this database have  
19 suggested that the incidence of agran decreases  
20 substantially after the first 6 months from drug exposure.

21           There have been three studies that have  
22 addressed the background rates of agran in the general  
23 population. The oldest one is from Bottiger and Westerholm  
24 in 1973, and it was a medical record review of all patients  
25 discharged from the hospital with a diagnosis of a blood

1 dyscrasia in the Uppsala health care region of Sweden  
2 between 1964 and 1968. Their definition of agran was less  
3 than 180 neutrophils per cubic millimeter, and they came up  
4 with an all-cause agran rate of 12.8 cases per million per  
5 year.

6                   Subsequently there was the international  
7 agranulocytosis and aplastic anemia study that was a  
8 population-based case control study conducted in eight  
9 sites in Europe and Israel. The definition of agran used  
10 was less than 500 neutrophils per cubic millimeter, plus  
11 symptoms such as fever, chills, or sore throat. They came  
12 up with an overall rate of agran of 4.7 cases per million  
13 per year, and the range across the eight sites was 1.7 to 7  
14 cases per million per year. There was an extension  
15 conducted at one site in Sweden and two sites in the U.S.,  
16 and that showed a rate of 3.4 cases per million per year.

17                   Subsequent to that, conducted by Strom, et al.  
18 and published in 1992, was a study of Medicaid billing  
19 databases in Minnesota, Michigan, and Florida, and that was  
20 done to estimate the agran incidence excluding recurrent or  
21 chronic neutropenia. The study was based on hospital  
22 discharge diagnosis with medical record verification, and  
23 they used an agran definition of less than 500 neutrophils  
24 per cubic millimeter. The incidence rate was 7.2 cases per  
25 million per year. That was overall, and the range by state

1 was 2.3 to 15.4 cases per million per year.

2                   This slide just summarizes the three studies  
3 that I just described, and you can see that across the  
4 three studies the ranges are in a close ballpark between  
5 about 5 to 13 cases per million persons per year, and I  
6 think the thing to identify here is this is a rare  
7 condition and it's occurring rarely in the general  
8 population.

9                   Of course, the question that we'd like to ask  
10 is, well, what is the background rate in patients with  
11 schizophrenia, and we've not been able to identify any data  
12 in the medical literature that speaks directly to that  
13 point. One could speculate that due to chronic exposure to  
14 medications, the background rate of agran may be higher in  
15 patients with schizophrenia than in the general population,  
16 but we don't have any data that speaks to this directly.

17                   Moving on to the other marketed drugs in the  
18 U.S., there are five drugs that have a box warning for  
19 agran: clozapine, ticlopidine, carbamazepine,  
20 procainamide, and tocainide. The drugs on this slide, I'm  
21 not going to read them all out but they have a discussion  
22 of agran in the warning section of their labeling.

23                   As I get into specific drugs, I just want to be  
24 clear about a couple of definitions. When I speak about  
25 the risk of agran, I'm talking about the number of cases in

1 the numerator and the number of people exposed in the  
2 denominator. When I speak of a rate, that's again the  
3 number of cases in the numerator, and in the denominator is  
4 the sum of the person-time exposure, so that implies a time  
5 component.

6           With regard to phenothiazine-associated agran,  
7 the data on this particular issue is derived primarily from  
8 case series in the 1950s and '60s, and in this series the  
9 agran risk ranges from .004 to 6.8 cases per 1,000 person-  
10 years. In the International Agran and Aplastic Anemia  
11 Study, phenothiazine use did not differ significantly  
12 between cases and controls.

13           With regard to ticlopidine, the data on the  
14 risk of agran comes from their clinical trials. They use a  
15 definition of agran of less than 450 neutrophils per cubic  
16 millimeter, and a definition of neutropenia of 450 to 1200  
17 neutrophils per cubic millimeter. They identified a risk  
18 of agran as 8 cases per 1,000 persons, and the risk of  
19 neutropenia as 16 cases per 1,000 persons, and those cases  
20 all occurred early in treatment within the first 3 months.

21       In the labeling there's a recommended white blood cell  
22 monitoring of every 2 weeks for the first 3 months of  
23 therapy.

24           There have been two studies in the literature  
25 that have addressed sulfasalazine-associated agran, and in

1 both studies the agran was defined as less than 500  
2 neutrophils per cubic millimeter. The first study comes  
3 from the Swedish Adverse Drug Reactions Advisory Committee  
4 case series, and they calculated the risk of agran using  
5 the number of cases reported over a denominator estimate of  
6 persons at risk, which they calculated based on an average  
7 daily dose which came from pharmacy records. And the risk  
8 that they published was 0.57 cases per 1,000 persons. I  
9 estimated person-years from exposure from the distribution  
10 of the estimated length of drug use in the 35,000 patients  
11 and came up with a rate of 3 cases per 1,000 person-years.

12           There is also a study from the United Kingdom's  
13 General Practice Research Database Study. That data was  
14 submitted by primary care physicians, and they came up with  
15 a risk of .68 cases per 1,000 persons. Again, I estimated  
16 person-years of exposure from number of reported  
17 prescription fills and came up with a rate of 3 cases per  
18 1,000 person-years.

19           The sulfasalazine labeling has a recommendation  
20 regarding white blood cell monitoring that says CBC's  
21 should be done "frequently." I think I added the quote.  
22 It just says frequently.

23           Moving on to the first PDAC meeting that  
24 addressed this issue in July of 1997. These are the  
25 questions that we posed that day, at that meeting, and

1 they'll look similar to what we pose today. The main  
2 question was, should the frequency of white blood cell  
3 monitoring be reduced at some time point after initiation  
4 of therapy, and if so when, and what reduced frequency of  
5 white blood cell monitoring would be acceptable, with  
6 subquestions being, should white blood cell monitoring stop  
7 altogether at some point, and if so, when? And a more  
8 broad question, should the program be changed overall? For  
9 example, should it become voluntary, as is most advice in  
10 labeling regarding monitoring for adverse events?

11           At the 1997 PDAC, we discussed the agran rates  
12 in the first 5.25 years of the Clozaril National Registry,  
13 and as you can see, in those first 5 years we identified  
14 that the peak risk of agran was in the first 6 months, with  
15 a rate of 8.6 cases per 1,000 person-years. It fell  
16 substantially by the second 6 months of treatment, and then  
17 continued to fall slightly subsequent to that. But the  
18 confidence intervals overlap in this range.

19           Here is just the same thing shown graphically,  
20 the substantial fall in rate after the first 6 months, and  
21 then the subsequent low rate after that, although it never  
22 goes to 0.

23           An additional issue that was discussed at that  
24 meeting was, there was a modeling done to project the rates  
25 of agran, given a change in monitoring frequency, and



1 that's described in the sponsor's briefing book somewhat.  
2 What the projections looked at was if the monitoring were  
3 to change from weekly to biweekly to monthly, or to no  
4 monitoring at all after 6 months, a year, 2 years, to see  
5 what might happen to the agran rate.

6           Based on the discussion at that meeting, the  
7 recommendation of the PDAC was to allow a decrease in  
8 monitoring to biweekly after 6 months, as long as the  
9 patient's white blood cell counts were stable.

10           This algorithm comes from the current Clozaril  
11 labeling, and I'm going to use this as sort of a way to  
12 summarize what the recommendations are currently. I should  
13 just mention one thing that's not on this slide, is that in  
14 order to initiate clozapine, a patient should have a  
15 baseline white blood cell count that's greater than 3500.

16           This algorithm speaks to what happens if a  
17 patient has their therapy interrupted for some period. If  
18 you look at less than 6 months, if there's no abnormal  
19 blood event, meaning the white blood cell count stays over  
20 3000, the ANC stays over 1500, and there's no break in  
21 therapy that's greater than a month, a patient can just  
22 continue on their weekly monitoring from wherever they are  
23 in their 6-month clock.

24           Here in the second, there is no abnormal blood  
25 event, and the break is greater than 1 month. The

1 recommendation is to reset the 6-month clock, so a patient  
2 who has never been on clozapine for more than 6 months and  
3 they have an interruption greater than 1 month, they have  
4 to start their 6-month clock over.

5           In this third box, an abnormal blood event,  
6 meaning a white blood cell count below 3000, or an ANC less  
7 than 1500, and the patient is rechallengeable. You'll hear  
8 more about this, but rechallengeable refers to the fact  
9 that their white blood cell count hasn't gone below 2000.  
10 So if the patient's white blood cell count goes below 2000,  
11 they are non-rechallengeable and their name goes into the  
12 registry as being that. But if they go below 3000 and stay  
13 above 2000, they are rechallengeable, and in that case the  
14 6-month clock is reset.

15           If a patient is on the drug for greater than 6  
16 months, and they have an interruption but there's no  
17 abnormal blood event and the break is less than a year,  
18 then they continue biweekly.

19           If there is no abnormal blood event and the  
20 break is more than a year then they go back to weekly for 6  
21 months.

22           And if there is an abnormal blood event,  
23 meaning their white blood cell count goes below 3000 but  
24 they remain rechallengeable, they go back to weekly for 6  
25 months after they've recovered from the event.

1                   So this is a general summary of what are the  
2 current recommendations.

3                   So today, again, this is the question that  
4 we're going to be asking your input on: should the  
5 frequency of white blood cell monitoring be now further  
6 reduced after some duration of biweekly monitoring, and if  
7 so, when and what reduced frequency of white blood cell  
8 monitoring would be acceptable? Again, a subquestion,  
9 should white blood cell monitoring stop altogether at some  
10 point, and if so, when, and should the program be changed  
11 overall? For example, should it become voluntary?

12                   Then a second issue that we're going to raise  
13 is, should the ANC be required as part of the white blood  
14 cell monitoring? Currently, and as you saw in that  
15 algorithm in the last slide, the ANC is mentioned as  
16 criterion for taking certain actions in the U.S., but it's  
17 not actually required, and it's not required that  
18 particular action be taken based on the ANC alone. It's  
19 really based on what the white blood cell count is.

20                   In contrast, in the UK the ANC is a factor that  
21 is routinely monitored and used to direct therapy. So  
22 that's another issue that we'll be raising for discussion  
23 today. And we look forward to the discussion.

24                   DR. RUDORFER: Thank you, Dr. Racoosin. We're  
25 going to move on now to presentations from Novartis

1     Pharmaceuticals Corporation. I'll mention for the benefit  
2     of the committee that we'll have a question period for the  
3     sponsor after their talks. If it would be helpful, we  
4     could certainly have a clarifying question or two after  
5     each speaker, if it would help with understanding as we go  
6     along. We will begin with Dr. James Rawls.

7                     DR. RAWLS: Thank you, Dr. Rudorfer. Members  
8     of the FDA, members of the advisory committee, colleagues  
9     and guests, welcome. My name is James Rawls and I'm  
10    Associate Director in the Department of Regulatory Affairs  
11    at Novartis Pharmaceuticals Corporations.

12                    Novartis is delighted to be here once again,  
13    and I say "once again" because as Dr. Racoosin mentioned,  
14    we were here in 1997 to discuss this very topic with you.  
15    We were here again in late 2002 to discuss the recently  
16    approved indication for Clozaril, that is, for patients  
17    with recurrent suicidal behavior, who have schizophrenia or  
18    schizoaffective disorder, and now today.

19                    So the purpose of my presentation is really  
20    just to provide you with an overview of the interactions  
21    that have taken place between the FDA and Novartis over the  
22    past several years, specifically regarding this topic and  
23    the frequency of monitoring for Clozaril-treated patients.

24    I will also provide you with an overview of our  
25    presentation that we have prepared for you today.

1                   But first let me begin with just some brief  
2 background regarding Clozaril's pharmacological  
3 characteristics. It's considered a dibenzodiazepine that  
4 binds to specific dopamine receptors, and the dopamine  
5 binding characteristics of Clozaril differ from the  
6 products in the marketplace that were used for the  
7 treatment of schizophrenia that preceded it. It also is  
8 associated with a low occurrence of extrapyramidal side  
9 effects, EPS, and because of the dopamine binding  
10 characteristics and its paucity of EPS, Clozaril was  
11 considered the first atypical antipsychotic that was  
12 available in the United States.

13                   At Novartis we have had a long history of use  
14 with this product. It has been available actually since  
15 1969 in Austria for the treatment of patients with  
16 schizophrenia. However, when it was approved in the United  
17 States in 1989, its use was restricted to the more severely  
18 ill patients with schizophrenia, and that was due in part,  
19 as Dr. Racoosin has mentioned, to the clinical trial data  
20 and the data that we had from post-marketing experience  
21 regarding the rate and frequency of agranulocytosis. As I  
22 mentioned earlier, it was recently approved for the  
23 treatment of recurrent suicidal behavior for patients with  
24 schizophrenia and schizoaffective disorder.

25                   So now let me move into a discussion or provide

1 you some background in terms of the interactions we've had  
2 with the agency regarding this topic. In 1989, as I  
3 mentioned, the product was approved in the United States,  
4 and at that time because of the frequency of  
5 agranulocytosis identified with the product, the weekly  
6 monitoring schedule was implemented for Clozaril treated  
7 patients. That weekly monitoring schedule was in place  
8 until 1998, when the committee in 1997, based on the  
9 question that is before you today, the main question before  
10 you today, recommended that the frequency of monitoring be  
11 reduced from weekly for life to weekly for the first 6  
12 months, and then biweekly thereafter.

13 One of the other recommendations of the  
14 committee at the time was that an evaluation of the reduced  
15 frequency and the impact it might have had on  
16 agranulocytosis be conducted at some point in time.

17 One other important note to keep in mind during  
18 your discussions today is that the first generic was  
19 approved in 1998. So then that changed the amount of  
20 information that was recorded in the Clozaril National  
21 Registry. Novartis was no longer the sole keeper of  
22 information regarding agranulocytosis monitoring frequency  
23 overall for clozapine-treated patients.

24 As I alluded to earlier, at the 1997 advisory  
25 committee there was a recommendation that we reevaluate the

1 impact the reduced frequency had on the rate of  
2 agranulocytosis, and in 2001 the agency contacted us at  
3 that time and requested that we provide them with that  
4 information. There was a series of discussions that took  
5 place with the agency and Novartis, and we finally agreed  
6 upon some methods that we could use to actually answer that  
7 particular question. Once those discussions concluded, we  
8 submitted the analyses and the data to the agency in late  
9 2002 and early 2003. At the time the agency, after their  
10 review of the information, felt that it was once again  
11 appropriate to discuss the frequency of monitoring for  
12 Clozaril-treated patients before you. So that's what  
13 brings us here today.

14 Just to describe our presentations to address  
15 the questions before you, the objective of our presentation  
16 is to present data that will facilitate the discussion that  
17 you will have regarding the various questions that were  
18 posed by Dr. Racoosin. So to accomplish that objective,  
19 let me introduce our program to you.

20 Over the next several presentations, you'll be  
21 seeing a lot of data regarding the rate of agranulocytosis  
22 and some might consider those rates to be relatively low.  
23 But to put those data into their proper perspective and to  
24 give you background as to what one adverse event or what  
25 one event of agranulocytosis means to the health care

1 community, to patients, to caregivers, and to define what  
2 agranulocytosis actually is, we've invited Dr. Stan Gerson,  
3 who is a professor of hematology at Case Western Reserve  
4 University, to provide you with that perspective.

5           Following Dr. Gerson's presentation, Dr. Vinod  
6 Kumar, who is the Executive Director at Clozaril and Global  
7 Medical Director for Clozaril at Novartis Pharmaceuticals  
8 Corporation, will provide you with an overview of the  
9 registry data from countries where we have a less frequent  
10 amount of monitoring for Clozaril-treated patients,  
11 specifically in the United Kingdom and Australia.

12           He will also provide you with the data  
13 comparing the original monitoring frequency schedule with  
14 the one that we currently have, and you will notice that  
15 there were certainly some unexpected findings with regard  
16 to those data. To offer up some quantitative analysis or  
17 quantitative explanations for those unexpected findings, we  
18 have invited Dr. Lawrence Hauptman, who is a statistician  
19 at Novartis Pharmaceuticals Corporation, to analyze those  
20 data and then to present to you some possible explanation  
21 as to why those findings were unexpected.

22           And then to offer some final thoughts and to  
23 wrap things up, we have invited Dr. John Kane, who is a  
24 professor of psychiatry at Albert Einstein College of  
25 Medicine, to wrap up our presentations for you.



1                   So that is our agenda and our program, and I  
2 will now turn it over to Dr. Gerson, who will provide you  
3 with an overview of agranulocytosis. Thank you for your  
4 time.

5                   DR. GERSON: Thank you, Dr. Rawls. So I am  
6 Stan Gerson and I'm a hematologist, so that's good and bad.  
7 I'm probably the only hematologist in the room and I'm  
8 also not a psychiatrist. I don't know too much about the  
9 efficacy issues, but I've been dealing with Clozaril as a  
10 compound since I was introduced to it in 1987, when the  
11 first cases of agranulocytosis were beginning to appear in  
12 the U.S. I offered my advice on the management and the  
13 monitoring system back then before the drug was approved,  
14 and since that time I've been actively involved with  
15 difficult case management situations.

16                   So first let's just define agranulocytosis as  
17 we'll be talking about it today. It is in fact a drop in  
18 the neutrophil count or the granulocyte count -- those  
19 words are used interchangeably -- to less than 500 per  
20 millimeter cubed in the peripheral blood. Now as the cases  
21 proceed, it really is associated with a very high incidence  
22 of morbidity from neutropenic fever, which occurs in about  
23 80 percent of affected individuals.

24                   The duration of agranulocytosis directly  
25 impacts on its severity and its morbidity and a low

1 frequency of mortality, so that fever is seen in about 100  
2 percent of patients who have a duration of agranulocytosis  
3 in excess of 5 days. Mortality is really related not to  
4 the agranulocytosis itself but to the infections and the  
5 sequelae of those infections. And it, as we've heard, is  
6 rare in the absence of a comorbidity, serious illness, or  
7 drug administration.

8                   Now, here's just a case that I'd like to review  
9 with you. There are two lines on this graph. The top line  
10 is the WBC count. The bottom line is a neutrophil or  
11 granulocyte count. You'll see that they really run in  
12 parallel. This individual was on medication for a total of  
13 68 days, about 10 weeks, had some characteristic features  
14 that are common. First is a mild rise in the WBC count  
15 during the early phase of treatment, then a 3-week  
16 prodromal period in which their white count fell, still in  
17 the normal range, and ultimately the medication was stopped  
18 on day 68, just at the time of the 10th blood count.

19                   You'll also notice that at the time that the  
20 drug was stopped, the neutrophil count was still above the  
21 lower limit of normal, and that it continued to fall  
22 precipitously, lowering to a value of almost 0, where it  
23 stayed for about 12 to 14 days, and then gradually  
24 recovered up into the normal range. This individual  
25 developed a pneumonia, was hospitalized, treated with

1 antibiotics, and recovered normally, and as you can see, at  
2 the end of the day, had a white count that was right back  
3 to the normal range.

4           So what do we know about Clozaril-associated  
5 agranulocytosis? Well, it really is a serious disease in  
6 the affected individual, and for this reason it represents  
7 a significant burden to the health care system, let alone  
8 the individual. Early detection decreases the risks,  
9 perhaps by both reducing the incidence, but also certainly  
10 by allowing, through early recognition and management,  
11 decreasing the morbidity and the mortality. We all know  
12 historically that there was an exceptionally high rate of  
13 mortality associated with agranulocytosis in the early '70s  
14 and '80s, and that has come down, and we'll look at those  
15 rates as we go forward.

16           Now, there are some key issues with clozapine-  
17 associated agranulocytosis that I think are worth, at least  
18 again from my perspective as a hematologist, to bring up.  
19 One is its really protracted course. There are many drugs  
20 that can cause a severe neutropenia. You stop the drug and  
21 the blood count comes back up to normal in 3 to 5 days.  
22 But Clozaril is special because this is the typical bone  
23 marrow of an individual, and this shows the lymphocytes,  
24 these darker, very round cells, and then myeloid precursors  
25 are just absent, and all you have left are the red cell

1 precursors. So it takes a long time for this marrow to  
2 recover because the precursor cells just aren't there.

3           Now, if we did a bone marrow on somebody with  
4 mild or even moderate neutropenia, all their white cell  
5 precursors would be there, and that's why those  
6 individuals, if the drug is stopped, will recover very  
7 quickly. But in a true case of agranulocytosis, there just  
8 aren't any myeloid precursors around, so the stem cells  
9 have to regenerate them and that takes about 2 weeks in the  
10 absence of the growth factors, and even with growth  
11 factors, it takes 8 to 10 days.

12           So what are the key features, just to  
13 summarize? First, as we saw in that first case, an onset  
14 may take 1 to 3 weeks and in that period can be detected by  
15 monitoring. In the early course of Clozaril, in the first  
16 10, 12, 18 weeks, it's more common to see a rapid onset of  
17 agranulocytosis that may or may not be preceded by a mild  
18 drop in the counts. And there is clearly benefit from  
19 early detection because the drug can be stopped.

20           Now, when the drug is stopped, the WBC count is  
21 typically in the 2000 to 3000 range, and often the ANC is  
22 between 300 and 1000. It's not 0. So the unique features  
23 are there's a severe drop in the granulocyte and neutrophil  
24 count that continues beyond the time that the drug is  
25 stopped, that there's a prolonged duration, as we've seen,

1 8 days with the use of growth factors, 15 to 16 days in the  
2 absence, and the significant risk because of the duration  
3 of neutropenic fever and severe internal infection.

4           So here's just a second case and I would just  
5 like to point out a couple of key issues and then move on  
6 to some management discussion. First, again, you can see  
7 another case where the white count actually goes up, a  
8 prodromal period where it falls, and then it seems to  
9 recover, and then it fell again. And here in this  
10 individual this happens at about 160 days. When the  
11 clozapine is stopped, there's actually a little bump in the  
12 white count, which is again not uncommon, in the neutrophil  
13 count as well, and then it goes down and stays quite low  
14 for about 16 days. This is actually a patient that I  
15 observed when I was called to see the patient at this  
16 point, and this patient developed a severe cellulitis of  
17 the leg which had to be treated in the hospital with  
18 antibiotics, and then the patient of course recovered.

19           We can also look at this to address the issue  
20 which we'll come back to of the monitoring frequency. So  
21 if you're monitoring every week, then you get these nice  
22 blood levels, but if you try to imagine a 4-weekly count or  
23 2-weekly count, you'd sort of miss half or three of these  
24 values. If you just happen to catch this value on your  
25 monthly count, the next monthly count is out here

1 someplace, and it may be 2 or 3 weeks into the severe  
2 neutropenia or agranulocytosis case.

3           So my sense is that there's not that big a  
4 difference, especially as the incidence falls, in picking  
5 up cases by biweekly monitoring. If you move to monthly  
6 monitoring, you're likely to miss at least a quarter of the  
7 cases before the onset of symptoms.

8           Now, when the cases are presented, what's our  
9 orientation toward managing our patients with  
10 agranulocytosis? Well, hospitalization of the patient is  
11 recommended, especially in the schizophrenia population,  
12 with daily observation for fever, infection, culture, and  
13 imaging, if possible, sites of infection as appropriate in  
14 a medical setting. Some patients receive prophylactic  
15 antibiotics, of course, when a fever develops, and everyone  
16 should receive intravenous antibiotics to start and then  
17 perhaps outpatient antibiotics.

18           Growth factors are now recommended because it  
19 really can reduce, and has been shown to reduce, the  
20 duration of the neutropenic period. Still, the duration of  
21 illness is 8 to 25 days, and this represents a substantial  
22 cost of treatment both in terms of hospitalization, use of  
23 antibiotics and of growth factors.

24           So the advantages of the monitoring system that  
25 we've seen is that it allows early detection prior to the

1 onset of symptoms, it allows the drug to be stopped early,  
2 which may either prevent some cases but certainly decrease  
3 morbidity in others. It enables early initiation of  
4 treatment and management of agranulocytosis, and it  
5 provides a considerable degree of reassurance to both  
6 patient, family and health care providers.

7           So in conclusion, we've seen that Clozaril is  
8 associated with agranulocytosis episodes, which represent a  
9 serious illness to the affected individual. Monitoring  
10 allows detection prior to the onset of the illness rather  
11 than just the onset of the agranulocytosis, and early  
12 detection can limit morbidity by prompt institution of  
13 management, and we've also seen that management is costly  
14 in and of itself.

15           I'd now like, if there aren't questions, to  
16 introduce Dr. Vinod Kumar will go over the registry data.

17           DR. KATZ: Can I just as one question? With  
18 regard to the question of the duration of the agran when  
19 the drug is discontinued, are there any published series of  
20 these patients in whom the drug has been discontinued  
21 because of agran to sort of prospectively look at some  
22 cohort to see in general how long the agran persists? Are  
23 these slides based on your personal experience with the  
24 cases?

25           DR. GERSON: They certainly are based on my

1 personal experience. We have published one case series, a  
2 relatively small case series, in the Lancet where we  
3 actually compared a small group of patients with and  
4 without the use of growth factors. But there hasn't been a  
5 large case series of the course of agranulocytosis, and  
6 maybe that's a good idea.

7 DR. KATZ: You had mentioned that if the  
8 monitoring is moved out to every month, you would miss a  
9 quarter of the cases. Where does that number come from?

10 DR. GERSON: That data just comes from looking  
11 at, frankly, hundreds and hundreds of agranulocytosis  
12 prodromes and observing the time that it takes to drop.  
13 Now, the sudden onset cases really happen within a week,  
14 and the agranulocytosis, in its classic form with absence  
15 of neutrophil precursors, probably takes about a week to 10  
16 days to occur, and then because there's a sudden stop in  
17 the production of neutrophils, that takes again about 3 to  
18 5 days for the case to become apparent, with a drop in the  
19 granulocyte count. So that process physiologically  
20 probably takes about 2 weeks.

21 So if you add in now another 1 to 2 weeks of  
22 prodromal period, then the likelihood is with biweekly  
23 monitoring you'll pick up most of those cases before  
24 symptoms occur, but monthly it just physiologically is too  
25 long for how the medical condition arises. So if randomly



1 it's happening somewhere during that 4-week period, you'll  
2 miss about a quarter. That's how I come up with that  
3 estimate.

4 DR. LEON: Can I ask a question about the ANC  
5 and the WBC. How are they related, and how would adding  
6 the ANC increase in case detection, or what would we lose  
7 by not adding it?

8 DR. GERSON: Right. We have to discuss this at  
9 some point, so I'll go ahead and give you my sense about  
10 it.

11 Early on we used the WBC because it was a very  
12 highly automated, reproducible number, so for screening  
13 purposes it's great. It takes 10 minutes. A machine does  
14 it. You do it five times, you get the same number.

15 The ANC is a manual evaluation. As long as  
16 it's high, you can do it automated, but if it starts to  
17 fall, you've got to do it by performing a blood smear and  
18 having a laboratory technician look under the microscope  
19 and do a differential cell count. So that's a more  
20 variable number. It takes longer to do, has more lack of  
21 reproducibility. So when you move to the ANC, you're going  
22 to have a higher false positive detection problem. You're  
23 just going to because it's a manual evaluation.

24 Normally they track quite well, and you saw in  
25 the two samples that I gave -- and those are pretty common

1 -- that the numbers just track. It's normally 40, 50, 60  
2 percent of the total of WBC count.

3 DR. LEON: Would the lack of reproducibility  
4 ever result in a low false negative?

5 DR. GERSON: Usually the issue is that if  
6 you're going to have an error, you're going to under-  
7 report. So instead of 70 percent neutrophils, you report  
8 20 or 30 percent neutrophils. If they're not there, it's  
9 hard to count them. So the ANC is sort of a gold standard  
10 and will remain so for the definition of agranulocytosis.  
11 You're just more likely to have more false positives  
12 requiring a person to come back and be tested again. I  
13 certainly have seen many studies and evaluations in  
14 clinical settings in which you just get this nagging  
15 incidence of a low ANC that's not real. But be that as it  
16 may, the critical questions are, what's the definition?  
17 The definition is an ANC of less than 500. It's not a WBC.  
18 The proper definition is an ANC.

19 The second question is, what's the chance that  
20 the current system misses a case? So I just reviewed with  
21 the Novartis folks in the last day or so, and about 3  
22 percent of the 573 cases of agranulocytosis are in the  
23 registry with a WBC above 3500 and an ANC of 500 or below.  
24 So 3 percent. That's 19 cases. So through this huge  
25 monitoring program and case detection, it's an unusual

1 event -- not rare but unusual -- to actually miss based on  
2 the simple determination of the WBC of 3500.

3 DR. MALONE: Is there any good estimate of what  
4 percent of patients who develop agran go on to die?  
5 Especially I guess if it's not caught that early.

6 DR. GERSON: I just can't answer the "not  
7 caught that early." Well, we have the Clozaril database,  
8 which is a caught-early database, if you will, because  
9 everybody's either managed weekly or biweekly. Would you  
10 like me to comment on that or do you want to?

11 DR. RAWLS: That's something that we can  
12 address after Dr. Kumar's presentation. We do have some  
13 data on that that we can share with you, if you don't mind  
14 waiting.

15 DR. GERSON: I could comment only on the  
16 general medical literature of death rate from  
17 agranulocytosis, and that literature is not perfect, let's  
18 face it, because it's all sorts of different diseases in  
19 different patient populations, et cetera. So there are  
20 certainly case report clusters of a high death rate from  
21 other drug-induced agranulocytosis.

22 If you look at a publication in 2000 of  
23 ticlopidine, which is a review of all the published  
24 literature about ticlopidine, in that setting where there's  
25 a recommendation but not a requirement for monitoring, the

1 death rate is 7 percent. So that's the most recent data  
2 that I can give you.

3 DR. KECK: Could I ask just two quick  
4 questions? Sorry. Just when you thought it was safe.

5 The time course of onset of agranulocytosis,  
6 does it matter depending on when it occurs following  
7 clozapine exposure? In other words, can you have rapid  
8 onset in the rare cases that happen a year-and-a-half later  
9 compared to, say, within the first 6 months?

10 DR. GERSON: Typically not. Typically the  
11 rapid onset falls or in the first 6 months.

12 DR. KECK: So it's more insidious later on.

13 And secondly, how responsive is clozapine-  
14 induced agran to colony-stimulating factor treatment? I'm  
15 not aware of the Lancet publication.

16 DR. GERSON: What we've been observing is that  
17 with the prompt administration within the first 2 or 3 days  
18 of growth factors, you can shorten the course about 8 to 9  
19 days. So 6 to 8 days after the institution of growth  
20 factors, you'll see a count recovery. So in the absence of  
21 that, it's typically 14, 16, 17 days. A good solid 2  
22 weeks.

23 DR. KECK: Thanks.

24 DR. WANG: Can I ask one quick question before  
25 you leave? What has the temporal trend been in use of

1 these growth factors? Does it mirror sort of the secular  
2 decrease in agran?

3 DR. GERSON: You know, that's a very good  
4 question. I really don't have any denominator data. I  
5 know that it's commonly administered but I really don't  
6 have any denominator questions. I get phone calls about it  
7 and I advise it. That's not a very good answer. So I  
8 don't really know.

9 DR. RYAN: A quick question. After 6 months or  
10 whatever, you said the onset of the agranulocytosis is more  
11 insidious. What's the sort of curve like for the onset?  
12 What does a slow onset look like?

13 DR. GERSON: Well, you saw the second case. It  
14 was about 6 months, and that's the typical. 2 weeks or so  
15 of falling counts.

16 DR. LEIBENLUFT: Just one question to follow up  
17 on Dr. Wang's. Around when, for what period of time have  
18 people been using the growth factor? When was that  
19 introduced in the course of all this?

20 DR. GERSON: It's almost a decade. It's not a  
21 very recent phenomenon.

22 DR. KUMAR: Good morning. I'm Vinod Kumar,  
23 Executive Director, Clinical Development and Medical  
24 Affairs at Novartis Pharmaceuticals Corporation.

25 My presentation will provide a historical

1 perspective on factors leading to the establishment of  
2 hematological monitoring systems for Clozaril-treated  
3 patients; an explanation of Clozaril registry policy and  
4 objectives worldwide and an overview of data collection;  
5 data on rates of leukopenia and agranulocytosis under  
6 various monitoring frequencies from national patient  
7 registries in the United Kingdom, the United States, and  
8 Australia; and finally the results, summaries, and  
9 conclusions based on analysis of these data.

10               Before the establishment of hematological  
11 monitoring systems for Clozaril-treated patients, the rates  
12 of agranulocytosis associated with Clozaril treatment and  
13 mortality were significant. The rate of agranulocytosis  
14 reported in Europe prior to monitoring was 1 to 2 percent  
15 per year, and in the U.S. during pre-marketing clinical  
16 trials, the rate was 1.3 percent at 1 year. Mortality  
17 among agranulocytosis cases was 32 percent. These  
18 incidence rates led to the requirement of the mandatory  
19 monitoring by health authorities and to the Novartis policy  
20 of "no blood, no drug." In other words, patients who do  
21 not undergo mandatory blood tests should not be prescribed  
22 Clozaril.

23               The first initial monitoring systems were  
24 established in 1990. The objective today for all  
25 monitoring systems is the same as it was 13 years ago; that

1 is, the early detection of moderate leukopenia in order to  
2 reduce or prevent the occurrence of severe leukopenia,  
3 agranulocytosis, and death.

4           To achieve this objective, the most intensive  
5 monitoring schedule must take place during the time when  
6 the patients are at highest risk. As is clearly evident  
7 from the results of hazard rate analysis shown in this  
8 slide, the period of highest risk for moderate leukopenia  
9 and agranulocytosis is during the first 6 months of  
10 treatment. The hazard rate for moderate leukopenia begins  
11 to stabilize after about 18 months, at approximately 9 per  
12 1,000 patient-years and at approximately 0.3 per 1,000  
13 patient-years for agranulocytosis.

14           The continuing risks of agranulocytosis can be  
15 seen more clearly on the next graph using the same  
16 agranulocytosis hazard data. The risk of developing  
17 agranulocytosis at year 8 is approximately .3 per 1,000  
18 patient-years. And although it appears to reach 0 at year  
19 8.5, this may be misleading due to the small number of  
20 patients remaining in the cohort.

21           Since 1990, in the United States, UK, and  
22 Australia, registries have collected more than 22 million  
23 lab records. Although these data were collected to ensure  
24 individual patient safety and not for research, the  
25 resulting database is a rich source for epidemiological

1 study. In addition to WBC counts, the industries collect  
2 patient's initials, identification numbers, date of birth,  
3 gender, and race.

4 A key safety effect of the Novartis Clozaril  
5 patient registries is this non-rechallengeable database.  
6 This database is shared with all generic manufacturers and  
7 ensures that no patients who are discontinued from  
8 clozapine because of blood dyscrasia are ever exposed again  
9 to the drug. One key point to bear in mind is that  
10 separate registries are also maintained by generic  
11 clozapine manufacturers.

12 Now, let us move on to the data. The analyses  
13 which we will discuss today were performed on data from  
14 over 215,000 patients in three countries. I will explain  
15 the differences between monitoring systems in the U.S., UK,  
16 and Australia, and present the results of separate analyses  
17 performed on data from each country's registry. It should  
18 be noted that because of the different policies,  
19 procedures, and information compiled in each registry,  
20 comparing the results from one country with another is not  
21 recommended.

22 I will begin with the United States Clozaril  
23 National Registry, also known as CNR. The focus of this  
24 part of my presentation will be to present the unexpected  
25 finding that the reduced monitoring schedule initiated in



1 1998 did not result in an increase in the rate of  
2 leukopenia or agranulocytosis.

3           Here we see that the first patients were  
4 entered into the Clozaril National Registry in 1990. WBC  
5 monitoring was performed weekly for the duration of the  
6 treatment until 1998 when the following advisory committee  
7 recommendations, monitoring after the first 6 months of  
8 treatment was reduced to at least every 2 weeks also  
9 referred to as biweekly.

10           Noteworthy is the fact that generic clozapine  
11 was introduced at about the same time as the reduction in  
12 monitoring frequency and may have contributed to the  
13 unexpected results that I will describe in a moment.

14           First, however, let us look at the criteria for  
15 action used in the course of Clozaril treatment to ensure  
16 patient safety. Clozaril should only be prescribed if the  
17 WBC count is 3500 or above and is accompanied by weekly  
18 monitoring for at least 6 months. If a patient's WBC count  
19 is recorded between 3000 and 3500 and his or her ANC is  
20 above 1500 monitoring is increased to twice a week until it  
21 returns to normal. A drop in the WBC count to between 2000  
22 and 3000 and/or their ANC falls below 1000, those patients  
23 are prevented from further exposure to clozapine by entry  
24 into Novartis' non-rechallengeable database.

25           Now, that you have an understanding of the

1 registry actions in the U.S., I will begin my discussion of  
2 the U.S. data by describing the cohorts included in the  
3 analyses.

4 More than 178,000 patients were included in  
5 these analyses. They were divided into two cohorts  
6 referred to as the initial system and the current system.  
7 The initial system includes over 138,000 patients who  
8 entered the system prior to October 1997 under weekly  
9 monitoring. Data on these patients are included in the  
10 analysis up to April 1998 only. The current system  
11 includes over 39,000 patients who began entering the system  
12 in October 1997 and underwent 6 months of weekly  
13 monitoring, followed by biweekly monitoring for the  
14 duration of the treatment. It is important to note that  
15 patients exposed to generic clozapine were not included in  
16 the analysis.

17 My next slide shows definitions used in the  
18 analysis for moderate leukopenia, severe leukopenia, and  
19 agranulocytosis. Moderate leukopenia was defined as a WBC  
20 of 3000 or below; severe leukopenia, a WBC of less than  
21 2000; and the definition of agranulocytosis was a WBC of  
22 1000 or below or an ANC of 500 or less.

23 Now, to the following results that show  
24 comparisons of rates of moderate leukopenia, severe  
25 leukopenia, and agranulocytosis across cohorts, as well as

1 overall trends for agranulocytosis over time.

2           During the first 6 months of treatment,  
3 patients in both the initial and current systems were under  
4 a weekly monitoring schedule. Although one would not  
5 expect to see any difference between these two groups of  
6 patients under identical monitoring, as you can see the  
7 rates of severe leukopenia and agranulocytosis were  
8 significantly lower in the current system than in the  
9 initial system.

10           After the first 6 months of treatment, when  
11 patients in the initial system remained on weekly  
12 monitoring and the patients in the current system changed  
13 to biweekly monitoring, one might expect an increase in the  
14 rates of blood dyscrasia under the less frequent monitoring  
15 frequency in the current system. However, the rates of  
16 moderate leukopenia, severe leukopenia, and agranulocytosis  
17 were similar in both groups.

18           When we compared rates of leukopenia and  
19 agranulocytosis after 1 year of the treatment under weekly  
20 monitoring in the initial system with the same length of  
21 treatment under biweekly monitoring in the current system,  
22 the rates of moderate leukopenia and severe leukopenia were  
23 similar cohorts. However, the rate of agranulocytosis was  
24 significantly lower at .11 per 1,000 patient-years in the  
25 current system than in the initial system.

1                   One can speculate that the introduction of  
2 other atypical antipsychotics and generic clozapine at  
3 about the time monitoring frequency was changed might have  
4 decreased the number of high risk patients in the Clozaril  
5 registry consequently reducing the rates of leukopenia and  
6 agranulocytosis. This is supported by the following  
7 results.

8                   This graph shows a continuous decline in the  
9 rate of agranulocytosis over time. Interestingly, there's  
10 a parallel decline in the number of new patients entering  
11 the system. If the decline in new patients is due to high  
12 risk patients starting treatment with other atypicals, this  
13 development would have contributed to the decline in the  
14 rate of agranulocytosis. As is apparent from the next two  
15 slides, there were no clinically meaningful differences  
16 between the demographic characteristics of the patients in  
17 the initial system versus the current system that would  
18 explain the unexpected decline in the rate of  
19 agranulocytosis.

20                   As you can see, there are no meaningful  
21 differences between age, gender, or race in the initial  
22 system when compared to the current system for all  
23 patients. Furthermore, this holds true for patients who  
24 developed agranulocytosis.

25                   Now, the results of the U.S. analysis can be

1 summarized as follows.

2 Comparing initial system data with current  
3 system data, the rates of moderate leukopenia were similar  
4 under both systems during and after the 6 months of  
5 treatment.

6 The rates of severe leukopenia and  
7 agranulocytosis were unexpectedly less during the first 6  
8 months under the current system and similar under both  
9 systems after the first 6 months.

10 Interesting to note is that after more than 1  
11 year of treatment, rates of moderate and severe leukopenia  
12 were similar where the rate of agranulocytosis is .11 per  
13 1,000 patient-years and is significantly lower in the  
14 current system than in the initial system.

15 The results were not related to demographic  
16 differences between the monitoring systems.

17 The rate of agranulocytosis declined over time,  
18 which may be related to the introduction of newer  
19 antipsychotic agents or generic clozapine.

20 Now to the other side of the Atlantic. The  
21 first patients were entered in the UK and Ireland Clozaril  
22 Patient Monitoring Service, also know as CPMS, in 1990.  
23 Unlike the U.S., where the initial monitoring frequency was  
24 weekly for the duration of the treatment, in the UK and  
25 Ireland, monitoring was performed weekly for only the first

1 18 weeks and then reduced to at least every 2 weeks for the  
2 duration of the treatment. In 1995 in the UK and in 1999  
3 in Ireland, the monitoring frequency after the first year  
4 of the treatment was decreased to at least once a month.

5 Let us look at the criteria for action used in  
6 the course of Clozaril treatment to ensure patient safety  
7 in the UK. You will notice some significant differences  
8 between the U.S. and the UK in this regard.

9 Like in the U.S., Clozaril should only be  
10 prescribed if the WBC count is 3500 or above. However, in  
11 the UK, the ANC must also be above 2000. The initiation of  
12 the Clozaril treatment is accompanied by weekly monitoring  
13 for at least 18 weeks. Similar to the U.S., patients with  
14 WBC counts recorded between 3000 and 3500 or an ANC between  
15 1500 and 2000 are monitored twice a week until they return  
16 to normal.

17 The biggest difference between the U.S. and UK  
18 actions are that no temporary discontinuation is permitted  
19 in the UK, and permanent discontinuation occurs at the  
20 higher WBC count which is 3000. As we discussed in the  
21 U.S., the permanent discontinuation occurs at a WBC count  
22 of 2000.

23 As in the U.S., such patients are prevented  
24 from further exposure to clozapine by their entry into  
25 Novartis' non-rechallengeable database.

1           I'll begin my discussion of the UK data by  
2 describing the cohorts included in the analysis. More than  
3 27,000 patients are included in these analyses. As in the  
4 U.S. analysis, they were divided into two cohorts referred  
5 to as the initial system and the current system.

6           The initial system includes approximately 6,000  
7 patients who began entering the system in 1990 under weekly  
8 and biweekly monitoring. Data on these patients are  
9 included in the analysis up to 1995 only when the  
10 monitoring frequency after 52 weeks changed from biweekly  
11 to monthly.

12           The current system includes over 21,000  
13 patients who began entering the system in 1994 and  
14 underwent 12 months of weekly and biweekly monitoring,  
15 followed by monthly monitoring for the duration of the  
16 treatment.

17           It is important to note that generic clozapine  
18 is not available in the UK, and therefore, unlike in the  
19 U.S., there are no generic patients to be excluded from the  
20 analysis.

21           My next slide shows definitions used in the  
22 analysis for moderate leukopenia, severe leukopenia, and  
23 agranulocytosis. The only difference between definitions  
24 for analysis in the U.S. and the UK is that in the UK ANC  
25 values are collected and may be used to identify patients

1 with moderate or severe leukopenia.

2           The following results show comparisons of rates  
3 of moderate leukopenia, severe leukopenia, and  
4 agranulocytosis across cohorts, as well as the overall  
5 trend for agranulocytosis over time.

6           During the first 18 weeks of treatment,  
7 patients in both the initial and current systems were under  
8 a weekly monitoring schedule. Although one would not  
9 expect to see any difference between these two groups of  
10 patients under identical monitoring, as you can see, the  
11 rates of moderate leukopenia were significantly lower in  
12 the current system than in the initial system. As  
13 expected, the rates of severe leukopenia and  
14 agranulocytosis were similar.

15           From 19 to 52 weeks of treatment, patients in  
16 both the initial and current systems were under a biweekly  
17 monitoring schedule. Here too the rates of moderate  
18 leukopenia were significantly lower in the current system  
19 than the initial system. As expected, the rates of severe  
20 leukopenia and agranulocytosis are similar.

21           After 52 weeks of treatment, patients in the  
22 initial system remained on biweekly monitoring and patients  
23 in the current system changed to monthly monitoring. The  
24 rate of moderate leukopenia was significantly lower in the  
25 current system. The rate of severe leukopenia was similar



1 in both the initial and current systems, but the rate of  
2 agranulocytosis under monthly monitoring was approximately  
3 double the rate under biweekly monitoring. However, this  
4 difference was not statistically significant.

5 As in the U.S., analysis of the data from all  
6 UK patients shows a continuous decline in the rate of  
7 agranulocytosis over time, and a parallel decline in the  
8 number of new patients entering the system.

9 Analysis of patient demographics produced  
10 similar results in the UK and the U.S. There were no  
11 clinically meaningful differences between the demographic  
12 characteristics of patients in the initial system versus  
13 the current system that would explain the results of the  
14 analysis. As you can see, there are no meaningful  
15 differences between age, gender, and race in the initial  
16 system when compared to the current system for all  
17 patients. The same holds true for the patients who  
18 developed agranulocytosis.

19 Now, the results of the UK analysis can be  
20 summarized as follows.

21 Comparing initial system data with current  
22 system data, the rate of moderate leukopenia was  
23 significantly lower in the current system than in the  
24 initial system.

25 The rates of severe leukopenia were similar

1 under both initial and current systems.

2           The rates of agranulocytosis were similar under  
3 both initial and current systems under weekly and biweekly  
4 monitoring. However, monthly monitoring was associated  
5 with an approximate 2-fold increase in the rate of  
6 agranulocytosis, as I mentioned earlier.

7           These results were not related to demographic  
8 differences between the monitoring systems.

9           Lastly, the rates of agranulocytosis declined  
10 over time which may be related to the introduction of newer  
11 antipsychotic agents.

12           Now let us turn our attention to Australia.  
13 Since the establishment of the Australian registry in 1992,  
14 monitoring frequency has been weekly for the first 18  
15 weeks, followed by monthly for the duration of the  
16 treatment. Incidence rates of leukopenia and  
17 agranulocytosis were analyzed in this single cohort of  
18 approximately 10,000 patients.

19           Registry actions for Australia are identical to  
20 those in the UK.

21           The definitions used for the Australian  
22 analysis, however, are the same as those used for the U.S.

23           I will now present the results of the  
24 Australian analysis.

25           The rates of moderate leukopenia, severe

1 leukopenia, and agranulocytosis observed between week 0 and  
2 18 decreased significantly between weeks 19 to 52 and again  
3 after 52 weeks. As shown in the previous slide, the rates  
4 of moderate leukopenia, severe leukopenia, and  
5 agranulocytosis decreased over time. The agranulocytosis  
6 rate after 52 weeks under monthly monitoring was 0.5 per  
7 1,000 patient-years.

8 I will now draw some overall conclusions from  
9 the individual country results I have just presented.

10 Clearly, all three Clozaril registries  
11 effectively accomplish the global objective of detecting  
12 moderate leukopenia, reducing severe leukopenia,  
13 agranulocytosis, and death.

14 After 52 weeks, the rate of agranulocytosis  
15 under monthly monitoring in Australia is similar to the  
16 rate observed in the United Kingdom.

17 In the UK, results show that a change from  
18 biweekly to monthly monitoring was associated with a  
19 decrease in moderate leukopenia and an increase in the  
20 incidence of agranulocytosis.

21 In the U.S., the reasons for the observed  
22 decline in the rates of severe leukopenia and  
23 agranulocytosis during the first 6 months of the treatment  
24 are not clear.

25 The change in monitoring frequency in the U.S.

1 from weekly to biweekly was not associated with an expected  
2 increase in the rate of severe leukopenia and  
3 agranulocytosis. In fact, if you look at the data after 52  
4 weeks of treatment, the rate of agranulocytosis was  
5 significantly lower in the current system under biweekly  
6 monitoring than in the initial system under weekly  
7 monitoring.

8 In the next presentation, Dr. Larry Hauptman  
9 will look at the U.S. data more closely and give some  
10 possible explanations for unexpected results of the U.S.  
11 analysis. Thank you very much for your attention, and Dr.  
12 Larry Hauptman.

13 DR. RUDORFER: A question from Dr. Weiss.

14 DR. WEISS: Thank you. On your tables 17 and  
15 18, could you explain to me how you adjusted for the length  
16 of treatment? The U.S. rates for greater than 6 months and  
17 greater than 52 weeks.

18 DR. RAWLS: So you want CNR:17 of this  
19 presentation.

20 DR. WEISS: 17 and 18.

21 DR. RAWLS: 17 and 18. How we adjusted for  
22 the?

23 DR. WEISS: Length of treatment.

24 DR. RAWLS: For the length of treatment.

25 Zahur, do you want to answer this? It seems to

1 be a statistical question about the methods for the length  
2 of treatment, how we adjusted for this.

3 DR. ISLAM: My name is Zahur Islam. I'm a  
4 statistician at Novartis Pharmaceuticals.

5 On slide number 17, these rates are reflecting  
6 the incidence rate after 6 months. So essentially we  
7 compute the duration of treatment of the patient after 6  
8 months, total it up. That gives you the total person-  
9 years, converted into years and the number of events.

10 DR. WEISS: So that was from 6 months --

11 DR. ISLAM: Onward.

12 DR. WEISS: And so there was no control for  
13 whether patients were on it for 1 year or 8 years or 5  
14 years. Is that correct?

15 DR. ISLAM: No. It is correct. That's  
16 correct. Essentially you're assuming the incidence rate  
17 after 6 months for any duration.

18 DR. WEISS: Thank you.

19 DR. ISLAM: If you want that kind of  
20 adjustment, probably the hazard graph will give you that  
21 appropriate answer, what you're looking for.

22 DR. RAWLS: You want us to handle questions now  
23 or wait until the next few presentations, which last about  
24 15 minutes, and then we can come back and answer all your  
25 questions.

1 DR. RUDORFER: Dr. Wang.

2 DR. WANG: Yes, a similar question about the  
3 same slides. I just question your conclusion because there  
4 was not an adjustment for the duration of clozapine use.  
5 There actually was a difference in the analyses where you  
6 did adjust for that in the life tables and in the analyses  
7 where your incidence rates were broken down for smaller  
8 periods. If you look within the 6- to 12-month periods,  
9 there actually was an increase in the rates of  
10 agranulocytosis and leukopenia. So I'm just questioning --

11 DR. RAWLS: Do you have a question or is there  
12 a particular slide or set of data that you're referring to?

13 DR. WANG: In the material. Maybe we'll go  
14 over it later.

15 DR. RAWLS: All right, if you can find it, and  
16 we'll try to address that for you later on.

17 DR. LEON: I'm confused. Why would you say  
18 there's no adjustment for duration of treatment when those  
19 two slides are presented in 1,000 person-years?

20 DR. RAWLS: Zahur?

21 DR. ISLAM: If we assume the rate after 6  
22 months is pretty much fixed, what you have seen in the  
23 hazard curve is pretty much flat, then you don't need any  
24 adjustment there because you are assuming the incidence  
25 rate is pretty much the same over the period.

1 DR. LEON: Where does the rate per 1,000  
2 patient-years come in if you're not adjusting for duration  
3 of treatment?

4 DR. ISLAM: Let me just say as for example. If  
5 I would have done the same thing for computing the overall  
6 rate from 0 to whatever it is, and if I would have used the  
7 same method, then the rate should have been adjusted. The  
8 standardized rate should have been computed, and that's  
9 what I did.

10 DR. WANG: The issue isn't duration of  
11 clozapine use. It's the time since initiation. We know  
12 that the rate decreases over time that you've been on  
13 clozapine.

14 DR. ISLAM: Right.

15 DR. WANG: So if you look just within a period  
16 of, say, greater than 12 months or greater than 6 months,  
17 you have some people who have been on it for a long time  
18 and a short time. You need to know who's who in order to  
19 sort it out. I'll look or these tables that you show that  
20 there's --

21 DR. RAWLS: So you want us to continue with the  
22 presentation?

23 DR. HAUPTMAN: I'd like to make a clarification  
24 to that, though. The rates are adjusted for time. That's  
25 person-years. After 6 months, we're saying, based on the

1 hazard rate, which looked to be fairly stable, that no  
2 further adjustment was needed because whether you're on for  
3 6 months to a year or 6 months to 10 years, the hazard rate  
4 is relatively stable over that period. So the person-years  
5 adjustment would suffice. I think that's what was  
6 underlying our presenting person-years that way. We  
7 wouldn't have presented rates in the first 6 months  
8 compared to rates after 6 months because you see by the  
9 hazard rate that there is a difference.

10 DR. LEIBENLUFT: That actually gets to a  
11 question I had which is the previous speaker said in the  
12 presentation that the hazard rate decreases after 18  
13 months. And I wasn't sure exactly what that was based on.  
14 In fact, it says that also in the briefing book.

15 DR. HAUPTMAN: Maybe it's easiest if we just  
16 leave these questions for the question and answer period.

17 DR. RUDORFER: Why don't we continue with the  
18 presentations, and then we'll come back to these issues in  
19 the overall discussion.

20 DR. HAUPTMAN: Good morning. My name is  
21 Lawrence Hauptman. I'm a statistician with Novartis' Drug  
22 Regulatory Affairs Department.

23 My presentation is going to have a fairly  
24 narrow focus. I'm just going to look at the U.S. data.  
25 I'm going to concentrate on agran rates after 6 months of



1 treatment, where the initial system differs from the  
2 current system.

3 I'm going to look at certain factors that may  
4 have contributed to the lower-than-expected agranulocytosis  
5 rate after the monitoring frequency changed from weekly to  
6 biweekly after 6 months of treatment. Now, what exactly do  
7 I mean by lower than expected?

8 When the advisory committee addressed this  
9 issue back in 1997, there was an implicit assumption that  
10 the rate of agran would increase with less frequent  
11 monitoring. The issue was whether the magnitude of that  
12 increase would be acceptable. Ultimately the FDA decided  
13 that the estimated increase would be acceptable and the  
14 monitoring frequency was changed to biweekly after 6 months  
15 of treatment.

16 However, when we compare the agran rate after 6  
17 months of treatment for the current biweekly system, .37  
18 per 1,000 patient-years, to that for the initial weekly  
19 system, .4, we see that the rate did not increase at all.  
20 This is what I mean by the rate being lower than expected.

21 You've already seen this result in, I think it was, CNR:17  
22 actually, in Dr. Kumar's presentation.

23 I'm going to examine data from the two  
24 monitoring systems with respect to the rate of moderate  
25 leukopenia and various factors that may have affected the

1 agran rate. Certain factors have already been discussed by  
2 Dr. Kumar, so I'll skip those for now. The factors that I  
3 will address are the overall rates of moderate leukopenia,  
4 the percentage of moderate leukopenia cases found with a  
5 WBC less than 2000, the rate of moderate leukopenia by  
6 calendar year, the WBC count at treatment discontinuation,  
7 and the overall discontinuation rate.

8           A lower rate of moderate leukopenia would have  
9 been consistent with a lower-than-expected agran rate  
10 because most patients travel through moderate leukopenia  
11 before developing agran. However, this was not the case.  
12 The rates were essentially the same: 8.92 per 1,000  
13 patient-years versus 8.0 per 1,000 patient-years for the  
14 current system.

15           So if the rates of moderate leukopenia were the  
16 same, maybe the actual WBC counts, when moderate leukopenia  
17 was first detected, were different. This would be  
18 important because patients detected with a lower WBC count  
19 were more likely to progress to agran. This shows that the  
20 patients whose moderate leukopenia was detected when the  
21 WBC count was less than 2000 was 17 times as likely to  
22 progress to agran than were the patients who were detected  
23 when their WBC count was between 2000 and 3000; that is, if  
24 the first time we saw somebody below 3000, he was already  
25 below 2000. There were 64 such patients. 24 progressed to

1 agran, for a rate of 37.5 percent.

2           If we first saw them when their rates were  
3 between 2000 and 3000, of the 2,642 such patients, 57  
4 progressed to agran, for a rate of 2.16. And the ratio of  
5 37.5 to 2.16 is roughly 17. So in essence it's riskier to  
6 be found here than it is to be found here in terms of  
7 progressing to agran.

8           If, in the current monitoring system, fewer  
9 patients with moderate leukopenia had been found in this  
10 high-risk group with a WBC count less than 2000, that would  
11 have been consistent with a lower-than-expected agran rate.

12          However, the percentages of moderate leukopenia cases  
13 found in this high-risk group were essentially the same in  
14 both monitoring systems: 2.4 percent versus 2.8 percent.

15           The last factor relating to moderate leukopenia  
16 is its rate by calendar year. If the rate had decreased  
17 over time, particularly since 1998 when the new system was  
18 put into effect, one would expect to see fewer agran cases.

19          However, this did not appear to be what happened except  
20 for a few blips. The rate of moderate leukopenia after 6  
21 months of treatment was fairly stable in this, at about 8  
22 per 1,000 patient-years.

23           The last two factors relate to treatment  
24 discontinuation. The first involves the WBC count at  
25 treatment discontinuation and the second addresses the

1 overall treatment discontinuation rate. In both cases, the  
2 issue is whether the patients who might have been at a  
3 higher risk of agran were more likely to have been  
4 discontinued under the current system than under the  
5 initial system. If this were true, the agran rate observed  
6 under the current system would have been artificially lower  
7 compared to that observed under the initial.

8               Now, what we wanted to do was look at the WBC  
9 count of patients who discontinued because of a low count.

10       However, the Clozaril National Registry does not  
11 consistently capture the reason for treatment  
12 discontinuation. So what we did is we looked at the WBC  
13 count for all patients who discontinued and for those  
14 patients who discontinued with a count between 3000 and  
15 4000, under the assumption that these patients discontinued  
16 because of a WBC count that was sufficiently low to concern  
17 their physician.

18               In both cases, the median WBC counts, as well  
19 as the 95th and 5th percentiles, were quite similar  
20 regardless of the monitoring system. These are not  
21 confidence intervals. These are the 5th and 95th  
22 percentile. So it does not appear that on the basis of  
23 their WBC counts higher-risk patients were more likely to  
24 be discontinued under the current monitoring system.

25               However, when the overall treatment

1 discontinuation rates were compared, we do see that  
2 patients under the current system are more likely to be  
3 discontinued during the first 6 months than patients under  
4 the initial system. Under the current system, 57.6 percent  
5 were discontinued by 6 months of treatment, and under the  
6 initial system, it had only been 36.4 percent.

7           So if the patients who were discontinued were  
8 more likely to develop agran than those who did not, then  
9 this could account for the lower-than-expected agran rate  
10 in the current system. However, we do not really know  
11 whether these patients who discontinued early did so  
12 because of a higher risk of agran.

13           So in summary, the similar results in both  
14 monitoring systems for these factors -- this one was  
15 already addressed by Dr. Kumar, and I've just addressed  
16 these last four -- did not provide any evidence that  
17 explains the lower-than-expected agran rate after 6 months  
18 of treatment in the current biweekly system.

19           On the other hand, these factors might explain  
20 that lower-than-expected rate, but only the patients who  
21 switched to alternative therapies or patients who  
22 discontinued early were, indeed, at a higher risk of  
23 developing agran, and we just do not know whether this is  
24 true.

25           So, in conclusion, we were not able to find any

1 convincing explanations for why the agran rate did not  
2 increase when the monitoring frequency decreased every 2  
3 weeks. This unexpected result, which we have not been able  
4 to explain to our satisfaction, may just reflect the  
5 limitation of the Clozaril National Registry, which after  
6 all, was set up to protect individual patients and not to  
7 be used as a research tool to try to answer questions about  
8 the underlying rates of agran or moderate leukopenia,  
9 severe leukopenia, for that matter.

10 I'd like to introduce Dr. John Kane, who will  
11 -- well, I'd like to, but I'm not going to.

12 (Laughter.)

13 DR. RUDORFER: A question from Dr. Leon.

14 DR. LEON: Thank you.

15 What I haven't heard is a little more about the  
16 people who switched to generic. Specifically, what  
17 percentage of Clozaril patients did switch? It could have  
18 a great deal of impact on the question you were just  
19 searching for an answer, but I haven't heard it. Was it 3  
20 percent or was it 50 percent of those on Clozaril?

21 DR. HAUPTMAN: Let me give you a short answer,  
22 and then if anybody from Novartis can provide a little more  
23 information. I don't know that we can tell which patients  
24 switched or which patients actually started on a generic  
25 and then went over to clozapine. What we can tell from the

1 registry is when there are gaps in the WBC counts. So if  
2 there's a gap and then they pick up again, maybe that means  
3 patients during that gap were being given a generic.  
4 Patients who start on a generic and then go to Clozaril,  
5 it's my understanding we have no way of knowing how many  
6 such patients there are.

7 DR. LEON: How are sales affected by the  
8 introduction of the generic?

9 DR. RAWLS: We can gather some of that  
10 information from some of our representatives of the  
11 marketing department, who could provide you with some more  
12 figures. Zahur may have some data as well in terms of how  
13 we analyze this.

14 DR. LEON: Have there been any studies? We saw  
15 some demographic characteristics, but not even of those who  
16 switched to Clozaril. Have there been any studies that  
17 compared clinical characteristics of those who stayed on  
18 brand Clozaril and those who switched to generic? Was the  
19 more vulnerable patient more likely to switch to generic?

20 MR. DODSWORTH: I'm Roy Dodsworth from  
21 Regulatory Affairs at Novartis. The state substitution  
22 laws don't allow us to determine what type of patient  
23 switches from brand to generic. That's really driven by  
24 prescribing practices and by state substitution laws, so I  
25 don't think there are many of those types of studies that

1 I'm aware of.

2 To answer your earlier question, I don't think  
3 we can give you a categorical number, but the sales of  
4 Clozaril as a brand have been slowly eroding since the  
5 introduction of the generics, and currently about 60  
6 percent of clozapine sales in the U.S. are captured by the  
7 generic companies, with about 40 percent of the brand.  
8 There's no guarantee that those who have switched have  
9 necessarily switched to a generic clozapine. They could  
10 easily have switched to some other atypical antipsychotic  
11 as well, so it's pretty hard to put a number on exactly how  
12 many patients have or have not switched from the brand to  
13 the generic.

14 DR. KECK: On your stat:7 slide.

15 DR. RAWLS: You have to give us some time to go  
16 back to these slides to pull them up. So stat:7?

17 DR. KECK: Sorry. It's not a question about  
18 the data on the slide, but one thing I've been wondering  
19 about is if there were a way of raising the threshold for  
20 warning of incipient agranulocytosis. Could you have, when  
21 someone passed that threshold, a more frequent targeted  
22 monitoring? And toward that end, do you have any data on  
23 the probability that someone will go on to develop  
24 agranulocytosis for a white count between, say, 3000 and  
25 4000 or 3000 and 4500, in other words, a slightly higher



1 threshold that might give you an earlier warning, and how  
2 many of those people go on? I know it's not there, but is  
3 that something you could look at?

4 DR. RAWLS: Your first question, have they  
5 passed through this threshold of less than 3000, if there's  
6 some sort of increased frequency monitoring that is in --

7 DR. KECK: No. I know that doesn't exist.

8 DR. RAWLS: Actually it does. The action  
9 criteria that Dr. Kumar went through addressed some of the  
10 intense monitoring that takes place if they pass that  
11 threshold, and I think Dr. Racoosin also went through the  
12 algorithm as well.

13 Then the second question was 3000, 4000.  
14 Larry, did we do anything with --

15 DR. KECK: Or 3000 to 3500, something just a  
16 little bit higher.

17 DR. HAUPTMAN: The short answer is, no, we  
18 didn't. We do have that data and we could come up with  
19 percentages like this for patients who were first caught at  
20 any level, for that matter. I guess we could try to see if  
21 we can do that during the break and lunch period and maybe  
22 come back. Were you specifically interested in a --

23 DR. KECK: Well, just what the probability of  
24 agran was.

25 DR. HAUPTMAN: For what range? I don't know

1 if, given the time, we'll be able to do it for a number  
2 ranges. We could try between 3000 and 3500.

3 DR. KECK: Sure.

4 DR. RAWLS: So a table similar to this, 3000 to  
5 3500, the probabilities for those patients going to agran.

6 Is that correct?

7 DR. KECK: Yes.

8 DR. RAWLS: Okay. We'll see if we can get that  
9 for you.

10 DR. RYAN: And perhaps the time interval. Once  
11 you're doing that, it's the time interval that you care  
12 about almost as much as the probability.

13 DR. KECK: Exactly.

14 DR. RAWLS: Can you clarify what you mean by  
15 the time interval?

16 DR. RYAN: Sure. Somebody goes through 4000 or  
17 3500, how long before they hit the agran. Do you have a  
18 month, do you have 2 weeks?

19 DR. RAWLS: So how long, the mean duration of  
20 time that those patients progress?

21 DR. RYAN: For the people who go below 3500 and  
22 4000 and who go on to agran. But equally importantly,  
23 what's the range of time intervals of the ones who go on to  
24 agran. Not everybody. Of the ones who go on to agran,  
25 what's the time interval and what's the median and the

1 range of the time intervals.

2 DR. RAWLS: I think we're clear on that.

3 DR. WEISS: While you're on the slide, do you  
4 have a breakdown of this on the different cohorts? In  
5 other words, does the probability of progression differ?

6 DR. HAUPTMAN: This is for the initial system,  
7 what may have been called cohort 1 and 2 in your briefing  
8 document. We could do it for the current system but there  
9 are only 10 cases in the current system, and so you'd be  
10 basing these results on very small numbers and we felt that  
11 basing it on these larger numbers gave you more credibility  
12 in the probabilities you get here, than you would see when  
13 you try to over-analyze 10 cases.

14 DR. WEISS: What did you see with the 10 cases?

15 DR. HAUPTMAN: Actually I don't recall. We can  
16 do it. I'm not sure we did it, for the very reason I just  
17 told you, is that we didn't think we could put much faith  
18 in whatever those numbers were anyway. But we can get that  
19 too.

20 DR. WEISS: Thank you.

21 DR. RAWLS: So just to clarify, you just want  
22 for the current system, the same slide. Okay.

23 DR. WEISS: Please. Thank you.

24 DR. RAWLS: Two requests during lunch. Okay.

25 DR. WANG: Can you bring up your analyses

1 showing the white blood cell counts at the time of  
2 discontinuation? Did you do any sensitivity analyses with  
3 a longer gap than just 2 weeks? It seems like you might  
4 have folks that -- if someone's worried about a lowering, a  
5 decrease in a white blood cell count, it seems like a  
6 prescriber might wait longer than 2 weeks before  
7 restarting. And also if they're worried, they would  
8 probably be checking the WBC during that time period. I  
9 just wondered if you tried a longer sort of definition of  
10 what discontinuation was and what those results might show.

11 DR. HAUPTMAN: I don't think we did, no.

12 DR. RAWLS: So do you want to invite Dr. Kane  
13 up for his final thoughts? All right. We'll do that.

14 DR. HAUPTMAN: Actually I want to do that. And  
15 now I'd like to invite Dr. John Kane up to wrap up our  
16 presentation by giving his clinical perspective on the  
17 issues that we've discussed so far today.

18 DR. KANE: Thanks very much. My own personal  
19 background in this is that I've been working continuously  
20 with Clozaril for the last 25 years, since 1977. I was  
21 fortunate to be involved in the design and to lead the  
22 study that led to the marketing of Clozaril, with enormous  
23 input from the agency and particularly Paul Lieber. So at  
24 that time we were very, very concerned on this whole issue  
25 of how to manage the potential risks associated with the

1 marketing of clozapine.

2           It's turned out that I think it's been able to  
3 be managed in a much safer manner than anything we had  
4 anticipated 10 to 15 years ago. So that was the beginning  
5 of the "no blood, no drug" policy.

6           The last time this issue came up I was actually  
7 sitting in Matt's chair, so I'm pleased to be back again to  
8 discuss this issue, although I think the nature of the  
9 questions have evolved from what they were in 1997.

10           I think it's been very clear that despite the  
11 introduction of five other so-called atypical or second  
12 generation antipsychotic drugs over the last decade, that  
13 Clozaril continues to really have a unique role in medicine  
14 and psychiatry. I think, therefore, any discussion as to  
15 how to take safe and effective advantage of Clozaril's full  
16 potential does have important public health considerations.

17           So to just frame some of the discussions for  
18 today, we're aware of the approvals, most recently for the  
19 treatment of recurrent suicidal behavior.

20           Agranulocytosis is clearly a serious disease in  
21 an affected individual, and outside of drug-treated  
22 populations it's a very rare event. It does represent a  
23 significant burden to the health care system. I should  
24 also point out that as a center that's been very actively  
25 involved in clozapine research, we've had eight cases of

1 agranulocytosis in my own hospital. This is a very serious  
2 possibility.

3           Early detection decreases risk clearly. The  
4 mortality associated with agranulocytosis is much less than  
5 we feared that it would be 10 or 15 years ago, but there  
6 certainly remains to be that risk.

7           "No blood, no drug," obviously.

8           Early detection of moderate leukopenia in order  
9 to reduce or prevent the occurrence of severe leukopenia,  
10 agranulocytosis, and death. So the question that's facing  
11 you all this morning, should the frequency of monitoring be  
12 reduced? If so, when? That is at what time point in the  
13 course of treatment. How? Should it be mandatory, should  
14 it be voluntary, et cetera, and to what frequency? Are we  
15 talking about monthly? Are we talking about something less  
16 frequent? Are we talking about discontinuing it  
17 altogether?

18           So clearly there are benefits of the monitoring  
19 system and I think this has been borne out over a long  
20 period of time. The monitoring system was introduced  
21 amidst a lot of controversy, as many of you will recall.  
22 Through early detection of leukopenia and/or  
23 agranulocytosis, which reduces morbidity and mortality.

24           Also by maintaining a non-rechallengeable  
25 database so that any individual who's actually had an

1 untoward effect on Clozaril can be identified and one can  
2 avoid rechallenging that patient. I should point out that  
3 the large number of people in that database, 4,500, that  
4 does not necessarily mean they have developed blood  
5 dyscrasia or agranulocytosis. They're in that category for  
6 other reasons as well. It provides a very important safety  
7 net, clearly, to those very vulnerable patients who may be  
8 receiving this medication for the treatment of psychosis or  
9 suicidal behavior.

10           So the disadvantages of frequent monitoring.  
11 It is inconvenient to patients and caregivers. It  
12 certainly is possible that patients who may benefit from  
13 Clozaril never start receiving the medication because of  
14 the monitoring requirement. It's also highly likely that a  
15 number of patients discontinue prematurely from a trial of  
16 Clozaril or from continued treatment with Clozaril because  
17 they are unwilling to continue with the burden of the blood  
18 monitoring.

19           You've already seen much of these data. It  
20 just points out that looking at agranulocytosis rates after  
21 52 weeks in several different countries we did not see  
22 significant differences after changes in the systems.  
23 There are trends, as was pointed out in an earlier talk, in  
24 the UK. The rate essentially doubled but it was not  
25 statistically significant.

1           I think one of the concerns about some of the  
2 trends that we've seen -- and obviously, there's going to  
3 be more discussion about some of the data -- is that when  
4 we're talking about reductions in the apparent incidence or  
5 risk of agranulocytosis over time, or in different  
6 monitoring situations in different countries, we're hard-  
7 pressed to explain those differences. My sense is that  
8 when I find it hard to explain something that's happened in  
9 the past, it also makes me concerned that I might not be  
10 able to predict something that's going to happen in the  
11 future. That's something we have to keep in mind as we  
12 make these decisions today.

13           Data that support a reduction in the monitoring  
14 frequency. Certainly changes in monitoring frequency did  
15 not appear to be associated with a statistically  
16 significant increase in the rates of moderate leukopenia,  
17 severe leukopenia, or agranulocytosis. The rate of  
18 agranulocytosis has decreased by calendar year, again  
19 something that I think may be difficult for us to fully  
20 explain. And the risk of developing agranulocytosis is  
21 greatest during the first 6 months of treatment and  
22 stabilizes thereafter, and that's a very important point,  
23 obviously, which we need to continue emphasizing.

24           The considerations that do not or may not  
25 support a change in the monitoring frequency. We saw the



1 trend in the UK, essentially a doubling of the rate of  
2 agranulocytosis after 52 weeks. Again, not statistically  
3 significant, so it's a question of what can we conclude  
4 from that signal. It may diminish the ability of the  
5 system to detect moderate leukopenia in order to reduce or  
6 prevent the occurrence of severe leukopenia,  
7 agranulocytosis, and death. And again, as Dr. Gerson  
8 pointed out, sort of the cutoff point at which you're  
9 willing to take that chance is an important consideration.

10           It may put patients at increased safety risk in  
11 addition to what we can project, but that cannot be  
12 estimated from the existing data, and that's obviously  
13 something that you need to keep in mind as well.

14           So the monitoring systems work, and that's been  
15 very, very encouraging. The data don't preclude a less  
16 frequent monitoring schedule. On the other hand, the data  
17 don't rule out entirely an increase in the rate of  
18 agranulocytosis with less frequent monitoring, and  
19 obviously that will depend on to what degree one reduces  
20 the frequency.

21           One other thing I'd like to add is that I know  
22 many people in the advocacy community and patients and  
23 families have expressed considerable concern about the  
24 burden of monitoring, and that this can be a limiting  
25 factor in the use of Clozaril. I would just suggest from a

1 sort of clinical perspective that when we initiate a trial  
2 of Clozaril, the key decision point for us is often in the  
3 first 6 months in terms of evaluating whether or not the  
4 patient has benefitted sufficiently from this unusual drug  
5 to warrant continuing on the drug. That decision is  
6 usually made in the first 6 months. I don't think anyone  
7 is proposing to reduce the frequency of monitoring during  
8 the first 6 months. The question as to what happens  
9 thereafter may also be influenced by the patient and  
10 family's recognition of the very important gains that have  
11 resulted from that first 6-month trial of Clozaril, so that  
12 needs to be entered into consideration.

13 I would also point out in my experience -- and  
14 we've done a lot of so-called knowledge transfer as part of  
15 our NIMH intervention center related to the use of  
16 clozapine, for example -- the obstacle is not necessarily  
17 the monitoring. There are many, many other obstacles that  
18 have to do with physician attitudes, system support, et  
19 cetera. So the notion that by reducing the monitoring, we  
20 are somehow going to make Clozaril much more widely  
21 available to the community that needs it is something that  
22 we need to think very carefully about.

23 I think it remains an enormous challenge to the  
24 medical community to encourage more widespread utilization  
25 of clozapine or Clozaril from my perspective, and again I'm

1 speaking as a clinician and investigator at this point.  
2 That is a challenge to the medical community which has not  
3 been met and we need to think about ways to meet that. I'm  
4 not sure that reducing the frequency of the monitoring is  
5 the answer to that question.

6 Thanks very much.

7 DR. RAWLS: We can address the one question I  
8 think that Dr. Malone brought up about the deaths, to  
9 provide him with the data that he requested there. And Dr.  
10 Kumar and I will moderate the questions and request the  
11 appropriate individuals come to answer your question.

12 The first one, if we can have that slide and  
13 Dr. Kumar can address this for you.

14 DR. KUMAR: In the U.S. registry, we have 22  
15 deaths so far, and when you look at the rate, it's 3.55  
16 percent. I did indicate in the beginning of my talk that  
17 before the monitoring system was introduced, the death rate  
18 was 32 percent. So, in fact, if you look at it, 3.55  
19 percent, and this happens to be in what year that occurred,  
20 so that reduction following monitoring, about a seven to  
21 eight times reduction in the death rate.

22 DR. RACOOSIN: We have a slide in our  
23 presentation that will break out the mortality by the first  
24 6 months and the subsequent 6 months, which might address  
25 your question more directly than the calendar year.

1 DR. KUMAR: In fact, all of these 22 deaths, 20  
2 deaths were during the first 6 months, and two after 6  
3 months. And that is a part of your slide.

4 DR. RUDORFER: Another question?

5 DR. LEIBENLUFT: I'm not sure if this is  
6 something that you're going to address again later, but  
7 again just the issue about the hazard rate and what happens  
8 to the hazard rate for agran after 6 months. I mean, we  
9 know it drops after 6 months, but what happens to it then  
10 at a year, year-and-a-half, 2 years, et cetera?

11 DR. RAWLS: Just the hazard rate. If we can go  
12 to Vinod's slide that describes the hazard rate, the first  
13 slide, and then after 6 months, we'll use that to answer  
14 that question.

15 DR. KUMAR: This one I think you'll see the  
16 39th week, this is for moderate leukopenia and here is for  
17 agranulocytosis. This is the hazard rate per 1,000  
18 patient-years. And what I indicated during my talk also  
19 when you look at them at 15 months, it stabilizes. These  
20 are over time hazard rates. Both of these are moderate  
21 leukopenia and agranulocytosis.

22 Next slide. This is interesting. When you  
23 blow up the previous slide and look more closely here at  
24 what happens here. This is about 7 years, and if you draw  
25 a line here, it appears to be .3 per 1,000 patient-years,

1 between 7 and 8 years. This is decreasing. In fact, this  
2 point is 1 percent of the total population, about 1,600,  
3 1,700 patients.

4 DR. LEIBENLUFT: Do we have any more fine-  
5 grained analysis of what happens like between 6 months and  
6 2 years? I guess we've got a time point there. You've got  
7 one time point at 15 months. Is that right? But we don't  
8 have any real data to address, for example, is it different  
9 between 6 to 12 months than between 12 to 18, 18 to 24? Do  
10 we have any more fine-grained data?

11 DR. RAWLS: Do we have anything more specific  
12 just to those treatment periods for just that particular  
13 time? Like between 0.5 and 1 year, 1 year and 2 year,  
14 those sort of cuts?

15 DR. LEIBENLUFT: Well, really, I think 6 to 12,  
16 12 to 18, 18 to 24 seem to be particularly germane.

17 DR. RAWLS: We don't have it in that sort of a  
18 cutoff. This is it.

19 DR. ISLAM: This is 6 months to 12 months, this  
20 is 12 months to 24 months. We do not have 18 months. And  
21 then 24 to 36 months.

22 DR. WANG: That's the slide that I was looking  
23 for where your most stable estimates are going to be for  
24 the .5 to 1 year. You won't have stability in other  
25 estimates. But in that, you see an actual increase in

1 cohort 3 relative to the others. And this is probably  
2 still an underestimate because it's not taking into account  
3 the secular decrease. I mean, this only takes into account  
4 the time since initiation of clozapine. I was curious  
5 about your original conclusions, that there isn't an  
6 apparent effect of the change in monitoring policy.

7 DR. ISLAM: Estimate looks higher and it can  
8 also be seen in the hazard curve, but the difference is not  
9 statistically significant.

10 DR. RUDORFER: Dr. Katz?

11 DR. KATZ: Yes, a couple of things. The only  
12 place where it looks like things get worse if you decrease  
13 the frequency of monitoring is in the UK system where the  
14 rate goes from .3 to .6 once they went to monthly from  
15 biweekly. It's not statistically significant. The p value  
16 is .27 or whatever was presented. But how many cases was  
17 that estimate based on?

18 DR. RAWLS: Do we have the number of cases in  
19 the UK, that .3 to .6? I do think we have that  
20 information. We can just give you those numbers.

21 DR. KUMAR: For 6 months, we had 2 patients,  
22 and for 0.6 we have 18 patients.

23 DR. KATZ: Could you just give those numbers  
24 again?

25 DR. KUMAR: For the 2 months monitoring, we

1 have 2 patients. That rate is .3 per 1,000 patient-  
2 years --

3 DR. RYAN: (Inaudible.)

4 DR. KUMAR: No. Every 2 weeks.

5 DR. RYAN: I'm sorry. I asked if you meant  
6 every two weeks versus 2 months? You meant a twice-a-month  
7 interval?

8 DR. KUMAR: Biweekly. So there we have 2  
9 patients for .3, and we have .6, 18 patients, for monthly  
10 monitoring.

11 DR. RUDORFER: Dr. Leon?

12 DR. LEON: Yes, in the book the sponsor  
13 provided us with, the briefing materials, there is a great  
14 deal of effort put into projecting the number of new cases,  
15 if the monitoring system was changed. I haven't heard  
16 anything about that. Do you want to describe that briefly?

17 DR. RAWLS: What would you like to know  
18 specifically in terms of those projections?

19 DR. LEON: Do you have a slide that could  
20 review those for us, please? That's my first question.  
21 And after that, as we heard from Dr. Kane at the very end  
22 just now, with decreased monitoring we might expect more  
23 patients to use Clozaril, and where there were increases --  
24 as Dr. Kane said, we might expect more patients to use  
25 Clozaril if the monitoring were decreased. Were those

1 possible increases in patients factored into these  
2 projections?

3 DR. HAUPTMAN: I'm not sure if this answers  
4 part of your question. This goes back to what was done  
5 when we first presented to this committee back in 1997,  
6 where after 6 months of treatment, there were 63 patients  
7 who had agran, and if we put it now on a per patient-year  
8 basis, that was a rate of .52 per 1,000 patient-years. At  
9 that time, we predicted that if we switched to biweekly  
10 monitoring at 6 months -- it's the middle row -- that 63  
11 would have been 111. That would have corresponded to a  
12 rate of .92 per 1,000 patient-years, not quite a doubling  
13 of the agran rate.

14 Then when we look at what actually happened  
15 when we switched to biweekly monitoring -- that's the third  
16 row; that's the current system -- it turns out that what we  
17 observe, 10 out of 27,000 patient-years, gave a rate of  
18 .37, which was substantially lower than what we predicted  
19 it would be.

20 Although we do have -- and I think they may be  
21 in the briefing book -- predictions starting at this point  
22 of what might happen if we go to monthly, we just feel  
23 uncomfortable in putting much credibility in them because  
24 we're using essentially the same methodology that appears  
25 to have failed us so miserably back in 1997.



1           So it could be that there are other factors  
2 that aren't picked up by the Clozaril National Registry, or  
3 maybe the methodology we used was too simplistic, but we  
4 just don't put a great deal of faith in using the same kind  
5 of methodology in predicting the future, given what  
6 happened to us based on the past.

7           DR. LEON: Well, it was your future projections  
8 that I was referring to in my question. In your briefing  
9 book, it's on page 28, table 11, and these projections show  
10 an estimated number of additional cases of agran and severe  
11 leukopenia. One of my questions was, did this factor in  
12 the possibility that more patients would be taking Clozaril  
13 with decreased frequency of blood monitoring?

14           DR. RAWLS: Did we factor that into any of the  
15 models at all, more numbers of patients into the system.

16           DR. HAUPTMAN: I don't see how that would make  
17 things different -- of course, we did it on a per-patient  
18 year basis -- unless you would assume that the extra  
19 patients that --

20           DR. LEON: If there are more patients, there  
21 would be more patient-years. Table 11 on page 28 -- maybe  
22 I'm reading it wrong. I don't believe it's presented in  
23 patient-years. It's absolute number of patients.

24           DR. HAUPTMAN: Yes, that is, but I'm not sure  
25 if it's in the book. We do have this translated into a

1 rate per patient-years.

2 DR. LEON: But would it be fair to say if the  
3 number of patients who took Clozaril doubled with a  
4 decrease in blood monitoring, if that number doubled, would  
5 these numbers, projections also double?

6 DR. ISLAM: Table number 11 is actually saying  
7 that if the cohort 3 patients, the patients who are under  
8 current system, if they would have started, say, monthly  
9 monitoring instead of biweekly monitoring after 6 months,  
10 what would have happened to those patients by the data  
11 cutoff date. That's what it is saying.

12 So this part, if you just go with the number of  
13 patients, additional cases, it does not project for the  
14 future. If you want to get an interpretation for the  
15 future, if you believe the model is right, then we have to  
16 go to -- it's not included here. Then we have to convert  
17 it into the rate by person-year. So if we had an  
18 additional number of patients, if the patients doubles,  
19 then we can convert it into rate per person-year, but this  
20 table doesn't show that.

21 DR. RAWLS: Do you want Dr. Kane to  
22 clarify his comment for you at all?

23 DR. LEON: No, thanks.

24 DR. RUDORFER: Dr. Katz?

25 DR. KATZ: I have one more question. It's a

1 question of interpretation of the data. As has been  
2 pointed out, in the first 6 months the rate of agran  
3 actually went down significantly in cohort 3 under the new  
4 system, although the new system for the first 6 months is  
5 exactly the same as the old system. So that was  
6 unexpected. When you look at the rate of agran after 6  
7 months, under the new compared to the old, it's the same,  
8 .4, .3, something like that.

9 Does the unexpected decrease, the fact that  
10 basically patients in cohort 3 are sort of starting out  
11 with a different rate of agran after 6 months compared to  
12 cohorts 1 and 2, have any effect on the interpretation of  
13 the apparent equality of the rate of agran under the new  
14 and old systems after 6 months? Is that clear?

15 DR. RAWLS: Not entirely.

16 DR. KATZ: Again, and we're going to talk about  
17 this in our presentation, but I'm just wondering, the rates  
18 after 6 months in the new and old system are the same  
19 compared to each other.

20 DR. RAWLS: Right.

21 DR. KATZ: But they're different in the first 6  
22 months, new to old system. Does that difference in the  
23 first 6 months affect the interpretation of the observation  
24 that they actually look the same after 6 months?

25 DR. RAWLS: Larry, I think you mentioned

1 something about this before, looking at how the rates were  
2 different during the first 6 months, but did they end up  
3 being different after 6 months? The same after 6 months.  
4 So was there anything in that first 6 months that might  
5 influence why they were the same after 6 months?

6 DR. HAUPTMAN: One way of looking at it, since  
7 they were roughly half under the current system in the  
8 first 6 months, versus the old system, is to say that  
9 whatever led to that happening, maybe those factors were  
10 still in play after 6 months. So instead of looking at the  
11 .4 and .37 as being equal, maybe we should re-inflate the  
12 current system by that factor of 2 and actually it would be  
13 .4 versus .7 something.

14 We did think of it that way, but there were so  
15 many assumptions in that, again because we just couldn't  
16 figure out what was happening, what led to it being the way  
17 it is, that we think that's a little over-interpreting the  
18 data. So we're not quite sure how much credibility one  
19 would put in saying, well, if you do that, the rates almost  
20 doubled to the current system. I know we would feel  
21 uncomfortable with that kind of manipulation. We just  
22 assumed the committee would be too so we didn't try to make  
23 much of that at all.

24 DR. RAWLS: Another question?

25 DR. WEISS: I understand after 6 months it's

1 very hard to look because there are so few cases, and you  
2 were quoting 10 cases. And I understand from the briefing  
3 material there were actually 13 cases of agran that  
4 occurred post 6 months, although 3 of them had stopped  
5 treatment in the first 6-month period and then later  
6 developed agran. Could you show us the course of history  
7 for those 3 cases that were excluded?

8 DR. RAWLS: Some sort of history or narrative  
9 of those 3 cases? Do we have that, Zahur?

10 DR. WEISS: In other words, how long were they  
11 on treatment, how long did they stop, and when did they  
12 develop the disease?

13 DR. RAWLS: Do we have that, or is it something  
14 we have to get during the break?

15 DR. ISLAM: Just one correction is that the  
16 total agran happened after 6 months of the start of  
17 Clozaril. Of those 10, 3 had stopped drug before 6 months.

18 DR. WEISS: (Inaudible.)

19 DR. ISLAM: Not 13. 10 total. So 7 had agran  
20 with duration of treatment longer than 6 months.

21 DR. RAWLS: So specifically what information  
22 would be useful for you on those patients?

23 DR. WEISS: I'd like to see how long they were  
24 on therapy, and when they stopped, and then when they  
25 developed agran, and also if you had when they were

1 detected.

2 DR. RAWLS: When they developed agran and when  
3 they were detected and what the rate was.

4 DR. WEISS: With leukopenia or agran, exactly.  
5 The natural history.

6 DR. RUDORFER: Dr. Keck, then Dr. Leibenluft.

7 DR. KECK: I had a question for Dr. Kane.  
8 John, in your disadvantages of frequent monitoring slide,  
9 are you aware -- I assume you would have presented it if  
10 you were, but I'm not aware of any data that's actually  
11 looked at the impact of scheduling and monitoring on  
12 quality of life or compliance. Do we have anything empiric  
13 on that?

14 DR. KANE: No, I'm not, and I had the same  
15 reaction that you did, you know, why hasn't anybody  
16 collected these data, because it would be interesting. And  
17 it's something that everyone talks about but it has not  
18 been looked at systematically.

19 DR. KECK: Thanks.

20 DR. LEIBENLUFT: If I remember right, you've  
21 presented us a lot of data that compares rates under the  
22 old system and the new system where it's 0 to 6 months and  
23 then greater than 6 months. But there's only one slide  
24 where you presented it, where you broke out the 6 months to  
25 12 months, and that was the last one that you just showed.

1 Right? And indeed, in that slide there was an increase  
2 under the new system. So when you lump all the data  
3 together, post 6 months, you get a decrease under the new  
4 system. But when you segregate out the 6- to 12-month  
5 period, you in fact get an increase under the new system.  
6 Is that correct?

7 DR. RAWLS: Yes, can you bring that slide back  
8 up, Maurice?

9 DR. ISLAM: Yes, as I said before, the rate  
10 apparently looks increased, but if you do the confidence  
11 interval over the rate, it doesn't show a statistical  
12 difference.

13 DR. LEIBENLUFT: Right, but it's still an  
14 increase. Right? Where you get into the decrease is when  
15 you lump everything together and presumably wash out  
16 whatever you had going on from 6 to 12 months. Granted,  
17 there's a wide confidence interval. The n I small I  
18 assume.

19 DR. HAUPTMAN: It's a little hazardous when you  
20 take sparse data and start to do fine cuts of time because,  
21 for all we know, if we did that not from 6 to 12 months but  
22 6 to 11 months, that might show a decrease. So there's not  
23 a lot of data, and what you'll see when you do that is how  
24 those numbers fall out may be very dependent in this case  
25 on when that extra increase came from in that 6-month

1 interval. So you've got to view that with a grain of salt  
2 because it could be an artifact of exactly how you choose  
3 the intervals.

4 DR. LEIBENLUFT: Right, although given the data  
5 you showed us on hazard rates, it's not arbitrary to focus  
6 on, say, the first 18 months of treatment. You know what  
7 I'm saying? There's a reason to be concerned potentially  
8 about, as I was saying before, 6 to 12 months, 12 to 24  
9 months. There's certainly a reason to be more concerned  
10 under 24 months. That's why I was asking the question.

11 It would be interesting to break it out  
12 further. I hear what you're saying, but it's not like  
13 those are 6 months that are just pulled out of the air.  
14 They are of particular interest based on the hazard rates  
15 you showed.

16 DR. RUDORFER: Dr. Ryan?

17 DR. RYAN: Do you have deaths after 6 months,  
18 U.S., UK, and Australia, from agranulocytosis, and then do  
19 you have all-cause deaths? Just the absolute number and  
20 the rates per person-year or something?

21 DR. RAWLS: So specifically the deaths after 6  
22 months in the U.S., UK and --

23 DR. RYAN: Yes, after 6 months, after a year,  
24 U.S., UK and Australia, just the total number, and then the  
25 rates per person-year or something like that.



1 DR. RAWLS: Do we have it after 6 months? No,  
2 we don't have it after 6 months.

3 DR. RYAN: Or after a year. Do you have  
4 anything that excludes the 6 months, or could you calculate  
5 that? I mean, you told us in the U.S. there were only 2  
6 deaths, absolute number, after 6 months.

7 DR. RAWLS: No, we don't have it after 6  
8 months. Right?

9 DR. KUMAR: No. In Australia there were no  
10 deaths, and in the UK 0.

11 DR. RYAN: So it's a total of 2 deaths after 6  
12 months across the entire data set?

13 DR. KUMAR: Yes.

14 DR. RAWLS: But we don't have per 1,000  
15 patient-years. It's just 2.

16 DR. RYAN: I can divide 0 by any number you  
17 choose.

18 (Laughter.)

19 DR. RUDORFER: Dr. Malone?

20 DR. MALONE: This isn't entirely related to the  
21 discussion, but since Clozaril was approved for suicide, do  
22 you have any idea how many patients went on Clozaril for  
23 that indication?

24 DR. RAWLS: So specifically since the  
25 indication was approved in December, do we have any

1 information on the number of patients that are treated  
2 specifically for that indication? Within the Clozaril  
3 National Registry, I don't believe we collect that  
4 information. Right? So we would have to have a database  
5 that actually collected that information. Do we do that in  
6 any of our databases?

7 DR. KATZ: We're going to present a slide which  
8 shows use since it was approved for that indication -- but  
9 not for that indication. Just total use. We'll present  
10 that data.

11 DR. RUDORFER: I have a question. Is there any  
12 information on concomitant medications used in the national  
13 registry?

14 DR. RAWLS: Do you want to go back to that  
15 slide in Vinod's presentation as to what exactly we collect  
16 in the Clozaril National Registry, and Rima, if you have  
17 any other additional comments on this as well, please feel  
18 welcome to add.

19 MR. DODSWORTH: In fact, the CNR does not  
20 capture con meds, nor does it capture the prescription, so  
21 it would be very difficult for us to tell you how many  
22 patients have been administered Clozaril for the recurrent  
23 suicidal behavior indication. Our patient counts continue  
24 to drop on a regular basis as the patients are switched to  
25 generic clozapine, so it would be awful difficult to try

1 and answer that question I think with any degree of  
2 accuracy.

3 DR. LEIBENLUFT: Do we have any data on the  
4 patients who are temporarily discontinued and then  
5 restarted?

6 DR. RAWLS: Specifically what data are you  
7 interested in?

8 DR. LEIBENLUFT: Rates of leukopenia, agran.

9 DR. RAWLS: So patients that discontinued, then  
10 restarted, any rates of agran on those? Did we calculate  
11 that at all? We did not.

12 DR. LEIBENLUFT: Is that something we could  
13 see? Since that's one way in which our monitoring system  
14 obviously differs from UK and Australia.

15 DR. ISLAM: Yes, we talked about it. The  
16 problem was if a patient temporarily discontinued from  
17 Clozaril, it was highly likely now that the patients could  
18 be taking generic clozapine, so that may not be a  
19 discontinuation because they just don't report to us that  
20 the patient was switched to a generic. So those gaps in  
21 our database may not be a real gap in clozapine treatment.  
22 That's why we didn't do it.

23 DR. LEIBENLUFT: I guess I meant the ones who  
24 were discontinued and had low blood counts at the time they  
25 were discontinued. In other words, who fell into that

1 particular category in the algorithm.

2 DR. RAWLS: The patients who discontinued and  
3 the rate of agran for those patients that discontinued.  
4 Just the WBC at the time discontinued, but not the rate of  
5 agran is her specific question.

6 DR. LEIBENLUFT: The specific question is  
7 follow-up.

8 DR. RAWLS: Any follow-up information. No.

9 DR. RUDORFER: Dr. Ortiz?

10 DR. ORTIZ: I have a question, or I guess just  
11 an inquiry. It refers to Dr. Kumar's slide 35, which has a  
12 comment that the rate of agranulocytosis declined over time  
13 and may be related to the introduction of new antipsychotic  
14 agents. My question actually may go to Dr. Gerson. I'm  
15 just wondering if there's information or data on atypical  
16 versus the traditional antipsychotics and their effect on  
17 agranulocytosis or any other immune parameters.

18 DR. RAWLS: So let's pull up Dr. Kumar's slide  
19 35. Dr. Kumar, can you address this a little bit, and then  
20 Dr. Gerson, your comments as well.

21 DR. KUMAR: The number of, I think, factors why  
22 we think maybe introduction of atypicals may affect the  
23 rate of agranulocytosis, one is the case whom a physician  
24 may think, in the range of a WBC count of 3000, 3500, 4000,  
25 that this may not be the right patient to put on Clozaril

1 treatment. So before they even come to be on this  
2 treatment, they may prescribe another antipsychotic agent.  
3 That's one aspect of it. So serious patients who may, in  
4 the future, have agranulocytosis or leukopenia are not  
5 coming to the registry. That's why this factor may be  
6 important. But we do not have data, in fact. So one has  
7 to do the studies and get the data from other  
8 antipsychotics, but we do not have those data in our  
9 possession.

10 DR. RUDORFER: Dr. Grady-Weliky?

11 DR. GERSON: I just have a very brief comment.

12 DR. RUDORFER: Sorry, Dr. Gerson.

13 DR. GERSON: It was actually Dr. Racoosin who  
14 presented the data about the list of other drugs associated  
15 with agranulocytosis, and the newer antipsychotics don't  
16 show up on that list, as I understand it. So there aren't  
17 any other hidden data about other agents. And we also  
18 don't have any data on concomitant administration, except  
19 that occasional case report that comes in that I happen to  
20 review. So there are occasions in which it's possible that  
21 another drug might be associated, but for the most part  
22 that's not the case.

23 DR. GRADY-WELIKY: I just have another question  
24 for Dr. Gerson around the value of ANC. We know it tracks  
25 the white blood cell count, but is there any special reason

1 to get that as relates to management of a person going into  
2 agranulocytosis?

3 DR. GERSON: In the ideal world, it would be  
4 fine just to monitor the ANC, so if the ANC was performed  
5 accurately 100 percent of the time, that's the right value  
6 to get and don't worry about the WBC. For a large  
7 population monitoring program, it's a little tougher  
8 because there's more scatter in the number. That's the  
9 issue. So you're going to have more chances of it being  
10 wrong than the WBC because the WBC is an automated test.

11 DR. GRADY-WELIKY: Right, but does having the  
12 ANC help in terms of treatment or management?

13 DR. GERSON: Oh, sure, so that as their count  
14 falls, it's really the ANC you need to look at because  
15 people are at risk when the ANC is below 500. They're not  
16 at risk with whatever WBC if the ANC is above 500. So the  
17 risk issue is usually the ANC. So 500 has proven the test  
18 of time. It's a very good cutoff.

19 DR. RUDORFER: Dr. Katz.

20 DR. KATZ: Yes, I have a question I'd be  
21 interested in the company's response, but also maybe the  
22 thoughts of our epidemiologist and statistician. One could  
23 argue that when the monitoring goes from 2 weeks to 4  
24 weeks, in the UK the rate goes up at .6, I guess, per 1,000  
25 patient-years. In Australia, where it's always been

1 monthly, after a certain period of time, the rate is .5, if  
2 I remember the numbers correctly. The estimates are very  
3 similar.

4 Do you make anything of that? Do you think  
5 that represents truth, ultimate truth in patients with  
6 schizophrenia treated with this drug, or is that just a  
7 random similarity?

8 DR. RAWLS: From our viewpoints we'll have Dr.  
9 Kumar address this. This was in Dr. Kane's presentation, I  
10 think maybe the next to the last slide, and maybe, Dr.  
11 Kane, can also offer some comments on those rates as well.

12 DR. KUMAR: One thing that is interesting that  
13 it's not only after 1 year the rates are higher when we  
14 compare in the UK and Australia. If you look at the rates,  
15 these are rates at 52 weeks. This is the rate at every 2-  
16 week monitoring. This is monthly monitoring, these rates  
17 here. This is U.S., UK, and Australia. Here the U.S.  
18 initial system was .39 per 1,000 patient-years, and this is  
19 UK. These are after 52 weeks. So they are really  
20 comparable rates and after 2 weeks -- when we look after 52  
21 weeks, they are initial biweekly monitoring, and it looks  
22 similar.

23 But the problem is that if you come back to the  
24 post 6 months or after 6 months, the other slide, the rates  
25 are much different even in the first 18 weeks, post 6

1 months in the UK and Australia than in U.S. So it becomes  
2 very difficult to compare.

3 But here, these rates are higher and one has to  
4 keep in mind that there's again the possibility that if we  
5 change our system, these rates may be higher in the U.S.

6 MR. DODSWORTH: But to answer your question,  
7 Russ -- and the question is, I think, do we think this is  
8 truth -- I think the answer to that is probably this is the  
9 best estimate we can come up with. I think it's probably  
10 more than just coincidence that in both the UK and  
11 Australia, when you go to 4-weekly monitoring, the numbers  
12 are relatively similar when you use similar type of  
13 criteria to identify the patients for identification in the  
14 systems. I think that's the best we can do at this point  
15 in time.

16 DR. WANG: I think that's the most reassuring  
17 data that if you went, for example, to a monthly monitoring  
18 system you aren't going to see some dramatic increase. The  
19 absolute values of the agran rates are within levels that  
20 seem tolerated currently under the monitoring practices  
21 here. The UK data, in particular, are reassuring because  
22 there's not this secular decrease going on over time, so  
23 you at least know those aren't potential under-estimates of  
24 what you might see in a monthly monitoring system. So  
25 they're reassuring, it seems.



1 DR. RYAN: While we're on that slide, I feel a  
2 bit like Woody Allen perseverating on death, but on the UK  
3 and the Australian ones, we know that there were 0 deaths.  
4 How many total n were there on who got agran under the  
5 current system in the UK and Australia combined?

6 DR. RAWLS: After 52 weeks, what was the n, the  
7 .59 and the .52, the total n? We'll get that for you, but  
8 while we're getting that, maybe, Dr. Kane, do you want --

9 DR. RYAN: And then what's the confidence  
10 interval on the death rate given agran?

11 DR. RAWLS: Okay, and while we're getting that  
12 maybe, Dr. Kane, do you want to offer some thoughts?

13 DR. KANE: I was just going to comment on  
14 confidence intervals also. Obviously, we're dealing with  
15 really small samples here, so it's hard to --

16 DR. RYAN: But the UK was 20-ish or something,  
17 so 0 out of 20 dying, and Australia picks up a few, it  
18 still puts a reasonable interval on what your death rate is  
19 given agran under those --

20 DR. KANE: Right. In terms of the proximity to  
21 the truth on this, I think it's informative but it's not  
22 everything that we'd like to know.

23 DR. RACOOSIN: I just want to add a point that  
24 it's a little bit hard to completely take what's observed  
25 in the UK and Australia and think about how it informs what

1 might occur in the U.S. because the patients in the UK and  
2 Australia are not allowed to be rechallenged once they go  
3 under 3000, whereas patients in the U.S. are, and it's hard  
4 to know.

5           Now, the whole point of having a non-  
6 rechallengeable database is because patients who develop  
7 clozapine-associated agran, when they are rechallenged,  
8 they get it again and they get it sooner and perhaps more  
9 severely. So we don't know if patients who are allowed to  
10 be rechallenged would get into trouble again. And with the  
11 limitations of the database, the CNR, with switchers and  
12 people coming in and out and gaps, it's hard to gather that  
13 data out of the current database.

14           But as far as taking .5 to .6 as being an  
15 estimate of what might occur here, we just don't know  
16 because of the fact that patients in the UK and Australia  
17 are not rechallengeable.

18           DR. WEISS: Actually can I ask you a question  
19 on that, or over here? When you use all the analyses for  
20 the U.S. data, once someone stops treatment and has a gap,  
21 they're excluded from any further analysis. Is that  
22 correct? So in other words, we wouldn't see people who are  
23 dropped temporarily because they had lower counts. We  
24 wouldn't see them again in the rates because they would be  
25 gone from the analysis.

1 DR. RAWLS: It's popping up. I just want to  
2 clarify. So what happened to those patients that were in  
3 that discontinued, did we include those in the analysis or  
4 not? Zahur.

5 DR. ISLAM: If we definitely know that the  
6 patient started generic, then we have excluded that data,  
7 but if we do not know whether the patient started generic  
8 or not, but we have the WBC count in our record after the  
9 gap, we have included those.

10 DR. WEISS: How long a gap did you allow before  
11 you excluded people, period, from the study?

12 DR. ISLAM: For this analysis, we didn't  
13 exclude any patient data due to the gap. The only way we  
14 excluded it, if we definitely knew that the patient started  
15 generic after the gap.

16 DR. RUDORFER: I'd like to thank everyone for a  
17 stimulating discussion. We'll have a lot more time for  
18 further questions and discussion, but now I'd like to  
19 preserve the sanctity of the break.

20 (Laughter.)

21 DR. RUDORFER: We'll reconvene at exactly  
22 10:50. Thank you.

23 DR. RUDORFER: We're going to resume with the  
24 second part of our morning session. We'll turn now back to  
25 the FDA for a presentation of selected safety data. Dr.

1 Tarek Hammad will speak.

2 DR. HAMMAD: Good morning everyone. I will  
3 share with you this morning some data from the generic  
4 drugs, some of the registry data, and then I will raise a  
5 few issues under what are entitled "Are we seeing the full  
6 picture" to draw your attention to some pertinent issues in  
7 the safety data. Then Dr. Racoosin will present the agran  
8 rate stratified by the monitoring frequency after 6 months  
9 in the U.S. and after 1 year in the UK, just to get a feel  
10 of how it looks like under different monitoring systems.

11 First, the generic data. Data was collected  
12 sometime after 1997 up to 2001, actually September 2001,  
13 and we chose this cutoff level to make it compatible with  
14 the so-called current system in the U.S. system. The data  
15 was provided by two manufacturers.

16 Because the risk is highest at the first 6  
17 months, we only confined the analysis to the new patients,  
18 but because of that, we only included about 10 to 20  
19 percent of patients, of the available records actually. So  
20 the result was that we had a very small number of person-  
21 years, about 1,000 person-years before 6 months and about  
22 3,000 person-years after 6 months. That's across the two  
23 databases pooled together.

24 The first observation that we had was that the  
25 demographics were reasonably similar between the generic

1 databases and the U.S. system. So the results are not  
2 confounded by some differences in the demographics.

3           This graph displays the rates of the moderate  
4 leukopenia, severe leukopenia, agran in three cohorts.  
5 This is the U.S. initial and current system, then the  
6 generic. Now, recall that generic time line is supposed to  
7 be comparable to the current system, but the rates here are  
8 not consistent. It looks slightly higher, but only the  
9 agran actually -- the confidence interval did not overlap,  
10 but in these two, the confidence interval overlapped.

11           The other issue also is the fact that these are  
12 based on a very small number of person-years. So have that  
13 in mind when you are evaluating these numbers.

14           This graph shows the same parameters after 6  
15 months, the initial, the current, and the generic  
16 databases. As you can see, the numbers look more or less  
17 the same in all three parameters. So there are no  
18 surprises here.

19           Now, moving to the next point that I will talk  
20 about, these graphs were already presented by the sponsor  
21 and they show the rates of the moderate leukopenia, severe  
22 leukopenia, and agran. I apologize. For some reason, the  
23 title here did not show up. But this is to remind you that  
24 there was a substantial drop especially in the severe  
25 leukopenia and the agran in the 6 months when everything

1 was the same. And the reasons we don't really understand,  
2 but the point I'm trying to make is -- I think that Dr.  
3 Katz already made this point -- that because there is an  
4 apparent substantial drop here, these numbers are not  
5 comparable, and the sponsor already commented on that.

6           Now, the second component in what we think why  
7 you might not be seeing the full picture is whether we are  
8 capturing all the patients with moderate leukopenia in a  
9 timely fashion. This actually came up when we were  
10 reviewing the UK data. We realized that the UK system uses  
11 both the white blood count and the ANC systematically, but  
12 not the U.S. I mean, if you think of it in theory, if you  
13 are screening for cases and you use two tests in parallel,  
14 your sensitivity will be more likely to be higher than if  
15 you only use one test. Of course, these are not two  
16 independent tests, but still the goal here is to capture  
17 the potentially vulnerable patients early on before they  
18 deteriorate.

19           So the premise of the issue with using only a  
20 white blood count is that patients with low ANC preceding  
21 low white blood count are detected later in a system that  
22 follows only white blood count, like the U.S. So the  
23 question is, is the U.S. sensitive enough as it is or not?

24       This actually might explain the apparent higher rate in  
25 the UK. I think most of the issues I'm raising now were

1 already raised, but of course, in the preparation, I was  
2 not sure what would be raised and what would not be raised.

3 For comparison reasons, the sponsor stratified  
4 the rates of agran by the first period, 0 to 18, 19 to 52,  
5 and more than 52. They stratified the U.S. data also to  
6 conform with the way the UK data is analyzed. As you can  
7 see, this is just to show you the much higher rates in the  
8 UK versus the U.S. system, and this is true for the  
9 moderate leukopenia and for agran and for the severe  
10 leukopenia also. This is just an example to show that the  
11 rates are higher. This really makes the comparison across  
12 systems very hard to do.

13 But one piece of information that I thought  
14 might be complementary here is to see how the mortality  
15 rates stack up in different systems, again stratified by  
16 the way the UK system was collected. As you can see here,  
17 although there are apparently higher rates of agran or  
18 moderate leukopenia and everything in the first period, the  
19 mortality in the UK is not that much higher than the  
20 initial cohort in the U.S. There is apparently lower  
21 mortality here in the current U.S., but just remember that  
22 you might not be seeing the full picture.

23 The other observation here is that although the  
24 UK system after a year has moved to the monthly schedule,  
25 the mortality is not much higher than the U.S. system. So

1 this is actually the ultimate outcome, how much are we  
2 protecting patients. This outcome might not be affected as  
3 much by the definition of where you cut off or if you use  
4 ANC and WBC or not.

5 Now, the third section Dr. Racoosin will talk  
6 about.

7 DR. RACOOSIN: We wanted to just raise this  
8 issue because the way that the recommendation is laid out  
9 is that in the U.S. after 6 months, patients have been  
10 monitored biweekly in the current system, and in the UK  
11 after a year, they're monitored monthly. But in actuality  
12 the numbers that we see are an averaging of the different  
13 frequencies, so that even after 6 months in the U.S.,  
14 there's some proportion of patients who are still being  
15 monitored weekly, and that's probably, we think, for two  
16 reasons.

17 One is they've had some instability in their  
18 white blood cell count. They've gone into the moderate  
19 leukopenia. They have to be temporarily discontinued, and  
20 then they have to be restarted on their weekly. So even  
21 though they may have been in the system for more than 6  
22 months, they're being monitored weekly.

23 The other thing is we have some suggestion that  
24 either by patient preference or by physician preference,  
25 that for safety, patients continue to get weekly monitoring



1 even when there's a recommendation for biweekly.

2           And then the same thing is going on in the UK  
3 where after a year, there's a mix of patients. There's  
4 some heterogeneity. Some patients are being monitored  
5 weekly, some biweekly, and some monthly. And if you break  
6 out the agran rates by those different actual monitoring  
7 frequencies, there are some interesting observations.

8           This is in the U.S. after 6 months. If you  
9 look at everybody, there are about 27,000 person-years of  
10 exposure and a total number of cases of moderate leukopenia  
11 of 214, and that comes out to a rate of about 8 per 1,000  
12 person-years, which we've already seen presented. If you  
13 look at the people being monitored biweekly, they're  
14 accounting for about 93 percent or so of the total person-  
15 years, and if you look at the rate in that population, it's  
16 close to what the overall rate is. But if you look in the  
17 people being monitored weekly, it's a small proportion.  
18 It's only about 7 percent of the total person-years, but  
19 within that group, there's a substantially higher rate.  
20 This wouldn't be surprising if the people being monitored  
21 weekly are less stable hematologically.

22           And you see a similar pattern for the agran  
23 where the overall rate of 0.4 is -- again, this is about 93  
24 percent of the patients. The rate is 0.2, and it's  
25 substantially higher, 3.3, in patients who are being

1 monitored weekly.

2                   And then in the UK, we see a similar pattern.  
3 There are about 31,000 person-years of exposure, and for  
4 moderate leukopenia, 228 cases, accounts for a rate of  
5 about 6.5 per 1,000 person-years and about 85 percent of  
6 the exposures in patients being monitored monthly. And  
7 they have the lowest rate of moderate leukopenia and of  
8 agran. If you look at those being monitored weekly, they  
9 have a much, much higher rate. Again, this is a very small  
10 proportion, maybe 3 percent or so, of the total exposure,  
11 but they have the highest rate. And then the every 2 weeks  
12 is intermediate to that, but closer to the monthly. So I  
13 just really want to keep in mind the fact that when you see  
14 these rates, that they're actually a summary of some  
15 heterogeneity that's observed with the different monitoring  
16 schedules within that after-1-year period.

17                   Then finally, we thought it was just  
18 interesting to note that -- and this is in the UK -- if you  
19 identify the group of patients who are now caught at  
20 moderate leukopenia, that for those being monitored monthly  
21 and those being monitored every 2 weeks, they go on to  
22 agran at a similar rate. One possibility is that patients  
23 who are being monitored monthly, when they are caught in  
24 moderate leukopenia, they are further along. So although  
25 the understanding would be that these patients had been

1 more hematologically stable, those being monitored monthly  
2 than those being monitored every other week, or biweekly,  
3 that once you get into moderate leukopenia, you're as  
4 likely to go on to agran.

5                   That concludes the points. Oh, I'm sorry.  
6 There was one additional point that came up earlier in the  
7 questions, and that was since the approval of the  
8 suicidality indication, there was a question as to what had  
9 happened to prescribing or new users. These are from the  
10 innovator and then from the generics. The approval came  
11 during December of 2002, towards the end, so that's  
12 included in the 4 months before the approval. These are  
13 the 4 months after. We don't see a lot of difference in  
14 those 4 months before and 4 months after. But the main  
15 issue is it's still early and there's not much time to  
16 really observe a trend in one direction or the other. But  
17 since there was a question about it, we thought we would  
18 show the data.

19                   DR. RUDORFER: We're open to questions from the  
20 committee to the FDA.

21                   I've been asked to remind people, please state  
22 your name, just for the sake of the transcription. Thanks.

23                   We'll start with Dr. Leon.

24                   DR. LEON: Could you go back two or three  
25 slides please from the end?

1 DR. RACOOSIN: In this last section?

2 DR. LEON: Right, when you broke them down by 1  
3 week, 2 weeks, and 4 weeks.

4 DR. RACOOSIN: The UK?

5 DR. LEON: Right. Or no, actually the U.S.  
6 Either one we could use.

7 The naive observer of this slide might say more  
8 frequent monitoring leads to higher rates of problems. So,  
9 of course, we saw a slide earlier this morning that said  
10 that a bad test early on you can't -- I forgot exactly how  
11 that was defined, but if you had low white blood cell  
12 counts early on, you couldn't switch to biweekly. So I  
13 assume they make up a great number those in the top row.

14 But to get at the question that we've been  
15 asked to address, what if you only looked at every other  
16 observation, every other piece of data from the people in  
17 the top row? How many cases of moderate leukopenia or  
18 agran would be missed?

19 And likewise, in the next slide where you even  
20 go out 4 weeks, what would happen here if we looked at  
21 every other or every fourth piece of data from those who  
22 really have four observations per month? How many cases  
23 would we lose by only looking at what I thought was  
24 proposed, but apparently now we're just considering it  
25 hasn't been proposed to switch to 4 weeks? Have you looked

1 at that?

2 DR. RACOOSIN: No. We have not had access to  
3 the actual -- we worked from summary data and requested  
4 data. And I don't know if the sponsor has looked into  
5 that. No.

6 DR. RYAN: But they would have changed the  
7 treatment at that point. If you do it every week and you  
8 got a low value, you stop the Clozaril. So one 3 weeks  
9 later wouldn't be representative of what happened if you  
10 hadn't looked. So I'm not completely following the value  
11 of that analysis.

12 DR. LEON: Another point. The slide you showed  
13 about the generic, compared to the slides we saw earlier  
14 today where it was based on hundreds of thousands of  
15 person-years, here we had, I believe it was --

16 DR. RACOOSIN: For the first 6 months?

17 DR. LEON: The slide before that.

18 DR. RACOOSIN: There's no question that these  
19 are small numbers.

20 DR. LEON: Let me ask my question. Could you  
21 go to the slide before that please?

22 DR. RACOOSIN: This is the first 6 months.

23 DR. LEON: There, the second-to-the-last  
24 bullet. It says 1,000 patient-years over 6 months.  
25 Although they're relatively small numbers, that is 2,000

1 patients. Is that correct? If this is 6 months per  
2 patient, we'd need 2,000 patients to get 1,000 person-  
3 years. Or am I reading this --

4 DR. RACOOSIN: Yes.

5 DR. LEON: So it is 2,000. It's not a trivial  
6 number.

7 DR. RACOOSIN: Right, but it's much less stable  
8 than the data from the innovator.

9 DR. RUDORFER: Other questions for the FDA?  
10 Dr. Malone?

11 DR. MALONE: I wanted to ask, there is a  
12 difference in the definition of becoming non-  
13 rechallengeable in the United States and in the UK. From  
14 what was said, I guess once you get agranulocytosis, you  
15 have a high risk of getting it again. I think that the  
16 U.S. definition is if you get moderate, you can be  
17 rechallenged, but in the other countries you can't.

18 What led to that decision in having a different  
19 definition of non-rechallengeable? Is it that moderate  
20 doesn't really predict so well what's going to happen  
21 later? How did that ever come about?

22 DR. RACOOSIN: It appears to be before the time  
23 of everyone from the agency here. So I apologize for not  
24 having an answer to a very good question. It certainly has  
25 occurred to us as well, but I don't think that we can speak

1 to that specifically. That doesn't mean that it needs to  
2 stay this way, and we certainly could consider taking the  
3 approach that other countries have. But I can't speak  
4 right now to why that decision was made.

5 DR. WEISS: I have a question on the case  
6 finding for agranulocytosis. I understand that you get the  
7 leukopenia cases directly from the registry through the  
8 white blood cell counts for the U.S. But the  
9 agranulocytosis is not so clear cut how you identify cases.  
10 Could you explain how they're identified?

11 DR. RACOOSIN: I'm going to defer that to the  
12 sponsor.

13 DR. RAWLS: We just need to clarify your  
14 question. How do we identify patients that develop  
15 agranulocytosis?

16 DR. WEISS: Right, because it seems like it's a  
17 totally different process from the leukopenia which you get  
18 directly from your registry, and I'm concerned with what  
19 proportion of cases are actually identified and how.

20 DR. RAWLS: So if you want to show the one  
21 slide in Vinod's presentation, the definition for agran  
22 where we have the WBC count and the ANC. The WBC would  
23 come from the Clozaril National Registry. Some patients  
24 who just have ANC count may come in to us through our  
25 safety and epidemiology group. So they may make up that

1 proportion of patients.

2                   So you see there agran is defined as WBC less  
3 1000 or ANC less than 500. Those ANC ones could be from  
4 patients that were reported to us through out CS&E Medwatch  
5 forms.

6                   DR. WEISS: I guess my question revolved around  
7 requirements reporting and other thing. So I can see  
8 moderate leukopenia you identify through the registry, but  
9 what happens when someone develops leukopenia, stops taking  
10 the medication, so they're not necessarily reporting back  
11 to you, but they could go on to develop agranulocytosis?  
12 Are there any reporting requirements or any estimates of  
13 the cases that you do miss?

14                   DR. RAWLS: So just to clarify, a patient that  
15 develops moderate leukopenia that gets discontinued, but  
16 then they're still being treated, that they would go on to  
17 develop agran, are they in our system? How do we find out  
18 if they are in there?

19                   DR. WEISS: Yes.

20                   DR. RAWLS: I think maybe Zahur can answer this  
21 and also, Rima, maybe you can just talk about that as well.

22                   DR. ISLAM: According to the PI, after the  
23 patient reaches moderate leukopenia, the patient is  
24 supposed to have daily WBC counts and differential count  
25 too. So we do get their WBC records. The ANC record --



1 the physician gets it but it's not recorded in the  
2 registry, but if the patient gets agranulocytosis, then  
3 through the Medwatch, they report that this patient has  
4 developed agranulocytosis and this was the ANC count. Then  
5 from our medical affairs group, we call and confirm it.

6 DR. WEISS: But I understand that that's not a  
7 requirement for the doctors to report that a patient in the  
8 registry has developed agranulocytosis. Is that correct?

9 DR. RAWLS: No. If that's a severe adverse  
10 event, that is reported to us through our Medwatch. Then  
11 it's picked up that way and then it's entered into our CNR.

12 DR. WEISS: If the doctor chooses to report it.

13 DR. RAWLS: He has to.

14 MR. DODSWORTH: The way the CNR works is if a  
15 call comes in where the white count is low, it comes into  
16 the CNR which is manned by a staff of professionals. That  
17 call is immediately referred to our medical affairs group  
18 for follow-up with the physician and for follow-up on the  
19 patient. Then under the agency's normal reporting  
20 guidelines for reporting serious unlabeled adverse events  
21 or serious labeled adverse events in the annual report to  
22 the NDA on a regular basis, these reports go into the file.

23 But each and every patient where we get a call from a  
24 physician on a low white count, it's immediately  
25 transferred to one of our medical staff in the medical

1 safety and epidemiology group within Novartis.

2 I don't know if that answers your question or  
3 not, but that's how we capture the individual patients.

4 DR. WEISS: I guess my question is do you have  
5 rates on follow-up? Do you follow up 100 percent of the  
6 patients with low count to see what their sequela is?

7 DR. RAWLS: I guess your concern is that we may  
8 be missing certain patients in our database.

9 DR. WEISS: Absolutely.

10 DR. RAWLS: Do you want to clarify me? Because  
11 I guess you're concerned that if someone is developing  
12 agran, that they don't get into the Clozaril National  
13 Registry or the non-rechallengeable database. I think  
14 through our mechanism they do not.

15 DR. RUDORFER: Please give your name.

16 MS. VAKIL: Yes. My name is Rima Vakil from  
17 the Clozaril National Registry, U.S. of course.

18 I just want to understand your question. You  
19 said if the low WBC was reported to the CNR, do we follow  
20 up on a regular basis, and the answer is yes. As soon as  
21 the WBC count was reported and if it was less than 2000, we  
22 would follow up with the physician, the pharmacy to make  
23 sure and confirm the WBC was, in fact, accurate or if it  
24 was an error.

25 DR. RAWLS: And as soon as it becomes agran --

1                   MS. VAKIL: We would notify medical services  
2 and we would change the patient's status to non-  
3 rechallengeable.

4                   DR. WEISS: I guess my follow-up question is  
5 what proportion do you have definitive whether they  
6 developed or whether they recovered.

7                   MS. VAKIL: We don't follow up on whether the  
8 patients have recovered or not. Post WBCs, if they come  
9 in, we would enter those.

10                  DR. RAWLS: I guess we could look at the number  
11 of patients that developed moderate leukopenia and whether  
12 or not then they developed agran or they just returned to  
13 normal. It would be one way maybe to look at that in terms  
14 of a recovery or a treatment. Do you think that would  
15 answer the question? I think we have that information.  
16 Those who develop moderate leukopenia and then go on to  
17 agran. Those who don't go on to agran, then obviously must  
18 have recovered.

19                  DR. WEISS: Or they could be missing. And  
20 that's my question. Do you have any idea of what you're  
21 missing?

22                  DR. RAWLS: Well, if they're missing, it's  
23 missing because they didn't develop agran. They're not  
24 missing because they developed agran and we didn't catch  
25 it. So if it's missing, it's because they became normal.

1 DR. KATZ: How long do you follow them to  
2 decide that it hasn't developed into agran?

3 DR. RAWLS: Do we have a specific rule as to  
4 how long we follow them or just until --

5 MS. VAKIL: Once we change the patient's  
6 status, we don't follow up --

7 DR. ISLAM: If the WBC goes below 3000,  
8 moderate leukopenia, then the PI-mandated follow-up is 4  
9 weeks, but if the patient's WBC goes below the agran thing,  
10 they are recommended to continue, do differential count,  
11 and provide us the data. But that part gets voluntary  
12 then. Until the patient gets better, we are supposed to  
13 get the WBC count that we get until the normal range.

14 DR. KATZ: What if they have severe leukopenia  
15 but not agran and they're discontinued? I'm still not  
16 exactly sure how you find out that they have agran, how  
17 that information makes its way into the system. Presumably  
18 you have affirmative outreach to find out what those  
19 results are, if they have agran.

20 Suppose they have severe leukopenia when they  
21 are discontinued. What's the duration of follow-up before  
22 you decide that it hasn't become agran? Is there some  
23 mandated minimum amount of time that those patients are  
24 followed?

25 DR. RAWLS: So there's no mandate. It's

1 patient-specific. We follow them until either they  
2 recover. Now they're going to be continued on the therapy,  
3 or if they develop agran, now they go into the non-  
4 rechallengeable database.

5 DR. KATZ: So you know for essentially all  
6 patients who develop, let's say, severe leukopenia that  
7 either they recover or they go on to agran.

8 DR. RAWLS: Right.

9 DR. KATZ: And you have essentially complete  
10 follow-up on that cohort of patients.

11 DR. RAWLS: Exactly, in moderate leukopenia as  
12 well.

13 DR. KATZ: I was going to say similarly for  
14 moderate leukopenia as well.

15 DR. RAWLS: Right.

16 DR. KATZ: So in your view, you have complete  
17 capture of patients who get agran essentially.

18 DR. ISLAM: We believe the agran reports are  
19 correct, but if you check the WBC, like suppose a patient  
20 develops severe leukopenia on day 70 and they reported  
21 agran on day 90, theoretically we are supposed to have WBC  
22 between the days 70 and 90. In most of the cases, we have  
23 but not always.

24 DR. RUDORFER: Ms. Bronstein?

25 MS. BRONSTEIN: I'd like to change the subject

1 back to the generics.

2 I'm trying to determine whether the sample -- I  
3 know the sample size is small, but do you feel that the  
4 sample size is representative enough to tell us that the  
5 rest of the data we're looking at from the sponsor is  
6 really representative of this issue over time? Do you  
7 understand my question?

8 DR. RACOOSIN: I'm not sure that I do, so I'll  
9 answer your question and if it doesn't hit the mark, you'll  
10 let me know.

11 MS. BRONSTEIN: Thank you.

12 DR. RACOOSIN: Because our analysis of the  
13 generics data is limited to 10 to 20 percent of the  
14 patients, it's very small. It's 1,000 person-years  
15 compared to tens of thousands to hundreds of thousands of  
16 person-years. So it's an unstable estimate. We have to  
17 judge it for what it is. Maybe that's not what you're  
18 asking. You're saying do we believe what the generics data  
19 is and do we believe what the innovator data is and that  
20 they're somewhat discordant?

21 MS. BRONSTEIN: Or are they somewhat similar.

22 DR. KATZ: Tarek, the slide that just went off,  
23 I don't know if this will help clarify the question or the  
24 answer, but you said that the demographics are similar.  
25 Maybe you could talk about what's included in the

1 demographics. Are there disease measures or is it just  
2 age, race --

3 DR. HAMMAD: Just age and race.

4 DR. KATZ: Somebody asked this question earlier  
5 about what the clinical status is. There's no clinical  
6 information.

7 DR. HAMMAD: No.

8 DR. KATZ: So we don't know if those patients  
9 are the same as the patients who --

10 DR. HAMMAD: Yes. If you mean to have some  
11 kind of representation for the overall new patients, it's  
12 really hard to say with just 10 percent of the whole  
13 records. I think that's what Julie was saying. It's very  
14 hard to say for sure.

15 That's why we draw this confidence interval,  
16 and they're usually much wider. They actually affect our  
17 confidence in the data, how confident we are in our  
18 estimates. And they overlap with the current estimates and  
19 with the initial system. So in a sense to the best of what  
20 we see, they do represent the same kind of trend, except  
21 where the agran is slightly higher and the confidence  
22 interval is not overlapping.

23 DR. RUDORFER: Dr. Leon.

24 DR. LEON: With these preliminary data, might  
25 you say that the more vulnerable people are switching to

1 generic?

2 DR. HAMMAD: We had actually that thought  
3 before, and we tried to find information to speak to this  
4 particular issue. But we couldn't. Unfortunately, the  
5 system is not designed to collect such clinical  
6 information. There's no way you can know if perhaps more  
7 severe patients are switching over to perhaps --

8 DR. LEON: Well, just based on what's on this  
9 slide, it looks like the rates are higher in those who  
10 switched to generic.

11 DR. HAMMAD: These are new patients.

12 DR. LEON: Oh, they're new patients.

13 DR. HAMMAD: Yes.

14 DR. WANG: But it still raises the possibility,  
15 not that the most severe or recalcitrant patients or  
16 noncompliant patients are being switched, but just in  
17 general are patients being started on clozapine, whether  
18 generic or branded -- are they more recalcitrant now than  
19 previous. Your data are potentially suggestive of that or  
20 also consistent with the possibility that patients who are  
21 now put on clozapine are just more non-adherent over time.

22 DR. HAMMAD: The assumption here is that this  
23 the real data. The problem with the very wide confidence  
24 interval is you're not sure where your rate estimate fits  
25 within this -- actually we don't have the estimates. I'm



1 sorry. But these actually overlap. These three groups  
2 overlapped. But only the agran did not have an overlapping  
3 confidence interval. So the assumption on the differences  
4 between the populations is based on the fact that we are  
5 observing different rates, but we are not or we might not  
6 be observing different rates.

7 DR. WANG: I'm sorry. I wasn't referring to  
8 this slide. I was referring to your previous one where you  
9 showed differences in rates over the different monitoring  
10 strategies which show a secular decrease essentially, as we  
11 saw in the sponsor's data. And I was just curious, did you  
12 have any additional sort of insights or thoughts for the  
13 explanation, and do you have any data -- it sounds like you  
14 don't -- to suggest that maybe it's an issue of more  
15 recalcitrant patients or more non-adherent patients over  
16 time getting put on generic clozapine?

17 DR. HAMMAD: I don't think we have any data to  
18 speak to this.

19 DR. RUDORFER: Right. It also occurred to me  
20 -- and I don't know if we have these data either -- whether  
21 patients in the public sector are more likely to be put on  
22 clozapine with a generic form available.

23 Dr. Weiss?

24 DR. WEISS: I'm just concerned that we're  
25 putting too much emphasis on confidence intervals, because

1 what I'm understanding is we have everybody, that this is a  
2 required registry and there's 100 percent case finding is  
3 what I'm hearing. So I would say that just statistically  
4 speaking, a difference is a true difference because they're  
5 actual rates. Especially with the small numbers, I don't  
6 think we should put so much emphasis on whether or not the  
7 confidence intervals overlap.

8 DR. HAMMAD: They are everybody, but they are  
9 not everybody who will ever start on clozapine. So we are  
10 still sampling the new patients that will start on  
11 clozapine sometime in the future also. So this is still a  
12 sample of the new patients that will be put on clozapine.  
13 That's why we need to put in consideration the confidence  
14 interval.

15 DR. WEISS: I'm sorry. You're telling me that  
16 this is a sample or is this everybody?

17 DR. HAMMAD: No. It's everybody. In a sense  
18 we can consider it a sample of the new patients that will  
19 be put in the future, sort of predicted.

20 DR. RACOOSIN: It should just still be pointed  
21 out, though, that there's a segment of patients that we  
22 don't know about and those are for people who are switched  
23 during the first 6 months, either in one direction or the  
24 other. We don't know. We have not been able to capture  
25 those in a database because of the way these things are set

1 up. But we don't know where they would fall in this range,  
2 but that is a group that's not identified or identifiable.

3 DR. RUDORFER: So for those patients, if  
4 someone, say, switched from brand Clozaril to generic,  
5 their 6-month clock would just start all over again when  
6 they switched?

7 DR. RACOOSIN: I think as long as it's been  
8 observed that they have been on brand, that they wouldn't  
9 have to restart their clock, as long as there's evidence  
10 that they've been on the drug. There seemed to be some  
11 flagging systems that identify patients that have been  
12 switched.

13 DR. RUDORFER: Because I had gotten the  
14 impression that other than flagging patients who should be  
15 on the non-rechallengeable list, that there didn't seem to  
16 be communication across the registries.

17 DR. RACOOSIN: It seems to be somewhat variable  
18 based on the pharmacist that is dispensing.

19 DR. RUDORFER: Other questions from the  
20 committee for the FDA? Dr. Ryan?

21 DR. RYAN: I think I'm asking a question that  
22 has no answer, but does anybody have any data that would  
23 contribute toward the question of how many suicides or  
24 other deaths we'll prevent if we use this compound more  
25 widely in this population? I mean, what's the up side of

1 doing a change which might make it more widely used, as  
2 well as the down side? We're obviously talking about the  
3 down side.

4 DR. RACOOSIN: That's something that we have  
5 not addressed. This, as we are presenting this, is not the  
6 benefit and risk assessment. This is a risk assessment.  
7 So I can't speak to that. I don't know whether the sponsor  
8 has a particular opinion on that. I think that was part of  
9 what Dr. Kane was getting at.

10 DR. KANE: I think it's a very tough question.  
11 It's obviously extremely important. The number needed to  
12 treat to prevent one suicide attempt was 13 and that was  
13 obviously against a specific comparator. To sort of put  
14 that in the context of this I think is hard. There are  
15 other benefits to Clozaril in treatment refractory patients  
16 and sort of how you quantitate those and put a value on  
17 those is very hard to say. To me, we should be doing  
18 everything we can to make this drug more widely available.  
19 Whether changing the monitoring is the answer to that, I'm  
20 not sure.

21 DR. RYAN: I guess in for a penny, in for a  
22 pound. Do we have any good estimate on the mortality rates  
23 with the other atypicals, the all-in mortality rates from  
24 diabetes and from whatever? Is that available from the FDA  
25 or from industry?

1 DR. KANE: I don't. I think that's an evolving  
2 issue because I think the mortality from diabetes and  
3 cardiovascular side effects is going to be a very long-term  
4 question. We're just beginning to get some sense of that.

5 DR. RACOOSIN: In general, outside of a  
6 clinical trial, the way that we make some understanding of  
7 mortality rates would be through spontaneous reporting  
8 data. We don't have long-term control data or even ways of  
9 making that comparison. Spontaneous reporting is  
10 notoriously hard to make sense out of. There's under-  
11 reporting. There's variable reporting across different  
12 drugs, across indications. We certainly don't have the  
13 data to speak to that, how it compares across the class.

14 DR. RUDORFER: Other questions from the  
15 committee?

16 DR. WANG: Just to follow up on Dr. Ryan's  
17 comment, does the sponsor have any plans to conduct a  
18 decision analysis similar to, I think it was, Jong? It was  
19 an Asian name. A decision analysis that was done around  
20 the time of the last clozapine monitoring change. It's  
21 been a while since I saw it, but is there a similar plan to  
22 conduct such an analysis?

23 DR. RAWLS: Could you clarify what you mean by  
24 decision analysis?

25 DR. WANG: It was essentially trying to take

1 into account both the benefits and the potential risks of  
2 different monitoring strategies.

3 DR. RAWLS: Through a particular study? No, we  
4 are not engaged in such an activity, but maybe, Dr. Kane,  
5 you know some evidence.

6 DR. KANE: No. I was just going to say it was  
7 very sobering to look at the projections that were  
8 presented this morning in terms of what we anticipated  
9 would happen when we met in 1997 and how wrong we were and  
10 that we don't really understand what accounted for that.  
11 So at this point in time, I'd be hard-pressed to pick a  
12 particular model that we'd have enormous confidence in.

13 DR. RUDORFER: Any other questions from the  
14 committee?

15 (No response.)

16 DR. RUDORFER: If not, then I think we'll pause  
17 for now and look towards an early lunch. We're scheduled  
18 to reconvene with the open public meeting at 1 o'clock, and  
19 we'll reconvene at that time. Thank you.

20 (Whereupon, at 11:38 a.m., the committee was  
21 recessed, to reconvene at 1:00 p.m., this same day.)

22

23

24

25

## 1 AFTERNOON SESSION

2 (1:01 p.m.)

3 DR. RUDORFER: Good afternoon. Welcome to the  
4 continuation of the Psychopharmacologic Drugs Advisory  
5 Committee meeting.

6 Looking ahead a little bit, we had left a few  
7 issues on the table. There were several questions that the  
8 committee raised that Novartis has been looking into. We  
9 will begin our discussion in a little while with the  
10 answers to those questions.

11 But first, it's now time for the open public  
12 hearing portion of the meeting. As was brought out late in  
13 our morning session, today we're focusing on a very  
14 important risk-related issue in the use of clozapine, but  
15 as we've been hearing, the decision to prescribe and to use  
16 this medication is based on a more complex consideration  
17 that we usually lump under the rubric of the benefit-to-  
18 risk ratio. Often the committee is very helpfully informed  
19 about the larger perspective by the open public hearing  
20 speakers. So I'm pleased that today we have two  
21 individuals who will address us.

22 First is Dr. Lynn Goldman.

23 DR. GOLDMAN: Good afternoon. I'd like to  
24 start by thanking you for this opportunity to address you  
25 today. I'm going to talk to you about this issue from a

1 perspective of my family. These are the members of my  
2 family who have been working on this issue over the last  
3 couple of years, doing so out of concern for one of my  
4 brothers. I'm fortunate to have a number of brothers, a  
5 couple of whom are on this slide, I think one of whom was  
6 at an earlier one of these meetings, David Goldman; my  
7 brother, Daniel Goldman, who is an epidemiologist; my  
8 father, Armond Goldman, who is an immunologist and  
9 Professor Emeritus at the University of Texas.

10 I happen to be a professor at the Johns Hopkins  
11 Bloomberg School of Public Health. I'm a pediatrician and  
12 an epidemiologist and also a former regulator. I worked at  
13 EPA for a number of years.

14 I should say at the outset that we have no  
15 financial associations with any drug, device, or biologic  
16 related to this issue, and I'm here on my own nickel. I  
17 did at one time in my life regulate Novartis, but that's  
18 about the only association.

19 What I'm going to present here is first our  
20 view as a family of the risks of agranulocytosis that are  
21 associated with various monitoring options, some questions  
22 that we have about the way neutropenia has been defined in  
23 this context, other risks and benefits that we feel should  
24 be considered in this kind of a decision, and what we  
25 recommend in terms of a monthly monitoring program.



1           We've looked very carefully at the registry  
2 data, which was made available to us on Thursday when it  
3 was posted for the public. I don't need to belabor the  
4 point except that, of course, it's very obvious that the  
5 majority of the risk is in the first 6 months of treatment,  
6 and after 6 months, you see less of what is called moderate  
7 leukopenia or agranulocytosis in the monitoring program.  
8 We also see that there is still a negligible risk after  
9 that first 6 months, that this is not a risk-free drug.  
10 Few drugs are risk-free.

11           We also read with great interest the  
12 theoretical model that was presented by Novartis in the  
13 materials in terms of looking at the rate of decline of  
14 leukocytes over time in order to project what would happen  
15 with alternative monitoring schemes.

16           I think you're all probably familiar with what  
17 the U.S. requirements look like and also the requirements  
18 in the UK and Australia.

19           And by the way, our slides are available at  
20 your desk, and we also have a brief paper that kind of  
21 summarizes the talk, but in somewhat more detail than what  
22 I'm doing today.

23           It is interesting actually. One of my "less  
24 than" symbols was translated into a Spanish exclamation  
25 point, upside down, by the computer.

1 (Laughter.)

2 DR. GOLDMAN: I don't know that happened.

3 But there are some slight differences between  
4 the requirements in the U.S. and the UK and Australia which  
5 are important in terms of the later points that I'm going  
6 to make.

7 So the question, of course, that we're very  
8 interested in is what are the hazards of agranulocytosis  
9 after 6 months of treatment under these various regimes  
10 that might be proposed. What was presented to us by  
11 Novartis is that in three different cohorts that have been  
12 evaluated, that answer has been slightly different, whether  
13 you're looking at the first, second, or the third cohort.  
14 Interestingly, the hazard of agranulocytosis has been  
15 lowest for the third cohort, which had less frequent  
16 monitoring, and I don't really know that we have any  
17 explanation for why that might be the case.

18 The projection for monthly monitoring, based on  
19 the model, looking at the rate of decline of leukocytes  
20 among patients who have developed agranulocytosis is fairly  
21 high and kind of scary, but we see the actual experience in  
22 the UK is that you wouldn't see that large of an increase,  
23 but you do see an increase in the UK from about .3 per  
24 1,000 person-years to about .6 per 1,000 person-years.  
25 From our perspective, this is a rather low risk. However,

1 it is a greater risk, and I think that's one of the cruxes  
2 of the issues to look at.

3           So we do conclude, from looking at the data,  
4 that one, we think the actual data from the UK and  
5 Australia are better than the model. In fact, there's  
6 probably something wrong with using a linear extrapolation.

7 This would be what I would guess, is that it's not a  
8 correct model. Rather, probably we would expect to see an  
9 increase from about .3 to .6 cases of agranulocytosis per  
10 1,000 person-years if you had monthly monitoring.

11           We don't know what to make about the data on  
12 moderate leukopenia. We medically don't really recognize  
13 that as a diagnosis, frankly. It's a laboratory finding  
14 that probably appropriately is being used to trigger risk  
15 management guidelines, but it's something that can occur  
16 very commonly as a finding. For some reason in the UK and  
17 Australia, you see a lower rate of moderate leukopenia with  
18 decreased monitoring, but we think this is probably  
19 spurious, and after hearing the presentations earlier  
20 today, I would say it probably definitely is spurious.

21           Hematologic considerations. Certainly the  
22 definitions that are being used for agranulocytosis and  
23 leukopenia don't correspond with those views by  
24 hematologists and leads to some confusion and probably an  
25 overestimation of the risks. This is probably an area that

1 could be improved upon.

2           Leukopenia itself, of course, could be due to  
3 lymphopenia, reduced lymphocytes, not just reduced  
4 neutrophils, and there are many things that can cause you  
5 to have reduced lymphocytes. This is something that's  
6 probably worth also considering.

7           We looked carefully through the medical  
8 literature and also the data that were presented late last  
9 week by the FDA on the web site for cases of late onset  
10 neutropenia that might be of concern. Do we have evidence  
11 that there's much going on down the line after years of  
12 treatment? Quite honestly, there isn't much that we could  
13 find.

14           We found a case of a 41-year-old male who had  
15 received clozapine for 89 months, did develop severe  
16 neutropenia, but he had also been placed on risperidone  
17 which is, of course, a related agent.

18           A 28-year-old male with clozapine for 3 years  
19 was also being treated with human recombinant interferon-  
20 alpha for chronic hepatitis C. There are literature  
21 reports that this therapy, the interferon-alpha, can be  
22 associated with neutropenia.

23           And then in the report from the FDA late last  
24 week, one of the deaths was a 35-year-old female who had  
25 received clozapine off and on for 5 years. I'm not really

1 sure that this actually is a case, looking at the  
2 documentation that was provided, because it didn't seem to  
3 be well documented. Her compliance with the drug was not  
4 well documented. However, she did develop agranulocytosis.

5 She did die, the cause of death not reported. And she  
6 also was on quetiapine -- and I hope I'm pronouncing that  
7 drug correctly -- which is another related drug.

8 I would say in all three of these cases, it's  
9 not clear that clozapine even caused the neutropenias. One  
10 of the things that is kind of frustrating, from the  
11 standpoint of family members concerned about this, is the  
12 quality of the data and the ability to really see that  
13 there are clear diagnoses in these data.

14 So why would we want to see a family member  
15 continue on clozapine? Well, first, if you have a member  
16 of your family who has improved significantly, does not  
17 have side effects, it's unclear whether other drugs may be  
18 efficacious, which it certainly is, and that the newer  
19 drugs may also have side effects, maybe neutropenia, maybe  
20 side effects yet to be identified, also of course, that  
21 inadequate or inappropriate treatment for schizophrenia is  
22 itself highly hazardous. And I think it's important not to  
23 forget that this is a disease that has a very high  
24 mortality rate. It's extremely risky for people who have  
25 it. Treatment is so important for the survival of people

1 who have this disease.

2           We did look in the FDA post-marketing data, and  
3 we do note that there are numerous reports of neutropenias  
4 with various of these atypical antipsychotic drugs, no  
5 information about incidence. That 3 percent rate is the  
6 initial incidence of neutropenia that occurred in the first  
7 cohort with clozapine. We don't see any incidence data.  
8 There's no way for us to compute incidence data because we  
9 don't know how many of these patient-years these  
10 neutropenias relate to. But this is to say that the  
11 alternatives are not risk-free.

12           Monitoring is costly, and I have to say that  
13 these figures are conservative. Probably the costs are  
14 much higher than this. But I think it's another thing that  
15 needs to be considered, that this is not a free good, that  
16 you're talking about venipuncture, CBCs, doctor visits, and  
17 the indirect costs to patients and their families for  
18 having to, every 2 weeks, take the amount of time, the  
19 hours that are required in order to continue your  
20 medication.

21           But the non-monetary costs are also costly.  
22 Damage to peripheral veins. We're aware of people who have  
23 gone off of the drug because of lack of access for being  
24 able to get blood. Loss from work and other activities.  
25 Limitation upon the freedom to travel. This is one of the

1 things that has been most frustrating for our family for my  
2 brother, the fact that you can't be away for more than 2  
3 weeks at a time. Now, none of us have to live our lives  
4 that way. It is very, very difficult. And the  
5 stigmatization that is associated with this disease is  
6 enormous, and I would submit that the monitoring  
7 contributes to that.

8 I believe that this does discourage compliance,  
9 although I have to say in the case of my family member that  
10 he does not complain. He does comply. He is appreciative  
11 that I'm here doing this today. He would like to have a  
12 less frequent blood draw and he's quite cognizant of all of  
13 these issues that we've been discussing. But he will  
14 continue to comply regardless of the decision that's made.

15 So what we're proposing is the following  
16 schedule -- between 0 and 6 months, weekly; 6 to 12, every  
17 2 weeks; greater than 12, monthly -- of course, with the  
18 proviso that the patient is hematologically stable and is  
19 not developing neutropenia and, of course, that the  
20 physician could exercise medical judgment and monitor more  
21 frequently if needed. I think that is an important point  
22 to make. It's certainly true in the UK and Australia, and  
23 we would hate to see a situation where doctors would not be  
24 compensated for more frequent monitoring if they felt it  
25 were medically indicated or that, for some reason, the

1 patient required it.

2           In conclusion, revised monitoring would  
3 certainly decrease damage to veins, the trauma from  
4 procedures, the stigmatization, loss of time from work or  
5 education, and overall costs to patients, their families,  
6 and the health care system, increase the freedom to travel,  
7 job and school opportunities, and a sense of independence.

8           Some questions that we wanted to pose, one  
9 being whether there could be some benefit from some expert  
10 hematologists and immunologists regarding definitions and  
11 mechanisms of drug-induced neutropenia. And by mechanisms,  
12 what we mean is that most likely the risks are not equal  
13 for everybody, that there are probably subsets of patients  
14 who are more at risk for this side effect than others.  
15 This is probably an area that would be very fruitful for  
16 further research and exploration. It could possibly be in  
17 the future that you could identify those individuals who  
18 are susceptible.

19           Also, are there data on the risks of  
20 significant neutropenias in patients who take other  
21 antipsychotic agents for many years? I don't think that  
22 the data are clear on that.

23           In summary, we believe our proposal is in  
24 keeping with the 1998 decision by this advisory committee,  
25 that it would not hamper the identification of neutropenias



1 during the period of greatest risk, that there is a large  
2 increase in risk that the theoretical calculations would  
3 point to, but that we think that the experience supports  
4 that it's a smaller change and that overall the benefit to  
5 patients, families, and the health care system outweighs  
6 that risk.

7 The concludes my presentation.

8 DR. RUDORFER: Thank you very much, Dr.  
9 Goldman.

10 Our next public hearing speaker is Maureen  
11 Schweers who will be addressing us on behalf of the  
12 National Alliance for the Mentally Ill.

13 MS. SCHWEERS: You pronounced the name just  
14 perfectly, which is a rarity.

15 Good afternoon. My name is Maureen Schweers,  
16 and I'm a member of NAMI, the National Alliance for the  
17 Mentally Ill. I'm representing NAMI today. I'm providing  
18 our views on clozapine and the frequency of blood test  
19 requirements.

20 My little brother is a true clozapine success  
21 story. He completed his bachelor's and master's degrees at  
22 a prestigious engineering school with honors and is now  
23 working on his Ph.D., all while taking Clozaril. This  
24 medication has worked wonders for my brother, giving him  
25 his life back.

1                   However, after 5 years of regular blood  
2 testing, I must say it's a constant source of frustration  
3 for him. The frequent blood tests are a constant obstacle  
4 as he tries to maintain a normal school and work schedule,  
5 along with a social life. The weekly blood testing has not  
6 proven to be simple for him. It often involves a  
7 complicated coordination between the doctor, the lab, and  
8 the pharmacy, which my mom, fortunately, helps him a lot.  
9 His doctors have tried placing him on other medications  
10 because of this testing requirement, but none has been as  
11 successful as Clozaril has. After more than 5 years on  
12 this medication, incident-free, he would have been an ideal  
13 candidate for reduced or voluntary testing.

14                   Many NAMI members have a very similar story to  
15 tell. In the last week, NAMI circulated some questions on  
16 clozapine to consumers and family members across the  
17 country on its web site. In just two days, 112 individuals  
18 provided feedback.

19                   The overwhelming message from NAMI members was  
20 the success story that clozapine has provided for so many  
21 of them, like my brother. Dozens of consumers and family  
22 members told how clozapine saved their lives, prevented  
23 hospitalizations, permitted greater independence and  
24 productivity, where other medications have failed.

25                   One family wrote that their son "has been

1 stable on Clozaril for a few years. This medication has  
2 changed his life and given him the ability to go back to  
3 school, succeed academically, and reclaim a part of his  
4 life that we all thought would be lost forever. He has  
5 fully embraced the reality of his illness and maintains his  
6 scheduled medical care by himself. He will be 26 this  
7 month and was diagnosed with paranoid schizophrenia almost  
8 7 years ago. He has returned to school, an Ivy League  
9 college, last year. He has one term left."

10 Another parent wrote to us that "after several  
11 hospitalizations and attempts to treat his illness with  
12 various medications, my son was placed on Clozaril about 10  
13 years ago. Since that time he has not been hospitalized  
14 and has maintained a part-time job. Today he is stable and  
15 happy with his life."

16 So the first point that I want to make is how  
17 important clozapine is to so many consumers with  
18 schizophrenia. Most of the NAMI members who wrote us last  
19 week also favor less frequent blood testing, some  
20 describing the frustration that they encounter with this  
21 requirement. One mother wrote, "Every two weeks as a  
22 mother, I deal with the doctor, the pharmacy, and labs to  
23 ensure that my son gets his prescription. I have  
24 encountered so much trouble, heartache, and anguish getting  
25 this medicine that if I was a patient and had to go through

1 all of this hassle, I would have quit long ago."

2 Another family member stated that, "I think  
3 there needs to be flexibility here. Our family member  
4 stopped using this medication because it was too hard to  
5 get the prescriptions filled because the lab was always  
6 late in sending the blood test results. Plus as a working  
7 parent, taking our family members to get blood work always  
8 had to be done on Saturday, and there were not many labs  
9 open, making us have to drive long ways."

10 As an example, my brother's 2-week testing  
11 program once fell during a holiday and only a pharmacy's  
12 error, which earlier had given us an extra pill, gave him  
13 enough medication to prevent a potential hospitalization  
14 and an extremely detrimental setback in what has been an  
15 amazing recovery. If just two doses of Clozaril are  
16 missed, patients like my brother face the risk of relapse.

17 Frequently, due to human error, a failed fax  
18 transmission, or the office closing during the holidays,  
19 there are communication breakdowns that could lead to  
20 missed dosages. I personally think that the risk of  
21 relapse by patients going off meds, either due to  
22 frustration with the system or due to an error in this  
23 chain of events, should be considered as a serious side  
24 effect of the current testing program.

25 The comments raise questions about which we do

1 not have data, to my knowledge. How big of a problem is  
2 biweekly testing to consumers, families, and providers?  
3 What issues are frequently faced by patients? We think  
4 that problems presented by frequent blood testing should be  
5 studied so that decisions are more fully informed by the  
6 clinical reality faced by consumers and family members and  
7 that specific problems be addressed.

8           We believe clozapine is gross under-utilized.  
9 Some doctors and pharmacies will not even handle the drug  
10 due to all the paperwork required, and it could benefit  
11 many more consumers, and that the risks of blood testing  
12 requirements contribute to this under-utilization.

13           We also believe that consumers and family  
14 members should be more involved in the risk/benefit  
15 analysis and determination with their provider of the best  
16 blood testing schedule after the first year.

17           Many NAMI members did give voice to the view  
18 that weekly and biweekly blood testing is not a problem.  
19 It may even have some benefits. This opinion reflected an  
20 acceptance of medical requirements to stay on a medication  
21 that has proven to be so helpful. It also reflected the  
22 view that the safety of the consumers is paramount to our  
23 members.

24           One consumer wrote: "Clozapine is a miracle  
25 medication for me. No other medicine was able to give me

1 the same level of sanity. Where I once had needle-phobia,  
2 I am no longer afraid to have my blood taken."

3 Another family member stated: "Clozapine has  
4 saved our son's life. The blood tests are a hassle, but if  
5 it takes blood tests to keep the medicine, we will  
6 definitely continue."

7 Still another parent stated: "Despite the fact  
8 that the need for frequent blood testing of my son made  
9 using Clozaril prohibitively inconvenient for me, I would  
10 not advocate less frequent testing unless sufficient data  
11 indicated minimal risk."

12 Still another family member wrote: "I would  
13 want the significant evidence of safety to be paramount,  
14 that extending a time between blood draws poses no greater  
15 risk, or that risk factor rates after extended use of  
16 Clozaril are no greater than that of other medications. My  
17 daughter takes the Clozaril blood draws in stride as a cost  
18 factor attributed to the medicine which has restored her  
19 sanity and rescued her life."

20 Another NAMI member told us that "my daughter  
21 was one of the first 10 clients in Alaska to receive  
22 Clozaril. It was the first medication that allowed her to  
23 handle her symptoms and to be released from the state  
24 hospital. She is now 50 years old and has been on every  
25 medication available since the onset of her illness at age

1 19. She has never seemed to mind the blood draws. They  
2 are just routine for her. If we could be assured that less  
3 frequent testing would be safe, that would be fine, but  
4 continuing as it is no problem either."

5                 Several consumers and family members reminded  
6 us with their comments that while medication does pose  
7 risks, including decreased blood cell counts, but also  
8 weight gain and others.

9                 We also should note that many people with  
10 schizophrenia not only have a chronic illness, but have  
11 complex comorbidities and take more than one medication.  
12 How do these factors affect the impact of clozapine on  
13 white blood cell counts?

14                 A couple of NAMI members even suggested that  
15 ongoing blood testing at weekly and biweekly intervals may  
16 have benefits improving compliance and assuring regular  
17 contact with a medical professional, which is so important  
18 in dealing with schizophrenia. Still, we heard that blood  
19 testing does not always go hand in hand with seeing a  
20 physician regularly, and a few individuals noted that  
21 appointments with a psychiatrist were far less frequent  
22 than the biweekly blood tests.

23                 We are in no position to scientifically assess  
24 serious blood count risks and how different schedules of  
25 blood testing protect patients. The data offered for

1 today's meeting suggest that monthly testing after an  
2 initial period of more frequent tests can protect patients,  
3 although we noted that the experiences in the UK and  
4 Australia did lead to higher rates of agranulocytosis with  
5 monthly blood testing. We can state for the many consumers  
6 taking clozapine, weekly and biweekly testing have proven  
7 to be frustrating, costly, and even an obstacle to living a  
8 normal life. We also want to make it clear that protecting  
9 the safety of these individuals is paramount to our members  
10 and that for many, frequent blood testing is a price that  
11 they are willing to pay.

12           Given the unanswered questions, such as those  
13 about the barriers posed by biweekly blood testing in the  
14 real world, and the results of monthly testing in terms of  
15 the risks posed to consumers with these chronic and complex  
16 conditions, we would like to suggest that less frequent  
17 testing on a monthly basis be implemented in the United  
18 States. We also believe that monthly testing will be  
19 sufficient for those who have been on the medication for  
20 several years and think that this population should be  
21 given the first option of monthly testing with the results  
22 studied and to be reported on. We further urge exploration  
23 of the barriers and benefits posed by weekly, biweekly, and  
24 monthly blood testing in this population so that data can  
25 inform future FDA decision making.



1           Thank you so much for your consideration and  
2 the opportunity present our viewpoints.

3           DR. RUDORFER: Thank you very much.

4           I'd now like to turn back to Dr. Rawls who has  
5 been working hard while the rest of us were relaxing to  
6 revisit some of the issues we had left on the table at the  
7 end of our morning discussion.

8           DR. RAWLS: Thank you, Dr. Rudorfer. Actually  
9 I haven't been the one working so hard. I'm just showing  
10 you the data. So I did enjoy a little lunch.

11           Let's get back to some of the questions that  
12 were raised. The first one that we have was the confidence  
13 interval for the mortality rates after 6 months in the  
14 United States, the United Kingdom, and Australia. If we  
15 can put that slide up please.

16           So here we have the United Kingdom, Australia,  
17 and the United States. You can see there were no  
18 fatalities after 6 months in the UK or Australia and 2 in  
19 the U.S. Here are the incidence rates per 1,000 patient-  
20 years. Obviously, they're all pretty close to 0, and then  
21 the confidence intervals. The confidence interval is in  
22 1,000 patient-years well.

23           Yes.

24           DR. LEON: The confidence interval in the  
25 bottom row, does that include the estimate there? It looks

1 like it does not include the actual estimates.

2 DR. RAWLS: Is it .09? All right. We'll  
3 correct that. The incidence is actually .01 rather than  
4 .001? We can go to the next one, Maurice. We'll look into  
5 that.

6 The next slide was the rate of agranulocytosis  
7 in the U.S., the United Kingdom, and Australia after 52  
8 weeks. This was the slide that Dr. Kane presented, and  
9 there was a request for the actual n's that made up the  
10 rates. Here in the initial system in the U.S. and the UK,  
11 you can see that there were 101 patients and then 2 here in  
12 the United Kingdom.

13 Turning to the current system with the less  
14 frequent monitoring schedules in the U.S. and the United  
15 Kingdom, 2 patients in the U.S., 18 in the United Kingdom,  
16 and then 14 in Australia.

17 The next was a summary of the information  
18 presented by Dr. Hauptman, and this is the probability of  
19 progression after 6 months of therapy for those patients  
20 with moderate leukopenia, those within the 2000 to 3000  
21 category. There were 208 cases, 1 resulting in  
22 agranulocytosis, for your probability. And then the WBC  
23 less than 2000, that high risk group of patients, there  
24 were 6 cases of moderate leukopenia but none developed  
25 agranulocytosis. This is, once again, just for cohort 3

1 patients. Dr. Hauptman presented information on the cohort  
2 1 and 2 combined.

3 Then finally, there was a request for more  
4 detailed information on the 3 patients in cohort 3 that  
5 developed agran. Here we have the 3 patients, patients 1,  
6 2, and 3. Sorry. The slide is a little busy, but we  
7 wanted to cram a lot of information that was requested on  
8 this one slide. So their first white blood cell count,  
9 their last white blood cell count; if they developed  
10 moderate leukopenia, when that occurred; and then the date  
11 that the agran was reported.

12 This is the day, meaning day on treatment, so  
13 day 1, and their first WBC for this patient, 3600. Their  
14 last WBC in the CNR, so the Clozaril National Registry,  
15 occurred day 55 for patient 1, and that was 3300. This  
16 patient actually did develop moderate leukopenia on day 42,  
17 so prior to leaving the Clozaril National Registry. This  
18 is the WBC on that day. Then they did subsequently develop  
19 agranulocytosis on day 293 of therapy, reported to us. So  
20 now they are in our non-rechallengeable database. That  
21 occurred on day 293 of therapy. We don't have the actual  
22 WBC count at the time agranulocytosis was reported.

23 Patient 2 started with a WBC count of 8200. By  
24 day 50 it was 10,000, the last reported date in our CNR.  
25 The patient did not develop moderate leukopenia while in

1 our database, but we did receive a notification of  
2 agranulocytosis on day 349. I understand that this patient  
3 also was deceased. This patient was deceased. Correct?  
4 Patient 2.

5 DR. ISLAM: Yes.

6 DR. RAWLS: And then patient 3, day 1, 5700;  
7 last day in the Clozaril National Registry, 3300 on day  
8 246. Did not develop moderate leukopenia while in our  
9 system. Well, they developed agran on day 233. So that  
10 occurred on November of '99.

11 So these counts you can see came in after the  
12 development of agran. So it answers a bit of your  
13 question, Dr. Weiss, do we also track those patients once  
14 they have a report. This is an example of one of those  
15 patients where it was developed and we obviously began to  
16 track them as their last WBC was 3300.

17 DR. WEISS: The only thing that I'm missing is  
18 when did they go off the drug.

19 DR. RAWLS: We would have to get that one. We  
20 know the last time they were in our system for these 2  
21 patients. Now, we will assume that at that point they went  
22 to generic, but we don't have any documentation of that.  
23 We just know that later on in therapy they developed  
24 agranulocytosis. There's a point in time here where we  
25 don't account for. This particular patient never went off

1 drug since they developed the agran while still having  
2 reports in the Clozaril National Registry.

3 DR. WEISS: So why would the third person be  
4 excluded then from the counts?

5 DR. RAWLS: Excluded from?

6 DR. WEISS: From the tables. These were the  
7 three people that you excluded?

8 DR. RAWLS: Oh, yes. Do you want to explain  
9 why these three were excluded?

10 DR. ISLAM: There are some tables where we have  
11 included them like the hazard curve. When we have done the  
12 hazard analysis in this, we have included them. But when  
13 we tried to explain that what percent of patients had  
14 moderate leukopenia first and then become agran and the  
15 moderate leukopenia happened after 6 months, in those  
16 analyses, these 3 patients were not included. Agran  
17 happened after 6 months, but the patients didn't have  
18 moderate leukopenia after 6 months according to our WBC  
19 records.

20 DR. RAWLS: That's all we have. Those were the  
21 four questions posed to us.

22 DR. ISLAM: I can give you the correction for  
23 the confidence intervals. This one should be .007, and  
24 this one should be .0009. The point should be replaced  
25 this side. That one is actually 0.026.

1 DR. RUDORFER: Thank you.

2 At this point to help keep us focused, I'd like  
3 to read the specific questions again that we're asked to  
4 address by the FDA. There are two questions, though the  
5 first has several parts.

6 Question 1, should the frequency of white blood  
7 cell monitoring be further reduced after some duration of  
8 biweekly monitoring and if so, when and what reduced  
9 frequency of WBC monitoring would be acceptable?

10 Should WBC monitoring stop altogether at some  
11 point, and if so, when?

12 Should the program be changed overall, for  
13 example, should it become voluntary, as is most advice in  
14 labeling regarding monitoring for adverse events?

15 And question 2, should the absolute neutrophil  
16 count be required as a part of WBC monitoring?

17 If anyone would like to start tackling these or  
18 ask further questions of the sponsor or of the FDA, please  
19 be my guest. Dr. Ryan.

20 DR. RYAN: I have to think it's folly to start,  
21 so that called out to me.

22 (Laughter.)

23 DR. RYAN: I realize I've perseverated on  
24 death, but let me see if I can get in my memory this  
25 correct, that the suicide rate for randomly selected white

1 males and 18- to 20-year-olds is about 20 per 100,000 per  
2 year, and it's about half of that on females are sort of  
3 the recent numbers that I remember. Does somebody remember  
4 better numbers? Those are approximately correct.

5           But from what I understand on the last slide  
6 here -- the international data is compatible with the U.S.  
7 data. The U.S. data is just so much larger. It provides  
8 us the best confidence intervals on the hazard from death  
9 from clozapine after 6 months or after a year from  
10 agranulocytosis. Obviously, there's a question of all-  
11 cause death that would be interesting to look at, but that  
12 comes out to be something like -- the upper values of the  
13 95 percent confidence interval comes out to be like 3-  
14 something per 100,000 per year.

15           So if you assume that schizophrenia, which it  
16 clearly does, has some greater hazard than the population  
17 base rate at the same age, certainly the hazard to these  
18 individuals we're talking about from suicide is enormously  
19 higher than the hazard from the agranulocytosis. So then  
20 you're left with the question of how many -- now, you'd  
21 say, okay, then that's your answer, but of course, it's not  
22 because you could have a system where you monitor some  
23 people and don't monitor other people, a blended system  
24 where the people who wouldn't take it if you monitored or  
25 you wouldn't monitor. The people who would take it if you

1 did monitor would presumably give you the best blended  
2 rate. And we asked earlier -- and it's possible that  
3 industry or Dr. Kane or some of the advisors could give us  
4 even some gestalt on this issue.

5 But certainly it looks like the hazard from the  
6 agranulocytosis is relatively small compared to the other  
7 known hazards we're going thorough here, and to the anti-  
8 suicide effect of this compound that we discussed earlier.

9 So certainly I'm leaning in favor to thinking about how  
10 you could do less monitoring. It seems to come down that  
11 way for me.

12 DR. RUDORFER: Dr. Wang?

13 DR. WANG: We have data only for relaxing the  
14 monitoring to perhaps a monthly schedule. Beyond that even  
15 further, voluntary or not at all, we have no data. So it  
16 seems like that's a very difficult question to start to  
17 tackle. But if it just is looking at this narrower  
18 question of going to monthly from biweekly, the data --  
19 again, I think I said earlier, the most reassuring aspects  
20 seems like, granted there are non-comparabilities between  
21 the U.S. and some of the Australian and UK data, but they  
22 do give some absolute values that suggest there won't be an  
23 epidemic of agranulocytosis if you went to a monthly  
24 monitoring scheme. Again, the actual absolute values of  
25 the rates look within the range that we currently tolerate



1 under biweekly and even previously under weekly monitoring  
2 schedules. They're within the range that have been  
3 acceptable. Anyway, that's sort of a thought.

4 DR. RUDORFER: Dr. Katz.

5 DR. KATZ: Yes. I'm just wondering whether or  
6 not it wouldn't be worth to hear sort of a preliminary  
7 discussion before we actually talk about the question  
8 because the question involves a lot of things like what  
9 sort of risk/benefit, and that's sort of getting well down  
10 the road. Maybe it would be worth talking about whether or  
11 not people feel there is any evidence from what we've seen  
12 or what you think or might project that if you decrease the  
13 monitoring after a certain period of time, the rate will go  
14 up. Then we can talk about whether or not we think it goes  
15 up too much or what it goes up to. But I'm just wondering  
16 if we can -- this is just my view about maybe dealing with  
17 this in a step-wise way, as I say, as a first step to  
18 discuss whether or not we think that the rate will actually  
19 increase if we go to some other monitoring scheme, let's  
20 say, monthly, and what the evidence is that suggests that  
21 it either does or it doesn't. Then maybe we can go from  
22 there to whether or not, if we think it does, how high does  
23 it go. Does it go too high? And then sort of deal with  
24 this step-wise. That's just one potential approach.

25 DR. RUDORFER: Dr. Weiss.

1 DR. WEISS: I think from the tables it was very  
2 clear that the rate does increase, and one of the tables  
3 that looked at, I think it was, the first 6 months and then  
4 the first year, it looked like there was a doubling. It  
5 definitely is pretty clear that the rates are higher in the  
6 UK and Australia with monthly monitoring. So I think there  
7 is a cost to changing the schedule.

8 I think that's where it gets harder. Though  
9 there is a benefit to patients for reducing it, then the  
10 question in my mind that I'm having trouble pulling out of  
11 the information is when should you reduce the monitoring.  
12 Is at 6 months? Is it at 1 year? Is it at 18 months? Is  
13 it 2 years? Because to me it isn't clear it's 6 months and  
14 more than 6 months. It definitely looks like there's a  
15 downward slope that goes on for at least the first 2 to 3  
16 years, and that data wasn't really clearly presented today  
17 and leaves me questions on when you would want to reduce  
18 the monitoring, if you do indeed do that.

19 DR. RUDORFER: Dr. Ryan.

20 DR. RYAN: We may want some more discussion of  
21 this because my interpretation of the data was the  
22 opposite. I wasn't at all clear that it's -- I mean, I  
23 thought it was unanswerable. But I thought the confidence  
24 intervals and everything was so big that goodness knows  
25 whether the 1 month was a significantly greater hazard than

1 the biweekly. Certainly the data that Dr. Racoosin  
2 presented on the frequency that they were actually  
3 monitored as opposed to where they were along the way  
4 suggested that the people who were stable -- because on  
5 that one I remember the rate was .2 per 1,000 per year on  
6 the European and Australian people who actually had monthly  
7 monitoring. Yes, that rate was as low as anything we saw  
8 on any of the data on the ones who actually had the monthly  
9 monitoring as opposed to the ones who were more than that  
10 far out. Again, those are smaller samples than the U.S.  
11 and so bigger confidence intervals.

12 DR. RUDORFER: It occurred to me that certainly  
13 there are a number of issues that we would have liked to  
14 have seen data on, but they simply don't exist. The  
15 registry does not capture a lot of the information we  
16 talked about, including just the recent expansion of the  
17 indication. The fact that diagnosis, for instance, is not  
18 captured by the registry means we may not have that  
19 information, even in the future, in terms of whether  
20 patients who are prescribed clozapine for recurrent  
21 suicidal behavior, for instance, or patients who are  
22 schizoaffective as opposed to schizophrenic.

23 But the other thing that I heard in passing a  
24 couple of times was that clinicians do exercise a certain  
25 latitude in that we heard reference to the fact that if a

1 patient does have a white blood count that's of concern,  
2 even if it hasn't reached the defined moderate leukopenia,  
3 say, well, a physician might choose to temporarily  
4 discontinue the drug or might choose to introduce more  
5 frequent white blood cell monitoring.

6 I wondered if even as the decade went along, if  
7 that couldn't relate to some of that cohort 3 effect that  
8 the fact is that clinicians are much more cognizant of  
9 these concerns, and if some cases of agran are prevented  
10 because physicians may have taken it upon themselves to go  
11 the other extreme actually to be more vigilant or to change  
12 drugs now that there are more options.

13 What I'm not clear on is whether the other  
14 country systems such as the National Health Service in the  
15 UK -- if in fact some of those options are less open, if in  
16 fact the physician is more restricted, one can't as easily  
17 order extra monitoring or change drugs.

18 On the one hand, I'm not sure if that happens.  
19 On the other hand, the positive spin on that could be that  
20 might really be, if you will, the worst case scenario, that  
21 in fact something like the UK data might be an example of  
22 what happens with the extended monthly monitoring where the  
23 system might be more rigid, that in fact there are fewer  
24 options for change along the way. I would find that  
25 encouraging if in fact that is the case, that nothing

1 extreme would happen with going to a monthly monitoring.

2 Dr. Leon?

3 DR. LEON: Drug development regulation is  
4 really driven by data and typically randomized controlled  
5 trial data. The data we've been looking at are from the  
6 Clozaril registry. It's a monitoring system. It was not  
7 designed as a research tool, as we heard earlier. The only  
8 clinical information that's gathered are the blood counts.

9 Also, what's been left out of the discussion  
10 today is there's tremendous problems with missing data. A  
11 lot of people have not been included in the analyses, as we  
12 saw. There were really nine different cohorts in the  
13 document prepared. We saw data from three of those  
14 cohorts. Everyone else was excluded. Also, people are  
15 excluded, and they weren't excluded at random as we might  
16 in assigning people to a treatment cell in a clinical  
17 trial. They were a non-random sample, typically more ill.

18 There are a lot of other problems with missing  
19 data with the generic. We don't know about the patients  
20 who were switched to generic. But the slides we saw from  
21 the FDA earlier today suggest they might be a sicker group  
22 or a more vulnerable group. That might even account for  
23 the drop in rates of low white blood cell counts.

24 I would suggest, instead of rushing into this,  
25 that we consider getting data from a randomized controlled

1 trial where people are randomized to different levels of  
2 frequency of monitoring after 6 months, whether it's  
3 biweekly or monthly, and follow them for --

4 DR. RYAN: You'd need 2 million people to do  
5 that.

6 DR. LEON: Well, there are a lot of people. It  
7 could be considered. Right now we don't know what the  
8 rates are. Even the sponsor's book concludes by saying  
9 these data do not rule out the possibility that less  
10 frequent monitoring may result in an increased rate in  
11 agranulocytosis. Therefore, there's reason to consider  
12 maintaining the current monitoring system.

13 We don't have data right now that really, truly  
14 supports a change.

15 DR. LEIBENLUFT: I think, first of all, it  
16 seems like one of the major problems, as you said, in the  
17 database is the going back and forth from generic and  
18 losing all the people there. I don't know enough about the  
19 regulation to know if there's anything that can be done to  
20 make the registry really follow all of the people and not  
21 have this problem so that when X number of years from now,  
22 PDAC gets together to once again revisit this issue, you  
23 really have a database which doesn't have all these  
24 questions in it. I guess that's one comment that I have.

25 The other comment is because of those problems

1 with the U.S. data, I think we do look to the other  
2 countries' data because they do seem to capture everybody.

3 And that's where you do get some consistent numbers I  
4 think. If you look at the monthly data for both Australia  
5 and the UK, you're at around .5 and .6, and in the UK when  
6 they went from biweekly to monthly, it went from .3 to .6.

7 That's what you were talking about. So I do think that  
8 there is some way to say that, yes, to begin to quantify.  
9 Again, it's not perfect, but to begin to quantify what we  
10 would be looking at if we went from biweekly to monthly in  
11 terms of increased risk.

12 DR. RUDORFER: Dr. Malone?

13 DR. MALONE: I agree there are problems with  
14 the data, but if you look at the data that we have, I think  
15 after something like 12 to 18 months, the risks really  
16 start dropping to the point, say, of sulfasalazine. So  
17 they're kind of equivalent rates, at least from the data we  
18 get. For that drug, I think there is much less monitoring.

19 So I think it does suggest that you should decrease the  
20 monitoring at some point in time, probably between -- the  
21 best data we have is 12 and 18 months.

22 I think you should continue monitoring, though,  
23 because I think schizophrenics get bad health care. At  
24 least if they were monitored on a regular basis, that would  
25 help to prevent them going for a year without being

1 monitored or many months.

2 DR. RUDORFER: Dr. Racoosin?

3 DR. RACOOSIN: I just wanted to mention that  
4 those rates for sulfasalazine were 3 cases per 1,000  
5 person-years. So that's actually about 10 times higher  
6 than what's being observed after 6 months. But the .3 or  
7 .4 that's being observed in the U.S. after 6 months is in a  
8 monitored population, and the sulfasalazine is unmonitored.  
9 So just keep that in mind when making that comparison.

10 DR. RUDORFER: I do want to pick up on one  
11 point that Dr. Malone mentioned, and people have referred  
12 to the adherence issue which I realize is not quite on  
13 target in terms of that's not the primary purpose of the  
14 monitoring. But it did occur to me -- I just thought I  
15 would mention it just to have it on the table -- the  
16 expansion of the indication to the recurrent suicidal  
17 behavior was based, in large part, on a study which did use  
18 the biweekly monitoring paradigm for the duration. It  
19 occurred to me that we really have no way of quantifying  
20 how much the biweekly monitoring influences treatment  
21 adherence, and to the extent that that might have been, if  
22 you will, one of the active ingredients of that study, I  
23 just thought we should bear that in mind.

24 DR. LEIBENLUFT: I'm sorry. Do I understand  
25 you right? You're saying that it might have been the



1 monitoring itself which decreases suicidality basically,  
2 the frequent contact, the regular contact?

3 DR. RUDORFER: Well, I'm saying we've heard  
4 from a couple of speakers how the regular monitoring does  
5 enforce regular contact with the health care system, and to  
6 the extent that that keeps people in treatment, I wonder  
7 whether that contributes to the overall efficacy.

8 Dr. Kane.

9 DR. KANE: May I comment? I think that's an  
10 important point, but the intercept trial was designed as a  
11 controlled trial. So those patient who received the  
12 comparator drug, which in this case was olanzapine, were  
13 seen as frequently as the patients who received clozapine.  
14 Although the clozapine patients had a blood draw, the  
15 olanzapine patients were weighed and had other  
16 interventions. But the frequency of contact was the same,  
17 so the superiority in terms of preventing suicidal behavior  
18 was despite that.

19 DR. RUDORFER: Yes. Thank you.

20 But nonetheless, my point still is that for  
21 both groups, they had the biweekly contact.

22 Dr. Malone.

23 DR. MALONE: I think there is evidence from the  
24 data that frequent monitoring does help efficacy and  
25 compliance. In the MTA study, the group assigned to drug

1 was seen at least monthly, and there was a comparison group  
2 that was a community treatment where they were not seen as  
3 often but received the same drug, and the monitored group  
4 did better. Just having visits probably does help  
5 adherence and efficacy.

6 DR. RUDORFER: Dr. Katz.

7 DR. KATZ: I would just reiterate what I said  
8 before. I think it would be very useful for the agency,  
9 for the division to just have a little bit more discussion  
10 about whether or not the committee members think that the  
11 evidence that they've seen actually establishes that the  
12 rates go up when you go from, let's say, 2 weeks to a  
13 month, which is really, as you say, the only data we have.

14 I think that is sort of a fundamental point. It will help  
15 develop discussion, and it will help us think about the  
16 problem as well.

17 DR. WANG: The cleanest data that we've seen  
18 are the data where the period after 6 months is broken down  
19 and stratified into something smaller than just greater  
20 than 6 months. We've seen a few. We saw some life tables  
21 and we saw some incidence rates of that period broken down.

22 Probably the only period where you can reliably generate  
23 incidence rates is for that 6- to 12-month period, and if  
24 you look within that, it looks like numerically there's a  
25 doubling. I agree it's not statistically significant, but

1 it looks like there's a doubling. That seems to be  
2 consistent with the British data. Because what I've just  
3 talked about doesn't take into account the secular decrease  
4 that's been occurring over the three cohorts, it's probably  
5 an underestimate of the increase due to that change in  
6 monitoring policy.

7 But, nonetheless, it still then begs the  
8 question. In absolute terms, what are you dealing with?  
9 Even if this is true, what's the absolute increase in agran  
10 rates that you're looking at? That is where I think it  
11 leads you.

12 So the answer to your question from my  
13 perspective is, yes, there is some suggestive data that if  
14 you relax the policy, it will lead to an increase, but  
15 what's the size of that in the sort of overall cost-  
16 benefit balance?

17 DR. RUDORFER: Dr. Leon.

18 DR. LEON: I brought it up this morning. The  
19 sponsor's projections, which really we looked at briefly  
20 when I brought it up earlier, do suggest that the rates  
21 will go up with decreased monitoring. I don't have it  
22 right in front of me, maybe an extra 100 cases. Was that  
23 it? 91 cases. So those data suggest that it's going to go  
24 up with decreased monitoring.

25 DR. RYAN: Is there any chance we could look at

1 your data again on those two slides which broke out the  
2 actual monitoring rate rather than how far out they were?  
3 Because I again was quite impressed with the fact that  
4 presumably people will act in a rational fashion, even  
5 after we make whatever changes we do. It looked like if  
6 you look at how people actually acted rather than how far  
7 out you were, that the ones where people are comfortable  
8 going to the biweekly monitoring or the monthly monitoring  
9 had remarkably low rates and comparable rates. It's easy  
10 to find yourself thinking differently than everybody else,  
11 but I'm still in that position, even after listening to my  
12 colleagues, where I'm still seeing it's necessarily going  
13 up.

14                   So it's .2 per 1,000 on the agran, once you go  
15 to every 2 weeks. And what was the prior slide for the  
16 British and whatever? So the people that went to every --  
17 this is UK only. And the ones that went to every month was  
18 .3. So in both places, where the physicians went to the  
19 lowest rate as opposed to where they could have gone to it  
20 but didn't, those give really very low rates.

21                   DR. RACOOSIN: Well, presumably these people  
22 have to be hematologically stable to get that far.

23                   DR. RYAN: Right. But presumably clinicians  
24 will continue to act in a rational fashion because we see  
25 here that they don't always go to the lowest monitoring

1 rate that they can. And in both countries -- in the UK  
2 where they went to the lowest monitoring rate they could,  
3 which was the 1 month, or the U.S. where they went to the  
4 every 2 weeks -- the agran rate was in the UK .3 and the  
5 U.S. was .2, but very low rates.

6 DR. LEIBENLUFT: Could I ask a question,  
7 though, about that, Neal? The ones in the U.S. were not  
8 the generic database. Right? It was the sponsor database.

9 And so to the extent that people in the generic database  
10 are getting their health care within a different system,  
11 which may be true, I'm not sure that we can extrapolate  
12 from either the sponsor database or the UK database what  
13 practitioners' behavior in the generic database would be.

14 DR. RYAN: That's true, but this is still at  
15 least 25,000 person-years. So it could be different, but  
16 it would have to be massively different. You'll have  
17 people on both panels. You'll have people on a panel that  
18 has generic, other people on a panel that has the other  
19 one. But it's so many people, you have to hypothesize  
20 dramatically different behavior for people on the generic  
21 to change that rate.

22 DR. LEIBENLUFT: Well, and since it may be,  
23 say, public care versus private care, we just really don't  
24 know. There could be very different health care systems  
25 going on here.

1                   MR. DODSWORTH: I think it's important to  
2 understand that it's not necessarily driven by the  
3 prescriber here. There are state mandatory substitution  
4 laws where the prescriber may actually write for the brand  
5 and when the patient takes it to the pharmacy, it's  
6 substituted. So we can't generalize about what type of  
7 patients are or are not getting the brand versus the  
8 generic. So I don't think we should hone in on that  
9 particular aspect of it.

10                   DR. RUDORFER: Dr. Kane.

11                   DR. KANE: Just to add to that, as a sort of  
12 major center for clozapine treatment, we switched to  
13 generic a while ago, as have many academic hospitals for a  
14 variety of reasons. So I wouldn't assume that there's a  
15 difference in the quality of health care. And to the  
16 extent that generic data are available, the agency has  
17 presented us with what they have, and I think that is  
18 somewhat reassuring even though it's a very small sample.

19                   DR. RUDORFER: Dr. Malone.

20                   DR. MALONE: From another angle, we were  
21 looking at how quickly agranulocytosis can develop. I  
22 think it's inevitable if you go to a longer monitoring  
23 system, you're going to have more windows for it to  
24 develop. So I think it's always been the theory that if  
25 you decrease the monitoring system, you will have a higher

1 rate of these things occurring, apart from any numbers that  
2 we have because the numbers, everyone thinks, have  
3 problems. So I think it's inevitable there will be some  
4 increase.

5 DR. RUDORFER: Dr. Katz.

6 DR. KATZ: Well, perhaps. It certainly seems  
7 obvious almost, but of course, part of it depends on how  
8 wide the interval has to be before you actually see an  
9 increase. For example, in the U.S. database, when you went  
10 from a week to biweekly, twice a month, you didn't really  
11 see any difference. As everybody has pointed out, there  
12 are lots of problems with the data. Lots of people dropped  
13 out. We don't know who these people are and lots of other  
14 questions, but nonetheless, when you look in the U.S.,  
15 really nothing changed in terms of incidence of agran over  
16 time. There was a change in the first 6 months.

17 DR. RACOOSIN: (Inaudible.)

18 DR. KATZ: Right, in certain categories, I  
19 suppose right, but overall nothing really changes. We saw  
20 a strange, inexplicable change in the first 6 months, but  
21 we don't know what that means.

22 So, yes, I suppose if you could monitor every  
23 day, that would be ideal. Probably everybody believes that  
24 that would be perfect. You'd probably pick up more cases.

25 But I guess the question is do we think we're going to get

1 more cases when the interval is increased to what. From  
2 every 2 weeks to every month? Is there sort of a general  
3 belief either based on evidence, such as it is, or just  
4 presumably the pathophysiology of it and biology of it? Is  
5 there a general view that if you go from 2 weeks to 4  
6 weeks, it's just clear it's going to increase? Is that  
7 sort of the general view of the committee?

8 DR. MALONE: The other important issue I guess  
9 would be where you're going to change the monitoring. It  
10 could increase further out, but that increase -- it's hard  
11 to know what significance it would have from our data. But  
12 if you go from a very low number to another very low  
13 number, it might not really have that much significance for  
14 patient care if you look at points further out in time.

15 DR. KATZ: Low number. You mean incidence of  
16 agran? When you say low number, you're referring to the  
17 incidence of agran?

18 DR. MALONE: Right, anything that you're  
19 looking at.

20 DR. KATZ: Right. Again, I think the question  
21 is will it increase if we go, let's say, to every month,  
22 and then if the committee believes it will increase, again  
23 either because of the evidence suggests it or because it  
24 just seems obvious, then the question is, can we say  
25 anything about how much we think it might increase and



1 whether or not it's worth it? That's ultimately the  
2 question.

3 DR. GRADY-WELIKY: I guess to respond to your  
4 question, I would agree with Drs. Leibenluft and Weiss that  
5 I think looking at the UK data and the Australian data, I  
6 think it's limited, but it looks like it will increase if  
7 we were to move from the biweekly to the monthly. So, with  
8 all due respect to Dr. Ryan, that would be my opinion based  
9 on what we've seen today.

10 The other question I had for you was, is there  
11 a plan to have the CNR database and the generic database  
12 interface at all so that we can capture some of this?

13 DR. KATZ: There is no current plan. I suppose  
14 that's something we could explore, but we haven't to date.

15 DR. GRADY-WELIKY: That's the unknown question  
16 in terms of the switchers and other things that we need to  
17 know what's happening to those folks who are transitioning  
18 to generic or vice versa.

19 DR. RUDORFER: Yes, Dr. Ortiz.

20 DR. ORTIZ: I have another question for Dr.  
21 Katz. Is there any language in the labeling at this point  
22 suggesting that absolute neutrophil counts be done if there  
23 should be any decline in white blood cell count?

24 DR. RACOOSIN: Again, the algorithm offers  
25 thresholds for ANC. If you meet a certain threshold, such

1 and such an action should be done, but there's no  
2 requirement for the recording or for the ANC, independent  
3 of the WBC, to drive a certain action, whereas in the UK it  
4 is. So someone who has, for example, a white blood cell  
5 count of 4000 and by itself, they would just continue  
6 along. If their ANC, at the time that their white blood  
7 cell count was normal, was below 1500 in the UK, that would  
8 start a certain cascade of events. That person would  
9 become non-rechallengeable on the basis of their ANC alone  
10 without their total white blood cell count being abnormal.

11 There's also a certain confirmatory blood test that's  
12 needed to ascertain that, but it's not just a spurious lab  
13 value. But that is not currently part of the U.S. system,  
14 having the ANC independent of the WBC drive the action.

15 DR. ORTIZ: Can you clarify what the UK system  
16 is? At some point is the ANC recommended or required?

17 DR. RACOOSIN: Can you put up the slide that  
18 has the requirements of the UK system?

19 DR. KUMAR: In the UK system, ANC is required  
20 in the beginning. To initiate Clozaril, the ANC must be  
21 done, and it should more than 2000. Also definitive steps  
22 in the monitoring of the ANC is required. It's done in all  
23 the cases.

24 DR. RUDORFER: In the U.S., does the ANC have  
25 to be drawn if one of these leukopenic situations is seen?

1 If a WBC of 3000 is measured --

2 DR. RACOOSIN: Our understanding is that the  
3 ANC is optional in the U.S.

4 DR. KUMAR: Yes. In the U.S., ANC is optional.  
5 But what happens most of the time, if they have  
6 agranulocytosis, it's done and we get reports in our system  
7 somehow for most of the cases.

8 DR. RUDORFER: Dr. Mehta.

9 DR. MEHTA: In the U.S., at least with twice  
10 monitoring, it doesn't seem optional. You had to do both.  
11 Only later on it becomes and/or. So either there's a typo  
12 there or it is almost compulsory.

13 DR. LEIBENLUFT: I think what we're hearing is  
14 that that is correct. The slide is correct. Therefore,  
15 ANC for the twice weekly monitoring is compulsory. To make  
16 the switch, it has to be the WBC and the ANC to go to twice  
17 weekly monitoring.

18 DR. RAWLS: We just want to clarify one point.  
19 We get ANCs in the system. We just don't record them in  
20 the CNR because they come in off the lab records. We just  
21 don't record them in the CNR, where they do record them in  
22 the UK. So that's one of the biggest differences. So when  
23 you're talking about this mandatory use of ANC, then that  
24 would force is to include it in the CNR. It's already  
25 documented when it comes in in the lab records. But then

1 generic manufacturers record it in their registries as  
2 well.

3 DR. RYAN: Is anybody else other than me lost  
4 at this point? Because I am actually.

5 DR. LEIBENLUFT: I'm wondering, so is what  
6 you're saying that it's obligatory for you at this point to  
7 monitor ANC when it enters into a decision to go to twice  
8 weekly monitoring, but you don't record ANC when it enters  
9 into a temporary or permanent discontinuation because then  
10 it's not required? It's the and/or. Is that right?

11 DR. RAWLS: You have it.

12 DR. LEIBENLUFT: Is that clear as mud to you?

13 DR. RUDORFER: Dr. Mehta.

14 DR. MEHTA: Would you need ANC measurements or  
15 do you do measurements during the first 6 months?

16 DR. KUMAR: In the first 6 months, no.

17 DR. MEHTA: It's not done at all, not that it's  
18 not recorded.

19 DR. KUMAR: It depends on whatever stage in our  
20 system the person is. If the person happens to be -- like  
21 initiation of the Clozaril, in the beginning we do not  
22 require it. And if they do not have any problem and the  
23 WBC doesn't come down less than 3500, then ANC is not done.

24 DR. RAWLS: You see, they record it in the UK  
25 and Australian databases, the ANC and WBC. In the U.S.

1 database, in the CNR, we are only, off the lab records,  
2 entering WBCs.

3 DR. KATZ: But does that mean in all cases in  
4 which you are just entering WBCs, that the ANC is actually  
5 done and it's known locally and it drives decisions about  
6 what to do perhaps, but it's just not recorded in the CNR?

7 DR. RAWLS: That's correct.

8 DR. KATZ: First of all, you presumably would  
9 have to get ANCs if you're ever going to diagnose agran.

10 DR. RAWLS: Exactly.

11 DR. KATZ: So with every blood draw --

12 DR. RAWLS: I wouldn't say every.

13 DR. KATZ: Okay. I mean, essentially for all  
14 blood draws, there are ANCs generated. You just don't  
15 write them down in the CNR unless there's some reason to do  
16 that. But once, let's say, somebody goes on a temporary  
17 discontinuation and you're still drawing blood, the ANC is  
18 known to people presumably, otherwise how could you  
19 diagnose agran if you weren't drawing those?

20 DR. RACOOSIN: A white blood cell count of less  
21 than 1000 is equivalent to agran for the purposes of the  
22 registry. So you wouldn't necessarily have to know exactly  
23 what the ANC was.

24 DR. KUMAR: Yes, but also I think when we look  
25 in our registry in the U.S., I think more than 81 percent

1 or more than maybe 90 percent of the patients have ANC data  
2 in our system. The CS&E database virtually has all the  
3 ANCs, people who have a diagnosis of agranulocytosis.

4 DR. RACOOSIN: Right. There's something in the  
5 labeling statement in particular that says if after the  
6 initiation of treatment, the total white blood cell count  
7 has dropped below 3500, a repeat white blood cell count and  
8 a differential count should be done. So it has a certain  
9 implication that the differential count only needs to be  
10 done below 3500. That's one of the issues that we're  
11 getting at. If their first movement towards agran is to  
12 have a normal total white blood cell count, but at the same  
13 time, an ANC below 1500, we're not necessarily capturing  
14 those patients with this current labeling.

15 DR. KUMAR: We're not capturing those ANC  
16 counts in our registry. However, you are right that if the  
17 WBC count is less than 3500, in clinical practice the  
18 physicians do ANC as well as WBC.

19 DR. LEIBENLUFT: But I guess that is a question  
20 perhaps for Dr. Gerson which is would this happen. Would  
21 you have situations where the ANC is dropping below 1500 or  
22 whatever, but the WBC is staying above 3500?

23 DR. GERSON: Right. So I mentioned this  
24 morning in passing that there were 19 out of 573 patients  
25 with agranulocytosis recorded in the registry that had, at

1 the time of their ANC less than 500, a WBC in excess of  
2 3500. So that's 3 percent were missed. It's not 3 percent  
3 of possible, potential, at-risk, whatever. It's 3 percent  
4 of diseased patients. That's really, after all, the  
5 denominator that you're most interested in. Nonetheless,  
6 as we stated this morning, the ANC is the number that  
7 you're most interested in.

8           Could I just have the slide of that action plan  
9 back up? Since I was old enough to be responsible for at  
10 least the discussions about this and there were committee  
11 members suggesting it was either muddy or quicksand, maybe  
12 we can just show that again.

13           So the rationale for the twice weekly  
14 monitoring using the WBC as the cutoff was to allow  
15 patients with a modest leukopenia to remain on the drug as  
16 long as their neutrophil count was fine. And that's the  
17 reason for this switch, if you will. As long as the ANC  
18 was maintained, the WBC became less important. And then  
19 the discontinuation again allowed the flip-flop of either a  
20 WBC above 2000 or an ANC above 1000. That was the  
21 rationale. It was really to help maintain patients on  
22 treatment. But it is confusing otherwise.

23           DR. RACOOSIN: There was an earlier analysis of  
24 the UK system done by Novartis a few years ago that used  
25 different definitions to look at the white blood cell and

1 the ANC data. It only required one abnormal value. There  
2 wasn't a confirmation required. But in that analysis,  
3 about one-third of patients, when they first were detected  
4 as having moderate leukopenia, it was detected on the ANC  
5 value as opposed to the total white blood cell value. And  
6 that was what got us thinking about this issue.

7           Now, because the definitions used in that  
8 analysis are different than those today, we didn't want to  
9 put a lot of emphasis on that data. But as Dr. Gerson  
10 mentioned, there are cases of agran that had this finding,  
11 but presumably there are more cases that -- the idea is if  
12 you were to use either criterion as your first entry into  
13 moderate leukopenia, at least some proportion of patients  
14 you would pick sooner based on their ANC than just by going  
15 with their total white blood cell count.

16           DR. ORTIZ: I have a question also for Dr.  
17 Gerson. Is the language currently used in the warning of  
18 the differential adequate for the ANC?

19           DR. GERSON: Oh, geez, I think maybe you could  
20 be more clear. Or the package insert could be more clear  
21 about requiring or encouraging an ANC. So I really do  
22 agree with that.

23           I would also like to reiterate something that  
24 John Kane had said earlier, and that is there really is an  
25 impression that the community of prescribing psychiatrists



1 is pretty mindful of these blood counts. If you look at  
2 the cases that aren't switching, if you will, at 6 months  
3 to every 2 week monitoring and staying on every 1 week  
4 monitoring, if you try to look at some of those individual  
5 patient series, you find that they're exactly what you'd  
6 expect. The folks who had a drop in their white count from  
7 8000 to 5000 or 4000, that their neutrophil count is  
8 hovering between 1500 and 2000. Those are the folks who  
9 are appropriately being more frequently monitored. So I  
10 don't think it's a black and white, that there are whole  
11 lot of physicians out there only looking at the WBC and  
12 scratching their head when the WBC count is 4000. I would  
13 hunch that many of those instances -- and the rates sort of  
14 suggest it -- are physicians who are actually looking at  
15 the WBC, as well as the ANC, currently. So having the PI  
16 reflect that would certainly make sense.

17 DR. KATZ: At this point, the sense that I get  
18 is that people think that there is likely to be an increase  
19 in the incidence of agran if the monitoring frequency is  
20 decreased to monthly from biweekly. Is that sort of the  
21 sense?

22 Do people want to say anything about whether or  
23 not they think there's any way you can quantitate what that  
24 increase would be? Or is there a sense that that's not  
25 really easily doable? Because, again, I think that ideally

1 you would like to have a sense of the quantitative increase  
2 before you think about whether or not we ought to change,  
3 whether it's worth changing it to that frequency. But,  
4 again, I'm not sure the data support much in that regard,  
5 but I'm interested in what --

6 DR. RUDORFER: Dr. Weiss.

7 DR. WEISS: I think we saw from the differing  
8 calculations that it really depended on when you switch  
9 because on our briefing booklet from the FDA, page 41,  
10 table 2, it talks about the rates of agranulocytosis with  
11 clozapine over 5.5 years. This was for the 1997 meeting.  
12 It drops precipitously. 0 to 6 months, the rate was 8.6  
13 per 1,000 person-years. 6 to 2 years, it was 0.7, and then  
14 it dropped. Between 2 and 3.5 years, it was 0.4. So  
15 again, it dropped in half, and then it dropped in half  
16 again 3.5 to 5.5 years. So I think what you're going to  
17 see whether it's from 10 cases to 20 cases or from 1 case  
18 to 2 cases, it's going to depend on how far out you make  
19 that switch. I'm assuming you're not going to change the  
20 monitoring from 0 to 6 months.

21 You may not want to switch the monitoring. You  
22 might want to keep it biweekly through the first year or  
23 even through a year and a half or 2 years. The further out  
24 you keep it as it is, the smaller the absolute number and  
25 the rate you're going to see. And the question is where's

1 the balance.

2 DR. LEIBENLUFT: I think using those same data,  
3 it points up how our real problem is not knowing what's  
4 going on between 6 months and 2 years because somewhere in  
5 there we're getting from 8.6 to 0.7, and we don't know if  
6 we're doing it gradually. We don't really know if we're  
7 doing it in big steps and, if so, where those steps are. I  
8 think, first of all, that points up kind of the issue. The  
9 problem that we're struggling with or will struggle with is  
10 as to exactly when to change it, but I think also going  
11 forward, that's really where we need more data.

12 DR. WANG: I think to answer your question,  
13 there is a natural experiment here that sheds light on what  
14 would happen if you went from biweekly to monthly, and  
15 that's the UK data. It gives you a sense of the magnitude  
16 of increase. Again, there is not a secular decrease, so  
17 it's not an underestimate. I think the jump from .3 to .6  
18 per 1,000 person-years gives you a sense. Here, the  
19 noncomparability of the UK monitoring system to the U.S.  
20 isn't such a problem because as long as it was constant  
21 over those two time intervals, whatever is operating, the  
22 only change should have been the change in the monitoring  
23 frequency.

24 DR. MALONE: The one problem with the UK data  
25 is that they do eliminate a lot of patients and put them on

1 a rechallenge list who could be rechallenged in the United  
2 States. I don't know how many of those patients go on to  
3 take the drug for 2 years if they've had moderate  
4 leukopenia and then get rechallenged, but I think there  
5 would be a slight underestimate of what would happen  
6 because they have eliminated the patients who may be at the  
7 greatest risk for having problems later on.

8 DR. LEIBENLUFT: Presumably if we did have some  
9 kind of coherent database that included both the generic  
10 and the brand, then we'd be able to track those people.  
11 One problem is we don't have any follow-up data about the  
12 temporary discontinuations.

13 DR. WEISS: One of the things I hate to do is  
14 make algorithms more complicated than they already are, but  
15 I think what Dr. Racoosin showed us at the end was very  
16 telling that more than half the cases come from the small  
17 group of people who stayed on the frequent monitoring. So  
18 there's a definitely an understanding of the doctors of who  
19 might be at higher risk. Although it's half the cases, the  
20 rate is so much higher. I don't know if there's room in  
21 the algorithm or the recommendation or if we have enough  
22 data to say who should continue or recommend who should  
23 continue more frequent monitoring and who could go to a  
24 lesser schedule.

25 DR. RUDORFER: Yes. I was having a similar

1 thought. In other words, the algorithm here pretty much  
2 starts at 3500, but if someone is cruising along and they  
3 have 5000, 7000, presumably one is less worried about them  
4 than someone who's at 4000.

5           The other thing, which I don't know if there's  
6 any precedent for, is to insert some flexibility in the  
7 monitoring where a minimum frequency of WBC monitoring was  
8 required but there's a band explicitly stated, for  
9 instance, after, say, 1 year, monitoring every 2 to 4  
10 weeks. What I'm thinking of is two things.

11           One is that many clinicians and health care  
12 systems will interpret a requirement very literally and it  
13 might be difficult to increase frequency even if a  
14 clinician is a bit anxious about a certain patient.

15           The other, which I don't know the answer to, is  
16 whether third party payors might interpret, say, a  
17 requirement of monthly monitoring to mean that more  
18 frequent monitoring would not be covered, whereas if the  
19 requirement was, say, 2 to 4 weeks if a clinician felt that  
20 every 2 weeks was indicated, even at particular times, for  
21 a given patient, then that wouldn't be an issue.

22           DR. KECK: I was actually thinking along the  
23 same lines but for slightly different reasons. It seems to  
24 me, just my overall impression, monitoring works. We know  
25 that. It's prevented a lot of people from dying from

1 agran. We also know from what limited data we have, that  
2 we have an apparent doubling of risk from .3 to .6 cases  
3 per 1,000 person-years, which seems to me to be small. A  
4 doubling of risk sounds drastic, but that's still a small  
5 increment overall. On the other hand, if it was your  
6 brother, son, father, mother who got the agran and died,  
7 that's a risk that suddenly becomes palpable.

8 I was trying to put myself in not only family  
9 shoes but in my clinician role and thinking what about a  
10 patient with schizophrenia who I've treated for a year,  
11 who's had nice, normal WBCs, for whom it's a burden, as it  
12 is for everybody in this protocol, but who seems to be, at  
13 least from a medical history and WBCs and ANC's to date, in  
14 a low-risk group. This being a free country, what about  
15 giving them the option of informed consent. This is what  
16 we might recommend. Biweekly would give you this risk,  
17 from what we know, of having agran. If we went to monthly,  
18 you're going to run a higher risk of this coming about  
19 without us detecting it. But it at least gives the person  
20 a choice and the person and their family the ability to  
21 balance the risks of the burden of monitoring versus the  
22 risk of developing some untoward, potentially catastrophic  
23 thing, albeit at a low risk. Now, I don't know what the  
24 ramifications are from a reimbursement and provider  
25 standpoint, but I think that builds in some guidelines with

1 still giving some flexibility.

2 I personally would worry about a patient who  
3 went to monthly. Like John, I've had people who have  
4 agranulocytosis, and nobody died, but it's horrifying. And  
5 it would worry me if someone elected to go monthly instead  
6 of biweekly, even just that little incremental risk.

7 On the other hand, if I was sure they  
8 understood and their family understood that they were  
9 taking that risk, I think we'd all be a little more  
10 comfortable.

11 DR. KATZ: Maybe we're at the point where we  
12 can -- I don't know whether you want to go around the table  
13 or just get the sense in regard to the first part of the  
14 first question -- I would sort of tease it out -- which I  
15 think says, do you think we can change this, leaving open  
16 the question of what it ought to be changed to or how we  
17 ought to change it, whether it's informed consent or  
18 whatever the new system would be. Maybe we can get a sense  
19 of the committee about whether or not we can take that  
20 first step or what the committee feels about the first  
21 question which is do we think we're at the point where some  
22 change is reasonable, again leaving open the particulars.

23 DR. RUDORFER: Would people like to go around  
24 the table or would someone like to start? The first  
25 question reads: should the frequency of WBC monitoring be

1 further reduced after some duration of biweekly monitoring,  
2 and if so, when and what frequency?

3 DR. LEIBENLUFT: I think the answer just to the  
4 first question, as Dr. Katz posed it, is yes. I think we  
5 should think about decreasing the frequency. I think  
6 there's a big issue as to when it goes down, whether we  
7 follow the UK or I think some argument could be made of  
8 going down to monthly after 2 years instead of 1 based on  
9 the data that we have. But I guess just in the broadest  
10 brush, that's kind of where my thinking currently is.

11 DR. RUDORFER: Dr. Weiss?

12 DR. WEISS: I'm definitely of the same thought  
13 here. I do think it could be reduced somewhere in the 18  
14 months to 2.5 year period -- but I'm not quite sure where  
15 the data drives that -- perhaps to monthly. Then, again, I  
16 think we can consider if there are some segments of the  
17 population that we should highly recommend or require more  
18 frequently because they have transient decreases in their  
19 rate or, you know, it's not stable.

20 DR. RUDORFER: Dr. Wang.

21 DR. WANG: Yes, it seems like it's reasonable  
22 to go to monthly based on what we see. Going beyond that,  
23 sort of thinking about other scenarios I think is a bit of  
24 a stretch at this point given the lack of any data.

25 DR. KATZ: Just to sort of flesh it out a



1 little bit. Do you have any sense of when monthly should  
2 start?

3 DR. WANG: The data that we've been shown is  
4 probably most generalizable to scenarios that are similar  
5 to what we've been seeing. So, for example, if we're using  
6 the UK data as sort of suggestive or supportive, then it  
7 really is only generalizable to a similar system. So after  
8 a year of stability, going to monthly.

9 DR. RYAN: I think I'd say the same thing as  
10 the last speaker. Somewhere after a year to 18 months,  
11 going to monthly seems reasonable.

12 DR. RUDORFER: Dr. Leon.

13 DR. LEON: I think we should consider reducing  
14 the frequency. I don't know I've seen any data that  
15 supports a choice of when it should be done.

16 DR. KATZ: Let me ask you this question then.  
17 Do you think the data support any particular interval, like  
18 monthly or every 6 months?

19 DR. LEON: We haven't seen any data that  
20 supports any such distinction unfortunately. I'd like to  
21 say yes, we have. We saw earlier from Dr. Gerson that the  
22 prodrome is about 3 weeks I believe. So if it's longer  
23 than 3 weeks, we could miss a new case.

24 The slopes that were determined by the sponsor  
25 suggested that within -- I did the calculations. I think

1 it was within a couple of weeks, you could drop a couple  
2 thousand points, a white blood cell count. We've ignored  
3 those calculations all day. Where was it? Yes, based on  
4 the slopes that the sponsor estimated, within what was it?

5 It was 126. The drop was 126 white blood cell counts per  
6 day, which would translate into in 2 weeks that would be  
7 about 1800. In 30 days, that would be a drop of 3500.  
8 That's a big drop.

9 I just feel like we're being asked to make a  
10 decision -- to make a good guess without the data. Is  
11 absence of evidence evidence of absence?

12 DR. KATZ: No, it isn't.

13 DR. LEON: Okay, thank you.

14 (Laughter.)

15 DR. KATZ: No. One valid answer, obviously, is  
16 that we don't have enough information to make a decision.

17 DR. LEON: Yes, it's tough.

18 DR. KATZ: That's obviously a perfectly  
19 reasonable answer to the questions we're asking. If that's  
20 what people feel, we need to know that. We're not  
21 requiring that you give us a particular answer.

22 DR. LEON: The registry could be tuned up and  
23 gather a little bit more information that would help inform  
24 this question in the future, some clinical information.  
25 That would be very useful. And if there was more follow-up

1 information on those who go off of Clozaril, that would be  
2 very useful in the registry. So if we sat here a year or 2  
3 from now, we'd have more information to work with. Right  
4 now it's really intuition, guess, how does it feel, but  
5 it's not empirically driven.

6 DR. RUDORFER: Dr. Malone.

7 DR. MALONE: I think my impression would be  
8 that you should consider decreasing the monitoring. If  
9 it's every 2 weeks now and you're going to decrease, I  
10 think the next logical thing is every 4 weeks or monthly.  
11 At least we have some data, no matter how good it is, about  
12 monthly monitoring. Then if you did do that, I would  
13 suggest that you keep track of what happened to those  
14 people who reduced and revisit the issue.

15 DR. RUDORFER: Dr. Grady-Weliky?

16 DR. GRADY-WELIKY: I would agree with what most  
17 folks have said around the table, that reducing to monthly  
18 monitoring makes sense. It's a harder question about when  
19 to do that. Certainly no earlier than 12 months, but given  
20 the question of the hazard rates going out to 18 months and  
21 2 years, it begs the question of extending the biweekly  
22 from 6 months to 18 months or 2 years, and then at that  
23 point beginning the monthly.

24 DR. RUDORFER: I agree with most of what's been  
25 said. I would add, given some of our other discussion and

1 the open hearing participants reminded us, there are a  
2 number of other considerations, and I think it's true also  
3 that to the extent that people might avoid using this drug  
4 due to the real-life complications of the monitoring, that  
5 those are adverse effects in their own way if clozapine, in  
6 fact, would be the most advantageous treatment.

7           Having said that, the thought that occurs to me  
8 would be to try decreasing the frequency of monitoring  
9 after a year. I still like the 2- to 4-week range and what  
10 I'm thinking is that, coupled with the tightening up and,  
11 to the extent possible, the integrating of the registries,  
12 perhaps would give additional data, say, a year from now in  
13 terms of what happens to people who are continued to be  
14 monitored biweekly versus those who are reduced to monthly.

15           The other thing, we commented a lot about the  
16 other countries' experience and I would note, for whatever  
17 it's worth -- and I guess we're not sure what it's worth --  
18 but I think it's noteworthy that neither in the UK nor in  
19 Australia have they gone back and decided that the monthly  
20 monitoring was insufficient. So I assume that's a certain  
21 real-world validation.

22           Dr. Ortiz.

23           DR. ORTIZ: I also agree with the going to  
24 monthly, but I think I'd like to see some stronger messages  
25 in the package insert. It seems like, at least from the UK

1 data we've got, that clinicians were pretty conscientious  
2 about monitoring people at hematologic risk or whatever the  
3 risks were a little more closely, and I think encouraging  
4 clinicians to do that, though I suspect, for the most part,  
5 they already are.

6           At what point to do this I'm not clear on. I'm  
7 looking at the graph on page 13 of our background booklet,  
8 and it looks like at around 18 months, the cohort 2, the  
9 agranulocytosis goes up and then goes down closer to 2  
10 years, but neither of the other two, cohort 1 or cohort 3,  
11 follow that pattern. So I'm not sure what to make of that,  
12 but I think that certainly leaves me the question that I'm  
13 not sure where between 12 and 24 months it should be.

14           DR. RUDORFER: Dr. Keck.

15           DR. KECK: Well, I think the first difficulty  
16 obviously is in predicting anything. I think when you went  
17 from 1 week to 2 weeks, that was a leap of faith and one  
18 that was a pleasant surprise. The incidence was much lower  
19 than anticipated. We can only hope the same thing would  
20 happen if we loosened up this time as well.

21           I guess my answer is just what I said before.  
22 It's sort of yes, but. Yes, I think we should consider  
23 going to monthly monitoring, especially after a minimum of  
24 12 months exposure.

25           I'm not that persuaded by the argument that by

1 doing so we would open the funnel to clozapine treatment of  
2 people who would otherwise not take it because trying to  
3 convince someone that, oh, yes, just wait a year and X  
4 number of blood draws, and you'll be home free is not going  
5 to, I think, convince most people to take it. Now, people  
6 take clozapine for a lot of other good reasons. I think  
7 the problem is at the other end, once they're maintained on  
8 the drug and are doing well, to improve quality of life.

9           Like I said earlier, I think that is an  
10 individual and family decision about balancing the risks of  
11 the burden of monitoring and their quality of life versus  
12 the slight, but apparent increased risk of developing a  
13 life-threatening side effect with slightly less frequent  
14 monitorings. I think that's a decision that people ought  
15 to participate in if possible.

16           DR. RUDORFER: Ms. Bronstein.

17           MS. BRONSTEIN: I would like to see us be able  
18 to lower the frequency of monitoring, and I don't feel  
19 comfortable commenting on the clinical time of that.

20           But I do think it's an important decision for  
21 the consumer and for the family. I like the idea of  
22 encouraging some involvement in understanding the risk with  
23 that decision. I think that would be very helpful to  
24 family members to understand that by changing from 2 weeks  
25 to a month, that this has a clinical component that puts

1 their family member at risk.

2 DR. KATZ: I think it's very clear how people  
3 think.

4 I just want to make an observation. I think  
5 the general conclusion or consensus after the initial part  
6 of the discussion was that there probably will be an  
7 increased rate of agranulocytosis when the monitoring is  
8 made less frequent, but the overwhelming majority of folks  
9 believe that it should become less frequent. I just want  
10 to make that observation. It's a perfectly reasonable  
11 recommendation. I want people to be aware that is as I  
12 heard the two parts of the discussion.

13 DR. RUDORFER: Now, I have not heard throughout  
14 the day from the committee any sense that WBC monitoring  
15 should be stopped altogether at any point. Is that the  
16 case? Would anyone want to comment on that?

17 MS. BRONSTEIN: I'd like to comment on that. I  
18 think it's real clear it can't be stopped, and I think it  
19 would be unwise to do anything further than a month.

20 DR. RUDORFER: Dr. Goldman.

21 DR. GOLDMAN: Yes, just a comment on that  
22 question. We're certainly not asking that it be stopped.  
23 But it would appear that there is not a consistent policy  
24 about this issue in terms of drug-associated neutropenias.  
25 With some medications, there's monitoring; with some,

1 there's not. And it doesn't seem to relate to the  
2 incidence of the side effect. One question that we had  
3 about this early on was whether there was any policy, and  
4 there doesn't seem to be a policy on this issue.

5 I do think that from the standpoint of people  
6 with the illness, that certainly my brother would like to  
7 feel that there's a policy being applied not because he has  
8 schizophrenia that it's applied a certain way, but because  
9 there's some objective standard out there that says, boy,  
10 if you have certain risk of neutropenia, there's a certain  
11 amount of monitoring to make sure you're safe. And that  
12 would be the case whether it is a drug for schizophrenia or  
13 for arthritis or whatever chronic disease.

14 DR. RUDORFER: Thank you.

15 Dr. Katz, did you want to comment on that?

16 DR. KATZ: No. It's a fair question obviously.  
17 There is no policy, not one that I'm aware of. The agency  
18 is currently, I believe, looking at how this is done with  
19 hepatotoxins, drugs toxic to the liver, because there too  
20 there's a whole range of labelings with regard to drugs  
21 that are known to be toxic to the liver, cause liver  
22 failure. Some labeling says monitor every week. Some  
23 labeling says here's the problem, you do what you think is  
24 best. In that particular condition, as a general matter,  
25 we've moved more towards not requiring specific monitoring



1 requirements in terms of frequency, but just leaving it up  
2 to the clinician. But that also has to do with the fact  
3 that those drugs cause liver failure presumably much less  
4 frequently than some of these drugs cause agranulocytosis.

5 Each drug is different. Each patient population is  
6 different. There are different considerations. So I'm not  
7 sure there can necessarily be a blanket policy. But the  
8 short answer is there certainly isn't.

9 DR. RUDORFER: Sir.

10 DR. STASKO: May I make a comment?

11 DR. RUDORFER: Please.

12 DR. STASKO: My name is Robert Stasko. I'm a  
13 medical officer in the Neuropharm Division of the FDA.

14 Just a question. Maybe Dr. Gerson can help  
15 with this, but I'm wondering a little bit what you're  
16 trying to do in your comments about a standard. It's like  
17 when a cancer patient or an AIDS patient comes into an  
18 emergency room, there's a sense about fever and neutropenia  
19 that just gets the whole staff and the nursing staff and  
20 phlebotomy, everybody gets such a higher level of concern.

21 I wonder if we do less testing here, if you have any  
22 thoughts with how psychiatry or how maybe patient education  
23 -- or I don't know if some of this could belong in the  
24 label, but just what the education of this community needs  
25 around what educational materials that there are with

1 neutropenia and the risks of neutropenia. Like I said,  
2 like an HIV-positive patient comes in the emergency room  
3 who's got a fever, everybody is on a neutropenia alert. So  
4 it's sort of little bit like sort of your standard question  
5 in this population is at risk. I wonder how there the  
6 providers and the patients are sort of educated. As I  
7 said, I don't know if this can be in the label, but maybe a  
8 compromise can be between education and providers to make  
9 some similar standard.

10 DR. GERSON: My sense is that there has been  
11 considerable effort. Certainly early on when Clozaril was  
12 being marketed, there was a quite large effort to educate  
13 the community, families, patients themselves about looking  
14 out for the signs and symptoms of neutropenic fever. I  
15 think there's labeling in the PI about that. I think  
16 there's been a considered effort. It would make quite a  
17 good bit of sense to remind folks in the monthly  
18 monitoring, should that come to pass, about the need to be  
19 alert to the issues of neutropenic fever and the signs and  
20 symptoms that are there.

21 I'd just like to comment on the earlier  
22 commentary on why is this drug different from other drugs,  
23 if you'll pardon the vernacular. It is because of the late  
24 onset and the severity of the agranulocytosis when it  
25 occurs. If it isn't unique, it's pretty close to being

1 unique. There are one or two other drugs that can cause a  
2 very sporadic incidence of aplastic anemia and things like  
3 that. But the number of cases after a year is really  
4 pretty unique in the pharmacopeia.

5 DR. RUDORFER: Thank you.

6 A related question that we're asked to address  
7 which didn't come up too much during the course of the day  
8 is whether there's any feeling on the committee that the  
9 WBC monitoring should become voluntary, that it should just  
10 be part of labeling or a black box warning and not be  
11 mandatory. Any thoughts about that? Dr. Keck.

12 DR. KECK: Well, that's like saying having no  
13 monitoring in a way. It's the flip side of the same  
14 question. I think it would lead to extraordinarily high  
15 rates of agranulocytosis, akin to not monitoring at all.

16 DR. RUDORFER: Is it fair to say that's the  
17 consensus of the committee? I think so.

18 The other specific question we were dancing  
19 around at various times relates to the absolute neutrophil  
20 count. Should we revisit that specifically? I think we  
21 were arriving at the conclusion that the requirement for  
22 the absolute count was only triggered when the total WBC  
23 dropped below a certain level. Is that our accurate  
24 conclusion? In other words --

25 DR. RACOOSIN: That's how it's stated in the

1 labeling. I think the point was raised that maybe in the  
2 community that's an oversimplification, that physicians are  
3 watching the ANCs concurrently with the total white blood  
4 cell count, but it's not clear that that's an absolute, or  
5 that that perhaps could be made rather than just -- at this  
6 point it's conscientious watching of the ANC as opposed to  
7 a requirement.

8 DR. RUDORFER: Dr. Katz.

9 DR. KATZ: I think we heard before that 3  
10 percent of the patients who -- I can't remember 3 percent  
11 of which -- but I think there were patients, I guess, maybe  
12 3 percent of the agran patients, had white counts above  
13 3500. Do we know what the numbers are for an absolute  
14 neutrophil count of 1000, let's say, or 1500, in other  
15 words, not agran but something that you might worry about?  
16 How many of those people have total white counts of 3500?  
17 Again, the question being if you say you've got to measure  
18 the ANC and you pick somebody up at 1000 ANC and the white  
19 count is over 3500, you're going to pick those patients up  
20 earlier. Do we have those numbers?

21 DR. RAWLS: No.

22 DR. KATZ: No, okay. Presumably a higher  
23 number than 3 percent.

24 DR. WEISS: It seems that if they are doing it  
25 in regular practice, taking both measurements, and you got

1 the registries to include that field, that might provide  
2 you with valuable information when you review these changes  
3 and their implication and perhaps help you identify a  
4 higher risk subset. But if you don't collect the data,  
5 you'll never know. But I think if it is being done, that  
6 would be valuable information to start collecting.

7 DR. RUDORFER: I wonder if it's as part of the  
8 stability requirement for the white count before a next  
9 level, say, before decreasing the frequency of monitoring,  
10 if a certain requirement for stability of the absolute  
11 count were required, if that would be a protective kind of  
12 measure. The same way if there's a concern during weekly  
13 monitoring, it would not be prudent to go to biweekly, I  
14 would think that before biweekly was reduced further, say,  
15 there should be either a minimal required absolute  
16 neutrophil count or a requirement, maybe over a certain  
17 number of measurements for stability of the absolute count.

18 DR. KECK: I agree.

19 (Laughter.)

20 DR. RUDORFER: I think it's fair to say we were  
21 impressed that the absolute neutrophil count has real  
22 meaning and validity in the hematologic community and, in  
23 fact, that clinicians apparently are taking it very  
24 seriously. So I think it's the sense of the committee that  
25 that should be part of the required monitoring. Am I

1 correct?

2 DR. WEISS: Yes.

3 DR. LEIBENLUFT: Yes, basically since that's  
4 the most meaningful number clinically.

5 DR. RUDORFER: To be fair, I don't know what  
6 the cost of that is. I would imagine that's much more  
7 expensive than the automated total white count.

8 DR. RYAN: Could we consider suggesting that  
9 the FDA might want to get more hematologic input on that  
10 question? There could be a range of algorithms they might  
11 consider at what white cell level you do ANC count, and  
12 presumably there may be folks even more expert than at  
13 least some of us on this committee, myself included.

14 DR. RUDORFER: Yes, I would agree, as well as  
15 the question of what should constitute a satisfactory ANC  
16 level where one could feel that the risk was minimized in  
17 terms of reducing the frequency of monitoring to the extent  
18 that such data exist.

19 Dr. Gerson.

20 DR. GERSON: First, obviously, the cost of the  
21 differential is more than just doing the automated CBC. We  
22 saw an estimate of that cost which is probably reasonable.

23 A CBC may be in different laboratories \$25 to \$40 with the  
24 differential. Without, it's probably \$10 to \$15. So there  
25 is probably a doubling of the cost, time, and effort.

1           In terms of the safe value, remember that a  
2 normal ANC is down to 2000, clinical safety is down to 1000  
3 neutrophils, but certainly it's very reasonable to consider  
4 a specified number which you have to achieve stably before  
5 which you could cut down to monthly monitoring, and that  
6 number might be 2000. 2000 would be a safe buffer below  
7 1500. It should capture about 85 percent of the people in  
8 this room. So of normal CBCs, most of us have well above  
9 2000 ANC. So if a person really isn't affected in terms of  
10 their blood counts by clozapine, then you'd expect the same  
11 neutrophil count.

12           DR. WEISS: Would there be more value -- this  
13 I'm really not sure from the discussion -- to get serial  
14 measures, for example, like the last three biweekly  
15 measures? In other words, the stability.

16           DR. GERSON: Sure.

17           DR. WEISS: To give you more information than  
18 just a value.

19           DR. GERSON: You have to define the word  
20 "stable." You have to decide whether you want the FDA to  
21 make that in discussion with the sponsor regarding the PI.

22           DR. WEISS: Well, I guess my question is  
23 clinically --

24           DR. GERSON: Sure. Stable would mean three or  
25 four repeat values, would be stable. Sure. That makes

1 pretty good sense to me.

2 DR. WEISS: Does that have clinical  
3 significance then?

4 DR. GERSON: Absolutely. First of all, we all  
5 bounce, but we all bounce within a range. And the unstable  
6 patient bounces like this, and there are different  
7 phenotypes. Most of us have our own set and bounce within  
8 a pretty tight range.

9 DR. RUDORFER: Dr. Katz.

10 DR. KATZ: Just a practical question. We  
11 talked about the variability of the various methods used,  
12 but let's say we required an ANC to be done and we picked a  
13 number like 2000 as sort of a screening value. Could that  
14 be done automated? For screening purposes, is that  
15 methodology adequate or not adequate?

16 DR. GERSON: It's pretty good, but you're  
17 talking about a national standard here, and so I'm not the  
18 expert on whether all laboratories in the country are  
19 capable of an automated ANC. There are automated  
20 approaches and automated machines that are very good for  
21 very normal ANCs, so ANCs in the 3000, 4000, 5000, 6000  
22 range. It's the ones below that that become a problem. So  
23 you will have more flags. You'll have more need to repeat  
24 values, not to maintain above the 1500, but if you now want  
25 to maintain above the 2000 to go to monthly monitoring,



1 you'll have more instances where people will just have to  
2 look and do it manually.

3 DR. RUDORFER: Dr. Gerson, as long as you're  
4 standing, could I ask you a question? In terms of the  
5 state of the field, how far are we from a kind of home  
6 testing point where with a pin prick, one could get a WBC?

7 DR. GERSON: I have to be careful only because  
8 a home monitoring commercial entity has asked me for advice  
9 in development of it. So in a generic way, there are  
10 efforts to consider a method for home monitoring using a  
11 finger stick. The finger stick technology has historically  
12 again been more erratic. Obviously, in some cases it's  
13 more preferable. Some folks would prefer a finger stick  
14 and some folks would prefer a venipuncture. But there is  
15 at least one entity interested in developing a home  
16 technique, which would be, obviously, quite helpful.

17 DR. KATZ: Unless anybody else has something  
18 they want to say, I think those are the questions we had,  
19 and I think we got clear answers. I appreciate very much  
20 folks coming and helping us. It's a very complicated  
21 problem.

22 We do have one comment.

23 DR. LEIBENLUFT: Just one comment which is that  
24 I would like that the committee -- we've said this, but I  
25 guess to emphasize that if the FDA is able to do anything

1 about interfacing the two registries, that that would just  
2 be very, very helpful.

3 MR. DODSWORTH: Actually there are more than  
4 two registries because part of the approval process  
5 requires that every generic manufacturer that comes along  
6 has their own system. So right now there's the CNR that  
7 Novartis has. Mylan is now out there with a generic. They  
8 had to have the system. Zenith Goldline has to have their  
9 own system, and any subsequent generic of clozapine will  
10 have to have their own system. So it's going to be very  
11 difficult I think to bring them all together, and in the  
12 event that you were able to bring them together, someone  
13 would have to bear the cost for that.

14 DR. LEIBENLUFT: But I think it's fair -- and  
15 other people on the committee please tell me if I'm wrong,  
16 but I think it's fair to say that it's the sense of the  
17 committee that that would be an important thing for the FDA  
18 to explore because it really did hamper the quality of the  
19 data.

20 DR. RUDORFER: If I can end on a kind of glass  
21 half full note, many of us are familiar with promising  
22 psychotropic medications that either never made it onto the  
23 market or were removed from the market for safety concerns.  
24 So I think the good news in our discussion today is that  
25 the FDA and industry have come up with a system that works

1 and that's allowed this very valuable medication to be on  
2 the market these last dozen years. So I think that they  
3 deserve our thanks for that, and we're pleased to help make  
4 the system even better.

5 Thank you all for your participation.

6 (Whereupon, at 3:15 p.m., the committee was  
7 adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24