

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

Tuesday, October 22, 2003

8:30 a.m.

Best Western Washington Gateway Hotel
1251 West Montgomery Avenue
Rockville, Maryland

PARTICIPANTS

Art Kibbe, Ph.D., Chair
Hilda F. Scharen, M.S., Executive Secretary

MEMBERS

Joseph Bloom, Ph.D.
Patrick P. DeLuca, Ph.D.
Robert Gary Hollenbeck, Ph.D.
Michael S. Korczynski, Ph.D.
Lemuel A. Moye, M.D., Ph.D.
Marvin C. Meyer, Ph.D.
Wolfgang Sadee, Dr.rer.nat.
Cynthia R.D. Selassie, Ph.D.

ACTING INDUSTRY REPRESENTATIVE

Efraim Shek, Ph.D.

CONSULTANTS

Judy P. Boehlert, Ph.D.
Nozer Singpurwalla, Ph.D.
Jurgen Venitz, M.D., Ph.D.

FDA

Gary Buehler, Ph.D.
Dr. Yuan-yuan Chiu, Ph.D.
Ajaz Hussain, Ph.D.
Frank Holcombe, Jr., Ph.D.
Moheb Nasr, Ph.D.
Jonathan Wilkin, M.D.
Lawrence Yu, M.D.

C O N T E N T S

Call to Order Art Kibbe, Ph.D.	4
Conflict of Interest Statement Hilda Scharen, M.S.	4
Risk-based CMC Review Proposals	
Current Thinking: Yuan-yuan Chiu, Ph.D.	7
Vilayat Sayeed, Ph.D.	15
Focus on "Process Understanding:" Ajaz Hussain, Ph.D.	30
Issues and Challenges: Moheb Nasr, Ph.D.	54
Nomenclature	
Proposals for Resolving Issues and Challenges: Moheb Nasr, Ph.D.	71
FDA Perspective: Dan Boring, R.Ph., Ph.D.	76
Lucinda Buhse, Ph.D.	128
Frank Holcombe, Jr., Ph.D.	143
Open Public Hearing	No Public Speakers
Research Plan for Generics	
Bioequivalence of Topical Products	
Generic Drug Research Program: Lawrence Yu, Ph.D.	147
Dermatopharmacokinetics: Improvement of Methodology for Assessing Bioequivalence of Topical Products: Annette L. Bunge, Ph.D.	160
Bioequivalence of Topical Products: FDA Perspective Jonathan Wilkin, M.D.	205
Committee Discussion	232
Conclusion and Summary Remarks	271

1 P R O C E E D I N G S

2 Call to Order

3 DR. KIBBE: Perhaps we can begin the
4 process of starting our second day of deliberations
5 and advice to the agency. We are first required to
6 have opening remarks, it says, and call to order.
7 So you are called to order.

8 I am going to take the privilege of the
9 Chair to thank the outgoing members of the
10 committee for all their work over these past many
11 years; Joseph Bloom from the University of Puerto
12 Rico and Lem Moye from the University of Texas
13 Health Sciences and, in absentia, Nair Rodriguez
14 from the University of Michigan.

15 With that said, we have, I know, a lot of
16 commitments to get in the air and we are going to
17 try to get as much work as we can before people
18 start to escape. We are going to first ask Hilda
19 to go ahead and give us a reading of the
20 conflict-of-interest statement and any housekeeping
21 update.

22 Conflict of Interest Statement

23 MS. SCHAREN: The following announcement
24 addresses the issue of conflict of interest with
25 respect to this meeting and is made a part of the

1 record to preclude even the appearance of such at
2 this meeting. The topics of today's meeting are
3 issues of broad applicability.

4 Unlike issues before a committee in which
5 a particular product is discussed, issues of
6 broader applicability involve many industrial
7 sponsors and academic institutions. All special
8 government employees have been screened for their
9 financial interests as they may apply to the
10 general topics at hand.

11 Because they have reported interest in
12 pharmaceutical companies, the Food and Drug
13 Administration has granted general-matters waivers
14 of broad applicability to the following SGEs which
15 permits them to participate in today's discussion;
16 Joseph Bloom, Patrick DeLuca, Gary Hollenbeck,
17 Arthur Kibbe, Michael Korczynski, Marvin Meyer,
18 Lemuel Moye, Wolfgang Sadee and Jurgen Venitz.

19 A copy of the waiver statements may be
20 obtained by submitting a written request to the
21 agency's Freedom of Information Office, Room
22 12A-30, of the Parklawn Building. Because general
23 topics could involve so many firms and
24 institutions, it is not prudent to recite all
25 potential conflicts of interest. But, because of

1 the general nature of today's discussions, these
2 potential conflicts are mitigated.

3 We would also like to note for the record
4 that Dr. Efraim Shek is participating in today's
5 meeting as the Action, non-voting, Industry
6 Representative.

7 In the event that the discussions involve
8 any other products or firms not already on the
9 agenda for which FDA participants have a financial
10 interest, the participants' involvement and their
11 exclusion will be noted for the record.

12 With respect to all other participants, we
13 ask, in the interest of fairness, that they address
14 any current or previous financial involvement with
15 any firm whose product they may wish to comment
16 upon.

17 I have a housekeeping issue that pertains
18 to airport transportation so I am passing a sheet
19 around so that we can kind of coordinate cabs here
20 from Dulles Airport and National Airport. So if
21 you just want to put your name and flight time and
22 we will take care of it.

23 Thank you.

24 DR. KIBBE: Thank you, Hilda.

25 Ajaz, do you have anything, or should we

1 just go straight to our--

2 DR. HUSSAIN: Just a brief introduction.

3 This morning, we have Dr. Yuan-yuan Chiu who has
4 been leading an effort of CMC risk-based
5 approaches. This topic has come to the advisory
6 committee on two previous occasions and we are
7 continuing with this topic. So you will hear the
8 thoughts and the progress made in this initiative.

9 At the same time, what I would like to do
10 is, since this started much before the initiative
11 of quality by design and what we are doing now, I
12 would like to sort of present to you what it might
13 look like on the quality by design and process
14 understanding focus. Then Moheb will come and sort
15 of ask you some questions of how do we progress
16 from here since we have two pathways which are not
17 exclusive of each other. There is a lot of synergy
18 between the two pathways but I think we would like
19 the committee to discuss a preferred pathway for
20 moving forward on the two initiatives.

21 Thanks.

22 Risk Based CMC Review

23 Current Thinking

24 DR. CHIU: Good morning.

25 [Slide.]

1 I am pleased to be here again to discuss
2 the risk-based CMC review. I give you an overview
3 of the current thinking.

4 [Slide.]

5 Actually, this is a continuous interest of
6 the agency to regulate a product to do our
7 evaluation based on risk. In the 1980s, we issued
8 the Post Approval Changes Regulation 314.70.
9 There, already, we put the three tiers, changes
10 which require a prior approval supplement, changes
11 being effected at the time of submission, and also
12 changes noted in the annual reports. So we had
13 already instituted a risk-based oversight.

14 Then, later on, the Center published a
15 series of SUPAC guidances which further elaborated
16 the risk-based CMC reviews. In 1997, the FDAMA
17 actually codified the supplemental changes based on
18 risk.

19 However, we are continuing looking at this
20 and wanted to incorporate the latest scientific
21 knowledge and the latest risk model accessible to
22 us and then refine this process. So, for the last
23 three years, we came up with a concept. If we can
24 identify products with intrinsically low risk, then
25 we could even go farther and then we could reduce

1 the filing requirement and reduce the number of
2 supplements required for agency evaluation and we
3 can then more efficiently and more effectively use
4 our resources and we could put our energy in
5 higher-risk products.

6 So, with that, we come up with this
7 concept in the current thinking. I also need to
8 mention, last year, the agency announced the GMP
9 Initiative for the 21st Century. There the
10 risk-based time-spaced review becomes more
11 elaborate, more involved. Therefore, we actually
12 wanted to expand the very conservative thinking of
13 intrinsic low-risk drug projects to focus more on
14 process understanding. Then we can actually extend
15 the concept of low-risk drugs.

16 [Slide.]

17 So the objective of the project--I call it
18 Project No. 1, this more conservative approach, is,
19 at the end, we want to compile a list of drugs
20 which are considered intrinsically of low risk with
21 respect to product quality and those drugs that
22 were qualified for the elimination of most of the
23 NDA, ANDA, manufacturing supplements.

24 So the changes, unless it is codified in
25 FDAMA, they are actually three things; changes of

1 specifications, changes of formulations and the
2 changes required in vivo studies will require
3 supplements. Most of the other changes we could
4 really downregulate.

5 We would also want to be able to reduce
6 the data package, the information needed to provide
7 the annual report because, if we eliminate
8 supplements and all the information go into an
9 annual report, then we have not really reduced the
10 agency's effort to evaluate.

11 Last on the list is we are thinking
12 eventually we will extend this concept to the
13 original ANDA submission and that concept was
14 discussed many times at the committee and the
15 committee actually highly endorsed it and thinks
16 the agency should keep that concept, not just
17 thinking about postapproval changes.

18 However, in order to implement that, we
19 will need regulation change. Therefore, we will
20 work on that as a separate project.

21 [Slide.]

22 In order to get this project started, we
23 really need a lot of input internally and
24 externally. So, internally, we had a multiple
25 discussion to the Coordinating Committee, the CMC

1 and the Compliance Coordinating Committee. We also
2 presented this in the Center's Scientific Rounds.
3 We had several brown-bag meetings and we, as I
4 mentioned earlier, discussed those three times in
5 the past at this committee and we also need to seek
6 input from industry, from the public.

7 So we had a workshop in June, 2001. Then,
8 last year, we discussed this topic at the DIA
9 Annual Conference.

10 [Slide.]

11 So, with all the input, internally,
12 externally, we think this process can become a
13 three-tier process and we are at the first tier.
14 We are almost ready to issue a draft guidance.

15 Tier 1 has two parts. The first part, in
16 order to be able to compile the list, we must know
17 the quality attributes. The drugs meeting those
18 quality attributes and then they will be considered
19 low-risk. So, therefore, we have actually drafted
20 a guidance document and proposed those quality
21 attributes for defining low-risk drugs. Dr.
22 Sayeed, later, will discuss this in great detail
23 with you.

24 We believe we can--actually, the draft
25 guidance is in the final editing stage and, of

1 course, if we hear more input from you, advice from
2 you, we will revise what we have in hand.

3 After the guidance is published, then we
4 seek comments, written comments from the public.
5 We will finalize those quality attributes. Based
6 on those finalized quality attributes, the agency
7 will propose a drug list to be considered low-risk.
8 We will publish the final quality attributes and
9 the proposed list and seeking comments from the
10 public.

11 With the comments, people may tell us some
12 products really are not considered low-risk for
13 certain reasons and some other products may be
14 also--even though we did not identify them, they
15 should be considered low risk. Then we will
16 evaluate those comments and we will come up with a
17 finalized drug list after we consult with our
18 medical people.

19 There was quite a bit of discussion early
20 on on this committee concerning about medical
21 safety. So, therefore, at Tier 2, the first part,
22 we shall talk to our medical people and make sure
23 the drugs on the list are appropriate from the
24 medical point of view.

25 Then, the second part of Tier 2 will be

1 then we will also issue a guidance document that
2 states specifically formally those few changes that
3 will require supplements and we will not ask for
4 supplements for other changes for those drugs on
5 the list. We will also propose what kind of data
6 package, how much reduction people can do for
7 annual reports.

8 Then, the last part of this process will
9 be involved with the GMP because we believe a firm
10 can be part of this program. It is a privilege for
11 them. So, therefore, if they do not have good
12 records on GMP compliance, they should not be
13 eligible. So the last tier is we want to make sure
14 companies under this program do follow--do have
15 good historical records and GMP.

16 Our working group includes the Office of
17 Compliance staff so, therefore, they are part of
18 this project.

19 [Slide.]

20 So I would just give you some general
21 principles, how we define low-risk drugs and Dr.
22 Sayeed will give you the details. The principles
23 we use are twofold. The first one is the
24 probability of detection of certain attributes or
25 certain changes. The higher the probability, the

1 lower the risk.

2 Then the risk also depends on the
3 complexity of three elements. The first one is the
4 drug substance, drug product, characterization.
5 The easier, the simpler, to characterize a product,
6 a substance or product, then the lower the risk.

7 The second element is the mechanism of
8 product project. The more complex the mechanism,
9 then the higher the risk. Immediate release would
10 be considered much lower risk than controlled
11 release.

12 Then the last one would be the
13 manufacturing technology. The more complex the
14 technology employed to make the product, then the
15 higher the risk. Liposomal products would be much
16 more complex to make than a tablet. So, what our
17 goal is, our conservative goal is, to look at the
18 lower right block. We want to define products
19 meeting in that block which has the higher
20 probability of detection, has the lower complexity.

21 [Slide.]

22 Here is the last of people who are
23 involved in this project. They worked long and
24 hard hours to make it possible for us to get here.

25 Are there any questions? If not, Dr.

1 Sayeed?

2 DR. KIBBE: Thank you.

3 DR. SAYEED: Thank you, Yuan-yuan and good
4 morning, everybody.

5 [Slide.]

6 What I am going to do is I am going to go
7 over some of the quality attributes we have
8 developed over a couple of years. Yuan-yuan has
9 gone over the objectives, the background and the
10 tiers so I am just going to cover the quality
11 attributes we have developed for Tier 1 and that
12 will be the focus of my talk.

13 [Slide.]

14 Before I go into the quality attributes, I
15 will briefly go over the general principles and the
16 scope of the guidance we have developed for the
17 Tier 1 and then go into the risk qualifications for
18 the drug substance and the drug product.

19 [Slide.]

20 Based on what Yuan-yuan has shown, I am
21 going to put this grid up again. The focus of the
22 working group was to come up with the drug products
23 which can fit into this box there as defined by
24 Yuan-yuan. So, based on the general principle, it
25 was determined that only drug products manufactured

1 using synthetic drug substances would fall within
2 the scope of this Tier 1.

3 So, I mean, what we have done was we have
4 limited that only drug products which use synthetic
5 drug substances would fall within the scope of this
6 Tier 1. So the scope for the drug substances, it
7 has to be of synthetic origin to meet the Tier 1
8 criteria.

9 [Slide.]

10 But I do have lists further down which it
11 may be a drug substance of synthetic origin, but if
12 it is any of one of those which I have down as not
13 eligible, like there are some radiopharmaceuticals
14 which are of synthetic origin, it would be
15 ineligible as defined in the scope of this
16 guidance.

17 For the drug product, what we have done
18 was, instead of defining the drug product, we have
19 used dosage form. I mean, we have defined that
20 certain dosage forms would be eligible as per the
21 Tier 1.

22 [Slide.]

23 For the drug product to meet the Tier-1
24 criteria as we are defining for the CMC risk
25 assessment, the drug product has to be of one of

1 these dosage forms. Either it has to be an IR
2 solid or an oral solution or a non-sterile topical
3 solution. Some of the sterile solutions of simple
4 solids have been included in this Tier 1.

5 In the next few slides, what I am going to
6 do is I am going go over the criteria which we have
7 developed for the drug substance and drug product.

8 [Slide.]

9 Here is for the drug substance. We have
10 picked like--these are the three major attributes
11 the drug substance has to meet. And I am going to
12 go over all of them one at a time.

13 [Slide.]

14 For the physical and chemical
15 characterization, if you go back and look at the
16 grid we have for the general principle, we are
17 saying it has to be low risk in terms of
18 characterization, in terms of characterization,
19 terms of the mechanism and the manufacturing
20 technology. So, for the drug substance to be of
21 low characteristic, the structural and the physical
22 and the chemical properties should be well known.

23 The characterization techniques used for
24 identifying this synthetic drug substance has to
25 be--the analytical technique has to be some

1 commonly available technique. You can't use some
2 complex techniques. So we have limited the use of
3 analytical techniques by defining what is complex
4 as a drug substance.

5 If you are using any complex techniques,
6 then we are saying that drug substance is of some
7 complex origin so it cannot be defined as low-risk.

8 [Slide.]

9 Similarly, going into the specifications,
10 instead of going into detail, I have just listed
11 some of the broad concepts. The drug substance has
12 to meet the contemporary standards. When we say
13 the contemporary standard, we are saying it has to
14 meet the FDA and ICH guidances. The impurities in
15 these drug substances has to be fully identified.
16 They have to be controlled and they have to be
17 qualified for this drug substance to be defined as
18 low risk.

19 [Slide.]

20 In the stability of the drug substance,
21 this is where it is. I mean, the drug substance
22 has to be stored at room temperature. It has to be
23 stable at room temperature. It shouldn't be too
24 reactive to light or it has to be stable to light,
25 air and moisture. The degradation of these drug

1 substances has to be well known and the profiles
2 are well defined and controlled.

3 Again, the methods used for doing all of
4 these has to be fairly what we call the stability
5 indicating and validated.

6 [Slide.]

7 Moving on to the drug product, these are
8 the three attributes which were selected for the
9 drug product. We thought the marketing history of
10 the drug product is fairly critical and the
11 dosage-form characteristics and, again, the release
12 the stability assessment of the drug product.

13 [Slide.]

14 In the marketing history, the
15 recommendations from the working group are that the
16 product has to be on the market for at least five
17 years with a minimum of two years of real-time
18 stability on three batches. This is because of the
19 lack of information or the lack of understanding on
20 the part of the reviewers in terms of the
21 mechanistics when the original approvals are done.
22 So we need to have some understanding as to how the
23 product is going to behave when it goes onto the
24 market. So that is the reason this five-year limit
25 is there and this concept is coming right out of

1 the SUPACs.

2 [Slide.]

3 For the dosage-form characteristics, I
4 have already gone over the dosage forms that the
5 product has to be to meet the criteria which is it
6 has to be either an IR solid or an oral solution or
7 a nonsterile topical solution or in some of the
8 sterile solutions of simple salts.

9 [Slide.]

10 Within the dosage forms, what we have done
11 is we have included some limitations in terms of
12 the strength and the physical attributes of these
13 dosage forms. In the strength, what we are saying
14 is we are drawing a line. What are saying if the
15 IR solid, if the strength is less than 1 milligram
16 or it has to be not less than 1 milligram, or
17 1 percent weight-by-weight for this dosage form to
18 be qualified as a low risk.

19 For oral and topical solutions, instead of
20 using the strength, we are using a concentration
21 because we think concentration is much better way
22 of defining these solutions rather than the
23 strength. But we are saying that the concentration
24 of the drug substance in the drug vehicle has to be
25 less than 50 percent for oral and topical solutions

1 whereas, for the simple salts, it is all the way up
2 to less than 75 percent.

3 [Slide.]

4 For the physical attributes, we went
5 through a lot of discussion as to should we include
6 some of these physical attributes in qualifying the
7 drug substance but the decision was made that some
8 of the solid-state properties of the drug substance
9 and/or excipients should be more of a factor in the
10 drug product.

11 So, what we are saying here is if the
12 physical attributes of the ingredients used in the
13 manufacture of the drug product are reported to
14 have any impact, if they have any impact on the
15 performance of the product, that product would be
16 excluded from the low risk, say if is needed that
17 either particle size or there is a polymorph issue
18 or some of those things. So, if you have any of
19 those issues which have any impact on the
20 performance of the product, that product will be
21 excluded or any product to be included in the
22 low-risk, it has to have no impact on the physical
23 attributes of the ingredient used in the
24 manufacture.

25 [Slide.]

1 In the stability and the release
2 assessment, the concept is pretty much the same as
3 what we have for the drug substance.

4 [Slide.]

5 In the release and the shelf life or
6 stability of the drug product, what we are saying
7 is the specifications used to monitor these
8 products over the shelf life or for the release,
9 their specification has to conform to the
10 contemporary standards. It is the same concept that
11 we have for the drug substance.

12 [Slide.]

13 For the degradation, this is where we hope
14 we are going to capture a lot of information in
15 regards to the interaction of the drug substance
16 with the excipients or the interaction of the drug
17 substance with the container and all of that.

18 So the degradation profiles for these
19 products has to be fairly predictable and the
20 degradants are fairly controlled and known. We do
21 have one thing over here. We are saying that if
22 there are any known impurities or degradants in a
23 given product, that product, even if it meets the
24 criteria, other criteria, like it may be an IR
25 solid, it may be of a higher strength. But, if it

1 has any impurities or degradants which are known to
2 be toxic, then that product would be excluded from
3 this low risk.

4 [Slide.]

5 The storage, as we have discussed in the
6 drug substance, the same concept moves on to the
7 drug product. We are saying the drug product has
8 to be stored at room temperature and it should not
9 require any special packaging.

10 [Slide.]

11 So, in conclusion for a drug product to
12 qualify as a candidate for a low-risk assessment,
13 the drug substance has to be a low risk and it has
14 to meet the criteria established and the marketing
15 history and all of these things.

16 And that is the conclusion of my talk.

17 [Slide.]

18 I would like to thank all these members
19 who have done a significant amount of work for the
20 last couple of years. Thank you. If you have any
21 questions.

22 DR. KIBBE: Any questions, anybody?

23 DR. MEYER: Any estimate of the number of
24 products that are going to fall within these fairly
25 rigorous requirements?

1 DR. SAYEED: The way the standard is set,
2 we think we are going to capture, I don't know
3 exactly, but what we have done. We would
4 characterize at least about 80-plus percent of
5 these solids and oral solutions and that.

6 DR. SHEK: I think it was in one of the
7 early slides where you talked about the drug
8 substance. We are using the term there, "well
9 known."

10 DR. SAYEED: Yes.

11 DR. SHEK: Is that because it was
12 published or it is well characterized?

13 DR. SAYEED: I mean, we are hoping it is
14 both, it is published and it is fairly well
15 characterized. There are the literature references
16 and the techniques used for characterizing this
17 thing, it is fairly simple.

18 DR. SHEK: The other question I have
19 there, I would assume you gave examples of
20 analytical techniques.

21 DR. SAYEED: Yes.

22 DR. SHEK: I would assume this is not, you
23 know, inclusive.

24 DR. SAYEED: No.

25 DR. SHEK: There are things like

1 microscopy or X-ray. Will they be considered
2 unusual analytical techniques?

3 DR. SAYEED: Not X-ray diffraction, no.
4 That list is not a complete list, but that is an
5 example; yes.

6 DR. SHEK: Because we found out is, that
7 as the techniques evolve, almost there is no
8 compound that doesn't have a polymorph. As you
9 look for it, you find it.

10 DR. SAYEED: Yes. That is the reason we
11 haven't included the solid-state characteristics in
12 the drug substance because it may or may not be an
13 issue when it comes to the drug product. That is
14 why we have tied in the performance of the drug
15 products in these solid states.

16 DR. CHIU: The list of analytical
17 techniques we propose is very short. It is really
18 commonly known techniques such as IR and
19 MI--nothing complicated.

20 DR. SAYEED: X-ray diffraction is fairly
21 regularly used now so that would not go into that.

22 DR. KIBBE: Mike and then Wolfgang.

23 DR. KORCZYNSKI: It may be too early to do
24 this, but has the FDA considered quantifying the
25 FDA or industry benefits from this program,

1 specifically--and it may be too early--but
2 specifically, for example, will this result in
3 expediting NDA or ANDA review, the review process,
4 by X days or will save so much manpower for the
5 FDA, or whatever?

6 DR. SAYEED: As Yuan-yuan pointed out, for
7 now, this is a postapproval proposal. We are
8 hoping that this will significantly reduce the
9 number of the supplements which will be coming in.
10 I mean, the intent is not to just reduce the
11 supplement and move this information into the
12 annual reports, but to completely eliminate and
13 have this information be maintained at the site of
14 the industry.

15 So, I mean, hopefully, it will be a
16 benefit for the industry in terms of filing. It
17 would certainly not reduce the burden of doing some
18 assessment when they are making some changes. And,
19 on the part of the agency, probably it would help
20 relieve the burden of these supplements.

21 DR. SADEE: In terms of stability of
22 compounds and so on, if the degradation products or
23 reaction products are all known--well, they usually
24 are never all known--and what are the limits on
25 this, and under what conditions and if you mix

1 certain chemicals, how do you expose it, and how
2 are they quantified?

3 It appears to me that any chemical can be
4 turned into some dangerous--so, at what point do
5 you say, "This is an innocuous chemical?" How do
6 you quantify this?

7 DR. SAYEED: That is a good question.
8 That is the reason, but this is a postapproval.
9 All of that probably will be captured under the
10 initial review of the application. And if we see
11 there are some issues with the product, then
12 probably that product would be excluded from the
13 low risk. You have got to remember this is a
14 postapproval so all of those issues would be
15 addressed in the initial review and the approval of
16 the product.

17 DR. KIBBE: When you listed it up there,
18 you said synthetic chemical entities only. Does
19 that rule out anything that has been
20 semisynthetically made, anything--I immediately
21 think of the antibiotics and their fairly
22 well-defined chemical structure. Morphine is a
23 natural product. I don't know whether people have
24 problems with worrying about morphine tablets,
25 but--

1 DR. SAYEED: Yes. We do understand that.
2 It is a difficult situation to include something
3 and, at the same time, exclude something which is
4 of plant origin. I do understand that. But I
5 think that can be dealt on some exclusion basis
6 once we have some guidance. But, right now, we
7 would like to exclude it because, unless you guys
8 have some way of doing it, we can only do it drug
9 by drug. We just can't include the whole thing.

10 DR. CHIU: We have discussed this
11 extensively whether we should include
12 semisynthetics or plant-origin products. We have
13 decided we want to be a little more cautious and
14 conservative at the first stage and the list of
15 drugs we eventually will propose will be expanded
16 as we gain experience. So those products may be
17 included at the second level.

18 DR. SAYEED: As you pointed out, there may
19 be a possibility of listing those drug substances
20 which are fairly known and have been in use for
21 over decades.

22 DR. KIBBE: See, I would have been tempted
23 to say eligible compounds were small chemical
24 entities that are well defined because we do a
25 really good job of extracting certain natural

1 products now and we know the chemical structure
2 perfectly well.

3 DR. SAYEED: That's correct.

4 DR. CHIU: We also discussed whether the
5 molecular-weight cutoff would be a good criteria,
6 so small molecules. Then we got into a debate.
7 You know, 500? 600? So, therefore, it is much
8 easier just to say synthetic so we get this going.
9 It is already two years. We really want to launch
10 this program even though we start small.

11 DR. KIBBE: Anybody else? Gary?

12 DR. HOLLENBECK: Are modified-release
13 dosage forms just assumed to be too complicated to
14 even fit into these categories?

15 DR. SAYEED: Modified dosage forms--I
16 mean, in terms of their mechanistic, they are one
17 level above the IR. So, for now, for that reason,
18 we don't want to include them. Maybe in the
19 future, we have more understanding. With these
20 simple products, maybe we will move up and include
21 those. But, at least for now, I think we think it
22 is at one step above the IR. It does have some
23 performance issues.

24 DR. KIBBE: Thank you.

25 Ajaz?

1 Focus on "Process Understanding"

2 DR. HUSSAIN: At least at OPS, we have
3 been working on risk for a long period of time. I
4 think our thought processes are maturing and
5 getting more sophisticated. The challenge, I
6 think, is always there in the sense when we talk
7 about risk and risk management, unless it is
8 science based and with a thorough understanding, I
9 think the challenge is always making a mistake. So
10 I think we have to make sure the scientific basis
11 is sound.

12 I think what Yuan-yuan and her group have
13 started is focusing on an understanding of the
14 critical variables. You are seeing an evolution
15 and the creation of a critical variable list to
16 what Vilayat presented to you.

17 [Slide.]

18 I would like to sort of take you through
19 an example of what focus on process understanding,
20 quality-by-design concepts, can bring and be added
21 onto the discussions you have already heard.

22 The key is this in the sense everything
23 can be high risk if it is not managed properly.
24 Unless you know how to manage that, something which
25 is considered high risk can be considered

1 well-managed risk. So you have to start thinking
2 about understanding your manufacturing processes,
3 identifying the critical points to control and
4 mitigating strategies for risk.

5 So, what I have done here is--I did this
6 this morning, so it is a fresh presentation--an
7 example of process understanding directed
8 risk-based CMC regulatory oversight of postapproval
9 changes. What can this be? So this is fresh off
10 my computer this morning.

11 [Slide.]

12 The first phrase I use is process
13 understanding. What do we mean by that? I think
14 you are looking at a physical, chemical process.
15 So you are looking at physical, chemical,
16 microbiological and engineering focus where we
17 focus on identifying critical attributes and then
18 establishing causal links to quality. So it is
19 having a better understanding of that.

20 Then process control strategies, including
21 environmental conditions, to control those critical
22 points so that you mitigate risk and, also, I think
23 keeping in mind limitations of analytical methods
24 because, if you simply focus on testing, and this
25 is one reason why you don't test hypotheses in

1 manufacturing is because analytical test methods
2 are limited in their scope and you cannot make a
3 decision based only on analytical data. You have
4 to look at the entire manufacturing process.

5 But, also, I think you have to think about
6 the process of managing it. So you have to think
7 about the quality-system capabilities. So you have
8 the science and engineering and analytical approach
9 but then you have a management approach. If you
10 don't manage that, then you also have risk. So you
11 have a quality-systems capability where you have
12 QC, QA, the qualifying attributes, change control,
13 training, out-of-specification investigation and
14 continuous learning and other aspects. That is
15 essentially the GMP focus.

16 Now, if you have continued
17 out-of-specification investigations and you never
18 find the root cause, you don't have continuous
19 learning, how does that relate to risk?

20 [Slide.]

21 The second term I have used in the title
22 is risk-based. What are the risks we are talking
23 about? Risk of uncontrolled postapproval changes
24 is a concern that you have heard from Yuan-yuan and
25 Vilayat. What can happen upon uncontrolled

1 postapproval changes? New impurities, shorter
2 shelf life, bioinequivalence are examples of risk
3 that result from uncontrolled postapproval changes.

4 Now, I do want to put on the table, there
5 is another risk, the risk of too restrictive
6 postapproval change policies; low efficiency and
7 high manufacturing cost, because you don't improve,
8 questionable and possibly minimal difference
9 between quality of acceptable and rejected batches.
10 We had that discussion if you have that situation.

11 But I think more importantly this brings
12 into question the current system the potential for
13 eroding credibility of a pharmaceutical quality
14 system if you don't have continuous improvement. I
15 think that is a concern I personally have, how do
16 you keep justifying the system that we have.

17 The likelihood of occurrence is a key
18 aspect. I think we need to--when we talk about
19 risk, we have to estimate the likelihood of the
20 occurrence of that risk. Severity of the
21 consequences. And then mitigation strategies; how
22 do you manage that risk. So you have to consider
23 all things together.

24 [Slide.]

25 CMP regulatory oversight in the

1 postapproval, we have three--actually,
2 four--mechanisms; prior approval supplements for
3 high risk, changes being effected supplements
4 either 30 days or immediately, I would say moderate
5 risk, and annual reports for low risk. We already
6 have that in our statute.

7 So what are postapproval changes? For
8 manufacturing purposes, you have scaleup, site of
9 manufacturing, equipment and process changes,
10 component and composition changes that became the
11 SUPAC guidance, and then the level of risk depends
12 on the level of the change you have. But you also
13 have changes in analytical methods, packaging and
14 other types of changes that occur.

15 The question comes out, why change? There
16 are clearly marketing needs. There are mergers and
17 acquisitions. Improving the process, I think,
18 generally is voluntary. It is a very good thing
19 but, because of the risks, we either suspect that
20 or, if you want to improve, then the question comes
21 is how do you sort of qualify the change and
22 sometimes improvement is demanded by companies
23 under consent decree, for example.

24 So change is a way of life and I think if
25 you think about innovation, which our new

1 initiative is intended to bring innovation, you
2 cannot innovate if you don't change. So how do you
3 move forward?

4 [Slide.]

5 So, in a sense, you have big clumps here.
6 You have the concept of process understanding that
7 I will talk to you about. You have CMC regulatory
8 oversight. You have company's quality system which
9 manages that and we oversee that. You have GMP
10 regulatory oversight. You have postapproval
11 changes. You have risk.

12 How do you connect all this together is
13 the key.

14 [Slide.]

15 There are two ways of thinking about this.
16 On your left-hand side, if you have little or
17 bare-minimum process understanding, at least a
18 perceived one because we don't see much of that
19 information. You have regulatory oversight from
20 CMC, GMP. You have company's quality system. You
21 have postapproval changes and the perception of
22 risk lingers on. How do you sort of manage that?

23 My way of thinking in, in the sense, if
24 you align all these systems together in a more
25 integrated fashion--that is, communication and

1 linkages between CMC regulatory oversight and GMP
2 oversight. So you build in synergy. But also
3 manage the company's, evaluate the company's,
4 quality system in that framework. So you actually
5 use postapproval change to minimize risk so you can
6 sort of turn that around and you can achieve that
7 in the context of process understanding. So that
8 is one way of thinking about risk improvement,
9 postapproval changes, all together.

10 [Slide.]

11 Now, to illustrate this, I am going to
12 walk you through a very simple example. The
13 information I have collected for this example comes
14 from a publication, Analysis and Simulation of
15 Capsule Dissolution Encountered During Product
16 Scale-Up published in 1992 from Bristol Myers and a
17 Ph.D. thesis that I was a committee member of, A
18 Comparative Study of the Formulation Requirements
19 of Dosator and Dosing Disc Encapsulators,
20 Simulation of Plug Formation and Creating of Rules
21 for an Expert System for Formulation Design by
22 Pavan Heda at the University of Maryland, and then
23 the SUPAC guidances that were issued in 1995.

24 [Slide.]

25 What is this example all about? What is

1 the change? Is the change that is required to
2 accommodate scale-up scale-up of a development
3 product using encapsulation equipment of different
4 design? The development product is a capsule
5 containing X milligrams of a drug, freely water
6 soluble, and 1 percent magnesium stearate. That's
7 it. 99 percent of the formulation is drug and
8 there is 1 percent magnesium stearate as a
9 manufacturing aid for lubricant and so forth. And
10 that is the capsule-filling machine in the
11 development phase.

12 Initial development experiences identify
13 the link between blend time and dissolution.
14 Capsules prepared with powders blended for five
15 minutes exhibited more rapid dissolution as
16 compared to powders blended for 40 minutes. A
17 10-kilogram lot was blended for 15 minutes for the
18 blender during the development but there was a
19 dramatic change in dissolution from 95 percent
20 dissolved in 10 minutes to 90 percent dissolved in
21 45 minutes because of that blend time.

22 Under these conditions, the resulting
23 capsules conform to an in vitro dissolution
24 acceptance criteria of Q75 percent 45 minutes when
25 they blended the 10-kilogram in batch for 15

1 minutes.

2 [Slide.]

3 Now, for scale-up, the initial trial for
4 scale-up utilized a batch size of about 570
5 kilograms. H&K--that is a type of capsule-filling
6 machine, a V-blender, and the mixing time was set
7 to 15 minutes. The result of the first scale-up
8 experiment was very poor dissolution.

9 Now, overblending with magnesium stearate
10 was suspected and they did some experiments to see
11 if it was the case or not. It was not the blender.
12 So overblending was not occurring in the blender.

13 Now, the concept of overblending
14 essentially is you are coating the particle with a
15 hydrophobic substance, and this has been known for
16 30, 40 years, and a lot of papers have been
17 published on it and there is a fairly decent
18 understanding of what that process is.

19 Now, during encapsulation of H&K machine,
20 powder was being sheared during the tamping steps
21 resulting in an unacceptable dissolution rate.
22 Using a simulation approach, these authors found an
23 optimal amount of magnesium stearate to be 0.3
24 percent for this new machine, from Zanasi to H&K.

25 So that is how they managed that.

1 [Slide.]

2 Now, how relevant is this example? I
3 think it is fairly relevant. Magnesium stearate is
4 99 percent of the formulations. It is everywhere.
5 Literally every solid product has that. If you
6 really look at it, the change is a fairly common
7 change. Pavan had also done a survey of types of
8 machines being used in development and
9 manufacturing.

10 The choice of encapsulation equipment
11 design, this was a dosator type, is about equally
12 divided in among the companies we have. About 18
13 percent of companies use both types of machines.
14 About 40 percent use only one type of machine. 64
15 percent use equipment of the same design and
16 operating principles for development and pilot in
17 production. About 18 percent develop pilot
18 formulations and equipment of different design and
19 operating conditions.

20 Now, your formulation has to be tailored
21 for equipment of different designs. In today's
22 global economy, developing capsule formulations
23 that can be encapsulated on equipment of different
24 design can be an advantage. Do we recognize that
25 today or not?

1 [Slide.]

2 Now that was sort of a background on what
3 were the changes. Now, how would we regulate that
4 change. So what is the SUPAC change category for
5 this?

6 With respect to magnesium stearate and IR
7 products, SUPAC IR guidance recommends a
8 quantitative change to the extent of plus-minus
9 0.25 percent be considered as Level 1 change and
10 within plus-minus 0.5 percent considered as Level 2
11 change.

12 In this example, the target amount of
13 magnesium stearate was 1 percent and was changed to
14 0.3 percent which exceeds the recommended level of
15 Level 2. Therefore, this is the Level 3 change,
16 high risk.

17 [Slide.]

18 How do we manage that? It is a prior
19 approval supplement and we required stability
20 tests. Now, we have a concept of a significant
21 body of information available. So, if this is a
22 new product, we don't have that. If we don't have
23 that up to three batches with three months
24 accelerated stability data reported in a
25 supplement, one batch on long-term stability data,

1 we put it in an annual report.

2 Now, the concept of a significant body of
3 information, do we really evaluate that
4 information? What is this information? It is
5 simply the time. Dissolution documentation is Case
6 B dissolution which is a profile, so the F2 kicks
7 in. In in vivo bioequivalence documentation, full
8 bioequivalence study is required.

9 [Slide.]

10 How do we think about this problem in a
11 process understanding as a means for mitigating
12 risk? From a CMC perspective, we have a
13 two-pronged approach to mitigating risk; testing,
14 to make sure things work out, plus reporting
15 requirements. I believe process understanding may
16 be used to address both.

17 For example, in one case, you can use
18 process understanding to reduce reporting
19 requirements while maintaining the same testing
20 requirement. So you have determined this to be low
21 risk that we don't have to see the data. That
22 means the company would qualify and make those
23 changes but keep the data at site so that our
24 inspectors will--and make sure they have done that.
25 Or you can both reduce reporting requirements and

1 testing requirements.

2 [Slide.]

3 Now, the likelihood and severity of the
4 consequences of this. In this example, a focus on
5 process understanding will ask, what is the risk of
6 shorter shelf life? What is the mechanism of
7 degradation? Not recipient/excipient
8 comparability, moisture control, and so forth.

9 Now, keep in mind, this is drug. 99
10 percent is drug. 1 percent is magnesium stearate
11 or 0.3 percent is the change situation. So what is
12 the aspect that will affect shelf life is the
13 question. So you simply bring your preformulation
14 information to bear on the decision to estimate a
15 risk and the risk, if the drug is not hydrolyzed,
16 is stable in essential conditions, what is the risk
17 of changing shelf life? Probably not.

18 Then you ask the question, what is the
19 risk of bioinequivalence? Now, you have several
20 studies in the NDA, if it is an NDA, solution was
21 established, and so forth, so you have a fairly
22 good idea whether the dissolution is rate limiting
23 or not because, keep in mind, this observation of
24 changing dissolution was only a changing
25 dissolution. They had no idea that it had any

1 relevance to in vivo at all or not because this
2 drug is actually extremely highly soluble.

3 So, all this exercise may be for naught
4 because of uncertainty because it may not have any
5 in vivo relevance at all. So we are going through
6 this exercise in absence of that information.

7 So how reliable is the dissolution test is
8 another question because the dissolution test has
9 its limitations. How are the factors that affect
10 dissolution controlled? So I think you start
11 thinking in those terms rather than simply
12 providing three batches and so forth.

13 Now, keep in mind if you rely on three
14 batches of accelerated data, what is that telling
15 you? A dilineous question was never intended for
16 predicting changes in physical attributes. In
17 fact, for a complex physical-chemical system like
18 this, how accurate is the dilineous equation is the
19 question.

20 [Slide.]

21 Now I do want to sort of emphasize the
22 regulatory policies have to support innovation,
23 have to support good science. Now, I am going to
24 tell you something which I think might be
25 controversial.

1 Change management strategies and risk.
2 Likely to be based on a number of technical and
3 economic factors, companies wanting to make this
4 change would have made that assessment. An
5 important consideration of this decision should be
6 an understanding of impact on product performance
7 and the risk of product failure. In this case,
8 failure to meet established dissolution and other
9 specifications during routine production.

10 What I would postulate--I published this
11 two years ago, three years ago, so it is already
12 out there--it is postulated the risk of product
13 failure during routine manufacturing is likely to
14 be in the order (a) greater than (b) greater than
15 (c). (a) is reduced shear on powder by adjusting
16 the pin setting on H&K. So, in this setting, I
17 will keep my formulation the same and try to tweak
18 my machine to sort of manage that. That is a high
19 risk because changing the pin setting can change
20 overproduction run and so forth and there is a
21 chance of failure.

22 Or, two, is optimize or reduce the level
23 of magnesium stearate to satisfy content uniformity
24 and dissolution acceptance criteria. That is what
25 the company chose. So they reduced that.

1 The third option could be, which is a
2 well-proven option, change formulation to
3 facilitate plug formation and/or minimize
4 undesirable effect of magnesium stearate. Example,
5 addition of a wetting agent such as sodium lauryl
6 sulfate.

7 So those are three attributes. Now, with
8 the SUPAC, as we released it in 1995, there were no
9 multiple changes allowed. In fact, if I was the
10 company trying to minimize the regulatory burden, I
11 probably would be forced to opt for Option 1 which
12 will not be the right option. But it had the
13 lowest regulatory scrutiny.

14 So, if you look at the risk order, if you
15 agree with my postulate, then the regulatory risk
16 requirement is just the opposite. The better the
17 formulation is robust, the more requirements you
18 have because, if you simply reduce the shear by
19 adjusting the pin setting, you probably won't
20 require anything, not bio-study, nothing of that
21 sort.

22 If you optimize that, now it would not be
23 required by our study. Now, if you put in sodium
24 lauryl sulfate, it would be definitely required by
25 our study. So the risk regulatory requirements in

1 this example are inversely related.

2 [Slide.]

3 So what is the risk of bioinequivalence?

4 I think you have to bring the clinical perspective
5 here and there are differences between an NDA and
6 ANDA. What that means is if this is an NDA, the
7 clinical decision could be if it doesn't meet 80 to
8 125, there is no problem. It is approved.

9 But if it is ANDA, you have to meet 80 to
10 125. What is the logic of that, I think, is always
11 a challenge. Postapproval, things are different.
12 You have to bring biopharmaceutics considerations,
13 drug substance, drug product attributes, absorption
14 mechanisms to say how the failure modes are. And
15 the relevance of dissolution test comes back again.

16 [Slide.]

17 Now, is this example, this is a quotation
18 directly from USP, some observations on the
19 dissolution tests that these authors used. This is
20 what they call USP First Case Dissolution. This is
21 a direct quote from USP. "There is no known
22 medically significant bioinequivalence problems
23 with articles where 75 percent of an article is
24 dissolved in water or acid at 37 degrees in 45
25 minutes in the official basket or paddle apparatus

1 operated at the usual speed; that is, USP First
2 Case." And this is exactly what it is.

3 The majority of monographs have that.
4 "USP First Case is recognized worldwide, they say,
5 as an alternate to in vivo testing. It obviates
6 wasteful bio-studies. Importantly, medically
7 significant cases of bioinequivalence rest mainly
8 on four causal factors; inappropriate particle size
9 of an active ingredient, magnesium stearate in
10 excess as a lubricant or glidant; coatings,
11 especially shellac; and inadequate disintegrant.
12 Each of these factors is reactive to dissolution
13 testing."

14 True, but that reactivity is so great,
15 oftentimes it is not a predictable sort of test
16 method from that perspective.

17 [Slide.]

18 Going to Pavan Heda's Ph.D.'s thesis, what
19 he had done at the University of Maryland. Now,
20 formulation attributes for optimal encapsulation on
21 machines of different designs vary. We know that.
22 Changing from Zanasi to H&K requires a reduction in
23 the amount of magnesium stearate. We know that
24 because of the way the machines are designed.

25 To maintain a low-weight variation optimum

1 value of the flow is different, powder flow is
2 different for the two machines. And, based on the
3 available science of, say, the plug ejections and
4 other aspects, a relatively low level of lubricant,
5 about half is sufficient for H&K compared to
6 Zanasi. So these rules have essentially been
7 emerging and this type of information is always
8 there. But we don't use that in our decision
9 making.

10 [Slide.]

11 Now, I will sort of end my presentation
12 with the last option I said which is probably the
13 lowest risk. Recognizing robust formulations with
14 respect to, say, for oral blending. Do we have
15 this information? I think we do. In an
16 FDA-sponsored study, it was found that the impact
17 of magnesium stearate on drug dissolution and
18 bioavailability of piroxicam, a low solubility
19 drug, from capsule formulation, was negligible
20 because sodium lauryl sulfate and piroxicam were
21 the only significant factors. The key here is that
22 the mechanism by which magnesium stearate affects
23 dissolution is the hydrophobicity it puts on the
24 particle.

25 So, if you have a surfactant, it sort of

1 overcomes that. And the right of amount of
2 surfactant, you negate the impact of magnesium
3 stearate or blending.

4 [Slide.]

5 If you really look at all the formulations
6 we have approved at FDA, this is the list of all
7 the inactive ingredients. Again, I had done this
8 several years ago. All the formulations have
9 magnesium stearate and about 50 percent of the
10 formulations also have sodium lauryl sulfate. So
11 this formulation strategy which is robust, makes
12 the process more robust, to manufacturing changes,
13 magnesium stearate effect, and so forth, has
14 already been practiced but not recognized.

15 So that is sort of a thought process how
16 we could move forward. You have one approach which
17 is based on the current way of thinking but then a
18 more flexible approach where the sponsors, the
19 companies, can use this information to make a more
20 rational decision. What may be low risk or high
21 risk today, with process understanding, can be
22 managed in a low-risk world.

23 The example was a simply example but I
24 think the concept is applicable to any dosage
25 forms.

1 Thanks. Questions?

2 DR. KIBBE: We always like to ask
3 questions. Gary, do you have a question?

4 DR. HOLLENBECK: Ajaz, on your slide
5 quoting the USP, the first line there is the one I
6 always use, but I thought you contradicted that
7 yesterday when you were talking about observing
8 both types of error in dissolution testing. Is
9 that--

10 DR. HUSSAIN: No. That is the reason I
11 said these are observations.

12 DR. HOLLENBECK: Oh, okay. So you don't
13 really agree with that observation.

14 DR. HUSSAIN: No.

15 DR. KIBBE: Anybody else? Pat?

16 DR. DeLUCA: Your fifth slide on
17 postapproval changes, does this apply to the
18 innovator or does it also apply to--

19 DR. HUSSAIN: Everywhere.

20 DR. DeLUCA: So this would also apply to
21 generic.

22 DR. HUSSAIN: Right.

23 DR. KIBBE: But, again, this is early
24 thought process. We will sort of evolve these
25 thought processes working collaboratively. For

1 example, ICH is starting to look at the development
2 reports and I think we want to make sure those
3 activities get to assessment of risk and bring some
4 of these considerations into that.

5 What we will be doing here internally at
6 FDA is, at the Manufacturing Subcommittee, Judy
7 Boehlert reported to you, we will be trying to
8 bring these concepts within the framework of the
9 comparability protocol so that companies who
10 already have this information can actually create a
11 comparability protocol, one-time sort of
12 application, and then subsequently you don't need
13 some of these postapproval supplements later on.

14 DR. KIBBE: Let me just ask a question for
15 my own gratification on the last slide where you
16 had a beautiful bar graph running to the right
17 there and it said, "Magnesium stearate, number of
18 excipients, 10." That means that the products--

19 DR. HUSSAIN: These are the most common
20 excipients, the top ten excipients.

21 DR. KIBBE: So it is the tenth most?

22 DR. HUSSAIN: No; it is not. If you look
23 at the number of submissions I looked at--

24 DR. KIBBE: Yes; but I am trying to
25 understand 2, 4, 6, 8, 10.

1 DR. HUSSAIN: Forget that.

2 DR. KIBBE: Okay. I'm sorry. It has
3 nothing to do with anything; right?

4 DR. HUSSAIN: Right.

5 DR. KIBBE: It just showed up because that
6 is the way XL plotted it.

7 DR. HUSSAIN: Just a placeholder. That's
8 all.

9 DR. KIBBE: Oh; it's just a placeholder.
10 Okay; so the magnesium stearate is in all 500
11 percents?

12 DR. HUSSAIN: Yes.

13 DR. KIBBE: Then some of the products also
14 had titanium dioxide but they are not different
15 products?

16 DR. HUSSAIN: No; these are just a
17 compilation of all products and the most common
18 excipients used in capsules.

19 DR. KIBBE: But it is possible, then, that
20 magnesium stearate would be in 500 products and
21 sodium lauryl sulfate would be in 250 products and
22 they are not overlapping products.

23 DR. HUSSAIN: Oh; they are overlapping.
24 These are the whole set. So if you have magnesium
25 stearate in all 500, and then you have half of

1 those formulations have sodium lauryl sulfate.

2 DR. KIBBE: Okay; that is what I wanted to
3 know. All right. Good. Go ahead Gary.

4 DR. HOLLENBECK: Let's take your example.
5 You have been manufacturing on a Zanasi and you
6 want to switch to an H&K. Can you give us an idea
7 of what kind of a priori information you might have
8 built into your development so that you could just
9 go ahead and do that without any supplement or
10 biotest?

11 DR. HUSSAIN: Well, I think the question
12 of biotest doesn't come if you don't change
13 components and composition. So, if you change the
14 formulation, then the bio thing kicks in. But if
15 you are just changing the machine, there is no bio
16 requirement.

17 DR. HOLLENBECK: Isn't that a machine with
18 a different design and operating principle?

19 DR. HUSSAIN: True. But it is still a
20 Level 2 change. There is no bio--there is a
21 multi-KC dissolution, multi-media, and so forth.

22 DR. HOLLENBECK: So, a prior, what
23 information would you have built into your
24 development?

25 DR. HUSSAIN: There are several aspects to

1 this in the sense we could approach it from a
2 generalized perspective saying that when you go
3 from Zanasi H&K, you know the attributes, the
4 machine designs, are different and these are the
5 general principles for doing this.

6 So if you have one approach would be a
7 generalized approach that is well recognized
8 through a mechanism such as PQRI, they can develop
9 that. Then we just adopt that. Or a company would
10 simply say, our experience with so many different
11 formulations that we have transferred from Zanasi
12 to this, this has been the--so these are the rules
13 that have emerged within our development program.
14 So we predict that this is what it will be.

15 So you build that understanding there. As
16 I said earlier, you have two options now. We can
17 use that knowledge if it is--how reliable that
18 knowledge is, how predictive that knowledge is, can
19 determine whether we reduce the testing and
20 reporting requirements or just reduce reporting
21 requirements. So you have that flexibility there.

22 DR. KIBBE: Nobody else? Thank you.

23 Moheb?

24 Issues and Challenges

25 DR. NASR: Good morning.

1 [Slide.]

2 I started my new assignment about four
3 months ago so I am new on my job. I am here to
4 learn and to ask questions. I hope I can come to
5 you and before this committee in the next few
6 months to share with you some of the initiatives we
7 have at the Office of New Drug Chemistry within the
8 Office of Pharmaceutical Science.

9 [Slide.]

10 We have a lot of initiatives and my first
11 thing I have done in the last few months is to go
12 through an assessment process of the initiatives
13 before the Office. One of the initiatives is the
14 CMC Risk-Based Initiative. The questions I had in
15 mind are very similar, if not identical, to the
16 questions you raised this morning and some
17 people raised as well in many public fora.

18 I hope I can come again a few months from now and
19 share with you where we are and seek your advice
20 how to move forward with some of these initiatives.

21 If you look at the current initiative and
22 being product specific, being narrow to some extent
23 as Yuan-yuan indicated this morning, it is, to some
24 extent, a conservative approach to deregulate
25 postapproval supplements. This is the way we

1 should move or not? This is flexible enough? Does
2 it really deal with some new sciences and
3 technologies? Does it encourage or inhibit or
4 maintain innovations? All these questions, we
5 would need to examine in order to proceed at a much
6 faster base.

7 [Slide.]

8 This is not a new slide. It is the same
9 slide that Judy had yesterday and Ajaz presented
10 many times in the past. It is very much outlines
11 the desired state of enhancing and improving the
12 quality, pharmaceutical quality and pharmaceutical
13 drug products.

14 [Slide.]

15 As you heard this morning, and in many
16 meetings before, the initiative focused on
17 risk-based CMC and the current proposal was
18 evolving over many years. It was an excellent
19 effort and forward thinking by Yuan-yuan and her
20 group at that time. It is a multi-tiered, that is
21 product and/or process-specific.

22 The challenge we have today is the
23 following. We have the product quality for the
24 21st Century Initiative. That is a multi-faceted
25 and much bigger initiative. It does not address

1 CMC issues separate from the global quality
2 picture. We are dealing with an integrated
3 approach of both CMC and manufacturing, as we
4 should, and we should have been doing that years
5 ago.

6 We are dealing with quality by design,
7 information that will come to the agency to allow
8 for better and more science-based assessment during
9 the review process if we have that information
10 ahead of time and being able to evaluate
11 pharmaceutical development reports. Other than
12 that, what we have been doing is trying to do the
13 best we could, trying to be fairly conservative,
14 fairly restrictive, use the data not necessarily
15 the best science to set specification.

16 And we are dealing with some new and
17 proposed approaches, interim specification,
18 postapproval comparability protocols and so forth.

19 The question that I have in mind and I am
20 seeking your advice and help this morning are the
21 following. And we have to really think outside the
22 box in order to be able to move forward. Does the
23 proposal, as you heard it today and discussed
24 before you many times in the last few years, does
25 it really fit into the global Product Quality

1 Initiative. If it does, how can we integrate this
2 proposal into the Product Quality Initiative. Do
3 we use it as a step and then we change it later on
4 and expand it later on, or, if we address and we
5 look at quality by design and the general
6 manufacturing issues that Ajaz outlined this
7 morning and in the past few years, we should stop
8 and rethink where we are and how to move forward.

9 These are the two questions that I have in
10 mind that I really need your help and assistance in
11 order to move forward with this proposal.

12 Thank you. Questions? Suggestions?

13 DR. KIBBE: Gary's got the answer.

14 DR. HOLLENBECK: Certainly not the answer.

15 But I understand the agency's focus on postapproval
16 change. It made sense when we started. It perhaps
17 was the easiest target, you knew the most about
18 those products. But I really don't think you are
19 going to have the kind of impact that you want to
20 have until these initiatives really penetrate the
21 development of new drug products.

22 Perhaps the PAT will do that. I do
23 believe that is where you are going to see new
24 equipment, new processes and new thinkings. But I
25 think there really needs to be a movement, an

1 incentive and a focus on things other than just
2 postapproval change.

3 DR. KIBBE: Anybody else? I see we are
4 all filled with energy this morning, vim, vigor and
5 vitality. We are really being helpful, aren't we?

6 DR. HOLLENBECK: All right. I will throw
7 one more in, Art.

8 DR. KIBBE: Thank you, Gary.

9 DR. HUSSAIN: If there is a lull here. I
10 was intrigued by the 80 percent number that I heard
11 this morning which might just totally contradict
12 what I said. But if, indeed, you are looking at
13 something which could influence--first of all, 80
14 percent of what? What was that number?

15 DR. CHIU: Vilayat said 80 percent of the
16 solids. I think that is a little bit optimistic.

17 DR. HOLLENBECK: Okay.

18 DR. NASR: It is not 80 percent of
19 everything. It is 80 percent of solid dosage
20 forms. It was 80 percent. As Vilayat said this
21 morning, I don't think I would have asked these
22 questions. It would have been a worthwhile effort
23 that we should move forward with.

24 DR. HUSSAIN: I seriously am not sure
25 whether we can achieve that.

1 DR. CHIU: The thing is many of the
2 products are old. They do not have current
3 specifications. So, therefore, there is probably
4 work to do to first update the specifications.

5 DR. NASR: One important aspect is in the
6 current proposal, the agency will publish a list.
7 So, basically, they will industry which product we
8 consider low risk. Another approach, which may be
9 a better approach or a different approach, is we
10 set the framework for what we consider to be low
11 risk and then we let industry make a suggestion
12 based on our criteria. We establish the criteria
13 and industry will provide submission, will submit
14 to the agency, requests for regulatory relief based
15 on process understanding and based on the process,
16 itself, rather than being product specific.

17 DR. CHIU: That is a separate project. I
18 said earlier on, this is the current thinking.
19 Then there will be the expanded project that will
20 be including process understanding. The first part
21 is to really look at the intrinsically low-risk
22 product. And the proposal of the quality
23 attributes derived from the internal evaluation of
24 more than 60 products. We believe those 60
25 products are low risk. We use that to come up with

1 the quality attributes. That is the reason we will
2 propose a drug list and that is a proposal.
3 Industry can then add it on to other products to
4 the list when they believe it meets the quality
5 attributes.

6 The finalized quality attributes already
7 have the input because the first tier is the
8 published, the draft quality attributes. So
9 therefore, together, the agency and industry will
10 have a final list.

11 In terms of understanding our process
12 there is a very important factor that it will
13 become company-specific. It is not
14 product-specific. The first definition of the
15 lower left corner low-risk product is
16 product-specific. And then the next one would be
17 company-specific because, even though the same
18 product, some companies do more developmental work.
19 They know their process well. Some companies
20 don't. So that would be a separate project. We
21 are not mixing the two together now.

22 DR. KIBBE: I have Marv and then Wolfgang.

23 DR. MEYER: One comment and one question.
24 I think your approach is good. I think starting
25 slow and cautiously with postapprovals, get your

1 feet wet, see how it works, gain some experience
2 and then move on is the right thing to do.

3 Not that this should deter you, but I
4 wonder how many citizens petitions will be filed
5 claiming you allowed a company to do something
6 because they convinced you it was low risk and, in
7 fact, it wasn't from the innovator's point of view.

8 DR. CHIU: That is really a concern. That
9 is why our first project is product-specific not
10 company-specific. When we reach to
11 company-specific, I think it will create a huge
12 concern. Some companies will probably think they
13 have been treated unfairly. So we will have to be
14 very careful to define the criteria to say, those
15 are the criteria and, if you meet those criteria,
16 you understand your process.

17 I think now ICH activity under the
18 pharmaceutical development and also the risk
19 definition will help to define that scope.

20 DR. KIBBE: Wolfgang

21 DR. SADEE: To add a question to that.
22 How do you select the first set of 60 products or
23 drugs?

24 DR. CHIU: Internally, we have surveyed
25 our reviewers. Through their experience of review,

1 the IND, the NDA, the ANDA and the supplements,
2 they understood the products. Through their
3 evaluation, they believed those products are of low
4 risk. That's how we had the candidates.

5 DR. KIBBE: So it your internal opinion.
6 Let me just ask a couple of questions. Have you
7 considered adding to your criteria the total number
8 of ingredients in the product? My concern is that,
9 even though there might be only one active
10 ingredient, if a product has two excipients and the
11 next one has seven or eight, then the probability
12 of changing one and changing the sequence of it,
13 reactions might go up and it depends on the system
14 and the formulation.

15 So I don't know whether you want to factor
16 that in. The other thing I noticed in one of the
17 presentations is that we have a plus-or-minus
18 change which makes it a Level 2 and yet, when I
19 look at that magnesium stearate, which is a
20 beautiful example, the risk of decreasing magnesium
21 stearate only affects manufacturability. It won't
22 affect dissolution.

23 The risk of increasing magnesium stearate
24 might benefit manufacturability but will definitely
25 interfere with dissolution. Magnesium stearate is

1 a wonderful example but it is the only one because
2 most of your other lubricants don't laminate. They
3 don't coat. You can put them in earlier in the
4 system. You can blend them longer. You can do all
5 sorts of things with them.

6 In fact, you can substitute sodium lauryl
7 sulfate as a lubricant for magnesium stearate 100
8 percent because it is a lubricant and a wetting
9 agent. So you are going to have lots of good
10 studies with magnesium stearate because it is a
11 problem.

12 DR. HUSSAIN: 97 percent of the products
13 have magnesium stearate.

14 I think we are going to ask why, I think
15 that is the key question, why. I think people are
16 comfortable, in fact, magnesium stearate probably
17 is the most problematic of all the lubricants out
18 there.

19 DR. KIBBE: It's the oldest.

20 DR. HUSSAIN: It works well for its
21 purpose and people have learned how to use it in
22 spite of its challenges.

23 DR. KIBBE: It is because it was used
24 first and no one wants to be different, and that's
25 what we learned. I mean when I learned

1 manufacturing years ago, I mean we were told this
2 is the lubricant, magnesium stearate, so we said
3 okay, and then you find out it has got enough
4 problems to choke a--but you still use it.

5 DR. SHEK: Maybe you have to change the
6 way you pick your stearates.

7 DR. DeLUCA: Under your proposal, on a
8 product basis, classifying as low risk, then, a
9 product like furosemide where there is 12 or more
10 generics out there on that, so that would then
11 include the product, all of those forms.

12 DR. CHIU: Exactly, and when we look at
13 the products, actually, one product, I think there
14 are more than 10 generic manufacturers, so we look
15 at all the NDAs to form that product, so together
16 we look at more than 200 applications for those
17 products.

18 DR. MEYER: Are combination products in
19 that list somewhere?

20 DR. CHIU: No, combination products is
21 not. We have specified only one single active
22 ingredient, but if it's isomers, they are included,
23 but if they are two different active ingredients,
24 they are not included.

25 DR. KIBBE: Anybody else?

1 I don't see anybody anxious to talk. Is
2 there any?

3 DR. HUSSAIN: Well, I think that's what I
4 think which is important to consider is, in a
5 sense, the risk focus has been there, I think with
6 SUPAC and before SUPAC, and I think we have been
7 thinking about post-approval changes from two
8 perspectives.

9 I think Janet Woodcock doesn't like
10 supplements, that is one aspect, but I think the
11 other aspect is, in a sense, you have to think
12 about changes and innovation, and change is not
13 always bad, but I think change brings risk, and how
14 do you manage that is the key.

15 We spend 30 to 40 percent of the resources
16 on just supplements, reviewing supplements, and so
17 forth. So, I think the thought process of starting
18 in post-approval I think is clearly an important
19 aspect that allows us to be more flexible, allows
20 us to progress the thought process, progress the
21 science more, and eventually, the practices we have
22 in post-approval permeate back into the drug
23 development anyway.

24 But I think the key aspect is criticism
25 that we already have heard from industry about this

1 proposal is no matter how complex the situation
2 might be, there are ways to mitigate that risk,
3 ways to manage that risk, ways to control the
4 process, and the first proposal does not recognize
5 that, and we clearly understand that, but the
6 limitation is we don't have the information that
7 gives us comfort to evaluate the mitigation
8 strategies to our satisfaction.

9 So, from that perspective, I think the
10 proposal you heard from Vilayat and Yuan-Yuan
11 essentially takes a step forward from that
12 perspective, at least going back retrospectively
13 looking at the history, learning from the aspects
14 of what the failure modes were and then making a
15 judgment what is high risk and low risk, that
16 proposal.

17 That aspect I think what we have to be
18 cognizant is, that is the second and third tier is
19 built into this model, is the GMP.

20 Now, a low-risk product can be made high
21 risk if not manufactured right, and so forth, so
22 that model sort of protects that. The second tier
23 is the clinical aspect and bioaspect, which this
24 group has not looked at, so that will be added on,
25 so the process will sort of continue.

1 Also, if you really look at it in the
2 sense Yuan-Yuan had presented this earlier on, we
3 have an OTC, over-the-counter drugs, where the
4 restrictions are much less from a post-approval
5 change perspective, so you are looking at a
6 evolving model.

7 Now, with the process understanding and
8 process focus, clearly, I think the products which
9 are excluded from this proposal, even some modified
10 release, and so forth, it makes sense to sort of
11 bring that under that scenario, as well as new
12 dosage forms, new products coming into development
13 itself right now.

14 So, the two essentially can run in
15 parallel, but the key to success is integrated
16 systems thinking between CMC review and inspection.
17 I think that is how it will have to evolve, because
18 one of the objectives I think we will have, we are
19 working on quality systems for the CMC review
20 process.

21 If you look at it from a systems
22 perspective, who are the customers of the CMC
23 review process, internal customers, one is the
24 clinicians, because the quality has to link to the
25 safety and efficacy.

1 The second customer, in my mind, is also
2 the inspection, because the CMC review process
3 essentially has to identify the risk associated
4 with a given process, and then the inspection
5 program to focus on the higher risk, so that is how
6 the integration will hopefully evolve in my mind.

7 Then, I think on the new drug side, I
8 think we also have other customers that they have
9 to link to internally, the chemistry focus, so that
10 is how I think things will start evolving, but
11 without the right information, chances of making
12 progress are limited.

13 One of the concerns I have with the first
14 proposal is simply that I hope, we need to make
15 sure it is not inhibiting innovation, and so forth,
16 because if you simply start defining what is low
17 risk from this perspective, then, innovation and
18 new technology can get affected, so we will sort of
19 monitor that process very carefully.

20 DR. KIBBE: Thank you.

21 DR. DeLUCA: The tier 1 just applies to
22 the immediate release solids. What I wanted to ask
23 was are you going to be including, let's say,
24 sterile solutions to lyophilized product.

25 DR. CHIU: No, the tier 1's include

1 immediate release solids and oral topical
2 solutions, as well as simple sterile salt solutions
3 like salines and nothing else.

4 DR. DeLUCA: No drugs.

5 DR. CHIU: No lyophilized powder, only
6 those three categories.

7 DR. DeLUCA: Because I can see where you
8 put your excipients up there and you had magnesium
9 stearate in 97 percent of the solid form. With the
10 lyophilized product, mannitol is used in the
11 majority of the products as a bulking agent, and in
12 many of these cases, there is probably too much
13 mannitol placed in that, and it doesn't have any
14 effect on the therapeutic use of it, because it is
15 dissolved, reconstituted when it is going to be
16 used.

17 But from the standpoint of processing, it
18 can make a cycle a lot longer. If you can reduce
19 the amount, you can reduce the cycle time of that,
20 so I am just wondering where this would fit into
21 this type of a plan.

22 DR. CHIU: We did not include lyophilized
23 powder because the lyophilization process is a
24 little bit more complicated, and we thought as the
25 first step we would just include solutions rather

1 than lyophilized powder.

2 DR. KIBBE: Okay. We are a little ahead,
3 which will give us some extra time for some more
4 discussion later.

5 The next topic, of course, is
6 Nomenclature, but before that, there is listed a
7 break. In light of the fact that the topic right
8 after it is Nomenclature, we will now not take a
9 break, but take a small intermission.

10 I have five to 10:00, so by my clock,
11 let's be back here at ten after 10:00 and perhaps,
12 since I know the first speaker is sitting there, he
13 is ready, so we will go from there.

14 [Break.]

15 DR. KIBBE: I believe we are leading off
16 with Dr. Nasr.

17 Nomenclature

18 Proposals for Resolving Issues and Challenges

19 DR. NASR: Good morning.

20 [Slide.]

21 The second topic for discussion this
22 morning, it may appear to some as being a fairly
23 simple topic and maybe not too scientific, however,
24 it provides us with major challenge.

25 What I am going to try to do this morning

1 is the following: We are going to have three or
2 four parts to this presentation. We are going to
3 try to outline some of the issues and challenges
4 that we have in assigning developing new dosage
5 forms, some of the challenges we have with some of
6 the existing dosage forms, the relevance, the
7 science basis for such development and assignments,
8 the impact of pharmaceutical dosage form, and the
9 whole presentation is basically from the FDA
10 perspective.

11 We may come back to you later on where we
12 invite other people who play a significant role in
13 the development and regulatory issues with dosage
14 forms, such as the United States Pharmacopeia and
15 others, at a later date.

16 This is a very much one topic that will be
17 illustrated by a couple of case studies. The first
18 one will be oral disintegrating tablets, and the
19 second is a brief and quick update on the topical
20 dosage forms that we discussed earlier.

21 Four presentations will be made. I will
22 give you an overview and the scope of this
23 presentation, and then Dan Boring will talk about
24 the FDA perspective on nomenclature, and the focus
25 today is just dosage for drug product nomenclature.

1 Dr. Holcombe, from the Office of Genetic
2 Drugs, will lead the discussion on some of the
3 issues and challenges on oral disintegrating
4 tablets, and Dr. Lucinda Buhse will update you on
5 the discussion that she started here in March of
6 this year and the efforts that she had made and the
7 progress made since that time.

8 She will try, in five minutes or less, to
9 bring all this together and hopefully, will have
10 enough time for discussion and to seek your advice
11 and counsel.

12 [Slide.]

13 Pharmaceutical dosage form and
14 nomenclature pharmaceutical dosage form has a major
15 impact on regulatory decisions, marketing, drug
16 development, and the public.

17 Nomenclature development, there are
18 several scientific and regulatory challenges that
19 we deal with, and I am trying to share with you
20 this morning some of the issues that we deal with
21 here at the Agency and to frame the discussion that
22 we are going to have at the end in order to receive
23 your input.

24 How do we do it right the first time when
25 a new dosage form is proposed to the Agency, how do

1 we get that right the first time? What do I mean
2 by that?

3 Is a new dosage form needed or is it just
4 a minor modification in an existing dosage form
5 that can be handled simply by labeling? How to
6 establish definitions and the criteria for new
7 dosage forms? Do we need to have that many dosage
8 forms for tablets, oral disintegrating tablets,
9 rapidly dissolving tablets, and on and on and on?

10 [Slide.]

11 These issues are being addressed through
12 the coordination with different organizations and
13 stakeholders. The definitions, how accurate that
14 definition reflect on these dosage forms, how
15 descriptive and quantifiable the attributes need to
16 be? The need to refine and/or replace some older
17 dosage forms, and another issue by itself that is
18 worthy of our discussion here is the pharmaceutical
19 equivalency issue and approval of generics, and so
20 forth.

21 [Slide.]

22 I am trying to frame four important
23 questions that I am placing before you this
24 morning. There is no need to answer these
25 questions at this time, but after the presentation,

1 I will appreciate if you keep this in mind, so we
2 can come back to these questions and hopefully have
3 answers that will guide us at the Agency in moving
4 forward with the issue of pharmaceutical dosage
5 form.

6 The first question is: What are the
7 factors that the Agency should consider in
8 determining whether a new dosage form name is
9 warranted, and how such a dosage form should be
10 defined? A very broad question.

11 The second is: Is it reasonable or useful
12 to include a quantifiable attribute when defining a
13 dosage form or distinguishing between closely
14 related dosage forms where appropriate? Can such
15 an approach be viewed either as too arbitrary in
16 some cases or too restrictive and rigid in other
17 cases?

18 [Slide.]

19 Is the proposed criterion that will be
20 outlined by Frank this morning of defining oral
21 disintegrating tablet based on in vitro
22 disintegration time of less than 60 seconds
23 reasonable or not?

24 Has the update that Cindy will provide and
25 share with you this morning on topical dosage form

1 addressed some of the questions and the comment
2 that was raised by you in the March meeting this
3 year?

4 So, these are the four questions that I am
5 asking you to consider and provide us with an input
6 that we can use to move forward with that critical
7 issue.

8 With that, I am going to ask Dr. Dan
9 Boring to come to share with you the FDA
10 perspective on pharmaceutical dosage form.

11 Dan.

12 FDA Perspective

13 DR. BORING: Since I am from Texas, I am
14 going to have to say good morning y'all and hope
15 that you have had a good day so far.

16 It is my job to acquaint you with some of
17 the FDA perspectives that are different than a lot
18 of the things that you, as scientists, have to deal
19 with.

20 Moheb said that nomenclature is not
21 strictly a scientific venture, and that's true.
22 That is what makes it more interesting to me is
23 that not only is there science involved, there is
24 semantics, there is terminology, there is many
25 different aspects that have to be addressed.

1 [Slide.]

2 The participants, the groups that are
3 involved in developing nomenclature, particularly
4 for dosage forms, are many. There are scientific
5 folk who are involved in development of
6 nomenclature. These are innovators, the research
7 and development folks who come up with new and
8 novel ways to deliver drug to patients.

9 They also have the marketing folks who
10 clearly want to have some kind of a new dosage form
11 or a new name for a dosage form that could possibly
12 establish a niche for their product using a
13 proprietary technology.

14 There are the legal folks involved in
15 this, the intellectual property folks, because the
16 dosage form name that may be selected for a
17 particular dosage form is going to have a string of
18 letters that they may want to use in their
19 proprietary name at some point, such as the orally
20 disintegrating tablet that Dr. Nasr referred to, of
21 course has appeared in many proprietary names as
22 ODT, and the marketing and intellectual property
23 folks are going to be interested in that even
24 though, of course, the names that we are talking
25 about are public domain. These are

1 non-proprietary.

2 The most important two groups, though, are
3 the health care providers and the patients. These
4 are the ones who ultimately select the particular
5 medication for the patient, and the patient has to
6 take it at the end of the day, and they have to be
7 compliant.

8 [Slide.]

9 Well, the first challenge from our
10 perspective, from a regulatory perspective, is that
11 we are in a quandary as to what exactly the
12 established name for a drug or a drug product is.
13 The Act itself states only "drug." When an
14 established name is defined in the Act, it says a
15 drug, "The established name for a drug shall be..."
16 and it gives three different provisos for that, I
17 am not going through this.

18 But the primary question at the beginning,
19 is that applicable to a drug substance or a drug
20 product? Now, lawyers have argued this both ways,
21 but at CDER, we feel that it does apply indeed to
22 both the drug substance and the drug product, that,
23 in fact, there is an established name for each of
24 these.

25 The reason CDER wants to have control of

1 that, and to apply this, this way, is that these
2 names, of course, go in labeling, and the FDA has
3 authority over all these statements that go into
4 the labeling that finally reach the healthcare
5 provider and the patient.

6 In general, an established name for a drug
7 product is the following format. You will have the
8 drug substance, release characteristics, whether it
9 is extended release or delayed release, the route
10 of administration if it's other than oral, and the
11 dosage form. Of course, today's focus is on dosage
12 forms.

13 [Slide.]

14 Again, in the regs, we run into a quandary
15 because a drug product is defined in the Act, but
16 is defined as a finished dosage form such as a
17 tablet, capsule or solution, and those are the
18 only three examples that are provided in the
19 regulations.

20 Well, clearly, science has moved on. This
21 Act was written many years ago when many of the
22 terms that were applied to dosage form were terms
23 of art. These were things that had developed from
24 the candy industry, from the cosmetics industry,
25 from the ammunition industry, so these were terms

1 that perhaps didn't have any rigorous, standardized
2 definition for them.

3 The Act does define a drug product as a
4 dosage form, but exactly what is a dosage form?
5 The dosage form itself doesn't appear anywhere in
6 the Act, nor in the regulations as a definition.

7 However, we have, through various
8 citizens' petitions and other legal actions, being
9 taken both for and against the Agency, came up with
10 some language that I think is good in defining a
11 dosage form, and a dosage form could be defined as
12 the physical form of a drug product at the point
13 that it is introduced into the body or where final
14 preparation is required before introduction into
15 the body, the physical form of the drug product in
16 the package that bears instructions for final
17 preparation.

18 So, breaking this down and simplifying it,
19 it is either what goes into the patient or what is
20 in the bottle, and in some cases, it is both, and
21 it can be defined in each way. In many instances,
22 it hasn't necessarily been sensible as to what we
23 have chosen to be the drug product.

24 We do have our good reasons, but it may
25 not be readily apparent to folks outside the

1 Agency. It does bear repeating here, though, that
2 dosage forms themselves are non-proprietary
3 although, as I said earlier, the intellectual
4 property lawyers do have an interest in the
5 proprietary nature, the proprietary extension that
6 might arise out of a dosage form.

7 [Slide.]

8 Well, who are the stakeholders in
9 developing new nomenclature? There clearly are the
10 innovators, who have their research, development,
11 marketing, and legal folks, and the FDA, I have put
12 up here a bunch of TLAs--that is three-letter
13 acronyms for those of you who don't know.

14 These three-letter acronyms are very
15 popular within the Agency, but there is OND or the
16 Medical Review Division. These are the physicians
17 and the microbiologists, the toxicologists, and so
18 on, exclusive of the chemists.

19 The chemists are co-located, they have
20 their own organization called ONDC, but the ONDC
21 perspective is going to be more of a technical
22 aspect, the OND is going to address clinical and
23 patient and healthcare provider issues.

24 ODS is the Office of Drug Safety. They
25 are going to be looking at issues regarding

1 medication error possibly. Compendial Operations
2 Staff is our liaison to the United States
3 Pharmacopeia. USP, of course, is our public
4 standard-setting organization here recognized by
5 Congress.

6 The NSC is the Nomenclature Standards
7 Committee. It's a committee internally that is
8 involved with developing definitions in a
9 dictionary sense and is very involved in making
10 sure that a definition can fit indexing, database
11 listing, and other kinds of concerns.

12 The United States Pharmacopeia itself, as
13 I said, is our public standards encyclopedia, and
14 they have a standing committee that was established
15 in 1985, the expert committee on nomenclature and
16 labeling. I serve as one of the liaisons to that
17 committee.

18 Since the titles that are published in the
19 USP actually could be thought of as the superseding
20 established name for products in that the
21 regulations require the title of a monograph to be
22 applied as the name of a product for legal
23 purposes. That is the thing that would appear in
24 court documents, and so on. It should be the name
25 that appears on the generic labeling also.

1 Healthcare providers and patients, while
2 although they are the ones that we do all of this
3 for, we are actually surrogates for them. They are
4 not direct participants in that they don't serve on
5 any of these committees or provide direct input.
6 We try to do this on their behalf as best as we
7 can.

8 [Slide.]

9 Some of the issues, they divide into
10 different groups, different types of offices or
11 different types of drug applications, have
12 different problems with new nomenclature.

13 On the new drug side, the difficulty is
14 that there is not a USP monograph that has been
15 developed yet for a product. It may take up to 20
16 years or longer before a USP monograph appears,
17 and, of course, the monograph has a title, and that
18 title is the official title of the product, and you
19 can rely upon that in labeling, but if there is no
20 monograph, then, what do you do.

21 Well, then, you have to first decide is a
22 new name necessary, is it really something that
23 requires establishing a new name all together, a
24 new terminology, or can an older existing dosage
25 form with a labeling statement perhaps take care of

1 this. That is the next bullet.

2 Is the complete name that is being
3 proposed, is it all together nomenclature, or could
4 it be segmented into a nomenclature segment and a
5 labeling segment. It is not always straightforward
6 or clear-cut as to how that might be done.

7 An example of this, there is chewable
8 tablets. Chewable tablets, you won't find in the
9 USP. The chewable aspect is a patient preference
10 and typically, that is found in the description
11 section of a USP monograph. It is actually a
12 labeling statement. Nonetheless, it is required to
13 be in conjunction with tablet and you can approach
14 new nomenclature this way, partly as nomenclature,
15 partly as labeling.

16 Generic drugs has a completely different
17 set of challenges. By the time a drug is available
18 for an ANDA, you hope that a USP monograph has been
19 established, and if that is the case, then, it is
20 clear-cut, you use the title that appears for the
21 monograph.

22 If there isn't one, is the USP in the
23 process of developing one, has there been a
24 proposed title that has appeared in the
25 pharmacopeial forum? This is the alerting device

1 that the USP uses to alert the public about pending
2 new changes.

3 Is the name that is being developed, will
4 it allow proper product selection for substitution?
5 This is one of the big issues for generics in that
6 you want to be able to, for pharmacists, to select
7 an equivalent product for the patient without a
8 mispick, a misselection that could perhaps result
9 in patient harm.

10 One thing that we do want to pay attention
11 to is that we want to be certain that the new
12 definition will not allow the manufacturer of that
13 generic product to substitute a brand-new dosage
14 form for something that is already in, say, the
15 Orange Book, the reference-listed drug, the RLD.
16 We don't want them to substitute, say, a tablet, a
17 regular tablet for an orally disintegrating tablet.
18 In this sense, we will be talking about that later
19 today. It is important that we develop criteria
20 that will clearly distinguish related dosage forms.

21 OTC products, which, of course, the FDA
22 has purview over also, has their own set of
23 problems, which is related to its patient selection
24 issues. In this case, it's a largely, I won't say
25 uneducated, but undereducated population that are

1 trying to choose the correct product for
2 self-medication, and terminology for that
3 particular group has to be very good, it has to be
4 very clear-cut and precise for the patient to dose
5 themselves.

6 [Slide.]

7 As far as assessment factors that we use
8 internally, is that primarily we want to be certain
9 that the dosage form will clearly identify the
10 product, that it will be a very accurate name.

11 We want an accurate recognition without
12 any risk of medication errors being prominent in
13 the new name. The name also has to, of course,
14 meet database indexing and listing needs.

15 The name should be consistent with
16 existing precedents, if there are any. I give an
17 example here of the system. That system is sort of
18 a generic term that could be applied to many
19 things.

20 It was developed initially for topical
21 patches, transdermal systems, but there are ocular
22 systems, there are dental systems, there are all
23 types of systems, and the types of precedents that
24 would define a system have been established and
25 should be applied to a new system consistent with

1 the past precedents.

2 The name should not confer any particular
3 advantage or to an exclusive proprietary technology
4 that the company may have. It should be a name
5 that is freely available to everyone.

6 [Slide.]

7 We also have to look at nomenclature from
8 the Agency perspective as a very long-term venture.
9 It has to serve the needs not only of the immediate
10 application approval, but down the road, 20 years
11 later, when the generic comes in, it has to serve
12 those purposes also.

13 In that sense, is an older term still
14 accurate, can it still be used without causing
15 difficulty? Is developing a new term appropriate,
16 can objective standards be developed to define a
17 new dosage form?

18 How should the name be developed and
19 coordinated? We have all the different
20 participants and groups that I alluded to earlier -
21 the innovator, FDA and the USP, how should all of
22 these groups be coordinated to give a coherent name
23 to a new dosage form?

24 We also have the ICH process and global
25 harmonization, which is a big driving factor in

1 deciding the types of names that are appropriate
2 for worldwide use. Also, if there is a new
3 nomenclature developed, how should it be
4 implemented, how much time should we allow
5 manufacturers, what type of alerting mechanisms
6 ought we use?

7 [Slide.]

8 So, in terms of new dosage forms and drug
9 delivery systems, there are numerous examples, I
10 have just chosen a few. Orally disintegrating
11 tablets, we will be discussing as a case study
12 today.

13 Tablets for suspension is a fairly new
14 product. We have run into problems with that,
15 trying to be used as a suspension, what exactly is
16 it equivalent to a reference-listed drug as a
17 suspension when it is a tablet? That is
18 problematic.

19 Liposomes, microspheres, we have had drugs
20 come in as--I am putting up here "Films?" in
21 question marks. This is a developing, evolving
22 term. These are like these little Listerine
23 PocketPaks that you can put on your tongue, but you
24 can also put drugs in those.

25 Iontophoretic topicals transdermal systems

1 that have electrical conduction systems where you
2 can tune the amount of drug that diffuses across
3 the membrane, you know, very high tech, sci-fi
4 types of dosage forms, but these things are up and
5 coming, they are challenging, and they all present
6 the challenges that I have outlined for you.

7 With that, I would like to turn it over to
8 Dr. Frank Holcombe to continue with the case study
9 on orally disintegrating tablets.

10 Dr. Holcombe.

11 DR. HOLCOMBE: I have been tasked today
12 with giving you one of the case studies that we
13 find we have some nomenclature issues with. I
14 noticed that we are ahead of schedule. I won't
15 take it upon myself to bring us back on time. So,
16 with that, I will try to do a fairly
17 straightforward discussion or approach through what
18 we have here.

19 [Slide.]

20 My title is just defining orally
21 disintegrating tablets. You might think, well,
22 what is the big deal? Orally disintegrating
23 tablet, it says it disintegrates orally and after
24 much work and a lot of concern and many, many hours
25 and weeks and months of participation of a lot of

1 the people that Dan talked about, the FDA came up
2 with a definition that is now in our Data Standards
3 Manual.

4 It says, "A solid dosage form containing
5 medicinal substances which disintegrates rapidly,
6 usually within a matter of seconds, when placed
7 upon the tongue."

8 That is pretty straightforward. You would
9 know that when you saw it anywhere if you had one
10 of them in your hand. But you don't always have
11 them in your hand, and that is one of the issues
12 that we have to address with this case study.

13 There is a USP Stimuli proposal that says,
14 "A solid oral dosage form that disintegrates
15 rapidly in the mouth." Now, that is not really a
16 dosage form definition, it is part of the USP
17 proposal for a multi-tier approach to drug
18 products. So, this statement is taken from their
19 tier 1, which is a method of administration.
20 Actually, it is a cavity or body part to which the
21 product is administered.

22 So, we have an idea that an orally
23 disintegrating tablet ought to be something you put
24 in your mouth and it dissolves, and that so far is
25 pretty straightforward. There are a lot of

1 different names.

2 There was a very brief article in one of
3 the pharmaceutical technology publications not too
4 long ago called "mouth-dissolving tablets." They
5 are rapidly dissolving, rapidly disintegrating.
6 They are oral, they are a mouth, there all kinds of
7 different words that are used to talk about this
8 kind of product.

9 Although it is not stated anywhere in
10 these definitions, orally disintegrating tablets in
11 this context are considered to be immediate release
12 products, and we are not discussing extended
13 release or delayed release or any products like
14 that.

15 [Slide.]

16 So, why would we want an orally
17 disintegrating tablet? There are some
18 characteristics and benefits that are valid and
19 definable. One is that you have oral
20 disintegration. That is a characteristic we are
21 after.

22 You have a rapid disintegration because
23 you don't want to keep it in your mouth very long.
24 Rapidly is what the definition says.

25 You don't need to chew it, you don't need

1 to take a gulp of a liquid to swallow it, and it
2 provides an improved route of administration and
3 increased compliance for certain patient
4 populations. From the patient and medical side,
5 that probably is one of the major considerations.

6 The other are some characteristics that
7 you would just expect from this type of product
8 once we have our definition that was on the first
9 slide.

10 There is another category that would fall
11 under the name "convenience." We typically don't
12 try to include convenience when we do dosage form
13 definition or nomenclature studies, but that is
14 probably one of the biggest points in the
15 marketplace for this type of product, and in the
16 extension of this kind of product into the
17 over-the-counter and other markets.

18 [Slide.]

19 Well, I have said we all know one when we
20 see it and so what is the issue. Well, the issue
21 is when you start developing your nomenclature,
22 when you start determining what you are going to
23 call a dosage form, you often have limited
24 experience.

25 It is the example that I thought of with

1 this is if you were designing a road for a
2 300-mile-an-hour car, you certainly wouldn't design
3 it to look like downtown Rockville or anyplace like
4 that, you would have a racetrack, and you certainly
5 wouldn't put that race car in downtown Rockville,
6 because it either wouldn't be usable or the utility
7 would be lost, or you would have a lot of wrecks.

8 So, the limited experience you have when
9 you start doing dosage definitions is compounded
10 because of the similarity of all the initial
11 products. A new product comes in, the product is
12 made a certain way. It probably has a certain
13 formulation.

14 If it is truly new, then, there may be
15 several other products that come along in a
16 relatively short period of time, and these all look
17 sort of like that one, and this is in the new drug
18 world what I talking about here.

19 Where you start running into concerns, and
20 that is the situation that we find ourselves in
21 today, is there is an expansion in product
22 variation, and it can proliferate rapidly due to
23 changes in technologies, which are manufacturing
24 technologies, formulations which may or may not be
25 related to the technology, additional drug

1 products, for instance, a number of these products
2 are very small, 20, 30, 40 milligram total weights,
3 and what if you wanted an aspirin or ibuprofen, or
4 anything that has fairly high tablet weights, and
5 you have put on your label, "Put it in your mouth
6 and let it dissolve or disintegrate."

7 You also have a target market population.
8 I said earlier that there are certain populations
9 where this is not necessarily convenience, but an
10 improved route of administration or a better dosage
11 form for these groups, children, geriatric
12 populations, certain disease states where
13 swallowing is not easy, certain populations where
14 patient compliance with the regimen is not easy.

15 [Slide.]

16 So, having said we pretty much have a
17 problem with what does "readily disintegrating"
18 mean, a matter of seconds, we come to the format of
19 what we would consider a suitable definition.

20 Based on the fact that we have these
21 products out there now, and based on the fact that
22 more and more products are coming along, and based
23 on the fact that these products are becoming more
24 and more variable across the range of the
25 marketplace, the definitions should address both

1 the desired characteristics and control of the
2 extent of the product range.

3 That is kind of a fuzzy way of saying that
4 the definition ought to say something about what
5 the product has to do in a little more detail than
6 dissolves rapidly. It must address the method of
7 administration and provide some type of objective
8 criteria which, because we are talking about orally
9 disintegrating product, that criteria probably will
10 relate somehow to a disintegration time.

11 [Slide.]

12 So, we said we need some kind of objective
13 criteria. Well, that means you have to evaluate
14 the disintegration, that means you have to do some
15 kind of testing.

16 We have a couple kinds available to us,
17 in-vivo tests which can be very subjective if you
18 are looking for a patient response, or objective if
19 you figure out some way to decide when there is no
20 more pill in the person's mouth as a pill.

21 Then, you have in-vitro testing, which is
22 objective for the most part. There is still some
23 subjectivity, but there are a variety of methods,
24 not as many methods as there are applications filed
25 because there are only four or five or six

1 different technologies or formulation types that
2 are used, and they are fairly standard among each
3 of those types, but there are different methods of
4 disintegration evaluation, and the results of these
5 tests can be method-dependent.

6 They aren't all method-dependent. Some
7 methods parallel others quite well, but even then
8 they are subject to differences in formulation,
9 differences in tablet size, and differences in the
10 technology that was used to manufacture the tablet.

11 [Slide.]

12 So, we find ourselves trying to figure out
13 what kind of test we might want to approach. We
14 have a problem with rapidly dissolving and rapidly
15 disintegrating methods. There are often considered
16 proprietary methods.

17 We have got an FDA laboratory method which
18 was developed by us in-house to give us an
19 individual product initial evaluation across the
20 range of the products that have been approved or up
21 for approval.

22 Then, we have the old USP disintegration
23 test. The FDA laboratory method is static in that
24 it is similar to capsule disintegration. The USP
25 test, I have called it a "dynamic" test here. That

1 only means that it is an oscillating container.

2 [Slide.]

3 So, we have done some testing and what we
4 have seen over the samples that we have available
5 to us is that under the laboratory method, which is
6 the static method, which is put it in the liquid
7 and see how long it takes to no longer be a
8 recognizable tablet, we get a range over things
9 that are being called orally disintegrating tablets
10 from 1 to 78 seconds--this is an internal testing,
11 this is not application-based data here--and a
12 dynamic using the USP method of 1 to 69 seconds.
13 So, there is not a whole lot of difference there
14 over the entire universe.

15 Most of the products are, however, in the
16 1 to 30-second range, but there is no data to date
17 correlating in-vivo and in-vitro disintegration
18 times, so we have a bunch of numbers, we have some
19 tests that we can do, and the answer comes back is,
20 you know, is this test any good for us so far as
21 predicting what will happen when you put it in the
22 mouth.

23 [Slide.]

24 This is just a representation of some, not
25 all, of the samples we have looked at. If I get

1 the colors wrong, I am a little bit colorblind. I
2 would call those pink and sort of reddish brown.

3 The first bar for every sample is the
4 static method done by our laboratories. The darker
5 color is the USP method, which is the oscillating
6 chamber. You can see that for the most part--and
7 here is where some problems come in again--the use
8 of the phrase "for the most part."

9 Down below 10 seconds, it probably doesn't
10 matter even though some of these are 30 percent, 40
11 percent different, down around 10 seconds I don't
12 think anybody would say that it matters that one
13 takes a little longer than the other one.

14 You move up into the section of, say, 20
15 to 30 seconds, and they are still roughly the same
16 except for No. 20 there, where the static method is
17 very different, and you go over to 29 where the
18 static method is also different.

19 You see that there are several products,
20 well, two out of this set, that are above 30
21 seconds, significantly above 30 seconds. There are
22 other samples that we are retesting because the
23 results don't seem to make sense, so we may have to
24 look at formulation or manufacturing technology in
25 order to see whether there is any meaning in the

1 data that we have generated for the samples that
2 you don't see in this number set.

3 But at any rate, you see they are over a
4 range and you see that most of them are within 30
5 seconds. I think that is about all you can draw
6 from this, but it is the data that we have so far.

7 [Slide.]

8 So, where does this take us? It takes us
9 to a need for a definition that will let us
10 distinguish orally disintegrating tablets from
11 other things. There are tablets, we have done
12 testing where a tablet in the marketplace right now
13 would meet the criteria of orally disintegrating
14 tablet if it were so labeled. It dissolves or
15 disintegrates in 4 or 5, 10, 15, 20 seconds
16 depending on the product.

17 There are many, many products that if you
18 were to take the film coat off, would probably also
19 meet this criteria, however, they are not labeled
20 that way and they are not intended to be used that
21 way at the present.

22 So, what our proposal is at this point is
23 to revise that initial definition to include an
24 in-vitro disintegration method and acceptance
25 criteria. The method would be a modification or

1 not a modification of USP 701 disintegration, and
2 our proposed criteria would be below 60 seconds.

3 Why are we doing this? Well, 60 seconds
4 may be too long, 30 seconds may be too short, but
5 you have to pick a number somewhere, and we need to
6 be able to distinguish products that are coming
7 along, and our current definition just doesn't
8 allow us to do that.

9 For NDAs, there is an opportunity for
10 companies to come in and say I want an orally
11 disintegrating tablet that is somewhere along the
12 process, and the Agency can say no, you can't have
13 an orally disintegrating tablet because this is
14 what we believe it to be.

15 For the generics, it is not always that
16 simple because current definition doesn't have any
17 criteria and it has been difficult for us to say to
18 a company, no, you can't have an orally
19 disintegrating tablet when there is no guidance out
20 on what an orally disintegrating tablet really has
21 to do.

22 That is the end of this. The questions
23 that I have are the questions that Moheb asked
24 previously - is it appropriate for us to consider
25 revising our understanding of dosage form to

1 include these objective criteria.

2 It has not been the case in the past, and
3 even in places where we have an idea, such as
4 extended release products, the definitions only say
5 that a less frequent dosing regimen is applied by
6 the use of these products.

7 So, that is the question that we have
8 here: Is it appropriate to do this, and is the
9 approach that we are taking, the in-vitro test,
10 which is not standardized to date, because the
11 acceptance criteria still has to be determined?

12 We have said, I have said less than 60
13 seconds there. We are maybe happy with that, but
14 whether that 60 seconds on an average or 60 seconds
15 on an absolute value, or 60 seconds under
16 parametric tolerance interval, as was discussed
17 yesterday for the MDIs, those are still questions
18 that are up in the air.

19 DR. KIBBE: Let me just ask one quick
20 question, and then we will go into the next
21 speaker, right, or are we going to try to break
22 here and deal with this?

23 DR. HOLCOMBE: Whatever you would like.

24 DR. HUSSAIN: I want to add a few things
25 to what Frank said just to give a broader context.

1 DR. KIBBE: Good. Let me get my quickie
2 question.

3 We have tablets for vaginal insertion. Do
4 we have criteria that allows us to differentiate
5 between a tablet that is made by compression that
6 could be swallowed and one that is made by
7 compression that is for tablet insertion that
8 includes dissolution?

9 DR. HOLCOMBE: I don't believe so.
10 Certainly, it is not in the definition.

11 DR. BORING: I just wanted to say that the
12 USP considers that the difference between those is
13 that those are inserts. Even if it's a capsule or
14 a tablet, any what would be a standard solid oral,
15 if it's inserted vaginally, it is now called an
16 insert, it won't be a tablet or a capsules, and
17 inserts as far as objective definitions have not
18 been defined, but they are separated by that
19 difference, an insert versus what might be a tablet
20 or capsule.

21 DR. HUSSAIN: To give you a context, I
22 think here the situation is only from the
23 perspective what to call it. Now, I do want to sort
24 of emphasize in the sense the focus on
25 disintegration that we have talked about is only

1 from a nomenclature perspective.

2 We are not talking about dissolution,
3 bioequivalence, and other safety considerations,
4 and so forth. That is sort of a separate
5 evaluation criteria, but on the clinical trials,
6 and so forth, so just to give you the context, it
7 is what to call something is the aspect.

8 Now, the original name, the way we had
9 defined, we said few seconds, and when the issue
10 came up to my level, because of a disagreement,
11 looked at all the products we had already approved
12 or in the process of approving, the range of times
13 that we already have.

14 Now, the concern I expressed was that
15 convenience, patient satisfaction, and things are
16 also important, so if I substitute one product for
17 another product, 10 seconds versus 60 seconds, I
18 would feel a difference. Does it matter or not?

19 So, what Frank has proposed is a pragmatic
20 solution to a problem that we need to have some
21 limit, and since we don't have standardized methods
22 for disintegration of the orally disintegrating
23 tablet, use a standard method that is in USP and 60
24 seconds is that criteria.

25 DR. KIBBE: Marvin, and then I will

1 continue my comments.

2 DR. MEYER: I have a couple of comments.

3 On several occasions, you said dissolves instead of
4 disintegrates.

5 DR. HOLCOMBE: That was an oversight.

6 DR. MEYER: My preference would have been
7 to have it dissolved, orally dissolving tablet,
8 because you don't want a bunch of grit floating
9 around your mouth, you want the solution to float
10 around your mouth, and then apply some standard for
11 60 seconds dissolution.

12 I assume you use water for the media for
13 the disintegration test.

14 DR. HOLCOMBE: Yes.

15 DR. MEYER: Is that always going to be the
16 case? Is there an enzyme that should be added
17 sometime or should you do it in simulated saliva,
18 or what?

19 DR. HOLCOMBE: We have seen data for some
20 of these variations, and we haven't seen enough
21 data to be able to make a call on whether one is
22 better or whether one is even different.

23 The issue of dissolving versus
24 disintegrating was discussed at length during the
25 initial evaluation of what the name should be, and

1 I can't speak specifically to that, but it was
2 discussed, and disintegrating was chosen as a less
3 restrictive name and definition simply because if a
4 tablet disintegrates to the extent that you would
5 want it to, then, it is going to get washed down
6 the throat whether it is dissolved or not.

7 DR. MEYER: Unless you want it to be
8 absorbed from the oral cavity.

9 DR. HOLCOMBE: Unless you want it absorbed
10 mucosally or something, but those are separate
11 categories.

12 DR. HUSSAIN: These are not intended for
13 buccal or sublingual administration. There are
14 separate names for those. These are intended to be
15 swallowed and absorbed through the GI tract.

16 DR. KIBBE: So, the whole purpose of them
17 is that they go into solution in the mouth and then
18 the solution is swallowed.

19 DR. HUSSAIN: They disintegrate in the
20 mouth.

21 DR. KIBBE: And the suspension is
22 swallowed.

23 DR. HUSSAIN: Right. The name is
24 disintegrating for several reasons. One aspect, I
25 think, I am looking at it from a very different

1 perspective here. Many of the drugs taste bad, so
2 you don't want them to dissolve, so the
3 pleasantness and the mouth feel, organoleptic
4 properties are such that you want them not to
5 dissolve that quickly also in some cases.

6 DR. HOLCOMBE: Just to expand a little bit
7 on Ajaz's point, the point of this product is that
8 you don't have to swallow a pill, and you don't
9 have to chew it up. Everything else will
10 approximate what normal tablet requirements would
11 be, that it actually dissolves perhaps in the mouth
12 or in the stomach, but it doesn't matter whether it
13 dissolves in the mouth because it is meant to be
14 absorbed gastrically.

15 DR. SELASSIE: In your in-vitro test with
16 your disintegration times, do you know if your
17 outliers at 20 and 29 have anything in common and
18 why there is such a great discrepancy between the
19 two?

20 DR. HOLCOMBE: I don't have that data with
21 me. Twenty and 29, I believe 29 has to do with the
22 tablet size, I don't remember what sample 20 is.
23 But because one is a static method and one is a
24 dynamic method with a little bit of agitation, not
25 much agitation, but a little bit of agitation,

1 there will be some effect simply from the physical
2 form and the components of the tablet, for
3 instance, if the formulation is such that it
4 requires permeation of the water into the tablet
5 face, then, the oscillating test should give you a
6 little faster, maybe much faster, but it may have
7 to do with factors like that.

8 DR. SELASSIE: So, have you looked at the
9 formulations and done a comparison?

10 DR. HOLCOMBE: Not for the purposes of
11 this meeting, no.

12 DR. KIBBE: Let me clarify a couple of
13 things, and I will give everybody a chance to get
14 back in, but I just am having so much fun with this
15 topic.

16 At the beginning, we talked about
17 stakeholders and, of course, healthcare providers
18 and patients are stakeholders, and they are clearly
19 involved in the generic naming.

20 DR. HOLCOMBE: Right.

21 DR. KIBBE: The Council is populated by
22 representatives of the American Medical
23 Association, the American Pharmacist Association,
24 the USP, and so on, and IMN does the same thing, so
25 that part of the name of any drug is established

1 well before.

2 What we really have to deal with today is
3 dosage form designations, not the name of the drug,
4 so we got a little off the topic.

5 One comment about chewable tablets, it is
6 my impression that chewable tablets are intended to
7 be chewed and not swallowed, that they don't
8 contain disintegrants, and if they are not chewed,
9 they are not going to be nearly as effective, so
10 they are not optional. In most cases, you don't
11 have the options.

12 Now, if they are designed differently, you
13 can do it either way, but if you say on the label,
14 "chewable tablet," then, it has always been my
15 impression that we recommend to our pharmacists to
16 tell their patients that they must chew it up in
17 order for it to get in quickly.

18 DR. HOLCOMBE: Right.

19 DR. KIBBE: Then, the next thing brings us
20 to what Ajaz kind of alluded to, and that is the
21 difference between buccal, sublingual, and oral
22 disintegrating. Do we have criteria for buccal and
23 sublingual dissolution rates that we established,
24 so that they can, if they claim that their tablet
25 is a buccal tablet, that they have to meet a

1 dissolution rate?

2 My point, what I think I am getting to, is
3 why are we even including that as part of the
4 criteria for the name.

5 DR. HOLCOMBE: We are not talking about
6 dissolution.

7 DR. KIBBE: I know we are not, but I am
8 talking about products that the Agency already has
9 names for, that they have criteria for, and that we
10 know, that we have established criteria for.

11 Now, has the Agency consistently
12 established a dissolution level or a disintegration
13 level for every tablet? Clearly, as soon as it
14 becomes an insert, they don't, and now that it is
15 going to disintegrate in your mouth, it is, and if
16 it's a buccal or sublingual, do they have
17 dissolution?

18 DR. HOLCOMBE: There are dissolution
19 requirements, I can't say for every tablet, but the
20 difference is, I think, in the intended use here.
21 The buccal tablet is not intended to be swallowed,
22 some of them don't disintegrate, they just leach
23 stuff out.

24 That is not to say you can't swallow one,
25 but that's not the instructions you are given. The

1 instructions for the orally disintegrating tablet
2 are put it in your mouth, let it dissolve, and then
3 gulp.

4 DR. MEYER: Nitroglycerine, while it is
5 put sublingually, it rapidly dissolves, I presume.

6 DR. HOLCOMBE: Right.

7 DR. MEYER: And is there some dissolution
8 tests that you apply to nitroglycerine tablets,
9 and, if so, why not apply the same to the--

10 DR. HUSSAIN: Just to clarify, we have a
11 dissolution test come out of this product, but that
12 is not for classification, calling it orally
13 disintegrating tablet, so I don't want the
14 committee to sort of get into the second part of
15 the discussion where bioequivalence, dissolution,
16 all these tests are still there for these products
17 for naming purposes.

18 DR. KIBBE: For the purpose of naming,
19 they are not there.

20 DR. HOLCOMBE: They are not there, and
21 that is one of the questions.

22 DR. KIBBE: So, why are we doing that
23 here?

24 DR. HOLCOMBE: And that is one of the
25 questions about whether or not this is an

1 appropriate route.

2 DR. HOLLENBECK: Emotions are always high
3 on this topic, aren't they?

4 First of all, the orally disintegrating
5 tablet doesn't necessarily dissolve. They are
6 taste masked, they are sustained release products,
7 they can have other delivery characteristics. The
8 one thing they are supposed to do is disintegrate
9 rapidly. That's why we are talking about this.

10 So, it seems to me that that is a
11 reasonable expectation, that an orally
12 disintegrating tablet disintegrates rapidly. It
13 seems to me that 60 seconds is actually a
14 conservative number. I mean your data supports
15 that, those two products can reformulate.

16 But as a consumer, if I put what I think
17 is a rapidly disintegrating tablet in my mouth and
18 I have to wait 60 seconds, that's quite a long
19 time. So, I think that is a generous number from
20 an industry perspective, I think.

21 DR. NASR: I would like to interject
22 something here quickly. I think the reason we are
23 here before you today is to outline the dilemma and
24 the problem we have, because when we get a new
25 dosage form, such as rapidly disintegrating, orally

1 disintegrating tablet, very much we are dealing
2 with one technology with very limited number of
3 applications.

4 The Agency tries to do its best in
5 defining the dosage form based on such limited
6 knowledge, and then after that we are faced with
7 more products, different technologies, different
8 formulations even if you forget all the issues
9 related to generics, and we found ourselves stuck
10 because our earlier definition was not a
11 quantifiable definition, we did not have enough
12 information there about disintegration time.

13 The expectation that the patients had and
14 we expected from the applicants that their
15 disintegration time would be a matter of seconds,
16 less than five seconds.

17 Now, we are dealing with a situation where
18 we have approved applications, and application
19 under our consideration where disintegration time
20 is in a matter of minutes, so we have to make a
21 determination and we have to keep in mind the
22 patient's expectation and the compliance issues in
23 mind, and the clinical relevancy of what we are
24 trying to achieve.

25 So, that is why we are stuck, and if you

1 look at my first slide in my presentation this
2 morning, I said how can we get it right the first
3 time, and that is hard to do.

4 DR. DeLUCA: Well, along those lines, I am
5 looking at the definition here. I have heard a
6 matter of a few seconds, 10 seconds, and I have
7 seen data with 60 seconds, and this says within a
8 matter of seconds. Well, that is kind of
9 meaningless in a sense.

10 I think you really have to be specific.
11 Sixty seconds, to me, sounds like a long time for
12 rapidly disintegrating, but I think key in the
13 definition here is that there is a time that has to
14 be in here.

15 DR. NASR: I agree. The question I have
16 still is how to set the time early on, because the
17 first few applications we had were utilizing only
18 one technology and disintegration time was a matter
19 of seconds, was less than five seconds, if I am not
20 mistaken here, it was less than five seconds.

21 We should have been, at that time, more
22 careful in defining orally disintegrating tablet
23 and setting some time limit. We did not do our job
24 at that time. We did not expect what the product
25 development would take place in the market demand

1 and some of the business considerations that will
2 impact the kind and the number of applications we
3 have, so we did not do that early on, and where we
4 find ourselves today, as you all see, we are stuck.

5 But you are correct, Pat, you are correct,
6 60 seconds in my mind is too long, but we are
7 trying to come up with a pragmatic approach that
8 address the situation where we are now and the
9 reality of the marketplace.

10 DR. HOLCOMBE: This also is intended as an
11 approach for the specific kind of product, to
12 provide guidance to the industry about what they
13 will be allowed to claim when they file
14 applications for substitutable products or NDAs,
15 for that matter.

16 DR. NASR: If we don't do 60 seconds now,
17 what we may end up having in the very near future
18 are tablets that disintegrate within 60 minutes,
19 and they may still be called orally disintegrating
20 tablet, even though the earlier definition was
21 seconds--is it 5 seconds, 300 seconds? It was not
22 a quantifiable attribute at that point.

23 DR. SHEK: My question is why does it make
24 any difference? If I design a tablet for ease of
25 solubility, and I coat it with a polymer and easy

1 to swallow, I am a patient, I am going to take the
2 tablet and I am going to swallow it, and if it
3 disintegrates fast in my mouth, it is easy for me
4 to swallow.

5 Now, if there is a claim here, and I don't
6 know what the regulatory implication here, because
7 if you have a dissolution, and you have a
8 bioequivalence, I have just a convenience.

9 Now, if that becomes a claim issue, you
10 know, on the label, the regulatory aspect, which I
11 am not an expert in, but with regard to
12 functionality, I am a patient, if I take the
13 tablet, put it in my mouth, and if I don't have to
14 take a glass of water, many people have swallowed
15 tablets without even any water.

16 Now, what would happen if I develop a
17 tablet, I don't call it rapid disintegrating, but
18 it disintegrates fast in my mouth, where do I fit
19 into? I don't know whether we are expending our
20 energy on the wrong stuff, or I really don't
21 understand the issue. If it's regulatory, then, it
22 becomes a different aspect.

23 DR. NASR: That is an excellent point. My
24 earlier questions to the committee were do we
25 really need that many different dosage forms. If

1 you recall, some of the questions that I tried to
2 frame the discussion we have this morning is that
3 same issue, do we really need that many oral dosage
4 forms.

5 DR. BORING: I would like to speak to that
6 a little bit. The problem here is in patient
7 compliance in that you have two different dosage
8 forms, one that is an orally disintegrating tablet,
9 and then a regular tableted technology, and they
10 are not necessarily substitutable for each other.

11 A patient may become accustomed to using
12 the orally disintegrating tablet, the waterless
13 tablet. Suddenly, the pharmacist substitutes a
14 regular tablet because there is not a clear-cut
15 definition. The patient goes to their bottle and
16 tries to take what they believe is a waterless
17 tablet, and they can't swallow it. There is a
18 compliance failure there.

19 Also, there are some of these tablets,
20 these orally disintegrating tablets that are coated
21 and are delayed release, so the patient may put one
22 in their mouth, it may take a little longer for it
23 to disintegrate, and they decide to chew it.

24 Well, that's a problem because if this is
25 enterically coated pellets that are contained in

1 there, and the patient chews it just because they
2 are tired of it being in there so long, they have
3 destroyed the coating that is responsible for the
4 drug efficacy.

5 So, the two different types of dosage
6 forms are not immediately transferable.

7 DR. VENITZ: If I use semantics, the term
8 that we are discussing is orally disintegrating
9 tablets. That doesn't tell me anything about the
10 rate of disintegration. So, I think we are
11 discussing here a criteria that, in my mind at
12 least, is not implied in the term that you are
13 using right now.

14 So, when you introduced this initially,
15 you said there is an expectation that it is rapidly
16 disintegrating. Well, not in my mind, because it
17 just says it disintegrates in the mouth. So, you
18 just gave the examples where they have a delayed
19 built-in release, that is, an orally disintegrating
20 dosage form.

21 To use a criteria that limits the
22 disintegration rate, to me, is not what the term
23 describes that you are trying to use to label them.

24 DR. BORING: I would like to speak to that
25 because when these were first being developed eight

1 some-odd years ago, the clinical folks primarily
2 had a problem with using a term that could have
3 been implied here is rapidly disintegrating, and
4 then "rapidly" could have been designed as a time
5 element.

6 But our clinical folks felt that that gave
7 an implication that you got rapid therapy with this
8 kind of product and also our DDMAC people, who look
9 at drug marketing in advertising, felt that it gave
10 an unwarranted advantage to companies that wanted
11 to call their dosage form rapidly disintegrating,
12 "rapidly" being associated by the patients with
13 rapid therapy, and these don't provide rapid
14 therapy.

15 So, it was felt to be misleading and
16 "rapidly" was not included as a term. That would
17 have addressed your concerns, but we had other
18 clinical and advertising issues that precluded
19 using that term. Unless you can think of something
20 more apt, "rapidly" just wasn't acceptable.

21 DR. VENITZ: But right now you are stuck
22 with the term. The term says orally
23 disintegrating, which in my mind does not imply any
24 time limits, any rate specification. So, you are
25 now trying to go back after the fact and add that

1 to a term that really in my mind doesn't have that
2 implication, and I guess I don't like that.

3 You chose the term originally for whatever
4 reasons, to describe the mechanism of release, not
5 the rate of release, and you are stuck with it.

6 DR. KIBBE: The term describes the route
7 of administration.

8 DR. HOLCOMBE: Right.

9 DR. KIBBE: Just as my vaginal insert
10 describes the route of administration, just like a
11 buccal and sublingual tablet describes a route of
12 administration, just like a hypodermic tablet
13 describes the use of that tablet.

14 Is it really necessary for that definition
15 to include a time constraint? I don't think it's
16 productive. I think you put time constraints on
17 the products when they come for approval.

18 DR. DeLUCA: I disagree. I think it is
19 implied in oral disintegrating. Why do you have an
20 oral disintegrating tablet in the first place? It
21 does disintegrate rapidly. I mean otherwise, you
22 don't need it.

23 So, the point is, is that if you have an
24 orally disintegrating tablet, you want it to
25 disintegrate rapidly. You have compressed tablets,

1 oral tablets. You still have a dissolution
2 requirement. So, you have a time. They don't put
3 it into it, but I mean there is a requirement for
4 dissolution.

5 DR. VENITZ: What you are talking about is
6 to have the dissolution specifications as part of
7 the quality control release, the kind of stuff we
8 talked about yesterday. Today, we are trying to
9 figure out whether FDA should use a definition that
10 has attached to it a qualification based on release
11 rates.

12 That is very different to me than I am
13 pretty sure there are specifications relating to
14 those products where you look at dissolution and
15 other quality attributes. That is not what we are
16 talking about, though.

17 DR. DeLUCA: Well, I think what
18 distinguishes the oral disintegrating tablet from
19 the oral tablet is the time.

20 DR. KIBBE: No, it is where it
21 disintegrates.

22 DR. HUSSAIN: Just to sort of clarify, I
23 think the official definition that we had that
24 described orally disintegrating tablet did put the
25 time in, in a matter of a few seconds, if I am not

1 mistaken. That is the terminology, a few seconds
2 is what was in there.

3 But to give you sort of a sense, here is a
4 naming issue, but then there will be an entire
5 review process which will look at the safety
6 issues, will look at the bioequivalence issues and
7 whole quality issues are addressed within the
8 framework, so we are not discussing that part, but
9 something that you put in your mouth, and if I take
10 two different currently existing products, which we
11 do, chewable tablets and disintegrating tablets
12 orally disintegrating tablets, there is a
13 distinction between the two.

14 If something does not disintegrate
15 rapidly, you have to chew it, I mean that is the
16 natural response that sort of comes up. So, that
17 is the reason we felt there needs to be a
18 distinction between chewable tablets and orally
19 disintegrating tablets, and there has to be some
20 mechanisms to characterize that.

21 So, in many ways, you are going back and
22 sort of putting in number of what we defined as a
23 few seconds, and a few seconds in this case, in a
24 retrospective manner, appears to be 60 seconds,
25 which I am not very happy with that 60-second

1 number, but we probably have to think about a line
2 to be drawn somewhere.

3 DR. HOLLENBECK: I agree with the last two
4 comments. I think there is an implied time here.
5 Normally, when we take a tablet orally, we swallow
6 fast, and the implication here is that you don't do
7 that.

8 This is an orally administered product
9 where you want it to disintegrate in your mouth
10 before you swallow, so I think it is implied that
11 that ought to happen quickly.

12 DR. KIBBE: But does the definition of the
13 item have to include a specific time frame? My
14 argument is that the definition of the item is, in
15 three words, it is a tablet that disintegrates
16 orally.

17 Now, why do we have to go through so much
18 angst to put a time frame on it when we know that
19 when it gets--each product comes before the Agency.
20 The Agency will look at it and say, well, what is
21 your disintegration time here, what is your patient
22 compliance issues, because that is an issue with
23 the tablet, and that is part of the criteria. You
24 do the same thing with every other tablet.

25 When we say it's a compressed tablet, that

1 definition never contains a time frame or route of
2 administration, that's what it is. So, you might
3 be going too far trying to over-define a term.

4 DR. SHEK: If it's for patient compliance,
5 which I have, which I think is legitimate, so
6 people are not getting confused, then, I think it's
7 the wrong test. I don't think that is really the
8 test that mimics what is happening when you put a
9 tablet in your mouth.

10 If our concern is that a patient is used
11 for one product, and then is being switched to
12 another product, and it behaves a bit differently,
13 and then going to have a compliance with regard to
14 medication taking aspect, then, I think this is the
15 wrong test.

16 If you look at the products, I believe
17 rapidly disintegrating is those which are going
18 maybe 10 seconds, you can see those products, and
19 others, I think are different. If that is the
20 purpose, because bioavailability we assume they
21 will be all the same.

22 DR. KIBBE: Gary.

23 DR. HOLLENBECK: The 900 ml of fluid in a
24 glass beaker with a paddle is the wrong technology,
25 too, I think for dissolution, you have to have some

1 kind of test, and this is a well-defined simple
2 test. I think that is what we are looking for
3 here. I don't think in-vivo/in-vitro correlation
4 is necessary here.

5 There is some line in the sand, as Ajaz
6 said, that will help discriminate this dosage form
7 from others.

8 DR. KIBBE: Anybody else?

9 DR. BORING: I would like to ask one more
10 question. In the gestalt of nomenclature that I
11 described earlier, where there is nomenclature and
12 labeling that can be the entire nomenclature issue,
13 do you feel there is a need here or there is a
14 possibility of including a time element as a part
15 of the description, perhaps going to a monograph.

16 If you have the orally disintegrating
17 tablet perhaps as a title, and then in the
18 description section, state if it is to be labeled
19 as an orally disintegrating tablet, it be
20 disintegrate in less than 60 seconds.

21 The problem here for us in the long term,
22 when these products go into the generic phase, we
23 may or may not have a product that actually is
24 comparable to the innovator unless we put some type
25 of objective criteria.

1 Now, we can handle that through a labeling
2 element. Is that adequate? I am hearing you say
3 it shouldn't be anywhere, but maybe a labeling
4 possibility.

5 DR. KIBBE: When I look at definition, I
6 look for the simplest and easiest, and then the
7 criteria that surrounds that item builds from
8 there.

9 We all know what a lubricant is, because
10 it lubricates, but we don't put criteria for
11 coefficient of friction in the definition. We
12 don't say it reduces the coefficient of friction
13 between the tablet punch and the dye by 70 percent
14 or else it can't be called a lubricant.

15 So, we put the definition as the intended
16 purpose, and the intended purpose of this product
17 is to disintegrate in the mouth and have the
18 contents then swallowed, and the criteria you then
19 put on it in terms of approval is built from the
20 intended purpose. Whether that should be in the
21 definition or not, I am not so sure.

22 DR. DeLUCA: Art, we are not telling you
23 that you would put the energy, you know, the heat
24 of activation or the heat of dissolution in it.
25 This is orally disintegrating, it's the purpose of

1 it, and the purpose of it is to do it rapidly.

2 Would it lose something if it was called
3 rapidly disintegrating tablet rather than orally
4 disintegrating tablet?

5 DR. KIBBE: They had a problem with that
6 because they thought it might have been a claim
7 that they could use inappropriately, and I
8 understand that, the use of marketing semantics.

9 I am just trying to think in terms of how
10 simple could we name it, and then there wouldn't be
11 arguments over, well, 60 is not enough, 60 is too
12 long, 60 is too short, 30 should be all right, 10
13 is no good.

14 What is the intended use? It's supposed
15 to disintegrate in your mouth and swallow, and the
16 patients are supposed to think that is the way to
17 do it, and they are supposed to use it correctly.
18 Then, we can have all sorts of discussions and long
19 theses on the variability, but the tablet is still
20 called an oral disintegrating tablet.

21 DR. DeLUCA: Who is going to use this, the
22 patient? Why don't we ask the patients? Has
23 anyone asked the patients how fast they would want
24 it to disintegrate?

25 DR. NASR: I did. I think the aspect is

1 in the sense, the challenge would be facing I think
2 it is going to increase tremendously in the future
3 unless we have a rethinking of how we name this.

4 This is simply the tip of the iceberg of
5 the challenges we face in the future. Now, the
6 situation here is the name has been established
7 some years ago and we have a definition which
8 didn't help us to address what we have.

9 We have already approved products, many of
10 those in that range, so I think it's a pragmatic
11 look at the problem at least in this particular way
12 and saying all right, we are expressing a concern
13 that oral disintegration means it needs to be oral
14 disintegration, and really I have even gone to the
15 length of looking at involuntary mastication
16 reflects that comes in put something in your mouth,
17 and so forth, because you have to look at the
18 entire patient population, the pediatrics, and so
19 forth, and you don't want to leave a big object in
20 the mouth for a long time from a safety concern.

21 So, those are all sort of a whole host of
22 considerations. So, the message here is if you
23 have orally disintegration, the intended purpose is
24 oral disintegration, you are not going to keep
25 something in your mouth for a long period of time.

1 Now, 60 seconds, in my mind, is too long
2 already. That is a pragmatic drawing a line at
3 least in the sand now, and then working towards
4 something more meaningfully drawn, so unless we
5 draw the line now, things get out of hand a bit
6 more than you would like to have.

7 DR. HOLCOMBE: Thank you, all, and I turn
8 the podium over now for the next discussion.

9 DR. KIBBE: We are pretty good on time. I
10 have one short comment on oral solid dosage forms,
11 just an old ax that I grind on a regular basis at
12 my school.

13 That is that we no longer manufacture or
14 market pills. There are no pills on the market.
15 There is a specific manufacturing process for
16 making pills, there are none on the market, so we
17 shouldn't be dealing with pills, so when people
18 start talking about pills, it kind of grates on me.

19 DR. BUHSE: Most of you were here last
20 March when I introduced the topic of topical dosage
21 form nomenclature, and I want to give you a little
22 update on what we have done since then.

23 [Slide.]

24 You can't really read this very well, but
25 you saw this in March. I just wanted to give you a

1 little reminder of what we presented. We presented
2 you a decision tree that you would potentially go
3 through to decide what to name your topical dosage
4 drug, and then gave you a series of definitions.

5 The decision tree and definitions included
6 gel, paste, ointment, lotion, and cream only.
7 Cream ended up at the bottom of the decision tree,
8 and the others came off based on different
9 physical, chemical properties that we had either
10 measured or determined based on composition.

11 [Slide.]

12 Your input at that time is summarized here
13 on this slide. You felt at that time that we had
14 included a little too much information in the
15 definition about the appearance and feel of the
16 dosage forms and that that was not necessary and
17 that we could make those definitions simpler, the
18 examples were greasy and non-greasy, you felt could
19 be removed from the definition.

20 You felt the definitions could be based
21 more on the vehicle, the actual composition of the
22 vehicle. The whole term of lotion being overused,
23 I think there was some discussion from the USP, as
24 well, about eliminating the term lotion and whether
25 to or not, and the fact that there is so many

1 different drugs that have been called lotions.

2 Then, to some extent, the way our tree
3 played out, cream ended up more as a default
4 definition and less as having its own definition,
5 so you felt we could tighten that up a little.

6 At the time, we separated liquids and
7 semisolids based on viscosity, which as you guys
8 know is a one-point determination. You wanted us
9 to maybe take a more detailed look at the rheology
10 of some of these drugs and maybe see if we can
11 change the way we determine the semisolid/liquid
12 line.

13 We also, at the time, came to you with a
14 lot of questions about gels because we had a hard
15 time distinguishing, in some cases, gels from
16 creams. Gelling agents themselves are often used
17 also as emulsifiers and suspending agents, et
18 cetera, so just having a definition based on the
19 presence of a gelling agent was not distinguishing
20 gels from other dosage forms.

21 [Slide.]

22 What have we done since then? Obviously,
23 we took your input and evaluated it within our
24 team. We consulted with one of your colleagues
25 here, Dr. Arthur Kibbe, who came here to the FDA in

1 the summer and taught us a little about rheology
2 and dosage form definitions.

3 We did do some more rheological
4 evaluations of liquids and semisolids, and I will
5 show you some of that data in a minute.

6 We also took a look at gels. One of the
7 things that had been mentioned in March about gels
8 is should gels be clear or should gels not be
9 clear. I think a lot of people expect gels to be
10 clear when they take them out of a tube.

11 We took a look at a lot of gels in the
12 marketplace, prescription and non-prescription, and
13 what we found was half of them were transparent,
14 clear, half of them were not, so it really was a
15 50-50 thing.

16 We talked a lot about whether we should
17 make clarity a criteria for gels. In the end, we
18 decided not to based on what we saw in the
19 marketplace. I just wanted to clarify that now for
20 you guys.

21 [Slide.]

22 Just to show you a little of what we did
23 on the rheological evaluations. We did some shear
24 rate versus shear stress on a lot of the products
25 that we felt were kind of on the liquid/semisolid

1 border.

2 When you take a look at the dosage forms
3 that we had in the lab, we had about 15 or so of
4 them that were sometimes called lotions, sometimes
5 called creams, that we felt we wanted to be able to
6 distinguish one is a liquid and one is a semisolid.

7 We took a look at the rheological values
8 and what we were hoping to see is that a liquid
9 would need little or no shear stress to start
10 flowing. I mean a liquid should conform to a
11 container, it should flow, and you shouldn't have
12 to push it along.

13 A semisolid would be you need to give it a
14 little bit of oomph to get it moving. An example
15 here is a product, the dark blue one over on the
16 side is a product that we would have considered a
17 liquid. It conformed very readily to its
18 container, it flowed, and you can see that it took
19 very little shear stress for it to start to flow.

20 The pink one, further over closer to me,
21 is definitely one of the semisolids, and you can
22 see it took almost 600 D/cm² of shear stress to get
23 it going on viscosity.

24 Some examples of some of the other
25 products we looked at are shown over here. You can

1 see that those products that did conform to
2 containers, those products that did flow showed
3 fairly low yield values - 200 D/cm² or less, and
4 that some of the ones that did not conform to
5 container had the higher minimum yield values.

6 [Slide.]

7 So, the further work that we ended up
8 doing is we ended up redoing our decision tree and
9 redoing the definitions, and those have hopefully
10 been handed out to you. They were not in your
11 original package.

12 There are some changes obviously. You can
13 see this tree looks very different than the one I
14 showed you at the beginning. I just wanted to
15 point out some of the major changes we made since
16 the last time we talked.

17 One of the first things we do is we split
18 off liquids from semisolids, and we have three
19 liquid dosage forms for topical solutions, lotions,
20 and suspensions, and we have included definitions
21 for all three of these now in your packet. We did
22 not have the definitions of solution and suspension
23 previously when we met in March.

24 Then, down from those liquids, we now go
25 into the semisolid, and we have required a gel to

1 be a semisolid, that is a difference from March,
2 and we have once again the paste and ointments
3 definitions are fairly much like they were in
4 March. We have also required a cream to be an
5 emulsion. It is at the bottom, but it is not
6 really a default definition anymore, it has to be
7 an emulsion to be a cream.

8 Back up at the liquids, we have lotion as
9 an emulsion--I know this will be fairly
10 controversial--but we wanted to restrict the lotion
11 to a certain dosage form, so essentially, if you
12 have an emulsion topical dosage form, if it's a
13 liquid, it's a lotion, and if it's a semisolid,
14 it's a cream. So, you can certainly look through
15 the packet and see some of the changes that we have
16 made.

17 I am only given five minutes here, so I
18 didn't want to go into too much detail. So, that
19 is a little update on what we have done and I think
20 we are back to the questions that Moheb wants
21 answered by the committee.

22 Committee Discussion

23 DR. NASR: I think many of these questions
24 you have addressed already, but it will be of great
25 help to me if we can go back to these four

1 questions. I am going to ask the committee to
2 provide answers and suggestions.

3 The first question we have is, as you
4 heard from Dan and others this morning, there are
5 several factors we consider in determining new
6 dosage forms.

7 Are you in agreement with the factors we
8 considered or would you like to suggest additional
9 factors for our consideration and ideas about
10 defining new dosage forms?

11 DR. KIBBE: Anybody? Are we going to use,
12 I think it was Johnson, an English philosopher, who
13 said that if there isn't a need for a new law,
14 there is absolutely, positively a need not to have
15 a new law? So, unless there is absolutely,
16 positively, a need for a new name for a dosage
17 form, there is absolutely, positively not a need
18 for a new one. Don't make them up just for the fun
19 of it.

20 DR. NASR: The second question, and I
21 think most of you touched on that issue already,
22 and that is, including some quantifiable attributes
23 in the definition of dosage form, a case study
24 presented to you this morning was orally
25 disintegrating tablets, and I tried to outline the

1 challenge we had when we got these new
2 applications, and the determination was made, a
3 definition was created based on one single
4 technology.

5 Right after that, we had other
6 technologies and different products, and the
7 question before us, before you this morning is, is
8 it useful to have quantifiable attributes, and that
9 is very much related also to oral disintegrating
10 tablets.

11 DR. KIBBE: Pat, include the attribute or
12 not?

13 DR. DeLUCA: I am sorry.

14 DR. KIBBE: Gary will know.

15 DR. HOLLENBECK: I think it is case by
16 case. I think we talked about a case this morning
17 where at least in my mind there was a compelling
18 reason to have that attribute defined, but we
19 certainly heard from a lot of folks around the
20 table talking about situations where that isn't
21 necessary.

22 DR. NASR: In addition to oral
23 disintegrating tablets, even the discussion that
24 the committee had in March, and Cindy updated you
25 on this morning, was topical dosage forms.

1 Some of that, quantifiable attributes that
2 were included in some of the definition discussion,
3 things such as viscosity, this is useful parameter
4 use, and Arthur has worked with Cindy extensively
5 on these issues in the last few months.

6 DR. DeLUCA: To answer your question, yes,
7 I think if the dosage form is meant to have to
8 define an attribute, then, I think that ought to be
9 defined. So, in other words, I was still listening
10 to your philosopher there--

11 DR. KIBBE: Samuel Johnson.

12 DR. DeLUCA: --trying to apply it to the
13 topicals, but I think you were going back again,
14 you went back to the oral disintegrating tablet. I
15 think there I feel very strongly yes, the attribute
16 should be included.

17 I think in some of these also, the
18 viscosity aspect of these, I think we discussed
19 this before. It looks like what has been done here
20 is the result of some of our input, and probably
21 your lectures over the summer.

22 DR. KIBBE: We have had some impact.

23 DR. MEYER: Two comments. I have come
24 around to think that it is necessary to have some
25 quantifiable attribute when, if you think about the

1 consequences of not having one, you wished you had
2 had it.

3 I think the generic example is perfect
4 because 10 years from now, you don't want an orally
5 disintegrating tablet that takes an hour to
6 dissolve because the HMOs will say we don't care
7 about convenience, it still meets the definition
8 that FDA has, so I think you have to have
9 something.

10 Now, I sympathize with the Agency of
11 getting it right the first time. If your first
12 time dissolved in five seconds, do you necessarily
13 want everything thereafter to dissolve, must
14 dissolve in five seconds or less? Probably not.
15 Maybe a patient survey is the best way to find
16 that.

17 I mean I tried to stick a wad of gum on my
18 tongue for a minute and I found myself crushing it
19 against the roof of my mouth and dropping it off my
20 tongue, and it is very difficult to do a minute, so
21 maybe just a very practical sample of patients and
22 reviewers and up-de-ups like Ajaz, and find out
23 what is realistic. I mean this is not rocket
24 science, this is not bioequivalence, this is just
25 how long does the average person want to keep it on

1 their tongue.

2 DR. KIBBE: There is an analogy to some of
3 our semisolids and what have you. We have over the
4 years all agreed that a semisolid doesn't pour in
5 liquid pours, and we were pretty well happy with
6 that general observation.

7 So, the question is should the attribute
8 have a definable quantifiable number that can be
9 measured and then argued, and then standard
10 deviations built around it, or it should be an
11 observable experience.

12 That is where I think I might differ with,
13 you know, put 60 seconds in, I like fast, and let
14 the Agency be able to change that as new
15 information comes along and still the definition
16 doesn't change.

17 The question of whether we should include
18 in our definitions of lotions and creams, since we
19 are going to agree that they are all now going to
20 be called emulsions, emulsion formulations can be
21 either lotions or creams based on whether or not
22 they flow without force.

23 Well, what are we going to do, are we
24 going to say that is the attribute or are we going
25 to say, okay, any emulsion whose yield value is

1 less than 200 D/cm² can be called a lotion, and if
2 it more than that, it can be called a cream. Do
3 you want to do that, or do you want to put that in
4 further down and keep it out of the definition
5 specific, and put it further down? I don't know
6 which way to go on that.

7 I think lotion is going to be problematic
8 because of the public's conception that lotions are
9 both suspensions and emulsions that are liquid and
10 are used topically, because they are so used to
11 calamine lotion or things like that, which are
12 high-content solids, so that is going to be a lot
13 of fun.

14 DR. HUSSAIN: Just in terms of a thought
15 that I want to share with you is if you got a
16 chance to look at Janet Woodcock's presentation
17 which was in your briefing background, I think if
18 you really look at that presentation, what she is
19 talking about is when you think about quality by
20 design, the intended use of a product and the drug
21 essentially is considered as you are designing a
22 dosage form, so a lot of these things that we are
23 retrospectively going back and thinking about it
24 forces us to think prospectively what is the
25 intended use and then approach it from that

1 perspective.

2 So, my goal here is, as I was mentioning
3 to Moheb is we don't want to repeat the scenario
4 again. It somehow sort of captured that and
5 learned from some of these things and move on.

6 The challenge is obviously, in order to
7 think in terms of quality by design with
8 traditional dosage forms, we don't think about them
9 as designing that. Tablets have been tablets,
10 lotions have been lotions, and so forth.

11 But the attempt here is to at least bring
12 in discussion the need for some thinking that is
13 necessary here and bringing some rationality into
14 some of the older dosage forms, as well.

15 DR. DeLUCA: I think, after listening to
16 you, Art, I think that with regards to the
17 semisolids, it might be difficult to put a
18 quantifiable attribute other than flow.

19 DR. KIBBE: Oh, yes, there is a whole
20 bunch of things - do you pre-mix it before you
21 measure?

22 DR. DeLUCA: It's a little different than
23 the oral disintegrating tablet.

24 DR. HUSSAIN: I just want to caution you
25 Dan Boring did mention this. There are aspects of

1 a name and then labeling issues, and so forth. In
2 many cases, this is simply a name and over practice
3 and over time, the name gets associated with some
4 attributes, and that becomes part of the labeling,
5 so I think there is some flexibility on labeling
6 versus the nomenclature itself.

7 DR. KIBBE: Gary.

8 DR. HOLLENBECK: I was going to ask
9 questions about how you determine yield value, but
10 that would be too geeky, I think, for this forum.

11 It does strike me that the line that you
12 drew here is right between 195 and 200, and those
13 are numbers of different products you tested.
14 Maybe there isn't a need to have an exact line
15 here. Maybe you could have a little overlap and
16 allow some folks who would prefer to call it a
17 lotion, a lotion, instead of a cream.

18 Maybe it doesn't have to be a discrete
19 line in the sand given the fact that it's kind of
20 an arbitrary point to begin with and there are all
21 of these nonlinear and time-dependent and
22 shear-dependent factors involved in the
23 measurement.

24 DR. KIBBE: Even some products on the
25 market that are called lotions aren't going to flow

1 if you open the cap and start to pour them, but
2 they are reasonably thin on the cream side, so that
3 when you push a little pump and they come out, or
4 however you get them out, they flow easily over
5 your skin, so people tend to think of them as
6 lotions more than creams.

7 The continuum is not clear-cut and I might
8 argue that I don't think the continuum is going to
9 be clear-cut in the oral disintegrating tablet
10 either, and to set 60 seconds is by no means
11 anywhere as good as setting 200 D/cm².

12 DR. HOLCOMBE: I just want to clarify one
13 thing. I think--and I just want to ask--what I
14 think I am hearing you say, and a few of the other
15 people say, is that a name ought to be as simple as
16 possible, and that labeling and additional guidance
17 should be sufficient to take care of the questions
18 that we have raised.

19 DR. KIBBE: I would like that.

20 DR. SHEK: Just maybe a general and a
21 small philosophical thought. We are looking back
22 and we are like a Monday morning quarterback and
23 say we made a mistake.

24 Well, there will be the situation and I
25 hope it will be unique dosage forms or derivatives

1 of dosage forms, and somebody will be the first
2 time doing it, and you will have nothing else to
3 compare it to.

4 I believe in the case of this, whatever,
5 fast dissolving, whatever you want to call it, that
6 was at the beginning, and when you saw the samples,
7 they were really vanishing tablets.

8 Later on, I think others just tried to
9 mimic it, and you always will have the situation,
10 so how do you know then somebody comes the first
11 time and it's an innovation, it's the first time,
12 that you really can compare it and think what is
13 going to happen down the road.

14 So, it has to be some advantages to the
15 pioneer coming in and establishing the standards.

16 DR. HUSSAIN: No, I think we are not
17 talking about that scenario. We are talking about
18 the intended use and how you use it. The
19 technology is sort of the secondary or tertiary
20 issue here because if the intended use here, if I
21 use the example of orally disintegrating tablet,
22 there was a convenience issue, you can take this
23 without a glass of water is one aspect.

24 You actually can achieve chewable tablets,
25 so I think it is a mode of administration, and so

1 forth. The technology was not the focus, and is
2 not likely to be the focus of that discussion. It
3 is simply something that disintegrates. Some
4 people may prefer chewable over orally
5 disintegrating, and that is their preference, but
6 you achieved similar objectives from that point of
7 view also.

8 DR. HOLLENBECK: I was just going to make
9 a comment on the flowchart. I think it's a
10 dramatic improvement, very nice. I am on your
11 side, Art, in terms of lotion and suspension. I
12 know it goes against some of the classical products
13 that are out there. I will spend some more time
14 looking at this, but this looks very nice.

15 DR. KIBBE: As with this other discussion,
16 the Agency is going to have to be a little flexible
17 in accepting lotions when there happens to be a
18 high solid content, and one of the problems is that
19 you can use solids as emulsifying agents to make an
20 emulsion, and then you have a high solid content
21 anyhow.

22 You know, there is always that gray area,
23 but this is a lot cleaner and it fits into what
24 classically we would have expected things to be
25 with that one exception.

1 DR. NASR: Any additional comments or
2 questions?

3 DR. KIBBE: Did we get all four of your
4 questions taken care of?

5 DR. NASR: I think so.

6 DR. KIBBE: Did you finally figure out
7 what you wanted to ask?

8 DR. MEYER: I answered it myself.

9 DR. KIBBE: I always find those
10 discussions the most enlightening.

11 We have 15 minutes before lunch
12 intermission. Is there anything that we need to do
13 or should we lunch early?

14 The committee is welcome to lunch in the
15 same location as yesterday. We will break now and
16 try to get started again at 12:45. That will give
17 us a chance to have Dr. Yu go early and perhaps
18 give us a chance to get some of our members out to
19 their respective airline in time.

20 Thank you very much.

21 [Whereupon, at 11:45 a.m., the proceedings
22 were recessed, to be resumed at 12:45 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 [12:45 p.m.]

3 Open Public Hearing

4 DR. KIBBE: We have no open public
5 speakers.

6 Research Plan for Generics
7 Bioequivalence of Topical Products

8 DR. KIBBE: We will go right into the next
9 topic, Research Plan for Generics-Bioequivalence of
10 Topical Products. This is the Gordian knot and we
11 are hoping that Dr. Yu will have the blade with
12 which to cut it.

13 Generic Drug Research Program

14 DR. YU: Good afternoon, everyone, Chair,
15 advisory committee, members of the Advisory
16 Committee for Pharmaceutical Science.

17 We switch gears this afternoon. We are
18 going to talk about topical bioequivalence instead
19 of the CMC manufacturing issues which have been
20 discussed yesterday and this morning.

21 [Slide.]

22 I am going to first give you an update of
23 the research plan for Office of Generic Drugs or
24 Generic Drug Products, then followed by Dr. Bunge
25 and Dr. Wilkin's talk on topical bioequivalence,

1 challenges and opportunities, followed by Q&A.

2 Let me go through quickly on the research
3 program in the Office of Generic Drugs, for the
4 generic drugs.

5 [Slide.]

6 The first question is what is generic
7 drugs. The generics products is therapeutically
8 equivalent to our reference-list products, so we
9 call it interchangeable with reference-list
10 products, same clinical and the same safety profile
11 when administered according to labeling, comparable
12 in terms of quality and safety and efficacy to the
13 reference-listed drug.

14 So the definition is, the key is,
15 therapeutically equivalent to the reference-list
16 product.

17 [Slide.]

18 The therapeutic equivalence is defined as
19 follows. First of all, there has to be
20 pharmaceutical equivalence. It means they have the
21 same active ingredients, same dosage form, same
22 route of administration, same strength and
23 concentration and comparable in purity and quality.

24 Now, also the same clinical and safety
25 profiles, specifically usually for all drug

1 products means bioequivalence. Bioequivalence
2 means not significant difference with respect to
3 the rate and extent of absorption when administered
4 the same molar dose under the same experimental or
5 same conditions. It certainly should be adequately
6 labeled and manufactured according to the good
7 manufacturing practices, or cGMP.

8 [Slide.]

9 For systemically administered drugs, this
10 scheme shows you the bioequivalence is well
11 established. In fact, the Office of Generic Drugs
12 had 373 approval actions for Fiscal Year 2003.

13 [Slide.]

14 But still exist some challenges, exist the
15 challenges for bioequivalence for locally acting
16 drugs, locally acting drugs. This is because the
17 systemic plasma profile is not a very good
18 surrogate for locally acting drugs, as I will show
19 you in this scheme here.

20 When you administer the drug, the drug
21 will go to the plasma concentration. It also goes
22 to the site of action. So plasma concentration is
23 not usually, not always, not very relevant to the
24 bioequivalence. Therefore, we have to rely on
25 additional or alternative methodology to establish

1 the bioequivalence.

2 The method available based on the CFR Code
3 of Federal Register is that the alternative must
4 include in vivo pharmacodynamics, in vivo clinical
5 comparisons, in vitro comparisons as well as any
6 other approaches which is deemed possible by the
7 FDA based on the CFR.

8 [Slide.]

9 So our Office of Generic Drugs Research
10 Program includes responding to scientific
11 challenges in ANDAs including polymorphism,
12 including impurities, complex drug substances as
13 well as endogenous drug products.

14 We want to try and provide a scientific
15 basis for generic products including topical,
16 nasal, inhalation and liposomal substances and
17 many, many others unlisted drug generic products.

18 [Slide.]

19 I think the polymorphism I came back here
20 to talk to you last year in October, exactly one
21 year ago, October 22. We had a scientific
22 symposium on polymorphism back in June 2002. We
23 invited very well-known professors coming to teach
24 us and to talk to us about the significance,
25 importance of the polymorphism, pharmaceutical

1 solid polymorphism.

2 We presented to you our thinking with
3 respect to polymorphism sameness with respect to
4 what is our future policy on polymorphism for
5 generic products on October 22, last year. We
6 received very well support from you. Thank you.

7 We also met with our stakeholders, the
8 Generic Drug Association, and we also went through
9 the chemistry, manufacturing control coordinating
10 committees, received their comments, received their
11 support. So for scientific considerations for
12 those polymorphisms that have been published in
13 Pharm Research a couple of months ago in April,
14 2003 and actually had another follow-up publication
15 in the Advanced Drug Delivery Reviews.

16 Now, the guidance hopefully will be issued
17 very soon. It already left the office. It is
18 still in the quality staff and after the regulatory
19 review, the draft guidance should be issued very
20 soon.

21 [Slide.]

22 For impurities, we also face the challenge
23 of impurities with respect to the policy of
24 impurity in the generic drug approval and process
25 reviews.

1 Specifically, in the area of new drugs, we
2 have ICH-Q3A for drug substance, Q3B for drug
3 products, and Q6A for the specifications. However,
4 for generic products, for whatever reasons, we do
5 not have a guidance to teach us how to provide
6 guidance or recommendations, how to set up impurity
7 specifications for generic drugs, for ANDA review
8 and approval. So we are working on this.

9 The idea, when the working group was
10 formed, the purpose is to provide recommendations
11 for ANDAs on identification, qualification, and
12 establishment of specifications for drug substances
13 and drug products.

14 We just presented--in order to have a
15 meaningful, worthwhile discussion, facilitate the
16 discussion and debate, have scientifically sound
17 policies or guidances--in fact, I went to the GPhA
18 Technical Advisory Meeting to seek their input and
19 seeking their comments about impurities.

20 We already had meetings on September 4 and
21 presented to GPhA last week. We received a lot of
22 comments, a lot of questions. We addressed them
23 right now and we were trying to put the guidance
24 out for public comment.

25 [Slide.]

1 Besides the impurities and polymorphism,
2 we have a number of challenges come into the Office
3 of Generic Drugs or Generic Products. Approvals,
4 as citizen petitions, one of the areas is low
5 molecular-weight heparin which had developed
6 criteria to determine how to define so-called
7 pharmaceutical equivalence, how to evaluate that
8 two low-molecular products contain the same active
9 ingredients because pharmaceutical equivalence,
10 quite clearly, requires the same active
11 ingredients.

12 [Slide.]

13 Also we are facing challenges in the
14 endogenous drug products because, for these unique
15 endogenous drugs, that if the drug substance is
16 present in the body naturally, then there is a
17 greater possibility there is bioequivalence based
18 on total external endogenous, the concentration may
19 not be sufficient. So we are trying to evaluate by
20 baseline correction method and we are trying to
21 develop a scientifically sound reasonable
22 methodology for determining bioequivalence.

23 We understand the role of feedback
24 controls. We are doing the pharmacokinetic and
25 pharmacodynamic modeling to see how those feedback

1 controls, truly how much impact on bioequivalence
2 of bioavailability.

3 So we are not only just simply understand
4 defining a methodology. We understand how much the
5 impact truly is understood physiologically,
6 mechanistically, the impact of these endogenous
7 drugs so that we truly have a scientifically sound
8 methodology which has been out there. We will
9 provide additional support.

10 [Slide.]

11 The key challenge to us which we are
12 facing is bioequivalence of locally acting drugs.
13 As I said before, for systematic drugs, it is
14 usually the plasma concentration as endpoints and
15 provides scientifically sound and a sufficient
16 surrogate to approve low-cost the same efficacy and
17 the same safety drugs.

18 But, for locally acting drugs such as
19 topical, nasal-spray suspensions as well as
20 inhalations, usually they require very expensive
21 and costly effective clinical testing. This is why
22 we are here today.

23 We specifically discuss the topical
24 bioequivalence but certainly this is one of the
25 areas which we are undertaking. The target

1 research is to provide a scientific basis for
2 simple either in vitro or in vivo bioequivalence
3 methods.

4 [Slide.]

5 I want to say a few words on nasal
6 inhalation. For nasal bioequivalence, the draft
7 guidance was issued concerning three--even though
8 the title here is the Generic Research Program, and
9 we want to support the research program, I want to
10 mention this overall effort is made by the Office
11 of Pharmaceutical Science and Wally Adams is in the
12 audience. He truly provided significant support to
13 the generic as well as to new drugs.

14 With inhalation products, one of the
15 challenges which we are facing right now is there
16 is no guidance out there. We do receive a number
17 of controlled correspondence which ask us how to do
18 a bioequivalence study for inhalation products.

19 In order to deal with developing a
20 scientifically sound bioequivalence method for
21 inhalation products, we organized, with the help of
22 the Office of Pharmaceutical Science, Office of
23 Generic Drugs, organized a symposium and in
24 pharmaceutical aerosols and sprays. So we provided
25 a scientific foundation and knowledge to our

1 reviews so that we can move ahead next time.

2 [Slide.]

3 For topical products, in vitro, in vivo,
4 method. That is probably not a very new topic. It
5 has been presented to the advisory committee
6 several times. But it is, indeed, new to me
7 because this is my first time involved in this
8 overall effort.

9 I know that Dr. Vinod Shah, as well as his
10 colleagues in the FDA, have been working on this
11 many, many years, has generated a tremendous
12 knowledge and experience in the overall
13 dermatopharmacokinetics area.

14 At the last advisory committee meeting,
15 Jonathan Wilkin initiated or proposed a new concept
16 called a Q3 concept. We thought that was a great
17 idea which we are trying to implement and execute
18 or evaluate what is the definition of Q3. For
19 example, what is the criteria we should use? What
20 test methodology should we develop?

21 So we are here today to present some of
22 our thinking, some of our thoughts, to seeking
23 advice, knowledge.

24 [Slide.]

25 The development of the Q3 concept

1 basically is the in vitro method to evaluate the
2 structural similarity of topical products. Now,
3 this is a truly new concept. Very often, if you
4 look at the Orange Book as well as many, many FDA
5 talks, you can see there is Q1 and Q2. Q1 means
6 qualitative similarity in composition and Q2 means
7 quantitative similarity in composition.

8 Q3, at this point, as a working
9 definition, we are also seeking your advice and
10 comments, we have defined as structural similarity.
11 It describes the physical attributes and the state
12 of the products, reflects the change in the
13 manufacturing process or physical states of the
14 starting materials.

15 So this is just a working group. We are
16 here to present some of our thinking, our ideas.
17 We are seeking your help, your advice and your
18 comments.

19 [Slide.]

20 With respect to the
21 dermatopharmacokinetics, or DPK, we are trying to
22 refine or improve this methodology. The objectives
23 are to develop and demonstrate, improve the
24 skin-stripping methodology for starting
25 dermatopharmacokinetics of topical products in the

1 stratum corneum of human subjects in vivo.

2 I want to mention two points. One is that
3 is originally DPK guidance which was drawn a couple
4 of years ago is focusing on all the topical
5 products. We are thinking we want to narrow it to
6 any product where the site of action is the stratum
7 corneum.

8 Specifically, we want to target one class
9 of drugs which is topical antifungals. We are not
10 talking about any other topical products. We are
11 only talking about the drugs targeted to the
12 stratum corneum of the skin.

13 We are hoping, at the end of our effort,
14 it will provide the basis for new or revised
15 bioequivalence guidance for topical antifungal
16 products.

17 [Slide.]

18 With that, I want to turn the podium to
19 Dr. Bunge from Colorado School of Mines. I want to
20 mention that today's discussion is actually pretty
21 much a continuation of the meeting we had on March
22 22. In the closing remarks, Dr. Ajaz Hussain, who
23 is the Deputy Director for the Office of
24 Pharmaceutical Science, mentioned at the next
25 meeting--I mean, today--we will come back to

1 present you a plan, present you our ideas, seeking
2 your advice.

3 So we are simply implementing some of the
4 remarks made by Dr. Ajaz Hussain.

5 DR. HUSSAIN: Lawrence, you might want to
6 introduce the speakers to the committee. A brief
7 introduction would be helpful.

8 DR. YU: I'm sorry?

9 DR. BUNGE: I can introduce myself.

10 DR. HUSSAIN: Okay. Thanks.

11 Just for the committee's information, as
12 the computer is being switched, last year, I was
13 looking at this program with a lot of enthusiasm
14 and hope because we did have funding, that we were
15 expecting to get the funding. But I think the
16 funding we received, we have placed certain
17 contract research and we will hear something about
18 that today. But I think the budget situation
19 starting this fiscal year, next fiscal year, looks
20 extremely, extremely tight. So I think the funding
21 for this research program is going to be a big
22 challenge.

23 So, it will be a challenge for this
24 project and all the other research products, too.
25 I just wanted to share that with you.

1 Dermatopharmacokinetics: Improvement of Methodology
2 for Assessing Bioequivalence of Topical Products

3 DR. BUNGE: I am Annette Bunge. I am from
4 the Colorado School of Mines. I am a Professor of
5 Chemical Engineering there.

6 I am happy to present to you today, then,
7 some of the dermatopharmacokinetics, which I will
8 call DPK because it's much easier to say. I will
9 describe for you some background to the method as
10 it has been used in the guidance at FDA, and in
11 addition to that, then describe plans and
12 opportunities for improving the method.

13 Its basis is that it is similar to
14 pharmacokinetic methods used for oral drug
15 assessment. In that case, the drug concentration
16 in plasma is measured as a function of time. You
17 observe an uptake phase, a drug disappearance
18 phase, and this curve can be used by various means
19 - area under the curve, Cmax, the time to Cmax to
20 evaluate bioequivalence and bioavailability.

21 In the DPK method, it is similar except
22 that we measure drug concentration in the skin
23 instead of in the blood, and the disappearance
24 phase is usually induced by removing the drug from
25 the skin surface.

1 Now, there is a number of ways that you
2 can sample skin, but normally, and in the FDA
3 guidance that was issued in 1998, the method used
4 was tape stripping. This is because of the methods
5 used for sampling the skin, it is the least
6 invasive. We would call it minimally invasive.

7 It involves the sequential removal of thin
8 layers of the stratum corneum, the uttermost layer
9 of the skin, at the same site with adhesive tape.
10 So, as illustrated here, the drug is usually
11 applied, it is covered non-occlusively to keep drug
12 loss from occurring during the exposure phase.

13 After a certain period of time, the drug
14 is removed. You might wait a longer period or not,
15 and then initiate tape stripping by applying the
16 tapes, removing them, and this process is repeated
17 a number of times. The more times you tape it, the
18 larger fraction of the stratum corneum that is
19 collected.

20 The motivation for the method is that
21 there is a need to facilitate formulation
22 development both with respect to regulatory issues,
23 such as bioavailability/bioequivalence assessment.
24 That is the concern to FDA, of course.

25 There is also a much larger issue of being

1 able to use the techniques to improve topical
2 formulations in general.

3 The alternative right now for most drugs
4 is clinical trials, which we know are expensive,
5 time-consuming, and for topical dermatological
6 products, quite often relatively insensitive.

7 There are a class of products, namely, the
8 corticosteroids for which a pharmacodynamic skin
9 blanching technique is allowed by FDA, but with
10 this exception, clinical trials are the alternative
11 at the moment.

12 There are some important assumptions built
13 behind the idea of DPK, and I list some of those
14 here. It's that the stratum corneum is the
15 rate-determining barrier to percutaneous
16 absorption, so this is then impacting the delivery
17 to lower tissues if those are the sites of action.

18 The concentration of active in the stratum
19 corneum is related to what is found in those lower
20 tissues if they are the site of action, and then at
21 the stratum corneum level, is useful and relevant
22 for assessment of local efficacy.

23 I am going to come back to some of these
24 ideas in a moment after we have discussed some more
25 details about the DPK method.

1 In 1998, FDA issued guidance for using the
2 DPK method for assessing bioequivalence of the
3 tests compared to a reference product. The method
4 specified that at least 8 sites should be used for
5 each formulation. The location of those sites
6 could be anywhere, but normally, they are on the
7 forearm, the ventral side of the forearm.

8 After the drug is removed at various
9 times, tape stripping occurs. This method, as it
10 was issued in 1998, specifies that there would be
11 12 strips collected off of each site. The first 2
12 strips would be discarded, the reason being there
13 was concern that there would still be drug that was
14 not cleaned off adequately in the cleaning process
15 and that that would confound the results.

16 Then, the remaining 10 strips are grouped
17 together and the drug quantified as a single number
18 from those. The report then would be drug/area
19 determined.

20 Two phases would be studied, the uptake
21 phase, four of the time points or sites would be
22 for times prior to drug being removed completely
23 and weighting. Three of those times, the guidance
24 specifies should be at less than steady state. The
25 last time is supposed to occur after steady state

1 is achieved, and then the uptake would look
2 something like this.

3 The remaining four sites would be used for
4 the elimination, so in this case, the drug is
5 removed, you wait a period of time, and then
6 sample, so all of these time periods are after the
7 drug's removal, and the curve would look something
8 like this.

9 Let me show you some results. Let me,
10 before I do that, though, point out that in the
11 guidance as it was given in 1998, the amount of the
12 stratum corneum that is removed by the tape
13 stripping is not quantified. What is quantified is
14 the number of tape strips - 12.

15 In our view, this is somewhat like
16 measuring drug levels in blood without measuring
17 the volume, so we will come back to this concern or
18 issue in a moment.

19 Let's look at two examples. These results
20 were shown to the committee actually in 2001, when
21 Pershing published them in 2003. It is for retin-A
22 gel. Three products were tested.

23 The drug--or actually, I should say it
24 this way--the uptake phase was measured at 4 times,
25 a quarter of an hour, half-hour, 1 hour, and 1 1/2

1 hours. At 1 1/2 hours, the drug is removed and the
2 clearance phase was monitored at 3, 6, 9, and 12
3 hours.

4 The results are shown here for three
5 products. The Ortho product is the innovator or
6 RLD. The Spears product is the generic, which was
7 equivalent Q1 and Q2, and then there was a Bertek
8 product, which is inequivalent Q1 and Q2.

9 This was a blinded test, I should say.
10 The results are shown here and I summarize them.
11 The generic drug was found to be bioequivalent by
12 this measure, area under the curve from the DPK to
13 the Ortho product.

14 The Bertek product was found to be
15 bioinequivalent and, in particular, the
16 bioavailability of the Bertek product was less than
17 the innovator product.

18 Now, there was a second study conducted at
19 the same time, which I will show you. I just want
20 to point out one thing, there were 49 subjects
21 involved in this study.

22 The results of this were also presented in
23 2001 to this committee, and it involved evaluation
24 of the innovator product and the Bertek product.
25 The removal or uptake phases were measured a little

1 bit longer time. The drug was removed at 4 hours
2 in the clearance phase. These are the results.

3 In the Franz study, they also collected up
4 to 22 tapes. The drug amounts for those are listed
5 here, but in the area under the curve measured in
6 the tapes 3 through 12, they found that the Bertek
7 also was inequivalent, but they found that the
8 Bertek product was more bioavailable than the Ortho
9 product.

10 So, the two studies were contradictory.
11 They both found bioinequivalence, but they found
12 that one was higher and one was lower.

13 Now, the concern then was why was there
14 such a lab-to-lab difference, and I think it has
15 been relatively well accepted now that although
16 there are a number of differences in the way the
17 experiments were conducted, which are illustrated
18 here, the area where the drug was applied was
19 different, and the area where it was stripped, the
20 gray part is the tape strip size, was different.

21 But the chief difference between the two,
22 which probably affected the results, was that the
23 area was not controlled in the Franz experiments.
24 So, they didn't constrain the drug from any motion
25 laterally on the surface of the skin, whereas, in

1 the Pershing data, this was controlled.

2 The reason that this is a problem is
3 because the Bertek product is formulated
4 differently, and I have taken this slide from Dr.
5 Conner's presentation in 2001. The Bertek product
6 is shown here after 2 minutes. This is on filter
7 paper. After 15 minutes, it is a little bit hard
8 to see, so I will put a circle around it, the
9 Bertek product appears to have spread laterally.

10 Now, this is filter paper, not skin, but
11 it seems quite likely that that is what happened,
12 and because Tom Franz's group didn't control the
13 area, it could spread laterally. If you remember,
14 their tape, just like Pershing's tapes, they were
15 tape stripping over an area larger than the
16 application area.

17 So, they collected skin that would have
18 received this drug that was spread out. So,
19 effectively, the application area wasn't the same.

20 So, we know why maybe this lab, lab
21 difference occurs, but still we are left with this
22 sinking feeling and concern about reproducibility
23 of the method between laboratories.

24 One of the main concerns is about the
25 method, and a couple of other ones that we have to

1 list, which I think already have been mentioned
2 either before or earlier today, are effective
3 excipients, both on the permeability or the
4 therapeutic effect themselves, the whole issue of
5 healthy versus diseased skin since we are quite
6 often using these dermatological products on
7 diseased skin, and the adequacy of the DPK method,
8 as Lawrence already mentioned, for assessing
9 bioequivalence if the stratum corneum is not the
10 target or is not the sole limiting barrier, so that
11 is the reason why the current plan is to limit the
12 method to drugs, such as antifungals, where the
13 site of action is the stratum corneum.

14 Well, where are we now? Well, the
15 guidance which was issued in 1998 was withdrawn
16 primarily because of the concern, I think, of
17 laboratory-to-laboratory reproducibility in May of
18 2002.

19 It is our view, and I think it's the view
20 of a number of people in the community, that DPK is
21 a relatively new method, and it really hasn't had
22 time to mature and be fully developed, so there is
23 a number of opportunities for doing that, and
24 especially by limiting its application to sites of
25 action where the stratum corneum is going to be the

1 primary actor, we believe it has important
2 potential.

3 It is absolutely essential, though, that
4 the variability in the technique be reduced. Among
5 other things, this would, of course, reduce
6 laboratory-to-laboratory variability, but it could
7 also greatly reduce the number of subjects that are
8 required.

9 I forgot to mention, in the Franz study,
10 they had 36 subjects, and in the Pershing study,
11 there were 49, so there was a huge number of
12 analyses. If you had 8 sites, 2 drugs, and you did
13 it on 50 subjects, you have 800 experiments. So,
14 there is considerable opportunity to reduce the
15 number of subjects, so the variability can be
16 reduced.

17 To do that, though, we have to identify
18 where those variabilities are and what I want to
19 talk about today is some of the plans for doing
20 them.

21 We have just embarked upon a one-year
22 project with FDA. This is a joint project with
23 Richard Guy. I know some of you know him. He is
24 at the University of Geneva, and we are working to
25 begin this process of identifying and then reducing

1 variability.

2 The first goal is to identify and quantify
3 the sources of variability.

4 The second goal is to develop methods for
5 controlling them.

6 Our strategy for doing this is to begin
7 with a thorough examination of all the existing DPK
8 data. We have quite a bit of DPK data in our
9 laboratories, Richard's and mine, and there is a
10 number of measurements in the literature also
11 making new measurements and combining these
12 experimental results with mathematical modelling,
13 and I should really say mechanistically-based
14 mathematical modelling of dermal absorption. We
15 can identify the key issues.

16 The team is myself, as I said at the
17 beginning of my comments, I am a Professor of
18 Chemical Engineering, and I conduct dermal
19 absorption experiments in my laboratory like these,
20 as well as in vitro studies, but our main
21 contribution to the effort, in addition to the
22 experiments, will be our skills in mathematically
23 modelling dermal absorption for which we have a
24 number of years of experience.

25 Dr. Guy is very well known in this

1 community. He is quite knowledgeable, as you know,
2 about pharmaceutical products, and they have been
3 using in his laboratory, tape stripping for a long
4 time now to study dermal absorption parameters.

5 I didn't do a complete search to confirm
6 this, but I have a suspicion that I am right in
7 saying that Richard probably has more papers on the
8 subject than anyone in the literature at this time.

9 I said I would spend just a few moments in
10 talking about our plans for approaching this
11 problem. I am going to talk about three main
12 things. One is to develop methods of reducing
13 variability by describing how we might control the
14 application and sample areas, so we can avoid the
15 problems that were observed in the Franz/Pershing
16 studies.

17 Then, I thought I would begin with just a
18 little bit of description of where we think some of
19 the major sources of variability are going to be,
20 which is in the amount of skin that is collected,
21 and then just take a moment to talk about choosing
22 an appropriate DPK metric.

23 With respect to controlling area, our
24 strategy is to control, first of all, the drug
25 application area, so you put a barrier around where

1 the drug is applied in order to prevent lateral
2 spread.

3 That is obvious, but we go a little bit
4 further and that is, we will reduce even then
5 contributions of edge effects, such as lateral
6 spreading, or maybe just that you are not able to
7 get the drug uniformly right up to the edge, by
8 creating a situation where the sample area is
9 smaller than the drug application area.

10 So, we apply a template. You can see I
11 have highlighted where the application area edges
12 went, and the template has an opening in the center
13 that is smaller than that, and then you have got
14 one more step and you ensure that the location of
15 the sample area is the same for all strips.

16 So, you use a tape strip that is larger
17 than that area and then repeatedly sample, so the
18 template stays for the tape strips larger than
19 that. In that way, you are sure that you have tape
20 stripped uniformly the sampling area on every
21 strip.

22 Let's talk about what we think is maybe
23 one of the main causes of the variability in the
24 DPK method as the guidance was issued in 1998. I
25 could have shown you actually a number of studies

1 like this, but I just picked this one.

2 It was a 2002 paper by Lynn Pershing from
3 the University of Utah. They studied three
4 subjects, and they measured the amounts that the
5 stratum corneum collected on these 10 tapes.

6 What is important to observe here is that
7 the coefficient of variation as you go across
8 between each subject and all subjects is
9 essentially the same. In this case, there was a
10 single operator, one person who applied and removed
11 the tapes. Even then, the amount of stratum
12 corneum collected is variable, highly variable, and
13 most important for using DPK for bioequivalence
14 testing, it has significant and equal variability
15 between subjects and within subjects.

16 What I am not going to show to you that
17 you should keep in mind is the amount of stratum
18 corneum that collected varies with depth. More
19 comes off in the first few tapes than in later
20 tapes, and I will show you some data in a moment
21 that is relevant to that.

22 So, the amount of stratum corneum we
23 remove is highly variable, does it matter.
24 Actually, I think the idea behind the original
25 guidance was, well, you have stripped enough off

1 that it didn't matter. What I want to show you
2 today is that it is quite likely that it does, so
3 in the next few slides, I address this.

4 Now, we don't have a lot of data by which
5 we can assess this, but I can do a few
6 calculations, and I am going to show you some of
7 those here.

8 I am showing here the normalized
9 concentration that we expect to be in the stratum
10 corneum as a function of position. So, zero is the
11 surface of the skin, 1 would be you stripped all of
12 it off. We are going to look at what the drug
13 concentration would look like as a function of
14 time. So, in the time, since the drug has been
15 applied is short, we are going to follow this black
16 line.

17 The drug has moved in only part of the way
18 into the stratum corneum. As time increases, then,
19 we are going to move up on these curves a little
20 bit longer time. The blue curve is longer time
21 still, until finally we reach steady state. At
22 steady state, the concentration profile is
23 predicted to be linear.

24 I should say that the amount of drug you
25 would measure by the stripping technique will be

1 the area under these curves, and if you manage to
2 strip it all off, you would know it would be, for
3 example, the area under this black curve or under
4 the green curve.

5 By the way, because I have normalized with
6 respect to concentration on the surface, we are
7 going through 1 here, that means if I reach steady
8 state, it should be $1/2$ is the average
9 concentration on this normalized basis.

10 Now, unfortunately, we don't strip off all
11 the stratum corneum usually in the dozen tape
12 strips. It probably takes at least 20 or 30 to
13 strip it all off. We know that from a number of
14 experiments we have done and also from some of the
15 experiments that have been reported in the
16 literature.

17 So, what you really are measuring is this.
18 You are measuring here, reporting the calculation
19 of the normalized amount of drug, so this would be
20 the amount of drug collected as a fraction of the
21 stratum corneum and all the combined strips.

22 So, if you could collect all the stratum
23 corneum over here--this is sort of a collection
24 efficiency--if you collect all of it, you are at 1,
25 if you collect none of it, you are at zero. If you

1 collect half of the stratum corneum, you are here
2 at 0.5.

3 So, the black curve is the short time, and
4 as you collect more and more, you eventually reach
5 a point where you have collected enough that now if
6 you collect additional, there is no more drug in
7 it, so the average concentration stays constant.

8 As time increases, you move up. At steady
9 state, for all times larger than this dimension
10 with time, there won't be any change. But remember
11 that we are collecting variable amount of the
12 stratum corneum, so what is the effect of that?

13 I have sort of put a representative, I
14 have allowed for 20 percent variability, collecting
15 about 60 percent on average, which is quite typical
16 for 12 strips. What you see is that if you happen
17 to be sampling shortly after the drug has been
18 applied, and you have got either a lot of the skin
19 or just a little bit of the stratum corneum, there
20 is almost no difference, but if I waited a little
21 bit longer, you can start to see some important
22 variability.

23 So, you have got the problem that the
24 variability is going to be changing with the
25 sampling time.

1 This is in the uptake phase. Let me just
2 show you, the same sort of curve for the clearance
3 phase, so in this case, again, this is the amount
4 of drug that would be on a given fraction of the
5 skin that has been collected, the stratum corneum
6 collected.

7 We start with the drug removed at a
8 certain time, and these are curves of progressively
9 larger times since the drug was removed. So, if
10 you can collect all of the stratum corneum, you see
11 that as you wait, you are clearing, and the drug
12 amount is going down.

13 If you had a 20 percent variability with a
14 mean of about 60 percent collection efficiency,
15 once again, this time, shortly after the drug is
16 removed, you have a fairly large variability that
17 is induced and the amount, and if you have been a
18 longer time since the drug was removed, that's a
19 little bit less.

20 You do see a significant variability in
21 the amount of drug that will be in the tapes if you
22 are not collecting the entire stratum corneum, and
23 that effect will also be dependent on time, so it
24 will be less in some cases and more.

25 So, with respect to stratum corneum

1 collection, it is variable and it will lead, as I
2 showed you computationally a moment ago, to
3 variable amounts of drug being collected. The
4 problem is, is that stratum corneum collection,
5 meaning the variable amounts of drug you measure,
6 is large, and it is large within subjects.

7 So, the normal technique for removing the
8 inter-subject variability helps, but you have this
9 large intra-subject variability that you can't get
10 around unless you can measure how much stratum
11 corneum you have collected, which is what I said,
12 it leads to large intra-subject variability.

13 Now, that was all computational. Let me
14 just show you one set of experiments that we have
15 done for a different purpose. We did the analysis
16 differently, but we have come back and redone it to
17 compare when we know and when we don't know mass.
18 The chemical in this case, it is not a drug, is
19 cyanophenol.

20 It is applied in a saturated solution of
21 water. We apply it for one hour, and then we
22 remove it for one hour, and then we tape strip
23 either right after it is removed or after the one
24 hour of clearance.

25 On each tape strip, in addition to

1 measuring the concentration of cyanophenol, we also
2 measured the amounts of the stratum corneum
3 collected. That meant we could calculate the
4 concentration of the cyanophenol, and the results
5 are shown here.

6 If you didn't measure the amount of
7 stratum corneum that was collected, then, you would
8 report the results, as is done in the literature in
9 a number of places, drug or chemical amount per
10 area as a function of the tape strip.

11 By the way, we were expecting this
12 experiment to be at steady state, but based upon
13 these results, it is hard to say. I should say
14 that the open ones are the tapes 1 and 2 that
15 wouldn't be included. We did 25 strips, so the
16 remaining 15 aren't included, so in the analysis, I
17 am emphasizing these are the 10 that the DPK method
18 specified.

19 If we measure the amount of stratum
20 corneum, then, we can calculate the concentration.
21 In addition to that, we can locate that tape's
22 position with respect to where we are in the
23 stratum corneum. Remember the first few tapes
24 remove a great deal more, so the mass of them
25 positions them here.

1 The inner tapes, you don't remove very
2 much stratum corneum, so they are all bunched at
3 the end, and their position is close to the end.

4 What is interesting here is that we expect
5 this to be linear for its steady state, and it is
6 very easy to see that it is once you have done the
7 adjustment for the amount of stratum corneum
8 present on the tapes.

9 Now, we can report as it was specified in
10 the DPK/FDA guidance, the amount of drug per area
11 on these 10 tapes, or we can report using the 10
12 here, the average concentration on those tapes.
13 Keep those in mind. You don't have to remember the
14 numbers, but just the two ways of reporting.

15 We also looked at the clearance phase. I
16 will present them shown the same way. Here is the
17 10 tape strips and the solid amount per area is a
18 function of number, or we can report them as
19 concentrations with their proper position within
20 the stratum corneum.

21 It turns out that that curve, which looks
22 to fit the data quite well, is exactly what we
23 predict based upon the mechanistic mathematical
24 modelling. Again, we can report then as specified
25 in the FDA guidance the amount of drug per area or

1 the concentration. What is the difference? Let me
2 show you that.

3 In this table, I look at the uptake phase
4 and the clearance phase. It happened that we did
5 these experiments on three subjects, but the really
6 important thing to look at is down here.

7 In the uptake phase, if we compare the
8 subjects based upon concentration, the variability,
9 the coefficient of variation is almost 9 percent.
10 It is more that double that if we look at the
11 amount of chemical per area alone.

12 In the clearance phase, the difference is
13 even more dramatic.

14 All of this is to say variability is
15 significantly reduced by quantifying the amount of
16 stratum corneum, and reporting concentration rather
17 than drug amount per area.

18 I should say that Japan recently issued
19 DPK guidance just a few months ago. In their
20 guidance, they, first of all, recognized that the
21 amount of stratum corneum stripped off will vary
22 between and with subjects.

23 It will be variable even if you specify
24 the same number of strips, for example, 12, and
25 then they make this recommendation to increase the

1 power, it may be advantageous to use the average
2 drug concentration, meaning you have to know how
3 much stratum corneum you remove.

4 Just a couple of final words. This is
5 that we might want to think about which metric to
6 use. In oral pharmacokinetics, it makes good sense
7 to use area under the curve on Cmax or Tmax.

8 In DPK, we have those same options. We
9 have all those as possibilities, Cmax, rate of
10 clearance, area under the curve, several
11 possibilities, others, like measuring diffusion
12 coefficients or partition coefficients directly
13 from the technique.

14 Which one to use, it really depends on
15 what you want to compare, and you have to keep that
16 in mind when we are looking at bioequivalence. For
17 bioequivalence, what you want is the
18 bioavailability to be equivalent, and
19 bioavailability is really the rate and extent of
20 the absorption, and rates is really handled by
21 diffusion coefficient, and extent by partition
22 coefficient.

23 We can use those metrics in different ways
24 to maybe address this much more directly than maybe
25 using area under the curve. I am not saying that

1 we know which way to go, but we think that this
2 should be looked at.

3 For example, here are just some computed
4 curves. In this case, the sampling would be
5 occurring during the elimination phase. The steady
6 state occurred before the drug was removed, and
7 then you follow the elimination, so you have a
8 plateau here.

9 In this case, the drug is removed before
10 steady state is reached. If it had been left on
11 longer, it would have marched up here, and you are
12 coming down here. It doesn't really matter which
13 way you are doing it.

14 The key idea is in the uptake phase, it is
15 controlled by two things. It is controlled by both
16 partitioning and diffusion, but in the clearance
17 phase, it depends almost exclusively on diffusion.

18 So, you are measuring different parameters
19 in the two phases. C_{max} , on the other hand, will
20 depend on not only partitioning and diffusion, but
21 it will depend on how long it was before you
22 removed the drug, and depending upon the duration,
23 the area under the curve can weight either the
24 elimination phase or the uptake phase more
25 dramatically.

1 So, if you have this situation, the uptake
2 phase is weighted much more than if you have this
3 sort of situation. All this to say that in
4 considering bioequivalence, it might be useful to
5 really think about what the metrics and measuring
6 mechanistically to optimize this better.

7 So, goals then are to have a method that
8 is reproducible, that minimizes the number and the
9 number of analyses you have to do, optimizes the
10 design to produce maximum information at minimum
11 cost.

12 It can be done in any laboratory that has
13 reasonable skills, that is based soundly on
14 mechanisms of drug delivery, and that provide the
15 simplest possible information structure for making
16 a regulatory decision.

17 In the plans for the next year on this,
18 our focus areas are quantification of the amount of
19 stratum corneum collected. I didn't talk about the
20 thickness, how you know that you have made it all
21 the way to 1 or not, but we have to do that, as
22 well, so we will measure the stratum corneum
23 thickness, the control of the drug application area
24 and sampling areas, methods for reproducible drug
25 application, another topic I haven't discussed.

1 Let me just say finally that the protocols
2 need to be as explicit as needed, but no more than
3 that.

4 A final word on experiments, we will be
5 conducting some new experiments. The drug that we
6 have identified for study is clotrimazole. It is
7 an antifungal, and the stratum corneum is the site
8 of action.

9 The plan is to measure the thickness of
10 the stratum corneum, the location of each tape
11 within the stratum corneum, and the total amount of
12 stratum corneum collected on those tapes.

13 This isn't to say necessarily that each of
14 those measurements would be done in the final DPK
15 recommendations, but it is to give us all the
16 information, so that we can see where the
17 variabilities are coming in.

18 The goals then, as I have stated before,
19 are to quantify variability related to mechanisms
20 of dermal absorption, and then to reduce
21 variability.

22 In summary, we believe DPK is a
23 potentially powerful technique that can provide
24 relatively easy determination of topical
25 bioavailability and bioequivalence, and allows for

1 comparison of formulations, but it is new and it
2 needs further development.

3 Most importantly, the variability and the
4 method needs to be reduced, and, of course, in the
5 end, validation will be required.

6 I put this slide in, it's not in your
7 notes, but I get asked quite often what is the
8 person at a place called the School of Mines doing
9 skin for, and the answer is that the School of
10 Mines was named in the late 1800s when it was
11 founded to support the principal industry of the
12 State of Colorado, which at that time was mining
13 and is no longer the case, and we are just an
14 engineering and science school.

15 I work in a fairly traditional Chemical
16 Engineering Department, and my specialty has been
17 membranes for over 20 years, and for at least 15
18 years, skin.

19 Thank you.

20 DR. KIBBE: Do you want questions now or
21 do you want to go to the next speaker?

22 DR. MEYER: As I recall, I was persuaded
23 by Franz/Pershing presentation that the system
24 wasn't any good, and somehow I missed that the
25 techniques being employed were quite different,

1 Franz being less desirable, it seems to me, based
2 on your presentation.

3 Why not before launching into a big
4 research effort, simply have Tom Franz repeat Lynn
5 Pershing's method, and then Pershing repeat Tom
6 Franz's method, or have them both do your proposed
7 way of controlling the application and see if that
8 improves the situation? That is Question 1.

9 Question 2 is your cyanophenol study
10 basically did tape stripping with and without
11 correction for stratum corneum removal. What did
12 the concentration or amount/time profiles look like
13 for those two methods with and without correction?
14 I see uptake and I see clearance. I don't see the
15 whole profile over time.

16 DR. BUNGE: I think to the first question,
17 I am going to defer to Lawrence because it is not
18 my purview to tell--I have been pretty much hired
19 for a year to work on answering where the sources
20 of variability are, not to answer that question.

21 Let me, before I give it to Lawrence,
22 maybe say one thing. I think there is a
23 recognition that even if we fix the problem, the
24 lab-to-lab irreproducibility that you saw in 2001,
25 even if we fix that, that the method still has

1 significant variability that needs to be reduced
2 before it is going to be a workable method.

3 I will let Lawrence speak to the other
4 issue.

5 DR. YU: I guess it's a fairness question.
6 We put the research proposal on the FDA web site.
7 We receive the proposal, we evaluate those
8 proposals based on the criteria which was set
9 before we sent a proposal on the web site, and Dr.
10 Bunge's proposal was awarded for this contract, so
11 we don't have much choices.

12 Ajaz, you want to comment?

13 DR. HUSSAIN: I think Marvin is asking the
14 question in terms of sort of confirming the
15 findings, the differences, and so forth, more so
16 than the answer provided here.

17 I think the aspect is that I think I agree
18 with the issue raised, the variability aspect
19 irrespective of the method, I think was large
20 enough to give us a concern to saying let's
21 understand the method better.

22 I think to a large degree, at least my
23 thought processes were motivated by a publication
24 just around that time that Richard Guy published.
25 I don't have the exact quote in my head, but that

1 is where he actually showed the differences, the
2 variability that could be managed with measuring
3 stratum corneum, so that is the thought process
4 that led to this.

5 DR. MEYER: Of course, we have had
6 traditionally problems with variability, highly
7 variable drugs which has been discussed over and
8 over. I guess I am thinking to get on with the
9 situation, and not spend another three years doing
10 research and scrap what we already did, let's see
11 if what we already did was fine if we had done the
12 experiments properly as a comparator, because we
13 scrapped all that work, Vinod and everyone else
14 did, based on a presentation here to this
15 committee. I think there were probably others.

16 I would agree that variability needs to be
17 defined, and your experiments will probably very
18 elegantly get a grip on that, but if in the interim
19 we can move forward sometime sooner than the next X
20 years, that might be advantages, too, and then use
21 your work to kind of polish the system, because
22 variability is a matter of numbers of subjects
23 generally if it's truly a biological stripping
24 person-specific as opposed to a true something is
25 wrong with the system.

1 DR. HUSSAIN: Also, there is another
2 dimension to that decision, I think, the dimension
3 being that the reference-listed drug and the Q1 and
4 Q2 alternate are essentially a solution to this
5 form in the gel.

6 The aspect I think of tretinoin was the
7 model drug that we had studied in that experiment,
8 and the thought process was in the sense of
9 regardless of what that is, we would not be
10 addressing the challenges in terms of deeper
11 penetration, follicular penetration, and the
12 question with respect to the relevance of normal
13 skin and diseased skin, and so forth.

14 So, if you really look at what the thought
15 process evolved was in the sense if you have a
16 solution dosage form and if you have
17 characterization of Q1 and Q2, and if you add the
18 dimension of Q3 to it, then, you actually do not
19 need an in-vivo study.

20 So, that experimental system essentially,
21 you say we won't even need an in-vivo study is the
22 proposal here, and then move towards a system where
23 we focus on stratum corneum or disease states with
24 the stratum corneum. So, I think the thought
25 process changed to a degree that that experiment

1 actually was not adding any more value for
2 subsequent steps.

3 DR. BUNGE: With respect to your second
4 question, which was the concentration versus time
5 curve, as I said when I showed these results, our
6 purpose in those experiments were different. We
7 weren't trying to show bioequivalence or measure
8 area under the concentration time curve.

9 We are able, with a single point at steady
10 state and with one point after clearance, to get
11 the partition coefficient and the diffusion
12 coefficient, and from that, calculate the
13 permeability coefficient in that system.

14 That was the goal of those experiments,
15 so, in fact, I have only the two time points that I
16 showed to you. Those experiments were conducted for
17 a different reason. By the way, I should say that
18 those diffusion coefficients and those partitioning
19 coefficients, and the resulting permeability that
20 you calculate is exactly the same bioequivalent in
21 the in-vitro human skin as in the in-vivo
22 experiment, and we have several papers that have
23 looked at that issue.

24 That is one of the things you can do with
25 the DPK method. You are not actually restricted

1 necessarily. You may want to be to an area under
2 the concentration time curve as the optimal measure
3 of are they equivalent.

4 That makes sense in an oral, and it may
5 still make sense for some topical dermatological
6 products, but there may be other ways to optimize
7 that to make it more efficient.

8 That won't be the plan for what we are
9 going to look at, I think in the next year. Our
10 focus is going to be much more in just reducing the
11 variability and especially helping with the issue
12 of quantification of the stratum corneum.

13 DR. DeLUCA: I was just wondering, I know
14 you mentioned Richard Guy who certainly has been
15 working in this area. How about the work of Gordy
16 Flynn in Michigan? He was pretty active in this
17 area.

18 DR. BUNGE: He is certainly active in the
19 area although he has not done very many, a few tape
20 stripping experiments. Gordon Flynn works with
21 both Richard and I quite a lot.

22 So, absolutely, one of the reasons I said
23 in this year's study, not only do we plan to do
24 some new experiments, we really want to go
25 back and look at the whole body of literature that

1 we have, which is rather extensive, including not
2 only measurements by Richard's lab and my lab, and
3 Dr. Flynn's lab does measurements in Europe, by Dr.
4 Lautteman in Germany.

5 There is a number of these that we can
6 look at to quantify some of these issues, so that
7 in the end, not only do we have some new data, but
8 we have a whole body of data that we have relooked
9 at with this in mind, so absolutely, we will be
10 looking at the work that Gordon has done.

11 Some of this work has been, a lot of it
12 with chloroform and evaporation confounds some of
13 the results, but yes, we definitely will be
14 considering that.

15 DR. MOYE: I feel like I have been
16 deposited in a hall of mirrors and I am going to
17 try to find my way out of this. Is the ultimate
18 purpose of this exercise to be able to predict
19 bioavailability of topical compounds to the point
20 where you don't actually have to carry out in vivo
21 experiments but that you can estimate permeability
22 parameters and, from there, deduce what the
23 bioavailability is going to be? Is that the
24 ultimate goal here?

25 DR. HUSSAIN: I hope it leads to that, but

1 that is not the goal at all right now. I think it
2 is simply a method to compare two different topical
3 formulations right now. I see that possibility in
4 the future, but that is not the intention at this
5 moment.

6 DR. MOYE: Then the focus on variability
7 here is to reduce variability to the point where
8 you can reliably differentiate between two
9 compounds which may have different bioavailability.

10 DR. HUSSAIN: Right.

11 DR. MOYE: These mathematical and
12 nonmathematic efforts that you are undertaking will
13 identify some sources of variability. They may
14 identify all sources of variability; is that
15 correct? You can have a model where you may have a
16 number of well-selected variables that explain
17 variability but, in the end, you have 92 percent of
18 variability remaining unexplained.

19 DR. BUNGE: I think that is correct. I
20 should also say that the way that the mathematical
21 modeling is being used at this point is if we know
22 that a certain parameter like the skin collection
23 efficiency is variable, it lets us, through the
24 modeling, get an idea, is that important or is it
25 not important so that we focus in our experiments

1 on studying the sources of variability that are
2 likely to be the largest.

3 But absolutely there is going to be the
4 possibility--in fact, there will be. There will be
5 unquantifiable uncertainties that we can't
6 quantify.

7 DR. MOYE: You make a very good point.
8 You can find, perhaps, four or five different
9 important variables which explain a good deal of
10 the variability but there is so much more
11 variability that remains unexplained. So, in the
12 end, I guess this all is--this effort, its
13 foundation is the belief that you will identify
14 enough variables so that you can identify the major
15 sources of variability, that it explains most all
16 of the variability and, therefore, reduce that
17 variability so that you can differentiate between
18 compounds of different bioequivalence.

19 At least my work in modeling suggests that
20 you can find many variables, and I hope yours is
21 different, but mine is that you can find many
22 variables but you still have a substantial
23 component of variability that remains unexplained.

24 DR. BUNGE: I think maybe the way to
25 explain it, in my view, is if the method has been

1 used following the FDA guidance, the intrasubject
2 variability was much larger, in fact not very much
3 less, than the intersubject variability. We don't
4 see that same situation arise in other techniques
5 and probably the primary reason for that is the
6 sampling technique, itself, had a lot of
7 variability. It would be like taking blood samples
8 and never measuring the volume and not trying to
9 keep it the same.

10 So, to the extent that we can find those
11 sorts of things that can be fixed, the overall
12 global variability is going to be reduced. But
13 absolutely, at a certain point, you can study it to
14 death but you can't fix it. So I think the real
15 goal is to get the intrasubject variability down to
16 sort of the range that you would normally expect
17 within a person.

18 There is only 10 percent variability in
19 the thickness of the skin on my arm over an entire
20 year. But we are getting 30 percent variability on
21 sampling that is done on that arm in terms of the
22 fraction of the skin we are collecting. That is
23 probably the primary source of a lot of needing as
24 many subjects as needed.

25 DR. MOYE: So it becomes an issue of

1 refining sampling technique.

2 DR. BUNGE: In my view, that is certainly
3 the most important issue.

4 DR. MOYE: Okay.

5 DR. KIBBE: Marv?

6 DR. MEYER: Your DPK table effective
7 variable stratum corneum collection, you have the
8 second column, after subjects, labeled Average C.
9 Does that mean 0.548 is an average of multiple
10 samples or is that misnamed.

11 DR. BUNGE: 0.458--

12 DR. MEYER: Right. Is that an average of
13 2 or 10 or--

14 DR. BUNGE: It is the average in the skin
15 samples. That is a single time-point measurement
16 on one subject. It is the average concentration in
17 the stratum corneum at that time on that subject.

18 DR. MEYER: Not multiple skin strips.

19 DR. BUNGE: Right.

20 DR. MEYER: Just one strip.

21 DR. BUNGE: It is average concentration in
22 terms of the average--you have a concentration--in
23 this case it is the average concentration--it is
24 averaged over the entire stratum corneum and not
25 over time or not over multiple measurements. So

1 what I have given you is single time points, three
2 subjects, analyzed either by concentration--because
3 the concentration is high on the outside strips and
4 lower on the inside strips. This is the average.

5 This would be like the blood sample. I
6 guess that is the way to think about it. This is
7 the blood sample where you really are reporting
8 concentration.

9 DR. MEYER: Right. I was concerned
10 initially that maybe you were--at the bottom, when
11 you said mean, that was the mean of an average
12 number and the average number had had--you hid the
13 variability in the average number.

14 DR. BUNGE: No. Thank you.

15 DR. KIBBE: Len?

16 DR. MOYE: I now have a numerator
17 question. I mean, to me variability is a
18 denominator question. This is a question more to
19 Ajaz, I think. What differences in bioavailability
20 are worth detecting? Let's assume that we can't
21 get--despite these heroic efforts, we cannot get
22 unexplained variability down. Then what degree of
23 bioavailability--what differences in
24 bioavailability are worth detecting?

25 DR. HUSSAIN: I think the goalpost that

1 traditionally we have utilized in pharmacokinetics
2 has been 80 to 125 as a goalpost. So you are
3 looking at an approximate difference of that, less
4 than that, actually because you have a
5 confidence-interval criteria. So the general
6 criteria in a traditional pharmacokinetic measure
7 has been we need to achieve a 90 percent confidence
8 interval to be within 80 to 125 off a
9 pharmacokinetic parameter.

10 Now I think I would rather raise the
11 question as to what is the relevant acceptance
12 criteria for a difference in a topical situation.
13 I think, in my mind, it is broader but we
14 don't--probably if you go over the PK measure, it
15 would be that or somewhat that.

16 DR. MOYE: It may have to be broader;
17 right? Because if these efforts can't really
18 reduce variability down to a level where you can
19 detect a difference of 80 to 120, then it begs the
20 question of well is it worth trying to enforce a
21 difference you can't detect?

22 DR. HUSSAIN: I think the question is of
23 equivalence. I think you are sort of demonstrating
24 equivalence, so there is a different aspect here.
25 Now, especially in the case of topicals, the

1 conditions that we place on comparator product is
2 there will be the same dosage form--that is, in the
3 sense they will have the same inactive ingredients
4 and approximately within plus-or-minus 5, the same
5 amount. So the similarity dictation in terms of
6 pharmaceutical equivalence is far more stringent
7 and essentially the bioequivalence essentially is
8 sort of a conformation of--so the key issue is if
9 you are not able to get the variability manageable,
10 then you essentially need, unfortunately, a large
11 sample sizes to establish equivalence.

12 DR. MOYE: Right.

13 DR. HUSSAIN: The experimental evidence
14 that we had collected before we had issued the
15 draft guidance, the sample size that we were sort
16 of looking at ranged from 30 to 60 range. Compared
17 to what the ultimate size is with clinical trials,
18 that still is a manageable and a reasonable one.

19 So the future we are looking at is
20 reducing from the variability that we felt was--I
21 don't want to say acceptable but manageable to a
22 much lower variability. So the sample size needed
23 to essentially establish equivalence is likely to
24 be the same or less. So that is the way I am
25 looking at it.

1 DR. MOYE: So, if I understand you right,
2 you would like to keep, then, the numerator the
3 same in terms of the difference in bioequivalence
4 and manage the variance by adjusting the sample
5 size and, hopefully, the sample size will be
6 reduced if the unexplained variability can also be
7 reduced.

8 DR. HUSSAIN: Right. No; I think the
9 sample size would be reasonable but the debate I
10 think that we would really like to start--actually,
11 I have people putting a white paper for discussion
12 at a future meeting--is what is the right
13 acceptance criteria, what is the right goalpost,
14 what is the right difference because traditionally
15 we have lived with 80 to 125. I think it is time
16 to rethink that definition, too. So, in a future
17 meeting, I will bring that topic up for discussion.

18 DR. KIBBE: I think this, as I said, has
19 been one of those Gordian knots. Let me just
20 understand. What we are saying, in effect, is that
21 by being able to, with some degree of assurance,
22 measure the active ingredient in the stratum
23 corneum. We have a surrogate for the active
24 ingredient's chance to get to the biophase where it
25 is having its effect even if the biophase isn't the

1 stratum corneum, if it is further penetration,
2 because the stratum corneum is the first step.

3 Once it is out of the dosage form and in
4 the stratum corneum, it doesn't matter what the
5 dosage form was like as long as it is there because
6 that is what we do with blood levels. We say it
7 doesn't matter what the dosage form was. Once the
8 drug gets into the blood supply, then we know it is
9 going to get where it needs to go at the same rate
10 or same extent because it is in the blood supply in
11 the same characteristic.

12 What I see with a topical is that the
13 nature of the vehicle will impact the other things.
14 I am not so sure that we are on as safe a ground
15 using that kind of a measure as we are when we look
16 at blood levels. And I don't know where that goes.

17 DR. HUSSAIN: No. That is the reason, I
18 think, in Lawrence's presentation he made a
19 distinction. I think the application of DPK, the
20 thought process right now, is to focus only for the
21 target site where the stratum corneum is the target
22 save the antifungals. So the aspect of deeper
23 penetration, deeper tissues and relying on the
24 surrogate for stratum corneum to reflect that, I
25 think we stepped back from that and focused only on

1 the stratum corneum.

2 The draft guidance that we had issued
3 actually had entire--so we have scaled that back
4 right now.

5 DR. DeLUCA: So you are not trying to
6 relate the blood levels with the stratum corneum.
7 That would be the same thing as an intramuscular
8 injection.

9 A question I had with the analytical
10 technique here, I guess was there any thought of
11 actually using radioisotopes where you tag the
12 agent and then follow it by a radioisotope?

13 DR. BUNGE: The biggest reason to not do
14 that--well, I can think of two. One is you are
15 applying on people and sometimes, then, it takes a
16 little more time to get it through the human
17 subject's approval process. We can argue that you
18 are applying it and then taking most of it back off
19 again when you tape strip. But if it is not
20 necessary to do that, and, in this case, we think
21 we can get adequate analytical capability.

22 But I think the other reason is, in
23 looking toward the future with this, there is a
24 technique that would be used potentially if it is
25 successful of there would be new guidance on using

1 DPK maybe for antifungals. You want them to be
2 able to use the formulation as it comes in the tube
3 that appears at your drugstore.

4 And you don't want it to--any time you
5 then it add it as radiotracers, there is always
6 some question about whether the formulation ends up
7 to be exactly the same or not. So I think, if
8 possible, you would prefer to not use radioactive
9 tagging.

10 DR. DeLUCA: You know, you could use a
11 gamma.

12 DR. HUSSAIN: Pat, the challenge is, in
13 the sense you have a reference-listed drug that you
14 are comparing. Now, any manipulation of the
15 reference-listed drug in any way or form raises
16 that question. I think limits the--

17 DR. DeLUCA: Oh, I was thinking where you
18 only maybe use 1 percent of the tagged material.

19 DR. HUSSAIN: No, no, no. Any
20 manipulation of a product leads to that question.
21 So it is a very difficult thing to overcome.

22 DR. KIBBE: Anybody else? Marv, anything?
23 Okay.

24 Thank you very much. Do we have another
25 presentation?

1 DR. HUSSAIN: Yes.

2 Bioequivalence of Topical Products: FDA Perspective

3 DR. WILKIN: Good afternoon.

4 [Slide.]

5 I will make a few comments on alternative
6 methodologies for bioequivalence for generic
7 topical drug products, dermatologic products, and,
8 in passing, I will comment on DPK Q3 cakes and two
9 pi's.

10 [Slide.]

11 Most dermatologic diseases are common,
12 chronic and very costly. Of course, the topical
13 dermatologics are the mainstay of control for most
14 of these conditions. So there is a great
15 importance to have generic topical products that
16 will lower the costs and increase the availability
17 to patients that can't afford pricier versions.

18 [Slide.]

19 The historical difficulties have circled
20 around 320.24(b)(4) which says that for topical
21 products one uses clinical trials. The generics
22 industry has viewed this as an enormous barrier to
23 the development of topical dermatologic products.

24 On the other side, you will see reports
25 coming out in the literature some of which may have

1 been funded by the innovator companies regarding
2 the lesser effectiveness of some topical generic
3 products. I think most dermatologists will have
4 experienced squirting an innovator on one hand and
5 a generic topical on another hand and perceiving
6 noticeable differences in the quality of the two
7 products.

8 Then, of course, there is just that ill
9 will that is out there like in the ad that shows a
10 Starbucks cup of coffee and then there is a generic
11 cup of coffee behind it, and it says, "Really;
12 which do you prefer?" Of course, it is in a
13 dermatologic journal and it is in the section
14 talking about topical products. So not very
15 meaningful, but has the emotional flavor to it.

16 [Slide.]

17 So noticeable differences in vehicle
18 properties can emerge from traditionally how we
19 have thought about Q1. The actual list of
20 ingredients, the qualitative lists, are they
21 identical? Q2 is. Are they there in identical
22 amounts? Q3 we talked about at the last PSAC
23 meeting, the structural or phasic sameness.
24 Lawrence did a great job covering that today.

25 [Slide.]

1 As I mentioned at the last meeting, but if
2 anyone missed it, I think Q3 plays out all the
3 time. This time I brought a Duncan Hines, a Duncan
4 Hines cake mix. If you look at Duncan Hines cake
5 mix and you realize that everyone who uses these
6 products will be using the same powder in the box.

7 It says, at the top, a cup and a quarter
8 of water and one-third cup vegetable oil, three
9 large eggs. So, reasonably, everyone who is going
10 to bake a cake is going to be Q1 and Q2. So the
11 high variability in kitchens over America is not
12 because of Q1 and Q2. In, fact, they probably have
13 it identical. It is Q3.

14 Personally, where I have run into the
15 wrong kind of outcome was with preheating. The
16 first time I did this, I didn't realize that the
17 red light went out when it hit 350. I just thought
18 the red light went on when you turned the stove on.
19 So I ended up with uncooked cake in the middle.

20 Then I had a timer that is supposed to
21 have a bell that goes off, but I was on the phone.
22 You can set it. It is really neat. It says, pan
23 size, bake time. There are actually five different
24 times based on the pan size with is really good
25 manufacturing description. I set it for that and I

1 got on the phone and didn't hear the bell and came
2 out with a very crisp version. It was chocolate.
3 If you put it in milk for 30 minutes, it is still
4 okay. It softens up.

5 But the idea is that, with topical drug
6 products with dermatologics, the physical structure
7 does count. Dr. Bunge commented on the one product
8 having greater spreadability. Maybe it also
9 intercalates better among the fissures and all
10 those surface irregularities in the stratum
11 corneum. Maybe it actually penetrates a little
12 better with some products.

13 So I believe Q3 does have an effect.

14 [Slide.]

15 Also, we have been talking that Q1 and Q2,
16 that those are not guarantees for a generic topical
17 product. If you look in the CFR 314.94(a)(9)(v),
18 it speaks to the inactive ingredients for topical
19 generic products may not be the same as for the
20 reference-listed drug. Q1 and Q2 are not essential
21 for topical dermatologic products.

22 [Slide.]

23 Again, unlike Duncan Hines and Betty
24 Crocker and all the other manufacturers of nice
25 cake mixes, all that manufacturing information that

1 is on the back of the package is not available to
2 the generic manufacturer. So, even when Q1 and Q2
3 are identical, the product can have very different
4 physical properties--for example, viscosity, I
5 mentioned--but one that Gordon Flynn--Gordon
6 Flynn's name was mentioned earlier today--Gordon
7 Flynn described an anecdote he had witnessed years
8 ago where someone had failed to turn on the cooling
9 coils and the material in the vat just went to room
10 temperature slowly overnight and it was a very
11 different kind of product the next morning than
12 what they usually got when they used the cooling
13 coil. So something that simple.

14 [Slide.]

15 So the question--really I think the
16 question the committee has been grappling with for
17 about a decade and the folks in the Office of
18 Generic Drugs is how to ensure that the information
19 for approval of a generic topical dermatologic
20 product is necessary and sufficient, that it is the
21 right amount and it is telling us the right sorts
22 of things.

23 [Slide.]

24 I like the notion of regulatory elegance.
25 It is elegance in the sense of an organic-chemistry

1 synthesis where you use the fewest amounts of
2 ingredients at the beginning. It has got the
3 fewest steps and you get the highest yield or it is
4 a mathematical sense of elegance where it is a
5 proof in the fewest steps that really solidly makes
6 the case.

7 [Slide.]

8 So regulatory elegance would be the
9 identification of the simplest information
10 structure required for regulatory decision. I like
11 to think about the three Rs because the three Rs
12 also invite one to think about a portfolio, not
13 invest in just one approach but think of a lot of
14 different ways that one can work on the problem.

15 The first R in regulatory elegance is
16 reduction, number or extensiveness of required
17 tests. The second is refinement, optimization of
18 test design for maximum information at minimum
19 cost. The third is what we have largely been
20 talking about and that is replacement, substitution
21 of a simpler, cheaper, perhaps more informative
22 test.

23 [Slide.]

24 My thought for the generic topical
25 dermatologic drug products in the short term is

1 that we could take advantage of reduction and
2 refinement while DPK and the dialysis and the other
3 kinds of methods are being developed and that we
4 could take, for example, acne, which has scalar
5 outcomes, numbers of lesions on the face. We could
6 average those over several time points to reduce
7 intrasubject variability in fairly smallish trials
8 and that that would actually be much less expensive
9 than the studies that currently are being done for
10 topical products for acne.

11 But, again, in the long term, I do think
12 we need to explore a variability of alternative
13 methods even those beyond DPK. I think the idea of
14 Q3 sameness is going to give us greater assurance
15 in the end.

16 [Slide.]

17 Just to mention, USP has a nice chapter on
18 substantiation of performance parameters for any
19 new assay. I would argue that part of the
20 development of a new methodology for bioequivalence
21 of topical dermatologic generic products should
22 address those kinds of parameters.

23 [Slide.]

24 So the validation utility really falls in
25 three steps; intralaboratory reproducibility,

1 interlaboratory reproducibility--we have heard
2 about the different labs getting different
3 results--and then I think there is a real
4 difference between these reducibility pieces and
5 the demonstration of replaceability which is the
6 final and, perhaps, most difficult and demanding
7 step in validation.

8 [Slide.]

9 Once the reproducibility has been
10 established both intra- and intralaboratory and
11 those USP performance parameters have been
12 addressed and one is still awaiting the final
13 validation step--that is, the demonstration of
14 replaceability. I would refer to that as the
15 controlled-artifact stage. In other words, it is
16 something that is very reproducible but we still
17 don't know precisely yet what it means. It needs
18 that final testing piece.

19 [Slide.]

20 So that's actually where I think DPK is
21 right now. At least I think Dr. Bunge has made a
22 very compelling case that a lot of the variability
23 might be worked out and that the interlaboratory
24 and certainly intralaboratory variability is--that
25 the reproducibility between laboratories is

1 something that is readily achievable. I think it
2 looks very optimistic for that.

3 My thought is that DPK may eventually get
4 there but the key word here is "now." I would say
5 there is concern about saying "today, that DPK
6 should be the method." I will go through why that
7 is.

8 [Slide.]

9 Again, I am willing to assume, for the
10 purposes of the discussion, that DPK may become
11 reproducible at different laboratories. But I
12 don't think that really is the core. I think Dr.
13 Kibbe actually touched on this when he was talking
14 about the analogy between the blood levels and the
15 skin levels.

16 First of all, this is very similar to what
17 we see in Dr. Bunge's slides and this is from one
18 of the original papers that came out--I think FDA
19 folks were authors on this. What is dermatologic
20 pharmacokinetics? Kinetics of the drug in the
21 skin, so kinetics and it was the plasma
22 concentration versus time profile was thought to be
23 analogous to skin concentration versus time
24 profile.

25 [Slide.]

1 But let's think about skin for a minute.
2 This is a drawing of the skin. The skin starts
3 down here. It sits just above the butter, also
4 known as subcutaneous fat. And so from right here
5 up at the very top, that is skin. This huge thick
6 area in here that if you tan it becomes leather,
7 that is the dermis. That makes up the bulk of the
8 skin.

9 There are a lot of important sites there
10 that these drugs act on, especially in the
11 superficial dermis. Then, if one goes above the
12 dermis, if you look up here, you can see all these
13 layers like baklava. That is the epidermis.

14 At this junction right here, you begin to
15 see the stratum corneum which, if anything--this
16 must be the sole of the foot because, if you can
17 eliminate the hairs, it is a very thick stratum
18 corneum in this particular one. I don't think it
19 is generally that thick.

20 But the DPK folks are talking about
21 sampling the upper part of that and then thinking
22 about all of the skin. The other difficulty is
23 that, when one is working with stratum corneum, we
24 are forgetting about the other pathway that goes
25 down through the follicle.

1 Here, this follicle looks like it is
2 blocked off because it has got a hair in it. But,
3 except for the hair and the scalp and a few other
4 areas of the body where there are really the large
5 hairs, most follicles are fairly patent; that is,
6 they are open. Drug products will migrate down.

7 There is one drug product, you can read in
8 the literature that the manufacturers even intended
9 a particle size of the active to favorably plant it
10 into the follicle; adapoline. It is a topical
11 retinoid. So the follicle pathway cannot be
12 predicted with the interfollicular stratum corneum
13 which is assessed with DPK.

14 [Slide.]

15 So I would have some difficulties with the
16 word "dermatopharmacokinetics." I think it
17 promises more than it can deliver. Dermato means
18 skin and it is the stratum corneum. In fact, it is
19 the upper part of the stratum corneum in general.
20 We can talk later briefly about whether we are
21 actually looking at something that is kinetics.

22 [Slide.]

23 So the question is, the grand analogy is,
24 is the DPK AUC of topical dosage forms really
25 analogous to the plasma AUC of oral dosage forms.

1 [Slide.]

2 Again, the stratum corneum--my equals sign
3 disappeared--is not equal to skin. So I think that
4 is difficulty No. 1. I think some people just
5 reading about dermatopharmacokinetics and they have
6 the idea that this is all the skin that we are
7 really interested in, that the biophase, the active
8 sites, are where we are measuring.

9 But that is not true. It ignores the
10 follicular shunt. The stratum corneum is not the
11 sole pathway. The stratum corneum is not a real
12 compartment. It is not well mixed. There is no
13 equilibrium with the actual target. Mostly, I see
14 DPK data coming out as amount of drug versus area,
15 not versus volume, which is an unusual way of
16 presenting concentration.

17 Then, for most of the conditions and, of
18 course, no longer are we talking about lip and
19 vaginal mucosa as we were back a few years ago, but
20 diseased skin rarely has healthy stratum corneum.
21 It is almost always damaged.

22 So the case with an orally active drug
23 product--that is, one swallows a solid oral dosage
24 form, it gets dissolved in the gastric juices for
25 which there is fairly good homeostasis. So this is

1 going to be controlled within pretty good specs.

2 [Slide.]

3 Then it crosses the barrier which is the
4 gut wall, gets into the blood and, when it is in
5 the blood, it is in equilibrium with the target
6 organ. And the blood is well mixed, not perfectly
7 mixed but well mixed, sufficiently that this
8 becomes a very powerful model for predicting
9 performance for different solid oral dosage forms.

10 [Slide.]

11 The vehicle on the skin, however, sits on
12 the stratum corneum and it can also deliver active
13 down through the follicle. And then it may pass
14 through the viable epidermis to reach the
15 superficial dermis which is where the target is for
16 a lot of the products. So there are a lot of
17 different pathways down through.

18 [Slide.]

19 The question about healthy stratum
20 corneum, I think is one that will persist because,
21 in most dermatoses, most disease states in
22 dermatology, the stratum corneum is damaged in a
23 major way. That is not to say that everything is
24 going through that way. There are still important
25 follicular pathway aspects.

1 [Slide.]

2 In fact, in Schaeffer's book on
3 dermatologic products and penetration, they
4 actually make the statement, "When a dermatologic
5 drug is used, it is usually applied to diseased
6 skin which may not have the same permeability as
7 healthy skin...To simulate diseased skin, the
8 stratum corneum can be removed."

9 [Slide.]

10 So here is the grand analogy. With an
11 oral product, it gets dissolved in the gastric
12 juice which, from one product to the next, the
13 gastric juice is going to be pretty much constant.
14 It is controlled by homeostatic forces. And then
15 it will migrate across the barrier which is the GI
16 mucosa and it will go into the blood which is
17 relatively well mixed, is in equilibrium with the
18 kidneys or the brain or whatever organ is targeted,
19 and it is generally pretty much the same in health
20 and in disease; that is, the plasma.

21 On the other hand, a topical product is a
22 vehicle that is in constant--I mean, that is
23 actually what we are thinking about for a generic
24 topical product is what are the vehicle differences
25 between the reference-listed product and the

1 generic.

2 The stratum corneum is only one of two
3 paths to the target. It doesn't predict the
4 follicular path. You might have to know something
5 like particle size to know that. It is generally
6 not present, or at least not functionally intact,
7 in diseased skin. It is not mixed at all. It is
8 hard to imagine that it is equilibrium with the
9 target given that there are multiple ways to get to
10 the target and when it gets to the target, it
11 doesn't go back through.

12 Again, the problem is if one looks at
13 stratum corneum, it is missing in some of the other
14 conditions that were originally suggested for DPK.
15 So I am not sure that there really is a well-mixed
16 in-equilibrium kind of compartment with DPK that
17 corresponds to blood.

18 [Slide.]

19 Some other difficulties; metabolic
20 activity and permeability of the skin may be
21 changed under the effect of repeatedly putting a
22 topical product on the skin. So you can alter the
23 apparent diffusion coefficient and it may be
24 because of the active or it might be because of the
25 ingredients, inactive ingredients, over time.

1 [Slide.]

2 The AAPS FDA workshop back in '98 included
3 a statement in their consensus statement on DPK.

4 [Slide.]

5 "Before a DPK method is adopted as a basis
6 for bioequivalence, it must be shown that the
7 differences in DPK capture or reflect significant
8 clinical," and I think it meant clinically,
9 "important differences in formulations." I think
10 that is true today.

11 [Slide.]

12 I will give you an example historically
13 of--well, I will just give you the example. I
14 think it will come clear. First is, is anyone here
15 from Indiana because I really like people from
16 Indiana. I just would want to say that first. I
17 have a lot of friends from Indiana--at least I had
18 a lot of friends from Indiana.

19 House Bill No. 246, Indiana State
20 Legislature, 1897. A physician who had a friend
21 who was a House member on the Committee on Swamp
22 Lands came up with a really brilliant idea. The
23 idea is this; remember this was in the days before
24 the hand-held pocket calculators, I think even
25 before really very accurate slide rules.

1 Students in high school and students in
2 college and engineers and everyone who worked with
3 pi had a great difficulty because they would have
4 to make all these calculations long hand.

5 So Edwin Goodman, Dr. Goodman, came up
6 with a great idea. He said, "There is this
7 enormous barrier. It is really unreasonable. It
8 is difficult. What we need is a simpler way." It
9 kind of sounds familiar in a way. He says, "Let's
10 make pi 3.2. We will make it Indiana pi. It will
11 be free for anyone within the State of Indiana to
12 use, students, engineers, and we will license it
13 outside the state so Indiana will actually make
14 money from other states and other entities that
15 will be using our 3.2 as pi."

16 The Swamp Lands folks thought the was a
17 pretty good idea. They passed it on to the House
18 Committee on Education and the Indiana State House
19 voted 67 to nothing on February 5, 1897, to accept
20 a new pi for the State of Indiana of 3.2.

21 Now, of course, the House can't do this by
22 themselves. They have to send it to the Senate.
23 So it was passed on to the Senate and it was
24 actually being debated on February 12, 1897, when a
25 Professor Waldo from Purdue happened to be there on

1 unrelated business. This was described to him, the
2 great advance that was going to be occurring. He
3 got to talk to some of the Senators and actually
4 got to give his report as to why this really wasn't
5 going to work out.

6 So the Senate postponed further
7 consideration indefinitely, but this was in 1897.
8 So that is one way to do alternative methods.

9 [Slide.]

10 Here is another way. This is actually--I
11 am always impressed when I look at this. The
12 ancient Egyptians had, and I am sure they had
13 multiple attempts at this, but they came up with a
14 fairly involved geometric construction and their
15 geometric construction really didn't get to pi on
16 first principles. But it approximated pi.

17 So their version was 3.1446 where real pi
18 is 3.141599. The point I am making is the ancient
19 Egyptian method of getting to pi, while not based
20 on first principles, still had a sufficient
21 exactitude that was worked out because it was
22 suitable for the building materials and the
23 architectural styles not only in ancient Egypt but
24 up through Rome and in through the Middle Ages.

25 That story, I think, may be told better in

1 some of the architectural books, if anyone wants to
2 check those out later.

3 So I would argue that that is what we need
4 for the DPK, that just because it may not be
5 acceptable on first principles doesn't mean it gets
6 thrown out. It still may be of sufficient
7 exactitude.

8 [Slide.]

9 There are two parts to the validation. I
10 should mention a third and that is peer review. I
11 really think that whatever method is going to
12 replace the clinical trials for the approval of
13 generic topical products, that that ought to be
14 peer-reviewed. I would think that this committee
15 is probably the very best place where this
16 information should come, get present and the
17 committee should deliberate and make
18 recommendations on this.

19 But the first question would be does the
20 method make biological sense. Can you get there
21 with first principles. If you can, then I think
22 that maybe the second part doesn't have to be so
23 extensive. On the other hand, if it doesn't really
24 make sense on first principles, then the second
25 part, I think, needs to be robust.

1 That is the case for DPK, in my view, and
2 that is can the method reproducibly demonstrate
3 equivalence between the reference-listed product
4 and a clinically demonstrated bioequivalent product
5 and, two, superiority or inferiority to a
6 clinically demonstrated superior or inferior
7 bioequivalent product in an adequate,
8 well-controlled and blinded comparative study with
9 at least those three arms.

10 Ideally, it would have four arms. So you
11 would have an equivalent product, a superior
12 product, an inferior product and the
13 reference-listed product.

14 [Slide.]

15 So, in conclusion, I do believe there is
16 compelling need for good-quality generic topical
17 drug products. In the short term, I think there
18 are some things that we still haven't spent the
19 time on which from which we could reap some really
20 good strategies and reduce the barrier. But the
21 barrier ultimately, I think, will be best
22 satisfied--that is, best reduced--by a replacement
23 of the clinical trials with one of the alternative
24 methodologies. I think supplementation with Q3
25 will help immensely.

1 There are other things I know that the
2 committee keeps hearing about DPK but there really
3 are other methodologies. Hopefully, in the future,
4 the committee will get to hear about some of the
5 other methodologies as well.

6 Thank you.

7 DR. KIBBE: Questions, folks?

8 DR. MOYE: Just a comment. I suppose one
9 other lesson from this Hoosier pi hysteria is that
10 one should not regulate sloppy science.

11 DR. WILKIN: I will take that as a
12 conclusion.

13 DR. KIBBE: Gary?

14 DR. HOLLENBECK: I make these comments
15 reflecting on the fact that I got my degree from
16 Purdue University. I did not ever find Professor
17 Waldo.

18 A couple of things strike me here. One is
19 I love the idea of a Q3 approach. I am an in vitro
20 kind of guy and it is nice to hear you talking
21 about approving generic products based on a sort of
22 a phase diagram. Having said that, I can't think
23 of a system with a more complicated phase diagram
24 than a semi-solid or lotion or a cream.

25 DR. HUSSAIN: Only solutions. Only

1 solutions.

2 DR. HOLLENBECK: Only solutions.

3 DR. HUSSAIN: Only solutions. Simple
4 systems.

5 DR. KIBBE: There is no solution to
6 something that is not a solution.

7 DR. HOLLENBECK: It does seem to me,
8 though, that what we are trying to find is
9 something to assess release of drug from these
10 things. Maybe if you looked at steady-state level
11 and time to steady state in the DPK approach
12 instead of getting hung up on the typical kind of
13 area-under-the-curve profile, that might be a
14 reasonable assessment because that would give you
15 an idea of how fast stuff is coming out into this
16 barrier that we look at all the time.

17 DR. WILKIN: I think that is right. I
18 think, as most cutaneous diseases are in the
19 healing state, gradually that stratum corneum
20 reforms, first morphologically and then later the
21 actual barrier is restored. So it is kind of nice
22 to have the DPK piece, I would think. It is
23 telling us what is happening late, perhaps, in the
24 topical use of the product. But early on, when
25 there is not stratum corneum in many of these

1 diseases, I think the in vitro release, just how
2 rapidly can it leave, is also an important point.

3 Some of the Q3 things will tell us how
4 well it intercalates into the surface
5 irregularities, perhaps substantivity, how long it
6 is going to stay there in which the active is going
7 to be dissolved because, if the active is not
8 dissolved, if there is rapid volatilization, then
9 you will seal the crystals. Those crystals are not
10 participating in the fixed diffusion gradient and
11 they are not driving anything across.

12 So I think that the Q3 in vitro release
13 DPK might help on some of these dermatoses. On the
14 mycological one, I would point out that most of the
15 dermatophytes are actually at--the place where they
16 are living is below the stratum corneum. They are
17 trying to feed off of the viable epidermis and so
18 the current DPK strategy is to look above that.

19 The other thing is some of the
20 dermatophytes go down into the follicle but I would
21 think excavating the follicle would not be much
22 difficulty for someone from the School of Mines. I
23 mean, there are actually techniques using crazy
24 glue and similar sorts of things where you can
25 extract follicular material.

1 I think there are some ways to deal with
2 that. I think the mycological one is an attractive
3 first target for DPK but there is more to do than
4 just look at the interfollicular stratum corneum.

5 DR. KIBBE: Efraim?

6 DR. SHEK: I have some comments or
7 thoughts. When we look at the DPK, I would assume
8 the assumption is there that the stratum corneum is
9 the barrier. Once this barrier is being removed by
10 formulation or by other ways, the pathway is open
11 for the drug to reach where it is supposed to act.
12 I think if we don't have this assumption then the
13 way we do the DPK today would be a useless
14 exercise.

15 But if we believe that stratum corneum is
16 the barrier and we are trying to go across this
17 barrier, once it is across this barrier, the drug
18 is where it is supposed to be. If then we looked
19 at the Q3 without really knowing specifically how
20 we are going to compare sameness--so you have the
21 drug in a vehicle which I would assume--if we can
22 measure the thermodynamic activity of the drug in
23 this vehicle which means, in this case, I will
24 assume thermodynamic activity is the tendency to
25 escape, get out of the vehicle, because if it

1 doesn't get out of the vehicle, it doesn't go
2 anyplace.

3 So, if we can find a way to measure it,
4 which I will call the thermodynamic activity of the
5 drug and the vehicle, and then the next part it
6 will be the tendency to get into or through the
7 stratum corneum. So it is like two steps. So the
8 sameness, the Q3 sameness, I would assume you would
9 look at the drug in the vehicle and if you have
10 anything there that would prevent it from getting
11 out of the vehicle.

12 The other thing is, then, you can have
13 permeation enhancers which maybe will be considered
14 as inactive excipients but they are going to push
15 the drug through the stratum corneum. So if you
16 combine those two, somehow, maybe you can come up
17 with a way to do it and the bottom line will be how
18 do we define the Q3, the sameness, first to ensure
19 that a drug gets out of the vehicle when you have
20 two products and the same extent.

21 DR. YU: I think we have Q&As.
22 Originally, we have excellent comments. We have a
23 Professor David Cairns from Duke University is
24 coming to talk about some of the Q3 concepts. So I
25 am going to share some thoughts with you before we

1 can go on the discussion Q&A because a lot of
2 things, comments, relate to the Q3 concept.

3 Before that, I want to make some comments
4 to the DPK. I know that Professor Bunge's talk
5 concentrated essentially from the reduction of
6 intrasubject variability. But the key is to have
7 intralaboratory or interlaboratory reducibility.
8 We believe that, because of some large variability
9 associated with this methodology, itself, that it
10 is a major cause of the interlaboratory variability
11 which has been seen.

12 So, therefore, reduction of the
13 variability viewed as one way as a tool to get into
14 the intersubject, interlaboratory, reproducibility
15 as intralaboratory reproducibility.

16 Secondly, I think with DPK we have a high
17 confidence in DPK, that we believe, once improved,
18 it will be useful but we look at this overall
19 bioequivalence method as a systematic approach. We
20 are not concentrating on--indeed, we spent some
21 moneys concentrating on the DPK but this is not the
22 only method we are looking at. We are looking for
23 additional other criteria which maybe will help us
24 to devise, to develop, a viable way to the
25 demonstration of a bioequivalence so that we don't

1 have to rely on--in the long run, we do not need to
2 rely on the clinical testing.

3 So, because of the Professor David Cairns
4 cannot come before our discussion, in order to
5 facilitate our discussion, I want to share some of
6 our thoughts--we do not have any data--our thoughts
7 on the possibility of Q3 concept. I want to
8 emphasize again that the Q3 concept that was
9 originated and proposed by Dr. Wilkin here, we just
10 want to put a substance under this concept with
11 respect to the definition, with respect to how to
12 measure, how to define, the Q3 concept and we seek
13 your advice and comments.

14 DR. HUSSAIN: If I may add something,
15 Lawrence.

16 DR. YU: Yes, please.

17 DR. HUSSAIN: I think Dr. Wilkin alluded
18 to that in the sense we have been working and
19 strategizing on this topic for quite some time.
20 What you will see unfold over a period of time is a
21 toolbox approach to bioequivalence for topical
22 products in the sense you will essentially have
23 different tools available for different aspects.

24 The Q3 becomes a foundation for many of
25 the things, with simple solution types of dosage

1 forms which could be gels and so forth. There are
2 certain advantages of sort of defining
3 pharmaceutical equivalence in a very meaningful way
4 which relates to the structure and function of
5 those products--structure-function has a different
6 meaning for this particular one--and then building
7 on from there in the sense the technologies that we
8 are exploring or will start exploring include some
9 to support some current methodologies including
10 microdialysis, looking at imaging, looking at all
11 other things.

12 So you will see a whole host of things
13 come about. We are hoping that we will have the
14 funding for that but--so this may be the only thing
15 we might be able to do right now.

16 DR. YU: With limited funding, we want to
17 reach our goals. This is why we are here and need
18 your help. Hopefully, we can devise a wise way to
19 get there without much cost.

20 Committee Discussion

21 [Slide.]

22 DR. YU: So the question is how to
23 characterize the similarity we alluded to in the
24 discussion is Q1 as qualitative similarity, Q2, and
25 Q3 we define as structural similarity. The

1 question comes back to how to measure the Q3. What
2 does Q3 similarity imply about bioequivalence.

3 [Slide.]

4 Here are some thoughts on the Q3
5 structural similarity. Could it be arrangement of
6 the matter, the state of aggregation, for example,
7 different polymorphic forms. In this case,
8 different polymorphic forms, for example in the
9 tablets, could be different in Q3. I put a
10 question mark because we need your comments. It is
11 different and, therefore, in many cases we have a
12 bioequivalence evaluation in vivo, bioequivalence
13 evaluation as well as a dissolution as a surrogate
14 to ensure the same safety and efficacy.

15 With respect to suspension, for example,
16 we have an exact Q1 and Q2. They are qualitatively
17 similar and quantitatively similar. But they have
18 a different particle size. So is this Q3
19 different? Is the answer is most likely yes? We
20 want your comments.

21 [Slide.]

22 So how to determine the Q3. We have
23 equivalence states; for example, solution.
24 Solution is a thermodynamic stabilization effort,
25 is it thermodynamically stable. In this regard,

1 with regard to the nature tends to go that way,
2 therefore, with regard to the manufacturing
3 process, for example, are there materials, sugar,
4 added to water or water added to sugar. At the
5 end, you will have the same status so that Q2
6 implies Q3.

7 But for the nonequilibrium status, for
8 example, suspension, cream, oil and gel, how do we
9 determine the Q3. Could it be impacted by the
10 structure? Could it be impacted by manufacturing?
11 Could it be impacted by histology? Could it be
12 impacted by physical state of the study material,
13 the study material, for example, of a different
14 particle size?

15 [Slide.]

16 So different materials in the formulation
17 may require different methods. We recognize
18 different dosage forms, for example, cream or gel,
19 may require--may require--a different method. It
20 depends on what data, it depends on our development
21 of science in this regard.

22 But generally, for the future, for
23 example, particle drop size, excipient size
24 distribution, spatial arrangement or homogeneity
25 of the physical states of the material or of drug

1 products as well as possible cross-linking
2 interaction between the drug substance, excipients
3 as well as the excipient like polymers that could
4 cause potentially interactions or cross-linking.

5 [Slide.]

6 For the semi-solid dosage forms, we had an
7 extensive discussion last time and today in the
8 definition of cream, lotion, gel and ointment is
9 simply intermediate between a liquid and a solid.

10 [Slide.]

11 So the particles phase structure and the
12 sizes distribution, we have a number of ways to
13 measure the particle size. We have microscopy,
14 light scattering, as an example here. We have also
15 structure, phase structure or the spatial
16 arrangement. There is a possibility that we can
17 use DSC to detect the potential difference under
18 the Q1 and the Q2 but, because of the manufacturing
19 process, the different final physical structure may
20 be different. So we have ways to measure them.

21 [Slide.]

22 Also, there is interaction which I
23 mentioned between drug particles or excipients, or
24 excipients could be polymers involved,
25 particle-particle attraction or repulsions, surface

1 charge, excipients or stabilizers as well as
2 cross-linking.

3 [Slide.]

4 For those interactions, we believe we can
5 reasonably measure by rheology of semi-solids. It
6 is different for semi-solid behaviors or
7 characteristics. It could be linear
8 viscoelasticity. It could be stress during rate
9 relations as well as well as we discussed this
10 morning utilized to possibly classify the dosage
11 forms the same as solids.

12 [Slide.]

13 We also mentioned that I think about the
14 drug release from formulation. For example, we may
15 use fresh cells to measure the diffusion property
16 of drugs in various semi-solid formulations through
17 biological membranes or artificial membranes. I
18 know that at the open forum at the last ACPS
19 meetings, Dr. Bob Franz mentioned we may have to
20 use biological membranes. But we keep our ears
21 open throughout our thoughts here to seeking your
22 comments on those variety of methods with the
23 characterization of phase structure,
24 characterization of the rheology, characterization
25 of release mechanisms, or release properties.

1 [Slide.]

2 So the question, which Dr. Wilkin has
3 alluded to, is how to relate the Q3 to topical
4 product performance. For example, of course, when
5 one sees the example in Dr. Bunge's presentation
6 you may have a different spreadability with
7 different formulations. Under this scenario, we do
8 feel that rheology can tell you whether this is the
9 case or not. With respect to phase
10 structure of formulation components, it could be
11 caused by different manufacturing process.
12 Therefore, we use different techniques such a DSC
13 to detect potential, any possible, difference.

14 And the drug-release rate from
15 formulations; we mentioned release. We used Tom
16 Franz to measure how drug diffusion through or
17 transported through the either artificial membrane
18 or actual membrane.

19 [Slide.]

20 So the validation, how to prove the Q3
21 determination is valid; for example, characterize
22 complex formulations with particles of excipients
23 or particles of actives. We have a research
24 project right now to measure rheology, phase
25 structure, as well as drug-release rate and the

1 formulations with potential differences of
2 manufacturing process, formulation where the
3 genetic was superior or inferior, not equivalent in
4 clinical-trial studies.

5 In fact, in-house, right now we have a
6 formulation which is superior to innovative
7 products. So those formulation products we can
8 utilize to characterize the in vitro evaluation
9 with respect to Q3 including physical structure
10 characterizations as well as drug release.

11 Last week, the at Genetic Association
12 meetings, I appealed to the whole industry
13 hopefully will get more clinical studies so we can
14 use those studies, the materials, to evaluate, to
15 characterize, to validate, evaluate the Q3 concept
16 as a whole.

17 [Slide.]

18 So we have three questions for you today.
19 The first question is what type of studies should
20 be conducted to validate the DPK method. I know we
21 had some discussions about the intratechnique
22 variability. Again, I want to emphasize our
23 thinking is to make sure this method can be
24 utilized to have interlaboratory reproducibility,
25 to have intralaboratory reproducibility, so that,

1 in the long run, this DPK method, along with other
2 methodologies which I have alluded to you, could be
3 utilized as a replacement or alternative to the
4 high cost of clinical bioequivalence studies which
5 we have right now.

6 [Slide.]

7 Also, Q3 studies, the Q3 concept, is,
8 first of all, a working definition, what type of
9 data is needed to demonstrate that two products are
10 Q3 equivalent and how should the Q3 concept be
11 validated or demonstrated.

12 The following example is just to give you
13 some ideas. Certainly, we are open to any
14 suggestions, comments, to any advice.

15 [Slide.]

16 Lastly is bioequivalence for topical
17 products as a whole. In this case, our discussion,
18 hopefully, I hope, will be limited to product which
19 action site is the stratum corneum specifically for
20 antifungal drugs so that the advice we receive from
21 you we can directly utilize in our overall effort.

22 The more specific question is what role
23 should Q3 DPK play in the demonstration of
24 bioequivalence for topical products. I should add
25 what role should Q3 DPK as well as any other

1 techniques which you would propose could be
2 utilized in the demonstration of bioequivalence of
3 topical products.

4 The next specific two questions are, under
5 what circumstances should Q3 equivalence be
6 sufficient to justify a waiver of in vivo
7 bioequivalence tests. Is that possible? Under
8 what circumstances it can be done and also under
9 what circumstances should Q3 equivalence and the
10 DPK method in healthy subjects be sufficient to
11 determine bioequivalence.
12 You may think we need additional evaluation and we
13 are open to suggestions.

14 With this introduction, I want to come
15 back to the topic of Question 1, DPK, what types of
16 studies should be conducted to validate the DPK
17 method. We are open to discussion and questions.

18 DR. KIBBE: Thank you. We will get back
19 to it. We have a scheduled break right about now
20 and I was wondering if we need to get up, move
21 around, or do we want to just give the agency our
22 collective wisdom on these questions and go home.
23 I don't see anybody voting. We are going to keep
24 going. Lem, are you ready?

25 DR. MOYE: As best as I can.

1 DR. KIBBE: Marv, you are not going to--

2 DR. MEYER: I am just ready to go home.

3 DR. KIBBE: You are ready to bail. Okay.

4 Let's get the first question. I think we need to
5 seriously consider what Gary said which is what are
6 we trying to figure out? We are trying to figure
7 out how well the material gets out of the dosage
8 form. The traditional bioequivalency study uses
9 blood levels and, in the body, because we know the
10 dissolution isn't going to give us the same good
11 estimate of how well the drug gets liberated from
12 the dosage form and absorbed in the body as a
13 biostudy.

14 Now, if we assume that once the drug gets
15 out of the dosage form and enters any layer at all
16 of the skin, it will continue to migrate regardless
17 of what the dosage form looked like when we applied
18 it. Then we can go ahead and do concentration of
19 drug in the top layers of the skin at the early
20 time, mid time and end of the application time.

21 So if you are going to put it on for three
22 hours, you take some of it off early, you take some
23 of it off late, you take some of it off--you know,
24 you could do that kind of thing. The concerns that
25 I have is what is the vehicle doing to the nature

1 of the underlying surface and is that a factor that
2 we should be concerned with.

3 If we are strictly comparing two products,
4 and one does a better job of preparing the surface
5 underneath and, therefore, gets better levels, that
6 is the way it is. So your ultimate goal is just to
7 find out how well the drug gets out and gets into
8 the first layers.

9 DR. HOLLENBECK: I would support that. I
10 almost think of DPK as an in vitro test. It is one
11 approach that we can use to assess the release rate
12 of drug from a complex formulation. As far as I
13 can tell, if it is a barrier, the rate at which
14 drug comes out of that formulation is going to
15 influence the steady-state level and the time it
16 takes to get to steady-state level. So I think
17 that is a reasonable approach to look at that
18 aspect, drug release from the product.

19 I do think you have got to see if you can
20 do it in multiple labs. That is your main point,
21 now. It seems that there has been quite a bit of
22 reduction in variability from the work we saw today
23 but you want to make sure many places can get the
24 same results.

25 But if you want to assess release from a

1 complex formulation, that has hope.

2 DR. KIBBE: Marv?

3 DR. MEYER: To extent that a little bit,
4 and I think it is an in vitro system, obviously,
5 how would you validate an in vitro dissolution
6 test? You would take a product that failed. You
7 would take a product that was equivalent and maybe
8 even a product that was better and compare it to
9 some reference standard. So why not use the same
10 rationale for validation of DPK?

11 DR. YU: So if I hear correctly, Marvin
12 and Gary, your suggestion is we have a three-armed
13 study with, for example, Product A, B and C, A and
14 B equivalent and A and C equivalent. Then we
15 evaluate whether DPK can correctly to validate
16 those equivalency or inequivalency.

17 DR. MEYER: At least a three-arm, possibly
18 the fourth arm also a superior product; inferior,
19 superior, equal and reference.

20 DR. YU: Yes. It is a good question. I
21 would come back to you with what about--certainly,
22 we are concerned about the availability of this
23 four-armed clinical data. Suppose, hypothetically,
24 there is no such kind of products. Say no products
25 are available which are superior, which is

1 inferior, which is equivalent, do we have
2 alternative ways to validate the DPK method? For
3 example, can we change the concentration?

4 DR. MEYER: Of the test products?

5 DR. YU: Correct.

6 DR. MEYER: Yes. I think maybe it would
7 be unrealistic to expect you to find three such
8 test products in the marketplace, inferior,
9 superior, obviously, because they are not
10 bioequivalent. But I think in the appropriate
11 setting, you could have someone make them that were
12 comparable to a marketed product and have just
13 certainly differences in dose, 20 percent high, 20
14 percent low, on the money. That would give you at
15 least extent. It might not test rate real well,
16 but it would at least give you an extent
17 measurement.

18 DR. KIBBE: Go ahead.

19 DR. WILKIN: I think you hit it at the
20 very end, that last comment. The key thing that
21 DPK is hopefully telling us is difference between
22 vehicles. That really is the essence. So I don't
23 know how one can get to that by differences among
24 concentrations within the same vehicle.

25 DR. KIBBE: Gary, what do you think?

1 DR. HOLLENBECK: Use different vehicles.

2 DR. KIBBE: There you go. We are with
3 you.

4 DR. HUSSAIN: Hold on. No. We are under
5 very--at least let me rephrase the question in
6 terms of what we are saying here. Now, the
7 requirements that we generally place on topical
8 bioequivalence for topical products to be Q1 and
9 Q2, so the vehicle differences you are looking at
10 are process differences, not composition
11 differences, just to sort of put that up for
12 discussion.

13 DR. HOLLENBECK: They could be minor
14 composition differences; right? Yes. So I do
15 think we are talking about Q1 and Q2 being in place
16 and then we are looking at products that meet those
17 two criteria and seeing if we can differentiate or
18 find equivalence between them.

19 DR. WILKIN: I think you are right. I
20 think if it is Q1 and Q2, I mean, if that is the
21 precondition, then it becomes much more powerful.
22 My understanding was that passage in the CFR that I
23 quoted, which I actually didn't quote, I just said
24 they don't need to be the same. The quote goes
25 something like, "Generally, the inactive

1 ingredients in a topical product are the same.
2 However, when they are not," and then there has
3 been a recent adaptation here. The generic sponsor
4 needs to document in some way that the changes in
5 the inactive ingredients will not affect safety or
6 efficacy. I think that is just recently, isn't it,
7 the efficacy piece? It just came in in the last
8 year or two.

9 DR. YU: Yes.

10 DR. WILKIN: But I am not sure how that
11 would be done other than just, again, limiting this
12 whole exercise to things that are truly Q1 and Q2.
13 I think that is the--

14 DR. KIBBE: There are a couple of things
15 going on here. First, we need to be--when we are
16 trying to validate that this can pick up
17 differences, then we need to put systems together
18 that are different, that we think will be
19 different, and see if it notices them.

20 But, once we are happy that it is truly a
21 measure of the drug getting out of the dosage form
22 and beginning its transit through the skin,
23 because, once it begins the transit through the
24 skin, the dosage form is out of the question. It
25 is out of the picture. It is just like when the

1 drug moves out of the tablet and moves across the
2 membrane and the gut, it is now, whether it came
3 from a matrix-swelling tablet or whether it came
4 from an immediate-release tablet, that molecule is
5 moving on its own.

6 Once we get that comfortable, the next
7 step is what differences do we want to see and
8 whether or not even different matrices that give
9 rise to same levels are going to be considered
10 equivalent. I don't mean dramatic differences. I
11 don't mean comparing a gel to an oleaginous
12 ointment. But I mean, depending on which
13 surfactant they use to make the emulsion, those
14 kinds of things might not be that important in the
15 ultimate scheme.

16 If they still release into the stratum
17 corneum in the same way and the stuff still
18 migrates out of the dosage form at the same rate
19 and extent, where are you? You are probably
20 equivalent.

21 DR. SHEK: But you have to remember it is
22 still a process. It is a permeability, a diffusion
23 process. So it is not, once a drug in this stratum
24 corneum compartment, it stays by itself. It is
25 still dependent on its history and find out what

1 kind of a pump, a driving force, it has to go
2 through the diffusion layer.

3 So it is not that it leaves and now it is
4 on its own. It really depends where it is coming
5 from. If it is a high thermodynamic activity on
6 this side of the barrier, then it will continue
7 moving to the other side. But if this energy
8 pushing it stops, then you might see differences
9 there, too. So it is not directly. So where it is
10 coming from, it is still very important as the
11 process continues.

12 So one way, of course, you know, it was
13 formulated at a higher thermodynamic activity which
14 means it was always a saturated solution. That is
15 why, many times, maybe the particle size, once you
16 saturated it, wouldn't make a difference as long as
17 we don't reduce the solubility with time and it
18 becomes a cosmetic issue. But you have the stuff
19 that I would assume will diffuse, will be the one
20 which is in solution. If it is always saturated,
21 then it will have the same extent.

22 But if you do something to your vehicle
23 which will change this parameter, then it wouldn't
24 move on its own.

25 DR. KIBBE: Gary?

1 DR. HOLLENBECK: I don't know that
2 assessing thermodynamic activity in these complex
3 systems is as simple as you suggest there. I think
4 maybe in a solution, as Ajaz jumped out of his seat
5 to yell a few minutes ago, okay. But in a complex,
6 multiphase system even with mice cells and all
7 sorts of structures, I think that is what we are
8 wrestling with right here.

9 I don't think it is necessarily easy to
10 measure thermodynamic activity in the product.
11 That is why you need some sort of measure like
12 this. It does seem that we are spinning around a
13 little bit. I think that it is a presumption in
14 mind that you will somehow sort of categorize these
15 things so that we are not looking at oleaginous
16 ointment compared with a gel and whether that means
17 strict compliance with Q1 and Q2, I don't know.

18 But I think that sort of narrowing them
19 down so that are physically similar, then the DPK
20 test, as a way to compare products, seems to have
21 some potential. I haven't heard of anything better
22 yet.

23 It is not going to solve the clinical
24 points that you made, Dr. Wilkin. Those are very
25 good points. They are relevant. This test is not

1 necessarily going to correlate with that result.

2 It is just a test to try to determine sameness.

3 DR. WILKIN: I think that is the concern.

4 I will grant that the clinical test is an imprecise

5 answer from that, but it is an imprecise answer to

6 what I think most clinicians, at least, think is

7 the right question. In this particular

8 circumstance, we are getting a very precise answer

9 and we are thinking of other ways to reduce the

10 variability to make it even more precise. But I

11 ask, is it the right answer.

12 The difficulty is the stratum corneum

13 isn't--in most of the disease states, there are

14 two pathways. One is through the interfollicular

15 stratum corneum. The other is through the

16 follicle. There are a lot of dermatoses that have

17 follicular bases, acne being a major one. It is

18 also a very important site to treat in the

19 superficial fungal diseases. That tends to be a

20 place where the tineas will linger longer just

21 because some products can't reach down into the

22 follicle.

23 So I think we need something simpler. I

24 just believe that validation is the key, that we do

25 need the kinds of tests in the end to know whether

1 it is replaceable, what are we giving up. It is
2 plausible that the DPK may be so precise that it
3 actually raises the barrier for generic products
4 because it so much narrows--we may know so much
5 with Q3 and with DPK that we are looking at an
6 incredibly narrow goalpost.

7 I don't think that is what the intent is.
8 So I think we need to, again, come back to what the
9 clinical is telling us and at least have an idea of
10 the sensitivity and specificity of this assay
11 relative to clinical.

12 DR. KIBBE: Marvin, did you have
13 something?

14 DR. MEYER: I am trying to think. I think
15 the points that are being made that bioequivalence
16 is blood levels and everyone believes blood levels
17 equate to therapeutic activity. But the point you
18 are continuously making is stratum-corneum levels,
19 we don't know whether it correlates to clinical
20 therapeutics.

21 Is there a way that we can massage the
22 clinical trial. For example, instead of a
23 double-blind placebo-controlled, just have a panel
24 of 30 people with athlete's foot and they all get
25 the generic product and, if they meet historical

1 success rates from the NDA, they are approvable and
2 simplify the clinical trial. Then you have
3 relevant data although it is not the typical kind
4 of NDA double-blind placebo-controlled two-site
5 studies.

6 DR. WILKIN: I think Dr. Hussain mentioned
7 that there can be a portfolio approach. I think,
8 certainly, in the short term, there are a lot of
9 ways that we can make clinical trials smaller and
10 shorter and less expensive and perhaps even more
11 informative and reduce this barrier.

12 DR. KIBBE: I am going to go back again
13 because every time we start dealing with, oh, the
14 disease is going to change the behavior of the
15 drug, I say, okay. But the dosage form is what we
16 are evaluating. We are not evaluating anything
17 else because if two dosage forms behave the same
18 way in the same situation then they behave the same
19 way.

20 Now, you are saying the dosage forms are
21 going to behave completely differently in a
22 diseased state than a normal. Okay. But if both
23 dosage forms behave the same way in a normal state,
24 what makes you think they are going to behave
25 dramatically different in a diseased state. The

1 dosage form is still releasing the drug.

2 We have always used normal healthy
3 volunteers because we assume that we are looking at
4 the dosage form and not the disease state. We are
5 willing to accept that patients with a disease are
6 different than patients without a disease but that
7 we are looking at, what, the variability of people,
8 and we use 24, 36, people, and we are looking at
9 the nature of the dosage form.

10 You could almost argue, and I would be
11 almost ready to argue, that to heck with people.
12 Let's do it in pigs. I can abrade pig skin. If you
13 want the stratum corneum abraded, we will abrade
14 it. What we are still doing is evaluating the
15 dosage form.

16 If I can measure the drug coming out of
17 the dosage form into skin, then I know it will come
18 out of a dosage form and go into skin. If this
19 piece of skin and that piece of skin and this piece
20 of skin are different, it is still going to do that
21 same thing.

22 Now, if I am going to have to evaluate
23 every single new generic product in every kind of
24 case, every age of patient, every disease state,
25 then they might as well do full clinicals. If I am

1 really evaluating the dosage-form release of the
2 drug, then I can look at a simple system.

3 DR. WILKIN: I can go one better on the
4 pig skin. I would argue that everything we have
5 heard about DPK, if, in the end, it still would
6 correlate excellently with the clinical trials,
7 they could do it on tree bark and it still would be
8 acceptable. I think that it is the notion of
9 pragmatism. That is the principle.

10 Now, getting to the systemic, the solid
11 oral dosage-form model and how that may differ from
12 the cutaneous model for DPK and for what the
13 products are intended. I think it is actually very
14 rarely that the solid oral dosage form, the way it
15 gets swallowed, gets into the gastric juices, those
16 are not different from healthy to diseased states.
17 The plasma generally is not that different from
18 healthy to diseased states for most disorders.

19 I think there is a compelling difference,
20 though, for skin because, in the skin, for most
21 dermatoses, the stratum corneum is one of the very
22 first things that goes. So I would--

23 DR. KIBBE: Take it off.

24 DR. HOLLENBECK: But wouldn't you suggest
25 that an intact stratum corneum is the most

1 conservative test. So if you base equivalence on
2 that, it is highly unlikely that, in the absence of
3 the stratum corneum, there would be a difference?

4 DR. WILKIN: That is why I think of this
5 as sort of two polarities. If you have got a
6 disease where the stratum corneum is--let's say it
7 is gone, that there was immense inflammatory
8 reaction and there is no stratum corneum. You are
9 just sitting and you are looking at viable
10 epidermis and oozing, is essentially the surface.

11 That is one extreme. It is the extreme of
12 completely stripping it and looking to see what
13 makes it down to that level. And then the other
14 extreme is you have a completely intact stratum
15 corneum. The intermediate is what is happening in
16 many dermatoses over time with treatment.

17 So I would agree with that notion is that
18 DPK, with the stratum corneum intact and sort of an
19 in vitro release, if you will, what is getting down
20 into the fluid that is bathing the viable epidermis
21 if you slather it on, that those are--they sort of
22 represent the ends of the spectrum and one could
23 interpolate.

24 On the other hand, there are differences
25 and I think the way DPK is being tested, Dr. Bunge

1 actually gave an example of how there was a Q3
2 difference between one product and the other.
3 There was greater spreadability. So now we are
4 going to have DPK done with a template and we are
5 going to suppress Q3 differences with a new method.

6 The point is I think we could look at with
7 and without stratum corneum. Without would be just
8 sort of in vitro release. With stratum corneum
9 would be DPK. Then we look at the host of the Q3
10 factors which tell us how long it stays on the
11 skin, how long the remaining active agent remains
12 in solution and, therefore, participates in the
13 thermodynamic gradient, how well it intercalates
14 with the surface characteristics. I think this
15 thing is reachable with physical parameters.

16 But, again, while all of this I believe
17 will work, I still, at the end of the day, think
18 the package ought to be validated. We ought to
19 know what we are giving up by going from the
20 clinical trial to the new package. Is it raising
21 the barrier? Is it the same sensitivity and
22 specificity? Are we getting the same general
23 results?

24 DR. MEYER: I think if you look at the in
25 vivo for solids, I think we kind of sweep under the

1 rug the possibility that things like nausea,
2 colitis, vegetarian meals, all of that, everything
3 is still bioequivalent. Once you swallow it, it is
4 all bioequivalent. That is still a tube with a big
5 black box in it.

6 So we kind of assume bioequivalence even
7 though, in the disease state, it may not be
8 bioequivalent. So there is a leap of faith there,
9 too, in the oral system.

10 I don't know anything about this topic but
11 I wonder is microdialysis to the point where it
12 could be used in same fashion, inserting it in the
13 epidermis or below the stratum corneum or is that a
14 technology that may answer this problem?

15 DR. WILKIN: Certainly one of the great
16 advantages of the microdialysis is you could insert
17 it under a plaque of psoriasis. You could actually
18 look at diseased skin and see how much drug is
19 making it down through and at the site of activity.
20 There are European studies that show up in the
21 literature. I haven't seen the raw datasets but
22 what I see in the literature is very exciting,
23 actually.

24 DR. YU: Yes. I think a couple of months
25 ago, we invited--I forgot his name--Bill from the

1 University of Minnesota. And Rosachek, they are
2 doing a lot of these microdialysis studies. We
3 invited him to the FDA. He gave a seminar. We
4 discussed the possibility of utilization of
5 microdialysis in the demonstration of
6 bioequivalence of topical products.

7 His assessment--his assessment; it is not
8 mine--is not very optimistic especially for this
9 antifungal drug which we will talk about here
10 because apparently if our target is the stratum
11 corneum, and you have to insert this dialysis
12 underneath the stratum corneum, you measure
13 different sites of action.

14 DR. MEYER: One thing that is nice about
15 the antifungals, though, it is my understanding
16 that there are some objective measurements, some
17 laboratory tests, that you can do to see if you
18 have had success as opposed to psoriasis where it
19 is a little more in the eye of the beholder. I
20 don't know if I am right or not but--so antifungals
21 may be more amenable to an easier clinical trial
22 than some of the others.

23 DR. WILKIN: The standard for antifungal
24 topicals is to do a culture and to do a scraping to
25 look for the dermatophyte and also look for the

1 clinical signs and symptoms. As it turns out, some
2 of the patients who have only minimal signs and
3 symptoms and have a negative culture and negative
4 potassium hydroxide preparation for the hyphae,
5 they still will recrudescence. The fungus was still
6 there. It was just hard to find.

7 But they are relatively low-tech kinds of
8 ways of doing this. It is a fairly inexpensive
9 assay to do at the end of the clinical trial and it
10 is a one-time event at the end of the clinical
11 stay. So your comment earlier on the idea of
12 thinking about historical rates and things like
13 that, I think probably our group needs to go back
14 and think again on those things.

15 DR. HUSSAIN: I think I just have to say
16 this because I think we talk about first principles
17 and how we approach validation for first principles
18 I think needs some discussion and some more thought
19 also.

20 Now, I think the key aspect here is the
21 debate and discussion focuses on variability in the
22 substrate on which the products are supposed to be
23 applied, over time and over patients, and so forth.
24 Now, I like the definition of bioavailability. I
25 think rate and extent, in this context, with

1 respect to essentially partitioning, rate being
2 diffusion and partitioning, I think that provides a
3 way to start thinking of first principles from one
4 perspective.

5 Now, the aspect is, in the sense, is how
6 well can we characterize the product and compare
7 the product in a meaningful way that leads to a
8 thought process and moving to a first-principles
9 approach to this because we have nothing similar to
10 first principles in the clinical-trial assessment.
11 Validating something to a highly variable, with
12 false positives and false negatives, probably is
13 the right question but you sort of create
14 unsurmountable hurdles for a first-principle
15 approach in this scenario.

16 I think one of the topics we need to
17 discuss further is how do you approach these first
18 principles keeping in mind the variability is in
19 the substrate or in the membrane that you are
20 treating, and so forth.

21 I think, from that aspect, we have to
22 think differently on the validation concept, not
23 just three products that do this and that because
24 that is not going to convince--you just convinced
25 yourself for those test procedures. You cannot

1 generalize. So I think you need something that is
2 generalizable.

3 DR. KIBBE: Anybody else? I don't see
4 anybody else with ideas or anybody who has a
5 comment.

6 DR. MEYER: Let me challenge Ajaz a little
7 bit here.

8 DR. KIBBE: Good.

9 DR. MEYER: Do we really understand why
10 Product 1 and Product 2 necessarily dissolve
11 differently in vitro? Do we know the first
12 principles involved in dissolution? Yes; we
13 understand some things. If you coat it with
14 magnesium stearate, it isn't going to dissolve.

15 But there are some other interactions for
16 modified release that are more complicated, more
17 difficult to understand. I wonder if the some kind
18 of naive approach to DPK, where we don't
19 necessarily understand all the first principles,
20 but we can go ahead and validate it with several
21 different examples that are quite different and get
22 on with it.

23 DR. HUSSAIN: I think the traditional
24 approach to putting something in the body, looking
25 at blood levels, a black-box approach, I think has

1 kept us away from thinking from first principles.
2 In fact, if we look at diffusion, dissolution and
3 so forth, yes; I think we can get to that from
4 first principles, especially for controlled-release
5 dosage from where I think the diffusion mechanisms,
6 release mechanisms, are far better defined than an
7 uncontrolled dissolution disintegration of an
8 immediate-release tablet.

9 DR. KIBBE: That being said, that might
10 actually be the last scientific thing unless Gary
11 has something to say.

12 DR. HOLLENBECK: I was going to comment on
13 the Q3 question which was your second question.

14 DR. YU: Because a lot of discussion from
15 Q1 and Q3--a lot of discussion is when we ask the
16 first question, we directly went to third question.
17 That is why I put it on the podium the third
18 question. I think we have a lot of discussion with
19 respect to DPK refinement, improvement, validation
20 and the possibility of utility DPK allows Q3 for
21 bioequivalence method.

22 I guess now we can come back to the
23 question of Q3. Thank you, Gary.

24 DR. HOLLENBECK: And I think my response
25 is going to be a pretty quick one. I almost think

1 it is a dream. I just don't believe that we can
2 find a way to characterize these systems
3 sufficiently using the things that you are talking
4 about here. I like them all. I love viscosity
5 testing and rheological characterization and creep
6 testing and particle-size analysis, but, in fact,
7 we haven't been able to do it for solid dosage
8 forms either.

9 I am all for thinking about first
10 principles, but I really believe, if you are
11 looking for the golden goose, here, you are not
12 going to find it in a Q3 test.

13 DR. KIBBE: Or the golden fleece.

14 DR. HOLLENBECK: Or golden whatever.
15 These are very complicated systems and first
16 principles is a great way to think about them.
17 Some of them, you will be able to find maybe a
18 single critical Q3 test that will do it for you.
19 But, in many of these systems--and I read through
20 all of the things that you are considering--I do
21 not think that is going to be a way to get generic
22 products on the market faster.

23 DR. HUSSAIN: No. I think, Gary, one
24 aspect--I think there are two aspects to Q3. One
25 is a simple solution type of a system. I totally

1 agree with you. With anything which is more
2 complex than that, that will provide some support.
3 But we don't know how will that support that.

4 The other presentation we had planned for
5 this afternoon, which we didn't have a chance
6 because Professor Katz is on sabbatical, is a study
7 that we have been doing with him for over the last
8 two years. The study is actually predicting, from
9 physical, chemical, attributes of different
10 formulations, vaginal formulations, the
11 distribution, the coverage, in the vaginal cavity.

12 What he has been able to do is to bring
13 the engineering approach to sort of predicting the
14 behavior of these systems in a complex environment
15 and then essentially following that with imaging
16 analysis to verify the predictability in vivo. So
17 I think we didn't have an opportunity to listen to
18 that and that would have been an eye-opening
19 presentation also.

20 DR. HOLLENBECK: Yes. And I think, then,
21 we are back to your question, is that
22 generalizable. My guess is there has probably a
23 lot of work gone into that.

24 DR. HUSSAIN: Yes; it has. It is, in the
25 sense it is promising with respect to

1 generalization capability because you are using
2 fundamental attributes for comparing different
3 formulations and those formulations are nowhere Q1
4 and Q2 type formulations.

5 DR. YU: At the last advisory-committee
6 meeting, in the open public forum, Dr. Tom Franz
7 gave a talk about bioequivalence of topical
8 products used of the cadaver-skin model. This
9 seems to me based on the Q3 which we discussed and
10 the intact stratum corneum represents the
11 worst-case scenario. Is there any possibility that
12 we can use this in the last question, is the
13 demonstration of the drug-release rate identical?

14 DR. KIBBE: The cadaver skin has to be
15 fresh cadaver skin so you have got a viable skin
16 material. If you use--Marv alluded to the
17 microfiltration system. In a pig skin, you can get
18 really good cross-penetration from where you apply
19 it through the skin into that fluid.

20 You can do what we did many years ago
21 which is punch biopsy on a pig skin and look at
22 total amount within however deep you want to go.
23 That is based on where you want to drug to have its
24 effect.

25 Pat, you had something.

1 DR. DeLUCA: Using a cadaver skin or some
2 model system where you are looking for sameness.
3 If you are looking for a comparison to products, I
4 think that is doable. I guess some of the
5 techniques I worry about is when you are stripping,
6 are you affecting the stratum corneum and causing
7 some greater penetration--changing the actual
8 penetration into the dermis and into the lower skin
9 just by the technique that is being used. I am
10 wondering if some of the variability doesn't come
11 from that. I don't know enough about that
12 technique. Dr. Bunge may be able to comment on that.

13 DR. YU: Professor Bunge, can you comment?

14 DR. BUNGE: I am not sure I understood the
15 comment. The tape-stripping is occurring only to
16 do the sampling after the drug is removed. So yes;
17 the skin is altered but you are not sampling
18 subsequent to that. So the alteration doesn't
19 matter, I guess, with respect to the measurement,
20 itself.

21 DR. DeLUCA: I am confused now with the
22 method. You are not measuring the drug?

23 DR. BUNGE: We are measuring the drug in
24 the stratum corneum, and the stratum corneum is
25 sampled by tearing it off on adhesive tapes. But

1 the drug, then, after--so you are only measuring it
2 in the stratum corneum.

3 DR. DeLUCA: But the drug doesn't stay in
4 the--there has got to be some dynamic process. The
5 drug gets into the stratum corneum and then leaves
6 the stratum corneum. It doesn't stay there.

7 DR. BUNGE: That's right. And the
8 concentration profile you develop in the stratum
9 corneum is affected, of course, by the fact that it
10 is clearing on the other side.

11 DR. DeLUCA: I guess what I was asking is
12 the technique alters the other side in some way
13 that causes the residence time or the
14 clearance--alters the clearance of it from the
15 stratum corneum which leads to some of the
16 variability that you are seeing. That was the
17 question.

18 DR. KIBBE: I think that the issue is that
19 they only sampled one site once. You sample
20 different sites for different time frames. So your
21 sampling procedure gets that sample and that's it.
22 So it has no effect on what is going on.

23 DR. WILKIN: There is, I think, a
24 substantial amount of drug that does stay, though,
25 in the stratum corneum. I mean, it never makes it

1 through. So there will be a time at which the
2 topical product on the surface, there has been
3 volatilization and the amount of active ingredient
4 will become crystallized or amorphous but it won't
5 be dissolved. So it won't be driving anything.

6 At that point, if you do the DPK
7 procedure, you can find drug there. It is not
8 moving very rapidly in any direction at that point.
9 A lot of it--stratum corneum is fairly desiccated
10 and some of the drug is no longer in solution that
11 you are actually finding in the stratum corneum.

12 DR. HOLLENBECK: I would just get back to
13 Dr. Yu's question about cadaver skin in an in vitro
14 diffusion cell as a viable test method. I would
15 say it is just as good as the DPK method that we
16 are talking about. I think what we are searching
17 for is some reproducible way, relevant way, to
18 assess drug release from the products. That is
19 probably more controlled.

20 DR. KIBBE: There are going to be
21 theoretical short falls in analyzing any of these
22 systems. But if we keep ourselves focused on what
23 are we trying to evaluate, and that is the behavior
24 of the dosage form, not the progression of the
25 disease or the therapeutic outcome, but the

1 behavior of the dosage form, if we think that the
2 dosage form releases drug and this drug can be
3 easily monitored as it penetrates a piece of
4 cadaver skin, then, if that will differentiate
5 between different dosage forms and their
6 characteristics, then you are fine.

7 DR. SHEK: As a matter of fact, in the
8 developing of topicals for years--but I wouldn't be
9 too surprised that the way you develop the product,
10 you are using cadaver skins to optimize your
11 formulation, your product. So it is being
12 utilized. Is it good enough to go now
13 regulatorywise and to say it is the same or not?
14 But that is what I was talking about, your
15 surrogate for thermodynamic activity. If it
16 releases the one and it combines, that might be a
17 way to go if we can standardize it so it can be
18 reproducible.

19 We have to talk about occlusion, whether
20 you have your sample on one side, the usual aspect
21 of diffusion and then solutions on both sides. But
22 I think there are ways, at least people try to
23 develop techniques. Maybe it would be worthwhile
24 to go back and talk with people who are expert in
25 this area, who are doing it, can that be utilized

1 to evaluate the Q3, because that should, I believe,
2 include everything that you have whether your drug
3 is being binded to something that doesn't let you
4 go through, it has the tendency to permeate.

5 But we have to evaluate very carefully.
6 Can it be standardized sufficiently to evaluate the
7 Q3. It is being done, I think, quite--

8 DR. HUSSAIN: I think that that is a very
9 good point and I think that is usually done. But I
10 think I also want to sort of point out that we did
11 not present the long history of all the work that
12 has gone on in this area, the FDA. But the simple
13 release test that we have that releases drug
14 through a membrane picks those things up.

15 So it boils down to what I say is the
16 activity of the drug and the aspects that will
17 relate to activity. So if you really look at it--I
18 don't know; when I retire from this and so forth,
19 it will be a reflection of frustration in terms of
20 you couldn't even argue from first principles here.
21 So that is, I think, the most frustrating part of
22 this is you have the answer but you are not looking
23 at the right thing.

24 DR. KIBBE: Anybody else? We are having
25 so much fun. Hilda, do you have any housekeeping

1 things? Ajaz, do you want to say anything to
2 summarize or should I summarize?

3 Conclusion and Summary Remarks

4 DR. HUSSAIN: I have a few closing
5 remarks.

6 DR. KIBBE: He has closing remarks. I am
7 going to retain the Chair's prerogative to trump
8 his closing remarks.

9 DR. HUSSAIN: Again, I think, it is always
10 enlightening and the time for reflection after one
11 of these advisory committee meetings. Before I
12 forget, I want to thank Dr. Moye and Dr. Bloom and
13 Dr. Rodriguez, who is not here, because they will
14 be moving off of this committee. We are expecting
15 some new members coming in. I really thank their
16 contributions. I think it has been a wonderful
17 discussion and enlightened discussion with them and
18 hopefully wish them the best for the future.

19 I would like to sort of quickly summarize
20 a few aspects. We started this meeting with
21 several subcommittee reports of clinical
22 pharmacology and manufacturing science. I think
23 that was interesting if you have seen the aspects
24 of risk permeated from both the committees. We
25 then moved on to discussing parametric tolerance

1 interval test as a means for improving the
2 statistical rigor of our current acceptance
3 criteria and test methods.

4 I think the key challenge there was how do
5 we break the deadlock that we have sort of found
6 ourselves in between FDA discussions and IPAC-RS
7 proposals. I think the discussion was helpful for
8 stepping back looking at the same problems from
9 different perspectives.

10 I think we would probably have changed
11 some minds in terms of how to approach the problem.
12 What we will plan to do is regroup and strategize
13 and take the discussion into consideration and sort
14 of chart a path for the next six months. I am firm
15 on that. If in six months we don't come to a
16 resolution, that process will end and we will
17 approach that from a different perspective.

18 I think one of the key aspects there was
19 we need to bring some relevance, clinical
20 relevance. What was interesting to see is, I
21 think, when we bring the clinical pharmacology and
22 manufacturing subcommittees overlap, I think
23 getting to the PK/PD aspects of that, and so forth.
24 So there is a hopeful dialogue that needs to begin
25 with that.

1 In addition, I think, getting the
2 clinicians involved with that discussion would be
3 essential. But I think what my recommendations to
4 the group when we regroup would be that they focus
5 on resolving all the statistical issues that are
6 confronting them and do not underestimate the
7 emotional and the challenges, communication
8 challenges, that they have with respect to the
9 concept of zero tolerance. Zero tolerance is not
10 actually zero tolerance, but I think that has to be
11 communicated effectively and very clearly for it to
12 be successful.

13 I think we should not underestimate that
14 challenge. I think it is a great challenge. At
15 the same time, then focus on creating examples and
16 scenarios to explain that. So I think that would
17 be what I would expect to be completed in six
18 months. Then the issue of gap that existed between
19 FDA and IPAC-RS proposals can be addressed in a
20 more rationale setting where we bring all parties
21 together with the clinicians and other aspects
22 because the gap cannot be filled based on the
23 discussions we were having because each could argue
24 that it is an arbitrary number. So I think we need
25 to do that.

1 We then moved on to, I think, a risk-based
2 CMC discussion. In that, I think what I could
3 gather from the discussion here we have essentially
4 a two-pronged approach to managing risk. The
5 approach that we started with Dr. Yuan-yuan Chiu
6 about three years ago and which often will be
7 considered quite conservative. But it based on
8 limited information based on a retrospective
9 evaluation of where risk factors are from the
10 chemistry aspect.

11 The second layer of the second tier of
12 that, she mentioned, is a clinical assessment of
13 that. But I think we will plan to work towards a
14 draft guidance on the general principles for risk
15 in the absence of full process understanding. So
16 it is a conservative one. And then move in
17 parallel to that developing the concept of process
18 understanding and using that as a framework for
19 risk management.

20 That becomes more a company-specific
21 approach because each company will have different
22 levels of process understanding and will try to
23 utilize that. So what will happen then is products
24 that are not covered with the original risk
25 approach that Yuan-yuan Chiu proposed and one based

1 on process understanding will actually provide
2 coverage for all products and actually provide a
3 means of assessing risk from different
4 perspectives, too.

5 So that is the thought process that I have
6 and that is what I gathered the discussion led us
7 to that sort of an analysis.

8 I think the nomenclature discussion is,
9 again, a complex situation. It is not purely a
10 scientific issue. It is a communication issue. It
11 is a label issue and it is quite a confounding
12 issue. The important point I wanted to make here
13 was I think, for both internally and for everybody
14 else, is we need to think about the intended use of
15 the product and think ahead of what the criteria to
16 judge the intended use should be.

17 In the case of orally disintegrating
18 tablets, I think the definition that we initially
19 provided was not clear because we have to be
20 careful with respect to saying a matter of a few
21 seconds and so forth. My proposal, I think, to the
22 FDA staff and our clinical colleagues would be to,
23 as soon as we have a new dosage indication that
24 brings either convenience or which becomes a label
25 aspect, I think we really need to step back and

1 say, if this is the intended use for this
2 particular product, we need to really examine what
3 other products might be and, for that intended use,
4 what is the relevant criteria for judging or
5 classifying these products.

6 I think the challenge we are facing is we
7 are inundated with new dosage forms, new
8 technologies, and so forth yet we are bogged down
9 with older names, older terminology, which actually
10 don't make sense; lotions, creams, and so forth. I
11 think you saw the struggle there of sort of
12 bringing some rationality to some of the older
13 dosage forms.

14 I think, again, a lot of challenges with
15 respect to communication, with respect to making
16 sure the intended use of these products are
17 reflected in the label as well as in the name that
18 we use for them.

19 So I think my aim is to try to avoid some
20 of the hurdles that were created by a nonspecific
21 definition that we had for the oral disintegrating
22 tablet and try to do it right the first time. That
23 is the challenge.

24 Now, topical bioequivalence; I think this
25 is a long-standing discussion and debate. I think

1 the key becomes is I think you have very different
2 perspectives on different sides and finding a
3 common ground has been difficult. But I think what
4 we will be doing is moving towards a portfolio
5 approach on looking at a combination test,
6 different test, and sort of trying to construct
7 that portfolio that either a combination of tests
8 will cover all aspects or if you will have a test
9 which will be different for different indications.

10 So I think that is the concept we want to
11 move forward with. But the challenge will be, I
12 think, funding for research. I had high hopes but
13 those hopes were dashed and I think funding is
14 going to be a significant problem. So what you saw
15 here I hope is not the end of that discussion.

16 But I really would like to sort of take
17 this opportunity to talk to the folks in the
18 generic industry. I think it is time to sort of
19 maybe look at some collaborative research models.
20 On the manufacturing side, we have established a
21 collaborative research and development agreement
22 with Pfizer developing imaging technologies for
23 manufacturing controls. Why can't we think about
24 collaborative research and development agreements
25 with maybe generic companies to develop some of

1 these products.

2 So I think that is a thing that I will ask
3 Lawrence to explore that further. With that, I
4 think that is sort of my summary of the discussion
5 and I think it has been very valuable. Oftentimes,
6 it appears that we are not making progress but,
7 oftentimes, I step back and I only listen and I
8 think that listening really helps us.

9 So not only we see different perspectives
10 but also I think we sort of anticipate the
11 challenges that we have ahead of us trying to
12 communicate to the outside world. In addition to
13 that, I think we get valuable scientific input and
14 advice from different perspectives.

15 Oftentimes, people ask me, was this
16 useful. I think tremendously useful. So, as you
17 go back, please keep that in mind and have a safe
18 trip. Thank you.

19 DR. KIBBE: Thank you, Ajaz.

20 Just a few comments from the chair. I
21 remember kind of an Occam's razor approach. If you
22 have multiple ways of describing a system, the one
23 that is the simplest is going to do you the best in
24 the long run.

25 I think zero tolerance, we came to the

1 discussion and a lot of us used it as a little
2 safety blanket. I think, unfortunately, it is
3 going to be a bigger PR problem than it is a real
4 problem in terms of setting up criteria for your
5 testing. PR is going to be a real issue with it
6 when it gets out because there are going to be
7 people who are saying, well, if you are allowing a
8 few of these products to not work, how do we know
9 that my little girl isn't going to be the one that
10 gets the product that doesn't work.

11 I think that it has been the tradition of
12 the agency and I agree with that they set up
13 tighter expectations with options to make them
14 looser rather than loose expectations with options
15 to make them tighter. It puts the burden in the
16 wrong place and I think our colleagues in industry
17 will eventually recognize that with this little gap
18 discussion.

19 On the nomenclature, I think the simplest
20 definition that we can come up with is the best and
21 I don't like the idea of putting a quantitative
22 number in a definition. But I like the idea of
23 listing the attributes in the definition. So that
24 whole discussion we had, I would have put rapid or
25 reasonable time frame and not said 60 seconds and

1 let the agency decide what that really is going to
2 play out to be when we start to see different
3 dosage forms developed.

4 I think the dermatological products,
5 because they are for local effect, if there is any
6 way that you can just develop a system byproduct
7 that would look for the drug at the level in the
8 skin that it is supposed to be to do its job, even
9 if you do it in an animal model where you do a
10 punch biopsy and you say, there it is, and you
11 compare the two of them, you are going to be better
12 off than trying to--and, as we try to equate it to
13 bioequivalency in the traditional way and when you
14 keep using that term, I think upi are going to make
15 it more difficult to come to a simple answer.

16 Last, we should probably meet in five or
17 six months. I am going to leave that up to Hilda,
18 just as long as she plans it for someplace very
19 relaxing and warm. I was hoping perhaps maybe
20 April in Hawaii would be good. No? I guess with
21 price constraints and financial constraints, we
22 won't be there.

23 I have enjoyed this. Is there anyone who
24 has anything to say? Let me thank Lem and Joseph
25 and, in absentia, Nair for contributing and look

1 forward to seeing you all again next year. I hope
2 you have a pleasant trip back and I haven't kept
3 you here longer than your time allows you to get to
4 your airport.

5 (Whereupon, at 3:40 p.m., the meeting was
6 adjourned.)

7 - - -