

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE MEETING  
OPEN SESSION

Tuesday, October 28, 2003

1:15 p.m.

Holiday Inn Gaithersburg  
The Ballrooms  
Two Montgomery Village Avenue  
Gaithersburg, Maryland

PARTICIPANTS

James E. Leggett, Jr., M.D., Chairman  
Tara P. Turner, Pharm.D., Executive Secretary

MEMBERS:

John S. Bradley, M.D.  
Alan S. Cross, M.D.  
Celia J. Maxwell, M.D.  
Jan E. Patterson, M.D.  
Donald M. Poretz, M.D.  
Ciro V. Sumaya, M.D.  
Ellen R. Wald., M.D.

CONSULTANTS (Voting):

Janet D. Elashoff, Ph.D.  
Joan F. Hilton, Sc.D., M.P.H. (Consultant-CBER)  
L. Barth Reller, M.D.  
Keith A. Rodvold, Pharm.D. (Acting Consumer Rep)

ACTING INDUSTRY REP (Non-Voting):

Kenneth R. Brown, M.D.

CONSULTANTS (Non-Voting):

David G. Armstrong, DPM, M.Sc.  
Allan R. Tunkel, M.D., Ph.D.

FDA:

Mark Goldberger, M.D., MPH  
Edward Cox, M.D., MPH  
John Powers, M.D.  
Janice Soreth, M.D.  
David Ross, M.D., Ph.D.  
Regina Alivisatos, M.D.  
Alfred Sorbello, D.O.

GUEST SPEAKERS (Non-voting):

Carl Norden, M.D.  
Dr. Tony Bennett, BM, BCh, FRCP

## C O N T E N T S

|   |     |
|---|-----|
| Call to Order and Introduction of the Committee,<br>James E. Leggett, Jr., M.D., Chairman   | 4   |
| Conflict of Interest Statement,<br>Tara P. Turner, Pharm.D., Executive Secretary  | 6   |
| Guidance for Diabetic Foot Infections,<br>Janice Soreth, M.D., Director,<br>Division of Anti-Infective Drug Products, FDA   | 8   |
| Diabetic Foot Infections: Overview,<br>Dr. Tony Berendt, BM. BCh. FRCP, Bone Infection<br>Unit, Nuffield Orthopaedic Centre, Oxford, U.K.   | 11  |
| Clinical Trials Consideration in DM Foot<br>Infections, Carl Norden, M.D.,<br>Medical Director, Pfizer Inc.   | 34  |
| Lessons Learned from Previous Review<br>of Drugs for Diabetic Foot Infections,<br>Alfred Sorbello, D.O., Medical Officer, Division<br>of Anti-Infective Drug Products, FDA        | 60  |
| Microbiologic Diagnosis of Diabetic Foot<br>Infections, Albert Sheldon, Ph.D., Microbiology<br>Team Leader, Division of Anti-Infective Drug<br>Products, FDA                      | 77  |
| Ruling Out Osteomyelitis in Trials of Diabetic Foot<br>Infections, Regina Alivisatos, M.D., Medical<br>Officer Division of Special Pathogen and<br>Immunologic Drug Products, FDA | 88  |
| Implications for Clinical Trials for Diabetic<br>Foot Infections, David Ross, M.D., Ph.D.,<br>Medical Team Leader, Division of Anti-Infective<br>Drug Products, FDA               | 106 |
| Open Public Hearing   | 115 |
| Charge to the Committee, Edward Cox, M.D.,<br>Office of Drug Evaluation IV, FDA   | 115 |
| Committee Discussion  | 117 |

1 P R O C E E D I N G S

2 Call to Order

3 DR. LEGGETT: Good afternoon. I hope we  
4 can get started on the topic of clinical trial  
5 design in diabetic foot infections. Members of the  
6 committee, you can sort of relax. There is no yes  
7 or no vote this afternoon, so we can all  
8 pontificate and there is nothing afterwards. Why  
9 don't we get started with introductions? Mark, do  
10 you want to start?

11 Introductions

12 DR. GOLDBERGER: Mark Goldberger, Director  
13 of the Office of Drug Evaluation IV.

14 DR. COX: Ed Cox, Deputy Director, Office  
15 of Drug Evaluation IV.

16 DR. POWERS: John Powers, Lead Medical  
17 Officer, Antimicrobial Drug Development and  
18 Resistance.

19 DR. SORETH: Good afternoon. I am Janice  
20 Soreth, the Director of the Anti-Infectives  
21 Division.

22 DR. ROSS: David Ross, Medical Team  
23 Leader, Anti-Infectives.

24 DR. ALIVISATOS: Regina Alivisatos,  
25 Medical Officer, Special Pathogens.

1 DR. SORBELLO: Fred Sorbello, Medical  
2 Officer, Division of Anti-Infective Drug Products.

3 DR. ELASHOFF: Janet Elashoff,  
4 Biostatistics, Cedars-Sinai and UCL.

5 DR. HILTON: Joan Hilton, Biostatistician,  
6 University of California San Francisco.

7 DR. RODVOLD: Keith Rodvold, Colleges of  
8 Pharmacy and Medicine, University of Illinois  
9 Chicago.

10 DR. RELLER: Barth Reller, Infectious  
11 Diseases and Clinical Microbiology, Duke  
12 University.

13 DR. TURNER: Tara Turner, Executive  
14 Secretary for the Committee.

15 DR. LEGGETT: Jim Leggett, Infectious  
16 Diseases, Oregon Health Sciences University.

17 DR. WALD: Ellen Wald, Pediatric  
18 Infectious Diseases, University of Pittsburgh.

19 DR. CROSS: Alan Cross, Infectious  
20 Diseases, University of Maryland.

21 DR. PATTERSON: Jan Patterson, Infectious  
22 Diseases, University of Texas Health Science Center  
23 San Antonio.

24 DR. SUMAYA: Ciro Sumaya, School of Rural  
25 Public Health, Texas A&M University.

1 DR. PORETZ: Donald Poretz, Infectious  
2 Diseases, Fairfax, Virginia.

3 DR. MAXWELL: Celia Maxwell, Infectious  
4 Diseases, Howard University.

5 DR. ARMSTRONG: David Armstrong, Podiatry,  
6 with the Diabetes Lower Extremity Research Group at  
7 the VA in Tucson.

8 DR. TUNKEL: Allan Tunkel, Infectious  
9 Diseases, Drexel University College of Medicine.

10 DR. BROWN: Ken Brown, retired from  
11 industry and University of Pennsylvania.

12 DR. LEGGETT: Thank you. Tara, could you  
13 please read us the conflict of interest statement?

14 Conflict of Interest Statement

15 DR. TURNER: Thank you. The following  
16 announcement addresses the issue of conflict of  
17 interest with respect to this meeting, and is made  
18 a part of the record to preclude even the  
19 appearance of such at this meeting.

20 The Food and Drug Administration has  
21 granted waivers to the following special government  
22 employees which permits them to participate in  
23 today's discussions, Drs. Jan Patterson, John  
24 Bradley, Keith Rodvold and David Armstrong.

25 A copy of the waiver statements may be

1 obtained by submitting a written request to the  
2 agency's Freedom of Information Office, Room 12A-30  
3 of the Parklawn Building.

4           The topics of today's meeting are issues  
5 of broad applicability. Unlike issues before a  
6 committee in which a particular product is  
7 discussed, issues of broader applicability involve  
8 many industrial sponsors and academic institutions.  
9 The committee participants have been screened for  
10 their financial interests as they may apply to the  
11 general topic at hand. Because general topics  
12 impact so many institutions, it is not prudent to  
13 recite all potential conflicts of interest as they  
14 apply to each participant.

15           We would also like to note for the record  
16 that Dr. Kenneth Brown is participating in this  
17 meeting as an acting industry representative,  
18 acting on behalf of regulated industry.

19           FDA acknowledges that there may be  
20 potential conflicts of interest but, because of the  
21 general nature of the discussion before the  
22 committee, these potential conflicts are mitigated.  
23 In the event that the discussions involve any other  
24 products or firms not already on the agenda for  
25 which FDA participants have a financial interest,

1 the participant's involvement and their exclusion  
2 will be noted for the record.

3 With respect to all other participants, we  
4 ask in the interest of fairness that they address  
5 any current or previous financial involvement with  
6 any firm whose products they may wish to comment  
7 upon. Thank you.

8 DR. LEGGETT: Thank you. There has been a  
9 slight change in the agenda, and Janice Soreth will  
10 give us some opening remarks on the guidance for  
11 diabetic foot infections.

12 Guidance for Diabetic Foot Infections

13 DR. SORETH: I have only one slide, so  
14 don't look for any copies in your folder.

15 We begin now the open portion of our  
16 two-day advisory meeting on anti-infective guidance  
17 development, specifically this afternoon diabetic  
18 foot. You might ask why more guidance. Well, very  
19 simply, despite our agency effort in the last  
20 decade to tackle anti-infective guidance  
21 development infection by infection, we have not yet  
22 for some infections put pen to paper or finger to  
23 keystroke.

24 I would like today publicly to renew our  
25 commitment to tackle some of the guidances that we



1 have left to the end, I think necessarily some of  
2 the more difficult ones. To name a few, I think we  
3 have left as yet unwritten anti-infective guidance  
4 development particular to sepsis products, topical  
5 anti-infectives, bone and joint infection and our  
6 topic for this afternoon, diabetic foot infections.  
7 While we have written guidance on complicated skin  
8 and skin structure infections, of which a part is  
9 diabetic foot, we have discussed everything but the  
10 diabetic foot aspects of that guidance, and not for  
11 some time.

12           As we look across applications that we  
13 have received from sponsors looking to get a claim  
14 for diabetic foot infections, we see pretty  
15 variable case definitions, a collection of data in  
16 a given drug development program that is sometimes  
17 inconsistent between investigators and certainly  
18 inconsistent between drug development programs and,  
19 lastly, endpoint assessment that is quite variable.

20           So, the main reason we are here today is  
21 to address definitions and point assessment, and to  
22 try to bring, I think, consistency,  
23 reproducibility, if not accuracy, to the trials  
24 that we design and then conduct. Why? So that we  
25 will know what treatments work best.

1           The stats that you will hear this  
2 afternoon in greater detail I think are staggering.  
3 Since the year 2000, in the U.S. we make a  
4 diagnosis of diabetes mellitus in over one million  
5 patients per year. There are over 100,000 hospital  
6 admissions for diabetic foot infections yearly and  
7 almost a similar number of lower extremity  
8 amputations.

9           [Slide]

10           For me, the personal statistics are  
11 equally staggering and my only slide is a family  
12 portrait of my grandfather who, unfortunately,  
13 became a type II diabetic as an adult and died, to  
14 me, at the very young age of 60 of complications of  
15 diabetic foot infection. He had twin daughters, my  
16 mother and her twin sister, my aunt. My aunt  
17 developed diabetes mellitus as an adult as well and  
18 she also succumbed to complications of diabetic  
19 foot infections. While my mother is not a  
20 diabetic, she has given birth to children who,  
21 unfortunately, are becoming diagnosed with type II  
22 diabetes.

23           My hope today is that our discussions will  
24 outline definitively and clearly how best to design  
25 trials to study diabetic foot infections,

1 modalities to treat them, including the use of  
2 antimicrobial agents, so that we might have a  
3 better outcome for my generation and for my  
4 children's generation. Thank you.

5 DR. LEGGETT: Thank you, Dr. Soreth. The  
6 next two speakers will have lots of areas of  
7 overlap so we are going to take questions after Dr.  
8 Norden's presentation. Our first presenter will be  
9 Dr. Tony Berendt, and he will talk about diabetic  
10 infections, an overview. I would like to ask all  
11 the speakers to try to stay on time and stop at  
12 that red light.

13 Diabetic Foot Infections: Overview

14 DR. BERENDT: Thank you very much. I am  
15 very conscious of the honor that has been done to  
16 me by inviting me to come and address the committee  
17 today, as a Brit speaking to something run by the  
18 federal government of America.

19 [Slide]

20 I think my only real claim to be here is  
21 my involvement in both the IDSA Clinical Practice  
22 Guidelines Committee on Diabetic Foot Infections  
23 and also a subgroup of the International Consensus  
24 on the Diabetic Foot which, this year, produced a  
25 supplement to the International Consensus,

1 specifically looking at the management of infection  
2 in the diabetic foot. I will talk more about that  
3 later.

4 [Slide]

5 The main messages that I would like to get  
6 across to the committee today are that despite  
7 considerable advance in these areas, there is still  
8 a great deal we don't know about diabetic foot  
9 infection and that, despite some progress in the  
10 production of expert consensus guidances, that  
11 really doesn't compensate for the dearth of  
12 optimally conducted studies which do leave us with  
13 many unanswered questions. So, I will be talking  
14 to you really with more questions than answers  
15 today but at least you will get some perspective of  
16 where we are. There certainly is a definite and I  
17 think urgent need for standardized definitions of  
18 infection in the diabetic foot both to allow the  
19 kind of multicenter studies that your draft  
20 guidance recommends and, indeed, to permit  
21 comparison between different studies conducted  
22 independently but, therefore, capable of more  
23 rigorous analysis and meta-analysis.

24 [Slide]

25 So, in the rest of my time I am going to

1 try and get through the following points really,  
2 the epidemiology and importance of infection; the  
3 clinical spectrum and whether that leads us onto a  
4 working definition of diabetic foot infection for  
5 the purposes of this group; how one goes about  
6 diagnosing a diabetic foot infection--slightly  
7 different to defining it perhaps; and then where  
8 expert opinion has got to in this area. This is  
9 necessarily brief and will miss some areas but they  
10 will be covered in more detail by others later  
11 today I think.

12 [Slide]

13 To put the numbers back onto that very  
14 personal view of diabetic foot infection that we  
15 have just heard, the worldwide projections are for  
16 there to be some 250 million diabetics by 2025, of  
17 whom all the evidence would suggest some two to  
18 five percent will develop foot ulceration annually,  
19 with a point prevalence of ulceration estimated at  
20 between four and ten percent depending on the study  
21 one looks at. Some 40-60 percent of all  
22 non-traumatic lower extremity amputations are in  
23 diabetics and the overwhelming majority are  
24 preceded by foot ulceration.

25 [Slide]

1           When we look at the socioeconomic  
2 importance of that, we see that foot problems  
3 account for the largest number of bed days used by  
4 diabetic persons; that their average length of stay  
5 is some 30-40 days, which is considerably longer  
6 than diabetic patients who do not have foot  
7 ulceration; and that over three-quarters of the  
8 over 75 year olds in the U.S.A. who have amputation  
9 for the foot ulceration do not return to  
10 independent living. Quite apart from the  
11 unpleasantness of that from a personal point of  
12 view, the costs to themselves or society are  
13 enormous.

14           [Slide]

15           It is not, therefore, surprising that a  
16 number of studies have suggested that it may well  
17 be cheaper to save a limb than to amputate it.  
18 Although it is at some distance, you can see the  
19 broad figures there--but they are on the  
20 handout--and the figures highlighted in yellow are  
21 from the U.S. Those are U.S. specific studies.  
22 But the general theme of this is the same around  
23 the world, some 7,000 to 10,000 U.S. dollars to  
24 heal an ulcer, and considerably more to deal with  
25 the consequences of removing the limb the ulcers

1 are formed on. That long-term cost analysis,  
2 carried out in Sweden by Apelqvist, shows you that  
3 the primary healing at a three-year endpoint is  
4 between \$16,000 and \$26,700 in patients, the  
5 difference depending upon the level of ischemia,  
6 whereas healing with amputation is between \$43,000  
7 and \$63,000, the differences depending upon minor  
8 versus major amputation.

9 [Slide]

10 So, infection has a key role in this area.  
11 It is known to be a major event on the road, as it  
12 were, to amputation. It does that because it  
13 contributes to soft tissue loss, to delayed wound  
14 healing. It is a threat to foot biomechanics. If  
15 it compromises the issues and the bones enough, it  
16 is a cause of acute or chronic systemic effects.  
17 Any of those may ultimately end up being a good  
18 reason to remove a limb rather than to keep it on.

19 [Slide]

20 The clinical spectrum is broad and  
21 confusing. I have chosen to split it into those  
22 conditions with intact soft tissues and include a  
23 small number of primary muscular or skeletal  
24 infections and those that really complicate an  
25 obvious breach in the integument, either a

1 paronychia at the site of a nail or, more usually,  
2 an infected ulcer, cellulitis and then the  
3 formation of more complex forms of soft tissue  
4 infection and, of course, ultimately bone  
5 infection.

6           So, there are many different manifestations  
7 but I am going to suggest that perhaps the ones  
8 that we are really the most interested in that, if  
9 you like, constitute the diabetic foot syndrome and  
10 the infectious end of that, are those that  
11 complicate ulceration.

12           [Slide]

13           So, we then move to this difficult area of  
14 how we define a diabetic foot infection, and there  
15 are a number of possibilities here. In fact, I  
16 spoke with Ben Lipsky who, as many of you will  
17 know, has worked extensively on this subject in  
18 Seattle but who couldn't be here today.

19           Here are a couple of possible definitions  
20 that one can debate. The first would be the  
21 broadest possible view, which is that a diabetic  
22 foot infection is a foot infection in a diabetic.  
23 In other words, any infection as defined by the  
24 International Consensus or some other consensus  
25 process that involves the foot--and I think we have



1 to call that the structure below the malleoli--in a  
2 person with diabetes, for which there are formal  
3 definitions.

4 But there is a more specific version of  
5 that, if you like, where we would include the  
6 necessity for the infection to have originated in  
7 some injury to the skin that might be chronic or  
8 acute and that might be complicated by neuropathy  
9 or ischemia, or both.

10 [Slide]

11 That I think is an area that is clearly  
12 open to debate. One can justify that. It starts  
13 there by saying that neuropathy is undoubtedly the  
14 dominant cause of skin breaches in the feet of  
15 people with diabetes; that the clinical features of  
16 the majority of infections that we deal with in  
17 this context support a contiguous focus model. So,  
18 the ulcer is evidently the portal of entry of the  
19 infection and the infected structures are  
20 contiguous to the ulceration.

21 The presence of ischemia is known to have  
22 a major bearing on the outcome of infection, and it  
23 is absolutely clear that effective foot care  
24 services have a major impact on reducing amputation  
25 rates, at least in the initial stages where one is

1 able to catch large numbers of people who can be  
2 managed for their neuropathy correctly to prevent  
3 episodes of further ulceration, and who can be  
4 spared precipitate amputation when more  
5 conservative treatments can be effective. It does  
6 have to be conceded that there is no evidence  
7 comparing outcomes one way or another in the  
8 so-called non-neuropathic, non-ischemic patients  
9 but perhaps we might actually more accurately call  
10 pre-neuropathic and pre-ischemic diabetic persons  
11 compared to those without diabetes.

12           What am I saying there? The question  
13 really is if you don't have neuropathy and you  
14 don't have ischemia and you get a foot infection,  
15 are your outcomes worse than for someone who  
16 doesn't have a diagnosis of diabetes? And, I am  
17 not sure we know the answer to that.

18           [Slide]

19           This picture is really put up just to  
20 illustrate some of those problems in definition.  
21 Does this person have a diabetic foot infection?  
22 They have an area of ulceration above the malleoli  
23 and clearly have numerous soft tissue changes  
24 related to their diabetes. Although you can't see  
25 it very well here, they do in fact have an ulcer

1 that looks uninfected on the end of the hallux.  
2 But I think I would suggest that is not a diabetic  
3 foot infection in terms of what one would be  
4 wanting to study even if we thought the cellulitis  
5 there is originating from that ulcer.

6 [Slide]

7 So, how do we diagnose diabetic foot  
8 infection? This is a big problem. Just a quick  
9 reminder for those not thinking constantly about  
10 this, infection describes the multiplication and  
11 invasion of tissues, usually associated with a host  
12 response, and this is distinct from the inevitable  
13 colonization of either normal skin or an ulcer with  
14 bacteria that may not be causing harm in a  
15 discernible way. That is also distinct from  
16 contamination, which is more of a problem for those  
17 trying to make a diagnosis from a sample that  
18 should normally have no organisms present.

19 [Slide]

20 So, the diagnosis of infection really has  
21 remained a clinical one. I realize this is a  
22 problem potentially for the committee needing very  
23 specific definitions of infection. It has  
24 generally been made on the basis of systemic signs  
25 or symptoms of infection, local signs and symptoms

1 of infection and, clearly, there are some things  
2 that would alert one to that possibility such as  
3 gangrene or necrosis or very fetid odor.

4           Laboratory diagnosis of infection is, by  
5 definition, nonspecific unless it is a positive  
6 blood culture. The sensitivity in diabetic persons  
7 has been shown to be low in a number of studies.

8           The role of imaging I think is more in  
9 identifying the anatomic nature of infection rather  
10 than the presence or absence of it. So, it is more  
11 about identifying where there are structures that  
12 probably need surgery, rather than saying this is  
13 an infection.

14           [Slide]

15           We are left with a number of controversies  
16 if we are using clinical diagnosis, particularly  
17 how to diagnose infection in the context of some of  
18 these confounders that diabetic patients also  
19 frequently develop--acute Charcot changes, gout,  
20 other common co-morbidities producing inflammation  
21 of the skin.

22           We are left also uncertain when ischemia  
23 can significantly confound the inflammatory  
24 response so that individuals might have infection  
25 but with false-negative signs of it. That, I

1 think, again is a debatable matter but one that  
2 people certainly worry about at times.

3 We are left with the question as to  
4 whether clinical criteria really allow us to  
5 reliably distinguish an infected from an uninfected  
6 ulcer.

7 [Slide]

8 At the microbiological level, I have  
9 already explained that because of colonization of  
10 ulcers there is a real issue about how one makes a  
11 microbiological diagnosis of infection. It is  
12 really on that basis that I think many of us in the  
13 field would say we are not able to diagnose these  
14 infections by their microbiology. There are, of  
15 course, some exceptions to that statement. The  
16 culture of pus taken from an obvious abscess or a  
17 positive culture from what should be a sterile site  
18 taken in a reliable way, preferably through a  
19 non-infected field, is clearly going to be  
20 diagnostic. So, a bone biopsy that yields a Staph.  
21 aureus that has been taken through uninfected skin  
22 is going to be a truly diagnostic microbiology  
23 result.

24 But a much more common scenario is what we  
25 do with cultures taken from ulcers or from necrotic

1 tissue that is at the base of an ulcer but may have  
2 been ultimately contiguous with the outside world.  
3 Then, this intermediate difficult area is probably  
4 what we face most of the time with relatively  
5 expert practice. That is to say, someone has done  
6 a debridement of an open lesion and then taken some  
7 cultures of the material, the base of it, and that  
8 is what we would consider the most reliable but  
9 that still is potentially confounded by the flora  
10 of the more superficial parts of the ulcer.

11 [Slide]

12 The recommendations that have emerged  
13 through the International Consensus process and the  
14 IDSA Clinical Practice Committee take account of  
15 previous studies that have shown a poor  
16 relationship between superficial swabs and deep  
17 microbiology. This is from cases particularly with  
18 osteomyelitis but also other deep infection.  
19 Therefore, the recommendations are that the ulcer  
20 should be debrided in order to expose essentially  
21 viable but infected tissue at the base of the  
22 ulcer. If pus is present, it can be aspirated and  
23 preferably some form of tissue sample is taken from  
24 that ulcer with a curette or scraped with a scalpel  
25 blade and that tissue is processed rather than

1 using swabs.

2           Swab cultures are generally discouraged in  
3 the guidance, although that has been an area of  
4 some controversy and there are certainly some who  
5 would argue that swabs taken from the base of the  
6 debrided ulcer may be as close and as accurate as  
7 tissue samples that have been taken from slightly  
8 deeper.

9           There is a question that emerges from a  
10 number of the clinical trials and antibiotics  
11 already done as to whether all the microorganisms  
12 that have been isolated from these more reliable  
13 samples actually need to be treated. There is  
14 certainly a school of thought that suggests that  
15 maybe some of what we would definitely see as being  
16 important and pathogenic might actually be in some  
17 way fellow travelers with more virulent organisms  
18 like *Staph. aureus*. This doesn't get away from the  
19 fact that there are some cases where enterococci or  
20 coagulase negative staphylococci are the sole  
21 pathogen isolated, particularly from cases of  
22 osteomyelitis.

23           There is a question that is left also as  
24 to whether quantitative microbiological approaches  
25 can do any better than clinical judgment in

1 diagnosing actual or incipient infection.

2 [Slide]

3 To understand the basis of this, I think  
4 it is worth a quick diversion into laboratory  
5 science and what we now understand about the  
6 pathogenesis of staphylococcal infections, given  
7 that Staph. aureus is one of the dominant pathogens  
8 in this condition.

9 If we look at the course of an infection  
10 over time from initial inoculum, we can see that  
11 organisms move out of lag phase and start to  
12 proliferate in logarithmic phase before they run  
13 out of nutrients and flatten off into this  
14 post-exponential phase. We know that Staph. aureus  
15 is an organism formidably armed with adhesive  
16 structures on the surface of its cell wall and with  
17 a number of toxins, and we know that initially  
18 organisms tend not to be expressing toxins but to  
19 be expressing adhesins. As they move into  
20 logarithmic growth, the phenomenon of quorum  
21 sensing kicks in, and this is a process by which  
22 organisms are releasing certain substances that are  
23 able to act as density-dependent triggers to gene  
24 expression. In the case of Staph. aureus, it is  
25 clear that this is a cyclic octapeptide and as the



1 amounts of this material build up the action of a  
2 gene NSHGR is triggered, and this results in the  
3 global expression of a number of different toxin  
4 genes.

5 [Slide]

6 So, the organism moves from being in a  
7 sense non-toxigenic to one that is producing large  
8 numbers of toxins. We might see this as a  
9 mechanism for breaking down tissue and moving out  
10 into other areas where nutrients are no longer  
11 limiting. This phenomenon probably also operates  
12 in terms of the maturation of some of the adhesive  
13 forms of growth that are seen in the form of  
14 biofilms. That may be of more importance in  
15 osteomyelitis than in other contexts.

16 [Slide]

17 That has led a number to suggest that in  
18 the context of the infected or uninfected ulcer the  
19 density of organisms present might be critical in  
20 triggering the moment when infection is about to  
21 happen or can be defined as just beginning. There  
22 is some evidence in acute wounds and burns that  
23 density of organisms greater than  $10^5/g$  is a  
24 crucial transition point between infection and  
25 colonization. The evidence for that in chronic

1 wounds in the diabetic foot I think is less clear,  
2 and there is certainly alternative evidence one can  
3 cite, for example, clear evidence of inhibition  
4 between other species of staphylococci and Staph.  
5 aureus that this quorum sensing can be in some way  
6 down-modulated, that is to say one species of  
7 bacteria can affect the signals that another one is  
8 using to trigger its own behavior. That might mean  
9 that high loads of pathogens could, in fact, be  
10 tolerated in a mixed wound flora because some of  
11 the other bacteria are trying to effectively hold  
12 the staph. in check.

13 [Slide]

14 So, there is a lot we don't know. Where  
15 has expert opinion got to in this area?

16 [Slide]

17 I am going to refer very briefly to  
18 clinical guidelines. I have already mentioned that  
19 there is now an International Consensus on  
20 diagnosing and treating the infected diabetic foot.  
21 This is in the public domain via CD ROM which is  
22 purchasable from a website but I think will shortly  
23 be published as well. There are also clinical  
24 practice guidelines coming out by the IDSA, which  
25 are probably being finalized this year and I guess

1 will be published either late this year or, more  
2 likely, early next year.

3           These have been both interdisciplinary and  
4 international expert panels, with clinical  
5 representation both from academia and government  
6 health services. They worked on a consensus basis,  
7 and what has been striking is that the  
8 recommendations are really not graded for their  
9 level of evidence because of problems in the  
10 overall quality of the studies and in the  
11 definitions that have been used. So, if you like,  
12 this is a group of experts but nobody pretends that  
13 the last word is here in terms of the quality of  
14 the evidence.

15           [Slide]

16           The approach to infection that these  
17 panels have adopted is that in view of the varied  
18 clinical spectrum simplicity is what is required,  
19 and this needs to begin with assessments of the  
20 patient, the limb for ischemia, the foot for  
21 biomechanics and then the ulcer for its depth, its  
22 size and the presence of infection. Infection is  
23 assessed in relation to its severity, mainly in  
24 terms of impact on the host and the limb, and  
25 really put into three very broad categories, mild

1 infections, moderate infections that can be  
2 summarized as limb threatening, and severe  
3 infections that are immediately life threatening.

4 [Slide]

5 You can see here the kinds of thinking  
6 that has gone into this. Mild infections are  
7 characterized by a small amount of erythema but  
8 clinical evidence of infection in an ulcer. They  
9 are usually monomicrobial, mainly with aerobic  
10 gram-positive cocci.

11 Moderate infections have more spreading  
12 erythema or evidence of involvement of deeper  
13 tissues including bone and joint. Moderate can be  
14 mono or polymicrobial.

15 Severe infections are really defined  
16 specifically by the presence of systemic symptoms.  
17 These are known to be relatively muted in diabetic  
18 patients and, therefore, the presence of them is  
19 considered to be evidence of potentially  
20 life-threatening conditions such as septicemia or  
21 fasciitis. The ulceration is often deeper and  
22 these are often polymicrobial infections.

23 [Slide]

24 In terms of duration, there really is not  
25 good data on this but there have been a number of

1 clinical studies using those kinds of  
2 classifications already that suggest pretty clearly  
3 that you can treat mild infections for one to two  
4 weeks of oral therapy. You can probably treat them  
5 with topical therapies as well. I know that may  
6 not be an area of where the committee wants to go  
7 today.

8           Moderate infections can be treated for up  
9 to four weeks unless there is osteomyelitis present  
10 where it is generally considered wise to treat for  
11 longer.

12           Severe infections are usually going to  
13 require surgery, in fact, which is probably part of  
14 the reason it is still not necessary to treat them  
15 for more than about four weeks. It is just that  
16 they need more doing.

17           For osteomyelitis, the expert consensus  
18 view is that a lot depends on what you do. If you  
19 are taking all the bone away that is involved in  
20 the infection and you are doing that through normal  
21 soft tissue, then really there is nothing left to  
22 treat and a long duration of antibiotic treatment  
23 is not necessary.

24           [Slide]

25           Bony ablation with no residual infected

1 soft tissue can be treated from the basis of a soft  
2 tissue infection. Whereas once you are leaving  
3 behind parts of the bone involved in infection, it  
4 is really necessary to decide where there is dead  
5 and infected bone left and that really helps set  
6 the duration of therapy needed.

7 [Slide]

8 What about classifications--in my last  
9 remaining minute? The consensus process came up  
10 with a classification scheme called PEDIS, the  
11 Latin word for foot. This is intended to be a  
12 specific rather than sensitive scheme. It should  
13 allow what we want, that is to say, multicenter  
14 studies and categorization of case mix.

15 [Slide]

16 To quickly take you through it, perfusion  
17 is given three grades, in line with the  
18 Trans-Atlantic Inter-Society Consensus. This is  
19 people who study peripheral vascular disease.  
20 Grade I is apparently normal. There is no nought  
21 because you can't be sure something is absent.  
22 Grade II is non-critical ischemia; III is  
23 critical. These are rigorously defined in the  
24 guidance. E is extent of the ulcer in square  
25 centimeters, and suggested studies could report

1 ulcer size in quartiles to get an idea of the  
2 spread there. D is the depth which follows very  
3 closely the University of Texas system of making a  
4 transition between bone and joint and other  
5 subcutaneous tissues. For infection I will show  
6 you the grades very quickly in a minute. Sensation  
7 is either the presence or absence of protective  
8 sensation.

9 In fact, if the depth was given four  
10 grades so that grade I was no ulceration, one would  
11 have a catch-all for classifying all diabetic feet,  
12 but this was a research classification scheme for  
13 ulcers so it has to begin with ulceration.

14 [Slide]

15 What are they very quickly, and you will  
16 see some of the problems? There is a clinically  
17 uninfected ulcer but obviously one can see from  
18 looking at that the kinds of problems frequently  
19 arising. Infection involving the skin and  
20 subcutaneous tissue would be a grade II infection.  
21 This has, as before, the 0.5-2 cm cutoff for its  
22 erythema, at least two of these other features of  
23 infection, and no more probably cause of the  
24 inflammatory response.

25 [Slide]

1                   Just to show you the kinds of problems on  
2 has with using this is that this would be a  
3 moderate infection. Sorry, I got myself in a  
4 muddle because I am rushing. That is the mild  
5 infection with a 2 cm radius of erythema.

6                   [Slide]

7                   The difficult one I think is the grade III  
8 because it encompasses such a wide range of  
9 infections, deep soft tissue, or bone, or joint,  
10 but is specified as having no systemic inflammatory  
11 response.

12                   [Slide]

13                   So, this case with a probe going into a  
14 joint and obvious infection of the whole of that  
15 toe would be moderate. So would the case on the  
16 left with penetration into the joint, but also the  
17 case on the right with very substantial Charcot  
18 infection in the mid-foot. Even in that case, with  
19 a lot of gangrene and obvious gross infection, if  
20 the patient remains systemically well, would be  
21 categorized as moderate with these scheme.

22                   [Slide]

23                   Finally a grade IV infection would be one  
24 that we would otherwise call severe, with a  
25 systemic inflammatory response, rigorously defined



1 here. So, what makes that a grade IV infection is  
2 not the appearance of the foot but the appearance  
3 of the whole patient.

4 [Slide]

5 Where are we left? We really do need to  
6 finalize and agree on how to use these more robust  
7 definitions and classification schemes. Almost any  
8 scheme that everyone uses will probably be better  
9 than having no scheme. The role of antimicrobials  
10 in uninfected ulcers and in wound healing after  
11 infection needs to be sorted out. Duration of  
12 treatment and the role of surgery in osteomyelitis  
13 and the cost effectiveness of limb salvage in these  
14 very much more complex cases that many of us are  
15 now seeing. So, really a lot does need to be done.

16 [Slide]

17 In conclusion, while I think there has  
18 been some progress in general understanding and the  
19 existence of these consensus is I think major  
20 progress. There are some difficulties that we have  
21 to solve.

22 I think that that PEDIS classification  
23 might actually help us considerably and, certainly,  
24 further consensus definitions, for example of  
25 osteomyelitis, would be helpful.

1           It is worth noting that some of these  
2 changes in practice, assuming that not all  
3 osteomyelitis needs many, many weeks of  
4 antibiotics, might be useful for allowing some  
5 cases, depending on their surgical management, to  
6 be included in cSSSI trials.

7           [Slide]

8           I would like to conclude by acknowledging  
9 Ben Lipsky from Seattle, Carl Norden whom you all  
10 know, and the drivers of the International  
11 Consensus process who have done a tremendous job,  
12 and my own clinical colleagues in Oxford. Thank  
13 you.

14           DR. LEGGETT: Thank you for that whirlwind  
15 tour. The next speaker is Dr. Norden.

16           Clinical Trials Consideration in DM Foot Infections

17           DR. NORDEN: Thanks very much, Jim. It is  
18 a pleasure to be here. It is an honor to have been  
19 invited by Dr. Soreth, and it is nice to be back at  
20 a committee where I spent four of the most  
21 challenging and I think stimulating years in terms  
22 of my academic career.

23           What I am going to try and do today is to  
24 talk about potential guidelines for clinical trials  
25 of diabetic foot infection. I think Tony has given

1 a very nice overview and background. My talk is  
2 going to be based primarily on my own experience,  
3 as well as a large clinical trial that we recently  
4 conducted with the help of Ben Lipsky from Seattle,  
5 whose name you have heard a couple of times  
6 already.

7 I am going to present ideas which are  
8 designed to elicit discussion and, obviously, not  
9 final ideas in any sense of the word, and to take  
10 some positions for the sake of argument so that the  
11 committee can debate them and shoot at them. The  
12 guidelines I will talk about are for systemic  
13 antimicrobial agents, not for topical antimicrobial  
14 agents. Then there will be a few talks from the  
15 FDA to follow which will go into more detail.

16 I think the two major areas that I would  
17 like to raise as issues as I go through the talk  
18 for you to consider are, one, the use of adjunctive  
19 therapy and how do you evaluate the success of  
20 antimicrobial agents and, two, osteomyelitis--do we  
21 include, exclude or simply treat these patients as  
22 a separate group?

23 [Slide]

24 We have guidelines for complicated skin  
25 and soft tissue infection. Why do we need separate

1 guidelines for diabetic foot infection, or do we  
2 need them? I think we do, and I think that  
3 patients that we enroll in trials of diabetic foot  
4 infection differ from the other patients in several  
5 ways, first of all, the risk factors which are  
6 vascular, neuropathic and diabetes itself and,  
7 secondly, the use of adjunctive therapy which, in  
8 the management of a diabetic patient with a foot  
9 infection, is major and part of standard care, and  
10 that is debridement and surgery, wound care itself  
11 or wound dressings and off-loading which is a term,  
12 by the way, I knew nothing about until I got  
13 involved with Ben Lipsky and Tony Berendt.

14 [Slide]

15 What are the desirable features of a  
16 study? Well, I think you want to optimize  
17 enrollment. The most recent trial we did enrolled  
18 370 patients, which is a large number. I think it  
19 should include most types of diabetic foot  
20 infections. It should allow inpatient or  
21 outpatient therapy. It should allow intravenous or  
22 oral therapy if the agents are capable of doing  
23 this. And, it should allow additional antibiotic  
24 agents for organisms which are resistant to the  
25 study drug or comparator that are being tested.

1 [Slide]

2 Inclusion criteria--I am going to go  
3 through this and pause when we come to those things  
4 I think are real issues. Some of these are obvious  
5 and standard, over age 18; informed consent. The  
6 patients should obviously have diabetes mellitus by  
7 ADA criteria; and they should have an infected  
8 lesion of the lower extremity. You can see from  
9 the list that I have put here that these are much  
10 the same as Tony had, except that I have left  
11 osteomyelitis off and that is for purposes of  
12 discussion.

13 Clearly, we need to define an infected  
14 lesion and Tony has gone through that. The PEDIS  
15 classification I think is very helpful. I would  
16 only say that I second what he said, I think it is  
17 a clinical diagnosis, not a microbiologic  
18 diagnosis. Microbiology is important but I don't  
19 think you make the diagnosis of diabetic foot  
20 infection on the basis of the culture.

21 [Slide]

22 The infected lesion can require extensive  
23 debridement or surgery, but for purposes of a study  
24 it should not require complete resection or  
25 amputation. If that takes place, then clearly you

1 can't evaluate the effect of the antimicrobial  
2 agent.

3           It can be open or closed. It can be  
4 anywhere on the foot. You can have multiple  
5 lesions but you ought to select on as the study  
6 lesion, if you will. I believe it can have been  
7 treated with potentially effective antibiotics  
8 before the study, but only for 72 hours or less.  
9 Now, there is no magic about that. It could be 48;  
10 it could probably be 24; and it might be longer. I  
11 don't think we have any data as to how quickly  
12 antimicrobial treatment renders an infectious  
13 lesion no longer infectious or how long it takes to  
14 eradicate the organisms but, at least in my  
15 experience, you can go for at least three days  
16 without clearing a diabetic foot infection of  
17 bacteria.

18           [Slide]

19           The exclusion criteria--certain local  
20 conditions of the lower extremity; critical  
21 ischemia which we will come back to in a moment;  
22 the expectation that the entire infection will be  
23 resected or amputated; more than 72 hours of an  
24 agent active against the pathogen; an infected  
25 device that can or will not be removed; a patient

1 who required additional non-study antibiotics for  
2 any reason other than an organism resistant to the  
3 study drug; and I think the presence of extensive  
4 either dry or wet gangrene.

5 [Slide]

6 For ischemia, I think we can define this  
7 reasonably well. Critical ischemia would be  
8 defined as absence of palpable posterior tibial or  
9 dorsalis pedis pulses; absent or abnormal Doppler  
10 wave forms plus a toe blood pressure less than 4 mm  
11 Hg.

12 Can you enroll patients who have critical  
13 ischemia? Well, we know it affects healing. We  
14 know it affects outcome of infection. I think if  
15 you have a vascular surgeon who feels you can  
16 include this patient in the trial, you could but I  
17 think it is simpler if you use these criteria and  
18 say no.

19 [Slide]

20 Now, what about osteomyelitis? Tony  
21 touched upon this and Dr. Alivisatos is going to  
22 talk about it a bit more. But it occurs in more  
23 than about a quarter of diabetic foot infections.  
24 It can be difficult to diagnose. It is difficult  
25 to define. It can certainly be more difficult to

1 eradicate once osteo is present. It requires more  
2 prolonged antimicrobial therapy, and there really  
3 is no good clinical data on the required duration.  
4 Tony has suggested some good guidelines I think,  
5 but trying to get a group of clinicians or  
6 researchers to agree that you have resected bone  
7 back to blood bone or live bone, analysis and so  
8 on, is very difficult. So, to say that depending  
9 on the extent of surgery your optimal duration is  
10 such-and-such I think might work well with a small  
11 group of research scientists but won't work well in  
12 a clinical trial. The last point is obvious, that  
13 osteo requires surgical debridement or resection.

14 [Slide]

15 So, how do you diagnose osteo in clinical  
16 trials? Some of it easy, or at least we think it  
17 is easy. If there is an open wound and the bone is  
18 visible I think most people would agree that osteo  
19 is present. If there is an open wound and the  
20 probe to bone test is done and is positive, most  
21 people agree that that is osteo, although we will  
22 come back to that and others will talk about how  
23 that is based on one clinical study, done by  
24 Grayson and Kartchmer, in a group that had a high  
25 prior probability of osteo. Although the test is



1 very good, it has not really been validated in  
2 other studies.

3 More commonly, if you don't have an open  
4 wound and you can't see the bone or you probe it,  
5 we rely on either baseline x-ray or MRI which are  
6 read as active on osteomyelitis. I think you need  
7 to define the criteria for osteomyelitis very  
8 critically, and it should be standardized in the  
9 protocol. This is hard to do, and one of the  
10 things no one has looked at is inter-observer  
11 variability. If you gave the same x-ray or MRI to  
12 two or three radiologists, would they read it  
13 similarly? I have some experience with this as a  
14 fellow with urinary track infections and giving  
15 x-rays for pyelonephritis to a group of  
16 radiologists and the discrepancies were somewhat  
17 surprising to me at the time. They are no longer  
18 surprising I think. Nuclear scan is not sufficient  
19 to exclude osteo.

20 [Slide]

21 So, in order to set up criteria I thought,  
22 this being Washington, I would take one moment and  
23 just give you all a quote that I think most of you  
24 remember from the Supreme Court: I shall not today  
25 attempt to define the kinds of material--and

1 Justice Stewart was talking about pornography--but  
2 I know it when I see it. I think too often most of  
3 us are convinced we know osteo when we see it. For  
4 a clinical trial that doesn't work and you have to  
5 have accurate definitions.

6 [Slide]

7 So, what kind of studies would one do in a  
8 clinical trial? Plain x-ray; probe to bone for  
9 open lesions; culture and sensitivity testing;  
10 wound description and I think photography, if you  
11 could get it as a standardized thing would be very  
12 helpful; a wound score by a standard protocol; and  
13 a vascular evaluation. I am just going to talk  
14 about a few of these briefly.

15 [Slide]

16 Wound cultures--Tony talked about that  
17 already a little bit. We get them from all  
18 patients. They should be set up for aerobic and  
19 anaerobic culture. I think it is simplest to say  
20 that swab specimens are not acceptable. However,  
21 they are the norm in clinical practice and it is  
22 true that there was one small study where patients  
23 who had ulcers that were debrided and then had  
24 swabs versus tissue biopsy taken and there was  
25 great comparability in these two. However, in most

1 patients the swabs are taken directly from the  
2 basement ulcer and they are not taken from a  
3 debrided lesion, and I think it is simpler if you  
4 are establishing a protocol to say you can't do  
5 swabs.

6           Having said that, I think you then have to  
7 deal with the people who are doing the study. We  
8 would prefer to see curettage of the wound base or  
9 tissue specimens obtained at the bedside or the OR,  
10 or aspiration for secretions or cellulitis.

11           [Slide]

12           Wound scoring systems--Dr. Lipsky has put  
13 out one designed to give an objective wound score.  
14 It basically includes quantifying the wound  
15 parameters, peripheral pulses, wound measurements  
16 and the wound infection score itself.

17           [Slide]

18           Probe to bone--I am just going to say a  
19 few words about this. In one study, an excellent  
20 study I should add, by Grayson, et al., published  
21 in 1995, 76 patients at, again, a high prior  
22 probability of osteo; 66 percent sensitivity; 85  
23 percent specificity; a very high positive  
24 predictive value and a mediocre negative predictive  
25 value. So, they concluded that if the test was

1 positive the patient had osteo. They compared this  
2 to bone biopsy as the gold standard, which I think  
3 was appropriate.

4           The technique of doing this is very  
5 important. You have to use a metal probe. You  
6 have to follow the technique that was described in  
7 the article. Too many people, for example, use the  
8 reverse end of a Q-tip or swab and put it into the  
9 lesion and try to feel for bone, and you can't get  
10 the same sensation which is what you want to feel,  
11 a gritty, metal feel as the probe hits the bone.  
12 So, you have to do it the way it is described. I  
13 think it is a good test, however.

14           [Slide]

15           What would we write for guidelines for  
16 treatment? For drug versus comparator, the  
17 comparator should be the gold standard. There are  
18 only three drugs right now that are approved for  
19 diabetic foot infection, piperacillin tazobactam,  
20 which does not have an oral form; trovafloxacin,  
21 which is no longer available or not widely used;  
22 and linezolid, which was just approved.

23           In the treatment you can add other agents  
24 for activity against organisms not covered by the  
25 study drug. So, if your drug has spectrum, for

1 example, only against gram-positives you want to  
2 cover for gram-negatives. Seven to 21 days of  
3 antibiotics I think would be allowed, and 14 days  
4 is the usual duration in most clinical trials.

5 [Slide]

6 Adjunctive therapy includes debridement  
7 and surgery; dressing changes; off-loading, and not  
8 allowed would be topical antibiotics, antiseptics  
9 or other antimicrobial agents such as Betadine.

10 I think the issue that comes up here,  
11 which is the second issue I wanted to bring up, is  
12 one that the FDA has raised, and I think raised  
13 appropriately. If you have all of these top three  
14 adjunctive measures going on, how do you know what  
15 the antimicrobial agent is doing? Might the  
16 patient do just as well if they only got the  
17 adjunctive therapies?

18 So, one of the suggestions has been could  
19 you do a clinical trial of adjunctive therapy plus  
20 placebo versus adjunctive therapy plus the  
21 antimicrobial agent in question? I would say I  
22 don't think you can. I think it would be very  
23 difficult to get any group of infectious disease  
24 people who would be willing--or diabetologists--who  
25 would be willing to treat infected lesions without

1 using antimicrobial agents unless they were  
2 absolutely the mildest of infections. So, I don't  
3 think you can do that, and I think you just have to  
4 assume in a clinical trial for diabetic foot  
5 infection that the adjunctive therapies are part of  
6 the standard of care. After all, in a sense we do  
7 this with intra-abdominal infections in clinical  
8 trials, everybody gets surgery as well as  
9 antimicrobial agents and we don't ask the question  
10 what is the role of surgery versus the role of the  
11 antimicrobial agents.

12 [Slide]

13 I am going to skip through most of these.  
14 Wound dressing--there are lots of types. None has  
15 been proven best. I think the bottom line is that  
16 the more you can standardize these adjunctive  
17 measures of therapy, the better but it is difficult  
18 to do in practical terms in clinical settings where  
19 institution A believes in one type of wound  
20 dressing and institution B in another, and there is  
21 no data to prove that one is better than the other.

22 [Slide]

23 The same holds for off-loading, which I  
24 have learned is invaluable in terms of curing  
25 infection. Many devices are used. None has been

1 proven best. Again, although we would like to  
2 standardize it in clinical trials, it can be very  
3 difficult to do.

4 [Slide]

5 I am almost at the end. In terms of  
6 efficacy evaluations, I believe that we should have  
7 a follow-up for test of cure at 14-21 days after  
8 the end of therapy. I think end of therapy  
9 evaluations add very little.

10 The clinical response to therapy is  
11 defined as resolution of pre-therapy clinical signs  
12 and symptoms of infection. In my belief, it does  
13 not include wound healing or lesion healing.  
14 Although they obviously move in parallel and  
15 obviously a wound that remains infected is unlikely  
16 to close, but the criterion should be the  
17 resolution of clinical sings and symptoms of  
18 infection. Final categories are cured, failed or  
19 indeterminate.

20 [Slide]

21 Surgical debridement is allowed during the  
22 trial and is considered part of standard care.  
23 Complete resection of the infected area would  
24 remove the patient from the trial.

25 [Slide]

1           The last slide, and I am very happy that  
2 we have at least two statisticians sitting at the  
3 table, how do you pick a sample size? I think most  
4 people would agree that 80 percent success rate for  
5 the comparator is reasonable. That obviously  
6 depends on what kind of patients you have in the  
7 trial and the severity of infection. A difference  
8 in cure rate of less than 10 percent would be  
9 considered equivalent. If we are trying to do  
10 trials of superiority, I think you need to decide  
11 what criterion you would use, and I don't really  
12 have a recommendation for that. I think you would  
13 like to be at least 10 percent better than the  
14 comparator but I think that is up to people  
15 designing the trial and the FDA.

16           I am going to stop at this point. Jim, I  
17 made it with two minutes to go, actually.

18           DR. LEGGETT: That will give us time for  
19 questions. Dr. Berendt, would you like to come up?  
20 Does anyone have a question for either of these two  
21 speakers?

22           DR. PATTERSON: Hyperbaric oxygen is being  
23 used as adjunctive therapy a lot these days. Would  
24 that be accepted as well?

25           DR. NORDEN: Well, I will answer that



1 first. I mean, it is being used. There is  
2 absolutely no data still to support it. It just  
3 complicates things immensely in terms of managing  
4 the patient and I would think I would not want to  
5 have it in a clinical trial.

6 DR. BERENDT: I know there are great  
7 enthusiasts about hyperbaric, and other people who  
8 don't have it available who are the unenthusiastic  
9 or don't know. All the views that I am aware of  
10 have still concluded that there is no real evidence  
11 for the role of hyperbaric and, therefore, I don't  
12 think we would know how to use it. The people who  
13 advocate its use would probably say it is about  
14 equivalent to an antibiotic in terms of what it  
15 adds so it probably should be considered in the  
16 same way as someone who elects to add another  
17 antibiotic to the trial and, therefore, that might  
18 not be allowed for those reasons.

19 DR. CROSS: Assuming that the vascular  
20 insufficiency doesn't impair the ability of the  
21 myeloid or white cells to enter the wound, what do  
22 we know now about the ability of diabetic white  
23 cells to produce pro-inflammatory cytokines which  
24 may affect the clinical appearance of the lesion?

25 DR. BERENDT: Carl very sensibly asked me

1 to do that. I am not sure I can give you a good  
2 answer to that question actually. There have been  
3 some studies done a long time ago on some of the  
4 more gross aspects of white cell behavior like  
5 chemotaxis, and so on, but I don't know whether  
6 there have been any systematic studies more  
7 recently so I would have to admit ignorance of  
8 that. Somebody in the room might know but I don't.

9 DR. LEGGETT: Dr. Berendt, would you have  
10 a single cut-off for when ischemia is enough? I  
11 think it was Carl who had an arbitrary 45 mL. I  
12 mean, I don't think it is an on/off phenomenon.

13 DR. BERENDT: No, it is not. That is  
14 difficult. The PEDIS scheme does set out  
15 absolutely specific criteria for ischemia. I can't  
16 quite quote them off the top of my head, but they  
17 are clearly laid down. I think I would agree with  
18 Carl that if critical ischemia persists during the  
19 trial, then you probably can't include the patient.  
20 You would have to make a decision about what to do  
21 if someone presents with critical ischemia and is  
22 successfully revascularized as to whether they can  
23 be enrolled or stay enrolled, as it were.

24 DR. LEGGETT: Don't?

25 DR. PORETZ: One of the problems as I see

1 it is that in the diabetic foot you have a whole  
2 potpourri of physicians who are taking care of  
3 patients. You have general practitioners; you have  
4 general internists; you have infectious disease  
5 doctors; you have podiatrists; you have orthopedic  
6 surgeons; you have vascular surgeons and general  
7 surgeons, and plastic surgeons. So, you have at  
8 least seven or eight different disciplines. Any  
9 criteria I think is going to have to be agreed upon  
10 by all of these disciplines, which is really hard  
11 to do, but it seems to me if you don't do that you  
12 are not going to be able to have a reasonable  
13 system.

14 DR. NORDEN: I would agree with that, Don;  
15 I don't have any problem with that, and it is very  
16 hard to do it.

17 DR. PORETZ: The International Consensus  
18 was only diabetologists?

19 DR. NORDEN: No, it had others.

20 DR. BERENDT: The International Consensus  
21 does have representation from vascular surgeons,  
22 orthopedic surgeons, infectious disease  
23 specialists, surgical podiatrists as per in the  
24 States, as well as endocrinologists. So, that  
25 probably has a fairly broad grouping but whether

1 each of those people is then able to say there is  
2 an international consensus from their own specialty  
3 group that would feed into this particular version  
4 of the International Consensus is another matter.  
5 I mean, I think the consensus is there in a sense  
6 to be challenged and validated, and I agree with  
7 you, there is a huge number of people. That is  
8 probably why there are already so many guidances  
9 that deal with the general diabetic foot. So, you  
10 know, lot of different expert societies have their  
11 own guidance on diabetic foot in general.

12 DR. LEGGETT: If it is a follow-up, Don.  
13 Otherwise, if it is a new question, we have other  
14 people.

15 DR. PORETZ: Just quickly, it is just like  
16 the pneumonia guidelines. There are half a dozen  
17 pneumonia guidelines from various authorities, but  
18 maybe if it could be published in specialty  
19 journals and everyone agrees, that would be the  
20 best way to do it.

21 DR. LEGGETT: Dr. Armstrong?

22 DR. ARMSTRONG: As a follow-up on that,  
23 Dr. Berendt, you mentioned two definitions that you  
24 sort of proposed of diabetic foot infection. One  
25 was sort of general where it had a couple of

1 co-morbid factors associated with it. Of those,  
2 you were sort of non-committal. Which one would  
3 you prefer?

4 DR. BERENDT: Well, I think a lot of it  
5 comes down to this issue of sensitivity versus  
6 specificity really. The pre-meeting discussions I  
7 had with the FDA folk have helped me to understand  
8 that there is a special interest in having a very  
9 specific definition. If that is what you want,  
10 then I would go for the more specific version  
11 where, in fact, for example in your study which  
12 looked at the contributions of ischemia, depth and  
13 infection to amputation rates, I think if I have  
14 done the numbers right, over 90 percent of the  
15 cases in that study had ulceration with ischemia or  
16 neuropathy as part of it. So, I think if you  
17 exclude the people with intact skin you probably  
18 don't exclude all that many actually from the group  
19 you are interested in. But I think that is an area  
20 that people would want to debate because, you know,  
21 it all depends on whether you are taking a clinical  
22 view that a clinician seeing a patient with  
23 diabetes who comes into their room and has a foot  
24 infection would like to feel that the licensing of  
25 a drug and the guidance that has come through

1 covers that patient, and that is where the argument  
2 goes that from the clinical end you want a  
3 sensitive definition, whereas from the regulatory  
4 end, the research end, you want a specific  
5 definition.

6 DR. LEGGETT: Dr. Powers?

7 DR. POWERS: Dr. Berendt, I think that is  
8 exactly the point that we are worried about, the  
9 specificity of people getting enrolled into a  
10 trial. Because one of the things that Dr. Norden  
11 pointed out is--and this came up in the advisory  
12 committee back in 1999 regarding a topical drug  
13 called pexiganin, where the committee actually had  
14 this issue of did the people enrolled in this trial  
15 really have infections or not. In the pictures you  
16 showed, it seems that all these people have some  
17 degree of redness up around the lesion, some of  
18 which is chronic venostasis changes as well.

19 So, what I wanted to ask was could you and  
20 Dr. Norden give us an idea--many of these scales  
21 that you showed us say infection with whatever, and  
22 you gave us a pathophysiologic definition of what  
23 an infection is, and I think this gets back to  
24 Justice Potter's quote of we all know infection  
25 when we see it, but in terms of a protocol we would

1 need to put in specific definitions of what that  
2 means. Are these definitions specific enough in  
3 diabetic foot or even sensitive enough? Two-thirds  
4 of people aren't febrile. Leukocytosis may be  
5 absent. Are there some things, other than a  
6 diabetic with a break in the foot, such as new  
7 erythema that hasn't occurred in the last 48 hours;  
8 new drainage; some other things that would help us  
9 increase the specificity of diagnosis in these  
10 trials?

11 DR. BERENDT: I mean, you are right. It  
12 is definitely a problem. You could certainly add  
13 things like that I guess. I think that that PEDIS  
14 scheme at least makes it clear, you know, if a  
15 trial is reported according to the categories  
16 within it, then at least you are a bit clearer  
17 about what is going on. You could say, yes, as an  
18 improvement of that you want new things. And,  
19 there is some work done with other kinds of chronic  
20 wounds to suggest that there are some secondary  
21 characteristics that might be more useful than the  
22 classical definitions of infection which relate, as  
23 you have said, to sort of changes in drainage, or  
24 changes in smell, or changes in granulation tissue.

25 But I wonder if I put those things up as

1 my criteria you would be equally critical of that  
2 because that would imply someone who has already  
3 seen the foot and who was reporting the change.  
4 And, you know, is that any more reliable? So, I am  
5 not sure whether that would take us further  
6 forward, but I am sure that what we need are  
7 studies that use some of these sorts of frameworks  
8 that try and validate it. I am also sure that one  
9 of the things you can't use as validation is the  
10 natural history because nobody is going to say,  
11 well, I'm not sure; I think that is infected but  
12 I'll wait a few days for it to get a whole lot  
13 worse and then I'll know that it was. So, I think  
14 some of your concerns are, unfortunately,  
15 unanswerable actually and we will be stuck with  
16 clinical definitions unless it turns out that using  
17 quantitative micro or some other thing is better.

18 DR. POWERS: Could I ask a follow-up  
19 question, and that is the idea of looking at the  
20 PEDIS scale where you have grades I through IV for  
21 infection. I guess it gets us into a conundrum  
22 there with you saying we need to validate those  
23 going forward. However, what we would need in a  
24 clinical trial is an already validated scale. This  
25 comes up in many infectious diseases, the idea of



1 how does one actually qualify severity. Again, it  
2 goes back to what is severity? What we have looked  
3 at is trying to define severity for these guidances  
4 as something that tells us that those clinical  
5 characteristics portend a worse outcome regardless  
6 of treatment. So, that doesn't need a placebo arm.  
7 I would refer to the patient outcome research  
8 treatment studies for community-acquired pneumonia  
9 where people get treated but certain factors  
10 portend a worse outcome, anywhere from 0.1 to 30  
11 percent mortality. Have any of these scales been  
12 validated in that way? I know Dr. Armstrong's has  
13 been for wounds, but how about for the infectious  
14 component of that?

15 DR. BERENDT: I think the answer is no. I  
16 mean, it is the deficiency of the process really.  
17 It comes back to whether an agreement to all use  
18 the same thing, even if it is flawed, is better  
19 than an agreement for everyone to keep thinking up  
20 their own better version that is sort of  
21 personalized and impossible to compare.

22 DR. LEGGETT: Dr. Maxwell?

23 DR. MAXWELL: I just wanted to ask Drs.  
24 Berendt and Norden, in the inclusion of this  
25 definition of a diabetic foot that you have,

1 whether it was threatening the limb or not  
2 threatening the limb, where would that fit in?  
3 Because that is somewhat the definition that I see  
4 bandied around in Mandell and other sources.

5 DR. NORDEN: That is a good question, Dr.  
6 Maxwell, but again, like most of the others, there  
7 really is no good definition. It is used in  
8 Mandell and in most infectious disease textbooks.  
9 I think, well, we know a limb-threatening infection  
10 when we see one. You know, the patient looks more  
11 toxic. The deeper the infection, the more  
12 undermining there is. The greater the extent of  
13 the infection is more limb threatening than not  
14 limb threatening. A small ulcer is probably not  
15 limb threatening by definition.

16 We tried to look at that in one clinical  
17 trial and really didn't find it very helpful.  
18 Maybe we didn't have precise enough measurements  
19 but that would be my impression, that it doesn't  
20 help a lot.

21 DR. LEGGETT: Dr. Wald?

22 DR. WALD: I have a question about the  
23 exclusion criteria for osteo. The statement was  
24 nuclear scan alone is not sufficient to exclude  
25 osteo. That means normal is not sufficient? I

1 guess the question I would ask is, is abnormal  
2 enough to include a patient because it seems to me  
3 that a lot of these patients might have some  
4 contiguous inflammation which really didn't  
5 necessarily represent bone infection.

6 DR. NORDEN: Yes, Ellen, I think the slide  
7 isn't very clear and the way I wrote it isn't very  
8 good. Actually, a negative scan is so rare that it  
9 probably makes osteo very unlikely, but it is so  
10 rare to see a negative scan. No, I think a  
11 positive scan of any kind, whether it is technetium  
12 or indium, does not establish a diagnosis of osteo.

13 DR. LEGGETT: One final question--I assume  
14 you two will be around later this afternoon during  
15 our discussion session? Okay.

16 DR. CROSS: I was wondering whether in any  
17 of the previous studies a return to function has  
18 been used as a measure of efficacy, given what we  
19 heard about how many people who have these  
20 infections may be incapacitated for prolonged  
21 periods of time?

22 DR. NORDEN: I can only speak to the  
23 linezolid trial and the answer is no. It is a good  
24 measure but there wasn't enough follow-up available  
25 and sometimes people didn't have--I will leave it

1 at that, no.

2 DR. LEGGETT: Thank you. The next speaker  
3 will be Dr. Sorbello to give us a talk about  
4 lessons learned from previous review of drugs for  
5 diabetic foot infection.

6 Lessons Learned from Previous Review of Drugs for  
7 Diabetic Foot Infection

8 DR. SORBELLO: Good afternoon.

9 [Slide]

10 The focus of my presentation today will be  
11 on issues that were identified from previous  
12 submissions to FDA related to drug development for  
13 diabetic foot infections.

14 [Slide]

15 The way I am going to structure my  
16 approach to my presentation is really to make it  
17 more of a conceptual discussion of some important  
18 issues, which we have already heard a fair amount  
19 about but still are very critical issues in trying  
20 to evaluate clinical trials and clinical study  
21 results in relation to not only drug development  
22 but looking forward to trying to develop a guidance  
23 document for drug development for diabetic foot  
24 infections.

25 [Slide]

1           We have already heard some discussions  
2 about developing a definition of a diabetic foot  
3 infection so some of this will be repetitive, but  
4 there are just a couple of points that I do want to  
5 again bring to your attention.

6           First, looking at the issue of developing  
7 a definition of diabetic foot infection, as of yet  
8 there is still no generally accepted definition,  
9 and both a definition as well as a classification  
10 system for diabetic foot infections remain an area  
11 of controversy and discussion and an area of a  
12 considerable amount of work.

13           It is important to remember that foot  
14 infections in diabetics can be either ulcer or  
15 non-ulcer related and that statistically about 15  
16 percent of diabetics are at risk to develop a  
17 chronic non-healing ulcer in their lifetime. But  
18 even amongst those who develop chronic non-healing  
19 ulcers not all are infected. It gets back to one  
20 of the prior discussion issues of how do you define  
21 and determine whether a chronic foot ulcer is  
22 actually actively infected.

23           Regarding clinical trials that have been  
24 submitted to the agency, many of them are submitted  
25 under the complicated skin and skin structure

1 infection guidance, and these are broad, large  
2 studies with a broad mix of different types of  
3 complicated skin infections, of which diabetic foot  
4 infections are one subgroup. These are usually  
5 supplemented with studies limited to diabetics with  
6 lower extremity infections to provide more specific  
7 data.

8           The eligibility criteria for many of these  
9 studies relate to either specific disease entities,  
10 such as cellulitis, paronychia, deep soft tissue  
11 infection; discrete clinical findings such as  
12 drainage, redness, warmth, swelling of the infected  
13 limb; and sometimes the presence or absence of a  
14 foot ulcer. Again, there is not any uniformly  
15 applied or clearly described definition of what a  
16 diabetic foot infection is or even what constitutes  
17 the different specific disease entities that are  
18 being studied.

19           [Slide]

20           There has been obviously discussion about  
21 making a clinical diagnosis of diabetic foot  
22 infections, and I just wanted to reiterate the  
23 point that diabetics do tend to have other problems  
24 that can affect their lower extremities which can  
25 produce signs and symptoms that may appear similar

1 to some of the changes that you may see in a lower  
2 extremity infection or may actually predispose to  
3 lower extremity infections. Certainly, diabetics  
4 can have significant developmental foot  
5 abnormalities, hammer toes, valgus deformities  
6 that, combined with sensory peripheral neuropathy  
7 and inability to appreciate and feel pain in their  
8 feet, they could develop into lower extremity  
9 ulcers and not be aware of them for considerable  
10 periods of time, that get colonized with bacteria  
11 and chronically and slowly smolder and become  
12 infected and become a more complicated infection.

13           Patients develop significant soft tissue  
14 changes from chronic lower extremity edema, stasis  
15 dermatitis, dependent redness, and they certainly  
16 are at risk for neuropathic joints, Charcot joints  
17 with advanced peripheral neuropathy. Certainly  
18 their vascular status is important because the  
19 significance of peripheral vascular disease in  
20 diabetics and the potential effect on wound healing  
21 becomes an important complicating factor in ability  
22 to get some of these infections to heal  
23 successfully.

24           [Slide]

25           With this slide I wanted to just show you

1 some data from a study which looked at diabetics  
2 with osteomyelitis of the foot. A long list of  
3 different features were evaluated to try to see if  
4 any of them, or any combination, would be good  
5 prognostic factors for those who had a good outcome  
6 versus those with a poor outcome, and poor outcome  
7 usually portended amputation.

8           As you can see from the list of features  
9 and the comparator percentages there, the only two  
10 findings that were statistically significant as far  
11 as prognosticating factors were the presence of  
12 swelling and the absence of necrosis in patients  
13 who had a good outcome.

14           As was alluded to earlier, findings such  
15 as temperature occurred in very few patients. I  
16 think overall about 17 percent of the population  
17 that were studied had fever and most of the others  
18 did not. Other findings, such as redness,  
19 drainage, warmth and presence of a foot ulcer were  
20 comparable in both studies and really were not good  
21 distinguishing characteristics. Again, it tends to  
22 underline that physical findings can certainly be  
23 of clinical value but they are of some limited  
24 value, especially with respect to not only looking  
25 at prognosticators for responsiveness to infection



1 but possibly also to even evaluating the severity  
2 of an infection.

3 [Slide]

4 I wanted to kind of use those concepts to  
5 look at a framework for defining a diabetic foot  
6 infection. We have obviously heard definitions for  
7 diabetic foot infections. What I thought I would  
8 do is basically just propose certain concepts to at  
9 least think about in developing a definition.  
10 There is obviously some overlap between defining  
11 and diagnosing diabetic foot infections but I think  
12 there is a need to do that.

13 I think first deciding about whether the  
14 presence or absence of some type of lead point, an  
15 open wound, a foot ulcer, or any type of break in  
16 the skin, is that really a necessary or should that  
17 be a necessary part of defining a diabetic foot  
18 infection in a clinical trial? Clinical findings  
19 themselves--I suspect probably a constellation of  
20 findings would probably be of more benefit than  
21 looking specifically at evidence of erythema or  
22 swelling or foot ulcer individually.

23 The anatomic location or site of infection  
24 probably would be important, not only defining it,  
25 as was mentioned earlier, to sites in the foot

1 distal to the malleoli line but also possibly the  
2 location within the foot as there are certain  
3 areas, such as the areas beneath the metatarsal  
4 heads, which are more prone to being sites of ulcer  
5 development.

6 I think depth of infection is a very key  
7 aspect here because, in many ways, diabetic foot  
8 infections are contiguous infections, that is, a  
9 high risk of spread and extent of infection from  
10 skin to soft tissue to the deeper structures and  
11 especially the distinguishing of skin soft tissue  
12 versus bone and joint infections is a critical one  
13 because bone and joint infections probably should  
14 be considered in separate studies because the  
15 pathophysiology is different; the ability of drugs  
16 to penetrate into bone is different. They involve  
17 different endpoints, different durations of  
18 treatment, etc.

19 I would also consider in the definition  
20 the issue of isolating pathogenic bacteria. This  
21 obviously would be more specific to a person who  
22 has an open wound or foot ulcer but, again,  
23 distinguishing not only that the bacteria are there  
24 but that you actually have pathogens as opposed to  
25 colonizers, and obtaining these cultures from what

1 would be considered an appropriately obtained  
2 specimen.

3 [Slide]

4 Classification systems is a second and,  
5 again, important consideration in developing a  
6 guidance document for diabetic foot infections. We  
7 have certainly heard important information about  
8 ways to classify diabetic foot infections but, in  
9 general, there have been two approaches. One has  
10 been to look at the severity of infection and the  
11 other have been approaches centered more on the  
12 status of the foot ulcer and the progression of the  
13 foot ulcer with disease.

14 To date, there is not a generally accepted  
15 classification system. They do differ in the  
16 criteria that is utilized, the complexity of the  
17 parameters that they are being assessed and,  
18 certainly, they would require some type of  
19 validation to be applied full-scale in a clinical  
20 trial.

21 [Slide]

22 To talk a little bit about the  
23 classification systems, the two main types of  
24 classification systems have been mentioned based on  
25 severity or either limb threatening or non-limb

1 threatening which basically, again is looking at  
2 extent of disease. Localized disease is not limb  
3 threatening, which does not have clinical signs and  
4 symptoms of sepsis, without evidence of any  
5 osteomyelitis, with no or very minimal vascular  
6 compromise, as opposed to limb-threatening  
7 infections which are more extensive, high risk of  
8 osteo, usually associated with ischemia or  
9 gangrene, usually aggressive deep infections.  
10 Mild, moderate and severe basically can be thought  
11 of as graded progression from superficial to deep  
12 infections, from minimal to no ischemia to  
13 progressive ischemia, from no osteomyelitis to  
14 evidence of osteomyelitis and, obviously, from no  
15 systemic symptoms to persons who appear clinically  
16 septic.

17 [Slide]

18 I just wanted to list some of the  
19 classification systems that are in the literature.  
20 These include the Wagner system, which is one of  
21 the earliest; University of Texas system; the S(AD)  
22 SAD, which stands for size, area depth, sepsis  
23 arteriopathy and denervation and simple staging;  
24 and we have heard today about the PEDIS system.

25 Again, if anything, it is just to point

1 out that there remains controversy, debate about  
2 how to think about classifying these infections;  
3 what would be the appropriate parameters to include  
4 in a classification; and how to use these then in  
5 the context of a clinical study and clinical trial.

6 [Slide]

7 Again, kind of as we did we definition,  
8 just to consider some concepts as a framework to  
9 try to classify diabetic foot infections, I think  
10 as we have already heard discussions today earlier,  
11 standardized definitions are needed so that  
12 investigators in the studies are really looking and  
13 evaluating these infections with some uniformity.  
14 The clinical disease entities that would be studied  
15 should be delineated. There should be some kind of  
16 a uniform consideration of how to approach  
17 evaluating these patients for ischemia and  
18 neuropathy and what would be considered significant  
19 or profound ischemia versus lesser grades, and the  
20 same with neuropathy.

21 Classification systems that might  
22 correlate with the extent and natural history and  
23 the prognosis of the infection would be important  
24 because certainly, especially in infections that  
25 are treated for longer periods of time, you might

1 be able to correlate the status of the infection  
2 from baseline to points later on and end of therapy  
3 and follow-up where patients had a course of  
4 therapy, and it would be another way to objectify  
5 what has been happening in response to treatment.

6           Again, distinguishing skin and soft tissue  
7 from bone and joint infections is an important  
8 consideration, as I already mentioned, and I think  
9 in many ways bone and joint infections probably  
10 should be examined in a separate trial because of  
11 all the fundamental differences from skin and soft  
12 tissue.

13           Lastly, as has been described, a  
14 classification system probably would need  
15 validation before being adopted.

16           [Slide]

17           Moving on to some other concepts within  
18 the development of a guidance, another one would be  
19 characterization of the study population. This is  
20 a very critical consideration because there are a  
21 number of demographic and co-morbid factors that  
22 need to be assessed on patients who are enrolled.  
23 Baseline assessments need to be performed and  
24 clinical diagnoses need to be developed for the  
25 patient depending on the extent of their disease.

1 [Slide]

2 I have listed here some demographic  
3 parameters that should be assessed in enrolled  
4 subjects, and these would include age, gender,  
5 race, weight, country of origin for an  
6 international study or the study center or site,  
7 and co-morbid factors, whether they have insulin  
8 dependent or non-insulin dependent diabetes,  
9 evidence of peripheral neuropathy, peripheral  
10 vascular disease or renal insufficiency which may  
11 be complications from the underlying diabetes, any  
12 history of osteomyelitis affecting the limb or any  
13 history of lower extremity surgery, be it  
14 podiatric, orthopedic or vascular which, again, may  
15 involve treatment of prior osteomyelitis or  
16 revascularization procedure to improve blood flow.

17 [Slide]

18 Baseline assessments should include both  
19 laboratory as well as various other types of  
20 imaging procedures. Labs should include routine  
21 hematology and chemistry and hemoglobin A1C to give  
22 some idea of recent glycemic control and,  
23 obviously, appropriate cultures, either wound,  
24 tissue and/or blood. Radiologic imaging would be  
25 important in evaluation for concomitant

1 osteomyelitis, and this will be discussed later on  
2 this afternoon. Neurovascular evaluation, as was  
3 already mentioned, and, lastly, assessment of the  
4 wound or the ulcer size or dimensions either  
5 through measurements or wound score or as  
6 appropriate.

7 [Slide]

8 Clinical diagnoses in diabetes really  
9 reflect on the heterogeneity of the disease. This  
10 slide illustrates for you just a little bit about  
11 the complexity of a diabetic population with foot  
12 infections. The small box on the left-hand side  
13 which says "CRF tabulation" is basically seven  
14 diagnoses utilized in one study to categorize  
15 patients with diabetic foot infections. These were  
16 basically extracted from the case report form.

17 On the right-hand side is just the kind of  
18 breadth of types and complexity of infection from  
19 the FDA analysis, really to show you that patients  
20 with diabetic foot infections tend to have multiple  
21 concomitant processes going. They have an infected  
22 ulcer. They have cellulitis. They have an  
23 associated septic arthritis and/or osteomyelitis.  
24 So, their infections tend to be complex. There is  
25 a greater risk of depth and extent of infection



1 which tends to be complicated. Trying to identify  
2 those with bone or joint infection becomes  
3 important, again, because they may well need to be  
4 assessed in a separate trial, in a separate study  
5 with parameters, etc., that are more appropriate  
6 for those types of infections.

7 [Slide]

8 I wanted to spend a little bit of time on  
9 adjunctive treatments and this was mentioned  
10 previously. Adjunctive treatments are, in many  
11 ways, the standard of care in the treatment of  
12 patients with diabetic foot infections. These can  
13 involve a multitude of different types of  
14 interventions, from off-loading to reduce edema,  
15 from dressing changes, other types of local wound  
16 care, medical therapy including antibiotics,  
17 putting patients on insulin coverage, etc. to get  
18 blood sugars under control, and various surgical  
19 interventions which can range from debridement to  
20 revascularization of the lower extremity to improve  
21 blood flow.

22 So, there are a number of different  
23 interventions that are being done and it is  
24 important within the protocol to try to specify  
25 what treatment should or should not be permitted

1 because, most importantly, they do augment wound  
2 healing and resolution of infection which is a very  
3 important response in all this. But there are some  
4 other effects of these adjunctive treatments that  
5 need to be considered in analyzing efficacy data.  
6 In particular, whether or not they are used equally  
7 in all the subjects in both arms of a comparator  
8 trial for example, and whether adjunctive  
9 treatments may have a beneficial effect as far as  
10 clinical success and outcome, possibly making  
11 dissimilar drugs appear more similar or more  
12 indistinguishable.

13 [Slide]

14 This is data which is basically an FDA  
15 analysis of a submission of a drug for a diabetic  
16 foot infection indication where the assessment was  
17 to look at surgical debridement as adjunctive  
18 treatment, and if there was any relation of that to  
19 the clinical outcomes observed.

20 The debridements were broken down by those  
21 which had no debridement; those which had one to  
22 two; and those which had three or more. As you can  
23 see, it was broken out by the number of patients  
24 who received study drug or comparator and their  
25 outcome as far as cure at end of therapy.

1           The main point here is that although the  
2 numbers are small, as the number of debridements  
3 increased the overall trend was a trend of  
4 improvement in the cure rate. Increasing number of  
5 debridements tend to be associated with an  
6 improvement in the cure rate and the cure  
7 percentage. These percentages were not  
8 statistically significant but certainly it is an  
9 important observation which may underscore that  
10 adjunctive treatments may be having a contributory  
11 effect to the clinical success that is seen, and  
12 they probably should be considered in efficacy  
13 analysis.

14           [Slide]

15           I want to finish up with just a couple of  
16 concepts on microbiologic considerations. This  
17 will be discussed later on this afternoon but,  
18 again, there are some important points. One is the  
19 need to identify pathogens amongst polymicrobial  
20 infections and distinguish them from colonizers;  
21 two, the need to standardize methodology as far as  
22 what are acceptable and appropriate specimens, in  
23 particular the issue about swabs; and microbiologic  
24 outcomes.

25           This really underscores the point that

1 many times diabetic foot infections are clinically  
2 driven and that patients who have pre-therapy  
3 wounds which then heal during the course of  
4 therapy, obviously, don't have an accessible site  
5 for reculture at end of therapy and their outcomes  
6 are presumed or extracted based upon the clinical  
7 response.

8 [Slide]

9 In summary, issues to consider for  
10 guidance development for diabetic foot infections:  
11 Number one, definitions and classifications of  
12 diabetic foot infections and diabetic foot ulcers;  
13 appropriate characterization of the study  
14 population; recognition that the primary focus  
15 tends to be on clinical outcome; the need for  
16 standardized microbiologic methodology; to consider  
17 the effect of adjunctive treatments on clinical  
18 outcome; and drug development for bone and joint  
19 infections probably should be addressed with a  
20 separate clinical trial, possibly with a separate  
21 guidance due to their differences in  
22 pathophysiology and treatment. Thank you.

23 DR. LEGGETT: Thank you. Unless there are  
24 any really specific questions we will move on. The  
25 next speaker will be Dr. Albert Sheldon, who is

1 going to talk to us about microbiologic diagnosis  
2 of diabetic foot infections.

3 Microbiologic Diagnosis of Diabetic Foot Infections

4 DR. SHELDON: Good afternoon, ladies and  
5 gentlemen. I am absolutely delighted to be here to  
6 talk to you about the microbiology of diagnosis of  
7 diabetic foot infections. I can tell you that as a  
8 microbiologist, this is one of the more difficult  
9 indications that we have to address.

10 [Slide]

11 During this discussion I will focus on the  
12 controversies that exist in the acquisition and  
13 interpretation of microbiological samples obtained  
14 from decubitus ulcers and, hopefully, you will find  
15 that this presentation will complement those that  
16 have come before me to help you answer the  
17 questions that you are going to have to address  
18 this afternoon.

19 [Slide]

20 Before I proceed, I think what I would  
21 like to do is to give you some insight into our  
22 thinking regarding the guidance that has been  
23 created within the agency to develop drugs for the  
24 treatment of foot infections in diabetic patients.  
25 These include that all patients should have

1 pre-therapy cultures. We would like to see gram  
2 stains and cultures obtained from acceptable  
3 sources using acceptable methods. These methods  
4 will include leading edge needle aspiration, soft  
5 tissue and joint aspirations, bone biopsy and/or  
6 surgical debridement. The microorganisms isolated  
7 should be assessed as true pathogens, colonizers or  
8 contaminants. Finally, only microorganisms  
9 designated as true pathogens should be considered  
10 in determining microbiological evaluability of  
11 enrolled subjects.

12 [Slide]

13 In order to understand the microbiology of  
14 decubitus ulcers, I think we need to understand the  
15 factors that influence the risk of infection.  
16 These were actually articulated by Altemeire in  
17 1965, where he stated that the risk of wound  
18 infection varies according to the following  
19 equation, that is, the dose of the bacterial  
20 contamination involved, the virulence of those  
21 organisms and the resistance of the host to that  
22 infection.

23 [Slide]

24 The host factors that influence infection  
25 rates include diversity and abundance of

1 microorganisms present in the wound, and include  
2 the wound type, depth, location and quality. They  
3 include the presence of nonviable exogenous  
4 contamination; peripheral blood insufficiency and  
5 the immune competence of the host, as already  
6 stated.

7 [Slide]

8 In doing the microbiology of decubitus  
9 ulcers, the "Manual of Clinical Microbiology,"  
10 published by the American Society of Microbiology,  
11 in obtaining the use of specimens says, "the use of  
12 specimens for bacteriological analysis requires  
13 that specific clinical material be collected,  
14 stabilized, and transported according to exacting  
15 specifications to insure valid results."

16 [Slide]

17 Implicit in this definition are two issues  
18 that are of interest to the discussion of decubitus  
19 infections. The first is the methods used to  
20 collect the clinical sample and the other is the  
21 validity of the results to assess the involvement  
22 of an organism in the etiology of that disease.

23 [Slide]

24 Now I will address the first, which is  
25 methods used in collection of microbiological wound

1 samples. These can be basically divided into two  
2 types of techniques. The first is deep tissue  
3 techniques, and they include biopsy and surgical  
4 debridement; leading edge needle aspiration; joint  
5 fluid or synovial fluid; bone specimen and blood.  
6 The surface sampling techniques include the swab;  
7 curettage; dermabrasion; velvet pad surface  
8 imprints. There are actually others but these are  
9 the most prevalent.

10 Also, the methods that are most frequently  
11 used in published literature are the biopsy,  
12 leading edge, swab and curettage. The methods  
13 recommended in our guidance document are all deep  
14 tissue techniques.

15 [Slide]

16 What I would like to do now is to give you  
17 an example of studies that have been performed to  
18 compare the sampling methods that are used in  
19 decubitus ulcers. Here we have an example of a  
20 study that was done by Sapico where he compared the  
21 ability of ulcer swabs, curettage, needle  
22 aspiration and deep tissue to be able to determine  
23 the types of organisms that could be isolated by  
24 each of these methods in decubitus ulcers.

25 You can see that using deep tissue or the



1 biopsy method as the gold standard, we see that  
2 they were able to isolate approximately three  
3 aerobic species and two anaerobic species using  
4 this technique. Compared to the ulcer swab method,  
5 we see that the values are actually much larger,  
6 that is, the number of species that can be sampled  
7 using the swab sample method are greater than with  
8 the deep tissue method.

9 [Slide]

10 Then what they did was to try to determine  
11 quantitative concordance between these two methods.  
12 Again you can see that using the biopsy method as  
13 the gold standard, needle aspiration was considered  
14 to have the highest concordance, followed by  
15 curettage and then the ulcer swab technique. One  
16 of the things that they concluded from this study  
17 specifically was that the ulcer swab method was not  
18 a method that should be used in these kinds of  
19 studies.

20 [Slide]

21 A study was also performed by Thomson to  
22 determine the relationship between a swab culture  
23 method and a tissue biopsy method. Their  
24 conclusion was that there was concordance or there  
25 was a correlation between the two methods. If you

1 look at the biopsy numbers of two and three, that  
2 is, 102 and 103, they had a swab culture  
3 relationship of plus 1. If you look at organisms  
4 that had 107 organisms or 106, a plus 4 was  
5 considered to be concordant with that quantitative  
6 number.

7 I think that one of the things that we  
8 need to remember here in looking at establishment  
9 of concordance between methods is that one of the  
10 critical aspects is that we also need to establish  
11 concordance with the clinical outcomes. In other  
12 words, we need to correlate what these methods are  
13 telling us clinically and what that clinical  
14 outcome actually is.

15 [Slide]

16 This is actually what Breidenbach and  
17 Trager tried to do in their particular study. Here  
18 they tried to determine the relationship between  
19 the quantity of bacteria and infection in complex  
20 extremity wounds. They compared the predictive  
21 value for wound infection of qualitative cultures  
22 versus other factors considered to have predictive  
23 value for wound infections. I am only going to  
24 focus on the last purpose.

25 [Slide]

1           They evaluated 50 patients with complex  
2 wounds. These were defined as soft tissue defects  
3 that required flap for closure. They did  
4 quantitative culture biopsies. These were compared  
5 to clinical parameters. These were factors that  
6 had predictive value in wound infection and  
7 included wound position, mechanism of injury and  
8 fracture, fracture type.

9           They also did a comparison to laboratory  
10 tests, primarily the swab culture method.  
11 Twenty-eight patients had quantitative cultures  
12 obtained after debridement and high pressure wash  
13 prior to flap closure. Sixteen patients had swab  
14 cultures, and two to five samples were obtained per  
15 wound, depending on the wound size.

16           [Slide]

17           These are some of the results that they  
18 got. Here, what they did was to determine what  
19 kind of criteria, using the positive test criteria  
20 and the negative test criteria, correlated with  
21 clinical outcome.

22           Looking at the first line, the  
23 quantitative, we see that positive test criteria  
24 were considered 10<sup>4</sup> organisms per gram of tissue.  
25 In eight of nine situations they were found to have

1 a high prevalence of infection, for a prevalence of  
2 89 percent. The negative test criteria were  
3 considered less than 104 colony forming units per  
4 gram of tissue. In only one case did they have  
5 infection out of 19 cases, for a prevalence of five  
6 percent. So, there was reasonably good concordance  
7 using this method in the analysis.

8 [Slide]

9 Now let's look at the swab method. Again,  
10 the same kind of study. In this particular  
11 instance they defined the positive test criteria as  
12 having positive organisms in the swab. In this  
13 particular instance, in only 5 of 13 cases did they  
14 have infection, for a prevalence rate of 38  
15 percent.

16 The negative test criteria were the  
17 presence of no organisms, and here they had an  
18 infection rate of one in three, for a prevalence of  
19 33 percent. This is a very small number so I don't  
20 know how much we can really extrapolate from that  
21 particular negative test criteria.

22 [Slide]

23 What was different in this study from  
24 others is that they then did predictive values,  
25 sensitivities and specificities of the previous

1 study. What they found was that the positive  
2 predictive value for a quantitative culture was 89  
3 percent, with the confidence intervals presented in  
4 brackets. The negative predictive value was 95  
5 percent, and the sensitivity and specificity were  
6 89 percent and 95 percent respectively.

7 [Slide]

8 Using the swab culture method in  
9 comparison, the positive predictive value here was  
10 38 percent; the negative predictive value was 67  
11 percent; and the sensitivity and specificity were  
12 83 percent and 20 percent respectively.

13 [Slide]

14 The one point that I want to make about  
15 the previous slide is that we must have good  
16 positive predictive value and we must have good  
17 specificity in a method that is used in a clinical  
18 trial.

19 [Slide]

20 Now I would like to talk a little bit  
21 about the interpretation of microbiological  
22 diabetic foot infection samples. This is  
23 qualitative microbiology. I only have one slide.  
24 I think that this has already been discussed by  
25 previous speakers. Most diabetic foot ulcers are

1 polymicrobial in nature. In the study that was done  
2 by Sapico 25 of the 30 samples were polymicrobial in  
3 nature. The predominant organism is Staph. aureus,  
4 followed by Staph. epidermidis, streptococci, P.  
5 aeruginosa, Enterococcus and coliform bacteria.  
6 The predominant anaerobic species are Bacteroides  
7 and Prevotella.

8 [Slide]

9 Now I would like to discuss some of the  
10 schools of thought that I encountered in my reading  
11 of the published literature. Although  
12 microorganisms are responsible for wound  
13 infections, there is controversy regarding their  
14 role. The published literature is rather  
15 inconclusive, and I think that has been brought out  
16 by some of the other speakers. Some believe that  
17 the density of microorganisms is the critical  
18 factor in determining whether a wound is likely to  
19 heal. Other published literature suggests that the  
20 presence of specific pathogens is of primary  
21 importance in delayed healing. Further others  
22 believe that microorganisms are of minimal  
23 importance in delayed healing, and there is debate  
24 as to whether a wound should be sampled, the value  
25 of the results and the methods that should be used.

1 [Slide]

2 In conclusion, there is widespread  
3 controversy regarding the exact mechanisms by which  
4 microorganisms cause wound infections; regarding  
5 the significance of microorganisms in non-healed  
6 wounds that did not exhibit signs of clinical  
7 infection; regarding the best microbiological  
8 techniques to monitor the microbiology of wounds;  
9 and the ASM Manual of Clinical Microbiology states,  
10 "a swab is not the specimen of choice...since a  
11 swab specimen of a decubitus ulcer provides no  
12 clinical infection."

13 [Slide]

14 A regulatory agency must require  
15 microbiological methods that provide us with  
16 confidence and data necessary to assess the  
17 response of antimicrobials for their indented uses.  
18 We describe, in our guidance document, what we  
19 consider to be relevant methods, and these are the  
20 deep tissue techniques that were discussed in a  
21 previous slide.

22 [Slide]

23 I leave you with one final thought that  
24 was articulated over a hundred years ago, "the germ  
25 is nothing. It is the terrain in which it is found

1 that is everything." That concludes my  
2 presentation.

3 DR. LEGGETT: Thank you. Any specific  
4 questions?

5 [No response]

6 We will move on then to the next speaker,  
7 who will be Dr. Alivisatos on ruling out  
8 osteomyelitis in trials of diabetic foot  
9 infections.

10 Ruling out Osteomyelitis in Trials of  
11 Diabetic Foot Infections

12 DR. ALIVISATOS: Good afternoon.

13 [Slide]

14 I was asked to address the issue of the  
15 imaging assessment of diabetic foot infections  
16 with you this afternoon.

17 [Slide]

18 The initial question is why? Why are we  
19 discussing imaging techniques within the context of  
20 complicated skin and soft tissue infection in  
21 clinical trials that have as a goal to obtain not  
22 only the complicated skin and soft tissue infection  
23 indication, but a specific mention of diabetic foot  
24 infections in the label?

25 As you all know, subjects with



1 osteomyelitis, an infectious process that requires  
2 a more prolonged course of antimicrobial treatment  
3 and often surgical intervention, should be  
4 identified in order to ensure not only that they  
5 receive the most appropriate course of treatment  
6 but, within the clinical trials context, to ensure  
7 a relatively homogenous efficacy population.  
8 Subjects with osteomyelitis are usually excluded  
9 from the protocol populations of complicated skin  
10 and soft tissue infection trials, and often the  
11 preclinical development programs do not support the  
12 labeling for the long-term administration necessary  
13 to treat osteomyelitis.

14 I would also like to point out that  
15 despite the attempt at exclusion of such subjects  
16 from these trials, between 7-14 percent of enrolled  
17 subjects have osteomyelitis and are subsequently  
18 excluded from the protocol populations.  
19 Additionally, as per the protocol, these subjects  
20 are usually classified as failures in the ITT  
21 analysis.

22 [Slide]

23 So, does it matter if there are subjects  
24 with osteomyelitis within the study population of  
25 complicated skin and soft tissue infections or

1 within the subset of subjects with diabetic foot  
2 infections? The inadvertent inclusion of such  
3 subjects may not be an issue in double-blind,  
4 randomized trials as the distribution of these  
5 subjects should be equal between the treatment  
6 arms. However, this is not always the case.

7           And, what happens if that distribution is  
8 not equal? As we know, clinical success is defined  
9 as total resolution of all signs and symptoms of  
10 the infection or improvement of the signs and  
11 symptoms to such an extent that no further  
12 antimicrobial treatment is necessary. So, subjects  
13 with osteomyelitis who receive further  
14 antimicrobial treatment could be, and usually are,  
15 classified as clinical failures, leading to an  
16 inaccurate assessment of the true efficacy for one  
17 or both of the treatment arms.

18           In trials where there are small numbers of  
19 subjects with diabetic foot infections, the  
20 exclusion of subjects with osteomyelitis from the  
21 per protocol population leads to a decrease in the  
22 size of the efficacy database. As cure rates  
23 potentially decrease, confidence intervals widen  
24 and difficulties develop in drawing conclusions  
25 about efficacy.

1           So, the questions of which imaging  
2 procedure or procedures should be recommended, if  
3 any, and is this enough of an issue to justify the  
4 cost associated with the more sensitive and  
5 specific procedures are raised.

6           [Slide]

7           I would like to review what we have seen  
8 at the agency to date in studies of complicated  
9 skin and soft tissue infections, and these are  
10 seven applications. In all of these, subjects with  
11 osteomyelitis were excluded in the protocols. In  
12 the two oldest, which are A and B on the slide and  
13 which were from the late '80s and early '90s, the  
14 method of assessment of such subjects was not  
15 specified.

16           In later applications, C and D, x-ray of  
17 the infected area was performed at the  
18 investigator's discretion if the skin and soft  
19 tissue infection was proximal to bone and how the  
20 determination of proximity to bone was determined  
21 was not specified.

22           In one application all subjects had to  
23 have baseline radiologic evaluation, and that is F,  
24 whereas in another, more recent protocol, all  
25 subjects also had to undergo probe to bone. If the

1 probe was positive, a confirmatory x-ray was  
2 performed.

3 In another application, and that is G, if  
4 osteomyelitis was suspected clinically, and the  
5 clinical suspicion was not described, at least one  
6 of the following studies could be performed, and  
7 those included x-ray, bone scan, indium scan, MRI  
8 or bone biopsy. So, no procedure was uniformly  
9 recommended or applied and this makes comparisons  
10 across trials difficult.

11 [Slide]

12 What complicates the interpretation of  
13 study results in patients with diabetic foot  
14 infections or determination of infection of  
15 diabetic foot is complicated because of  
16 superimposed neuropathic osteoarthropathy and  
17 peripheral vascular disease. These complicate the  
18 images that can be obtained not only with x-ray but  
19 with the other techniques. Neuropathic disease can  
20 lead to fracture, deformity, bone production and  
21 hyperemia which can mimic infection on an MRI and  
22 bone scanning and increase the number of false  
23 positives. Peripheral vascular disease can prevent  
24 contrast material or tracer from reaching the site  
25 of concern and lead to an increased number of false

1 negatives. So, the simple and cheaper tests are  
2 often not sensitive or specific enough to correctly  
3 identify these subjects.

4 [Slide]

5 Before reviewing the currently available  
6 techniques, I would like to reiterate that the goal  
7 in obtaining an accurate diagnosis is not only to  
8 ensure that the clinical trial population is  
9 appropriate but, more importantly, to ensure that  
10 each individual patient receives the most  
11 appropriate course of treatment.

12 As a reminder, the presence of  
13 osteomyelitis impacts on the failure rate of soft  
14 tissue infection where failure is defined as the  
15 need for additional antimicrobial treatment within  
16 the follow-up period. With regards to diagnostic  
17 methods, the diagnostic gold standard is bone  
18 histology and culture through non-infected tissue.

19 The procedures I am going to go over  
20 include plain films, radionuclide scans including  
21 the triple phase bone scan, gallium scan,  
22 indium-labeled leukocyte scan, also MRIs and probe  
23 to bone.

24 [Slide]

25 First I am going to talk about plain film

1 radiographic examinations. This procedure remains  
2 the initial tool because these films are easily  
3 obtained, relatively inexpensive and, even if  
4 non-diagnostic, they provide anatomical information  
5 that may be useful in the interpretation of other  
6 tests that may be performed. Demineralization,  
7 periosteal reaction and bony destruction are the  
8 classic triad of findings and usually appear after  
9 30-50 percent of bone is destroyed. These changes  
10 can take as long as two weeks to appear, and they  
11 can be found in other conditions such as fracture  
12 or deformity. Sensitivity of plain films is  
13 usually around 54 percent, whereas specificity is  
14 approximately 80 percent.

15           Just quickly regarding CAT scans, CAT  
16 scans were used in the past to diagnose  
17 osteomyelitis but today have mostly been replaced  
18 by MRIs. They do give good images of the cortex  
19 and can be used to aid in the determination of  
20 cortical extent of infection.

21           [Slide]

22           After plain films, the question is whether  
23 to proceed to one of the available radionuclide  
24 imaging techniques or to an MRI, and I am going to  
25 quickly go over the available to most clinicians,

1 in clinical settings, radionuclide techniques.

2 [Slide]

3 First, triple phase bone scans which may  
4 be positive as early as 24 hours after the onset of  
5 osteomyelitis, so it is a much more sensitive  
6 indicator of early changes. A dynamic scan over  
7 the region of the suspected osteomyelitis is  
8 obtained during the first minute following  
9 administration of the technetium-99 phosphate  
10 compound, followed by an immediate blood pool image  
11 and then delayed images at two to four hours. Both  
12 osteomyelitis and cellulitis demonstrate increased  
13 activity in the early images due to increased  
14 vascularity, whereas only osteomyelitis tends to  
15 have increased activity in the delayed images.

16 This pattern though also can be seen in  
17 fractures, neuropathic joints and in some cases of  
18 cellulitis. So, the specificity of the test is  
19 decreased. The addition of a 24-hour image can  
20 increase the specificity because diphosphonate  
21 accumulation ceases in normal bone after four  
22 hours, while it presumably continues to increase  
23 for several more hours in abnormal bone. Generally  
24 though in situations where bone remodeling is  
25 increased, a second imaging test that can help

1 localize the site of infection, such as a gallium  
2 or an indium scan are recommended in order to  
3 increase specificity.

4 [Slide]

5 As an example of the high sensitivity and  
6 low specificity of the triple phase bone scan, in a  
7 retrospective review of 20 reports of 1,166  
8 patients, by Schauwecker in 1991, the sensitivity  
9 and specificity of the triple phase bone scans in  
10 subjects who did not have prior bone  
11 abnormalities--and here they had normal plain  
12 films--were 94 percent and 85 percent respectively,  
13 whereas in subjects with complicating conditions  
14 that increased bone remodeling the sensitivity was  
15 again high, at 95 percent, but the specificity  
16 decreased to 33 percent. In this, as well as some  
17 other slides, the methods of confirmation of the  
18 osteomyelitis diagnoses are not referred to so we  
19 don't know if they had biopsy or not.

20 [Slide]

21 Gallium uptake in infected foci is due to  
22 many factors, including direct bacterial uptake;  
23 direct leukocyte uptake; and binding to local  
24 proteins released from leukocytes. Osteomyelitis  
25 is distinguished from cellulitis by focal



1 localization to bone with or without a soft tissue  
2 component. Images are obtained at 24-72 hours  
3 following tracer administration and, in general,  
4 osteomyelitis is diagnosed when the gallium uptake  
5 exceeds the technetium-99 phosphate uptake at a  
6 specific site. In other words, the results of the  
7 two scans are discordant. Often however, the  
8 opposite occurs and the technetium-99 uptake is  
9 greater than or equal to that of the gallium.

10 In a compilation of results of 15 studies,  
11 the sensitivity with the gallium scan was  
12 approximately 81 percent and the specificity was 69  
13 percent. So, a major drawback of this type of scan  
14 is the added cost of the gallium and the triple  
15 phase bone scan together that may exceed the cost  
16 of a single more sensitive and specific test, such  
17 as indium-labeled leukocyte scan or an MRI.

18 [Slide]

19 Of the scans available, indium-labeled  
20 leukocyte scans provide the highest sensitivity and  
21 specificity in patients with and without prior bone  
22 abnormalities. The patient's leukocytes are  
23 labeled with a radionuclide tracer, such as  
24 indium-111 oxine and after readministration to  
25 patients, images are obtained at 4 and at 24 hours.

1 The laborious process of labeling the patient's  
2 leukocytes in conjunction with the later image may  
3 be less practical within the context of outpatient  
4 clinical trials.

5           Localization to the site of infection by  
6 direct leukocyte migration and a diagnosis of  
7 osteomyelitis is made when labeled leukocyte uptake  
8 is moderately or markedly greater than that in a  
9 comparable adjacent or contralateral bone. Indium  
10 does not accumulate at sites that are not infected,  
11 and a compilation of sensitivity and specificity  
12 for 142 diabetic subjects from 5 studies revealed a  
13 sensitivity of 88.6 percent and a specificity of 84  
14 percent.

15           [Slide]

16           Now to discuss MRIs, MRI with gadolinium  
17 contrast enhancement is recommended as often as  
18 indium scanning or combined triple phase bone  
19 scanning and indium scanning in subjects with  
20 preexisting bone abnormalities. Decreased signal  
21 intensity of marrow and T1 weighted images and  
22 increased signal intensity on Y2 weighted images  
23 with marrow enhancement after injection of  
24 gadolinium contrast are strongly suggestive of  
25 osteomyelitis.

1           Associated findings such as soft tissue  
2 mass, cortical destruction, sequestrum formation  
3 and sinus tracts with ulceration increase the  
4 diagnostic certainty. An additional benefit is the  
5 very good anatomical detail provided with this  
6 method. Sensitivity and specificity are comparable  
7 to those with the indium scan.

8           In a review of 129 diabetics with foot  
9 infections, cited in the American College of  
10 Radiology's appropriateness criteria for the  
11 imaging diagnosis of osteomyelitis in patients with  
12 diabetes, the sensitivity and specificity of MRI  
13 were 86 percent and 84 percent respectively.  
14 Again, the method of confirmation of the  
15 osteomyelitis diagnoses in these reports was not  
16 specified.

17           [Slide]

18           In a publication entitled, "Osteomyelitis  
19 in the Feet of Diabetics," published by Morrison in  
20 Radiology in 1995, the authors described the  
21 prospective evaluation of 62 feet from 59 subjects,  
22 27 of which were diabetic. Confirmation of the  
23 presence of osteomyelitis was obtained, primarily  
24 by histologic evaluation and biopsy specimens. In  
25 the 27 diabetic feet, 17 feet had osteomyelitis and

1 the sensitivity and specificity of MRI were 82  
2 percent and 80 percent respectively. Overall  
3 accuracy did increase with contrast-enhanced  
4 studies as opposed to non-contrast studies.

5 [Slide]

6 In this table of reports of sensitivity  
7 and specificity, taken from the Morrison  
8 publication and modified slightly by the addition  
9 of the MRI data at the bottom, when triple phase  
10 bone scan was combined with indium scanning in a  
11 number of studies, the overall results were  
12 comparable to those of MR imaging.

13 The authors concluded that the use of the  
14 triple phase bone scan is an excellent way to rule  
15 out osteomyelitis in uncomplicated situations  
16 because of the low false-negative rate. But both  
17 triple phase bone scanning and gallium scanning  
18 have low specificity in the diagnosis of  
19 osteomyelitis in diabetic feet because of the  
20 uptake of radiotracer by neuropathic joints.  
21 Triple phase bone scanning with indium scanning has  
22 a higher specificity in this setting and would be  
23 the optimal scintigraphic method.

24 The authors concluded that with MRI there  
25 is an initial cost savings because the MRI can be

1 more rapidly obtained and, in general, they are  
2 competitively priced as compared with the  
3 combination of the triple phase bone scan with an  
4 indium or with a gallium scan.

5 [Slide]

6 I would like to briefly presentation some  
7 information about another technique that has been  
8 used to identify subjects with underlying  
9 osteomyelitis, that Dr. Norden also mentioned  
10 earlier, and this technique is probing to bone in  
11 infected ulcers, which was described by Grayson in  
12 JAMA, in 1995.

13 This was a single-center study. There  
14 were 75 subjects with 76 ulcers. They were  
15 prospectively assessed. A diagnosis was confirmed  
16 histologically if possible. There were no cultures  
17 performed. If bone was not available for  
18 histology, then radiographic evidence of bony  
19 destruction in association with a purulent ulcer or  
20 identification of friable, nonviable bone by the  
21 surgeon during debridement were also acceptable.  
22 Osteomyelitis was diagnosed in 50 of the 76 ulcers,  
23 or 66 percent. In 46 of those there was histologic  
24 confirmation. It was excluded in 26 ulcers, or 34  
25 percent.

1           Among the 50 ulcers with continuous  
2 osteomyelitis, bone was probed in 33 or, again, 66  
3 percent, and bone was visible in only 3 of the 33.  
4 In the 26 ulcers without osteomyelitis bone was  
5 probed in 4. So, as an indication of underlying  
6 osteomyelitis, the sensitivity of the positive  
7 probe was 66 percent and the specificity was 85  
8 percent. Palpable bone on probing had a positive  
9 predictive value for underlying osteomyelitis of 89  
10 percent, while the predictive value of a negative  
11 probe for the absence of underlying osteomyelitis  
12 was 56 percent.

13           The authors concluded that palpation of  
14 bone is strongly correlated with the presence of  
15 osteomyelitis, and that probing should be included  
16 in the initial assessment of diabetics with  
17 infected ulcers. I would like to reiterate though  
18 that this was a single-center study and, until I  
19 saw Dr. Berendt's slides a few days ago, we were at  
20 least unaware that these findings had ever been  
21 reproduced, and the data is not published from the  
22 second study and so hasn't been reviewed.

23           [Slide]

24           I would like to touch on the issue of cost  
25 briefly. As you can see, we don't have recent data

1 but plain films are the most inexpensive test,  
2 whereas indium-labeled leukocyte scans and MRIs are  
3 both relatively and similarly expensive. Issues  
4 such as the sensitivity and specificity of a test,  
5 availability, as well as cost aid in the  
6 determination of which test a clinician would  
7 order, as well as which test should be broadly  
8 recommended within the clinical trial setting.

9 [Slide]

10 To conclude, I would like to show you this  
11 table of sensitivities and specificities of the  
12 various imaging procedures discussed, and stress  
13 that the methods with which these data were  
14 obtained are not necessarily comparable and are  
15 highly dependent on the use of the bone biopsy as  
16 the gold standard to diagnose the disease. Again,  
17 I would like to remind you that the goal is to  
18 recommend a procedure that has as high a  
19 sensitivity and specificity as possible not only to  
20 ensure that the clinical trial population has the  
21 disease under study, but to ensure that the patient  
22 receives the most appropriate course of treatment.

23 In a clinical trial setting, if we wanted  
24 to study osteomyelitis one would opt for studies  
25 with high specificity, whereas if one is studying

1 complicated skin and soft tissue infections and  
2 excluding subjects with osteomyelitis, high  
3 sensitivity is paramount.

4           A number of sources continue to suggest  
5 that conventional plain film should be utilized as  
6 the initial screening procedure in all patients.  
7 This test is the most readily available and  
8 reasonably priced, but the question of are the  
9 results good enough to ensure that osteomyelitis is  
10 ruled out remains. If positive, yes; if negative,  
11 then the diagnosis cannot be excluded.

12           At this juncture, and given that most  
13 diabetics have underlying bony abnormalities, most  
14 sources recommend either an indium scan or an MRI,  
15 both of which have high sensitivity and  
16 specificity. The costs of both are similar given  
17 the rapidity with which the MRI can be obtained  
18 compared to the indium scan where the patient has  
19 to go through the initial labeling of the white  
20 cells followed by a 24-hour scan.

21           In subjects without underlying bone  
22 lesions on plain films, a triple phase bone scan is  
23 highly sensitive and specific. Finally, probing to  
24 bone in conjunction with plain films is also an  
25 option in the initial approach of the diabetic



1 subject. If the probe or the film is positive,  
2 then the patient can be excluded. However, if bone  
3 cannot be probed and the plain films are negative,  
4 then the diagnosis of osteomyelitis cannot be  
5 excluded. Thank you.

6 DR. LEGGETT: Thank you. Yes, Don?

7 DR. PORETZ: I am not sure of something,  
8 getting a bone biopsy is obviously the gold  
9 standard if it shows histologically osteomyelitis.  
10 What percent of the bones that show osteomyelitis  
11 on histology grow an organism?

12 DR. ALIVISATOS: I don't know that, Don.  
13 Maybe some of the experts know.

14 DR. PORETZ: Does anyone know?

15 DR. LEGGETT: It is not 100 percent.

16 DR. PORETZ: Because I have seen numerous  
17 biopsies that show osteomyelitis under the  
18 microscope, yet half of them grow. What is the  
19 experience?

20 DR. ALIVISATOS: Dr. Norden seems to know  
21 about that issue.

22 DR. NORDEN: I can make an educated  
23 guess--

24 DR. LEGGETT: You need a microphone.

25 DR. NORDEN: You are absolutely right that

1 a certain number of patients don't grow an organism  
2 with positive histology. I would say it is  
3 anywhere from 30-40 percent. Whether that is a  
4 sampling error--you know, the organisms are  
5 obviously not homogeneously distributed throughout  
6 the bone. But I think most of us would accept  
7 either histology or a culture, a positive culture  
8 as a positive bone biopsy. So, it is the best that  
9 we have at this point.

10 DR. LEGGETT: Yes, Janet?

11 DR. ELASHOFF: I would just like to  
12 comment that in both this talk and the preceding  
13 one the sample sizes that estimates of sensitivity  
14 and specificity were based on were, generally  
15 speaking, too small and many times far too small to  
16 have any real idea of the comparative sensitivity  
17 and specificity of these techniques.

18 DR. LEGGETT: Thank you. Why don't we go  
19 on to the next speaker? David Ross will give us  
20 the implications for clinical trials.

21 Implications for Clinical Trials  
22 for Diabetic Foot Infections

23 DR. ROSS: Good afternoon. I know  
24 everyone is waiting for a break so I will try and  
25 talk quickly.

1 [Slide]

2 We have been talking a lot about the  
3 distinction between clinical trials and clinical  
4 practice, and I think that is extremely important  
5 to keep in mind. Having said that, I would like to  
6 move to a clinical case because I think that is  
7 ultimately what is driving the trials, the need for  
8 more knowledge for how to treat diabetic foot  
9 infections.

10 [Slide]

11 This is a gentleman whom I saw about three  
12 weeks ago. He is a 74-year old veteran in a  
13 nursing home. I was called because of a stage IV  
14 pressure ulcer which was thought to be infected.  
15 As you can see, this patient had a complicated  
16 medical history, type I diabetes, peripheral  
17 vascular disease and chronic renal insufficiency.  
18 On exam he was afebrile. He actually was not  
19 complaining of a whole lot of pain.

20 He had a large ulcer distal to the left  
21 malleolus with clearly exposed bone. There was a  
22 smaller ulcer on the dorsum of the left foot with  
23 an eschar and surrounding erythema. He had a white  
24 count of over 18,000. Interestingly, a plain x-ray  
25 did not show any bony changes suggestive of osteo.

1 He had been started on piperacillin tazobactam,  
2 actually for nosocomial pneumonia but also with the  
3 thought that this would cover a diabetic foot  
4 infection. He did not show improvement of the  
5 erythema on this, and vancomycin was added because  
6 of worsening cellulitis. He was transferred to the  
7 vascular surgery service. He continued not only to  
8 show no clinical improvement but actually  
9 deteriorated and is currently in the SICU for  
10 hypoxemia.

11 Just before this afternoon's session I  
12 spoke to the second most reliable source of  
13 information about patients. The first most  
14 reliable, of course, is the primary care nurse. In  
15 this case she wasn't available so I spoke to the  
16 fourth year medical student. The patient's  
17 hypoxemia has improved but his foot has  
18 deteriorated and they are talking about an AKA.

19 [Slide]

20 I won't belabor the public health impact  
21 of this sort of patient multiplied many fold. Dr.  
22 Berendt did an excellent job of outlining that.  
23 But I will just mention that, as Dr. Soreth  
24 mentioned, we have over a million cases of diabetes  
25 mellitus a year that are newly diagnosed, and this

1 has increased from the '90s when it was more in the  
2 neighborhood of 700,000 to 800,000. There are  
3 roughly about 140,000 hospital admissions for  
4 diabetic foot infection every year in this country,  
5 a quarter of all admissions for diabetes; over  
6 80,000 lower extremity amputations due to diabetes;  
7 and over a billion dollars a year in direct costs  
8 for LEA associated care. That does not include  
9 costs for things like rehabilitation, prostheses  
10 and so on.

11           The patient I just described, if he  
12 undergoes the AKA, his odds of being alive in three  
13 years are around 50 percent. In five years, his  
14 odds of being alive are less than a third.  
15 Five-year mortality after LEA is 68 percent.

16           [Slide]

17           Did those antibiotics that he was  
18 receiving actually help him? It is hard to say.  
19 In looking through the literature to see what I  
20 could find about randomized, controlled trials for  
21 diabetic foot infections that were specific to that  
22 entity and not part of complicated skin and skin  
23 structure infections, I was not able to find a  
24 whole lot, probably about 350 patients in these  
25 sort of trials. I am sure there are some that I

1 missed, but the point that I would like to make is  
2 that there are relatively few trials. They have  
3 varying populations, varying regimens and it is  
4 very hard to put them together to say anything  
5 meaningful.

6           For example, the study by Grayson looked  
7 at limb-threatening infections, whereas the study  
8 by Chantelau, in 1996, looked at much more  
9 superficial infections and in this study placebo  
10 actually beat amoxicillin clavulanic acid.

11           [Slide]

12           So, why don't we pose the question what  
13 antibiotics really work in diabetic foot  
14 infections? To address that we need to think about  
15 some issues. What should the clinical definition  
16 of diabetic foot infections for a clinical trial  
17 be? How should we identify true pathogens in  
18 diabetic foot infections in such trials? How  
19 should such trials handle osteomyelitis? Finally,  
20 how do we take into account adjunctive therapies  
21 and other confounders?

22           [Slide]

23           Let me start with the question of what the  
24 clinical definition of diabetic foot infection  
25 should be. My first sub-bullet there, thanks to

1 the wonders of Power Point, should be clinical  
2 trials do not equal clinical practice. We want  
3 high sensitivity in practice. We don't want to  
4 miss a patient whom we want to treat. But in order  
5 to adequately define a patient population we need  
6 high specificity. Obviously, you have to have an  
7 appropriate balance if you want to have  
8 generalizability from clinical trials.

9           Nonspecific definitions run the risk of  
10 allowing enrollment of patients without disease,  
11 potentially obscuring differences between drugs.  
12 One possible definition, and there are many others  
13 and I am just drawing this out is a defect in  
14 epidermal integrity with new erythema and/or  
15 swelling and/or fever and/or leukocytosis and/or  
16 loss of glycemic control.

17           [Slide]

18           How should true pathogens be identified in  
19 diabetic foot infections? Dr. Sheldon spoke about  
20 some of the data underlying different methods and  
21 the sensitivity, specificity and predictive values  
22 of those methods. It is clear that we need  
23 accurate microbiologic data to assess the strengths  
24 and limitations of clinical efficacy data. In  
25 order to be confident that a drug really works in

1 diabetic foot infections clinically, it should be  
2 active in vitro against the organisms that are the  
3 true pathogens. We have had applications in which  
4 claims have been sought for organisms for which  
5 there was no in vitro activity.

6           It is also important to remember that a  
7 particular drug, in order to guide practitioners,  
8 is labeled for an infection due to specific  
9 organisms. In order to get maximum possible  
10 specificity and most reliable information, we would  
11 suggest curettage or biopsy with semi-quantitative  
12 culture.

13           [Slide]

14           How should we handle clinical trials as  
15 far as osteomyelitis? Rather, how should clinical  
16 trials handle osteomyelitis? As Dr. Alivisatos  
17 pointed out, this is not just a clinical trial  
18 issue. We know that inadequate treatment of acute  
19 osteo or even chronic osteo runs the risk of  
20 converting one infection into a more chronic form  
21 with a poor outcome. It is important to remember  
22 that imbalances in osteomyelitis patients across  
23 arms, which is certainly possible in a relatively  
24 small study, confound assessments of differences in  
25 drug efficacy. We would suggest excluding



1 osteomyelitis patients, potentially by MRI. If the  
2 study drug is topical or has no bone penetration  
3 they could be rolled over to a separate trial if  
4 the drug does have bone penetration.

5 [Slide]

6 Finally, how do we take into account  
7 adjunctive therapies and other confounders? I will  
8 just mention that the most recent issue of The  
9 Annals of Internal Medicine has a study by Landy  
10 and coworkers reporting on the use of nerve growth  
11 factor in treatment of neuropathic ulcers. This  
12 excluded diabetic patients but we will certainly  
13 see this sort of technology applied. I will also  
14 note that in looking for controlled trials in  
15 diabetic foot infections I found more studies  
16 dealing with adjunctive therapies than I did with  
17 antibiotics.

18 Confounders may contribute to differences  
19 in apparent efficacy, either adjunctive therapies  
20 or other confounders. For this reason, we need to  
21 define patient characteristics potentially  
22 affecting outcome, and some of these have been  
23 mentioned, such things as transcutaneous PO<sub>2</sub>,  
24 demographics, co-morbidities and so on. Wound  
25 classifications are potentially useful but they

1 need to be validated for trials and they don't, by  
2 themselves, define infection.

3 [Slide]

4 I just want to give this quote, and I want  
5 to thank Dr. Powers for pointing me to this:

6 "Thus, it is easy to prove that the wearing of tall  
7 hats and the carrying of umbrellas enlarges the  
8 chest, prolongs life, and confers comparative  
9 immunity from disease; for the statistics shew that  
10 the classes which use these articles are bigger,  
11 healthier, and live longer than the class which  
12 never dreams of possessing such things." G.B. Shaw  
13 had some things to tell us, I think, about what to  
14 think about as far as clinical trials.

15 [Slide]

16 So, I am going to leave you with some  
17 questions. Actually, since writing this we realize  
18 there are even more questions so those will be on  
19 the agenda and I won't go over these in detail.  
20 But we look forward to your discussion of these  
21 issues and for your advice and recommendations.  
22 Thank you.

23 DR. LEGGETT: Thank you, David. Any  
24 specific questions?

25 [No response]

1           Then I suggest we take a 15-minute break  
2 and be back here at 3:45.

3           [Brief recess]

4           DR. LEGGETT: The next item on the agenda  
5 is the open public hearing. We did not have anyone  
6 contact the FDA about wishing to speak during this  
7 open public hearing. Is there anyone in the room  
8 who would like to use this time to read us a  
9 statement? Seeing no one wishing to give a  
10 statement, we will pass on to the next item on the  
11 agenda which is the charge for the committee that  
12 will be delivered by Ed Cox.

13                           Charge for the Committee

14           DR. COX: Thank you, and I will keep my  
15 comments brief. I just wanted to start out by  
16 thanking all the presenters. We have had a series  
17 of excellent and very insightful presentations on  
18 some of the issues in diabetic foot infections,  
19 including issues regarding the microbiologic  
20 evaluation, diagnosis of diabetic foot infections,  
21 evaluations for osteomyelitis.

22           There is no question that managing  
23 diabetic foot infections is challenging clinically  
24 and many of these challenges from the clinical  
25 arena carry on over to the clinical studies of

1 antimicrobial drugs that are being evaluated for  
2 their safety and efficacy in the treatment of  
3 diabetic foot infections, the issues of other  
4 chronic conditions underlying skin disease and  
5 vascular disease that may also impact upon the  
6 outcomes in patients with diabetic foot infections.  
7 Fortunately, the presentations do mesh very well  
8 with the questions that we have for the committee  
9 today.

10           Without further ado, I will just move on  
11 to the five questions at this point in time. The  
12 questions are being asked in terms of clinical  
13 trial design and clinical study design, so that is  
14 just one point to keep in mind as we move through  
15 them.

16           What I will do is give the Reader's Digest  
17 version of the questions because I am sure we will  
18 come back to them as we progress through them. But  
19 essentially the first question deals with the  
20 definition of diabetic foot infection and asks also  
21 how we should handle the issue of breaks in the  
22 skin in the setting of diabetic foot infections.

23           The second question deals with how we  
24 should handle infected ulcers and whether the  
25 ulcers are infected or not infected, and how to

1 handle the diagnosis of infection in the setting of  
2 ulcer.

3           The next question deals with the  
4 microbiologic methods that should be used for the  
5 diagnosis of diabetic foot infections.

6           Question four moves on and looks at  
7 evaluations for osteomyelitis and the methods that  
8 should be used there. We will be able to use a lot  
9 of the information that was presented here today in  
10 the earlier presentations.

11           Then the final question, question number  
12 five, deals with how we should define clinical  
13 success or failure in the setting of diabetic foot  
14 infection clinical trials.

15           So, we look forward to the committee  
16 discussion on these questions and, once again, I  
17 would like to thank all the presenters for really  
18 excellent presentations on the topic of diabetic  
19 foot infections. With that, I will turn it back  
20 over to Dr. Leggett.

21                           Committee Discussion

22           DR. LEGGETT: Thank you. I had cut people  
23 off who had questions of Dr. Berendt and Dr. Norden  
24 before, but I think if there are questions we can,  
25 hopefully, ask them in the context of trying to

1 answer these questions.

2           So, number one, how does one define a  
3 diabetic foot infection? Who wants to start? Don?

4           DR. PORETZ: Well, you can be very  
5 simplistic I guess or you can be very erudite, but  
6 the way I think about it is a person who has  
7 diabetes who has an infection in their foot is not  
8 equal to a person who does not have diabetes and  
9 has an infection in their foot, i.e., I always take  
10 a diabetic patient with an infection more  
11 seriously, no matter where the infection is. So,  
12 to be simplistic, I guess, diabetes mellitus and  
13 cellulitis in the foot or ulcer in the foot or  
14 closed wound in the foot, I would consider that a  
15 diabetic foot infection. I don't know if you have  
16 to go more advanced than that or not, but I am  
17 always more aggressive in treating those patients  
18 than non-diabetics.

19           DR. LEGGETT: David, what would you care  
20 to add to that?

21           DR. ARMSTRONG: Well, I must say that when  
22 I came in here I was favoring that view. I think  
23 it was very simplistic and that is really the way  
24 that I would think about it. I would say maybe  
25 using the ADA criteria for diabetes, then we define

1 foot as that which is below the malleoli and then  
2 an infection based on the criteria that you heard  
3 Dr. Berendt and Dr. Norden describe. But after  
4 hearing some of the concerns in clinical trial  
5 design, I am wondering whether we should consider  
6 going for more specificity and adding in something  
7 like the presence of neuropathy, or an open wound,  
8 or something else. I have not really come to any  
9 conclusion. I am still looking at that first as  
10 the thing I am favoring but I would open it for  
11 discussion amongst those who have so much more  
12 experience in clinical trial design than us  
13 clinicians and clinical investigators.

14 DR. LEGGETT: I can just think of the most  
15 recent patient I saw with diabetes who had bad  
16 tenosynovitis from Staph. aureus and no lesion. He  
17 lost part of his foot. So, I think you can have a  
18 severe infection without necessarily requiring  
19 there to be an ulcer.

20 DR. ARMSTRONG: Absolutely.

21 DR. WALD: In children with diabetes we  
22 don't see these infections. So, I think that it is  
23 not enough to be a diabetic. I think that probably  
24 there has to be some component of either neuropathy  
25 or ischemia or both.

1 DR. LEGGETT: Don?

2 DR. PORETZ: Yes, I think that is a  
3 problem. I think the difference between a diabetic  
4 and a non-diabetic are those exact things, and all  
5 things being equal, diabetics don't do as well as  
6 non-diabetics drug for drug, treatment for  
7 treatment, infection for infection. Because of the  
8 neuropathic changes and the vascular changes, which  
9 I think you have to presume are present in a  
10 diabetic who has one of these infections, that is  
11 why I think they need to be treated more  
12 aggressively and that is what I would call a  
13 diabetic foot infection.

14 DR. LEGGETT: Go ahead, Ellen.

15 DR. WALD: I guess I would just ask are  
16 there adult diabetics for whom your statement is  
17 not true, that they really do the same as other  
18 comparable patients without diabetes because, in  
19 fact, they don't have neuropathy and they don't  
20 have ischemia so they are healthy diabetics in  
21 their 20s, 30s or their 40s who don't have any  
22 component of ischemia or neuropathy and they do  
23 just fine.

24 DR. PORETZ: I think a lot of them do have  
25 small vessel disease and, maybe that is the case,



1 but in general I think if you are a diabetic and  
2 you have an infection in your foot you don't do as  
3 well as a non-diabetic, period.

4 DR. LEGGETT: John?

5 DR. POWERS: Maybe I can try and clarify  
6 what it is that we are looking for here, and it is  
7 something Dr. Wald just pointed out. If you took a  
8 30-year old, well-controlled type I diabetic who  
9 has no problems and no foot issues other than this,  
10 and comes in with cellulitis on their foot the size  
11 of a quarter, that is not the same kind of person  
12 in the pictures that Dr. Berendt was showing  
13 earlier today. So, if you go for that broader  
14 definition, both kinds of patients get enrolled in  
15 the same clinical trial and that is a problem for  
16 us, if they are unequal across the arms of the  
17 trial, in determining the efficacy of the drug.

18 The first kind of patient, you don't know  
19 how much the drug contributes because those kind of  
20 people might get better spontaneously. What we are  
21 trying to get to is a more specific definition, and  
22 again, because of the things that the speakers have  
23 raised about adjunctive therapies, etc., who is the  
24 kind of patient we would be pretty sure where that  
25 adjunctive therapy isn't going to cut it? In other

1 words, you know, we all know the patient that comes  
2 in with redness from the tip of their toe up to  
3 their knee that wasn't there two days ago--that is  
4 the kind of definition we are trying to go for,  
5 something that allows us a little more specificity  
6 in picking those people.

7 DR. LEGGETT: Jan?

8 DR. PATTERSON: Well, the PEDIS  
9 classification I thought was very useful in the  
10 sense that it quantifies the severity of perfusion,  
11 extent and size of the ulcer, the depth, tissue  
12 loss and so forth. So, if that was used in terms  
13 of the definition of infection, you could quantify  
14 the severity and, thereby, in terms of the clinical  
15 response, you could quantify how much it gets  
16 better if it goes from grade IV to grade II.

17 In terms of cellulitis, I don't see that  
18 it really fits into the PEDIS classification.  
19 Correct me if it does. But I would see a diabetic  
20 foot infection cellulitis as a cellulitis in a  
21 diabetic that is in the foot.

22 DR. LEGGETT: Dr. Maxwell?

23 DR. MAXWELL: I kind of like the  
24 classification that I saw in Mandell where it seems  
25 to me, and I could be wrong, that they are really

1 calling a diabetic foot infection an infection that  
2 actually has an ulcer that you can ascertain is  
3 penetrating beyond the subcutaneous tissue; that it  
4 has not just cellulitis but extensive cellulitis;  
5 has a lymphangitis; and then ischemia and  
6 polymicrobial or not type of bacterial growth. So,  
7 I think it is more than just a cellulitis. It has  
8 to actually penetrate behind the borders. So, that  
9 would be my feeling for the definition.

10 DR. LEGGETT: David?

11 DR. ARMSTRONG: Maybe then to sort of  
12 steer the discussion toward that, just as Dr.  
13 Powers said, we are not looking for all of these  
14 patients with cellulitis or maybe an infected  
15 ingrown toenail. I think maybe something that will  
16 confer some specificity might be just what Dr.  
17 Maxwell said, which is perhaps an infected break in  
18 the skin and an infected break in the integument,  
19 that being a diabetic foot ulcer. Maybe that is  
20 your touchstone that you use for your definition  
21 for clinical trials. Will it exclude a number of  
22 what we might still consider as diabetic foot  
23 infections clinically? Absolutely. But perhaps  
24 then something like a wound would make it a little  
25 bit easier to standardize these things across

1 strata, using something like you saw Dr. Berendt  
2 show in terms of the International Consensus  
3 classification on infection as well.

4 DR. LEGGETT: That would certainly make  
5 the population more homogeneous. Allan Tunkel?

6 DR. TUNKEL: I was thinking why wouldn't  
7 we include those people? I mean, this is how it  
8 begins. This is really where they first get their  
9 first infection that winds up progressing and you  
10 start chopping away little bits of their feet until  
11 you wind up doing that below or above the knee  
12 amputation.

13 So, part of my definition of diabetic foot  
14 is if I am going to treat the patient with  
15 antibiotics, I think they have a diabetic foot  
16 infection and maybe that isn't a great definition--

17 DR. LEGGETT: You mean somebody with an  
18 ulcer?

19 DR. TUNKEL: Well, I guess whether it is  
20 that quarter size area of cellulitis with a tiny  
21 break in the skin. If I am giving them  
22 antimicrobial therapy to resolve it, they have a  
23 diabetic foot infection.

24 DR. LEGGETT: That leaves things open to  
25 having a predominance of folks in your trial if you

1 want your new drug to work. Alan Cross?

2 DR. CROSS: I think part of the problem is  
3 we have been saying if a patient has an infection,  
4 but, yet, we really are begging the plan. I think  
5 one of the problems we see is these patients do  
6 have chronic stasis changes. They do have erythema  
7 and I think what John suggested earlier is that  
8 there has to be perhaps a new finding; perhaps a  
9 new erythema or tenderness or swelling that hadn't  
10 been there in a defined period of time. Otherwise,  
11 you are always going to be stuck with how to deal  
12 with these chronic stasis changes.

13 DR. LEGGETT: Ken?

14 DR. BROWN: I think what the FDA is asking  
15 is an impossible question because what they really  
16 want the group to do is to tell them how to define  
17 when a patient has microvascular disease. If they  
18 just have a neuropathy the patients do very well,  
19 as in leprosy, and in leprosy patients with a  
20 terrible ulcer on the planter surface--you wash it  
21 once, wrap them up for six weeks and immobilize  
22 them, and at the end of the six weeks they are  
23 fine.

24 So, I think what we need is a way to  
25 define these people, at least the young versus the

1 not so young, in terms of their vascular ability to  
2 deliver the goods to the site.

3 DR. LEGGETT: Good point. I don't think  
4 you would get any disagreement from anyone about  
5 that. Dr. Elashoff?

6 DR. ELASHOFF: It seems to me that part of  
7 what is happening here is not so much a definition  
8 of what is a foot infection or not, but a  
9 definition of a person who has a situation that is  
10 serious enough to make sense to have an indication  
11 for it. So, we are kind of mixing definitions of  
12 this and with a definition of poor prognosis or  
13 severity, or something, and I think it might help  
14 if we kind of separated those two issues a little  
15 bit more clearly.

16 DR. LEGGETT: Barth?

17 DR. RELLER: To extend what Dr. Brown  
18 said, this is inherently a dynamic process that is  
19 heterogeneous and we will never come to a  
20 definition that is comprehensive enough if we want  
21 one definition. It seems to me what Dr. Poretz  
22 pointed out is sort of the bare necessity of what  
23 Dr. Norden put in, over 18; and then there is no  
24 substitute for categorization of the patients in  
25 terms of extent, severity, neuropathy, vascular

1 status. Rather than trying to reinvent all of  
2 those items, since in the end the people doing the  
3 trials are going to be those clinicians who are  
4 actively involved in this area and to get  
5 collaboration to apply drugs that would be  
6 approved, involves many different disciplines.

7           So, the way I would go about it is to take  
8 what Dr. Berendt presented in terms of the  
9 stratification, take the base definition that we  
10 could agree on, and then one has to stratify the  
11 patients between comparator and study drug. They  
12 have to be distributed comparably according to  
13 severity, etc., according to vascular compromise,  
14 etc. Then we could get into the details of what  
15 kind of microbiology we want; what is valid, etc.;  
16 what kind of imaging we want, etc. But I think  
17 there is no substitute for differentiation of  
18 patients so that they are comparable in the groups,  
19 but it is impossible to put all diabetic foot  
20 infections in one definition.

21           DR. LEGGETT: It seemed that Dr. Elashoff  
22 had a good point. If we are going to give a  
23 specific indication, it really should sort of be  
24 weighted towards the more severe folks at risk.  
25 There would be an easy way to do that if you want

1 to say that we have a drug that is very effective;  
2 it is given parenterally; that can be transitioned  
3 to oral; and we are going to have a trial that  
4 enrolls patients who are of grade II/III or grade  
5 III or IV severity. Or, it is effective in those  
6 with this degree of severity and assume that if it  
7 is effective in that it would be effective in those  
8 that are less severe. I think in the end the  
9 patients have to be comparable and there have to be  
10 objective definitions of the degree of the severity  
11 because I think we all agree on the principles--no  
12 blood supply; it is not going to heal. You know,  
13 if it is dead, it has to be taken out or taken off,  
14 etc. Keith?

15 DR. RODVOLD: I agree a little bit with  
16 what Barth was saying. Looking at grading of II,  
17 III and IV is that one of the things where, at  
18 least from an agency point of view, you are going  
19 to have to have a comparator? You only have two  
20 comparators that are legitimately used on the  
21 market that have this labeling at this point. For  
22 example, linezolid being the last one that was  
23 approved, how many of the linezolid patients that  
24 were in that trial fit into that grade III/IV  
25 versus II? You know, if most of them are III and



1 IV, is that a lead to you to find out that maybe  
2 everything that you need in this indication is III  
3 and IV?

4           When I look at grade II in this  
5 definition--and I may be wrong; I am not a  
6 physician, I am a pharmacist--I look at grade II  
7 and I kind of read a little bit of complicated skin  
8 and skin structure infection for the recently  
9 approved daptomycin because 30 percent of their  
10 patients were diabetic. They try to remind you of  
11 that in their advertisement a lot to get you  
12 enticed to use the drug. But they weren't really  
13 what I think most of us would think of as diabetic  
14 foot and they don't have that labeling  
15 specifically. So, I kind of see grade II here  
16 bordering on just the typical definition of  
17 complicated skin and skin structure infections and  
18 III and IV lead you up to diabetic foot that I  
19 think everyone in this room would be comfortable  
20 with. If you could treat III and IV with a new  
21 agent, then you should be able to slip down to a  
22 little bit more tricky case of II. But from a  
23 regulatory point of view, III and IV would fit the  
24 bill of having spelled out criteria that this is  
25 the target you have to hit to get the data.

1           But I think at the same time that you are  
2 thinking that, you have to back up and look at what  
3 comparators--will they be a legitimate comparator  
4 to the new guy coming up.

5           DR. LEGGETT:   Ciro?

6           DR. SUMAYA:   I am thinking similarly with  
7 the last two comments, being more comfortable with  
8 the PEDIS classification to try to categorize  
9 people to some level of severity. I like that one  
10 in particular because it does touch on the  
11 neuropathy, and it does touch very well on the  
12 ischemia aspects. So, I think we could hit the  
13 cellulitis for mild disease and then go into more  
14 severe levels.

15           Just one other modification perhaps, it  
16 could be as in rheumatoid fever where one has minor  
17 and major components, and perhaps out of those five  
18 there may be two we want to consider more major  
19 criteria and the other three would be more minor.  
20 But they could be manipulated I think to categorize  
21 into different levels of severity to do the  
22 clinical trials.

23           DR. LEGGETT:   Don?

24           DR. PORETZ:   Would it be reasonable for  
25 any prospective study to consider the concept of

1 digital photography where prospectively you could  
2 have an independent review of a reading person?  
3 You know, they do this in ophthalmology where there  
4 are independent reviewers, that have nothing to do  
5 with the patient per se, who read the fundoscopic  
6 pictures. They do it in neuropathy with nerve  
7 conduction times where independent neurologists,  
8 having nothing to do with the case, read the nerve  
9 conduction times. Maybe there could be a  
10 standardized digital photographic way of doing  
11 things where independent readers look at it and  
12 then you can prospectively go forward and get some  
13 idea of what is going on.

14 DR. LEGGETT: In our hospital, in the last  
15 ten years I have never seen a podiatrist see a  
16 patient without having plenty of pictures.

17 DR. PATTERSON: Well, I think a digital  
18 picture would be very helpful as supplemental  
19 information, but it wouldn't tell you, for  
20 instance, about the depth of the ulcer and some of  
21 these other things that are in the PEDIS  
22 classification, the ischemia and so forth. So, I  
23 think it would be helpful supplemental information  
24 but I think you would still have to have some  
25 other, more objective criteria.

1 DR. LEGGETT: John?

2 DR. BRADLEY: I too am interested in  
3 trying to stratify these patient groups based on  
4 all the different factors because you are getting a  
5 3 X 3 matrix of vascular disease, peripheral  
6 neuropathy, and something that people haven't  
7 brought up and I don't know if it has not been  
8 studied or is difficult to quantitate, but the  
9 control of the diabetes because, certainly, that  
10 may impact the wound healing.

11 The other thing that Don and I were  
12 talking about is burn patients. After you clean a  
13 wound, you biopsy the wound and you can get an idea  
14 of histology and quantitative cultures which leads  
15 you to believe that it is truly infection as  
16 opposed to just colonization. To me, that will  
17 enhance the quality of the data. So, if you have  
18 nice histologic data you need fewer patients to  
19 actually show benefit. Then, of course, Don said a  
20 lot of people would be reluctant to do biopsies  
21 because these wounds may not heal. So, it is  
22 putting the patient at additional risk.

23 DR. LEGGETT: Any further discussion about  
24 this? Can we take up that second phrase in number  
25 one and, ignoring the people without breaks, what

1 do we do with the preexisting breaks in the skin?

2 Ellen?

3 DR. WALD: I think in clinical practice we  
4 do this all the time. We look at something and we  
5 say it is clean and dry; it doesn't look infected.  
6 When we think it is infected it is because there is  
7 new onset of erythema and oftentimes there is  
8 accompanying discharge, and it may be warm to the  
9 touch. And, if the patient has sensation, it may  
10 be painful. So, I think those classic findings of  
11 inflammation, accompanied by discharge, are what  
12 persuade us clinically.

13 DR. LEGGETT: David?

14 DR. ARMSTRONG: Maybe just to clear some  
15 of those initial diagnosis issues, and we have been  
16 mulling over this issue for sometime now; maybe for  
17 too much time, some might say, but Dr. Berendt has  
18 some knowledge of that committee and what is coming  
19 out of there, and maybe you could share some of  
20 that about the specific diagnosis of infection and  
21 what is being used. Is it greater than two  
22 cardinal signs of inflammation? Is it presence of  
23 purulence, advancing erythema? Is there any way  
24 you could share some of that perhaps to clear some  
25 of this up?

1 DR. BERENDT: I think the thing to say is  
2 that generally speaking the IDSA guidance was  
3 worked out very similar to the International  
4 Consensus guidance. So, yes, from my memory, it is  
5 two or more of the clinical signs of infection that  
6 you have really been describing. I mean that, of  
7 course, is a clinical classification and is  
8 slightly different to the research type  
9 classifications you have been describing.

10 DR. LEGGETT: Did you want to say  
11 something? Any other thoughts? Yes, John?

12 DR. POWERS: Dr. Elashoff asked me a  
13 question at the break that I kind of wanted to  
14 address because it has come up now several times  
15 around the table. That is, stratifying people  
16 according to severity. Dr. Elashoff asked me what  
17 did the FDA mean by validating the severity scores.

18 I think one of the issues we get into is  
19 the idea of do these severity scores really predict  
20 severity? By severity, what we have interpreted  
21 that to mean is that patients with these given  
22 characteristics do worse than patients with those  
23 given characteristics regardless of what therapy  
24 they get. So, this does not require a  
25 placebo-controlled trial.

1           Speaking with Dr. Norden too at the break,  
2 we were saying we don't have the answers to this.  
3 That doesn't mean we can't go forward, but these  
4 could be incorporated in future trials. But the  
5 question I ask myself is does somebody that has 1.9  
6 cm of erythema really differ from somebody who has  
7 2.6 cm of erythema round their ulcer? And, that is  
8 the way this reads. The difficulty we get into in  
9 the setting of a non-inferiority trial is that  
10 drugs may come out looking the same and a drug  
11 sponsor may say to us, oh, but look, I have more  
12 patients with grade II. So, we want in our label  
13 that we are better than this guy, over here." If  
14 those severity scales haven't been validated it is  
15 very difficult for us to know what to do with that  
16 information going down the line.

17           DR. LEGGETT: The only easy one is going  
18 to be I versus IV. Joan?

19           DR. HILTON: I wonder if there isn't a  
20 registry that exists in which you could choose some  
21 outcome, whether it is time to death or some other  
22 very severe endpoint, and figure out the relative  
23 weight of these different prognostic factors, like  
24 the PEDIS classifications. I don't know if you can  
25 resolve this with opinions. It seems the data have

1 to speak.

2 DR. POWERS: I think one of the reasons  
3 why we are bringing this forward to the committee  
4 is also to raise the question that there are pieces  
5 of data that are missing about very commonly  
6 treated diseases that we need folks to do research  
7 on outside of the clinical trials of the FDA, but  
8 we need help on answering these questions.

9 DR. LEGGETT: Carl, do you know if there  
10 is any such registry or any ongoing trials to try  
11 to validate the PEDIS system or any of the others?

12 DR. NORDEN: The simple answer is no, I  
13 don't know of any trials that are ongoing. But I  
14 think it is critical but I don't think it should  
15 stop us from doing clinical trials. I mean, you  
16 can within clinical trials try to validate things  
17 and get answers to prognostic questions and you can  
18 look, for example, at other diagnostic tests. You  
19 can do a lot of things within trials if the drug  
20 company is willing to do it and if they sense that  
21 this is an appropriate thing to do. But, no, I  
22 don't know that there is any data at all.

23 DR. LEGGETT: What about the University of  
24 Texas system which has been around far longer?

25 DR. ARMSTRONG: Well, the answer to that



1 is that I think we may be comparing apples and  
2 oranges when we talk about stratifying based on  
3 severity of infection versus looking at the wound  
4 as a whole. I mean, a large number of wounds we  
5 shouldn't even be talking about because they are  
6 not infected. They may be treated just with good  
7 debridement, off-loading and coming back frequently  
8 for care. But something like the UT system is  
9 probably a good system for assessing wounds as a  
10 whole but when it came to the issue of infection, I  
11 can tell you that we had a very difficult time,  
12 just as we are having a very difficult time here,  
13 and we just decided to dichotomize it, saying it is  
14 infected or it is not. That was how we sort of  
15 skirted the whole issue of infection. We did  
16 include things like depth so certainly probe to  
17 bone might confer a higher risk for osteomyelitis.  
18 Some of the data supported that if you had a deeper  
19 wound, then one was at higher risk for developing  
20 osteomyelitis in that 360 patient study. But,  
21 again, I think to use a system like that would be  
22 inappropriate for looking at infection.

23 DR. LEGGETT: Alan Cross?

24 DR. CROSS: I was impressed by the  
25 presentation of Dr. Ross when he actually showed

1 the slide of the published DFI randomized clinical  
2 trials. Of the five he found, there was only one  
3 that had more than 100 patients, and that was 108.  
4 So, here we are having some discussion about  
5 stratification, and we are having all these other  
6 discussions about how do we handle all these  
7 confounding variables that we will not be able to  
8 control for.

9 I think at least one approach to this is  
10 to have a large enough trial, such that it allows  
11 these confounding variables, hopefully, to be  
12 handled through a large trial. The implication of  
13 that is that we have to come up with perhaps some  
14 definitions and treatment endpoints that would  
15 allow one to do a large enough trial in order to  
16 have an assessment of all the concerns that have  
17 been voiced here.

18 DR. LEGGETT: Janet?

19 DR. ELASHOFF: Also, the issue of whether  
20 certain severity classification is predictive of  
21 prognosis brings up the issue of what we are  
22 talking about with respect to prognosis? Are we  
23 talking about cured, not cured in eight weeks? Or,  
24 are we talking about a year from now how the  
25 patient is doing? If we are talking about

1 longer-term prognosis, then we would have to be  
2 talking about an entirely different kind of trial  
3 in order to validate these things than if we are  
4 talking about a shorter-term yes/no cure.

5 DR. LEGGETT: Could we leave that until we  
6 get to question five, which I think addresses that?  
7 Jan?

8 DR. PATTERSON: Well, I was just going to  
9 say that the PEDIS classification--I mean, whether  
10 or not grade IV or grade III is actually more  
11 severe than grade II, maybe we don't really know  
12 the answer to that in terms of the prognosis. But  
13 it does give us an objective way to assess the  
14 infection at baseline and to give us objective  
15 criteria for improvement. You know, if it goes to  
16 a lesser grade, that is improved.

17 In terms of the criteria, I mean, it is  
18 just like with any other study. If you have a  
19 criterion that, you know, you have to have a fever  
20 greater than or equal to 100.4 to be in the study,  
21 if you have 100.3 you may clinically fit but you  
22 can't get into the study. So, it is just like  
23 anything else; you have to have a cut-off  
24 somewhere.

25 DR. LEGGETT: And it certainly looks like

1 clinically people who do this can tell the  
2 difference between grade II and III, looking at  
3 whether it involves other structures and other  
4 sorts of things. So, it is not just one factor  
5 involved. It is not just 1.9 cm versus 2.1 cm.  
6 David, you look like you want to say something.

7 DR. ROSS: The thought that came to mind,  
8 and this is really a question for Dr. Armstrong, I  
9 was thinking about the process by which Fine and  
10 coworkers defined prognostic categories for  
11 community-acquired pneumonia. Obviously, we have  
12 to start somewhere in terms of defining grades of  
13 severity, but the question is to what extent is  
14 there a difference between 1.9 cm and 2.0 cm,  
15 square centimeters. I guess one way to define  
16 that, not putting everything on hold while we do  
17 this, is to prospectively follow patients and  
18 collect data. I was just wondering if I could ask  
19 Dr. Armstrong, since there is such a huge concern  
20 for the VA health system, if that is anything that  
21 is even a twinkle in the VA central office's eye.

22 DR. ARMSTRONG: Certainly not speaking for  
23 Secretary Principe, by any means, but I think that  
24 it certainly should be a twinkle in the Department  
25 of Veteran Affairs' eye. It is certainly common

1 enough. I think that the trouble with doing a  
2 VA-wide study is while I think care is excellent at  
3 a lot of VAs, if you have seen one VA, you have  
4 seen one VA and there may be differences in  
5 approaches to care. Even though there is a  
6 nationwide pact program that has been excellent, I  
7 think standardizing things is still a little bit  
8 difficult. But I think that would be certainly of  
9 interest to the VA health services research and  
10 development and other grant-making agencies to look  
11 at. I think it could be done.

12 DR. LEGGETT: Basically, Dr. Berendt and  
13 Dr. Norden, this PEDIS thing is still just a bunch  
14 of old fogies getting in a room in Hawaii, right?

15 [Laughter]

16 DR. BERENDT: In fact, the PEDIS thing is  
17 considerably more than that actually. That is to  
18 say, it is a bunch of old and young fogies getting  
19 together in a number of rooms over a very long  
20 period of time, actually. The International  
21 Consensus process that Carol Backer initiated, has  
22 been on the go for about 12 years. They have had  
23 four quadrennial meetings during that time. The  
24 Consensus guidelines on sort of management and  
25 prevention of diabetic foot in general were issued

1 four years ago through a process of international  
2 consensus, with a working group of about I think 30  
3 or 40 people from, literally, all over the world  
4 and from multiple disciplines.

5           The infection subgroup was a smaller  
6 subgroup, once again specifically required to be  
7 international in its composition. It has sort of  
8 authoring members and corresponding members. Ben  
9 Lipsky was on the chair of that group and I was  
10 involved in that, but widespread, people sort of  
11 across Europe and the world. Then that was signed  
12 up to by this much larger group who met at the  
13 Holland meeting earlier this year. In fact, David  
14 Armstrong was one of the people whose signature is  
15 on that piece of paper.

16           So, I am not saying that it has total  
17 legitimacy at all, but I think it does have a  
18 reasonable degree of face validity. The criterion  
19 validity remains to be established, and that is  
20 accepted, and for that reason in the outdated  
21 version of the consensus it is listed as a report  
22 on progress rather than as a final version of a  
23 classification.

24           From your point of view today, it is  
25 perhaps a shame that it is a classification system

1 for research on foot ulcers because that meant that  
2 people without ulceration were eliminated from  
3 consideration. So, unfortunately, the cellulitis  
4 in the diabetic is sort of unclassifiable by PEDIS.  
5 I think that is a pity. Whether one could get that  
6 changed over time is an interesting issue. I think  
7 it is worth saying that, based on your  
8 deliberations here, even if PEDIS could classify  
9 those sort of cases, the sort of cellulitis cases,  
10 they would, as long as your stratifying the  
11 reporting of the trial be an obvious difference  
12 between the cellulitis case, who would be a sort of  
13 P1 which would be, you know, normal perfusion; P0  
14 for no area; D--let's say--0 if it existed; I3; S1  
15 for protective sensation present. So, that is kind  
16 of our uncomplicated diabetic person with  
17 infection. That is dramatically different from the  
18 kind of P2E 25 cm, or whatever it is, you know,  
19 D2/I3/S2. You can see how different they would  
20 actually come out, and that might help you duck the  
21 issue of having to make the definition, if you want  
22 to duck it.

23 The other question in my mind, having  
24 heard you debate this, is whether those individuals  
25 who don't yet have complications of diabetes and

1 don't have a wound are covered anyway by the cSSSI  
2 or SSSI definition. I am assuming diabetes is not  
3 an exclusion to be licensed under those. So  
4 someone has already thought about them; you have.

5           So, those are the main things to say.  
6 Trying to come back to the legitimacy of PEDIS,  
7 which is, yes, designed mainly for research, the  
8 authors, or some of the authors involved in the UT  
9 system, the S(AD) SAD system and the clinical  
10 staging system are also signatories to that. So,  
11 in that sense, some people have accepted that their  
12 own personal systems that they have already  
13 advocated in the literature would be superseded by  
14 the development of this system. I mean, that is  
15 just sort of a sales job on that. But I think  
16 everyone accepts that it needs to be validated.  
17 Clearly, if the agency requires that before they  
18 adopt it, or ask other people to do it, then you  
19 can't sort of turn up to it now but we hope you  
20 might later.

21           DR. LEGGETT: Janice?

22           DR. SORETH: I just wanted to say that Dr.  
23 Berendt raised a good point, which was that most  
24 drug manufacturers don't seek diabetic foot  
25 indication in a vacuum. They do it in the setting



1 of having usually two large, multicenter--at least  
2 one, sometimes two large, multicenter trials of  
3 complicated skin and skin structure infections  
4 fairly well defined in a broad spectrum of  
5 patients, some of whom may be diabetic and have a  
6 cellulitis, let's say, on the thigh. To augment  
7 that experience, they then go to another trial,  
8 which we like to see as a comparative trial, in  
9 which they enroll the various spectrum of patients  
10 that we discussed today, diabetic foot infections  
11 with what we expect are the complicating factors of  
12 not normal vasculature, not normal neuropathic  
13 system. So, we feel that in the intact patient the  
14 drug is studied within the organ of skin in a  
15 complicated setting.

16 DR. LEGGETT: Barth?

17 DR. RELLER: Dr. Berendt, what do you mean  
18 by validation? This word has been used multiple  
19 times but what exactly are we seeking here?

20 DR. BERENDT: My understanding of any  
21 classification system that is being used for  
22 clinical work is that it should have what is called  
23 face validity and it should have what is called  
24 criterion validity. Face validity I understand to  
25 mean that there is a common sense basis to the

1 classification and that a clinician looking at it  
2 would say, yes, that makes sense to me; I can see  
3 where you got to that and I can see how I can use  
4 it.

5           Criterion validity would be about the fact  
6 that classifications inevitably also attract people  
7 into wanting to assume that there is a prognostic  
8 significance to that difference. That specifically  
9 addresses the issue of 1.9 versus 2.5 and is that,  
10 in fact, a prognostic factor or not.

11           So, the kind of validation that I think  
12 one would like to see the PEDIS system go through,  
13 as any other, would be, one, would anybody use it.  
14 If no one will, it has clearly lacked face validity  
15 and it is gone immediately.

16           Secondly, when people did use it, was  
17 there some kind of obvious difference in outcomes  
18 when one looked at the different groups within it.  
19 Clearly, the goal of expert treatment would be that  
20 there aren't any differences in outcome because  
21 your treatment would be tailored to your  
22 classification. That is a common difficulty with  
23 all classification systems, that the worse the  
24 scoring, the more intensive the treatment and,  
25 therefore, sometimes the better the outcome.

1 DR. RELLER: Well, the reason I ask--and I  
2 like the PEDIS concept. I mean, it sounds  
3 plausible. These are the things we know affect  
4 outcome. So, I should think that there is a high  
5 probability of pretty widespread--given the  
6 tremendous amount of work. I mean, this is an  
7 enormous effort that has already been undertaken.  
8 So, the face validity may be pretty close.

9 Now, the validity as regards prognosis,  
10 outcome, etc., how can one possibly get at that in  
11 the pure sense unless you treated some people and  
12 didn't treat others, or you just watched the  
13 natural history of these things without doing  
14 anything? Or, if this face validity has an element  
15 of does it make sense, maybe the validation in  
16 terms of prognosis and outcome has to have a common  
17 sense element of how can we do that unless we get  
18 an adequate number of patients and get them into  
19 trials, categorize them and see. I think it is  
20 pretty likely that if drug A is better than drug B,  
21 the people in comparable categories--that everybody  
22 is going to do better if they are down the PEDIS  
23 ranking and they are going to do worse if they are  
24 up the PEDIS ranking, and there may be differences  
25 between two drugs. Now, you can argue about how

1 big the difference is, etc., but it is hard for me  
2 to imagine that somebody with a lousy PEDIS score  
3 is not going to do worse on balance than good if  
4 you have enough patients to be able to show a  
5 difference.

6           So, I don't know how one could, without  
7 using it, establish pre-use validation unless--I  
8 mean, it becomes so artificial. I mean, what one  
9 needs to have is something that people can buy into  
10 so they would be willing to enroll sufficient  
11 numbers of patients and accurately categorize them,  
12 including digital image but not limited to that  
13 because it is not sufficient, but in this  
14 categorization there is, you know, depth.

15           The thing that is really appealing to me  
16 about the PEDIS approach is that it doesn't have so  
17 many categories that you have so many little  
18 subsets that, as Dr. Elashoff talked about, you end  
19 up not having enough people in the cells. I mean,  
20 it is pretty straightforward. I particularly like  
21 the sensation. I mean, it is grade I or grade II;  
22 you can feel or you can't feel. I am sure they  
23 have in there how you assess the feeling.  
24 Similarly with the perfusion.

25           So, no matter what we do or what the FDA

1 does, I should say, in the end it is going to have  
2 to have buy-in. To take something and tweak it  
3 that already has considerable buy-in, it seems to  
4 me that it would get us there a lot sooner to get  
5 to the point that we really need, and that is a lot  
6 of patients who are properly assessed that we could  
7 actually see for clinical trial purposes whether  
8 one agent contributes more than another agent does  
9 for comparable patients.

10 DR. LEGGETT: Janet?

11 DR. ELASHOFF: Yes, I would agree with a  
12 great deal of what you said. I just wanted to add  
13 two things that haven't been mentioned about using  
14 a severity classification. Before I start, I want  
15 to say that generally speaking some classification  
16 is better than none and a small number of  
17 categories is generally good. But the important  
18 thing is whether people are going to actually use  
19 it. So, if it is easy to use will people who are  
20 doing the clinical trial, or perhaps even people  
21 who are looking at a patient and deciding whether  
22 to use a particular antibiotic use it?

23 Also, the issue of inter-observer  
24 variability ought to be low. If you have two  
25 different people look at patients, will they agree

1 a fairly high proportion of the time as to which  
2 category the patients are in. So, those are some  
3 other things to think about in choosing and  
4 evaluating a system.

5 DR. LEGGETT: Ellen?

6 DR. WALD: I just wanted to ask a  
7 question. It seems to me that maybe you could do  
8 both things at once. We clearly need a score  
9 because we need to make sure that patients are  
10 stratified so that one therapy isn't overloaded  
11 with more severe patients than the other. The  
12 validation though is really another thing. You  
13 like to validate something according to something  
14 relatively objective, except clinical outcomes are  
15 not so objective. But we could look at things like  
16 requirement for amputation, or certainly mortality  
17 although it may be that some patients who adverse  
18 event grade IV will die as opposed to patients who  
19 are grade I or, again, either amputation or  
20 long-term outcome in terms of not eradication of  
21 infection maybe but time to overall healing, and we  
22 could define healing however we wanted to that.  
23 Would that be the way to validate the score?

24 DR. ELASHOFF: Well, it is basically what  
25 people agree on as being important aspects of

1 prognosis. I don't think the objectivity or lack  
2 of it is as important as long as things are  
3 randomized and double-blind. The essential issue  
4 is--I mean, if you think the quality of life down  
5 the line is the important thing, even though it is  
6 kind of subjective, that is what we should be  
7 looking at to see this correlation with. It is  
8 what is the really important outcome that you want  
9 to find out about that we should be looking for,  
10 and not so much objective, non-objective, although  
11 it ought to be somewhat correlated with pretty much  
12 any measure that you use of outcome. If it is not  
13 correlated at all with some and really correlated  
14 strongly with others, then that it suggests some  
15 issue that we haven't looked at hard enough.

16 DR. LEGGETT: Celia?

17 DR. MAXWELL: I just have a question and I  
18 don't know the answer. But shouldn't the degree of  
19 disease--let's say a diabetic that has always been  
20 well controlled versus someone that is not well  
21 controlled--wouldn't the degree of disease that you  
22 find in the limb be different depending on the  
23 control or the lack thereof, and should not that be  
24 part of the criteria? Because it seemed like it  
25 would make a difference. Someone spoke earlier

1 about the young diabetic versus someone that was  
2 more mature.

3 DR. LEGGETT: David?

4 DR. ARMSTRONG: I am sure that that makes  
5 a difference, certainly the degree of glucose  
6 control, whatever metric you use. But I think we  
7 are charged with defining a diabetic foot infection  
8 right now, and I think you can look at that  
9 continuous variable as regards a certain outcome  
10 when more people are enrolled in a trial. I am not  
11 sure that validating this system is of primary  
12 importance right now. What it strikes me as is  
13 that it is a framework for discussion and for  
14 definition of potential severity. At least it is  
15 talking points, if you will.

16 I think it maybe gets back to how do we  
17 define a diabetic foot infection. I think the  
18 question is are we going to have a broad  
19 definition, as Dr. Poretz mentioned, an infection  
20 below the malleoli in a person with diabetes? Or,  
21 is it going to be someone with an open wound? I  
22 think that is the fundamental question.  
23 Personally, I think there is more buy-in for this  
24 PEDIS classification, speaking again as someone who  
25 took part in this. There is buy-in worldwide



1 amongst people who will be doing these trials. So,  
2 I think it might be worthwhile using this as just a  
3 framework because if I look at this, this looks to  
4 me like a lot of our inclusion or exclusion  
5 criteria for the bulk of projects, at least the  
6 local inclusion and exclusion criteria, personally.

7 DR. LEGGETT: One last comment because I  
8 don't think we are ever going to get an answer  
9 today and we still have five or six more things to  
10 do. Joan?

11 DR. HILTON: I was also thinking about the  
12 validation that I mentioned as being driven by the  
13 need to define the eligibility criteria. So, some  
14 of these PEDIS categories, say three categories,  
15 some are continuous like size and such. So, the  
16 objective that I had in mind is to try to find  
17 where to draw cut points for each of these five and  
18 possibly for a few additional factors like  
19 cellulitis and control of infection.

20 Then in the analysis of the clinical trial  
21 each of these could be analyzed as individual  
22 prognostic factors. But what I was thinking that  
23 you needed to get to right now was how to define a  
24 homogeneous subgroup of subjects, with sort of a  
25 homogeneous risk of quality of life, or amputation,

1 or whatever some important outcome is rather than  
2 including all patients with diabetic foot disease.

3 DR. LEGGETT: Thank you. Why don't we  
4 move on to question two, which we have sort of  
5 addressed already, in patients with preexisting  
6 skin ulcer, how does one define infected versus  
7 non-infected ulcers? Jan, I think you made the  
8 comment before of two or more criteria.

9 DR. PATTERSON: Well, I think the PEDIS  
10 classification, in terms of criteria for grade II,  
11 grade III infection, I would think that would be a  
12 pretty objective way to do that. Grade IV has  
13 systemic inflammatory response, signs and symptoms  
14 as well.

15 DR. LEGGETT: Any other comments, other  
16 than what we already mentioned?

17 [No response]

18 Number three, what is the most accurate  
19 way to obtain microbiologic information in patients  
20 with diabetic foot infections? Alan?

21 DR. CROSS: I guess a question I have is  
22 that looking at the data, the most impressive data  
23 was from a supplement. That is, the Trager study  
24 looking at quantitative bacteriology looked like it  
25 really was able to separate out what was probably

1 infection from non-infection and avoid problems of  
2 swab and other things. I am just puzzled. That  
3 was done a while ago and there certainly is a lot  
4 of precedent for doing quantitative cultures  
5 certainly in burn patients. I am just curious why  
6 that hasn't been followed up by other studies in  
7 peer reviewed journals.

8 DR. LEGGETT: David, could you address  
9 that again? Do you think the people who would be  
10 doing these trials would all be adapt at and  
11 willing to enter somebody in a trial with a  
12 quantitative culture?

13 DR. ARMSTRONG: I am not sure that it is  
14 even as important as who is taking the culture  
15 because I think that could be standardized. I  
16 think that is not very well standardized right now,  
17 but I think that could be standardized. I think  
18 while I would love to see quantitative cultures  
19 taken everywhere, I think there might be a mutiny  
20 in a lot of microbiology labs if a lot of these  
21 were taken. We try to get them--I am just speaking  
22 from our center, and I think trying to get them and  
23 trying to get those standardized is somewhat  
24 problematic.

25 That said, it would be wonderful if that

1 were done. But I would personally just want to try  
2 to work to standardize things on the front end,  
3 that being that we take good quality biopsy from  
4 the actual wound. I am not talking about a giant  
5 biopsy where you take a big divot out of the wound.  
6 I am talking about a biopsy from the actual wound  
7 which is relatively easy, or taking wound base  
8 curettage which is also easy to teach and do. I  
9 think that is just not done enough. I think in  
10 most of these studies you sometimes have a  
11 technician that is just swabbing the wound and then  
12 it will sit on the desk for three or four hours.  
13 Then, when it gets down to the microlab, as Ben  
14 Lipsky often says, it is a Rodney Dangerfield--you  
15 know, it doesn't get any respect. So, I don't  
16 think we get a true estimation of what we are  
17 growing out of these wounds.

18 DR. LEGGETT: Barth, would you like to  
19 address this from a microlab's point of view or any  
20 other way you want to address it?

21 DR. RELLER: I am hesitant to do this but  
22 while these were being presented I jotted down ten  
23 aphorisms about microbiology.

24 [Laughter]

25 First, many are colonized; fewer are

1 infected. Two, unlike people, all microorganisms  
2 are not created equal. Three, the less secure the  
3 meaning of the microorganism, the more rigorous the  
4 need for quality of the specimen. Four,  
5 quantitation may be important but it can't replace  
6 the quality of the specimen. Five, transport is  
7 important but a dog in the first class seat is  
8 still a dog.

9 [Laughter]

10 Six, infection yes/no is a clinical  
11 enterprise. It can be supplemented by imaging.  
12 For example, physical exam is important but chest  
13 x-ray is also important for diagnosis of pneumonia.  
14 So, it is a clinical enterprise. Seven, not all  
15 clinicians are Osler. Eight, histology is historic  
16 but it is still relevant. This is for the  
17 osteomyelitis. Nine, microbiology can help with  
18 the etiology. Indeed, it is crucial for therapy  
19 susceptibility testing but it doesn't make a  
20 diagnosis of infection. Ten, just thrown in for  
21 clinical trials, specificity is more important than  
22 sensitivity.

23 So, what does all that mean? Our  
24 laboratory accepts swabs but it only looks for  
25 Staph. aureus and group A streptococcus. You don't

1 have one of those two, that is all you are going to  
2 get from an aerobic culture of a swab. There is a  
3 greater intensity of effort depending on the  
4 quality of the specimen. You know, we get to the  
5 other end and get a bone biopsy and you have a  
6 pristine--you know, the ultimate in specimen and  
7 whether you request it or not you will get aerobic  
8 and anaerobic culture. We know that there can be a  
9 mixture of organisms in some of these infections  
10 but we still think Staph. aureus and group A  
11 streptococcus in the early stages--and these  
12 things, as we know, may evolve. What starts out as  
13 one thing, with treatment and you don't take care  
14 of the vascularity, etc., may down the line get  
15 into something worse, sort of the elevation in the  
16 grades in the PEDIS scheme.

17           So, if you are going to ascribe  
18 significance, and there are published reports of  
19 this, for osteomyelitis you had better have a very  
20 good specimen and swab won't hack it. So, I think  
21 although these are not ironclad, I think that they  
22 can be translated. You know, swabs are not  
23 acceptable unless you isolate the Staph. aureus or  
24 group A streptococcus. So, those are some of my  
25 thoughts.

1 DR. LEGGETT: What about swab of a  
2 purulent drainage? In other words, there is frank  
3 pus. Put your swab into that area.

4 DR. RELLER: Colonizing organisms love  
5 pus.

6 DR. LEGGETT: Is that number eleven?  
7 John?

8 DR. BRADLEY: It was nice to hear David  
9 say that the biopsy wouldn't be the problem but the  
10 microbiology lab would be. Having done  
11 investigations in appendicitis, if you want to see  
12 a microbiology lab go crazy just have them isolate  
13 all the organisms from drainage from a ruptured  
14 appendix. I think the best way to define whether  
15 there is an infection present--and I have looked at  
16 biopsies from burn wounds--is a quantitative  
17 culture and histology on a biopsy. If you think  
18 that the biopsies can be done, then that is defined  
19 evidence. You can have a pathologist look at all  
20 of the histologic samples. You can look for  
21 evidence of invasion as opposed to the organisms  
22 sitting on top of the skin. You get some idea of  
23 whether the skin is viable or not. So, you can  
24 find out whether it is invasion of viable tissue,  
25 which would meet your definition of infection as

1 opposed to just a soup that is necrotic tissue in  
2 which organisms are growing. So, if a biopsy can  
3 be done, I think that is clearly the most  
4 quantitative, non-subjective way to document  
5 infection.

6 DR. LEGGETT: Just as an aside, we are  
7 headed towards an awfully expensive clinical trial  
8 if now we have the pathologists and our indium  
9 scans and our MRIs and da-da-da-da.

10 DR. PORETZ: I agree that Staph. aureus  
11 and group A strep. if isolated is significant even  
12 from superficial draining changes. But if you saw  
13 osteomyelitis, what was read as osteomyelitis on an  
14 MRI or a bone scan and you grew Staph. aureus from  
15 the pus, would you make the pronouncement that the  
16 osteomyelitis was due to Staph. aureus?

17 DR. RELER: You are aware of the  
18 literature as well as I. I think that it is  
19 possible that you have the right organism but it  
20 has more to do with the pre-test probability of  
21 what would be causing it in a patient with diabetes  
22 in the first place. In other words, I am not so  
23 sure that from a poor specimen growing the  
24 organisms is what makes it more likely than simply  
25 that Staph. aureus is an important player in



1 osteomyelitis in these patients. If one has a  
2 contiguous osteomyelitis with a longer-standing  
3 ulcer, we know those things are often mixed, and my  
4 empirical therapy is often, for example,  
5 piperacillin tazobactam or something comparable to  
6 that.

7           So, I think Staph. aureus from the  
8 draining pus from something--if you have an  
9 osteomyelitis and there is persistent drainage, I  
10 mean, you think it is osteo there. If you have  
11 Staph. aureus growing out of that with a little bit  
12 of epi. and other things and it is relatively  
13 acute, I think the credence of the aureus also has  
14 to do with how fresh this thing is. So, if they  
15 have just broken through and you are draining pus  
16 and you have a few other things there and you get a  
17 Staph. aureus that is on a gram stain smear--that  
18 is the other thing, whether it is there on the gram  
19 stain smear--and they haven't seen a lot of  
20 antibiotics, I think it is pretty likely, along  
21 with the pre-test probability. If you have had  
22 somebody that has been around a long time, they  
23 have a chronic ulcer; the thing stinks; and just  
24 because they are in the hospital and they have MRSA  
25 growing out of the soup, along with other things, I

1 am not so sure. That is the patient I would like  
2 to image and biopsy. What do you think about that?

3 DR. PORETZ: I think you are right.

4 DR. LEGGETT: Jan?

5 DR. PATTERSON: I don't remember what  
6 number it was but I agree with Dr. Reller that  
7 quality is more important than quantity. I think  
8 that the most accurate and practical way, in terms  
9 of what can actually happen in microbiology labs,  
10 to get the information would be deep tissue  
11 curettage or biopsy or an OR debridement sample. I  
12 think quantitative cultures are not really going to  
13 be a practical way to do it. If you have some  
14 center that is interested in it and you want to do  
15 a little side study out of interest, that is one  
16 thing but I don't think across the board that would  
17 be a practical thing to do.

18 DR. RELLER: In the specimen that Jan is  
19 talking about I don't think one can overemphasize  
20 the importance of the gram stain smear, correlate  
21 of that. So if you have poly and you have lots of  
22 organisms and you grow something, even if there are  
23 a few other things around, I think you have  
24 infection.

25 DR. LEGGETT: It is not like there is not

1 consensus to go for what I think was called the  
2 deep culture techniques in the presentation.

3           The next number, and we have already sort  
4 of been approaching this but let's take a direct  
5 investigation of it, what are the considerations  
6 for clinical trials for ruling out osteomyelitis in  
7 patients in trials of diabetic foot infections?

8           DR. POWERS: Jim, can I ask you a question  
9 to start off with that?

10           DR. LEGGETT: Yes.

11           DR. POWERS: One of the things that Dr.  
12 Alivisatos showed in her slide was that what we see  
13 in clinical trials is all over the place. One of  
14 the other things that she said was that except for  
15 one trial, it left it up to the clinician's  
16 discretion as to whether or not to even examine the  
17 patient for osteomyelitis. When we reviewed this  
18 lit it appeared that there is a fair number of  
19 people that end up having osteomyelitis that the  
20 clinician never suspected they had in the first  
21 place. So, one of our initial questions would be  
22 should everybody in these trials get some kind of  
23 imaging study and, if so, which one?

24           DR. LEGGETT: Just to put up the whole  
25 range of stuff before we start talking, if we were

1 to just dictate a plan x-ray, realizing its  
2 sensitivity and specificity, are there statistical  
3 methods that would allow you to determine an N big  
4 enough, if we had some way of differentiating  
5 preexisting osteo or failure of a drug and  
6 developing of osteo in a clinical trial, would you,  
7 as a statistician, be able to tell us that we need  
8 15,000 or 1,000 people? Can you overcome that  
9 noise that the x-ray is going to tell you? On the  
10 other end of the spectrum, if we get MRIs on  
11 everybody they are almost too sensitive and, you  
12 know, the same thing could apply. Is that  
13 possible?

14 DR. ELASHOFF: Well, certainly if you can  
15 lay out some scenario of assumptions, then it is  
16 straightforward enough to do sample size  
17 calculations. What I was thinking about myself  
18 with respect with this is to use some relatively  
19 easy definition of osteomyelitis and simply  
20 stratify patients on that basis. If the proportion  
21 of people having it is not too large, it won't  
22 dilute your trial too badly even if you are not  
23 really careful about having done it. But as long  
24 as you have some system that you have agreed on for  
25 classifying them, then you can learn a little

1 something by the end.

2 DR. LEGGETT: Ellen?

3 DR. WALD: It seemed to me that anybody in  
4 PEDIS classification III or IV would need to have a  
5 study because certainly duration of therapy is very  
6 dependent upon whether or not you have an osteo.  
7 So, we wouldn't want to fault a drug because we  
8 hadn't used it long enough because we hadn't made  
9 the right diagnosis. From what we heard today, it  
10 sounded to me like either indium or MRI.

11 DR. LEGGETT: Just as an aside, at our  
12 hospital if you use indium you need a separate  
13 explanation and a separate thing. I mean, that is  
14 going to be hard. So, you have to get not only  
15 consent for the trial but you are going to need to  
16 get a separate consent to do the indium study.  
17 Jan?

18 DR. PATTERSON: I think everybody ought to  
19 have a plain film and then for grades III and IV,  
20 if you can probe to bone I think you should assume  
21 they have it, or they have a plain that is  
22 positive, then have it. But if both of those are  
23 negative they should have MRI.

24 DR. LEGGETT: I don't know about your  
25 radiologists but our radiologists can't tell

1 diabetic osteolysis from osteomyelitis. Allan?

2 DR. TUNKEL: I agree with Jan because I  
3 think it is a step-wise approach so we should do  
4 whatever we can first to prove that the patient  
5 does have osteomyelitis. So, you see the bone, or  
6 probe, or do a simple radiographic study. Even if  
7 maybe there is controversy, that at least excludes  
8 a group of patients from the study that you don't  
9 have to consider. Then either the MRI or perhaps  
10 the technetium bone scan or indium, whatever is  
11 better, or maybe the investigator could have a  
12 choice on one of those studies if we think the  
13 sensitivity or negative predictive value is  
14 relatively good for all of them.

15 DR. LEGGETT: David?

16 DR. ARMSTRONG: I don't know if I am  
17 speaking for other people but I am very worried  
18 about this aspect of trial design, not from an  
19 academic perspective but from a practicality  
20 perspective. I am really concerned about the cost  
21 of a huge number of MRIs, the lack of dedicated  
22 musculoskeletal radiologists in various centers  
23 with the expertise and interest in looking at  
24 these, and the difficulties perhaps in getting  
25 nuclear scans in some of these centers, just by the

1 vagaries of protocols.

2 I think maybe for a large number of these  
3 infections sometimes, just for simplicity sake,  
4 serial radiography seems to have some benefit.

5 But, again, I think as we look at those data, I  
6 don't think there are good data to guide us in that  
7 area, seeing as they are very insensitive. But for  
8 someone where there is not a high suspicion of  
9 osteomyelitis, why not have everyone get a serial  
10 radiograph? Obviously, you will be probing to bone  
11 as that is part of a local physical examination.

12 If, indeed, the patient can probe to bone one may  
13 proceed with another investigation, perhaps an MRI,  
14 at that point and then, perhaps at the end of the  
15 study or at some point at the end of the study, get  
16 another radiograph, giving them point A and point B  
17 to compare. That would seem to reduce the cost of  
18 this versus getting blanket exams on all these  
19 patients. I don't think that is perfect by any  
20 stretch. In fact, I think it is not so good but I  
21 think this is going to be very difficult in  
22 thousands and thousands of patients.

23 DR. LEGGETT: Alan?

24 DR. CROSS: While it is true that having  
25 an MRI would add to the cost, I don't know if, as

1 Ellen suggested that you restricted at least as  
2 part of the protocol to grade III and IV, how much  
3 extra it would be over what would be good clinical  
4 practice. I would certainly agree with Jim that  
5 just doing plain films in the case of just diabetic  
6 osteolysis has provided more misinformation than  
7 information, and I think that would be a big  
8 mistake. So, I would simply echo that in the more  
9 serious cases it really is imperative that we rule  
10 out osteomyelitis and requiring some type of thing  
11 like MRI would not add that much over what would be  
12 required by good clinical practice.

13 DR. LEGGETT: Celia?

14 DR. MAXWELL: Just to echo the concern  
15 about cost, certainly in a population like what I  
16 see most people have no insurance. So, even  
17 getting an MRI might be difficult. It is my  
18 understanding that if you can probe to bone, isn't  
19 that one of the definitions of osteomyelitis, if  
20 you can actually touch the bone? So, it seems to  
21 me that if you can probe to bone there is a strong  
22 possibility that there is osteo and it is only when  
23 you can't really do that that you should look to  
24 some of these more definitive and definitely  
25 expensive tests. I mean, not to mention the cost



1 of the antibiotics. So, I think that that has to  
2 factor in when trials are done because what happens  
3 is that once a trial is done guidelines are put  
4 forth and then you are held to these standards and  
5 oftentimes it might end up costing patients access  
6 to care because you just can't provide it. So, I  
7 think that that should be considered.

8 DR. LEGGETT: David?

9 DR. ARMSTRONG: Well, just to make this  
10 more complicated, the probing to bone may not be  
11 all it is cracked up to be. You heard I think some  
12 excellent concern by Janet, and I think there may  
13 be data over the next year or two from some of the  
14 larger trials to suggest that maybe it is the  
15 pre-test probability of having osteomyelitis in  
16 your given center that confers the positive  
17 predictive value on this probe. Maybe if you have  
18 a much lower prevalence of osteo than, say, 66  
19 percent which was in the Grayson study, then the  
20 positive predictive value may be no better than  
21 flipping a coin. I don't mean to badmouth the  
22 probe because I really believe that it is a very  
23 useful tool, with that in the back of your mind,  
24 but I think that you have to maybe combine common  
25 sense and some of these instruments. As was said

1 by Jan and others, that might be the way to go and  
2 maybe stratifying patients, as was said earlier,  
3 might be the way to go. I just don't think there  
4 is a good answer to this though.

5 DR. LEGGETT: John and then Ellen.

6 DR. POWERS: I think what our issue is  
7 here too is what you are going to do with patients  
8 who eventually you think have osteomyelitis. If  
9 you have a drug and the sponsor decides they don't  
10 want to study osteomyelitis, or they have a drug  
11 that, say, is a topical agent, or one that from  
12 preclinical testing has absolutely no penetration  
13 into bone, then your goal there is to exclude  
14 patients with osteomyelitis.

15 What we want there is almost the opposite  
16 of what we have been saying all day. We want high  
17 sensitivity because we don't want them in the  
18 trial. We are not saying don't treat them, don't  
19 do whatever you do in clinical practice but we  
20 don't want them in the trial. If, on the other  
21 hand, you are going to roll them over into a  
22 separate trial, now we want both. Now we want high  
23 sensitivity and we want to be sure that the people  
24 actually have osteomyelitis when they get into the  
25 osteomyelitis trial.

1           There are all the issues you said about  
2 probe to bone. I think about our earlier  
3 discussions about surrogate markers. It may be  
4 that is just a coincidence, that they had probe to  
5 bone and that you are really just picking the  
6 population that has it. So, the other issue is  
7 probe to bone may be okay in the sense that if you  
8 can probe to bone, fine; they are out of the trial  
9 from the complicated skin aspect. But if you then  
10 want to roll those people into an osteo trial, is  
11 that good enough by itself to get you in?

12           DR. LEGGETT: Question, does that level of  
13 discussion need to be in a guidance or can that be  
14 on a drug case-by-case basis when you work it out  
15 with the company?

16           DR. POWERS: I think what we are trying to  
17 do is to formulate a guidance that would  
18 address--as Dr. Norden said today, he addressed his  
19 to just systemic drugs. What we were trying to do  
20 is say how would you stratify this into, say,  
21 topical drugs versus a drug that doesn't have bone  
22 activity versus one that does. Because you would  
23 hate to see those patients just get excluded and  
24 not get studied for osteomyelitis when, in fact,  
25 the drug may have activity there. You could

1 examine those patients and the drug's efficacy.

2 DR. LEGGETT: Allan Tunkel?

3 DR. TUNKEL: David, I just have a question  
4 for you. If this is a person who needs to go to  
5 the OR for debridement, if a podiatrist goes in,  
6 can they make a determination in the OR and say the  
7 bone is definitively not infected?

8 DR. ARMSTRONG: Well, we would like to  
9 think we can. You know, podiatrists tend to think  
10 that if they cut something and it bleeds, then it  
11 looks intact. But, in fact, I think our eyes are  
12 not petri dishes or microscopes. But I think that  
13 also raises another issue. In some of those higher  
14 grade infections perhaps those patients will have a  
15 higher incidence of intraoperative debridement.  
16 Therefore, we will have a more definitive diagnosis  
17 of those patients as well. So, maybe an MRI in  
18 those patients may not be needed because we will  
19 have already taken that patient to the operating  
20 room and taken a good bone biopsy. I think that is  
21 probably what you were alluding to.

22 DR. LEGGETT: David?

23 DR. ROSS: Two points. One, certainly we  
24 are very mindful of the cost. I will just mention  
25 the patient whom I described in my presentation.

1 The day that we saw him we recommended an MRI.

2 Three weeks later he still has not gotten it.

3           The other thing I wanted to say though is  
4 that if one is studying osteo, especially chronic  
5 osteomyelitis because we do not think that is a  
6 disease, obviously, with a high placebo response  
7 rate, that might be a setting where a small number  
8 of patients who are rigorously characterized could  
9 yield very important information on drug treatment  
10 effects and give rise to a label claim in terms of  
11 focused development.

12           DR. LEGGETT: I want to bring the  
13 discussion around to something called clinical cure  
14 or clinical failure. If we are doing diabetic foot  
15 trials and we are only looking at the soft tissue  
16 part of it, why does the osteo, and how can we tell  
17 the development of an osteo on therapy versus  
18 preexisting osteo, and can't you make a case, to  
19 play either devil's or angel's advocate depending  
20 on what side you are on, that improvement in that  
21 soft tissue, whether or not anything happens in the  
22 bone, is what we are after? So, I would like some  
23 discussion if people have some ideas about how we  
24 address that issue. This is assuming that we are  
25 not going to be a perfect situation and, no matter

1 what route we go, we are going to have at least one  
2 person in a clinical trial who has an unrecognized  
3 osteo when we sign him up for the soft tissue  
4 diabetic foot infection protocol.

5 DR. ARMSTRONG: All right, I will give  
6 this a try.

7 DR. LEGGETT: Good.

8 DR. ARMSTRONG: I think that when it comes  
9 to diabetic osteomyelitis and the diabetic foot we  
10 often have a little time to react. It may be  
11 sacrilegious to say that but I think sometimes we  
12 have time. In the acute limb-threatening diabetic  
13 foot infection we don't. We have to go after those  
14 patients very aggressively with antimicrobials and  
15 I think with adjunctive means like intraoperative  
16 debridement. I am certain that there are patients  
17 that will have a smidgeon of osteo after some of  
18 these acute infections are resolved. But I am not  
19 sure how critical that is from the initial  
20 endpoints that we are looking at, and I am not  
21 certain how much of--

22 DR. LEGGETT: I don't know we know the  
23 endpoints yet. That is the next question.

24 DR. ARMSTRONG: But if we are looking at  
25 resolution, say, of cardinal signs of inflammation

1 or recession of erythema, those will happen very  
2 frequently even if someone has, say, an osteitis or  
3 a superficial osteomyelitis, or something along  
4 those lines.

5 DR. LEGGETT: What if we don't realize  
6 that the drug doesn't penetrate into bone, and then  
7 we say that the soft tissue improved and,  
8 therefore, we can use this in all diabetic foot  
9 infections? Ellen?

10 DR. WALD: I think we will get to know  
11 because the patient will become symptomatic again.  
12 I mean, isn't that what happens? You stop therapy  
13 and two weeks later they have pain, or redness, or  
14 swelling, or drainage just starts again. So, I  
15 think, you know, you have healed the superficial  
16 part that you are looking at with your eyes but  
17 something is going on underneath and that is how  
18 you find out. I don't know of any laboratory  
19 parameters that are particularly helpful.

20 DR. LEGGETT: But I don't know how long we  
21 are going to be following these people to find  
22 that. In diabetic osteo it can show up three  
23 months later.

24 DR. WALD: Yes, when we talk about when we  
25 should look at outcome, you know, I think this is

1 one of those infections where you don't want to  
2 only look at the end of therapy but you do want to  
3 select some arbitrary time--one month, two months,  
4 three months, I don't know what that would be.  
5 But, certainly, we wouldn't be content with end of  
6 therapy as the complete evaluation.

7 DR. LEGGETT: John?

8 DR. POWERS: Dr. Wald, you said something  
9 earlier about we wouldn't want to discard a good  
10 drug or say that one drug is inferior to another,  
11 and that gets to the case of if you didn't know  
12 that there was an imbalance at baseline between the  
13 arms. So, that goes back to Dr. Leggett's  
14 question, is development of osteomyelitis in  
15 somebody where we are studying a drug for soft  
16 tissue infection, would we consider that a failure?  
17 So, we are looking three weeks, four weeks down the  
18 line and their soft tissue infection doesn't come  
19 back but now the person develops a draining sinus  
20 that has osteomyelitis. Is that a failure? Would  
21 you consider that a failure for the initial soft  
22 tissue infection? And, should we consider that a  
23 failure in those trials?

24 DR. WALD: No, I would consider it  
25 probably a failure of diagnosis. So, what you



1 would want to know is if the two groups were  
2 comparable, if you are comparing two drugs, we  
3 expect a miss in a certain number of cases in both  
4 groups but if you had many more misses on one side  
5 than the other, then it would suggest that it was  
6 in effectiveness of treatment rather than  
7 misdiagnosis.

5:15 p.m.

DR. LEGGETT: Janet or

8

9 Joan, what sort of proportion of missed  
10 diagnoses--obviously it is based on the number of  
11 the N that you have, but what is the range of  
12 mistakes that can sort of be taken care of? You  
13 made the comment before, Janet, that it often  
14 wasn't that important if it was small.

15 DR. ELASHOFF: Of course, that is under  
16 the assumption that you have a fairly sizeable  
17 trial. I guess it is also to some extent under the  
18 assumption that you are looking at a superiority  
19 trial because if you are looking at these kind of  
20 equivalence things where you are thinking that  
21 maybe a ten percent difference is important, then  
22 if you are talking about misdiagnosis rates of  
23 three percent or four percent, that is a pretty big  
24 piece of the outcome. I don't know what to do  
25 there but that, of course, is another reason for

1 finding it problematic to do a non-inferiority  
2 trial.

3 DR. LEGGETT: Wouldn't clinical cure or  
4 clinical failure also be depending on what the  
5 company was trying to look for? If a company  
6 wanted to include osteo in that category, then the  
7 drug would have to be considered clinical failure.  
8 If the company was only going after a soft tissue  
9 portion, could that in another situation be looked  
10 at as a clinical cure? Or, is that not possible  
11 with guidance and with those kind of  
12 considerations?

13 DR. POWERS: I think that is the question  
14 that we are actually trying to get at. When we  
15 look at other diseases, so if you have a child with  
16 otitis media who then develops meningitis two days  
17 into therapy, is that because that child had  
18 meningitis when they came in the door and it was,  
19 as Dr. Wald said, a failure of diagnosis? Or, does  
20 that mean the drug wasn't working in those people?  
21 It is a question in almost all trials, this one  
22 more so than others because the diagnosis of  
23 osteomyelitis is so delayed into the person's  
24 treatment that by the time you find out the person  
25 is on day 10 or 12 of their treatment and it is

1 hard to figure out.

2 DR. LEGGETT: And that is the lag phasing  
3 with MRIs, by the way.

4 DR. PATTERSON: Well, I think we agree  
5 that with grades III and IV we would do some type  
6 of definitive test for osteo. I guess I would just  
7 like to ask Drs. Armstrong and Norden, in your  
8 experience, people who have grade II infection, how  
9 many of those people end up having osteo?

10 DR. ARMSTRONG: As you are ambling up, Dr.  
11 Norden, I think a rather low percentage in people  
12 that have a superficial wound that does not  
13 initially involve bone; that may not have a long  
14 chronicity, although chronicity is notoriously  
15 difficult in these patients as well; who have a  
16 negative radiograph. The prevalence of osteo in  
17 that population, say in a grade II if you are using  
18 this PEDIS system, is quite low and the rate of  
19 misdiagnosis, at least in our experience, has been  
20 quite low. When you get higher up into these  
21 categories I think you have a greater risk for  
22 misdiagnosis, depending upon your style of  
23 treatment.

24 DR. LEGGETT: Dr. Norden?

25 DR. NORDEN: I just have a couple of

1 comments. I agree with David's answer to that. I  
2 think it is very low. I just want to comment on  
3 bone penetration because people keep talking about  
4 it. I have studied osteo for a long time and I  
5 have never seen a drug that doesn't penetrate the  
6 bone in all of the studies that we did. So, I  
7 don't think that is really an issue. They  
8 penetrate in varying amounts and percentages, but  
9 unless the MIC of the bug you are looking at is  
10 very high, that is not going to be an issue.

11 I think in terms of the question both John  
12 and David raised, if you can argue that somehow you  
13 have to say this patient has osteo, you have to  
14 make up your mind, and if you use probe to bone is  
15 positive as one of the best tests we have now and  
16 say, okay, those patients who were positive have  
17 osteo and we are going to take them out of the  
18 trial and put them in another trial, if you are  
19 going to do a definitive trial with those patients  
20 for osteo I think they should have bone biopsy.  
21 That is the definitive test. It is still the best  
22 test. You may get an organism out and then you at  
23 least know what you are treating.

24 I wouldn't like to mandate MRIs for  
25 everybody. I think it is prohibitively expensive

1 and the yield--you know, although the sensitivity  
2 and specificity may be very high, as we say,  
3 sometimes they are over-read and, as David pointed  
4 out, you need a radiologist who understands  
5 musculoskeletal radiology. We had one person in  
6 our institution that we took all bone MRIs to  
7 because he was the only one who could read them  
8 well.

9 DR. LEGGETT: Dr. Berendt?

10 DR. BERENDT: Yes, my answer would be  
11 concordant with the others, very low for the grade  
12 II type infections.

13 DR. LEGGETT: What kind of numbers would  
14 we be talking about in terms of what you would  
15 envisage in a trial in grades III and IV? What  
16 kind of numbers of people would we be sending to  
17 the orthopedic surgeon or the podiatrist or  
18 somebody to get an intraoperative bone biopsy or a  
19 biopsy through intact skin? Any idea of that at  
20 all?

21 DR. ARMSTRONG: Well, I would weigh in  
22 that clinically most of the patients that fall  
23 under those definitions by any community standard  
24 of care ought to be either taken to the operating  
25 room or at least into an area where they can be

1 washed out and have it investigated. So, I think I  
2 would say a large number of those patients should  
3 go for a biopsy or some form of definitive kind of  
4 investigation. Whether that happens or not, I  
5 don't know. There are a lot of times where  
6 patients will go to the operating room for a  
7 washout, say, by someone who may be tangentially  
8 associated with the study. Let's just use an  
9 example. That person would just forget to get a  
10 biopsy, and that happens a large percentage of  
11 time. This would have to be very well coordinated,  
12 but I think that that is what should be happening.

13 DR. LEGGETT: So, for the FDA, it sounds  
14 as if the people that are going to have osteo are  
15 going to get biopsied anyway. Then, no matter  
16 which way we do the trials, if you develop osteo  
17 that we missed it should probably called a failure.

18 DR. POWERS: Let me read to you an example  
19 of why we are worried about this. This was a trial  
20 that was published in JAMA in 1991 by Newman. So,  
21 this predates the PEDIS trial. How these patients  
22 apply in PEDIS, I have no idea. When you look at  
23 the patient inclusion criteria, it is 54 patients  
24 that had diabetic foot ulcers. We can't tell what  
25 kind of grading they would fit into. These are

1 people who had osteomyelitis determined by bone  
2 biopsy and culture, a very small number of people.  
3 But osteomyelitis was found to underlie 28/41, 68  
4 percent of diabetic foot ulcers. Only 9 of those  
5 28, or 32 percent, were diagnosed clinically by the  
6 referring physician, and 19 of those 28, or 68  
7 percent, occurred in people that did not have  
8 ulcers exposing bone. When we read things like  
9 that we say, wow, gee, well, if there is nothing to  
10 stick a probe into and it is not near the probe,  
11 how is this going to help us?

12           The other thing is when we talk about  
13 ruling out osteomyelitis, it seems like if you  
14 stick a probe in there and you hit bone, okay, it  
15 is pretty good. If you stick a probe in and you  
16 don't hit bone, there are an awful lot of those  
17 people, according to the Grayson trial, that still  
18 have osteomyelitis.

19           DR. LEGGETT: Ellen?

20           DR. WALD: Those sound like they are  
21 patients who are grade III or more. Right? So, I  
22 think this grading system is going to be very  
23 helpful. If we say those are patients who probably  
24 do require debridement, then I think it is very  
25 logical to say that they will go to the OR and we

1 will get some tissue, and we will get a good  
2 culture and we will get histology.

3 DR. LEGGETT: Barth?

4 DR. RELLER: It is hard for me to imagine,  
5 at least at our place and I would be interested in  
6 Don's and others' comments, of someone going to the  
7 OR for a biopsy for osteomyelitis in this situation  
8 without imaging. I mean, it just doesn't happen at  
9 our place.

10 DR. LEGGETT: Why do they get the MRI?  
11 They get it because they want to know where to get  
12 the biopsy so as not to miss it and have a false  
13 negative. Ergo, I am all for Jan's approach, that  
14 people need to have an MRI and if they have osteo,  
15 then they need a biopsy to give us the histology  
16 for the histologic diagnosis and then we get a good  
17 sample so that we can get an etiologic diagnosis,  
18 which is different from a histologic diagnosis.

19 Dr. Berendt?

20 DR. BERENDT: Thanks for allowing me to  
21 comment. I just wanted to say that in relation to  
22 that study by Newman that was quoted, quite a lot  
23 of people in the field also find that study  
24 worrying and, as with any other study where there  
25 is only a single study showing such a surprising



1 result, are anxious to understand how that fits in  
2 to what they actually see, and I don't think there  
3 is a resolution on that matter. So, I just wanted  
4 to say that, you know, that is an N of one and it  
5 ought to be ranked alongside other kinds of N of  
6 ones, recognizing that it does raise a concern.

7 DR. LEGGETT: Don?

8 DR. PORETZ: Should we eliminate the bone  
9 scan completely?

10 DR. LEGGETT: I vote yes.

11 DR. PORETZ: I do too. I just find it  
12 more irritating than anything else. We end up  
13 doing a bone scan and then we do an MRI. It seems  
14 to me that bone scan, which has been promulgated  
15 for years and years, should be abandoned for osteo  
16 as long as we have access to an MRI.

17 DR. LEGGETT: Ellen?

18 DR. WALD: I would just be cautious to say  
19 that for this kind of contiguous osteo I would  
20 absolutely agree with you.

21 DR. PORETZ: No, we are talking about--

22 DR. LEGGETT: Diabetic foot, yes. Go  
23 ahead.

24 DR. ARMSTRONG: Just to respond, while I  
25 am certain that there are many centers that will

1 get an MRI on patients that are going to the  
2 operating room for an acute diabetic foot  
3 infection, I would say that that is probably not  
4 the majority of centers throughout the country. We  
5 will do that on many occasions but not on every  
6 occasion. Why? There are a whole host of reasons  
7 why. Most of the time it is time. The other  
8 reason for common sense because most of these  
9 infections--I mean, you are often looking at the  
10 bone preoperatively and we can see where that  
11 contiguous source of presumed osteo is so we have a  
12 good idea about where we are going to go when we  
13 take that biopsy. So, I wouldn't just say that we  
14 mandate MRI in all these patients. I would vote  
15 for an approach that says maybe an and/or kind of  
16 concept, quite frankly.

17 DR. LEGGETT: Jan?

18 DR. PATTERSON: Well, I was just going to  
19 reiterate that I think it varies very much by  
20 center. As David knows since he used to be there  
21 in San Antonio, we are very fortunate to have  
22 aggressive podiatrists who will go in and biopsy  
23 without an MRI when it is appropriate. You know,  
24 we talked about having an MRI for grades III and IV  
25 anyway, so I would think that you would want either

1 an MRI or a bone biopsy in grades III and IV.

2 DR. LEGGETT: And I don't think that we  
3 are going to come to a consensus about whether we  
4 call them cures or failures. That ought to be  
5 another day I think to end that one. That is part  
6 of number four, I am talking about.

7 In number five, how does one define  
8 clinical success or failure in a clinical trial of  
9 diabetic foot infections? This will probably only  
10 take 30 seconds.

11 [Laughter]

12 Don?

13 DR. PORETZ: Well, for the soft tissue  
14 infections you can know failure quickly. For the  
15 bone infections you are right, it may take two,  
16 three or four months because some of those things  
17 do exacerbate later on. So, soft tissue  
18 infections, you will know fairly soon.

19 DR. LEGGETT: When we talk about clinical  
20 success or failure, what do we mean by clinical?  
21 It is only going to be those two or more symptoms  
22 of inflammation. Or, is it going to be return of  
23 the function? Is it going to be appearance goes  
24 back to where it was? Is it going to be some wound  
25 healing? That is sort of what I was trying to get

1 at. David?

2 DR. ARMSTRONG: Yes, I would vote rather  
3 strenuously against those other, softer criteria,  
4 strictly because I think that the thing that is  
5 going to confer success in the long term in terms  
6 of wound healing, in terms of quality of life,  
7 other whatever instrument you want to apply to  
8 that, has nothing to do with the antibiotic. It  
9 has everything to do with the adjunctive care, as  
10 you heard very eloquently from all the lecturers  
11 about off-loading, debridement, activity  
12 modulation, things of that nature.

13 DR. LEGGETT: Do we require adjunctive  
14 therapy of everyone and then do we make it the same  
15 for everyone? What kind of leeway do we give?

16 DR. ARMSTRONG: I think we have more  
17 leeway here than we would, say, in a wound healing  
18 study where I think the criteria have to be much  
19 more stringent. But I think there should be  
20 guidance on regular debridement of necrotic tissue  
21 on some regular basis. We saw some data to suggest  
22 that the more we debride the better these patients  
23 do. I think that is very true, and I think there  
24 are other data to suggest that too, and I think  
25 there are center effects there too.

1           In terms of off-loading, that is also very  
2 important. I don't think we should mandate that  
3 these patients be placed into total contact casts.  
4 Although those are rapidly becoming what many would  
5 call a gold standard based on randomized,  
6 controlled trials, I think that most patients with  
7 infections are not going to go into total contact  
8 casts. That is a relative contraindication. But I  
9 think attention to off-loading, meaning being in a  
10 brace or something other than their shoe that  
11 caused the ulcer, that caused the infection in the  
12 first place is very important and that should be  
13 stipulated for all of these trials.

14           DR. LEGGETT: And do we let everybody use  
15 normal saline or do we let people use whatever the  
16 heck their wound care nurses want to use?

17           DR. ARMSTRONG: I will try this one. I  
18 think that as we move on over these next several  
19 years we are going to find actually fewer and fewer  
20 centers using just normal saline wet to moist  
21 dressings. Whether or not we believe there are any  
22 data to support this, while important, I think is  
23 beside the point from a pragmatic standpoint. I  
24 think maybe what we should stipulate is that there  
25 not be any active agents in the dressing that may

1 be antimicrobial or antiseptic in nature, or  
2 anything in there that may be bioengineered like,  
3 say, a cytokine or bioengineered tissue which are  
4 becoming more and more popular, depending on where  
5 you go, but something that is a passive dressing  
6 rather than a so-called active dressing, and there  
7 are good definitions of that now.

8 DR. LEGGETT: Alan Cross?

9 DR. CROSS: I would like to ask Dr.  
10 Berendt, among patients who have grade III or IV  
11 PEDIS classifications, what percentage of them may  
12 be expected to have loss of function? For example,  
13 unable to ambulate?

14 DR. BERENDT: I think that is a difficult  
15 question to answer because you would need to know  
16 the other elements of the prognostic features. So,  
17 the answer is that it doesn't depend just on the  
18 infection. Again, the data from the University of  
19 Texas showed quite well that ischemia is a massive  
20 confounder in terms of the likelihood of  
21 amputation, so that when you get into severe  
22 ischemia complicating infection, amputation rates  
23 become very high. I mean, so it wouldn't be just  
24 about infection or not. So, I am going to sort of  
25 duck it in terms of giving you percentages. It

1 becomes kind of multi-dimensional really but the  
2 more adverse prognostic factors you notch up,  
3 quicker you end up with very high percentages of  
4 that group requiring amputation at some point.

5 DR. CROSS: The point I am getting at is  
6 that it may be possible, on the one hand, to have a  
7 cure of the cellulitis but have a clinical failure  
8 in the sense of what was defined at the outset  
9 about the number of people who actually are going  
10 to amputation. On the other hand, it seems like we  
11 will have a very difficult time trying to have an  
12 agreed upon adjunctive therapy since those criteria  
13 for success and failure are even looser or more  
14 difficult to achieve. So, I think at least one  
15 thing is to try and come up with a clinically  
16 relevant, perhaps composite endpoint over and above  
17 simply a response to the cellulitis.

18 DR. BERENDT: I sympathize with what I  
19 think you are driving at because how can you have  
20 an endpoint that is so easy that you could have  
21 mega-trials on this kind of stuff? I can see where  
22 you are coming from. Whether that is something  
23 that is going to work for this committee in terms  
24 of new drugs which, by definition, are not going to  
25 be put through mega-trials to register them, I

1 don't know. I like the ambition, but I am not sure  
2 how it works for here.

3 DR. LEGGETT: Thank you. Ellen?

4 DR. WALD: It probably goes without  
5 saying, but I am going to say it anyway, that the  
6 adjunctive therapy, of course, has to be standard  
7 across all the studies that are done. Whatever it  
8 is you decide you want to have done, it really must  
9 be meticulously standardized across groups within a  
10 study and across all people who are embarking on  
11 studies.

12 DR. POWERS: I think the question we would  
13 ask is are there adjunctive therapies which would  
14 even affect the outcome of just the cellulitis,  
15 like raising your foot up? We have all seen people  
16 where that makes the swelling go down tremendously  
17 regardless of the antibiotic. So, those kinds of  
18 things, it would seem, would need to be  
19 standardized across the arms.

20 DR. LEGGETT: Agreed. Ciro?

21 DR. SUMAYA: A question from a pediatric  
22 mind set, but as you are looking for the clinical  
23 outcomes, I realize the adjunctive type of  
24 modalities that are used are important and a  
25 uniform assessment of that, and the type of drugs



1 you are assessing, and realizing that this is a  
2 long-standing problem with ischemia and neuropathy  
3 in the more severe patients, where does the  
4 glycemic control fit into the assessment of that?  
5 I am assuming that if they are wildly out of  
6 control they are not going to be doing as well. Is  
7 that assumption not correct?

8 DR. POWERS: The problem is it is very  
9 circular. Having a bad infection makes your  
10 glycemia get out of control. Having your glycemia  
11 out of control is a risk factor for getting an  
12 infection. How one sorts that out, using that as  
13 an endpoint in a trial, is very tricky.

14 DR. SUMAYA: But does it need to be  
15 assessed at least?

16 DR. SORETH: Yes, it needs to be assessed,  
17 and we are at such a basic level of data capture  
18 that we cannot even say across different drug  
19 development programs that have this as an  
20 indication what the underlying glycemic control was  
21 in any given program because, if it was captured,  
22 it wasn't put on the case report form so you can't  
23 even tell, treatment versus control group, what  
24 that information was.

25 DR. LEGGETT: A couple of points I would

1 like to bring up that sort of tie in with this  
2 clinical success or failure, what do we do in the  
3 person that we want to enter into the trial--this  
4 is the osteo/not osteo--who has had some bone  
5 debrided? So, now the podiatrist or the orthopedic  
6 surgeon tells me he has bleeding bone and there is  
7 no osteo, what do we do about that, David? So he  
8 had a biopsy and the biopsy is negative?

9 DR. ARMSTRONG: And that raises another  
10 issue. Often this can be a quasi-excisional biopsy  
11 because we are talking about small bones. Often  
12 those small bones are the same thing that caused  
13 the ulcer in the first place. So, the clinician,  
14 when he or she is in the operating room, may say,  
15 well, I want to do something that may help cure  
16 this area of pressure as well as help cure this  
17 infection. I think if you remove all of the bone  
18 and you have a margin, I think it is fairly  
19 standard to take a biopsy of the residuum of, say,  
20 a metatarsus, for instance. Then, that person  
21 cannot be considered to have osteomyelitis.

22 DR. LEGGETT: Going back to Dr. Norden's  
23 hypothetical thing, you made the point--if I  
24 understood this right--that there may be multiple  
25 lesions but you should select one study lesion. I

1 don't know how that fits in with what the FDA or  
2 other people are saying because I can envisage a  
3 couple of different ulcers, one of which improves  
4 and the other doesn't. So, do you count them all,  
5 and how does that get factored in, in terms of  
6 success or failure? Joan?

7 DR. HILTON: It is actually possible to  
8 study more than one within a patient as long as you  
9 use longitudinal models that account for that.

10 DR. POWERS: I think what we are worried  
11 about here is getting back to something Dr.  
12 Armstrong said earlier, the difference between  
13 healing an open wound versus healing the signs and  
14 symptoms of the active infection. In that case, it  
15 probably doesn't matter how many holes you have in  
16 your foot. It is the surrounding erythema,  
17 swelling and those other things that we want to see  
18 go away, not the healing of which hole.

19 DR. LEGGETT: But that is what I am  
20 saying. Under your foot metatarsal the erythema  
21 gets better but on the dorsum, your unrecognized  
22 tendinitis, that doesn't get better.

23 DR. POWERS: I think though since we are  
24 talking about systemically administered drugs, one  
25 would have to consider that failure because the

1 drug is going to all of those sites.

2 DR. LEGGETT: So, the drug company is  
3 going to have to give us data about each particular  
4 lesion. Did I interpret what you were saying,  
5 Carl?

6 DR. NORDEN: Fine.

7 DR. PORETZ: Can I just ask one question?  
8 I was very surprised to find out that there are  
9 only three drugs that are approved for diabetic  
10 foot infections, of which one drug is not even on  
11 the market anymore. Those drugs are approved for  
12 diabetic foot infections including contiguous  
13 osteomyelitis?

14 DR. POWERS: No.

15 DR. PORETZ: So, tissue diabetic  
16 infections?

17 DR. POWERS: Yes. There is a caveat to  
18 that though. Well, let me make one correction.  
19 Trovafloxacin is still on the market.

20 DR. PORETZ: It is not being used.

21 DR. POWERS: I know it is not being used  
22 but it is still on the market. But one of the  
23 issues is there are a number of drugs that have  
24 been studied for complicated skin and soft tissue  
25 infections. The question is how many have actually

1 looked at the specific subset of people with  
2 diabetes and foot infections? That is what David  
3 showed, that there is a much smaller subset looking  
4 at that group of people.

5           One of the things that we are trying to  
6 get at too is could we actually, in terms of what  
7 we talked about for streamline drug development,  
8 look at an overall complicated skin and soft tissue  
9 infection trial and then examine a subset of people  
10 that have diabetic foot infections within that  
11 trial so we wouldn't require separate trials across  
12 the board for this as well?

13           DR. LEGGETT: Any other comments about  
14 this? I don't think we are going to get much  
15 further today.

16           DR. COX: I just want to thank everyone on  
17 the committee. I think we got a lot of very  
18 helpful discussion and a lot of very helpful advice  
19 today, helping us navigate through some of the  
20 challenges here in clinical trial design for  
21 diabetic foot infections. So, my thanks to  
22 everyone for the discussions and advice today.

23           DR. LEGGETT: Great. So, 8:30 tomorrow.

24           [Whereupon, at 5:40 p.m., the proceedings  
25 were recessed, to resume on Wednesday, October 29,

1 2003 at 8:30 a.m.]

2 - - -