

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ADVISORY SUBCOMMITTEE  
OF THE  
ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE

8:05 a.m.

Thursday, October 30, 2003

The Ballrooms  
The Hilton Hotel  
620 Perry Parkway  
Gaithersburg, Maryland

## ATTENDEES

## ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE MEMBERS: (Voting)

STEVEN E. EBERT, PHARM.D.  
Department of Pharmacy  
Meriter Hospital  
202 South Park Street  
Madison, Wisconsin 53715

MARY GLODE, M.D.  
Professor of Pediatrics  
The Children's Hospital of Denver  
University of Colorado Health Sciences Center  
1056 East 19th Avenue (B158)  
Denver, Colorado 80218

## DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY COMMITTEE MEMBERS: (Voting)

ROSELYN EPPS, M.D.  
Chief, Division of Dermatology  
Children's National Medical Center

THOMAS TEN HAVE, PH.D.  
Department of Biostatistics and  
Clinical Epidemiology  
University of Pennsylvania School of Medicine

ROBERT STERN, M.D.  
Beth Israel Deaconess Medical Center

## SPECIAL GOVERNMENT EMPLOYEES-CONSULTANTS: (Voting)

ELIZABETH ANDREWS, M.D.  
Vice President  
RTI Health Solutions

PATRICIA CHESNEY, M.D., Meeting Chair  
Professor of Pediatrics  
University of Tennessee College of Medicine

DAVID DANFORD, M.D.  
Associate Professor of Pediatrics  
University of Nebraska Medical Center

## ATTENDEES (Continued)

SPECIAL GOVERNMENT EMPLOYEES-CONSULTANTS: (Voting)  
(Continued)

ROBERT FINK, M.D.  
Chairman, Department of Allergy and Pulmonary Medicine  
Children's National Medical Center

NORMAN FOST, M.D., M.P.H.  
University of Wisconsin Hospital

RICHARD GORMAN, M.D., FAAP  
Pediatrician  
Pediatric Partners  
Ellicott City, Maryland

VICTOR SANTANA, M.D.  
Associate Professor  
Department of Hematology/Oncology  
St. Jude's Children's Research Hospital

## FEDERAL EMPLOYEES: (Voting)

DON MATTISON, M.D.  
National Institute of Child Health and  
Human Development, NIH

CHARLES RABKIN, M.D.  
National Cancer Institute, NIH

LOIS TRAVIS, M.D.  
National Cancer Institute, NIH

BENJAMIN WILFOND, M.D.  
Bioethics Research Section  
National Institutes of Health

PHYLLIS WINGO, M.D.  
Centers for Disease Control and Prevention

## INTERNATIONAL GUEST: (Non-voting)

PATRICK SALMON, M.D.  
European Medicinal Evaluation Agency

## ATTENDEES (Continued)

## FOOD AND DRUG ADMINISTRATION STAFF:

SUSAN CUMMINS, M.D.  
BARBARA HILL, PH.D.  
LOIS LA GRENADE, M.D.  
DIANNE MURPHY, M.D.  
SHIRLEY MURPHY, M.D.  
BINDI NIKHAR, M.D.  
THOMAS PEREZ, R.PH., M.P.H., Executive Secretary  
MARILYN PITTS, PHARM.D.  
JONATHAN WILKIN, M.D.

## ALSO PRESENT:

DAVID J. MARGOLIS, M.D., PH.D.

## C O N T E N T S

TRACKING CANCER RISK AMONG CHILDREN  
 WITH ATOPIC DERMATITIS  
 WHO ARE TREATED WITH TOPICAL CALCINEURIN INHIBITORS

\* \* \*

AGENDA ITEM	PAGE
CALL TO ORDER AND INTRODUCTIONS By Dr. Joan Chesney	7
MEETING STATEMENT By Mr. Thomas Perez	11
OPENING COMMENTS By Dr. Dianne Murphy	13
By Dr. Jonathan Wilkin	14
REVIEW OF TOPICAL CALINEURIN INHIBITORS By Dr. Bindi Nikhar	14
TOPICAL IMMUNOSUPPRESSANTS (CALCINEURIN INHIBITORS) - ANIMAL TOXICITY By Dr. Barbara Hill	23
POST-MARKETING ADVERSE EVENT REPORTS By Dr. Marilyn Pitts	34
QUESTIONS TO THE PRESENTERS	41
STUDYING THE RISK OF CANCER WITH TOPICAL CALCINEURIN INHIBITOR USE IN CHILDREN: DESIGN ISSUES By Dr. Lois La Grenade	75
PRACTICAL AND METHODOLOGICAL ISSUES IN LONG-TERM FOLLOW-UP STUDIES By Dr. Elizabeth Andrews	96
THE ROLE OF CANCER REGISTRIES IN LONG-TERM FOLLOW-UP STUDIES By Dr. Phyllis Wingo	114
QUESTIONS TO THE PRESENTERS	126

## C O N T E N T S (Continued)

AGENDA ITEM	PAGE
OPEN PUBLIC HEARING PRESENTATION	
By Dr. David Margolis	144
By Dr. Patrick Salmon	149
DISCUSSION OF QUESTIONS	150

## P R O C E E D I N G S

(8:05 a.m.)

DR. CHESNEY: I think it's time to get started.

I wanted to welcome everybody back from yesterday and particularly welcome all of you who weren't with us yesterday. I think it was a very, very interesting session, and we look forward to adding to it today.

Just a couple of preliminary comments. I think we learned a lot about atopic eczema, but we also learned two other unique aspects which is that Dr. Wilkin taught us that lanthanos means hidden from view. In my mind, my brain went looking for laudanum. So that's why I made that bizarre comment, and it took Dr. Fost's brain about 10 minutes to find the right file. As you get older, you discover that brains work strangely. But anyway, thank you for that comment, Dr. Wilkin.

(Laughter.)

DR. CHESNEY: Also he reminded us of the word "elegant," that we should always think to design studies elegantly as mathematical solutions are derived which is most efficiently and neatly.

I also wanted to take time this morning to thank the many people at the FDA who prepared the materials for us so elegantly. It's everything that you wanted and not much more, and we really appreciate that. So I hope I

1 have everybody's name here appropriately. In the Division  
2 of Dermatologic and Dental Drug Products, it's Luke Markham  
3 and Lisa Mathis who were the medical team leaders, and Mary  
4 Jean Causemafamaro, who is the chief of project managers  
5 and Margo Owens, who's a project manager. And then in the  
6 Division of Drug Risk Evaluation, Mark Avigan, who's the  
7 acting Director of the Division of Drug Risk Evaluation.  
8 Then, of course, in the Office of Counter-Terrorism and  
9 Pediatric Drug Development, which is pronounced OCTAP.

10 DR. DIANNE MURPHY: OCTAP.

11 DR. CHESNEY: Thank you.

12 (Laughter.)

13 DR. CHESNEY: Of course, Dr. Susan Cummins,  
14 who's a medical team leader, and Rosemary Addy who is the  
15 project manager. So on behalf of the committee, we really  
16 thank you very much for preparing everything so efficiently  
17 for us.

18 Our first speaker for today is Dr. Nikhar. We  
19 heard from her yesterday. She's a pediatrician and a  
20 medical officer with the Division of Dermatologic and  
21 Dental Drug Products. Today she's going to briefly review  
22 the topical calcineurin immunosuppressant inhibitors.

23 Thank you. My colleagues remind me that our  
24 Executive Secretary, who's trying to get the computer to  
25 work this morning, needs to read the conflict of interest,



1 but before that, I guess we need to go around the table and  
2 have everybody introduce themselves. So forgive me for  
3 forgetting that. Dr. Murphy, do you want to start?

4 DR. DIANNE MURPHY: Dianne Murphy, Office  
5 Director for the Office of Pediatric Therapeutics and  
6 OCTAP.

7 DR. WILKIN: Jonathan Wilkin, Director of the  
8 Division of Dermatologic and Dental Drug Products.

9 DR. LA GRENADA: Lois La Grenade,  
10 epidemiologist, Office of Drug Safety.

11 DR. CUMMINS: Susan Cummins, Division of  
12 Pediatric Drug Development.

13 DR. SANTANA: Good morning. Victor Santana,  
14 pediatric oncologist from St. Jude's Children's Research  
15 Hospital in Memphis, Tennessee.

16 DR. FOST: Norm Fost, Professor of Pediatrics  
17 and director of the bioethics program at the University of  
18 Wisconsin.

19 DR. GLODE: I'm Mimi Glode, Professor of  
20 Pediatrics, Infectious Disease, Children's Hospital and  
21 University of Colorado School of Medicine in Denver.

22 DR. DANFORD: David Danford, Professor of  
23 Pediatrics, Section of Cardiology joint division, Creighton  
24 University and the University of Nebraska Medical Center in  
25 Omaha.

1 DR. FINK: Bob Fink, Director of Pediatric  
2 Pulmonology at Children's Medical Center in Dayton, Ohio.

3 DR. ANDREWS: Elizabeth Andrews,  
4 pharmacoepidemiologist at Research Triangle Institute in  
5 North Carolina.

6 DR. TEN HAVE: Tom Ten Have, biostatistics and  
7 epidemiology, University of Pennsylvania.

8 DR. CHESNEY: Joan Chesney, pediatric  
9 infectious diseases at the University of Tennessee Health  
10 Science Center in Memphis and St. Jude Children's Research  
11 Hospital.

12 MR. PEREZ: Tom Perez, Executive Secretary to  
13 this meeting.

14 DR. EBERT: Steve Ebert, Professor of Pharmacy  
15 and infectious disease pharmacist, Meriter Hospital,  
16 Madison, Wisconsin.

17 DR. GORMAN: Rich Gorman, engaged in private  
18 practice of general pediatrics in Ellicott City, Maryland.

19 DR. EPPS: Roselyn Epps, Chief of the Division  
20 of Dermatology, Children's National Medical Center,  
21 Washington, D.C.

22 DR. STERN: Rob Stern, Professor of  
23 Dermatology, Harvard Medical School, and Chief at the Beth  
24 Israel Deaconess in Boston.

25 DR. MATTISON: Don Mattison, NICHD.

1 DR. WILFOND: Ben Wilfond, peds pulmonary,  
2 National Human Genome Research Institute in the Department  
3 of Clinical Bioethics at the NIH.

4 DR. RABKIN: Charles Rabkin, medical  
5 epidemiologist from the Division of Cancer Epidemiology and  
6 Genetics, National Cancer Institute.

7 DR. TRAVIS: Lois Travis, epidemiologist from  
8 the Division of Cancer Epidemiology and Genetics, National  
9 Cancer Institute.

10 DR. CHESNEY: Thank you.

11 Now Tom Perez will read the conflict of  
12 interest statement.

13 MR. PEREZ: Good morning.

14 The following announcement addresses  
15 the issue of conflict of interest with respect to this  
16 meeting and is made a part of the record to preclude even  
17 the appearance of such at this meeting.

18 The subcommittee will discuss how to approach  
19 long-term monitoring for cancer occurrence among patients  
20 treated for atopic dermatitis with topical  
21 immunosuppressants.

22 The topic of today's meeting is an issue of  
23 broad applicability. Unlike issues before a committee in  
24 which a particular product is discussed, issues of broader  
25 applicability involve many industrial sponsors and academic

1 institutions.

2 All special government employees have been  
3 screened for their financial interests as they may apply to  
4 the general topics at hand. Because there have been  
5 reported interests in pharmaceutical companies, the Food  
6 and Drug Administration has granted a general matters  
7 waiver to Dr. Elizabeth Andrews, which permits her to  
8 participate in today's discussions.

9 A copy of the waiver statement may be obtained  
10 by submitting a written request to the agency's Freedom of  
11 Information Office, room 12A-30 of the Parklawn Building.

12 Because general topics impact so many  
13 institutions, it is not prudent to recite all potential  
14 conflicts of interest as they apply to each member and  
15 consultant. FDA acknowledges that there may be potential  
16 conflicts of interest, but because of the general nature of  
17 the discussion before the committee, these potential  
18 conflicts are mitigated.

19 In the event that the discussions involve any  
20 other products or firms not already on the agenda for which  
21 an FDA participant has a financial interest, the  
22 participants are aware of the need to exclude themselves  
23 from such involvement and their exclusion will be noted for  
24 the record.

25 With respect to all other participants, we ask

1 in the interest of fairness that they address any current  
2 or previous financial involvement with any firm whose  
3 products they may wish to comment upon.

4 Thank you.

5 DR. CHESNEY: Thank you. Dr. Wingo has just  
6 joined us. I wondered if you'd mind introducing yourself  
7 for the record, please.

8 DR. WINGO: Yes. I'm Phyllis Wingo and I'm  
9 from the Centers for Disease Control in the Division of  
10 Cancer Prevention and Control there.

11 DR. CHESNEY: Thank you. I would like to  
12 introduce a visitor. Dr. Patrick Salmon is here from the  
13 European Medicinal Evaluation Agency. I wondered if he  
14 would just stand for a moment so everybody could see who  
15 you are. Thank you.

16 Now, my apologies again, but we need to have  
17 comments from Dr. Murphy and Dr. Wilkin as to our mission  
18 today.

19 DR. DIANNE MURPHY: Dr. Wilkin tells me he has  
20 no new vocabulary word for us today.

21 (Laughter.)

22 DR. DIANNE MURPHY: So I simply wanted to  
23 welcome everybody again. It's one of our glorious autumn  
24 days today that we were missing out on yesterday.

25 I'll just note, in contrast to yesterday where

1 we had a molecular entity of which we had decades of  
2 experience, we have today many of the same issues in a  
3 molecular moiety of which we have really much more limited  
4 experience, though we do have a clearly defined signal that  
5 is already noted in the label. I'm not going to say much  
6 more. I think the presenters will be able to outline for  
7 us what the question is that we're bringing to the  
8 committee today in reference to our ability to again define  
9 the best risk management approach to the use of these  
10 products.

11 Thank you.

12 DR. CHESNEY: Dr. Wilkin, do you have any  
13 introductory comments?

14 DR. WILKIN: Again, I would welcome the  
15 committee. Yesterday was a very fruitful day for those of  
16 us at FDA, a lot of constructive, very helpful insights,  
17 things that we hadn't thought of before, things we'll now  
18 be looking for, and we're looking for that again today.

19 DR. CHESNEY: Thank you.

20 Dr. Nikhar, my apologies for your preliminary  
21 introduction, but we're all very, very interested in  
22 hearing about these topical immunosuppressants.

23 DR. NIKHAR: Good morning. My talk today  
24 covers an overview of topical immunosuppressants. These  
25 were discussed in brief yesterday.

1           Starting with a brief introduction, this is the  
2 newest pharmacological class for atopic dermatitis. These  
3 drugs were introduced in this decade. They have a direct  
4 immunosuppressive action in diseases with an immunological  
5 basis, and there are two currently FDA-approved products:  
6 tacrolimus, FK506, the trade name being Protopic; and  
7 pimecrolimus, SDZ ASM 981, the trade name being Elidel.

8           Going on to background, tacrolimus ointment was  
9 approved in December of 2000 and there are two strengths  
10 available. The .03 percent ointment was approved for  
11 children 2 to 15 years of age, while the .1 percent  
12 ointment was approved for adults. The indication in both  
13 age groups is short and intermittent long-term therapy of  
14 patients with moderate to severe atopic dermatitis.

15           Systemic tacrolimus, or Prograf, was first  
16 introduced for prevention of allograft rejection and is now  
17 used in kidney, liver, and heart transplantation.

18           Elidel cream 1 percent was approved in December  
19 of 2001. It is indicated for patients 2 years of age and  
20 older for short and intermittent long-term therapy in the  
21 treatment of mild to moderate atopic dermatitis.

22           Both drugs were not approved for use in  
23 children less than 2 years of age, and systemic absorption  
24 can take place in both adult and pediatric age groups from  
25 the topical application of both drugs.

1                   And currently the effects of topical  
2 immunosuppressants on the developing immune system are  
3 unknown.

4                   Now moving on to review some of the  
5 pharmacokinetic studies done for both drugs. Starting with  
6 tacrolimus, here studies were done in both children and  
7 adults. Pooled results from two PK studies in 49 adult  
8 moderate to severe atopic dermatitis patients indicate that  
9 tacrolimus is absorbed after the topical application of .1  
10 percent Protopic ointment. Peak tacrolimus levels ranged  
11 from undetectable to 20 nanograms per ml after single or  
12 multiple doses of .1 percent Protopic ointment, and 45 out  
13 of the 49 patients had peak concentrations less than 5  
14 nanograms per ml.

15                   A PK study of .1 percent Protopic ointment in  
16 20 pediatric patients, aged 6 to 13 years, showed  
17 tacrolimus concentrations below 1.6 nanograms per ml in all  
18 patients. The absolute bioavailability of topical  
19 tacrolimus is unknown. Using IV historical data for  
20 comparison, that is, comparing it to Prograf, the  
21 bioavailability of tacrolimus from Protopic in atopic  
22 dermatitis patients is less than .5 percent. And the  
23 lowest tacrolimus blood level at which systemic effects can  
24 be observed is not known.

25                   Moving on to pimecrolimus, here too studies



1 were done in both children and adults. In adults treated  
2 for atopic dermatitis with 13 to 62 percent body surface  
3 area involvement for periods up to a year, although most  
4 patients had blood concentrations at or below the limit of  
5 computation, detectable pimecrolimus blood concentrations  
6 were less than 2 nanograms per ml. In 26 pediatric  
7 patients between 2 to 14 years of age with atopic  
8 dermatitis and 20 to 69 percent body surface area  
9 involvement who had twice-a-day application for 3 weeks,  
10 blood concentrations of pimecrolimus were less than 3  
11 nanograms per ml.

12                   What is significant is that 20 out of the 23  
13 children investigated had at least one detectable blood  
14 level as compared to adults with 13 out of the 25  
15 investigated had a detectable blood level over a 3-week  
16 period. In 22 pediatric patients, aged 3 to 23 months,  
17 with 10 to 92 percent body surface area involvement, a  
18 higher proportion of blood levels ranging from .1 to 2.6  
19 nanograms per ml was seen. The inference drawn was that  
20 this increase may be due to larger surface area to body  
21 mass ratio seen in younger subjects.

22                   A higher incidence of upper respiratory  
23 symptoms/infections was also seen in the 3 to 23 months age  
24 group relative to the older age group in these PK studies.  
25       So a causal relationship between these findings and Elidel

1 use cannot be ruled out.

2                   Although all the factors that lead to higher  
3 systemic levels are not known, these are some of the  
4 factors that may contribute: a higher body surface area,  
5 younger age groups, especially the 3- to 23-month age group  
6 as seen with pimecrolimus, and reduced skin barrier  
7 function, for example, with Netherton's syndrome.  
8 Netherton's syndrome is an autosomal recessive condition  
9 characterized by generalized erythroderma, extremely high  
10 IgE levels and atopic diatheses, hair shaft abnormalities,  
11 and reduced skin barrier.

12                   Now moving on to some of the pediatric clinical  
13 studies that were also done prior to drug approval. The  
14 use of Protopic .03 percent ointment was studied in  
15 children 2 to 15 years of age by conducting two phase III  
16 studies. In these studies, varicella zoster and  
17 vesiculobullous rash were seen more frequently in patients  
18 treated with Protopic ointment .03 percent compared to the  
19 vehicle.

20                   Elidel cream .1 percent was studied in two age  
21 groups, the 3- to 23-month age group and the 2 to 17 years  
22 age group.

23                   In the 2 to 17 years age group,  
24 nasopharyngitis, influenza, viral infections, pyrexia,  
25 cough, headache, and eczema herpeticum were increased over

1 vehicle in the 1-year safety study.

2           The 3- to 23-month age group had a short-term  
3 6-week study followed by a 20-week open-label study as well  
4 as a 1-year safety study. In the short-term study,  
5 pyrexia, upper respiratory infection, nasopharyngitis,  
6 gastroenteritis, otitis media, and diarrhea were seen more  
7 frequently compared to compared to vehicle. The adverse  
8 event incidence for those in the open-label phase of the  
9 study who switched over to Elidel cream from vehicle  
10 approached the incidence of those patients who remained on  
11 the cream.

12           In the 6-month infant safety study, adverse  
13 events occurring more frequently in the Elidel cream group  
14 compared to vehicle included pyrexia, upper respiratory  
15 tract infection, cough, vomiting, hypersensitivity,  
16 rhinitis, viral rash, rhinorrhea, and wheezing.

17           So the indication for use for both drugs is  
18 second-line therapy in the treatment of atopic dermatitis.

19 Both Protopic and Elidel are indicated for patients in  
20 whom the use of alternative, conventional therapies are  
21 deemed inadvisable because of potential risks or in the  
22 treatment of patients who are not adequately responsive to  
23 or are intolerant of alternative conventional therapies.

24           These are the proposed mechanisms of action for  
25 both drugs. Both tacrolimus and pimecrolimus inhibit T

1 cell activation by binding to the same cellular receptor,  
2 the FK-binding protein, or macrophilin-12. The tacrolimus  
3 or pimecrolimus FK-binding protein complex further binds to  
4 calcineurin which is an enzyme vital for early activation  
5 of both T helper cell types 1 and 2.

6           The following are the adverse effects of  
7 topical immunosuppressants. Local effects commonly seen  
8 are: burning, pruritus, erythema, irritation, edema, and  
9 urticaria.

10           These are some of the systemic effects:  
11 pyrexia; upper and lower respiratory tract infection;  
12 nasopharyngitis; viral skin rashes, for example, molluscum  
13 contagiosum, herpes simplex and zoster, eczema herpeticum;  
14 influenza; and further, otitis media; gastroenteritis;  
15 vomiting; diarrhea; streptococcal pharyngitis and staph  
16 infection; and skin infection not otherwise specified.  
17 Now, lymphadenopathy has been seen with both drugs, and  
18 although the etiology is reactive in most cases, in the  
19 absence of a clear etiology or in the presence of acute  
20 infectious mononucleosis, discontinuation is recommended  
21 and close monitoring of such patients is then required.

22           The advisory committee has copies of both  
23 labels and these give a further breakdown of adverse events  
24 comparing active treatment to vehicle in different age  
25 groups.

1                   I would like to mention the adverse effects of  
2 Prograf that are in relevance to the adverse effects of  
3 this class of drugs. Patients receiving Prograf are at an  
4 increased risk of developing lymphomas and other  
5 malignancies particularly of the skin. The risk appears to  
6 be related to the intensity and duration of  
7 immunosuppression. A lymphoproliferative disorder related  
8 to Epstein-Barr virus infection has been reported in  
9 immunosuppressed patients, and the risk of this  
10 lymphoproliferative disorder appears greatest in young  
11 children who are at risk for primary Epstein-Barr virus  
12 infection while immunosuppressed.

13                   Now moving on to the potential long-term  
14 adverse effects of topical immunosuppressants. Animal  
15 studies have shown an increased incidence of malignancies  
16 with both topical tacrolimus and pimecrolimus. Lymphomas  
17 were seen with both pimecrolimus and tacrolimus.  
18 Follicular cell adenomas were seen with pimecrolimus, and  
19 skin tumors with concurrent UV radiation exposure were seen  
20 with both drugs. These will be mentioned in further detail  
21 by Dr. Hill in the next presentation.

22                   So since the systemic use of calcineurin  
23 inhibitors is associated with the formation of lymphoma and  
24 skin malignancies, low systemic exposure from topical  
25 calcineurin inhibitors over a course of time leading to a

1 cumulative dose effect may lead to melanomas, non-melanoma  
2 skin cancers, Hodgkin's and non-Hodgkin's lymphomas.

3 In conclusion then, the concerns that we have  
4 about the long-term side effects of these drugs are as  
5 follows. Children from the age of 2 years and upwards with  
6 off-label use expected in even younger children will be  
7 using these medications on a short or intermittent long-  
8 term basis.

9 About one-third of children with moderate to  
10 severe atopic dermatitis may continue to use these drugs  
11 into teenage and adult years, thereby having a long  
12 duration of exposure.

13 Currently, we do not have long-term safety data  
14 on either tacrolimus or pimecrolimus, and so post-marketing  
15 evaluation of topical immunosuppressants is needed to  
16 evaluate this potential risk. And means of setting up  
17 these prospective studies need to be discussed.

18 And that brings me to the end. Thank you.

19 DR. CHESNEY: Thank you very much. We'll have  
20 time for questions and answers for the presenters after  
21 we've heard these three presentations.

22 As an editorial comment, I realize now the only  
23 children I've seen with atopic dermatitis who have been on  
24 these immunosuppressants have been under the age of 2  
25 years, which just emphasizes the point you made, that they

1 will be used whether they're approved or not in that age  
2 group.

3                   Our next speaker is Dr. Barbara Hill. She's a  
4 pharmacology/toxicology reviewer with the Division of  
5 Dermatologic and Dental Drug Products and was the primary  
6 reviewer for the topical immunosuppressants being discussed  
7 today. In addition to her doctorate in pharmacology and  
8 toxicology, she completed a post-doctoral fellowship at the  
9 National Cancer Institute of the NIH. Dr. Hill will review  
10 the animal toxicology data for the topical  
11 immunosuppressants.

12                   DR. HILL: Good morning. My name is Barbara  
13 Hill, and as was mentioned, I'm a pharmacology/toxicology  
14 reviewer in the Division of Dermatologic and Dental Drug  
15 Products.

16                   In today's talk, I'm going to compare the  
17 animal toxicology data available for two topical  
18 immunosuppressants known as calcineurin inhibitors that  
19 have recently been approved for the topical treatment of  
20 atopic dermatitis. As previously mentioned, these two  
21 compounds are Protopic ointment -- the active ingredient in  
22 this is tacrolimus which was approved in December of 2000  
23 -- and Elidel cream. The active moiety is pimecrolimus,  
24 which was approved in December of 2001.

25                   I will compare the two structures of these

1 chemical moieties, discuss the general toxicology  
2 associated with these compounds, and briefly summarize the  
3 genetic toxicology, photoco-carcinogenicity and  
4 carcinogenicity studies conducted for both drug products,  
5 and then conclude with an overall summary of the available  
6 animal toxicology data.

7           On this next slide are the structures for  
8 tacrolimus and pimecrolimus. Even though their chemical  
9 formulas are different, as you can see on this slide, their  
10 overall chemical structure is very similar, which is not  
11 surprising since they both bind to the same protein and  
12 inhibit calcineurin.

13           The potential immune target organs of toxicity  
14 that have been identified in chronic animal toxicology  
15 studies include thymus, lymph nodes, and spleen, and so  
16 based on this information, the nonclinical toxicology  
17 results indicate that both compounds can be categorized as  
18 classic immunosuppressive agents.

19           The results of the genetic tox studies  
20 conducted for both compounds is summarized on this next  
21 slide. For both compounds, an appropriate battery of in  
22 vitro and in vivo genotoxicity tests were conducted, and  
23 the results of those studies showed that they were both  
24 non-genotoxic agents.

25           However, it's important to note that not all



1 carcinogens are direct acting genotoxic, meaning DNA-  
2 reactive agents. There's a second class of compounds  
3 referred to as indirect acting carcinogens, which do not  
4 interact directly with DNA and the carcinogenesis is based  
5 on another mechanisms. A couple of examples that fall into  
6 this category are hormones and immunosuppressive agents.

7           In the next few slides, I will summarize the  
8 results of photoco-carcinogenicity studies conducted for  
9 both drug products. The objective of this study is to  
10 determine in a hairless mouse model if dermal test article  
11 application combined with simulated sunlight exposure can  
12 reduce the time to formation of skin papillomas compared to  
13 simulated sunlight exposure alone. A positive effect in  
14 this assay is referred to as an enhancement of the UV skin  
15 photo-carcinogenic effect, which is defined as shortening  
16 of the time to skin tumor formation.

17           The results for both compounds are summarized  
18 on this slide. For tacrolimus, it was demonstrated that  
19 for the vehicle ointment alone, it enhanced the UV photo-  
20 carcinogenesis in this assay and that tacrolimus ointment  
21 had an additional small effect beyond what was noted for  
22 the vehicle ointment. For pimecrolimus, it was  
23 demonstrated that for the vehicle cream alone, it showed an  
24 enhanced UV photo-carcinogenesis in this assay and that  
25 pimecrolimus cream had no additional effect beyond what was

1 seen for the vehicle cream alone.

2           The results of the findings from this study  
3 were that a precaution was included in the label of each  
4 drug product advising patients to minimize or avoid  
5 exposure to natural or artificial sunlight while using the  
6 drug product.

7           This next slide summarizes the carcinogenicity  
8 studies that were conducted for both drug products. For  
9 tacrolimus, an oral rat carcinogenicity study, an oral rat  
10 carcinogenicity study, and a dermal mouse carcinogenicity  
11 study conducted with the final marketed formulation were  
12 conducted.

13           It's important to note that for our division,  
14 we recommend that the dermal studies be conducted with the  
15 final marketed formulation because it's important to  
16 understand the potential carcinogenic effect not only with  
17 the active ingredient, but with the combination of  
18 excipients used in the product as well.

19           For pimecrolimus, an oral rat carcinogenicity  
20 study, an oral mouse, carcinogenicity study, and a dermal  
21 rat carcinogenicity study, once again with the marketed  
22 formulation, were conducted. In addition, a series of  
23 high-dose studies were conducted in the mouse where the  
24 active ingredient pimecrolimus was dissolved in ethanol and  
25 applied dermally to the mouse for a duration of 13 weeks.

1                   A couple of definitions before I go on to show  
2 you the results of these studies. The first is that a  
3 treatment-related tumor is identified as a statistically  
4 significant increase in the incidence of the tumor in  
5 treated animals compared to vehicle control animals. The  
6 treatment-related tumors that are expressed in both labels  
7 are expressed as a multiple of human exposure based on AUC  
8 comparisons to the maximum recommended human dose. In  
9 other words, the multiples of human exposure are based on  
10 the systemic exposure obtained in animals compared to that  
11 obtained in the clinical studies under conditions of  
12 maximal use.

13                   This next slide summarizes results of oral  
14 carcinogenicity studies conducted for both drug products,  
15 particularly focusing on any lymphoma signal that was  
16 noted. The first two rows summarize the results of the  
17 oral rat and oral mouse carcinogenicity studies conducted  
18 with the active ingredient in Protopic ointment.

19                   In the first row in the oral rat study at a  
20 dose of 3 milligrams per kilogram per day, which is  
21 equivalent to 9 times the maximum recommended human dose,  
22 the results of this study were negative, meaning no  
23 lymphoma signal was noted.

24                   In the second row in the oral mouse study at a  
25 dose of 5 milligrams per kilogram per day, which is

1 equivalent to 3 times the maximum recommended human dose,  
2 the results of this study were also negative.

3           But it's important to note that it was  
4 determined that for both these studies an adequate systemic  
5 exposure was obtained after oral administration. Both  
6 these studies were conducted by administering the active  
7 moiety in feed, and there was a limitation as to how high  
8 the dose exposure you could get. You'll see a comparison  
9 of that in the next slide when I show the results of the  
10 dermal studies conducted for Protopic.

11           The third and fourth row of this table  
12 summarize the results of the oral mouse carcinogenicity  
13 studies conducted with the active ingredient in Elidel  
14 cream. At a dose of 45 milligrams per kilogram per day,  
15 which is equivalent to 258 to 340 times the maximum  
16 recommended human dose, a lymphoma signal was noted. And a  
17 dose of 15 milligrams per kilogram per day was identified  
18 as a NOEL dose. This is the dose at which no effect level  
19 was determined for the formation of lymphoma. This was  
20 equivalent to 60 to 133 times the maximum recommended dose.

21           The next slide summarizes the results of the  
22 dermal carcinogenicity studies, once again focusing on any  
23 lymphoma signals seen. The first two rows summarizes the  
24 results of the dermal mouse carcinogenicity studies  
25 conducted with Protopic ointment. This was conducted, once

1 again, with the final marketed formulation, and at a dose  
2 of 3.5 milligrams per kilogram per day, equivalent to 26  
3 times the maximum recommended human dose, a lymphoma signal  
4 was noted. And the NOEL dose, at which no lymphoma was  
5 noted, was identified as 1.1 milligram per kilogram per  
6 day, which is equivalent to 10 times the maximum  
7 recommended human dose.

8           If we go back to the previous slide, you can  
9 see that in oral studies conducted to support Protopic, in  
10 the mouse study the highest systemic exposure they could  
11 obtain was 3 times the maximum recommended human dose. So  
12 it's not surprising that no lymphoma signal was noted in  
13 this, whereas we did see a lymphoma signal in the dermal  
14 mouse carcinogenicity studies conducted to support Protopic  
15 ointment.

16           The third row of this table summarizes the  
17 results from the dermal rat carcinogenicity study. At the  
18 highest dose possible, 10 milligrams per kilogram per day,  
19 equivalent to 3.3 times the maximum recommended human dose,  
20 the results of this study were negative, meaning no  
21 lymphoma signal was seen. But this dose was once again the  
22 highest that could be obtained, and it was limited based on  
23 the highest amount that could be dissolved in the  
24 formulation. So we weren't able to get to a high enough  
25 dose to potentially see a lymphoma signal.

1                   The last three rows of this table summarize the  
2 results of the special high-dose dermal mouse studies.  
3 These studies were, once again, conducted with pimecrolimus  
4 dissolved in ethanol and applied dermally to the mouse for  
5 a duration of 13 weeks. At a dose of 25 milligrams per  
6 kilogram per day, which is equivalent to 47 times the  
7 maximum recommended human dose, a lymphoma signal was  
8 noted. The NOEL, where no lymphoma was noted, was  
9 identified as 10 milligrams per kilogram per day, which is  
10 17 times the maximum recommended human dose. At a higher  
11 dose of 100 milligrams per kilogram per day, which is  
12 equivalent to 179 to 217 times the maximum recommended  
13 human dose, lymphoma was noted, but at a shorter duration  
14 of treatment of 8 weeks.

15                   So, in summary, the results of this slide show  
16 that the lymphoma signal is dependent on dose and duration.  
17 At a higher dose, you see it at a shorter duration of  
18 time, and at a lower dose, you see the signal at a higher  
19 duration of time. The typical duration of treatment for  
20 carcinogenicity studies is 2 years.

21                   This next slide summarizes other tumor signals  
22 seen in carcinogenicity studies conducted to support Elidel  
23 cream. The first four rows of this table summarize results  
24 from the rat oral carcinogenicity studies. At a dose of 10  
25 milligrams per kilogram per day, which is equivalent to 40

1 times the maximum recommended human dose, benign thymoma  
2 was noted in male and female rats. At a dose of 5  
3 milligrams per kilogram per day, which is equivalent to 32  
4 times the maximum recommended human dose, benign thymoma  
5 was also noted in male rats.

6 The NOEL dose in female rats was identified as  
7 5 milligrams per kilogram per day in this study, which was  
8 equivalent to 21 times the maximum recommended human dose,  
9 and the NOEL dose in male rats identified as 1 milligram  
10 per kilogram per day, which is 1.1 times the maximum  
11 recommended human dose.

12 The last row of this table summarizes the  
13 results from a dermal rat carcinogenicity study conducted  
14 with Elidel cream, the final marketed formulation, and at  
15 the lowest dose tested of 2 milligrams per kilogram per  
16 day, which is equivalent to 1.5 times the maximum  
17 recommended human dose, follicular cell adenoma of the  
18 thyroid was noted.

19 On the last few slides of this presentation I  
20 will provide an overall summary of the animal toxicology  
21 data available for both drug products.

22 First, Protopic ointment and Elidel cream are  
23 topical immunosuppressants based on the study results noted  
24 in general toxicology studies.

25 Neither tacrolimus nor pimecrolimus exhibited a

1 genotoxic signal.

2           Both Protopic ointment and Elidel cream contain  
3 cautionary wording in the labels to avoid sunlight exposure  
4 based on the results of the photoco-carcinogenicity study.

5           A lymphoma signal was evident in a dermal mouse  
6 carcinogenicity study conducted with tacrolimus ointment.

7 A lymphoma signal was evident in an oral mouse  
8 carcinogenicity study conducted with pimecrolimus. A  
9 lymphoma signal was evident in the 13-week dermal mouse  
10 studies conducted with pimecrolimus dissolved in ethanol.

11           The estimates of human systemic exposure data  
12 are highly variable and are dependent on the maximum body  
13 surface area that is treated in an atopic dermatitis  
14 patient. In other words, if you have an atopic dermatitis  
15 patient with a larger body surface area involvement, you  
16 would expect to treat that patient with a larger amount of  
17 the topical immunosuppressant and potentially have a  
18 greater systemic exposure.

19           Also, it's important to note that systemic  
20 exposure is also dependent on the severity of the disease  
21 and the disruption of the epidermal barrier. If you have a  
22 disruption of the epidermal barrier, you would anticipate a  
23 greater systemic exposure.

24           It's also important to note that the biologic  
25 plausibility of lymphoma formation in local lymph nodes



1 cannot be ruled out at this time. It is acknowledged that  
2 demonstrating this effect could be technically challenging,  
3 but it is possible that you could have a lower systemic  
4 exposure but a higher local exposure to lymph nodes, and  
5 that may also increase the risk for lymphoma formation.

6 Other tumor signals noted in the  
7 carcinogenicity studies include a benign thymoma noted in  
8 the oral rat carcinogenicity study conducted with  
9 pimecrolimus and follicular cell adenoma of the thyroid  
10 noted in the dermal rat carcinogenicity study conducted  
11 with pimecrolimus cream.

12 So, in conclusion, based on the carcinogenic  
13 signals noted in the nonclinical studies, registry studies  
14 were recommended as a phase IV commitment for both Protopic  
15 ointment and Elidel cream to try to determine the potential  
16 cancer risk associated with clinical use of these products.

17 Thank you for your attention.

18 DR. CHESNEY: Thank you very much, Dr. Hill.  
19 You covered an incredible amount of material very  
20 elegantly, and we look forward to asking you questions.

21 Our last speaker for this session is Dr.  
22 Marilyn Pitts. She is a pharmacist and safety evaluator  
23 with the Office of Drug Safety of the FDA. Dr. Pitts will  
24 present the post-marketing adverse event reports for these  
25 products.

1 DR. PITTS: Good morning. Today I will  
2 describe the post-marketing adverse event reports of the  
3 topical calcineurin inhibitors. I will provide background  
4 information including drug use data, as well as describe  
5 our methods of identifying the adverse event reports. I  
6 will separately describe the AERS adverse event profile  
7 associated with pimecrolimus and topical tacrolimus. I  
8 will provide a description of adverse event reports found  
9 in the pediatric population and the cases with the most  
10 serious outcomes, death and hospitalization, and the  
11 malignancy and nonmalignancy cases, as well as the  
12 pediatric infection cases.

13 There are two topical calcineurin inhibitors  
14 available to the U.S. market: pimecrolimus marketed as  
15 Elidel and topical tacrolimus marketed as Protopic.  
16 Pimecrolimus was approved December 2001 for patients 2  
17 years and older, and topical tacrolimus was approved  
18 December 2000 for patients 2 years and older. However,  
19 only the 0.03 percent preparation of topical tacrolimus is  
20 approved for children between the ages of 2 and 15 years.

21 Both pimecrolimus and topical tacrolimus are  
22 approved as second-line agents only. Pimecrolimus is for  
23 mild to moderate atopic dermatitis, and topical tacrolimus  
24 is for moderate to severe atopic dermatitis. Again, both  
25 agents are not approved for children of less than 2 years.

1                   We obtained prescription drug use data and drug  
2 appearance data from IMS Health. Prescription drug use  
3 data measures the number of prescriptions dispensed for  
4 each agent and is different from drug appearance data.  
5 Drug appearance data is determined by patient visits to  
6 office-based practitioners in the continental U.S. Since  
7 approval, there have been more than 3.2 million  
8 prescriptions of pimecrolimus and more than 2 million  
9 prescriptions of topical tacrolimus dispensed. Based on  
10 drug appearance data, we see that more than 50 percent of  
11 all pimecrolimus is used in children between the ages of  
12 newborn and 2 years. Similarly, appearance data  
13 demonstrates that a significant amount of topical  
14 tacrolimus is used in children with almost 10 percent being  
15 used in children between the ages of 2 and younger.

16                   To identify possible adverse events associated  
17 with the topical calcineurin inhibitors, we queried the  
18 AERS database. The AERS database is an electronic database  
19 that originated in 1969 as the Spontaneous Reporting  
20 System, or the SRS system. In 1997, it was replaced by  
21 AERS. Approximately 3 million adverse event reports for  
22 drugs are located in the AERS database.

23                   We separately searched the AERS database for  
24 all reports of pimecrolimus used by using pimecrolimus as a  
25 suspect agent. In addition, we separately searched for

1 topical tacrolimus by searching for topical tacrolimus only  
2 as a suspect agent. We will review each of these searches  
3 separately.

4           The following information concerning topical  
5 pimecrolimus represents our post-marketing experience since  
6 approval of the product in 2001.

7           For pimecrolimus, we found 79 reports. There  
8 were 64 reports of U.S. origin and 15 reports of foreign  
9 origin. There were 53 females and 23 males. Pediatric  
10 cases amounted to almost one-half of the pimecrolimus  
11 cases. The majority of the adverse events reported for all  
12 ages are found in the product labeling and 90 percent of  
13 the adverse events reported involved the skin. We were  
14 particularly interested in the cases with the most serious  
15 outcomes, and for pimecrolimus that represented  
16 hospitalization and the cases of tumor growth and then the  
17 pediatric cases.

18           There were 32 pediatric adverse events  
19 associated with pimecrolimus. The majority of the patients  
20 received pimecrolimus for atopic or allergic dermatitis.  
21 As well, the majority of the cases were of U.S. origin.  
22 The cases were evenly split between males and females. The  
23 patients ranged in age from 2 months to 15 years, and there  
24 was a median age of 2 years. However, there were 14  
25 patients that were less than 2 years old.

1           The adverse events seen in this population were  
2 primarily of skin reactions. However, there were 2 cases  
3 of nonmalignant tumors and 7 cases of infections.

4           As well, there were 4 hospitalization cases.  
5 Patients that were hospitalized were all less than 2 years  
6 of age. They were 4 months old, 6 months old, 9 months,  
7 and 18 months old.

8           An example of a hospitalization case involved  
9 an 18-month-old child who developed a Staph. aureus  
10 positive adenitis and was admitted to the hospital and  
11 treated with drainage, irrigation, and intravenous  
12 antibiotics. Unfortunately, the report did not tell us the  
13 time of onset of the adenitis relative to the pimecrolimus  
14 use.

15           A second case was of a child who was 9 months  
16 old who was admitted to the hospital and treated for  
17 osteomyelitis, osteitis, and a soft tissue infection.  
18 However, the soft tissue infection occurred 20 days after  
19 starting the pimecrolimus.

20           There were 7 cases of infections associated  
21 with pimecrolimus use. 4 of the cases were U.S. and 3 were  
22 foreign. The children in this subpopulation ranged from 9  
23 months to 15 years with a median age of 18 months. The two  
24 hospitalizations were previously reviewed. The infections  
25 seen or reported included abscess formation, bronchitis,

1 eczema herpeticum, and keratitis, scarlatina, soft tissue  
2 infection, Staph. aureus positive adenitis, and strep  
3 throat.

4           There were 2 cases of nonmalignant tumor growth  
5 in the pediatric population. One case was of a 5-year-old  
6 who developed a granulomatous lymphadenitis 49 days after  
7 starting pimecrolimus. The second case was of a child of  
8 an unknown age who developed a facial tumor after starting  
9 pimecrolimus.

10           The following information concerning topical  
11 tacrolimus represents our post-marketing adverse event  
12 experience since approval of the product in December 2000.

13           There were 183 cases found with topical  
14 tacrolimus. 164 were of U.S. origin and 19 were foreign  
15 cases. There were 103 females and 74 males. 36 of the  
16 cases occurred in children 16 years old and younger. 95  
17 percent of the adverse events seen in the overall  
18 population are found in the product label, and 50 percent  
19 of the reports involved a skin reaction.

20           The cases that we particularly interested in  
21 were three cases coded as death, the pediatric population,  
22 the 5 malignancies and infection cases. Interestingly,  
23 there were also 4 cases of renal failure or insufficiency  
24 associated with topical tacrolimus use. As a reminder,  
25 this is a labeled adverse event for the oral and the

1 intravenous preparation but not for the topical.

2                   There were 3 topical tacrolimus cases coded  
3 with death as an outcome. 2 of the cases occurred in  
4 adults and 1 case occurred in a 3-year-old child.

5                   The 3-year-old use topical tacrolimus for 9  
6 months prior to expiring from an overwhelming  
7 staphylococcal pneumonia and sepsis. The patient had used  
8 both 0.03 percent and 0.1 percent strengths of topical  
9 tacrolimus.

10                   There were 36 pediatric cases of adverse events  
11 associated with topical tacrolimus use. The patients  
12 primarily used topical tacrolimus for atopic dermatitis.  
13 35 of the cases were U.S. and 1 was foreign. There were 7  
14 cases where the patients were less than 2 years old.

15                   For cases reporting the concentration or  
16 strength of topical tacrolimus, one-third of the cases  
17 reported using the adult formulation in the pediatric  
18 population. The adverse events reported primarily included  
19 skin and application site reactions. Additionally, there  
20 were 2 cases reporting detectable serum levels and 10 cases  
21 of infections associated with topical tacrolimus use.

22                   In the 10 pediatric infection cases, 9 were of  
23 U.S. origin and 1 was of foreign origin. The patients  
24 ranged in age from 13 months to 16 years. The median age  
25 was 4 years. There was 1 death which we previously

1 presented, and 3 cases of hospitalization. The infections  
2 that were reported included pneumonia/sepsis, eczema  
3 herpeticum, Staph. aureus sepsis, chickenpox, warts, strep  
4 sepsis, herpes zoster, herpes simplex keratitis, erythema,  
5 and erythema infectiosum.

6           There were 5 malignancies associated with  
7 topical tacrolimus use. All of these malignancies occurred  
8 in the adult population. None occurred in the pediatric  
9 population. 4 of the malignancies were in the U.S. and 1  
10 was foreign. The median age of the patients was 52 years,  
11 with a range of 28 to 56 years. 2 of the 3 cases reported  
12 an outcome of death. The onset of the malignancies was 1  
13 month to 6 months, with a median of 3.5 months. The  
14 malignancies that were reported included anaplastic large  
15 cell lymphoma with metastases, B cell lymphoma, Kaposi's  
16 sarcoma, and 2 cases of non-Hodgkin's lymphoma. Again,  
17 systemic preparations are labeled for possible lymphoma  
18 development.

19           We have reviewed the AERS post-marketing  
20 adverse event reports for both pimecrolimus and topical  
21 tacrolimus. We found cases of serious outcomes with both  
22 agents. The most serious outcome associated with  
23 pimecrolimus reported was hospitalization and the most  
24 serious outcome reported with topical tacrolimus was death.  
25       Additionally we found pediatric cases of nonmalignant



1 tumor growth with pimecrolimus and adult malignancies with  
2 topical tacrolimus, as well as local and systemic  
3 infections with both agents.

4 The pediatric AERS adverse event reports  
5 demonstrated off-label use in children younger than 2 of  
6 years of age for both pimecrolimus and topical tacrolimus.

7 In addition, the pediatric adverse event reports also  
8 showed that the adult formulation of topical tacrolimus has  
9 been used in children.

10 DR. CHESNEY: Thank you very much, Dr. Pitts.

11 These three presentations are open for  
12 questions and answers. Dr. Mattison.

13 DR. MATTISON: Just a comment, Dr. Chesney, to  
14 back up your editorial observation. We've looked at data  
15 from other prescription benefit management companies and  
16 also have information suggesting substantial use in kids  
17 under 2 years of age for both of these agents.

18 The questions, though, relate to the way that  
19 the preclinical animal studies were done. I couldn't tell  
20 from the data that was presented if the animals that were  
21 used were adult or immature in these studies.

22 DR. HILL: They were adult.

23 DR. MATTISON: They were both?

24 DR. HILL: Adult.

25 DR. MATTISON: They were adult. Because I

1 guess I would be concerned about creating a preclinical  
2 animal study that paralleled use in developing humans and  
3 given that they're approved from age 2 on up, I would be  
4 really interested in seeing some juvenile or immature  
5 animal and then lifetime experiments with these agents.

6           The second question relates to endpoints.  
7 Given the data suggesting substantial likelihood for  
8 modification of response to infectious agents, what about  
9 also including in the developing and adult animal studies  
10 infectious challenges?

11           DR. HILL: Both points are very good.  
12 Typically for the carcinogenicity studies, they're  
13 conducted over the duration of the age life of the animal,  
14 but you're not specifically focusing on starting with the  
15 pediatric and then maybe stopping after a little period of  
16 time and seeing if lymphoma happens. Those would be  
17 special studies and actually a very good suggestion.

18           The challenges with infectious agents, like a  
19 host resistance model and things of that nature, typically  
20 aren't done for drug products unless you see something that  
21 you don't understand. And we did understand that these  
22 were immunosuppressive agents, so we didn't feel that those  
23 kinds of studies were necessary.

24           DR. CHESNEY: Dr. Santana and then Dr. Stern.

25           DR. SANTANA: I have two questions. One is for

1 you and the other one is for Dr. Pitts.

2                   Tell me a little bit more about this animal  
3 model. It kind of goes in the direction that was being  
4 asked before. What is the time of development of lymphomas  
5 in these mice? You told us they developed lymphomas, but  
6 you didn't tell us the time ranges in which they're  
7 occurring from exposure to event. That's one question.

8                   And the second is, have you looked at the  
9 immune function of these mice, and do you see changes in  
10 lymph nodes, spleen, et cetera, that would predict or  
11 preambule the development of lymphomas?

12                   DR. HILL: Let me address the second part of  
13 the question first. That goes back to the results of the  
14 general toxicology studies. What we did see is we did see  
15 effects in the thymus, in the spleen, in the lymph nodes,  
16 that are indicative of immunotoxic effects which are  
17 classic for immunosuppressive agents. So that was a very  
18 clear signal.

19                   And then for the design of the carc studies and  
20 the formation of the tumors for lymphoma, the way a typical  
21 carc study is conducted is you have exposure over the  
22 duration of a lifetime of the rodent, which is typically a  
23 2-year exposure, and then we analyze the tumors usually at  
24 the end unless there are animals that have to be sacrificed  
25 in the interim for lymphoma formation.

1           The lymphoma formation, which is very clear in  
2 the special high-dose studies that were conducted in the  
3 dermal mouse, is really a matter of dose and duration. If  
4 you give a higher dose, you're going to see it at a shorter  
5 duration, and if you give a lower dose, you're going to see  
6 it at a longer duration. So with the lower doses,  
7 quote/unquote, that were given in the carc studies, you see  
8 the lymphomas later, but with the higher doses, we saw it  
9 even as early as after 8 weeks of treatment. So it really  
10 very much is dependent on dose and duration.

11           DR. SANTANA: Are there incidence rates for  
12 those developmental lymphomas? You mentioned I think  
13 summary cases, but I didn't get a sense whether it's a high  
14 incidence, it's a low incidence. I didn't get a feeling  
15 for numbers-wise what are we talking about.

16           DR. HILL: In my opinion it's a high incidence.  
17 It's 50 percent or higher and that's a high incidence for  
18 tumor formation, but that was just specifically for the  
19 lymphomas.

20           DR. SANTANA: Then my second question, if the  
21 chair would allow, relates to these adult patients that  
22 were in one of the last slides that were shown that develop  
23 lymphomas. Do you know more about the comorbid histories  
24 of these adults in terms of their risk of developing  
25 lymphoma in comparison to developing lymphoma and getting

1 this agent? Do we know whether they were immunosuppressed  
2 from HIV, they were immunosuppressed from other conditions?

3 DR. PITTS: We do know more. One of the  
4 patients, the Kaposi's sarcoma, was an HIV-positive  
5 patient. Another patient -- I have the data. I can get  
6 the details for you, but yes, most of the patients had  
7 other concurrent illnesses.

8 DR. SANTANA: So these were not purely atopic  
9 dermatitis patients that were getting this agent. They had  
10 other conditions.

11 DR. PITTS: They had other confounders that  
12 were present.

13 DR. SANTANA: But the drug was always used as a  
14 primary indication to treat their atopic dermatitis. Am I  
15 correct?

16 DR. PITTS: Yes.

17 DR. SANTANA: Thank you.

18 DR. CHESNEY: Dr. Stern, then Dr. Rabkin, then  
19 Dr. Danford.

20 DR. STERN: I had one comment and a couple of  
21 questions. When we talk about potential immune targets, I  
22 think we should very much talk about the skin. If you look  
23 at the role of photo-carcinogenesis and risk for skin  
24 cancer, the skin is clearly a very active immunologic end  
25 organ.

1                   With that in mind, I had two kinds of  
2 questions. We know from our experience with transplant  
3 patients, using these drugs or similar drugs -- in one case  
4 the same; in another case, similar drugs -- that there are  
5 a couple of problems that are of greatly increased  
6 incidence. This goes a little bit to the question next to  
7 mine.

8                   One is papilloma virus infection, and I'm  
9 wondering if we've done experiments there. I think that's  
10 of particular interest and importance because, in fact, in  
11 dermatologic practice, these agents seem to have their  
12 greatest advantage on the face and in the genital area, and  
13 they're clearly carcinogenic, HPV types in the latter area,  
14 and in immunosuppressed individuals, we know that not only  
15 are warts more of a problem, but in carcinoma in situ and  
16 eventually genital carcinomas. So I'd be interested about  
17 how we're addressing that.

18                   The other thing is in extrapolating from  
19 experience with systemic immunosuppression, your studies,  
20 as I understand it, were really essentially simultaneous  
21 studies. Yet, if you look at the transplant experience,  
22 it's immunosuppression following substantial mutagenic  
23 exposure. If you look at Australians versus Swedes, one of  
24 whom prior to transplantation had on average much higher  
25 exposure to ultraviolet, the Australians compared to the

1 Swedes, and yet both countries, probably more in Australia,  
2 have very strong programs for their transplant patients of  
3 keeping them out of the sun. Once they're  
4 immunosuppressed, the absolute incidence, age-adjusted, is  
5 somewhere around 20-fold higher in Australian transplant  
6 patients controlling for age. So I'm as concerned about  
7 the subsequent exposure although, because of my first  
8 comment, I do think that simultaneous or day-after exposure  
9 because of inhibiting apoptosis with immunosuppression is  
10 likely to be important in terms of the body not getting rid  
11 of cells that have mutated.

12 DR. HILL: You raise a couple of good points.  
13 I'd like to address the virus for the skin tumors. Animal  
14 studies are conducted so that they're animals that are  
15 virus-free. Typically we don't ask for studies where we  
16 would have them exposed to the virus and then expose them  
17 to the active moiety. My personal opinion is I would  
18 anticipate you'd see an increased risk of the skin cancer  
19 in that case.

20 Then specifically for the increased  
21 carcinogenic risk, once again we don't have an animal model  
22 that would specifically address that because we don't have  
23 pre-initiated mice or rats and then expose them to it with  
24 UV exposure and then see if there's an increased risk. So  
25 those are not really animal studies that we've done because

1 I think it's pretty well established -- I don't know how  
2 much additional information we might get from that.

3 I just wondered if you had any more specifics.  
4 Maybe you were thinking of a specific study.

5 DR. STERN: I know very little about papilloma  
6 virus beyond the clinical and couldn't even speculate about  
7 how to design those. But for photo-carcinogenicity  
8 studies, I would think the mouse model would work where you  
9 take a set of mice and you irradiate them to an exposure  
10 where you expect some tumor yield farther out, so you don't  
11 continually irradiate them, and there are pretty well-  
12 established markers.

13 I'd probably do three groups. One group  
14 irradiation and vehicle only, stopping the irradiation well  
15 before you expect a large yield. The second group  
16 irradiation and the immunosuppressant and continued  
17 irradiation. Actually, I'm sorry. Four groups, as I think  
18 about this. The third group, just the same irradiation and  
19 a switch from vehicle to active, and the fifth group, just  
20 irradiation and active and then a switch to vehicle after  
21 the irradiation stops. I think it would be very  
22 interesting to see the various yields in those four groups.

23 And you wouldn't need huge numbers of mice to power this,  
24 and you'd have your answer in a year basically.

25 DR. HILL: Thank you.



1 DR. CHESNEY: Dr. Rabkin, then Dr. Danford, and  
2 Dr. Ebert.

3 DR. RABKIN: I'd also, Dr. Hill, like to echo  
4 the point about the fact that these mice are viral free.  
5 Were they housed in SPF conditions with very little  
6 bacterial challenge? Because that's one of the problems --

7 DR. HILL: That's correct.

8 DR. RABKIN: One of the problems with these  
9 animal studies is that it's a very artificial environment,  
10 and it's very sensitive to the amount of bacterial or other  
11 agnogenic stimulation from the environment.

12 DR. HILL: Well, to support that, though,  
13 they're conducted under GLP conditions so that there is a  
14 standard across the board for everything. There have been  
15 instances where we have recommended specific types of  
16 studies that are different under different conditions, but  
17 we didn't do that for any of these drug products.

18 DR. RABKIN: Then my second question is about  
19 the difference that you noted between the sexes of the rats  
20 with the sensitivity to thymoma or thymoma development. Is  
21 that due to differences in their background rates or  
22 differences in the rates in the treated animals?

23 DR. HILL: It's hard to tell, but part of it  
24 might be due to the differences in systemic exposure  
25 between male and female rats.

1 DR. RABKIN: Just in absolute terms of the  
2 incidence of thymoma, does that have an equivalent  
3 incidence in untreated animals?

4 DR. HILL: Could you rephrase the question?

5 DR. RABKIN: You mentioned that the detectable  
6 level refers to increase over background rates.

7 DR. HILL: Correct.

8 DR. RABKIN: And the increase was present at a  
9 lower dose rate in male rats compared to female rats. Is  
10 that because the untreated male rats have a low rate  
11 relative to untreated female rats or is it because the male  
12 rats had a high rate at a different threshold than the  
13 female rats?

14 DR. HILL: I'm not entirely sure but it's  
15 possible that the male rat could have a greater  
16 sensitivity.

17 DR. CHESNEY: Dr. Hill, while you're up there,  
18 I wondered if I could ask my question.

19 DR. HILL: Certainly.

20 DR. CHESNEY: Then we'll go on.

21 You mentioned that in your opinion, the  
22 incidence of lymphoma in these animals was 50 percent or  
23 higher. That sounds very impressive --

24 DR. HILL: Depending on the dose too, dose and  
25 duration. If you have a lower dose and longer duration,

1 the incident rate may not have been that high, but for the  
2 higher dose studies, that's true.

3 DR. CHESNEY: For those of us who are the  
4 uninitiated, could you compare that to any other drug that  
5 has a similar high incidence of lymphoma development or  
6 tumor development?

7 DR. HILL: For tumor development in general, my  
8 opinion is that the lymphoma formations seen in these  
9 studies was higher than general tumor formation seen in  
10 other studies. It's hard to make a direct comparison  
11 because you have to make sure that you have exactly the  
12 same sets of standards when you're doing them. It's better  
13 to run the studies side by side. So when you're comparing  
14 across studies, it's difficult to say. But in general, I  
15 would say that the lymphoma signal was strong enough that I  
16 would consider it a valid signal. Sometimes you can get a  
17 little bit above background and statistically and  
18 biologically it's a signal, but this was high enough above  
19 background and statistically significant that it's a strong  
20 signal in my opinion.

21 DR. CHESNEY: Thank you.

22 Dr. Danford.

23 DR. DANFORD: Imagining for a moment how this  
24 drug might be used clinically, I'm picturing a child with  
25 atopic dermatitis who's treated with a high potency topical

1 steroid, and then when we discover that the clinical  
2 response is not what we want, then fairly promptly switch  
3 to one of these agents. I'm wondering is there any animal  
4 data or any of the adverse effects data that speaks to the  
5 issue of possible synergism between the exposure to  
6 steroids followed in rapid succession by one of these  
7 agents.

8 DR. CHESNEY: I think you just need to stay up  
9 there, Dr. Hill.

10 (Laughter.)

11 DR. HILL: You raise a very good point. There  
12 are no animal studies that look at co-administration of  
13 corticosteroids -- well, maybe first corticosteroids and  
14 then switching to the topical immunosuppressant or maybe  
15 concomitant use of those two, although I think the division  
16 would agree that that's an important consideration and  
17 perhaps studies to do that would be very useful to see the  
18 results.

19 DR. CHESNEY: Dr. Ebert, did you have a  
20 question for Dr. Hill also?

21 DR. EBERT: Yes. Mine is related to the doses  
22 that you used and looking at the relative human doses and  
23 that those are generally expressed in terms of milligram  
24 per kilogram per day. We've talked a lot in here about the  
25 fact that children, due to their larger body surface per

1 weight, tend to be at greater risk. I'm wondering whether,  
2 especially with the derm exposures, you've thought about  
3 normalizing the doses to humans based on a dose per meter  
4 squared as opposed to a dose per kilo.

5 DR. HILL: Actually the information I presented  
6 was -- I presented the milligram per kilogram dose, but the  
7 maximum recommended human dose, the multiples of human  
8 exposure are actually based on AUC comparisons, so systemic  
9 exposure. So the comparison is the systemic exposure  
10 achieved in the animals versus systemic exposure in humans  
11 under maximal use conditions. Typically what we selected  
12 was in pediatrics in particular because it did have a  
13 greater systemic exposure. So we looked at the PK data and  
14 our biopharmaceutics reviewer determined what was the  
15 greatest systemic exposure under maximal use conditions,  
16 and we used that to calculate the multiples of human  
17 exposure. So that incorporates really the body surface  
18 area because it's systemic exposure.

19 Does that address your question?

20 DR. EBERT: I think so. I guess I'm just  
21 saying that if you were to use dose per meter squared as  
22 opposed to dose per kilogram, my guess is that your  
23 multiples would be smaller than you're seeing.

24 DR. HILL: They may be, but when there is  
25 systemic exposure data available in humans and in animal

1 studies, it is preferred to base it on that. When you  
2 don't have any systemic exposure data, then we do it based  
3 on body surface area, and it would be based on milligram  
4 per meter squared per day doses.

5 DR. CHESNEY: Dr. Ten Have, then Dr. Gorman,  
6 and Dr. Mattison.

7 DR. TEN HAVE: Thank you. I have two  
8 questions, the first one for Dr. Hill regarding the photo-  
9 carcinogenesis result showing that the vehicle seemed to be  
10 photo-carcinogenic versus a much smaller carcinogenic  
11 effect for the actual moiety element. Did you try to  
12 control for differences between the vehicle and the moiety  
13 in the lymphoma animal studies? It looks like that may  
14 have happened with the Elidel being dissolved in ethanol.

15 DR. HILL: Well, in the carcinogenicity  
16 studies, in order for a tumor to be determined significant,  
17 it has to be statistically significantly elevated above the  
18 incidence seen in the vehicle control. So that controls  
19 for the vehicle there.

20 The studies that were conducted with  
21 pimecrolimus just dissolved in ethanol were additional  
22 studies that the sponsor conducted on their own. We didn't  
23 necessarily recommend those studies, but that data was  
24 useful to help us to get a feel for the dose and duration  
25 before you saw lymphoma formation.

1                   I just want to make a comment about the  
2 photoco-carc studies, because you mentioned the vehicle.  
3 In the literature, it's been demonstrated that vehicle can  
4 sometimes have a very great effect on enhancement of photo-  
5 carcinogenic effect because frequently what happens with  
6 these vehicles is you basically have an increase in the  
7 amount of UV exposure that gets to the skin. The vehicle  
8 is part of the drug product and that's what's going to be  
9 used. So if you see something increase in vehicle, it's  
10 important for the drug product. Possibly the reason why  
11 you don't see such a great increase with the active in the  
12 vehicle is that you may have, in this system, maxed out  
13 what you would see. In another system, you may see a  
14 greater effect.

15                   DR. TEN HAVE: Yesterday we saw a vehicle that  
16 was not ointment, but instead I believe peanut oil. So  
17 there are alternatives I think there.

18                   The second question is for Dr. Pitts regarding  
19 the AERS registry search. Two questions here. One is what  
20 was the time period for the data that you retrieved from  
21 the AERS data set?

22                   DR. PITTS: For the pimecrolimus, it was from  
23 marketing till August of this year, I think August 21st.

24                   DR. TEN HAVE: That would be how long?

25                   DR. PITTS: From December 2001 to December

1 2002.

2 DR. TEN HAVE: About a year.

3 DR. PITTS: About 18 months or so.

4 And then for topical tacrolimus, from December  
5 of 2002 to I think August of this year, about the same  
6 period.

7 DR. TEN HAVE: A second question is the time of  
8 onset for the malignancies associated with topical  
9 tacrolimus -- the onsets range between 1 and 6 months.

10 DR. PITTS: Yes.

11 DR. TEN HAVE: And there was a discussion about  
12 the comorbidity of these patients, and I'm not sure what  
13 the latency period is for these malignancies. Can you  
14 comment on those onsets in terms of the known latency  
15 period for these malignancies?

16 DR. PITTS: I think Lois can give me a little  
17 better information in terms of the latency of the actual,  
18 but for these particular cases, the 28-year-old had a  
19 history of HIV, onset of 1 month. A 50-year-old had an  
20 onset of 4 months. Another patient had an onset of 6  
21 months, and another patient, an onset of 3 months. So I  
22 think somewhere in the Prograf label, there may be some  
23 language about an acceleration or a decrease in time to  
24 occurrence, but I can't tell you what the natural history  
25 is. I can't but I think someone else may be able to help



1 us with that.

2 DR. RABKIN: I can address that a little bit.

3 DR. PITTS: Thank you.

4 DR. RABKIN: Both of those malignancies are  
5 seen very rapidly after the onset of severe  
6 immunosuppression in the transplant setting and other  
7 situations in which people have sudden loss of immune  
8 function. So post transplant, within several months,  
9 lymphoproliferative disease that's EBV associated is seen  
10 and these can rapidly progress to lymphoma, and similarly  
11 Kaposi's sarcoma can be seen very rapidly after transplant  
12 associated immune suppression and can be occurring within  
13 months. That's in contrast to the usual latency for  
14 carcinogenesis which tends to have a much longer period,  
15 including in the setting of immune suppression, the  
16 carcinomas that occur tend to be much later.

17 DR. CHESNEY: Thank you. Dr. Gorman, Dr.  
18 Mattison, and Dr. Fink.

19 DR. GORMAN: I have a specific question for Dr.  
20 Pitts concerning one of the cases in the tacrolimus  
21 database on slide 16, the 3-year-old who at 9 months had  
22 streptococcal pneumonia. Was that a domestic case, a  
23 United States case? Was the child immunized with a  
24 commonly available vaccine against streptococcal disease?

25 DR. PITTS: That was a foreign case. There's

1 not a whole lot of detail to the case. The patient I know  
2 had used both products, the 0.03 percent and 0.1 percent,  
3 and we had the onset information. So I have no idea in  
4 terms of the immunization history.

5 DR. GORMAN: Thank you.

6 The second question is for Dr. Hill, and it is  
7 looking for a slightly different viewpoint on information.

8 Is there a dose response to this agent? If I understand  
9 these agents correctly, they bind to proteins that then  
10 interfere with a phosphatase activity. Is there a dose at  
11 which that activity falls to zero? So when we're dosing  
12 mice and rats and children repetitively with higher and  
13 higher doses, do we effectively run out of benefit and then  
14 only increase the risk?

15 DR. HILL: It's a good question. We don't have  
16 data that could really address that at this point. There  
17 haven't been studies conducted in animal models in vivo  
18 looking at when you give different doses and you see  
19 lymphoma formation, when is the cutoff period where you  
20 still see calcineurin inhibition, an efficacy effect  
21 possibly, and then when it progresses on to lymphoma. Most  
22 of the calcineurin inhibition has been in vitro and it's  
23 very difficult to extrapolate in vitro to in vivo  
24 situations.

25 DR. GORMAN: Is there a whole cell model that

1 might begin to answer that question?

2 DR. HILL: Could you rephrase the question?

3 DR. GORMAN: Is there a model either animal or  
4 in a test tube that will give you a dose-response curve  
5 that will tell you when you've maxed out on the response  
6 for this particular group of agents?

7 DR. HILL: Well, I think it would be difficult  
8 because I don't know of an animal model that could mimic  
9 atopic dermatitis. So it would be difficult to get that  
10 efficacy signal as well as the lymphoma risk.

11 DR. GORMAN: Thank you.

12 DR. CHESNEY: Dr. Mattison, then Dr. Fink.

13 DR. MATTISON: Three questions. One relates to  
14 the bioavailability. In Dr. Nikhar's presentation, on the  
15 sixth slide, she indicates that bioavailability of topical  
16 tacrolimus is unknown. Given that that's the case, how are  
17 systemic exposures estimated or evaluated for this drug?

18 The second question relates to practice.  
19 Yesterday we heard that it was thought that common practice  
20 among dermatologists is to encourage fairly aggressive use  
21 of these topical agents initially and then tapering as the  
22 skin response occurs. Is that also thought to be the case  
23 with these?

24 Then given that these agents are used in  
25 immature individuals, what do we know about the interaction

1 of these agents with the development of the immune system?

2 Do they alter immune system development?

3 DR. NIKHAR: I will try and answer your PK  
4 questions. As far as the IV bioavailability, it's about .5  
5 percent, and as far as the oral administration of Prograf  
6 and so on, the information I have is that in adults with an  
7 average about 53 body surface area involvement treated with  
8 Protopic, the exposure of tacrolimus is about 30-fold less,  
9 and that's seen with oral immunosuppressive doses in kidney  
10 and liver transplantations.

11 Then as far as your second question goes, yes.

12 The use is limited to about 6 weeks or less depending upon  
13 clinical response at present.

14 And the third question, the answer to that is  
15 that it's still being evaluated. I can't really talk more,  
16 but some studies are being conducted looking at the immune  
17 system, the interaction with vaccines, and so on.

18 DR. CHESNEY: Dr. Stern, you had something  
19 immediately pertinent?

20 DR. STERN: To take up your point, I think the  
21 issues about these are -- and I'd like to make a comment  
22 and then ask a question. It's my perception as a  
23 practitioner that in fact there's a perception in the  
24 community at large, in spite of that labeling, that among  
25 parents particularly, that these agents are safer than even

1 mild topical corticosteroids. That goes to my question of  
2 do we have IMS data that tells how many of these  
3 prescriptions are by primary care physicians versus by  
4 specialists, because if an agent is supposed to be for  
5 people who are intolerant or nonresponsive to first-line  
6 agents, we'd expect a high proportion of specialists  
7 prescribing.

8                   In my own practice, which does not have very  
9 many children, but lots of adults, I see this being given  
10 as a first-line drug to people where the diagnosis is not  
11 necessarily even within the indications and certainly not  
12 people who have had mild to moderate potency steroids  
13 because of, I think, as we've seen by sales, a very active  
14 place in the marketplace, if you read the journals.

15                   So I'd like to know is this really being used  
16 and promoted in a way that is consistent with the  
17 indications or is there evidence to suggest that the  
18 perception of prescribers and in fact patients is that this  
19 is safer than topical steroids and used more widely?

20                   DR. CHESNEY: Dr. Pitts.

21                   DR. PITTS: I can answer the question about IMS  
22 data. We don't currently have IMS data on these  
23 specialties that are prescribing. I think we can probably  
24 request that information, but right now we don't have that,  
25 and I don't have any further information.

1 DR. CHESNEY: Dr. Fink. Dr. Wilkin, did you  
2 want to add to that?

3 DR. WILKIN: I share Dr. Stern's perceptions on  
4 I think what may be out there. I'm not implying that the  
5 manufacturers are presenting it this way, but I saw really  
6 bold headlines in one of the throwaway journals: "Move  
7 over Steroids." Then the article talks about topical  
8 calcineurin inhibitors.

9 I think there is a great enthusiasm for things  
10 that are new. This is not just for drug products. This is  
11 for everything. In fact, I like occasionally to read  
12 things about ancient Rome, and Tacitus who wrote Agricola  
13 -- I think it's in chapter 29 or 30 -- is talking about the  
14 young centurions and the Romans that want to make a name  
15 for themselves, and there's this great opportunity beyond  
16 the unknown borders of the frontier and it's very exciting.  
17 And the line is, *omne ignotum pro magnifico*. Anything not  
18 understood is seen as glorious.

19 (Laughter.)

20 DR. WILKIN: I think quite literally everything  
21 new, whether it's a new drug product or anything else,  
22 there's a lot of hope that goes into it. So I think that  
23 plays into it.

24 Then I think there has been some, if you will,  
25 pharmaco fear mongering about the topical corticosteroids.

1     They have definite things that we have to think about that  
2     would be considered potential adverse events, but they  
3     really have been a stable workhorse. I think a lot of  
4     physicians really understand the good and the bad and  
5     understand the balance and how to use them very  
6     effectively. But I think what we're talking about, the  
7     steroids versus topical calcineurin inhibitors, really  
8     plays out in a lot of other areas of new drugs versus drugs  
9     that have been on the market, new technologies versus -- I  
10    don't think it's limited to pharmaceuticals.

11             DR. CHESNEY: Thank you. I had actually just  
12    given that same line to Tom, but it was in chapter 28. So  
13    I just wanted to correct that.

14             (Laughter.)

15             DR. CHESNEY: Dr. Fink.

16             DR. FINK: This is a question I guess for Dr.  
17    Hill or Dr. Wilkin. Given the fact that you have a fairly  
18    strong animal signal for lymphoma and that you have at  
19    least human case reports of occurrence of skin malignancies  
20    and that you're treating a non-life-threatening condition,  
21    how much stronger does the signal have to be before the  
22    drug is considered inappropriate for a non-life-threatening  
23    condition? I'm sort of wondering if you see it in 50  
24    percent of rats, but the drug was still approved for use,  
25    if it were at 75 percent, does it become unapprovable? At

1 what level would this drug be considered nonapprovable for  
2 a non-life-threatening disease even though it's one that  
3 clearly is quite bothersome to the individuals affected?

4 DR. WILKIN: I think the compelling piece for  
5 approval is that there is this safety margin, in other  
6 words, the difference in the AUCs. Our concern with the  
7 topical calcineurin inhibitors is we know from the systemic  
8 exposures that it seems to be cumulative dose that has  
9 something to do with the eventual development. We don't  
10 have in the short-term studies really good evidence that  
11 these events are occurring. We made them second-line  
12 therapies. We have in the labeling the information about  
13 the animal studies. I think it's labeled so that  
14 physicians can make good choices, and not every patient can  
15 take topical corticosteroids. So I think there's a place  
16 for these products.

17 Our goal is to learn more, and when we learn  
18 more, we may have a better understanding of the risk-  
19 benefit calculus that we may say they're first-line  
20 therapies or we may go in the other direction and be more  
21 restrictive. I think it's the issue of uncertainty right  
22 now.

23 DR. CHESNEY: Dr. Ten Have.

24 DR. TEN HAVE: This is a question for Dr.  
25 Wilkin and maybe Dr. Rabkin. What is a margin of safety



1 with these doses? Because we had a wide range of margins  
2 ranging from 258 to 340 times the maximal dose in humans  
3 compared to the rats down to 26 versus 17 times. Do you  
4 have a range that you work with in terms of safety?

5 DR. WILKIN: I just gave away my only copies of  
6 the labeling. I think we have incorporated these safety  
7 margins in the labeling. They're being copied because we  
8 actually are going to share parts of this with the  
9 committee. Maybe we could defer and come back to that.

10 DR. DIANNE MURPHY: I think what we're going to  
11 be doing is handing you the patient package insert because  
12 we're going to incorporate into the first question this  
13 risk management issue so that we can get some feedback on  
14 that.

15 DR. CHESNEY: Dr. Ebert and then Dr. Epps.

16 DR. EBERT: It's kind of a corollary to Dr.  
17 Stern's question earlier, but it seems as though part of  
18 the issue here is whether the adverse effects are  
19 associated with suppressing the immune system versus  
20 inherent carcinogenicity of the compound. Given the  
21 limitations of the AERS data, do we have any ideas as far  
22 as the nature and types of adverse effects for topical  
23 corticosteroids versus these calcineurin inhibitors? Are  
24 the same types of adverse effects coming up for those  
25 agents as well? Mostly yesterday I think we talked more

1 about adrenal insufficiency, but are there some of these  
2 same neoplasms that come up in that database?

3 DR. CHESNEY: We did receive in our blue  
4 handbooks for the committee copies of the package inserts  
5 already.

6 DR. STERN: And the patient information as  
7 well.

8 DR. CHESNEY: And the patient information.  
9 It's under tab 4 for those of you who wanted to check it  
10 out.

11 DR. PITTS: Dr. Ebert, you're referring to the  
12 malignancies? I don't believe we saw those particular  
13 reports for the topical corticosteroids.

14 DR. STERN: And I do believe there's a fairly  
15 substantial animal and human data of photo-carcinogenicity  
16 with topical steroids, which is largely a negative one  
17 essentially. Beyond vehicle effects, there's little to  
18 suggest that for photo-carcinogenicity, topical steroids  
19 are a problem.

20 DR. CHESNEY: Dr. Epps, but I think Dr. Wilkin  
21 wanted to make a comment.

22 DR. WILKIN: In response to the earlier  
23 question, what are the safety margins, if you turn to that  
24 tab 5 and go to page 11, you'll see the carcinogenesis,  
25 mutagenesis, and impairment of fertility section for the

1 labeling for one of the products. Towards the bottom of  
2 the large paragraph in the middle of the page, it says, no  
3 lymphoproliferative changes were noted in this study at a  
4 dose of 10 milligrams per kilogram per day. Then in  
5 parentheses it says, 17 times MRHD, and you have to read  
6 further where it says that's the maximum recommended human  
7 dose based on AUC, which is area under the curve,  
8 comparison. So those would be the safety margins that  
9 we've placed into labeling.

10 DR. TEN HAVE: So most of those margins were  
11 above the safety margin of 17 in the studies that were  
12 presented.

13 DR. WILKIN: Yes.

14 MR. PEREZ: That's tab 4, by the way, page 11.

15 DR. WILKIN: Oh, yes. It's tab 4. If I said  
16 another tab, excuse me on that.

17 Yes. In fact, you can read through and read  
18 what some of the other findings were, and the longer I look  
19 at this, I can see 17 times for the mouse dermal carc. No  
20 increase in incidence of neoplasms was observed on the skin  
21 or other organs up to the highest dose of 4 milligrams per  
22 kilogram per day. And that's 27 times.

23 We don't have some sort of standard at FDA on  
24 the safety margin. It has a lot to do with the risk-  
25 benefit calculus. I would think that we could find

1 approval for a product that might be used over a short-term  
2 basis that is rescuing a patient who is in severe distress  
3 from something that is potentially life-threatening and  
4 they might actually have a safety margin that literally is  
5 less than 1. But we do take the numbers and think about  
6 the potential benefits from a product and try to weigh  
7 that.

8 DR. CHESNEY: Dr. Epps.

9 DR. EPPS: I guess I have kind of a comment for  
10 Dr. Hill and I guess a point of information. To piggyback  
11 on what Dr. Danford said, patients who are referred to me  
12 as a subspecialist usually are tried initially on a class  
13 VI or VII steroid and then switched over to some of the  
14 immune modulators rather than a potent topical steroid.

15 Also, I do think it's aggressively promoted to  
16 primary care providers as something that doesn't cause  
17 atrophy and something that is perhaps safer or an  
18 alternative because there are quite a few patients who have  
19 never even tried steroids. They don't use emollients.  
20 They don't use any of the other things that we use to treat  
21 atopic dermatitis. So for a study, if you're going to do  
22 something in addition to the strong topical steroids, which  
23 we use in older kids, followed by some of the newer ones.  
24 You could do weak ones perhaps in animals.

25 Also there are people out there who are

1     compounding these new drugs with steroids.  So you may want  
2     to find out if there's something synergistic going on,  
3     whether that affects what's happening.  Is it as effective  
4     or is it increasing with suppression?  So I would look at  
5     that too.

6                     DR. CHESNEY:  How can you do that?  How can you  
7     just compound it with anything you want?

8                     DR. EPPS:  Write the prescription and a  
9     compounding pharmacist does it.

10                    DR. CHESNEY:  Dr. Ebert, could you comment on  
11    that?  I didn't realize that they could mix it with  
12    anything they want to.

13                    DR. EBERT:  Yes.  Extemporaneous compounding is  
14    a relatively common procedure.

15                    DR. CHESNEY:  Hello.

16                    (Laughter.)

17                    DR. CHESNEY:  So much for all our perseveration  
18    about some of these issues.

19                    Dr. Fink.

20                    DR. FINK:  Just a comment I guess.  In some  
21    classes of drugs, a safety factor of 17 -- if it was an  
22    antibiotic, it's hard to imagine a physician prescribing 17  
23    times the maximum recommended human dose or a patient  
24    swallowing it.  But as we heard yesterday, with some of  
25    these topical agents, they seem to be fairly commonly used

1 at doses that may approach or exceed 10 to 20 times what  
2 most of us would consider a prudent dose if not a maximum  
3 recommended human dose.

4 DR. CHESNEY: Dr. Gorman.

5 DR. GORMAN: One of these agents is also  
6 approved in an oral form. It's for a more life-threatening  
7 indication. Are there ongoing studies in that particular  
8 formulation that might give us some information in a  
9 forward-looking mode?

10 DR. HILL: If you're referring to animal  
11 studies in particular, no, there are not any studies  
12 currently ongoing.

13 DR. GORMAN: I was thinking of phase IV post-  
14 marketing studies for those agents. If we're looking for  
15 signals of tumors, perhaps we could look at the oral forms  
16 to at least know where to look.

17 DR. STERN: Well, there's a large literature on  
18 the calcineurin inhibitors or rather in solid organ  
19 transplant patients. And there are not just signals,  
20 there's clear evidence for both squamous cell carcinoma and  
21 for lymphoma, both the so-called post-transplant part, but  
22 also in terms of other forms of lymphoma with long-term  
23 therapy.

24 Let me briefly summarize the data on the  
25 calcineurin inhibitors used in relatively low risk

1 patients. If you take a group of Swedes and you transplant  
2 them and you maintain them generally at fairly low doses of  
3 a calcineurin inhibitor, sometimes in conjunction with  
4 another immunosuppressant, often in conjunction with some  
5 corticosteroids systemically, you see a small increase in  
6 the risk of squamous cell carcinoma or a modest increase in  
7 the first 2 years. Beginning about 2 years after use and  
8 at least as far as the studies I've seen, not very  
9 dependent as much on dose, but as on duration of  
10 immunosuppression, you start to see an increased risk such  
11 that the relative risk compared to what's expected by year  
12 5 exceeds a 100-fold increase in squamous cell carcinoma.

13           It's also interesting, although I'm interested  
14 about the papilloma virus, if you look at genital neoplasms  
15 that are often papilloma virus associated, there are modest  
16 increases in risk also in a time-dependent fashion, but on  
17 the order of sort of 5 to 10, not 100-fold. So there's no  
18 doubt that long-term immunosuppression with this class of  
19 agents is in fact the sine qua non for making squamous cell  
20 carcinoma in the skin in susceptible individuals.

21           If you take Australian transplant recipients  
22 who stopped going out in exposure, their incidence rate  
23 approaches 38 tumors per 100 persons per year of squamous  
24 cell carcinoma, in other words, an average of one tumor  
25 every 3 years for every person who is transplanted, again

1 beginning 2 to 5 years after transplantation.

2           If you take patients of particular interest to  
3 me and in fact some in a therapy that is mainly used for  
4 psoriasis and cutaneous T cell lymphoma, oral psoralin  
5 photo-chemotherapy which is a very excellent photo-  
6 carcinogen and in fact is used in some animal photo-  
7 carcinogenicity studies as the positive control for  
8 systemically administered agents, if you take those  
9 individuals who've had more than 200 PUVA treatments, which  
10 is a level that is shown to be associated with a  
11 substantial, about 10- to 20-fold, increase in risk by  
12 itself, and you treat them with cyclosporine, a drug that's  
13 approved for the treatment of psoriasis, generally used at  
14 fairly low doses, typically about 2 to 3 milligrams per  
15 kilogram, rather than the higher 5 milligrams or so per  
16 kilogram used in transplant patients, you see a 6-fold  
17 increase in their risk compared to what they had on the  
18 basis of PUVA alone, and you see an incidence approaching,  
19 after 2 years of exposure, 1 per person per year of  
20 squamous cell carcinoma of the skin.

21           So it's not a question of whether  
22 immunosuppression in the skin will lead to an increased  
23 risk of squamous cell carcinoma. It's a question of how  
24 much, how soon, at what doses, and what the level of risk  
25 will be, and how we moderate that.



1                   I think there are some very interesting  
2 questions in the pediatric age group. One of the  
3 interesting things is that, to the best of my knowledge,  
4 there are no robust data. There are two things. There are  
5 no robust data on basal cell carcinoma risk in transplant  
6 patients. There's some suggestion of modest increases in  
7 risk, but certainly not the same as squamous cell  
8 carcinoma.

9                   But we believe that the key interactions  
10 between sunlight, probably UVB, and skin cells that predict  
11 basal cell carcinoma risk are either after a very long  
12 latency or after childhood exposure. If you look in nature  
13 at when sun exposure matters in terms of squamous cell  
14 carcinoma, it's cumulative and in fact recent exposure has  
15 a real impact on subsequent risk. If you look at basal  
16 cell carcinoma, the level of childhood risk, after  
17 controlling for other risk factors, is the principal  
18 determinant.

19                   So one question is with these patients in  
20 childhood, are we changing something in terms of apoptosis  
21 of cells that are going to go on to basal cell carcinoma 20  
22 or 30 years later independent of their continuous use?

23                   Similarly, with melanoma, at least as I read  
24 the transplant literature, there's relatively little  
25 evidence to suggest a very substantial increase in melanoma

1 risk with long-term immunosuppression. There are some  
2 studies that suggest modest increases in risk with very  
3 long exposure, but it's nothing like squamous cell  
4 carcinoma. But again, if you look at when are probably the  
5 salient mutagenic events occurring for melanoma, it's also  
6 probably early in life, the same kind of thing with most  
7 types of melanoma, childhood exposure rather than  
8 cumulative life-time exposure seems to be the main, at  
9 least UV determinant of melanoma risk. So if you're  
10 changing how you're handling UV insult at that presumably  
11 susceptible period, you may impact lifetime risk of  
12 melanoma in a way different than we've been able to observe  
13 or not observe when we follow adults who are  
14 immunosuppressed for long periods.

15 DR. CHESNEY: On that sobering note, I think  
16 we're right on time, and we're scheduled for a break. If  
17 everybody could return at 10 o'clock, we'll resume at that  
18 point.

19 (Recess.)

20 DR. CHESNEY: Could we get started please?

21 The first speaker for the second half of the  
22 morning is Dr. Lois La Grenade. Dr. La Grenade is an  
23 epidemiologist in the Office of Drug Safety, the Division  
24 of Drug Risk Evaluation. She is a British-trained  
25 dermatologist and epidemiologist, and she will present on

1 the design considerations to be considered when studying  
2 the risk of cancer from use of topical calcineurin  
3 inhibitors.

4 DR. LA GRENADE: Good morning. I am Lois La  
5 Grenade, and up until a minute ago, my slides were perfect.  
6 I don't know what has happened.

7 (Laughter.)

8 DR. LA GRENADE: But in the interest of time,  
9 we'll proceed with the presentation and I hope that you can  
10 follow as I can.

11 As you've heard, I'm an epidemiologist in the  
12 Office of Drug Safety, and my presentation this morning  
13 will discuss some of the design issues that are important  
14 in studying the risk of malignancies with topical  
15 calcineurin inhibitor use in children.

16 For the first part of my presentation, I will  
17 discuss the methods that are available generally in  
18 observational epidemiology. Then in the second part, I  
19 will focus more closely on the methods that would be  
20 appropriate to study the risk of cancer with long-term use  
21 of calcineurin inhibitors.

22 In observational epidemiology, we have a  
23 limited number of design methodologies. First of all,  
24 there's the case-control method, and there's the cohort  
25 method, and then there are registries which are really

1 surveillance tools.

2           Case-control studies are basically  
3 retrospective in nature. That is to say, we start the  
4 study after the disease of interest has already occurred  
5 from the suspected exposure. We then compare cases who are  
6 people with the disease of interest to controls who are  
7 people without the disease of interest. What we compare is  
8 the frequency of the exposure of interest between the cases  
9 and the controls.

10           Because the advantages of a case-control study  
11 are that it's fairly inexpensive compared to the others, it  
12 can be done relatively quickly within a few months or at  
13 most a year or two usually. And it's generally useful for  
14 studying rare events, particularly those with a common  
15 exposure.

16           However, because of its essential retrospective  
17 nature, there are a number of disadvantages. It's subject  
18 to a number of important biases, recall bias being a very  
19 common problem, and this is because the disease occurs so  
20 long after the exposure occurred, you then have to go back  
21 and try and get information on the exposure. Very often  
22 cases may systematically recall the exposure differently  
23 from the controls, and this leads to what we call recall  
24 bias.

25           It's also subject to selection bias. The cases

1 may not be representative of all cases. The controls may  
2 not be representative of all controls.

3           And it may be unsuitable for studying diseases  
4 with a very long latency period such as cancers.

5           It's also difficult to study diseases with a  
6 very rare exposure.

7           Cohort studies, on the other hand, are  
8 prospective studies. In a cohort study, we compare  
9 essentially exposed to non-exposed persons. We start with  
10 a defined group of people and they may be defined by a  
11 common exposure, by a common disease, or by a place of  
12 residence. The Framingham study in Massachusetts, is an  
13 example of a cohort study defined by a place of residence,  
14 the Town of Framingham in Massachusetts. And you follow  
15 your cohort through time for the ascertainment of the  
16 disease or diseases of interest.

17           The advantages of a cohort study are that the  
18 exposure and the case status are determined prospectively.

19       So recall bias is minimized. All cases can potentially be  
20 captured, so selection bias certainly in regard to cases  
21 can also be minimized. Another advantage of a cohort study  
22 is that you can study several diseases or outcomes at the  
23 same time.

24           Cohort studies are most closely related to the  
25 experimental design where a toxin is administered and then

1 you follow the subjects for outcomes. As a result, there  
2 is a high acceptance of results generated by a cohort study  
3 by the scientific community. And cohort studies often are  
4 used to confirm findings that are found in quick and dirty  
5 case-control studies.

6           Now, the disadvantages of a cohort study is  
7 that they tend to be very expensive. They require large  
8 sample sizes, particularly so for rare disorders, and they  
9 take a long time, many years, sometimes many decades.  
10 Because of the length of the cohort study, we often have  
11 subjects dropping out for one reason or another with  
12 resulting problems from losses to follow-up.

13           One of the ways of overcoming this disadvantage  
14 of length of a cohort study is to use a retrospective  
15 cohort study, and the way this is done is that you use a  
16 preexisting cohort, for example, an occupational cohort or  
17 a drug-exposure cohort. Then you look in that cohort for  
18 cases of the disease of interest, and then you compare the  
19 frequency of the disease of interest or the incidence in  
20 your cohort to population incidence rates in a method  
21 called the standardized incidence ratio. This is a method  
22 what was first popularized in occupational epidemiology.

23           Registries, which as I said, are surveillance  
24 tools are rarely little more than rosters of subjects,  
25 subjects who are identified by a common exposure, and those

1 are exposure-based cohorts, and occupational cohorts would  
2 fall into this category as well.

3 Or registries can also be disease-based. Our  
4 State and national cancer registries are examples of  
5 disease-based registries.

6 Registries may either be complete or  
7 incomplete. Complete registries are usually mandatory, and  
8 all subjects with the exposure under investigation or the  
9 disease under investigation are captured and entered into  
10 the roster. Incomplete registries are usually voluntary,  
11 with subjects choosing whether or not to participate.

12 Registries can be used in a variety of ways in  
13 epidemiology. Exposure registries can be used as cohorts,  
14 in which cases can be ascertained and incidences calculated  
15 within the exposure cohort. Case-based registries can be  
16 used as a source of cases for case-control studies. In  
17 general, complete registries are far more useful in  
18 epidemiology and they can be used to determine incidence  
19 rates for diseases as is done with our cancer registries.  
20 Incidence of rare events can also be calculated in a rare  
21 exposure registry.

22 Now we turn from general methods to the methods  
23 that would be appropriate for the specific topic of  
24 investigating the risk of malignancies with calcineurin  
25 inhibitor use in atopic dermatitis.

1                   There are very special problems with cancer  
2 studies because cancer is a rare event, and particularly in  
3 young people, in adolescents and young adults. Cancers  
4 have a very long latency period usually in that many years,  
5 sometimes many decades elapse between the exposure and the  
6 clinical appearance of the malignancy. For this reason,  
7 the prospective method, either the cohort or the registry,  
8 is ideal.

9                   Case-control studies, as I said, are generally  
10 used for quick and dirty studies, studies where a signal  
11 has been generated by case reports of an association  
12 between a previously unsuspected exposure and a particular  
13 disease.

14                   In designing a cohort study for this purpose,  
15 it should be prospective, as I said. The exposure  
16 assessment could then be done accurately and in a  
17 standardized fashion. We could collect information on dose  
18 and duration of topical calcineurin inhibitor use. And  
19 dose and duration information on these two factors is very  
20 important in cancer studies and in trying to do causality  
21 assessments. The cases can also be ascertained as  
22 completely as humanly possible and as accurately as  
23 possible. In addition, in a cohort study we could collect  
24 data on confounding and other risk factors as well.

25                   Cohort studies are expensive and require a lot



1 of effort, and they're generally indicated where there is  
2 good evidence of an association between a disease and  
3 exposure. This good evidence could come from clinical  
4 studies, from case-control studies, or from other studies,  
5 for example, animal studies, and I will put forward the  
6 view that we have good evidence in this case. We have good  
7 evidence from clinical studies in humans with oral  
8 calcineurin inhibitor use for organ transplants, and we  
9 have good animal toxicology data.

10 Cohort studies are also indicated when a new  
11 agent that requires monitoring for its possible association  
12 with several diseases is introduced into a society. Again,  
13 I think that the case of calcineurin inhibitor use in  
14 topical treatment of atopic dermatitis fulfills this  
15 criterion.

16 Fletcher and Griffin in 1991 wrote an article  
17 entitled International Monitoring of Adverse Drug Reactions  
18 of Long Latency, and in it they wrote, that for adverse  
19 reactions of long latency to be detected methods have to be  
20 used that permit observation of the patients to be followed  
21 for many months or years. An essential requirement is the  
22 establishment of a cohort of patients who can be accessed  
23 later on at specified intervals.

24 These are some of the important issues that we  
25 have to consider in designing a cohort study for the

1 development of malignancies with calcineurin inhibitor use.

2 In the next few slides, I will spend a little time  
3 discussing each one of these features.

4 The background you have largely heard from Drs.  
5 Nikhar and Hill, who have spoken before me this morning,  
6 but I thought it useful to summarize it basically in these  
7 slides.

8 First of all, with the vehicles of both topical  
9 calcineurin inhibitors, we have found enhanced photo-  
10 carcinogenicity. In animal carcinogenicity studies, there  
11 has been a signal for both lymphomas and other systemic  
12 malignancies. Use of oral calcineurin inhibitors in solid  
13 organ transplants has shown that there is a high incidence  
14 of lymphoma and cutaneous malignancies particularly. The  
15 risk is greatly increased, as Dr. Stern has told us earlier  
16 this morning.

17 The objective of the study would be as outlined  
18 in the approval letter for both products which required a  
19 phase IV commitment study to investigate the risk of  
20 developing cutaneous and systemic malignancies in children  
21 with atopic dermatitis who have long-term intermittent  
22 treatment with topical calcineurin inhibitors.

23 Now, the outcomes of interest would be  
24 malignancies. Cutaneous malignancies, including melanomas  
25 and non-melanoma skin cancers, and systemic malignancies,

1 including lymphomas, both Hodgkin's and non-Hodgkin's, and  
2 other systemic malignancies.

3 I want at this stage to introduce a question of  
4 whether, bearing in the light of the information that we  
5 have, we ought to consider the use of an additional  
6 endpoint, for example, actinic keratoses. I will come back  
7 to this point later on in my presentation.

8 We have to choose a study population. If this  
9 were to be a traditional cohort study, we would choose a  
10 cohort of children -- and we define children as being aged  
11 2 to 16 years -- who had atopic dermatitis, and we'd follow  
12 this cohort for the next 10 to 15 years and document during  
13 that time the type of treatment each child had received,  
14 the response to treatment, the presence of confounding or  
15 other risk factors such as sunlight exposure, skin type,  
16 disease severity and extent, and so on. And we'd document  
17 the occurrence of malignancies as they appeared. At the  
18 end of the follow-up period, we would compare the incidence  
19 of malignancies in subjects treated with calcineurin  
20 inhibitors to that in subjects not treated with calcineurin  
21 inhibitors.

22 But there are difficulties with this  
23 traditional cohort approach. For one thing, we'd require  
24 very large sample sizes. It would take a very long time,  
25 and we may find at the end of the follow-up period either

1 that most patients had used both calcineurin inhibitors and  
2 non-calcineurin inhibitors or vice versa, that only a very  
3 small population had used calcineurin inhibitors as  
4 treatment. What we might find in that situation is that it  
5 would be difficult to compare. We would have reduced power  
6 and we may, at the end of all that time, end up with no  
7 answers.

8                   An alternative method would be to use the  
9 occupational cohort or the exposure cohort type of  
10 methodology. We could enroll a cohort of calcineurin  
11 inhibitor users, aged 2 to 16, children who had used it for  
12 atopic dermatitis, follow the subjects I think for a  
13 minimum of 10 years, possibly longer, and we could use as  
14 our comparator age-specific population incidence rates for  
15 cancer. These we would get from our cancer registries or  
16 data from national sources. We could then calculate the  
17 standardized incidence ratio in a method similar to the  
18 occupational cohort method. I believe that Dr. Stern  
19 himself has used this method in a long-term cohort of PUVA-  
20 treated patients for psoriasis, studying this exact  
21 question, the development of malignancies in the PUVA-  
22 treated patients.

23                   Now, there are difficulties, nevertheless, with  
24 this approach. We have no U.S. national incidence data for  
25 most cutaneous malignancies. Dr. Wingo is present today

1 and she will speak to us later on exactly what we can and  
2 cannot do with our national and State cancer registries.  
3 But it's my understanding that we have limited information  
4 on cutaneous malignancies, other than for invasive  
5 melanoma.

6           We may, therefore, have to extrapolate from  
7 data from other countries which do collect such data such  
8 as Finland or from regional data in the United States. We  
9 have, for example, the southeastern Arizona skin cancer  
10 registry which does collect information on incident non-  
11 melanoma skin cancers.

12           Now, this slide is courtesy of the SEER cancer  
13 statistics web site. What it shows is the age-specific  
14 incidence for all cancers by gender. I use this slide to  
15 illustrate the very low incidence of malignancies in the  
16 age groups younger than 20. The incidence begins to rise  
17 in the mid to late 20s.

18           This slide is similar, but I've used data from  
19 the southeastern Arizona skin cancer registry and I've  
20 shown here the age-specific incidence by gender for  
21 squamous cell carcinoma. Again, we see that it is low in  
22 the very young age groups and it doesn't begin to rise  
23 until about age 30 or so.

24           Similar for basal cell carcinoma. It doesn't  
25 begin to rise until about age 30.

1                   This low background incidence rate of  
2 malignancies in young children and young adults is going to  
3 have problems or implications for a sample size and power  
4 later on. This is the reason for my showing them.

5                   What I've done, because of the issues that we  
6 are going to have with power and sample size, I've done a  
7 number of specimen calculations on the various scenarios  
8 using different background rates for malignancies.

9                   This particular slide uses data for all  
10 malignancies and some of my information is not showing at  
11 the bottom of the slide, but it's for all cancers in the 25  
12 to 29 age group. The incidence is 6 per 10,000, and the  
13 data is taken from SEER. What we have done is calculated  
14 possible sample sizes for the traditional cohort method  
15 where we would have two comparison groups with atopic  
16 dermatitis. We can see that to detect the relative risk of  
17 3, we would need a sample size of just over 20,000. To  
18 detect a relative risk of 4, we would need a sample size in  
19 total of 12,000, and a relative risk of 5, a sample size of  
20 8,000.

21                   If we want to study all malignancies in the 0  
22 to 19 age group -- again this is SEER data -- but using a  
23 single group using the occupational cohort analogy here, we  
24 would find that to detect a relative risk of 4, we would  
25 need 14,000; of 6, 8,000; and of 8, 6,000 in a single

1 group. So this is probably doable.

2           However, if we looked at lymphoma, which has a  
3 much lower incidence rate -- and this is lymphoma, all  
4 types, in the 0 to 19 age group. The background rate is  
5 24.1 per million population annually. We can see that to  
6 detect a relative risk even as high as 4, we would need  
7 115,000 patients, and to detect a relative risk of 10, we  
8 would need 32,000 subjects.

9           Given these problems with the sample size and  
10 power, I thought it might be useful to look at the problem  
11 from another angle. Perhaps we could have a fixed sample  
12 size and then see what would be our probability of not  
13 detecting a case when in fact we had a given relative risk.

14           So here I've done sample calculations for a  
15 sample size of 10,000. If we try to detect a relative risk  
16 of 4, we would have a 38 percent probability of not seeing  
17 a single case. If we tried to detect a relative risk of 8,  
18 we would have a 15 percent probability of not seeing a  
19 case, and conversely an 85 percent probability of seeing a  
20 case. If we had a larger sample size, say, 20,000, we  
21 would have a lower probability of not seeing a case for a  
22 given relative risk. So one approach might be that we  
23 could decide on a sample size and then decide what level of  
24 certainty or uncertainty we'd be comfortable with.

25           This slide just demonstrates graphically the

1 same thing that was illustrated in the table slide  
2 previously, that the higher our relative risk goals, the  
3 lower the probability of us not finding a case for a given  
4 sample size.

5 Another way in which we could boost our power  
6 and sample size would be to have a multi-center or even a  
7 multinational cohort study, and I'm hoping that Dr. Salmon  
8 who represents the EMEA today will speak to this issue of  
9 the multinational participation in a cohort study.

10 I come back to the possible use of additional  
11 endpoints in the form of actinic keratoses. Actinic  
12 keratoses have traditionally been regarded as precursors of  
13 malignancies. In fact, they are abnormal proliferations of  
14 keratinocytes confined to the epidermis. More recently  
15 we've studied them in greater detail and have found, to a  
16 large extent, the cell types in actinic keratosis is  
17 identical to that of squamous cell carcinoma and abnormal  
18 cells in actinic keratosis possess the same P53 mutation as  
19 is found in squamous cell carcinomas. Recently there's a  
20 movement to have them regarded as squamous cell carcinomas  
21 in situ.

22 In some studies, up to 60 percent of all  
23 squamous cell carcinomas have been found to arise in  
24 preexisting actinic keratoses, and actinic keratoses are  
25 very rare in young people. They usually are a marker of



1 sun exposure and for that reason it might be useful for us  
2 to include it as an endpoint. If we didn't have a duration  
3 of the study long enough to pick up squamous cell  
4 carcinomas later on, we could use it as a predictor perhaps  
5 of who would go on to develop squamous cell carcinoma.  
6 Certainly we don't normally see actinic keratoses in very  
7 young people and it would be a signal that all was not  
8 well.

9                   We need to define our exposure both from a  
10 minimum definition at enrollment to use as an enrollment  
11 criterion. We need to define what we mean by long-term  
12 intermittent exposure to calcineurin inhibitors. Some of  
13 the suggestions that we have toyed with in our division and  
14 the Derm Division is whether 6 weeks exposure, continuous  
15 or intermittent, would constitute a minimum definition of  
16 long-term intermittent, whether we should extend it to 3  
17 months, whether we should use a dose amount, the use of 30  
18 grams intermittently or continuously over a 6-week period.  
19 All these are things for discussion.

20                   Now, we also need to define exposure assessment  
21 during the study itself. How are we going to assess the  
22 exposure? One method is to use the issuing of a  
23 prescription plus self-report of use by the caregiver or by  
24 the subject him or herself once they were old enough. We  
25 could also use a combination of methods used in clinical

1 trials to return unused portions of the tubes, to weigh the  
2 unused portions of the tubes, but in deciding how to define  
3 exposure assessment during the conduct of the trial, we'd  
4 have to consider the additional burden to participants and  
5 consequent losses to follow-up that might result versus the  
6 obtaining of more accurate information.

7 Another question is how would we ascertain  
8 malignancies. We could use histopathological definitions.

9 We could use international classification of disease codes  
10 as our definitions. If we had an exposure cohort on whom  
11 unique identifier information had been collected at  
12 baseline, we could link with our national and State cancer  
13 registries certainly to ascertain systemic malignancies.  
14 But we could not do this certainly in the United States in  
15 most instances for cutaneous malignancies because we have  
16 only limited data on cutaneous malignancies in our cancer  
17 registries. Self-reporting of cutaneous malignancies has  
18 not been shown in most studies to be reliable.

19 So we're left with the problem of how to  
20 ascertain cutaneous malignancies. We couldn't use, as I've  
21 said, linkage to State and national cancer registries  
22 because these do not routinely collect the information.  
23 Non-melanoma skin cancers, specifically basal and many  
24 early squamous cell carcinomas, are often treated in office  
25 or patient settings, so we could not use hospital records

1 or hospital discharges to ascertain cutaneous malignancies  
2 either. In addition, basal cell carcinomas and actinic  
3 keratoses may be treated with a variety of locally  
4 destructive methods with no samples even being taken for  
5 histology. So if we use pathology logs to ascertain  
6 cutaneous malignancies, we would be missing a substantial  
7 number of them.

8           Bearing all these things in mind, I would  
9 suggest that ascertainment of cutaneous malignancies should  
10 best be done by periodic, possibly annual, physical  
11 examination of the skin by a physician, preferably by a  
12 dermatologist. I say preferably by a dermatologist because  
13 in at least one study recently in Ireland, they compared  
14 general practitioner diagnosis of lesions that were  
15 subsequently found to be malignant on histology with the  
16 pre-histology diagnosis by a dermatologist, and general  
17 practitioners got it right 22 percent of the time compared  
18 to dermatologists who made the correct diagnosis of a  
19 malignancy 87 percent of the time on clinical grounds.

20           Physical examination is particularly important  
21 if we want to capture all the malignancies or as many as  
22 possible in a short enough period of time so that we can  
23 have an early and accurate assessment of the risk.

24           The duration of follow-up is another important  
25 point. I would recommend a minimum of 10 years for each

1 subject. Ideally this should be longer, but I think to  
2 reflect a minimum latency period of cancers, a minimum of  
3 10 years would be suitable. It could be that the latency  
4 period is shortened and we would get results sooner than 10  
5 years, but I think the minimum is 10 years.

6 Now, a very important aspect of such a study  
7 would be minimizing losses to follow-up because this is an  
8 important source of bias in cohort studies. Entire papers  
9 have been written on how to minimize losses to follow-up in  
10 cohort studies. I'm not going to spend a lot of time on  
11 this except to say that vigorous methods will need to be  
12 pursued to reduce losses to follow-up. I believe that Dr.  
13 Andrews, who speaks later on in the morning, may address  
14 some of these issues.

15 We'll also need to incorporate statistical  
16 methods for handling losses to follow-up. In our  
17 statistical analysis plan, we would be able to calculate  
18 crude and adjusted incidence rates within our cohort and to  
19 calculate the standardized incidence ratio. Depending on  
20 the numbers, we might also be able to explore dose-response  
21 relationships and the effects of other confounding factors  
22 such as disease severity and that sort of thing, but that  
23 depends on how many cases we would find.

24 Now we turn to whether the registry design were  
25 used to investigate this problem. A registry would have to

1 be mandatory with all users registered. If this were done,  
2 we would at least know the number of all the patients or  
3 close to the real number of all patients who had used  
4 calcineurin inhibitors topically. If we could ascertain  
5 all the malignancies, the registry would probably be the  
6 fastest method for getting incidence rates.

7           Unfortunately, however, there are problems with  
8 the registry method. There is generally poor acceptance of  
9 mandatory registries by both physicians and patients alike,  
10 and sometimes they go to the extent of avoiding use  
11 altogether to overcome the problem of having to be  
12 registered.

13           Registries are also expensive, perhaps not  
14 quite as expensive as cohort studies, but they're expensive  
15 nevertheless, and we have probably nowadays patient privacy  
16 issues to deal with.

17           Although we would get accurately the number of  
18 people who had used topical calcineurin inhibitors, we  
19 would not be able, in a typical registry, to get  
20 information on the dose and duration of exposure, nor would  
21 we be able to get information on disease severity, on skin  
22 types, and that sort of thing, other confounding factors.  
23 Again, we come back to the problem that it's not possible  
24 to ascertain most skin cancers.

25           So in this slide, I thought I would summarize

1 important factors that we require in a study to investigate  
2 the risk of malignancies with calcineurin inhibitors and  
3 how each of the possible design methods measured up.

4           Exposure assessment in a cohort study would be  
5 good. It would be fair in the registry situation because  
6 we wouldn't have detailed information on dose and duration  
7 of therapy, for example. And in a case-control study, this  
8 would be not very good largely because of the retrospective  
9 nature of the study.

10           Outcome assessment would be good in a cohort  
11 study, but incomplete in a registry because we would not be  
12 able to ascertain completely cutaneous malignancies.  
13 Likewise, it would be incomplete in a case-control study.

14           The duration of both the cohort and the  
15 registry studies would be long. A case-control study would  
16 be short, but we'd have to wait 20 or so years down the  
17 line before we could conduct it.

18           The cohort is probably the most expensive, with  
19 the registry coming in a close second, and a case-control  
20 study being relatively inexpensive.

21           Both the cohort and the registry would require  
22 large sample sizes. A case-control study would require  
23 probably a much smaller sample size, but again, we'd have  
24 to wait for a considerable time before we could undertake  
25 such a study, and I do not believe that the public health

1 would be served by waiting 20 years to undertake a case-  
2 control study.

3 Risk factors and incidence could be calculated  
4 fairly well or could be assessed fairly well in a cohort  
5 study. We'd have incomplete incidence in a registry and we  
6 couldn't calculate incidence in a case-control study.

7 The relative risk we could calculate in a  
8 cohort study, not in a registry, and the metric that we  
9 calculate in a case-control study is an odds ratio which is  
10 an approximation of the relative risk but is not itself the  
11 relative risk.

12 We could calculate the standardized incidence  
13 ratio in a cohort study and for systemic malignancies in a  
14 registry, but not in a case-control study.

15 On balance then, it would seem from the  
16 scientific point of view the cohort study would be the  
17 method to choose because it has advantages over the other  
18 two methods.

19 Nevertheless, if we chose a cohort study,  
20 practical issues remain: the duration of follow-up, power,  
21 and sample size considerations, how to ascertain the  
22 endpoints, how often, who should do this. We'd have to  
23 explore measures to reduce losses to follow-up, and we'd  
24 have to decide what level of uncertainty was acceptable.

25 Finally, I'd like to acknowledge the help of

1 Dr. Yi Tsong, statistician, acting Director of Quantitative  
2 Methods Research in the Office of Biostatistics, and he is  
3 present today to answer any statistical questions that you  
4 might have.

5 I'd like to also acknowledge the help of Dr.  
6 David Graham, Associate Director for Science in the Office  
7 of Drug Safety.

8 Thank you.

9 DR. CHESNEY: Thank you very much, Dr. La  
10 Grenade, another very elegant presentation.

11 Our next speaker is Dr. Elizabeth Andrews, and  
12 she will discuss the practical and methodological issues  
13 for these studies. Dr. Andrews is an epidemiologist and  
14 Vice President of RTI Health Solutions. Prior to joining  
15 RTI, she developed the worldwide pharmacoepidemiology  
16 programs for Glaxo SmithKline. She brings many years of  
17 practical experience with drug safety monitoring for a  
18 variety of short-term and long-term events.

19 DR. ANDREWS: Thanks very much for asking me to  
20 present today on methodologic and practical issues in doing  
21 a registry. Dr. La Grenade has given us a lot to think  
22 about in terms of designing a study to answer this  
23 question.

24 I'm going to take a slightly different approach  
25 and step back and ask a number of questions relating to



1 methodologic design and analysis as well as the practical  
2 issues where the rubber meets the road.

3           So, first of all, we need to think about when  
4 we would do a long-term follow-up study, and there are  
5 three general circumstances.

6           One is when adverse events may not be  
7 manifesting until months or years after treatment, which is  
8 the case in this particular case relating to cancer.

9           Another example would be when adverse events  
10 might have been ambiguous in clinical trial programs and in  
11 short-term therapy but might manifest themselves clearly  
12 with long-term use. Yesterday's discussion gave us a great  
13 example of adrenal suppression in long-term topical steroid  
14 use.

15           And a third example would be when adverse  
16 events may be too frequent to have been observed in  
17 clinical trials. Again, the issue at hand meets this  
18 criterion.

19           It seems there are several key questions we  
20 have to be able to address before we can design a study.  
21 Are these drugs, the calcineurin inhibitors, associated  
22 with cancer at a level that would warrant modifications of  
23 current prescribing and treatment recommendations? We need  
24 to answer that question.

25           We need to know what the baseline level of the

1 risk of skin cancer and lymphoma is in the pediatric  
2 population, and as we've heard, we don't know a lot about  
3 that, specifically around skin cancer.

4           And then we need to answer the question of what  
5 is the estimated increase in risk that must be detected for  
6 safety assurance; namely, what is our threshold for action?

7           With that in mind, how are we going to measure  
8 that increase? Do we measure it through a relative risk or  
9 do we use a public health measure of risk difference? For  
10 example, if the baseline 10-year risk of either lymphoma or  
11 skin cancer in kids is 2 per 10,000, that's the best  
12 estimate I could derive from the figures I looked at. And  
13 if we observe through this long-term follow-up study a risk  
14 of 10 per 10,000, that translates into a relative risk of  
15 5. It sounds pretty scary. If we look at the risk  
16 difference, that's a risk difference of 8 out of 10,000  
17 over 10 years, translating into 1 new case of skin cancer  
18 per 1,000 patients exposed over a period of 10 years.

19           We need to understand what potential increase  
20 in risk meets this threshold for action at a policy level.

21       What's the regulatory need at this point?

22           And what level of increased risk would be  
23 acceptable from a patient and family perspective to receive  
24 the benefits of the treatment?

25           As we continue to discuss the goals of the

1 study, we need to think of whether the study will be an  
2 etiologic study or a surveillance study, and that's already  
3 been discussed to some extent. In a typical etiologic  
4 study, we're attempting to either detect or rule out some  
5 specific increase in risk that we can define a priori. We  
6 use a standard study design and we power the study to  
7 achieve our objectives.

8           In a surveillance study, however, we tend to  
9 take a different approach, and that has implications for  
10 design as well as analysis. We use a general standard  
11 study design, but in our analytic methods, we need to think  
12 about how we review the evolving data over time in a  
13 qualitative, as well as quantitative way.

14           An example of a surveillance study that I  
15 thought I would use today is the international acyclovir  
16 pregnancy registry. This is a study that was established  
17 back in 1984 to look at the exposure to oral acyclovir, a  
18 drug used to treat herpes infections, following inadvertent  
19 exposure in pregnancy. Those patients were identified and  
20 followed up to term and beyond. The outcomes were  
21 identified through patients' physicians to identify infants  
22 with birth defects.

23           The frequency of birth defects in that study  
24 was compared to a population expected rate, and that  
25 comparison was based on data collected in a generally

1 similar manner to the way the data were collected in the  
2 registry. That study, after about 15 years, concluded that  
3 the overall frequency of birth defects was similar in the  
4 acyclovir exposed patients as in the general population,  
5 about 3.2 percent with a very tight confidence interval  
6 compared with an expected rate of about 3.2 percent.

7           Now, you might not expect an increase in the  
8 risk of a specific birth defect to actually be manifest in  
9 an increased risk of overall birth defects, analogous to  
10 our situation here with cancer and all cancer versus  
11 individual cancers. In this particular study, we  
12 determined after following over 1,000 thousand pregnancies  
13 that the study had the ability to detect a 7-fold increase  
14 in the risk of specific birth defects that occurred in 1  
15 out of 1,000. The study was at that point closed to new  
16 enrollment because it was difficult to continue enrollment,  
17 and also because of the marginal utility of additional data  
18 collection in reducing the uncertainty around specific  
19 birth defects was very low.

20           Another key point in thinking about a study of  
21 calcineurin inhibitors and cancer is whether to have a  
22 comparison group. Dr. La Grenade pointed out a number of  
23 useful points here. This also goes back to the goal of the  
24 study. Is it to detect a possible signal or is it to  
25 reduce the uncertainty relating to a possible increase in

1 risk?

2                   A single-arm registry can be very useful to  
3 identify incidence of events over a defined follow-up  
4 period. And it can identify if and when the event rate  
5 exceeds a threshold of an expected rate, if you can measure  
6 that threshold. It can identify characteristics of the  
7 patient population that you might want to look at if you  
8 were doing a more formal comparative study that might be  
9 confounders. In order to take this approach, however, we  
10 need a very well defined estimate of the expected risk  
11 which we have for lymphoma, but which we do not have for  
12 the non-melanoma skin cancers.

13                   A study with a concurrent comparison group can  
14 do some other things. It can certainly establish whether  
15 the incidence of events is similar between the exposed in  
16 the comparison group. It can explore the role of potential  
17 confounders which would have been measured in both groups,  
18 and it also can help assess a signal that arises in the  
19 exposed group.

20                   I've identified a potential scenario, and that  
21 is if we are looking at a baseline rate of 2 per 1,000  
22 cases of cancer over 10 years, what if in the first 3 years  
23 we observe 2 cases out of 5,000? Well, that's more than  
24 would be expected. Have we crossed the threshold? What do  
25 we do with that information? It would be very useful to

1 have comparative data at that point so that we could begin  
2 to look at the distribution of confounders rather than  
3 wonder if we have exceeded a threshold.

4                   An example of a study that did use a comparison  
5 group is the Rheumatoid Arthritis Azathioprine Registry  
6 that was started in 1984. The issue here was that  
7 azathioprine, an immunosuppressant, was used in  
8 transplantation and was associated with potential increased  
9 risk of lymphoma and other lymphoproliferative  
10 malignancies. Transplantation is associated with a  
11 significantly increased risk of cancers. Azathioprine was  
12 being used for rheumatoid arthritis at a much lower dose.  
13 The lower dose use of azathioprine also was associated  
14 increased risk of lymphoma.

15                   This is a study that enrolled patients over a  
16 period of 10 years in Canada, enrolled patients who  
17 initiated therapy with azathioprine, and for each  
18 azathioprine patient, another 2 patients who were  
19 initiating therapy with another disease modifying  
20 antirheumatoid drug. Patients were followed up for a  
21 minimum of 5 years each for additional exposures and  
22 serious events like lymphoma, all cancers, and some acute  
23 events. Specifically excluded from these outcomes were  
24 non-melanoma skin cancers because of the potential  
25 detection bias, as well as the potential to under-ascertain

1 these events.

2           The study was designed to enable the study to  
3 detect an increased risk of around 3-fold with full follow-  
4 up. The most recent information that I reviewed was after  
5 the end of the 10 years, and there should be another 5  
6 years of information.

7           So this study is analogous to the situation  
8 that we're dealing with here in a number of ways and is an  
9 example of a study that absolutely had to have a comparison  
10 group because there would be an expected significant  
11 increase in lymphoma in the azathioprine group compared  
12 with the general population because there's an increased  
13 risk of malignancy in patients with severe rheumatoid  
14 arthritis.

15           So we need to consider potential study designs  
16 that can include longitudinal follow-up studies, case-  
17 control studies. These can be done with de novo data  
18 collection. They can be done in existing databases, and  
19 there can be variations on the design.

20           You've already heard a little discussion about  
21 design of cohort studies. There are a number of examples  
22 of looking at long-term events. The azathioprine registry  
23 is one example. Patient registries; large, simple trials  
24 follow this scheme.

25           And case-control studies. While I think that a

1 case-control study would be extraordinarily difficult in  
2 this particular case, I thought I would point out that  
3 there are a couple of cases where case-control studies have  
4 been useful in looking at antecedent drug exposure and  
5 outcomes where there has been a significant latency period.

6 One case is looking at neural tube defects in infants and  
7 antecedent exposure to folic acid, and don't forget the DES  
8 and vaginal cancer story. I'll also point out the point  
9 that Lois made earlier, which is you can't define an  
10 incidence rate from a case-control study.

11 So if we decide to set up a study, perhaps a  
12 longitudinal follow-up study, we need to think about how to  
13 recruit patients. We need to think about what are those  
14 methods for identifying patients. Will we go to referral  
15 centers? Will we do something to recruit patients  
16 directly? As we think about this, will these methods  
17 select patients who are typical of users or will they be a  
18 highly skewed cohort, and does it matter? Will the  
19 patients be newly treated, or can we include people who've  
20 already been on drug in the past?

21 When we look at inclusion criteria, in terms of  
22 indication and severity of disease, will we try to increase  
23 the efficiency of the study by selecting high-risk  
24 patients, patients perhaps with substantial sun exposure?  
25 Will we perhaps look for older patients who might have a



1 higher rate of background cancer? Maybe adults. Or should  
2 the study be representative of the typical user population?

3 That depends on what you'd like to extract findings to.

4 And if there is to be a comparison group, will  
5 this comparison group have the same baseline risk as the  
6 exposed group? Well, in a nonrandomized study, we know the  
7 answer is no. They will have a different risk. Maybe  
8 that's okay, but you need to know what the differences are  
9 by collecting enough information to measure this and  
10 understand what analytic methods will be used in the  
11 analysis to control for this, for example, propensity  
12 scores.

13 In looking at exposure, there's the question of  
14 what's the minimum exposure that's required in order to  
15 qualify someone for the study, and then how much  
16 information on exposure to these and other drugs will be  
17 needed over the course of the study, what level of detail.

18 And then what periodicity of follow-up will be needed over  
19 that 10-year period or 5-year period in order to make sure  
20 that we've adequately captured the information?

21 In measuring outcomes, well, how will we do  
22 that? Will we allow outcomes to be reported by the  
23 patient? Will we abstract medical records from the  
24 patient's treating physician? Will there be required  
25 physical exams periodically to identify skin cancers that

1 might otherwise not be identified? Will we link the  
2 patient records with cancer registry, National Death Index,  
3 or other data files that are already in existence with  
4 outcome data?

5                   What level of detail will we collect?

6                   And what biases might be expected in our data  
7 collection? For example, in the calcineurin inhibitor  
8 group, we might expect to see a higher rate of reporting of  
9 skin cancer even if there isn't an increase, if there is  
10 that perception, just as in a study of topical steroids we  
11 might see a higher reporting of short stature irrespective  
12 of the truth.

13                   So we need to consider confounding, other  
14 treatments, other conditions. I would give some thought to  
15 the occurrence of asthma frequently in atopic dermatitis  
16 patients that might be distributed equally across the  
17 comparison groups. It's certainly worth considering.

18                   And there will be other variables that will be  
19 published in the literature after the time the study has  
20 started, and there will be the semi-annual discussion of  
21 whether the study needs to be modified to take into account  
22 the new data on potential confounders.

23                   I think it has already been mentioned in the  
24 writing of the analysis plan, one needs to consider  
25 analytic methods that will handle time-dependent variables.

1 If patients are enrolled over a series of years, then  
2 those patient characteristics at enrollment will be  
3 different from year 1 to year 2 to year 3. Medication  
4 exposures will change over time. We need good methods for  
5 grouping, lumping, considering different exposure  
6 categories. Potential confounders may change over time.  
7 And there will be the unanticipated events and practice  
8 patterns that will change, and the study will need to be  
9 able to handle that in the analysis.

10 So having said that, the ideal study design  
11 would be a long-term follow-up study in which there was an  
12 exposed and unexposed group that was recruited with the  
13 same baseline risk. Exposure measurement would be handled  
14 perfectly. Dose and duration of all relevant treatments  
15 and all potential confounders would be ascertained.  
16 Outcome measurement would be complete in both groups.  
17 Follow-up would be sufficient to observe all of the  
18 outcomes of interest. Maybe that's 10 years. Maybe it's  
19 20. And the power. Well, the study would need to be able  
20 to detect or rule out an increased risk of whatever your  
21 notion of the threshold for action is over the expected or  
22 observed in the unexposed group.

23 However, the ideal is rarely practical, and  
24 that's what I intend to address next. In thinking about  
25 where the rubber meets the road in study designs, we can

1 turn to some examples and think about bigger issues of how  
2 do we implement a study like this. We can look at a number  
3 of examples, but there are two characteristics of studies  
4 that are inversely related that I think are important here.

5 One is study complexity and the other is study  
6 size. Here I've taken study size from small, being 1,000  
7 or so, up to large, tens of thousands of patients. And  
8 then there are studies that are very simple that may  
9 collect data annually by mail up to a highly complex study  
10 where we may be doing routine skin examinations on an  
11 annual basis.

12 We do these highly complex studies all the  
13 time. These are our randomized clinical trials, and there  
14 is a reason they're small. We do large safety studies that  
15 tend to be very, very simple, but the studies up in the  
16 upper right quadrant where we do highly complex follow-up  
17 with physical exams with large numbers of patients are  
18 typically studies that have been designed to address major  
19 public health issues like the Women's Health Initiative,  
20 the Physicians' Health Study, the ALLHAT study.

21 So some of the things that need to be  
22 considered in going into this enterprise are the cost of  
23 the study, not insignificant.

24 Equally important would be the opportunity  
25 costs to all involved. That means the time and effort, as

1 well as money, that will be spent by regulators, sponsors,  
2 physicians, and patients in addressing this question at the  
3 expense of other things they might be doing.

4           We need to also consider the potential indirect  
5 impact of doing the study. If a major study is launched to  
6 look at calcineurin inhibitors and the risk of cancer, what  
7 will the impact be on physician treatment choices knowing  
8 the study is out there? Will it impact adversely  
9 prescribing behavior, complaints behavior by patients and  
10 their family, and will there be some additional impact on  
11 reimbursements?

12           Then my fourth question here is when is it  
13 reasonable to do this kind of a study? What are the  
14 benchmarks and what is standard practice? There's not a  
15 whole lot of experience to share in this respect.

16           Well, the key issue in making a study like this  
17 successful is a high retention rate over multiple years of  
18 the study. There are a number of tools to help maximize  
19 follow-up, and I'll get into some of them.

20           Retention really has at least two components.  
21 One is the ability to track and locate patients. Can you  
22 find them? And the other is participation. If you can  
23 find them, are they still willing to participate in your  
24 study? Tracking can be done in a number of ways and can be  
25 done very, very successfully. It involves keeping up with

1 a patient and maybe a neighbor, next of kin, knowing when  
2 they move, and getting new contact information. It also  
3 involves linking patient identifying information against  
4 publicly available data that can help track them down, all  
5 conducted with IRB approval.

6           There are a number of studies that show that  
7 you can locate people at a high rate over many years. One  
8 example is the Piedmont Health Survey of the Elderly which  
9 was able to track 99 percent of an elderly population over  
10 a period of 10 years, and there are other examples showing  
11 very high rates of tracking over many years even without  
12 intervening contact.

13           I think there are special issues relating to  
14 follow-up of kids over time into adulthood. Many of them  
15 -- and I hope mine will be one of them -- will leave home  
16 when they go to college.

17           (Laughter.)

18           DR. ANDREWS: That just proves that's another  
19 issue of tracking. So we need to consider those various  
20 factors that make the study more complex.

21           Study participation. Are people interested and  
22 willing to follow up? Really, there is a lot of literature  
23 and there are a lot of examples. I didn't bring many  
24 specific examples, because everywhere I turn, the answer I  
25 get is the epidemiologist's answer to everything, which is

1 "it depends." And it really does. It depends on the mode  
2 of data collection. If data collection is done by mail  
3 surveys, the response rate is going to be very, very low.  
4 Not always. I'm involved in a study where we're getting  
5 over 95 percent follow-up in quarterly mail surveys.

6           It depends on the periodicity of contact.  
7 Participation increases when there's a frequent level of  
8 contact.

9           Salience of the study to the patient is key  
10 here, and so it needs to capture the interest of the  
11 patient and the parent.

12           Incentives are critically important. They  
13 don't have to be large incentives, but appropriate to keep  
14 people involved.

15           And the burden on the participant has to be  
16 minimized.

17           Now, there are special considerations in  
18 pediatrics. You have both the patient and parent  
19 participating, so that's two people or maybe three people  
20 who have the opportunity to say I've had it, I want out of  
21 the study. You also have changes in consent over time,  
22 giving you more opportunities for people to think about  
23 whether they want to continue on in the study.

24           So my advice would be to plan for annual  
25 attrition, but that should be based on the methods that are

1 selected and you can select a study design that can  
2 optimize patient follow-up. Probably you would use a mixed  
3 mode of data collection. Certainly in-person interviews is  
4 very helpful, but maybe considering mixed modes of data  
5 collection, mail, telephone, visits.

6           One other issue of practical consideration that  
7 is incredibly important and that is the issues of IRB  
8 approvals and HIPAA privacy concerns. We're all struggling  
9 with this new environment now in which IRBs would normally  
10 have approved studies that looked quite reasonable and are  
11 having second thoughts. When you take a study design that  
12 looks a little novel, you may have to go through two or  
13 three iterations before convincing them that it really is a  
14 worthwhile study.

15           A key issue that was raised in Dr. La Grenade's  
16 presentation was the issue of a mandatory registry. I  
17 would just point out that if the study is to be a study,  
18 then an IRB will not approve a study in which treatment is  
19 conditioned on participation in research. So that would be  
20 an issue, and I would suggest that a mandatory registry  
21 probably is not a viable option.

22           We need to consider in the design who gives  
23 assent and consent, when that occurs, how often that  
24 occurs, how does it change over time.

25           What IRB approvals will be needed? Will there



1 be a central IRB and lots of local IRBs?

2                   And if the study involves chart abstraction to  
3 validate outcomes, then you might need to consider HIPAA  
4 waivers in the institutions where the charts would be  
5 obtained.

6                   So in conclusion, considering a study design  
7 like this requires some epidemiologic expertise in addition  
8 to folks who have been in clinical trial design because the  
9 design and the analytic methods and the practical methods  
10 really approach more an epidemiology study design or  
11 survey.

12                   The key focus in the design must be a long-term  
13 retention strategy.

14                   The study must minimize burden to the patient  
15 or the dropout rate may be so high as to render the study  
16 meaningless.

17                   And the successful design will be a compromise  
18 between the ideal and what's actually practical.

19                   But fundamentally, back to basics, the design  
20 must be tailored to the ultimate goal of the study, and I'm  
21 not sure that we're clear what the ultimate goal of the  
22 study would be.

23                   Thank you.

24                   DR. CHESNEY: Thank you very much, Dr. Andrews,  
25 for a very clear discussion of the issues involved in long-

1 term follow-up studies.

2                   Our last speaker for this morning is Dr.  
3 Phyllis Wingo. She is an epidemiologist and Chief of the  
4 Cancer Surveillance Branch of the Cancer Division of the  
5 Centers for Disease Control and Prevention. Her primary  
6 responsibilities include the National Program of Cancer  
7 Registries and the design, conduct, and analysis of  
8 descriptive epidemiologic research on trends in cancer  
9 incidence, mortality, survival, and patterns of cancer  
10 patient care.

11                   DR. WINGO: Good morning, everyone. I'd like  
12 to thank Susan Cummins for inviting me to talk about cancer  
13 registries in the United States. Before I start my  
14 presentation, I think it's important to make clear we've  
15 been talking a lot about exposure registries, and now I'm  
16 going to switch gears slightly and talk about disease  
17 registries.

18                   Briefly I'm going to talk about the cancer  
19 registry infrastructure that currently exists in the United  
20 States. I think it's a very strong infrastructure, and as  
21 I will show you, it is nationwide, and we do have data for  
22 every State. I'll talk about what kinds of data are  
23 available in the population-based cancer registries.

24                   I'm also going to talk a little bit about data  
25 quality, and the reason I'm going to talk about data

1 quality is that part of the registry infrastructure is  
2 fairly new, and because it's fairly new, not all of the  
3 States are in a place where they should be participating in  
4 special research studies, but many of them are. And I'll  
5 describe that to you.

6 I'll do that description through the combined  
7 publication of cancer data that currently exists. This  
8 publication combines information from the very long-term  
9 SEER program, as well as the National Program of Cancer  
10 Registries from the Centers for Disease Control.

11 I'll also give a little bit of information  
12 about follow-up. Again, this is follow-up of cancer  
13 patients as opposed to follow-up of persons exposed to a  
14 particular drug. And I'll then try to summarize.

15 I'm not going to go through a 70-year history  
16 of cancer registries in the United States. What I would  
17 like to say is that cancer registries have been around for  
18 a very long time. They started with a bone sarcoma  
19 registry in the 1920s that was set up by the American  
20 College of Surgeons, which is still around today. The  
21 standards that were set in these early days and the focus  
22 that this group had on data quality are factors that  
23 influenced the development of population-based registries  
24 and, as I said in my opening remarks, still affect what  
25 we're doing today.

1                   I also will talk a little bit about the SEER  
2 program, which has already been referred to in some of the  
3 presentations this morning, and talk a little bit more  
4 about the newer program from the Centers for Disease  
5 Control so that people know what it is and what it offers  
6 and where it is in its state of development, and then what  
7 kinds of data are available from the two systems combined.

8                   The SEER program, or the Surveillance  
9 Epidemiology and End Results program, is funded by the  
10 National Cancer Institute. They just celebrated 2 weeks  
11 ago -- I was up here for their 30-year celebration. They  
12 are the gold standard for cancer registries in the United  
13 States, and they are the kind of registry that all the  
14 other population-based registries aspire to be like.

15                   They have data from the diagnosis year  
16 beginning in 1973. For most of their history, they have  
17 covered five States and six metropolitan areas, and in the  
18 year 2000, 4 of the CDC program States that are in the  
19 turquoise color here joined the SEER program also. They're  
20 actually funded by both programs, and now the SEER program  
21 covers 26 percent of the U.S. population.

22                   The National Program of Cancer Registries, or  
23 NPCR, is the program that's funded by the CDC. It is a  
24 relatively new program. It's only been around for 10  
25 years. The first year of diagnosis for some, but not all

1 of the States, because not all of the States started in the  
2 program with that first diagnosis year, is 1995. It covers  
3 45 States, 3 territories, and the District of Columbia. It  
4 covers 96 percent of the U.S. population.

5 The bottom line is the SEER program plus the  
6 NPCR program cover all 50 States in the United States and  
7 the District of Columbia.

8 As I said, I'd like to talk a little bit more  
9 about NPCR. It was created by the Congress through the  
10 Cancer Registries Amendment Act in 1992, which authorized  
11 the CDC to minister to this program, and the legislation  
12 set into place requirements for establishing cancer  
13 registries in States where one currently did not exist. At  
14 that time, 10 States did not have a statewide population-  
15 based registry, and to enhance registries in the other  
16 States where there already were registries, but they did  
17 not have adequate resources to do a very solid job of  
18 getting complete reporting that was high quality and  
19 timely.

20 As part of the congressional law, as part of  
21 the Cancer Registries Amendment Act, each State had to put  
22 into place a State law that established a statewide  
23 population-based registry. In addition, each State also  
24 had to develop legislation and regulations for reporting  
25 and for protection of confidentiality.

1           Here's the issue of data quality. Our acronym  
2 is CTQ. Completeness. We need complete reporting of all  
3 cases that occur within the States. We need timely data.  
4 We need high quality data.

5           At the time this program was created, the  
6 registries that existed in the United States did not have  
7 standardized definitions for reporting cancer. They did  
8 not have standardized data elements. They did not have  
9 standardized data collection procedures. So part of the  
10 congressional legislation was to mandate that data be  
11 collected in a uniform way, and States are required to  
12 report out annually.

13           Just again to repeat, each State has to have  
14 authorizing legislation. The State legs and regs require  
15 comprehensive reporting. They allow access to records.  
16 They require the reporting of uniform data, confidentiality  
17 protection, promoting ultimately, when the data are of  
18 sufficient quality, access to the data by researchers, and  
19 authorization to conduct research, and protection from  
20 liability.

21           The laws obviously from State to State vary a  
22 great deal. In some States, there are a lot more teeth in  
23 the laws than in other States. We had a State, for  
24 example, that was not able, with the existing laws, to get  
25 all of the hospitals to report cases, and when the Medicare

1 folks stepped in and said, well, that's very nice. We will  
2 hold up your Medicare payments unless you report your  
3 cancer cases. And all of a sudden, we had -- this came  
4 from the State. This didn't come from us -- complete  
5 reporting for that State. Whatever works I guess, and  
6 different States have different methods for getting things  
7 to happen.

8           What kinds of data are available in the  
9 population-based registries? There has been some allusion  
10 to this already. Basically, as our previous two speakers  
11 pointed out, the reportable cancer case is defined in the  
12 Cancer Registries Amendment Act as each form of invasive  
13 cancer with the exception of basal cell and squamous cell  
14 carcinomas of the skin, and each form of in situ cancer,  
15 except for carcinoma in situ of the cervix. The cervix  
16 piece was added after the law was written, but as I  
17 mentioned, population-based registries in the United States  
18 do not capture basal and squamous cell skin cancers. They  
19 do capture melanomas and other nonepithelial skin cancers  
20 as well as the melanomas that we've talked about this  
21 morning.

22           What kinds of data elements are available? The  
23 demographic information is as listed on this slide. Most  
24 of these data are retained at the State level. Data that  
25 are sent to CDC, sent to NCI have personally identifying

1 information stripped off, such as age, address, census  
2 tract, and so on, Social Security number. So at a national  
3 level we have only the basic statistics for describing the  
4 burden of disease in this country and in each State.  
5 Special studies have to occur at the State level where  
6 there would be access to personal identifying information.

7           The registry also contains basic clinical  
8 information, including date of diagnosis, date of admission  
9 or first contact, the source of that information, date and  
10 type of the first course of definitive treatment, usually  
11 surgery. There's also limited information on hormone  
12 therapy, chemotherapy, and immunotherapy, basically a  
13 yes/no kind of did you receive it, that may or may not be  
14 available in the medical record of the hospital,  
15 information on date of death and underlying cause of death.

16           Pathology information is required for all of  
17 the tumors identified through these programs, including  
18 primary site, morphology with behavior and grade, the  
19 sequence number of the tumor, laterality, and diagnostic  
20 confirmation.

21           Data quality, as I said, is really important  
22 again because not all of the States that participate in the  
23 NPCR program have achieved the quality standards that have  
24 been set by law. I'm not going to spend a lot of time on  
25 those. You can look at the details of these requirements



1 and how they are evaluated. I'll just go through them  
2 fairly quickly. But these are important attributes for  
3 determining whether or not we will include a particular  
4 State's data in the national estimates of the burden of  
5 disease. These are also measures that should be considered  
6 when you're looking at doing research using the cancer  
7 registry in a particular State.

8           We look at measures of completeness and we  
9 evaluate those in a variety of ways related to case sharing  
10 with bordering States, case reporting from all facilities,  
11 audits.

12           Death clearance. One way of finding cases is  
13 through death certificates only, and if you identify a case  
14 through a death certificate, you don't have the information  
15 about the tumor unless you do follow-back and get that  
16 information from the pathology reports.

17           Dealing with duplicate reports of cases is also  
18 part of measuring completeness.

19           Issues of timeliness. We want cases reported  
20 within 6 months of diagnosis, and we look at a variety of  
21 dates that are collected to evaluate the timeliness of  
22 cancer data.

23           We put time frames around these. In other  
24 words, we don't want just quality data. We don't want just  
25 complete data. We don't just want it in a timely way. We

1 want them to do all of these things, including follow-back  
2 within a certain period of time. We want States to find at  
3 least 90 percent of unduplicated cases within 12 months and  
4 95 percent of unduplicated cases within 24 months.

5 We also do a variety of data cleaning  
6 operations on the data to check for consistency and  
7 validity between various variables in the data set.

8 There is an external group. It's called the  
9 North American Association of Central Cancer Registries,  
10 also called NAACCR, that does a variety of things with  
11 population-based registries in the United States. One of  
12 the things they do is evaluate the quality of population-  
13 based cancer registries. They have been doing  
14 certification of data beginning with the 1997 year of data  
15 submission, and as we have come through time, you can see  
16 that the number of States that they now certified as of  
17 this year's data submission has gone from 9 to 36. So we  
18 value this external evaluation of the quality of cancer  
19 registry data.

20 So what's available? Right now, we are in the  
21 process of, for the second time, jointly publishing with  
22 the NCI data from the SEER program and high quality data  
23 from the NPCR. These data are for the year 2000 diagnosis  
24 year. This report contains crude and age-adjusted  
25 incidence rates per 100,000 population for adults and per

1 million population for children 0 to 19. This report  
2 covers 84 percent of the U.S. population, and it will be  
3 out next week.

4           The quality issues that we looked at for  
5 including States in this report are listed on the slide.  
6 I'm not going to go through each one again. It has to do  
7 with completeness of reporting, cleaning the data, clearing  
8 death certificate only cases, quality of race, sex, and age  
9 data.

10           There are data from 41 statewide and 6  
11 metropolitan area registries that met these criteria, and  
12 these are the States that are included. As you can see, we  
13 have generally poor representation in the Southeast.

14           The report has basically three kinds of data.  
15 It has national cancer incidence data by site, sex, and  
16 race. As I said, it covers 84 percent of the U.S.  
17 population for the year 2000. It includes more than 1  
18 million new diagnoses of cancer and more than 10,000 new  
19 cases of cancer among children ages 0 to 19 years.

20           Here are the ranked age-adjusted incidence  
21 rates per 100,000 population for men in the United States,  
22 and you can see that non-Hodgkin's lymphoma among men in  
23 the United States lists as the number 5 cancer, followed by  
24 melanoma as number 6.

25           I don't have the endocrine cancers listed here,

1 cancers of the thyroid and thymus. This was mentioned in  
2 one of the presentations this morning, but there are also  
3 data for about 5,000 males and about 13,700 women in this  
4 data set with new diagnoses of endocrine cancers in the  
5 year 2000.

6 Here are the top 15 cancers for females in the  
7 United States. Non-Hodgkin's lymphoma is sixth on this  
8 list behind some female cancers, and the usual top three,  
9 again followed by melanoma.

10 I pulled out the lymphoma incidence rates and  
11 the counts for both Hodgkin's and non-Hodgkin's disease.  
12 The rate in males in the United States for the year 2000 is  
13 22 per 100,000 and in females it's 14 per 100,000.

14 Looking at invasive skin cancer incidence, this  
15 is melanoma and other nonepithelial skin cancers, there's a  
16 rate of 25 per 100,000 in males and 18 per 100,000 in  
17 females.

18 Here I have some information on the occurrence  
19 of cancer in children by gender. As I said, there are  
20 slightly more than about 10,000 cases in this data system  
21 for children of these ages with a rate of 166 -- this is  
22 per million. We've changed the denominator in reporting  
23 the childhood cancers -- per million population in males  
24 and 147 per million population in females. There are the  
25 data also for lymphomas listed there.

1           In addition to these national kinds of data for  
2 both adults and for children, there's another part of the  
3 report that contains the State-specific data for the top 20  
4 cancers as well as regional data. Here you can see the  
5 population coverage for these regional data. It's really  
6 quite good, as you've already seen, except for in the  
7 South. There are the regions as listed there.

8           The third part of this report contains gender-  
9 and race-specific data that are ranked within those groups  
10 for each State. I'm not going to present those data, just  
11 make you aware that in fact it exists.

12           Follow-up in the registries is not about  
13 follow-up for exposures but in fact for vital status. All  
14 registries that participate in the two federal programs do  
15 linkage with State death certificate files, Social Security  
16 files, the National Death Index to confirm deaths from  
17 cancer and other causes for patients that are already  
18 ascertained in the cancer registries.

19           The SEER registries and a very few of the NPCR  
20 registries also do follow-up to determine alive status.  
21 The SEER registries do this to maintain having a current  
22 address on the cases in their registries, and so they link  
23 with the other kinds of files that are listed here on this  
24 slide. I guess what I would say is that this is an  
25 important activity for keeping up with a current address,

1 although there are other means of doing that for doing  
2 special studies.

3 I'd just like to summarize, make a couple of  
4 points. We do have population-based cancer registries in  
5 all 50 States and the District of Columbia. As I tried to  
6 illustrate to you, the quality of the data varies across  
7 the States. That quality is also a reflection of  
8 individual State's experience and ability to do special  
9 studies. But I think it's a community that has made  
10 remarkable progress over the past 10 years such that there  
11 are now good quality data available in 41 States.

12 Follow-up is good for death status. It's  
13 limited for alive status.

14 So I think we do, in fact, have a very strong  
15 nationwide cancer registry structure in place and that we  
16 do have data available at many levels, including at the  
17 national, regional, State, and local levels, for monitoring  
18 the burden of disease, planning comprehensive cancer  
19 control programs and conducting special research studies.

20 Thank you for you attention.

21 DR. CHESNEY: Thank you very much, Dr. Wingo.

22 Questions for our three speakers of the second  
23 half of the morning. Dr. Stern.

24 DR. STERN: I wanted to bring out a couple of  
25 issues which I think were well covered but I think deserve

1 a special concern with these agents. We've talked about  
2 the difficulty of -- we expect these risks to be in some  
3 way dose and duration related.

4           Now let me speak about the risks of skin  
5 cancer. One added confounder is the fact that we also  
6 expect that the risks of skin cancer are likely to be a  
7 result of local rather systemic effect primarily in these  
8 agents. Therefore, in order to understand the relationship  
9 of dose to risk, which in these studies is perhaps the most  
10 persuasive evidence for an increased risk is looking at the  
11 entire cohort and seeing how risk varies with exposure over  
12 time, one also has to think about site of application. And  
13 I would have to say that after trying to reasonably  
14 quantify exposure to topical agents over the last 28 years  
15 and a little bit longer in clinical practice, reasonable  
16 quantification even on an annual basis in patients who have  
17 been educated of what they're using in the last year, how  
18 often they use it, and where they use it has at least  
19 certainly eluded all of my capabilities. So I just think  
20 it's not an easy task, and I'm sure there are other folks  
21 who can do it better but I've never succeeded in a way that  
22 I thought that I could well quantify really relative  
23 exposure over time and particularly exposure by site.

24           That was one and I have a bunch of other points  
25 that I think will be better raised in that. I think the

1 issue of actinic keratoses as an endpoint is an interesting  
2 one and one I've also thought about. I have some concerns  
3 about it in both ways. One is the clinical diagnosis of  
4 actinic keratoses varies substantially person to person.

5           The second is that there may be some  
6 confounding due to disease in these individuals. At least  
7 in people with seborrheic dermatitis, which is in fact one  
8 of the conditions that Elidel is used off label for, in my  
9 experience in sun-exposed areas, telling seborrheic  
10 dermatitis from actinic keratoses could be very difficult,  
11 except in Dr. Wilkin's hands I think, but for some of us it  
12 can be very difficult, although I understand the Greeks  
13 found it an easier differential diagnosis.

14           (Laughter.)

15           DR. STERN: Clearly people, if they're educated  
16 to this, may lead to over-diagnosis, beyond the point of it  
17 being a squishy endpoint subject to ascertainment bias.

18           My other concern is at least as one looks at  
19 the transplant literature, if you think about lesions, if  
20 you think about the actinic keratosis, there's a  
21 probability over time it will go forward. In fact, what  
22 may be happening in transplantation is that whether they go  
23 through a transitional stage of actinic keratoses or first  
24 manifest themselves with tumors, the duration of being  
25 clinically a pre-malignant -- a carcinoma in situ either



1 clinically or histologically may be shortened. One of the  
2 things you see is a shortening. Therefore, if you look at  
3 an intermediate lesion, if you look at the in situ lesion  
4 -- and you're, after all, measuring prevalence as caught on  
5 doctor's exams, say, once a year -- you may be under-  
6 representing the real number because the real interest is  
7 those that go on.

8                   So I'm just not sure that it's either a  
9 feasible endpoint or really that a negative finding or a  
10 small increase in risk of actinic keratoses would really be  
11 -- you'd know how to extrapolate that finding, be it  
12 positive or negative to what the real interest is, what's  
13 the increase in risk of squamous cell carcinoma.

14                   DR. CHESNEY: Dr. Fink.

15                   DR. FINK: I would just like to bring up what I  
16 think may be a major confounder to study design and would,  
17 I guess, argue for the inclusion of a non-treatment control  
18 group. In the spring of this year, the Pulmonary Allergy  
19 Drug Advisory Committee of the agency reviewed the  
20 preclinical data on omalizumab, or anti-IgE. And cancers,  
21 including non-melanoma skin cancers, were of concern there.

22                   One of the issues of that data, although not definitive,  
23 pointed out that it appears that elevated IgE levels --  
24 these would be in atopic asthmatics with IgE's above 200 --  
25 actually had a protective effect for epithelial cancers.

1 So in those studies, there was a question as to whether you  
2 are losing a protective effect and the comparison to SEER's  
3 database or the SIR's methodology would be inaccurate.

4           What was seen there is that the control  
5 population had a significantly decreased risk of epithelial  
6 cancer compared to SEER. And the anti-IgE treated group  
7 came up to the population norm. So there was a loss of  
8 protective effect. If that is also true for atopic  
9 dermatitis where IgE is elevated, you really have to have a  
10 control group that is untreated to detect that loss of the  
11 anti-IgE protective effect.

12           DR. CHESNEY: Dr. Glode.

13           DR. GLODE: This is a question for Dr. Andrews.

14       When you discussed the rheumatoid arthritis study as  
15 possibly analogous -- and I could see the analogy that  
16 perhaps dermatologists would enter patients, et cetera -- I  
17 just wanted to ask you if this type of study design has,  
18 over time in general, been validated, been helpful because  
19 I see the problems as time goes on with other drugs being  
20 introduced into therapies, for example, obviously with  
21 rheumatoid arthritis, other immune modulating drugs. So it  
22 comes back to the issue again of your comparison group sort  
23 of changing over time perhaps in terms of their risk for  
24 malignancies. But has this study design, which looks like  
25 it doesn't have to involve too many patients, for example,

1 given us good information historically?

2 DR. ANDREWS: That particular study proved very  
3 useful in looking at some of the short-term toxicities. It  
4 was also useful, as other drugs were being developed and  
5 there was the need to look at short-term infection. It was  
6 able to provide a comparison group for an uncontrolled  
7 clinical trial population. So there were a number of uses  
8 for the study data over time.

9 Yes, treatment patterns changed a lot, and the  
10 analytic strategy had to accommodate that fact. So you  
11 didn't have a single ever azathioprine-exposed population.  
12 You had azathioprine plus methotrexate, whatever. It was  
13 very complex.

14 We were able to achieve a very high rate of  
15 retention. I think one of the factors that made that a  
16 very successful study had to do with practice of medicine  
17 in Canada. Patients with rheumatoid arthritis tend to be  
18 seen by rheumatologists repeatedly. So the rheumatologists  
19 were actively engaged and contributed data for many, many  
20 years. I think in the States most patients might have seen  
21 rheumatologists once or twice, but would be treated by  
22 their primary care physician. So everything conspired to a  
23 good, feasible study in that case. And because the  
24 anticipated rates of lymphoma and other outcomes are fairly  
25 high in that population, we didn't need a huge sample size.

1 DR. CHESNEY: Dr. Gorman and then Dr. Santana  
2 and then Dr. Danford.

3 DR. GORMAN: Is not the goal of this study,  
4 when we were talking about goal-setting, which I found re-  
5 informative perhaps rather than refreshing, but re-  
6 informative -- we're looking immune-modulating effects on a  
7 systemic basis. While we're waiting for the 20-year cancer  
8 incidence to change or the 5-year cancer incidence to  
9 change, could we not be looking as well on the perhaps not  
10 as serious but equally telling dermatological changes such  
11 as recurrence of zoster or invasive viral diseases or  
12 invasive bacterial diseases? Could they be not  
13 incorporated in the same design whether it be cohort or  
14 surveillance?

15 DR. CHESNEY: Do you have any one special to  
16 address that to?

17 DR. STERN: I'm sorry to be talking so much,  
18 but this is an area of interest of mine.

19 At least with UV and PUVA in carcinogenesis,  
20 acute UV is associated with flares of HSV but not herpes  
21 zoster, but in terms of chronic exposure, they're not good  
22 predictors for cancer risk. They're good predictors for  
23 acute immunomodulation in the skin, but they're not good  
24 predictors for cancer risk probably because some of those  
25 phenomena are more when a person has exceptional exposure

1 as opposed to steady exposure. Steady exposure is  
2 important for cancer. Bringing out HSV is when you get a  
3 sunburn is when you get herpes simplex reactivation, and  
4 they may be correlated, but they're certainly not good  
5 predictors.

6 DR. GORMAN: Well, perhaps not herpes simplex,  
7 but zoster is something that would be a sentinel event in a  
8 pediatric practice as being an unusual -- not rare, but  
9 unusual -- occurrence. Incidence rates that were different  
10 I think would have a signal-to-noise ratio that would  
11 rapidly be high even if it's not a predictor of eventual  
12 cancer.

13 DR. STERN: I guess when I think of zoster  
14 earlier than usual, it's usually again in the background of  
15 fairly profound immunosuppression or fairly acute insult  
16 like radiation therapy to a ganglion, to an area where  
17 there's latent virus, and it doesn't seem to be so much of  
18 a problem when we just modestly but chronically  
19 immunosuppress individuals, whereas those kinds of insults  
20 do seem to be a problem with long-term exposure in terms of  
21 carcinogenesis.

22 So again I think there is obviously some  
23 correlation between cumulative dose and peak doses, but to  
24 me, most of those signals have to do with exceptional acute  
25 doses or very profound immunosuppression, which if it

1 occurs, is certainly like to be associated with a high risk  
2 of cancer if it occurs on a long-term basis, but I don't  
3 think is directly analogous to what we expect to these less  
4 profound but chronic exposures.

5           The question really is when we get down lower  
6 in the magnitude of immunosuppression, how much is that  
7 going to alter things long-term. I think that is a bit  
8 different than the acute conditions in the skin, at least  
9 as I'm aware of them.

10           DR. DIANNE MURPHY: I'd like to follow up on  
11 that question, though, because in the limited controlled  
12 studies that were done, we already saw this come out  
13 statistically. So I think the question is really one of  
14 background noise and ability to pick this up from your  
15 experience because we had to label it because we already  
16 saw it in the rather limited numbers of studies that we  
17 had. So I think that's what you were trying to get at, not  
18 as a particular cancer, but just a shorter-term signal that  
19 some sort of additional immunosuppression is going on here  
20 that's more systemic.

21           DR. GORMAN: That is the question I want to  
22 answer. If I can be convinced or unconvinced whether -- if  
23 we're going to down-regulate children's immune systems for  
24 atopic dermatitis, I want to know that, and I think that's  
25 the question that we look at with the signal of cancer long

1 term, dermatological cancers or perhaps lymphoma long term,  
2 but there are other markers perhaps not as sensitive and  
3 perhaps not as life-threatening, but perhaps equally  
4 important to parents who are taking care of these kids.

5 DR. DIANNE MURPHY: Just to clarify. The  
6 division wanted to make sure everyone realized that that  
7 signal is picked up in the less than 2-year-old population.

8 DR. CHESNEY: Dr. Rabkin, did you want to say  
9 something? And then Dr. Santana and Danford.

10 DR. RABKIN: Similar points to those that have  
11 already been raised. I was going to mention that you would  
12 need to distinguish between markers of systemic loss of  
13 immunity and perhaps even more difficult to discern losses  
14 for cutaneous immunity that could have very local effects  
15 for which there's very little tools, not that the tools for  
16 systemic alterations are that sensitive, but the tools for  
17 cutaneous changes in immunity would be even more difficult  
18 to discern and the clinical signs are also more nebulous.

19 Also, I'd like to make the point that the  
20 effects of these conditions, regardless of treatment, on  
21 immunity and risk of diseases such as lymphoma are  
22 uncertain. So the importance of a comparison group is very  
23 real and even with the comparison group, in the absence of  
24 the usual specter of a randomized clinical trial, it's  
25 going to be very difficult to ascribe any particular

1 differences to the treatments, as opposed to differences in  
2 the disease.

3 DR. CHESNEY: Dr. Santana.

4 DR. SANTANA: I just want to make two general  
5 comments.

6 First of all, as a practicing oncologist, if  
7 there's anything I can do to prevent a patient from getting  
8 a malignancy, which is very rare in pediatric malignancies  
9 that we have that opportunity, we should do. Obviously  
10 that comment is biased in the sense that we rarely have  
11 these opportunities and it has to be taken in the context  
12 of what other conditions the patient may have in which the  
13 use of the particular agent was necessary in order to  
14 ameliorate or cure their primary disease.

15 Having said that, I do want to publicly applaud  
16 Elizabeth Andrews' presentation about bringing the issue of  
17 stewardship forward. Many of us who live in academic ivory  
18 towers who have a lot of access to support to do our  
19 studies sometimes don't recognize this issue of  
20 stewardship. And as we think whether it is appropriate for  
21 this particular class of drugs to conduct these studies  
22 that will consume time, that will consume talent, and that  
23 will consume money, the three cornerstones of stewardship,  
24 that we carefully do that because I don't think I've heard  
25 yet this morning during the presentations that the goals of



1 the study were defined, and if I'm now going to be a good  
2 steward of these three elements, I want to make sure that  
3 the goals are clearly defined so at the end of the study,  
4 all these three variables have been accounted for and then  
5 we can publicly say that we were good stewards of the trust  
6 and the monies that people gave us to do this.

7 DR. CHESNEY: Dr. Danford.

8 DR. DANFORD: I'm also impressed by the amount  
9 of money and effort and time that's going to be invested in  
10 a study such as this, and I am interested, in that context,  
11 whether there are any anticipated practice pattern changes  
12 that might occur during that extremely long time frame. I  
13 am wondering, therefore, if the FDA is in any position to  
14 comment as to whether there are, in the drug development  
15 pipeline, agents that show exceptional promise for the  
16 treatment of this condition. And if there are, would the  
17 development of such agents render the studies we're  
18 contemplating moot?

19 DR. WILKIN: Well, apologies. I was having a  
20 side bar. I missed the question.

21 DR. DANFORD: The question is this is a long,  
22 difficult, and costly process that we're talking about. Is  
23 FDA aware of any alternative agents in the drug development  
24 pipeline that might be safer, more effective and  
25 drastically change practice patterns in the next 20 years

1 that would render this investigation essentially moot?

2 (Laughter.)

3 DR. SANTANA: Predict the future is what he's  
4 asking you to do.

5 (Laughter.)

6 DR. DIANNE MURPHY: He couldn't tell you anyway  
7 because he'd go to jail.

8 (Laughter.)

9 DR. WILKIN: That's exactly right.

10 But at the beginning before we have very much  
11 information, they all look wonderful.

12 (Laughter.)

13 DR. SANTANA: Does anybody from the FDA have an  
14 idea how much a study like this would cost based on some of  
15 the studies that Elizabeth addressed earlier?

16 DR. WILKIN: Well, I'm not going to talk to the  
17 costs because I think there's a wide range depending on how  
18 we actually approach this.

19 I am taken by actually Dr. Stern's  
20 reformulation into more of a dosimetry type of approach,  
21 and maybe there's something that can be thought of along  
22 that line.

23 DR. DIANNE MURPHY: The only data that we have  
24 that really is only marginally relevant is in the report to  
25 Congress, and Rosemary Roberts, you can correct me if I've

1 got this wrong. In our report to Congress for the FDAMA,  
2 the Food and Drug Administration Modernization Act, on  
3 pediatric studies we were asked to give an estimate of the  
4 cost of studies. I can tell you that we went to PhRMA, we  
5 went to a variety of people, and no one could come up with  
6 a good number. I will give you the range, the range that  
7 was given to us in writing versus the range that was given  
8 to us verbally.

9 (Laughter.)

10 DR. DIANNE MURPHY: The range in writing was  
11 that for a very small study, maybe \$500,000. We're talking  
12 pharmacokinetic, PK, type studies, a limited number of  
13 people, short, quick, not long-term follow-up. To studies  
14 involving -- I think the outer figure was -- was it \$300  
15 million or \$30 million, Rosemary? Do you remember? It was  
16 \$30 million, something like \$30 million for a randomized  
17 clinical trial. That was not addressing long-term follow-  
18 up trials. That was addressing randomized trials. So the  
19 unofficial lowest statement that we got was \$50,000 for  
20 less than a half a dozen kids to get a PK study, something  
21 like that. So those are the sort of huge ball parks for  
22 some randomized trials, and that was in this country.

23 DR. STERN: I hate to always be adding data,  
24 but in terms of costs of long-term follow-up of cutaneous  
25 diseases in adults, much more simple than in children, at

1 least what the NIH pays for long-term, as in 25-year  
2 studies, in years where we do not have dermatologic  
3 examinations, direct costs on the average of about \$300 per  
4 patient, all direct costs for telephone contact and for  
5 following up on all endpoints, cancers, hospitalizations,  
6 et cetera. On years when we have dermatologic  
7 examinations, it about triples to roughly \$1,000. That's  
8 an annual cost. In fact, it was cheaper early on because  
9 it was easier to get patients to continue and they get to  
10 be more complex as follow-up and retain the cohort  
11 continues.

12               So those are sort of, at least in some ways a  
13 simpler study where we were trying -- our principal  
14 endpoint was really trying to quantify a real event in  
15 people's lives, that is, getting a therapy where we could  
16 get a record from the doctor, going to an outpatient clinic  
17 or a doctor's office for a therapy. And that was the thing  
18 we quantified most accurately. That's kind of a ball park  
19 of who we've been able to do it over the years, and it's  
20 also a little bit cheaper because when you do it for the  
21 NIH, you have salary caps and other things that keep down  
22 your costs a little bit compared to some other costs.

23               I think if this were adults and a chronic  
24 disease as opposed to a disease where -- what do we expect  
25 with many of these children who are initially dosed? I

1 mean, both the most difficult part of it and the saving  
2 grace of this therapy is that most people will only use it  
3 hopefully for a number of years, at least using it  
4 extensively because atopic dermatitis tends to get better.

5 That's great in terms of lowering exposure. It's terrible  
6 in terms of having a sufficiently exposed group among those  
7 you originally select, and it's also terrible with respect  
8 to being able to keep the cohort together because once  
9 people don't have the disease and aren't using it or other  
10 therapies to the disease and it's gone from being one of  
11 the top three problems in their daily lives, they very much  
12 lose interest in these studies.

13 In my study, where we've done pretty well over  
14 the years in terms of follow-up, we had the advantage of  
15 ascertaining people at the time almost all with very severe  
16 psoriasis, and as a result the disease kept on being high  
17 on their platters. They kept on being under treatment, not  
18 the treatment necessarily we were initially studying over  
19 the years so we could keep them in.

20 I'll raise one point. Someone talked about  
21 incentive. I think one has to be very honest about what  
22 are the incentives for the sponsoring institutions. One of  
23 the two sponsors here sponsored a study very parallel to  
24 mine for another agent, and was very proud with a 49  
25 percent follow-up at the end of 5 years as published in a

1 journal recently. Those kinds of follow-ups -- you may as  
2 well not bother at all when you have that in terms of  
3 really well quantifying it.

4                   So I think one of the things we have to think  
5 about is we're very concerned with this today, but what are  
6 going to be the incentives to the sponsor going forward to  
7 be sure -- what's in it for them to get a 90 percent  
8 follow-up after 10 years? The first question is, is it  
9 feasible for anyone? And the second question is, what's in  
10 it for the people who are paying for it?

11                   DR. CHESNEY: Thank you.

12                   I apologize to the three people who are still  
13 on the list, but I anticipate lots of discussion this  
14 afternoon, and given that people are always having another  
15 eye on the airport terminal, I think maybe we should break  
16 for lunch at this point and plan to be back at 1 o'clock  
17 please. Thank you.

18                   (Whereupon, at 12:02 p.m., the committee was  
19 recessed, to reconvene at 1:00 p.m., this same day.)

20

21

22

23

24

25

## 1 AFTERNOON SESSION

2 (1:06 p.m.)

3 DR. CHESNEY: Let's get started.

4 I understand there is at least one person who  
5 has requested time, and the FDA does have a new regulation  
6 that I have to read before people can speak, and that is  
7 that both the Food and Drug Administration and the public  
8 believe in a transparent process for information-gathering  
9 and decision-making. To ensure such transparency at the  
10 open public hearing session of the advisory committee  
11 meeting, FDA believes that it is important to understand  
12 the context of an individual's presentation.

13 For this reason, FDA encourages you, the open  
14 public hearing speaker, at the beginning of your written or  
15 oral statement, to advise the committee of any financial  
16 relationship that you may have with any company or any  
17 group that is likely to be impacted by the topic of this  
18 meeting.

19 For example, the financial information may  
20 include a company's or a group's payment of your travel,  
21 lodging, or other expenses in connection with your  
22 attendance at the meeting. Likewise FDA encourages you at  
23 the beginning of your statement to advise the committee if  
24 you do not have any such financial relationships.

25 If you choose not to address this issue of

1 financial relationships at the beginning of your statement,  
2 it will not preclude you from speaking.

3 And if you have the courage to speak after  
4 that, please do so.

5 (Laughter.)

6 DR. CHESNEY: Yes, Dr. Margolis.

7 DR. MARGOLIS: My name is David Margolis. I'm  
8 not going to use the presentation. You guys covered  
9 everything basically.

10 My name is David Margolis. I'm board certified  
11 in internal medicine and dermatology and I have a Ph.D. in  
12 epidemiology.

13 My conflicts I guess would be that I don't  
14 currently have a consulting relationship with either of the  
15 companies who I guess would benefit from this, but do have  
16 a consulting relationship by employment at the University  
17 of Pennsylvania through a project submitted to Novartis  
18 which was then submitted to the FDA to do a study similar  
19 to ones that have been discussed today. That would go  
20 through the University of Pennsylvania through a contract,  
21 and I'm employed by the University of Pennsylvania, so I  
22 have a conflict I guess with the University of  
23 Pennsylvania.

24 (Laughter.)

25 DR. MARGOLIS: The reason that I came here to



1 speak was that about 18 months ago we put together a  
2 proposal to do a cohort study to look at the rate of mainly  
3 lymphoma in individuals with atopic dermatitis. That was  
4 then submitted to the FDA in the spring of 2002, and we  
5 received comments in the summer of 2003.

6           The reason why I wanted to speak at first was  
7 that many of the comments were sort of very clinical trial  
8 like comments, which were very important, but certainly  
9 increased the complexity of the study. After I've sat  
10 through most of the morning, I realize that most of those  
11 concerns have been addressed in the presentations, and I  
12 think the presentations were very nice at pointing out the  
13 importance of doing an epidemiologic study and the  
14 importance of those study designs.

15           There were just a couple of things that I  
16 wanted to highlight which are not on the slides that I had  
17 previously prepared, so it may seem a little disordered,  
18 and I apologize for that in advance.

19           These studies are incredibly important.  
20 Somebody did ask about the price and the cost of doing one  
21 of these studies. The study that we submitted really  
22 looked at lymphoma. Lymphoma is going to be a less complex  
23 study because you really can rely on issues of records and  
24 the likelihood of diagnosis. It prevents the fact from  
25 having to necessarily see the patient on a frequent basis

1 to look for skin cancers. Even the cost of that study was  
2 well over \$1 million a year to look at 20,000 to 40,000  
3 person-years of follow-up. So the costs are substantial  
4 here.

5 I think it's also very important to realize  
6 what is the goal of the study and what is the major health  
7 concern or public health concern here. I'm a dermatologist  
8 and I'm sure at some point somebody will scream at me for  
9 what I'm about to say. I apologize right off the top, Dr.  
10 Stern. But the public health concern here is lymphoma  
11 which is a life-threatening disease in these kids, if they  
12 were to develop it. Skin cancers aren't in most cases. As  
13 Dr. Stern pointed out, the latency for skin cancer is going  
14 to be probably well beyond the 10-year period that we're  
15 supposedly talking about following these individuals. So  
16 in my mind, there's a real need to either do two separate  
17 studies or realize that the complexity of the two studies  
18 are going to be very different, and the costs, as a result,  
19 are also going to be very different.

20 The other issue, which I also think is very  
21 interesting and important was also brought out in some of  
22 the FDA presentations, is the issue of exposure.  
23 Individuals with atopic dermatitis are likely to be  
24 exposed, and as was also pointed out, exposure may be for a  
25 very short period of time as the atopic dermatitis may go

1 away or they may choose a different therapy.

2           So it certainly is possible to enroll  
3 individuals who were exposed to one of these agents, follow  
4 them over years, and then stratify an exposure thereby sort  
5 of giving you a group of individuals with perhaps similar  
6 severity who may never really see the agent again. As was  
7 pointed out in one of the presentations, there are some  
8 concerns about what should be chronic exposure so you'll  
9 have this group in this large cohort who are chronically  
10 exposed and another group who aren't, and you may actually  
11 have natural exposure patterns.

12           You will also, by doing that, perhaps have  
13 dose-response effects or dose-duration effects that you can  
14 also look at over time. So it may not really be necessary  
15 to have this second cohort who completely aren't exposed  
16 and will never be exposed for 10 years who have atopic  
17 dermatitis, which also may be unlikely. So it would be  
18 somewhat unethical to impose restrictions on their  
19 treatment for years and years and years.

20           The other important point I think that is very  
21 important to bring out is that if these studies were to  
22 begin, the amount of information that would be gleaned from  
23 these studies would be incredibly important to individuals  
24 interested in cutaneous disease. There really are no good  
25 long-term studies on individuals with atopic dermatitis.

1 It's a very common disease, yet we don't have good studies.

2 As was just pointed out earlier, there really aren't even  
3 good studies on what the rate of cancer is in these  
4 individuals.

5 We actually also recently completed a study  
6 looking at the rate of skin cancers in atopes and actually  
7 found almost nothing in the literature to compare it  
8 against. There are maybe one or two studies that have  
9 actually looked at other cancers as well. That study will  
10 probably be published -- well, it's been accepted for  
11 publication, but hasn't been published yet.

12 There's also the information just on what goes  
13 on in atopic dermatitis in terms of the atopic diathesis in  
14 terms of the onset of asthma, the duration of the asthma,  
15 the severity of the asthma, seasonal allergies, drug  
16 allergies is also very poorly understood for a disease  
17 that's as common and as prevalent as what it is. In one of  
18 these studies, you would be able to do that because you'd  
19 finally have enough individuals and you'd be following them  
20 long enough that it could be done.

21 In conclusion, I think it's incredibly  
22 important that these studies be done, and I think I agree  
23 with what's been presented, that there is a signal which  
24 says that it should be done. But we need to be prudent and  
25 careful in terms of how they're going to be done in terms

1 of the costs and whether or not they're even feasible. We  
2 also need to get started on them before, as was pointed  
3 out, other agents are available and the use of these agents  
4 becomes relatively less important.

5 I thank you for your time and consideration.

6 DR. CHESNEY: Thank you, Dr. Margolis.

7 I did want to share one other piece of  
8 information. Dr. Santana had to leave, but he tells me  
9 that 8 months ago the Children's Oncology Group established  
10 a registry for cancer in children which will be going  
11 through the NCI, but it is the first comprehensive registry  
12 of cancer in children. He says there's some regulation  
13 that people have to report all cancers in children now to  
14 this registry. So that began 8 months ago.

15 I'd like now to invite Dr. Patrick Salmon, who  
16 we introduced before, who's with the European Medicinal  
17 Evaluation Agency, just to say a few words about how you  
18 all are looking at this issue in Europe. I guess the best  
19 thing is to come up here. You don't have a microphone.

20 DR. SALMON: I do.

21 DR. CHESNEY: Oh, you do. Well, that's fine  
22 then.

23 DR. SALMON: Thank you very much. As you say,  
24 my name is Patrick Salmon. I actually work for the Irish  
25 Medicines Board, and I'm here representing the EMEA because

1 I was the rapporteur for one of these agents that we're  
2 discussing today when it was approved by the CPMP around  
3 the same time I think as it was considered by the FDA.

4           The short comment I can make is in fact we  
5 share all of your concerns and have been examining these  
6 issues in the last year or two. There are various studies  
7 ongoing, but none which I think will address the major  
8 issues that are being discussed today. As you know, we've  
9 had some preliminary discussions with the FDA on this  
10 general area and are continuing to do so.

11           As to how we eventually address these issues,  
12 our main concern is that we do and as soon as possible, the  
13 main concern being that, as our last speaker just said,  
14 these drugs are actually on the market, so patients are  
15 being exposed. So we need to sort of try and address the  
16 issues as quickly as possible, but as to how we do it, as  
17 long as we will get an answer at the end of the study, I  
18 think we'll be happy. So I think that would be just a  
19 brief comment on what we're doing.

20           DR. CHESNEY: Thank you very much.

21           Is there anybody else who would like to speak  
22 at the open public hearing?

23           (No response.)

24           DR. CHESNEY: All right. Then we'll move on to  
25 the main issue for the afternoon which is the questions the

1 FDA would like us specifically to address, and you received  
2 a new version at your place when you sat down. You'll see  
3 that there are several pieces to each question and five  
4 questions. In looking at them quickly, I felt that there  
5 were maybe some redundancies.

6 I asked Dr. Cummins, who's going to read the  
7 questions for us, if she could read through all of them,  
8 and if you could in your own minds sort of focus on the  
9 main issues.

10 We've also been asked, when we get to question  
11 2, because it's fairly long, rather than just having  
12 general discussion, to start at one end of the table and go  
13 around and ask people to address all the issues in question  
14 2, and if the next person says, I agree, that's fine. But  
15 again, so that we can get through these in a timely  
16 fashion.

17 So, Dr. Cummins, if you could go through all  
18 the questions with us please.

19 DR. CUMMINS: What I'm going to do is just read  
20 these to you quickly in their entirety, every question, and  
21 then we'll back through them one by one.

22 Question 1 is what is a clinically meaningful  
23 increase in cancer risk from a treatment for a chronic non-  
24 life-threatening disease? And the next part of that  
25 question is, does the present patient package insert

1 appropriately reflect the information concerning cancer  
2 risk? And I would just add that in our review of the  
3 patient package insert for both of these products that we  
4 did over the noon hour, we do not see that either of them  
5 contains any information of a potential cancer risk.

6 Please discuss any recommendations.

7                   Question 2. There's a series of facts that  
8 precede the asking of the question. Fact 1, lymphoma has  
9 been associated with systemic use of this class of  
10 immunosuppressants in both preclinical studies and in human  
11 use. Cutaneous malignancies are the most common malignancy  
12 associated with systemic use of this class of drugs.

13                   Fact 2, topical use of these immunosuppressants  
14 results in some, albeit modest, systemic exposure. This  
15 may be increased in pediatric patients due in part to  
16 increased body surface to mass ratio.

17                   Now, the premise for this question, malignancy  
18 may only be discernible via long-term exposure, especially  
19 with modest systemic exposure.

20                   So given all that, what is the best way to  
21 ascertain the clinical risk of malignancy, e.g., lymphomas  
22 or skin cancer, in clinical studies?

23                   Please discuss the merits and drawbacks of each  
24 of the following, as well as study design considerations:  
25 the duration of follow-up for each enrolled patient,



1 keeping in mind that the latency period of most cancers is  
2 at least 10 years. And the following study design  
3 requirements: the sample size needed to detect rare  
4 signals and feasibility issues; the approach to  
5 ascertainment of skin cancers, e.g., by physical exam by a  
6 physician, by physical examination by a dermatologist --  
7 they're also physicians -- by interview or questionnaire;  
8 the role of a comparison group; and design strategies to  
9 optimize retention.

10           The next part of question 2, endpoint issues.  
11 What are the specific cutaneous and systemic malignancies  
12 we should consider? Are there other biologic endpoints  
13 such as viral infections of the skin, such as, for example,  
14 warts, EB virus infections, or pre-malignancy or early  
15 cancer endpoints such as actinic keratoses?

16           Question 3, FDA has not asked for such long-  
17 term studies in topical products before. To require such  
18 screening means that we are asking companies to take on  
19 very large, lengthy studies with substantial logistical  
20 challenges in patient retention, follow-up, costs, and  
21 other factors. We've heard a lot about that today. In  
22 what situations should we require such studies? And what  
23 criteria would you identify as important in deciding that  
24 this type of study be done?

25           Question 4, is there a role for cancer

1 registries and/or the SEER program in this long-term  
2 follow-up project? Please discuss how one might utilize  
3 existing registries or programs.

4           Question 5, what other studies would you  
5 recommend, for example, additional animal studies? And  
6 what other risk management for this class would you  
7 recommend? And just to remind you of the risk management  
8 approaches we discussed yesterday, these include additional  
9 studies; a boxed warning in the product label; limiting the  
10 indication to certain age groups; recommending against use  
11 in certain age groups; contraindicating use of the product  
12 in specific populations; including a patient package insert  
13 to inform the patient or parent/guardian of the risk;  
14 requiring that a medication guide be dispensed with every  
15 prescription; unit-of-use packaging; issuing a Dear  
16 Healthcare Provider letter to groups of healthcare  
17 providers most likely to prescribe; or conducting education  
18 programs for providers and patients and/or caregivers.

19           So those are the questions, and I'm going to go  
20 back to question 1 so that you can begin your discussion.  
21 Again, question 1 is what is a clinically meaningful  
22 increase in cancer risk from a treatment for a chronic non-  
23 life-threatening disease? And does the present patient  
24 package insert appropriately reflect the information  
25 concerning cancer risk? Please discuss any recommendations

1 you may have.

2 DR. CHESNEY: Dr. Cummins, could I also add the  
3 last bullet of question 5, which is what other risk  
4 management for this class would you recommend?

5 DR. CUMMINS: Do you want to put those  
6 together?

7 DR. CHESNEY: I think that falls into question  
8 1 as well.

9 DR. CUMMINS: Okay. Well, I'll go forward to  
10 that, which is what other studies would you recommend, for  
11 example, animal studies? And what other risk management  
12 for this class would you recommend? I'm just going to  
13 leave this list up on the screen so that you have it to  
14 refer to.

15 DR. CHESNEY: Maybe we could start with the  
16 easiest part of question 1, which is the present patient  
17 package insert says nothing about cancer. Would we  
18 recommend any changes in that in terms of risk management?

19 For those of you who weren't here yesterday,  
20 the committee did recommend that a package insert or  
21 something comparable be put into the packages for topical  
22 corticosteroid use describing hypothalamic-pituitary-  
23 adrenal axis suppression and that physicians also be  
24 informed about this specifically saying that we don't know  
25 what the risk is. Dr. Murphy and Dr. Wilkin pointed out

1 that if we do that for the topical corticosteroids, that  
2 may actually scare people away from using them and into  
3 using the topical immunosuppressants.

4 So we need to decide I think at this point do  
5 we need more information for the patient and for physicians  
6 in terms of managing a potential risk which is unknown.

7 Comments. Dr. Fost.

8 DR. FOST: On that point, it seems to me clear.

9 That is, it would be odd to have the FDA spend a whole day  
10 flying people in from all over the country to discuss this,  
11 but don't think that doctors or patients need to know about  
12 their concerns about this. So it seems to me unavoidable  
13 that the patient information sheet and the information that  
14 goes to doctors, not just through package inserts but  
15 educational programs and so on, need to explain why this is  
16 a second-line drug, that there is this great concern about  
17 lethal toxicity, no evidence for it in humans yet, or at  
18 least not enough to say anything concrete, but based on the  
19 animal data, there is serious concern about this, and this  
20 is why this should be a second-line drug.

21 Having said that, I don't know if 10 or 20  
22 years from now the mortality from potent steroids is going  
23 to be greater than this or less. As we discussed  
24 yesterday, we have no data on that either. We know that  
25 there's far more risk because of the huge number of

1 children that are getting them now and because of the very  
2 high incidence of adrenal suppression, but we have no idea  
3 whether that degree of suppression is going to result in  
4 serious illness or fatality and in how many.

5           The bottom line of all that for me is that both  
6 the inappropriate use of the potent steroids or this drug  
7 is inappropriate and should be discouraged. And to me, the  
8 patient information sheet and the doctor information  
9 through all these sources should be the same for both of  
10 them. That is, both should be second-line drugs; that is,  
11 potent steroids should be second-line drugs to less potent  
12 steroids. And the calcineurin inhibitors ought to be the  
13 same; that is, they should be used only in situations in  
14 which almost certainly much safer therapeutics are  
15 effective.

16           I don't know how you can get patients' or  
17 doctors' attention other than by scaring them, and if  
18 they're scared, so be it. That's what we want them to be.

19       I mean, we want them to be a little bit concerned about  
20 using these drugs inappropriately. Now, obviously, if a  
21 patient has severe atopic eczema and it's unresponsive to  
22 other management and it's disabling in all the ways that we  
23 know about, then it may be worth the risk, but parents  
24 should be informed of that. Doctors should know what  
25 they're doing, and they shouldn't be dispensing it in what

1 seems like a casual way from the anecdotes that we've  
2 heard.

3 DR. CHESNEY: I would totally emphasize the  
4 inappropriate issue in the children under 2. We've seen  
5 how many prescriptions are being given for that population  
6 in particular, and I think that the vast majority of  
7 physicians who are prescribing these don't even think about  
8 or know about a potential cancer risk. So I would totally  
9 agree with Dr. Fost.

10 Does anybody on the panel disagree with that  
11 position? Dr. Wilkin.

12 DR. WILKIN: It's not so much a disagreement,  
13 it's a clarification mostly from Dr. Fost. Before I  
14 describe the issue of symmetry of what I heard yesterday  
15 and what I heard today, this is largely Dr. Dianne Murphy's  
16 interest because she heard the comments about the patient  
17 package insert yesterday and thought we might need some  
18 parity today and think about that.

19 Dr. Fost, I thought yesterday your comment was  
20 it would be prudent to have statements in the patient  
21 package insert to describe good principles of patient use  
22 of the product, not using it beyond a certain period of  
23 time, limiting it to small amounts and the areas of  
24 involvement, consulting a physician if different sorts of  
25 things happen. But I thought I heard not mention adrenal

1 suppression. If there's symmetry, then would that not be  
2 the case in the case of the topical calcineurin inhibitors  
3 to simply describe limited amounts?

4           And I'd point out, you do have your patient  
5 package inserts. We have things in here that says apply a  
6 thin layer -- and then it's the name of the product, and I  
7 think it's roughly the same for both products -- to all  
8 skin areas that your doctor has diagnosed as eczema. So  
9 it's actually asking that the physician point these areas  
10 out. Try to cover the affected areas completely. Most  
11 people find that a pea-sized amount squeezed from the tube  
12 covers an area about the size of a 2-inch circle,  
13 approximately the size of a silver dollar. So it even  
14 gives some fairly descriptive advice, which I thought was  
15 pretty much in line with what you were discussing  
16 yesterday.

17           DR. FOST: Well, I'm happy to clarify it. No,  
18 I think it's essential for the steroid creams to inform  
19 parents and doctors about the HPA suppression. There's one  
20 obvious reason. What you want parents to know is not just  
21 that there's a risk but if their child gets sick or has  
22 surgery or has trauma, they need to tell their physician  
23 who otherwise would never know that maybe they need  
24 supplemental steroids. That's why parents need to know  
25 specifically about the HPA suppression in language that

1 they can understand. So that's one reason why it has to be  
2 on that insert and the doctor's insert so that he or she  
3 knows also.

4 But second, I think it needs to be on there to  
5 get people's attention as a shot across the bow. Just  
6 saying something, use this carefully, don't use it when  
7 other things are available, is not going to win an  
8 election. I think unless you say you can die from this,  
9 because it doesn't occur to people that you can die from  
10 using a topical cream. In whatever lay language that is on  
11 there in understandable language, it needs to be there.  
12 And the specific adrenal part of it needs to be on there,  
13 and for the CI inhibitors, that it may cause cancer, an  
14 untreatable form of cancer.

15 DR. CHESNEY: Dr. Stern.

16 DR. STERN: In terms of risk management, we  
17 look at these options and some of us wonder about the  
18 efficacy in clinical practice of any or all of them. I  
19 wonder for a product like this where there are two  
20 manufacturers, how did it come that these agents are so  
21 popular and so widely used outside of the labeled  
22 indications, including when contraindicated. Well, it's a  
23 matter of, I think, promotion both to consumers and to  
24 physicians. So I wonder whether the two sponsors might  
25 come forward and say, we're going to make an effort to make



1 sure that people understand the labeled indications, which  
2 apparently they are supposed to agree with the  
3 counterindications. We will not directly market to  
4 consumers these products, which I've seen on my television  
5 set, and we will be good corporate citizens so that in fact  
6 the physicians will rely principally on the peer-reviewed  
7 literature and on the package insert rather than the  
8 pressure from patients, doc, there's something new for my  
9 eczema and I know it's safer than those steroids. So I  
10 wonder if what we really have to do are some non-regulatory  
11 things if the companies are willing to step up to the plate  
12 and make a pledge to help protect our children.

13 DR. FOST: I want to second that and approve it  
14 by acclamation.

15 (Laughter.)

16 DR. FOST: As a corollary to it, without  
17 knowing anything about this field, I'll just bet that the  
18 miracle of CME is at the root of a lot of this also. That  
19 is, we've seen this over and over again in these meetings  
20 how these drugs that are approved for narrow indications  
21 get used expansively through the miracle of pharmaceutical-  
22 sponsored CME laundered through MECs. I realize the FDA  
23 can't regulate that, but in terms of whatever leverage you  
24 can apply for good citizenship, as Dr. Stern points out,  
25 the CME on these things should be -- I don't know what's

1 going on. I'm just guessing, but it ought to be the  
2 opposite of promotion of them. It ought to be educational  
3 programs that promote caution in their use and limitation  
4 on their use unless there are clear indications.

5 DR. CHESNEY: Unless anybody has any other  
6 comments on the risk management issue, I feel like we're  
7 all unified on that.

8 Dr. Fink, you had a comment.

9 DR. FINK: I agree with everything that's been  
10 said. I am particularly concerned about the widespread use  
11 of this drug under age 2. I would almost favor  
12 registration of providers, but if that's felt to be too  
13 restrictive, I think a boxed warning about nonapproval and  
14 contraindication of this drug under age 2 is really  
15 important. Because of the developing immune system and  
16 because of the thin skin and all of the things that impact  
17 under age 2, I really think it deserves highlighting, and I  
18 think a boxed warning would not be inappropriate.

19 DR. CHESNEY: Dr. Mattison.

20 DR. MATTISON: I actually missed some of the  
21 earlier discussions, so if I'm repeating something, I  
22 apologize.

23 I don't know that I could even support 2 as a  
24 cutoff. I know that 2 is where it's currently labeled at,  
25 but given the lack of understanding about interaction

1 between immune system and central nervous system  
2 development, as well as the development of those systems  
3 individually, and recognizing that these act quite  
4 profoundly on those systems, it seems to me that special  
5 concern needs to be given to the use of these agents in  
6 individuals who are still developing. So I would even have  
7 some difficulty knowing that we could use age 2 as a  
8 cutoff.

9 DR. CHESNEY: Dr. Gorman.

10 DR. GORMAN: I'd like to echo Dr. Mattison's  
11 comments. If we're answering the question that was  
12 presently 5, what other studies would we recommend, my  
13 concern is, whether we allow or disallow the use of this  
14 drug in earlier age ranges, it will be used in those age  
15 ranges. I think we've been completely unsuccessful in  
16 regulating drugs once they're out on the market in terms of  
17 keeping them from populations we wish not to expose.

18 Saying that, the question that remains  
19 uppermost in my mind, not to minimize the risk of cancer  
20 later on or the risk of other dermatological diseases later  
21 on, is whether this drug is absorbed systemically in a dose  
22 that alters immune function. That's the question that's  
23 most important to me in the young age range. I would like  
24 to see a study design that allows us to look to see whether  
25 we shift the curve of infections to the left -- or to the

1 right. Excuse me. I'm not an M.P.H. person. Are there  
2 more infections in kids who use this drug? Is there an  
3 increased death rate overall? Are there increased numbers  
4 of infections, more missed school days, and more missed  
5 work days by their parents?

6 I think these are relatively hard outcomes with  
7 relatively vague associations. It will be one of those  
8 epidemiological studies that allows you to say they're  
9 associated with but the causality would not be well  
10 defined. But we're talking about an immune modulator and  
11 we're looking at outcomes that we see a lot of in kids, and  
12 if we see more serious ones in this population, I think we  
13 can attribute causality to this agent.

14 DR. CHESNEY: They've already demonstrated  
15 that. Are you talking about a longer-term study? At least  
16 the children under 2 did have an increase in a whole  
17 variety of different infections.

18 DR. GORMAN: They did, and then the question is  
19 in terms of quantifying that to determine a risk versus  
20 benefit for this particular agent I think would be  
21 important. If they have an increased number of colds, I  
22 might be willing to treat my atopic child with this drug.  
23 If they have an increased number of pneumococcal sepsis, I  
24 would not personally be willing to treat my child.

25 DR. CHESNEY: So it would be a larger group,

1 longer-term, older children.

2 DR. GORMAN: I'm not going to try to dictate  
3 the study design. It would be a larger group and it would  
4 be looking for more serious infectious disease outcomes.  
5 The varicella data in the very small studies is a fairly  
6 large signal. It tells you that there's at least one  
7 infectious agent that is much more likely to produce  
8 disease in this group.

9 DR. CHESNEY: You just reminded me of  
10 something, and this is for Dr. Wilkin and Dr. Murphy. When  
11 I briefly looked over the patient information, I think it  
12 says that an increased incidence of colds, nasopharyngitis,  
13 strep infection, and so on has been associated with this  
14 drug. But I don't think it makes it clear that there may  
15 be an association with the immunosuppressive aspects of the  
16 drug. When I first read it, I thought so big deal. You  
17 get more colds. But I think if parents understood that  
18 that was maybe because the immune system is being  
19 suppressed, that that would have a much bigger impact on  
20 them, I think as Norm said, in language that they can  
21 understand.

22 I'd like to go on to the very first part of  
23 question 1 and then come to the other studies of question  
24 5, but I want to be sure that you all feel as strongly as I  
25 do that we need to better inform patients and physicians

1 about all of the issues related to these drugs. I know  
2 that you all can do it in the best way that you think. I  
3 think it's not appropriate to make it a required medi  
4 guide, but in almost every other way that's been mentioned,  
5 I think we all feel fairly strongly that this information  
6 needs to be made more public than it has been.

7 Dr. Danford.

8 DR. DANFORD: I agree with all of that.

9 The one thing that has not been mentioned is  
10 perhaps the medical community needs to come clean with the  
11 public about our uncertainty in the matter. We don't know,  
12 and maybe we need to let the public know that the FDA is  
13 asking for more long-term research on the issue of cancer  
14 in these patients and that the answers are unavailable to  
15 us now and may be unavailable for decades. One thing that  
16 I think may ring some bells of caution in the public's mind  
17 is, gee, this could show up a lot later.

18 DR. SHIRLEY MURPHY: Dr. Chesney, I'm Dr.  
19 Shirley Murphy. I'm the other Murphy. It's good to have  
20 two blondes. We can switch.

21 (Laughter.)

22 DR. SHIRLEY MURPHY: I'm the division Director  
23 for the Division of Pediatric Drug Development.

24 Dr. Wilkin and I were just saying that we  
25 thought it would be helpful if we could just go around to

1 each person and ask them what kind of risk management they  
2 would recommend, and if it's the same as another person,  
3 just say, agree, just so that we could hear from every  
4 single person. Would that be okay with you, Dr. Chesney?

5 DR. CHESNEY: That's fair. We'll start down at  
6 this end of the table.

7 DR. CUMMINS: Do you want me to advance to that  
8 list? Would that be helpful?

9 DR. SHIRLEY MURPHY: Yes.

10 DR. TRAVIS: Did you want me just to address  
11 question 2 right now?

12 DR. SHIRLEY MURPHY: I think if you could just  
13 tell us from this list what's your first choice or what do  
14 you feel just so we have a feeling for all the individuals  
15 like changing the patient package insert, for instance.

16 DR. TRAVIS: I actually had just gone through  
17 that and marked off the ones that I would do at a minimum.  
18 I'd at least do, to start with, a boxed warning,  
19 recommending against use in certain age groups, including a  
20 patient package insert to inform the patient or  
21 parent/guardian of the risk, require that a medication  
22 guide be dispensed with every prescription, the unit-of-use  
23 packaging, the issuing of the Dear Healthcare Provider  
24 letter, and then education programs as well. Almost all of  
25 them at a minimum.

1 DR. CHESNEY: Everything except the required  
2 medication guide. Did you say you would include the  
3 medication guide?

4 DR. TRAVIS: The ones that I had not included  
5 is I'm on the fence right now with regard to additional  
6 studies because I see the incredible expense involved, and  
7 also I'm a cancer epidemiologist, so I study cancer, and I  
8 know about the long latency periods. This age group is not  
9 at a cancer bearing age now. Their underlying incidence  
10 rates are so low that I don't think you're going to really  
11 find that much. You're talking about an immense amount of  
12 time and money.

13 Limiting the indication of certain age groups.  
14 I had already thought that had been done, so I didn't  
15 mention that one.

16 And contraindicating the use of the product in  
17 specific populations, that's beyond the scope, I think, of  
18 what I can do given my background. Someone else may want  
19 to do that.

20 And then I had voted yes for the others.

21 DR. CHESNEY: Dr. Rabkin.

22 DR. RABKIN: I just wanted to not answer the  
23 question that you posed, but I've been trying to get a word  
24 in just to remind people about what the issues are here in  
25 terms of lymphoma. The parallel with the systemic



1 administration of high doses of tacrolimus. There, from  
2 every indication, it appears that the mechanism by which  
3 that's associated with lymphoma is strictly through the  
4 induction of immune suppression, and if that's what we're  
5 positing as a potential risk for the topical medications,  
6 then it would be possible to look for subtle disturbances  
7 of systemic immunity, if it's systemic immune suppression  
8 and systemic lymphoma that's of concern.

9           So I'm still aware that although that's a very  
10 difficult problem to study, I'm less pessimistic than I  
11 would be if we were only to be able to look for lymphoma as  
12 an outcome because I agree with the comments that have been  
13 made around the room that that's going to be a very rare  
14 outcome to be able to detect.

15           I don't believe that the pharmacology suggests  
16 that there's some kind of a cumulative effect of these  
17 medications that will be independent of their immune  
18 suppressive action. So immune suppression is something  
19 where we can find other indicators, not perfect indicators  
20 but we can detect that, and in the absence of alterations  
21 of immune function, then we may be less concerned about the  
22 possibility of lymphoma as a long-term complication of  
23 these medications.

24           DR. CHESNEY: So that could even be potentially  
25 under the first part of question 5, which was other studies

1 you might recommend.

2 DR. RABKIN: I'm more getting towards Dr.  
3 Andrews' question about what needs to be known because I  
4 share Dr. Stern's concern that lymphoma may not be the most  
5 frequent or most troubling long-term consequence. But if  
6 it is systemic lymphoma, that is something that we can do  
7 something about.

8 DR. CHESNEY: Did you want to weigh in on the  
9 risk management list? You could agree with the person next  
10 to you or --

11 DR. RABKIN: I'm more agnostic about the  
12 evidence that's been presented so far and also not certain  
13 of the benefits that could be obtained from some of these,  
14 given the state of knowledge being so low. If we say it  
15 causes cancer, a lot of people will shrug their shoulders.

16 DR. CHESNEY: Dr. Wingo.

17 DR. WINGO: I guess if I had to pick one, just  
18 a few items in this list, to put at the top, I would  
19 certainly put something about recommending against use in  
20 certain age groups, similarly contraindicating use of the  
21 product in specific populations, issuing a Dear Healthcare  
22 Provider letter -- I would include that -- and the last one  
23 on the list, which is the education programs for providers  
24 and patient categories.

25 This is a very complicated problem. It's like

1 you want to do one kind of study design to look at one  
2 outcome and a different study design to look at some of the  
3 other outcomes. Again, it takes us back to Dr. Andrews'  
4 question of is one more important than the other. Is there  
5 one question that we feel like we must answer, and if so,  
6 what is it and what's the best study that we can do to  
7 answer that question?

8 DR. CHESNEY: Thank you.

9 Dr. Mattison, risk management specific  
10 recommendations.

11 DR. MATTISON: I'm comfortable with the  
12 proposals that have been suggested prior to my turn to  
13 talk.

14 I'm just going to reiterate a point that I made  
15 earlier. I'm concerned about the substantial uncertainties  
16 that are addressable and resolvable about the use of this  
17 class of agents in immature animals. I'm especially  
18 concerned because it's been suggested that one of the  
19 mechanisms is to alter apoptosis, or programmed cell death,  
20 and normal development depends critically on cells dying at  
21 appropriate times in developmental processes. So I think  
22 there are major, major gaps in our knowledge about what  
23 this class of agents can do during the course of  
24 development and would just simply add that as the component  
25 of additional studies.

1 DR. CHESNEY: Dr. Stern.

2 DR. STERN: When I think about levers, I've  
3 already mentioned the one that I think, in fact, would be  
4 most effective, which is a non-regulatory one.

5 Also, in thinking about these levers, given our  
6 absence of being able to quantify risks, when I think about  
7 the behaviors I would like to change -- we've already heard  
8 about very young children but the others are amount of  
9 application and duration of application. So perhaps things  
10 that convey that at least in my perspective the likely  
11 long-term risks of this agent are likely to be much higher  
12 with persistent long-term, substantial use than they are  
13 with intermittent local use. So trying to use the levers  
14 to have people use it as a second-line drug when they  
15 really need it and not rely on it chronically.

16 DR. CHESNEY: Thank you.

17 Dr. Epps.

18 DR. EPPS: Thank you. There are several things  
19 for those of us who treat atopic dermatitis and those who  
20 have had it, obviously there's always a risk-benefit that  
21 is always taken into consideration. When we talk about  
22 atopic dermatitis at our pediatric dermatology meetings, we  
23 always talk about quality of life because that is a huge  
24 issue for young people. They don't go to school for  
25 months. They're in and out of the hospital. Their own

1 self-esteem and appearance and missing out of school and  
2 delays, it's quite a problem.

3 Certainly I don't consider axis suppression and  
4 risk of cancer equivalent at all. There's always a risk  
5 and a benefit, what I tell patients, when there's a risk  
6 like that, is it's either 0 or 100. Either you get it or  
7 you don't. 30 percent. It doesn't matter. If your kid  
8 has cancer, you have it. There's no in between.

9 So as far as risk management, additional  
10 studies, a boxed warning, if you all think it's  
11 appropriate. I think it's okay to limit to certain age  
12 groups and recommending against use in certain age groups.

13 It should be contraindicated in HIV. There are certain  
14 childhood diseases, whether it's ataxia telangiectasia,  
15 inherited diseases, which not only feature eczema but also  
16 have an increased risk of malignancy. Those should be  
17 contraindicated. Package insert is probably okay. I don't  
18 think we need to give one with each prescription.

19 Now, as far as unit-of-use, when we talk to  
20 patients, usually we say a pea-size can cover an entire  
21 face. So, a small amount can cover a larger area. So  
22 sometimes we'll just say just touch the top of the tube and  
23 that can cover a certain amount. "Sparingly" is our  
24 mantra, as I said before.

25 I think a Dear Healthcare Provider letter can

1 be very helpful. It's not just the dermatologists. The  
2 allergists prescribe it. The internists prescribe it.  
3 Everybody is out there, oh, I have a little rash, by the  
4 way, Doc. Oh, let me give you a little something to put on  
5 there. So I think everyone should be aware.

6           Educational programs should be at all levels.  
7 Start in medical school. Get it in Goodman and Gilman.  
8 Get in the CME, everything. I think the more education and  
9 information, the better. I don't know you necessarily need  
10 to be an alarmist, but I think people should be aware.

11           DR. CHESNEY: Thank you.

12           Dr. Gorman.

13           DR. GORMAN: I agree with Dr. Epps in the one  
14 thing that I think she's disagreed with the other  
15 presenters, which is that a medication guide I would also  
16 not support.

17           I would ask in the patient package insert that  
18 there be some wording that deals with sharing this medicine  
19 with others. There are certain products that the FDA and  
20 the manufacturers have done an excellent job in scaring  
21 people about touching and sharing. I think this product  
22 should be in that group.

23           DR. CHESNEY: Dr. Ebert.

24           DR. EBERT: Just to go through the list, first  
25 of all, of course, I think I would support additional

1 studies, as have been mentioned already and certainly will  
2 be discussed in the upcoming minutes.

3           As far as the boxed warning and other issues of  
4 labeling, I guess I would also want to look at what is  
5 currently available for the systemic product of tacrolimus  
6 and whether we have boxed warnings or patient package  
7 inserts for that particular product, and if so, if those  
8 might be able to be used as at least a template for the  
9 topical formulation of the product, and perhaps there might  
10 have to be some inferences made that if there is some  
11 established side effects associated with the systemic form,  
12 then by inference, if there is some absorption of the  
13 topical form, one might at least expect to see some of  
14 those same adverse effects associated there.

15           I also support recommending against use in  
16 certain age groups, as well as the patient package insert  
17 to inform the patient.

18           As far as the unit-of-use packaging, again, I'm  
19 a little bit unclear on that whether that is something that  
20 could be incorporated into the patient package insert or  
21 whether it has to be a separate entity. I'm not sure if  
22 the FDA is at all involved in, for example, limiting  
23 refills or prohibiting refills, if that could be something  
24 that also could be looked at, that it would require a  
25 follow-up visit to obtain another prescription.

1                   Then as was mentioned both yesterday and again  
2 today, I think increasing the emphasis on dermatology in  
3 curricula or in CME programs and reinforcing the  
4 significance of these products is certainly going to be  
5 welcome.

6                   DR. CHESNEY: Dr. Ten Have.

7                   DR. TEN HAVE: Not being a clinician nor a  
8 behavioral change person, everything sounds reasonable to  
9 me.

10                   I do have one question. Somebody mentioned  
11 compounding earlier today, and I don't know. Is that a no-  
12 no or is that something should be mentioned in the inserts?

13                   DR. CHESNEY: Maybe that's not a bad suggestion  
14 given that we hear that people are mixing it with steroids.  
15 Maybe there should be some additional information put  
16 about use this only as packaged.

17                   Dr. Wilkin.

18                   DR. WILKIN: I was just going to comment that  
19 my understanding is that compounding is largely covered by  
20 the different State jurisdictions, the boards of pharmacy  
21 in the different States and also the boards of medicine,  
22 and that either pharmacists or physicians themselves may,  
23 on a patient-by-patient basis elect under the standards of  
24 medical care to put together two different materials, and  
25 that's the compounding.



1 DR. CHESNEY: Dr. Andrews.

2 DR. ANDREWS: I'm not too sanguine about the  
3 effectiveness of these various risk management  
4 interventions. What I would like to see happen is that  
5 there should be discouragement against using the drug in  
6 the very young.

7 I'd like to see some education of patients and  
8 families about two things. One is the possibility that a  
9 topical drug can be absorbed systemically and have systemic  
10 effects. Therefore, amount and duration of use are very  
11 important. And I'd like some messages about using these  
12 drugs sparingly, do not share, some warnings about misuse.

13 Because I'm not too sanguine about the  
14 effectiveness of these things, I would like to see them  
15 tested. I think that the wording is really important and I  
16 would love to see this kind of wording and the variations  
17 go through some kind of cognitive testing process with a  
18 variety of potential patients to see what they really  
19 understand. You could also test language about potential  
20 cancer risk to see the reaction and whether it might be  
21 advisable or not to include that in some kind of patient  
22 education brochure.

23 I don't think I'd recommend a medication guide.

24 I definitely think that some education of  
25 physicians is appropriate, stressing the importance of

1 these drugs as second-line therapy and potential long-term  
2 risks.

3 DR. CHESNEY: Thank you.

4 Dr. Fink.

5 DR. FINK: I would agree with much of what's  
6 been said. The primary thing I would push for is a boxed  
7 warning in that that legally has a greater implication to  
8 the manufacturer of the drug to change their behavior in  
9 terms of promoting the drug than any of these other steps  
10 because I don't know that we can rely upon them to  
11 voluntarily change. But if there is a boxed warning that  
12 says, not approved for use under age 2, that really puts  
13 them on notice in terms of staying away from that  
14 indication.

15 I'm not sure it would be bad to have something  
16 about compounding because for these two drugs, there could  
17 be a cautionary note, at least in the package insert, that  
18 says, these drugs have specifically been compounded in  
19 vehicles that promote their cutaneous absorption and any  
20 extemporaneous compounding may actually destroy their  
21 activity.

22 DR. CHESNEY: Dr. Danford.

23 DR. DANFORD: I would point out that we're not  
24 so much managing risk here, although that's a little part  
25 of it. We're really managing uncertainty about the

1 character and magnitude of the risk. We don't know what  
2 we're trying to manage. So we do need to make that clear  
3 to the public in some way, and we need to focus additional  
4 studies on reducing that uncertainty as much as we possibly  
5 can.

6 I agree with the remarks that say there should  
7 be more education and less advertising, and a Dear  
8 Healthcare Provider letter sounds like a very good idea to  
9 me.

10 DR. CHESNEY: Dr. Glode.

11 DR. GLODE: I would go back to the suggestion  
12 someone brought up earlier of just looking at the oral  
13 compounds and seeing again for consistency purposes if they  
14 have the boxed warning. I'm very much in favor of the  
15 patient package insert, and I think that part of what it  
16 should inform people is that the basis for approval was  
17 essentially short-term safety and efficacy, and what we're  
18 concerned about is again the unknown, but the issue of  
19 long-term safety when using a drug that has some, but it  
20 appears from what we know minimal absorption but some  
21 capacity for systemic immunosuppression.

22 So I'm in favor of the patient package insert,  
23 the boxed warning if it's on other formulations, and  
24 education and additional studies.

25 DR. CHESNEY: Dr. Fost.

1 DR. FOST: I agree with almost everything  
2 that's been said. Just one comment on the relevance of  
3 whatever is on the present insert of patients who get it in  
4 other settings. I'm not sure what the relevance of that  
5 is. Those are patients with major diseases highly informed  
6 and have long-term relationships with their doctors, have  
7 hours and hours of conversations about things, highly  
8 literate about medical matters. For sure, they should be  
9 told too, but I'm guessing they know five times more than  
10 their intern does about all these things. So I don't know  
11 that that should be the standard for what's on the package  
12 insert for the topical use where it will be a complete  
13 surprise to doctors and patients.

14 DR. CHESNEY: Dr. Wilkin and Dr. Murphy, have  
15 we covered risk management?

16 DR. SHIRLEY MURPHY: I think that was very  
17 helpful to hear from all the individuals. Thank you.

18 DR. CHESNEY: I'd like now to go back to the  
19 very first part of question 1, what is a clinically  
20 meaningful increase in cancer risk from a treatment for a  
21 chronic, non-life-threatening disease? Comments please.  
22 Dr. Fink.

23 DR. FINK: I'm not sure there's any scientific  
24 basis to us but I'd throw out less than a 1.5 increase and  
25 that if it had a 2-fold increase, I would somehow consider

1 that unacceptable. So I guess I might pick 1.5.

2 DR. CHESNEY: That was my reaction. I haven't  
3 a clue.

4 Dr. Stern.

5 DR. STERN: Well, I think, first of all, all  
6 cancers are not created equally, and secondly, all chronic  
7 diseases are not equal in their impact. So to me that's an  
8 unanswerable question. We use a variety of agents long-  
9 term for debilitating chronic diseases that certainly  
10 increase the risk of certain cancers by more than 2 and  
11 perhaps if you look at least suggestive data for the TNF-  
12 alpha inhibitors that are being used for rheumatoid and  
13 psoriatic arthritis, there is good suggestion there that  
14 there's a substantial increase in lymphoma with long-term  
15 risk and certainly a risk of other things as bad as cancer  
16 like demyelinating diseases, and I'm not sure which one I'd  
17 rather have, quite frankly, in terms of treatment.

18 So I think that's an unanswerable question. I  
19 think you have to look at the risk-benefit. Does the  
20 patient really need it? What are the alternatives? What  
21 is the burden of the disease, and for things that you  
22 expect dose and duration to be a strong part of it, how can  
23 I use a strategy that minimizes exposure and hence that?  
24 Sure, if the increase in lymphoma risk with 1 year of use  
25 was a relative risk of 2, then I'd say that's out of the

1 ball park for any treatment for atopic dermatitis, but  
2 that's not the kind of thing. We're talking about a  
3 continuum of severity and we're talking about a dose and  
4 duration dependence of risk. So to me it's an unanswerable  
5 question.

6 DR. CHESNEY: Dr. Rabkin.

7 DR. RABKIN: Dr. Andrews already made this  
8 point, but relative risk is perhaps not the best measure  
9 when we're worried about patient risk. And there's a lot  
10 of attention being shifted to the excess risk, the absolute  
11 magnitude. So doubling a very extremely rare occurrence is  
12 not of concern. If you're going to assign a level -- and  
13 I'm not sure that you can -- if it certainly has all the  
14 complexities that were mentioned, you probably wouldn't  
15 want to be looking at a relative risk when you're worried  
16 about effects on patients.

17 DR. CHESNEY: Dr. Epps.

18 DR. EPPS: We also use other medications to  
19 treat severe atopic dermatitis and some people use  
20 cyclosporine, maybe some other immune modulators orally  
21 even to treat, PUVA. There are some other treatment  
22 modalities, and I guess what the acceptable increase is  
23 there I guess could be applied to this one as well,  
24 although it's topical and there are some unanswered  
25 questions. But obviously, this disease can be severe

1 enough that we'd accept a certain amount of risk.

2 I guess the other problem is that you don't use  
3 cyclosporine in everybody, and it's not used as widely.

4 Also, I should comment that the method of  
5 practice or -- I won't say standard of care, but the  
6 patterns of practice have evolved since these medications  
7 have been approved. First it was second-line, and then  
8 well, perhaps you could use it this way. And then  
9 certainly there was a recent paper, oh, it decreases  
10 flares, and some people interpreted that to mean, well, use  
11 it suppressively. Use it all the time whether you have  
12 rashes or not. So those are other issues that need to be  
13 dealt with because some people are putting it on normal  
14 appearing skin, although in the world of atopic dermatitis,  
15 I don't think the skin is normal anyway.

16 DR. CHESNEY: Dr. Fink and then Dr. Danford.

17 DR. FINK: Just a comment that I think as we  
18 deal with particularly long-term induction of cancer risks,  
19 we potentially should look at some of these smoking-related  
20 literature and other experiences which says the average  
21 human being has no ability to conceptualize a risk that is  
22 greater than 10 years down the road. So even if it is  
23 high, the average person ignores it. I think we've got to  
24 take some responsibility there to say -- I don't know what  
25 the number is, but if there's a certain amount of increase,

1 it's unacceptable.

2 DR. CHESNEY: Dr. Danford.

3 DR. DANFORD: Along those lines, I was  
4 intrigued by Dr. Epps' earlier comments about how when  
5 dermatologists get together, they talk about quality of  
6 life in the context of atopic dermatitis. I'm wondering if  
7 they talk about it in sufficient depth to have maybe some  
8 decision analytical models and quality adjustments to know  
9 what sort of tradeoff in length of survival versus quality  
10 of life is acceptable to the patient population in  
11 question. Although I don't think the first part of  
12 question 1 is really answerable, we could make up an answer  
13 based on that sort of an analysis I suppose.

14 DR. CHESNEY: Dr. Gorman.

15 DR. GORMAN: I would agree with Dr. Fink on  
16 almost everything except what he just said.

17 (Laughter.)

18 DR. GORMAN: I think UV exposure is an  
19 excellent example of a very minimal increase over baseline  
20 risk that has really penetrated the consciousness of the  
21 American population.

22 DR. FINK: It's wrinkles, not cancer.

23 (Laughter.)

24 DR. GORMAN: Touche.

25 (Laughter.)



1 DR. CHESNEY: Speak for yourself, Dr. Fink.

2 (Laughter.)

3 DR. CHESNEY: Drs. Murphy and Wilkin, do you  
4 need more of an answer to number 1?

5 DR. WILKIN: If I could just comment on some of  
6 the quality of life instruments that have been proposed to  
7 us. Not all, but many of them actually focus on disease-  
8 specific pieces, and they're really designed in a way --  
9 they tend to go in the positive direction even in the  
10 vehicle group. Do you feel better now that your atopic  
11 dermatitis is not so itchy? In general, in these  
12 inflammatory diseases that sort of wax and wane, folks get  
13 recruited early on when they're sort of at a disease  
14 maximum. So even in the vehicle group, they tend to have  
15 some improvement. They tend not to focus on the adverse  
16 events that might be associated with the product or the  
17 difficulties of applying or ingesting or those sorts of  
18 things. So I think there are some limitations with the  
19 quality of life data sets that we actually get.

20 DR. CHESNEY: Dr. Andrews.

21 DR. ANDREWS: I'd like to respond to the  
22 earlier question about eliciting patient preferences and do  
23 patients understand risks that are low. I think this basic  
24 question is sort of unanswerable, but it is potentially  
25 studiable, and that is through some methods that ask people

1 to trade off risks and benefits. It certainly would be an  
2 interesting thing to do in a patient population, as well as  
3 among physicians who treat these patients, and get an idea  
4 of how the risks and benefits are traded off for different  
5 levels of disease severity.

6 DR. CHESNEY: Dr. Fost.

7 DR. FOST: Well, it's complicated for adults  
8 treating themselves, but it's much more complicated for  
9 parents making decisions on behalf of their children. They  
10 may discount, even if they believe it, a long-term cancer  
11 risk in exchange for relief from the burden of caring for a  
12 child who's got this chronic skin condition that's driving  
13 everybody crazy. So it's just more complicated than  
14 finding out what parents want or what kind of risk they'd  
15 be willing to accept. That is, they may be willing to  
16 accept the risk that we would think was not acceptable.  
17 Easy for us to say.

18 DR. CHESNEY: Actually I think that's a very  
19 important point because it's not the 3-month-old that  
20 chooses to have this cream put all over their body at 10  
21 times the dose every day for a year. So this is a very  
22 intriguing issue.

23 Shall we go on to question 2, which I'm  
24 dreading?

25 (Laughter.)

1 DR. CHESNEY: Well, let's see if we can sort  
2 out -- well, I'll let Dr. Cummins tell us what you really  
3 want us to answer.

4 DR. CUMMINS: I'm just going to read through  
5 the whole thing again, about four slides.

6 Fact 1, lymphoma has been associated with  
7 systemic use of this class of immunosuppressants in both  
8 preclinical studies and in human use. Cutaneous  
9 malignancies are the most common malignancy associated with  
10 systemic use of this class of drugs.

11 Fact 2, topical use of these immunosuppressants  
12 results in some, albeit modest, systemic exposure. This  
13 may be increased in pediatric patients due in part to  
14 increased body surface to mass ratio.

15 And then a premise. Malignancy may only be  
16 discernible via long-term exposure, especially with modest  
17 systemic exposure.

18 So then we get into the questions. What is the  
19 best way to ascertain this clinical risk of malignancy,  
20 e.g., lymphomas or skin cancer, in clinical studies?

21 Please discuss the merits and drawbacks of each  
22 of the following, as well as study design considerations:  
23 the duration of follow-up for each enrolled patient,  
24 keeping in mind that the latency period of most cancers is  
25 at least 10 years. Study design requirements: the sample

1 size needed to detect rare signals and feasibility issues;  
2 the approach to ascertainment of skin cancers, for example,  
3 by physical exam by a physician or a dermatologist or by  
4 interview or questionnaire; the role of a comparison group;  
5 design strategies to optimize retention.

6           And then endpoint issues: reflect on the  
7 specific cutaneous and systemic malignancies, whether other  
8 biologic endpoints should be collected, such as viral  
9 infections of the skin, or other, for example, warts or EBV  
10 infections, and/or pre-malignancy or early cancer endpoints  
11 such as actinic keratoses.

12           DR. CHESNEY: Thank you.

13           DR. CUMMINS: We actually really would like  
14 your reflections on all of this, if that's possible.

15           (Laughter.)

16           DR. CHESNEY: I guess the bottom line question  
17 is the first one. What is the best way to ascertain this  
18 clinical risk of malignancy in clinical studies? Who would  
19 like to tackle that first? Dr. Fink and then Dr. Glode.

20           DR. FINK: I don't want to tackle the question.  
21 I would like to get a point of clarification from what's  
22 been said earlier. If we said that the latency for these  
23 cancers is 10 years -- I thought I heard earlier that for  
24 skin cancers it was less because if it really is as long as  
25 10 years, then all of the clinical reports of adverse

1 events to date are unmasking of a preexisting malignancy,  
2 not occurrence of a malignancy. That actually may be an  
3 important issue because most of those reports, the drugs  
4 haven't been on the market 5 years, and is that to say then  
5 that none of the reports are new-formed malignancies?  
6 They're all unmasking of preexisting pre-malignant  
7 conditions? Or is skin cancer different? Because I  
8 thought Dr. Stern said skin cancers could --

9 DR. RABKIN: I made a comment about lymphoma  
10 being a very short latency in the setting of immune  
11 suppression.

12 DR. STERN: There are three things you have to  
13 take into consideration with skin cancer. One is are you  
14 looking at the effects of a primary mutagen or carcinogen,  
15 and the second is are you looking at the effects of some  
16 way in people who already have mutagenic injury, that their  
17 mutated cells that have not undergone apoptosis may go on  
18 to cancer. So if you look at the relationship between --  
19 and since everyone is exposed with UV, if you look at the  
20 relationship for carcinogens, most of which in the skin  
21 have some mild immunosuppressive effects as well, when one  
22 looks at the relationship between dose and risk, there  
23 seems to be a long period between those two things, a long  
24 latency.

25 If you look at quite profound immunosuppression

1 in the skin -- and one of the things we don't know --  
2 remember, 5 milligrams of cyclosporine compared to a usual  
3 immunosuppressive dose orally, we know that these agents  
4 systemically are much less immunosuppressive. But I  
5 haven't seen studies of relative immune response on the  
6 skin. How immunosuppressed am I where it matters for  
7 cutaneous carcinogenesis when I apply one of these products  
8 versus when I take 5 milligrams per kilogram per day of  
9 cyclosporine? That's data that we could probably have to  
10 get some idea in this end organ of interest. What's the  
11 extent of immunosuppression?

12           If these agents were as immunosuppressive as  
13 oral calcineurin inhibitors, we would expect in people who  
14 are in the susceptible age groups to begin to see a  
15 substantial increase after about 2 years of continuous use  
16 and by 5 years, get pretty close to where it thresholds.

17           However, the important thing is, as has been  
18 talked about in terms of relative versus absolute risk, the  
19 risks of non-melanoma skin cancer before age 35 in people  
20 who have not had X irradiation exposures, children who do  
21 not have genetic abnormalities that pre-dispose them or  
22 some unusual other exposures is very close to 0.  
23 Therefore, you're dealing with people who aren't  
24 susceptible. How many heart attacks do you see in 18-year-  
25 olds who have cholesterols of 400? They haven't gotten

1 there yet, but if they don't change and they have a bad  
2 ratio, what are the odds that that's going to happen in the  
3 next 40 years? So it's a very complicated problem and  
4 quite frankly, I don't think we can well quantify it for  
5 these agents.

6 DR. FINK: Would you conclude from that then  
7 the adverse event report data that were presented here are  
8 not necessarily reflective of drug effect? Because none of  
9 them were on the drug for as long as 5 years or even 2  
10 years continuously.

11 DR. STERN: I don't remember a lot of any skin  
12 cancers except for two nonmalignant tumors, as I recall,  
13 one basically probably secondary to inflammation and  
14 another that we don't even have the diagnosis. Then I  
15 guess, depending on how you count it, there was an HIV-  
16 infected person with Kaposi's, and who knows what's going  
17 on there. I don't think we have any data, and I would say  
18 that when it comes to skin tumors and spontaneous  
19 reporting, I don't think that the Medwatch system would say  
20 that's one of their strong points, but I defer to them.

21 DR. PITTS: Thank you. Well, actually I  
22 wouldn't say that there was a masking because I think if  
23 you look at the Prograf label, you'll see an acceleration  
24 of lymphomas. The other thing is that with the two  
25 pediatric patients, one was a granuloma and the other was a

1 facial tumor without any information. However, with the  
2 topical tacrolimus, there were five cases. One was a  
3 Kaposi's sarcoma, two non-Hodgkin's, and one was a B cell  
4 lymphoma, and then an anaplastic large cell lymphoma. But  
5 I'm not sure if that's a masking of a previously  
6 existing --

7 DR. STERN: There were no squamous cells  
8 detected which is really, for skin cancer, likely to be the  
9 strongest signal from everything we know, and if it had  
10 occurred within weeks or months of use, I would have  
11 discounted it as ascertainment bias rather than an effect.

12 And the big problem is this is not a susceptible  
13 population.

14 Someone mentioned to me -- and I had forgotten  
15 about this -- if you look at the experience of childhood  
16 radiation -- and it's interesting. It's mainly basal cell  
17 cancer -- if you studied a group of kids who got childhood  
18 radiation at age 5 and examined them at age 15, there would  
19 be few basal cells. You come back to those people when  
20 they're 45 years old, if they're cancer survivors, and in  
21 the fields of radiation, some but not all of them will be  
22 getting basal cell after basal cell after basal cell. So  
23 it's analogous to that problem. I think your 10-year  
24 answer is not going to be a robust answer in a childhood  
25 study.



1 DR. CHESNEY: Dr. Rabkin and then Dr. Glode.

2 DR. RABKIN: By the same token, there are  
3 characteristics of lymphoma that would be more likely to be  
4 ascribable to effects of these medications if we're  
5 thinking it's being generated by the pathway of immune  
6 suppression. So EBV positivity you'd anticipate would be  
7 universal. The histology would be high grade and diffuse.  
8 So it wouldn't be as informative to lump all lymphomas  
9 from some system like Medwatch, but rather to collect more  
10 information, perhaps going back to these individual records  
11 and determining if those lymphomas resemble lymphomas that  
12 are seen in other settings that are similar.

13 DR. CHESNEY: Dr. Glode.

14 DR. GLODE: My question was just with regard to  
15 the clinical trial, I wondered if it would be possible for  
16 Dr. Margolis to just share with us in 1 to 2 minutes the  
17 study design of his study that the FDA has apparently  
18 looked at to look at lymphoma and about how many patients  
19 that would enroll or whatever, the power of the study,  
20 anything like that just very brief.

21 VOICE: Dr. Margolis left.

22 DR. GLODE: Is he gone? Because maybe the  
23 study has already been designed and reviewed and it's  
24 great.

25 DR. CHESNEY: Dr. Wilkin, do you have any

1 information about Dr. Margolis' study?

2 DR. WILKIN: No. We didn't bring that  
3 material. Don't have the detail.

4 DR. CHESNEY: Does anybody else want to comment  
5 on the best way to ascertain this clinical risk of  
6 malignancy in clinical studies? Dr. Andrews or Dr. Wingo.  
7 She actually volunteered.

8 (Laughter.)

9 DR. WINGO: Well, given that you can't study  
10 both cutaneous skin cancers and the lymphomas using the  
11 same registry, even if you had a registry for the cutaneous  
12 melanomas, if you decided that maybe an important thing to  
13 look at would be the risk of the systemic drug with  
14 lymphomas, you could certainly design a study to look just  
15 at that issue and not try to do too much in one study.

16 Another thought would be that if the data  
17 supported this -- and the data are weak. I think that's  
18 been part of the discussion today -- you could also make a  
19 recommendation for the squamous cell carcinomas that  
20 persons who use these drugs should have the recommendation  
21 to be screened more frequently, to have the body screens  
22 for the development of skin cancers more frequently as  
23 opposed to doing a special study to look at that issue.

24 DR. CHESNEY: Thank you.

25 Dr. Gorman.

1 DR. GORMAN: Back to what we know about these  
2 agents, if we're going to apply them to the skin -- and we  
3 know that the drug is removed through the blood stream. Is  
4 it also removed through the lymph, and would the regional  
5 lymph nodes then be at somewhat greater risk than a  
6 systemic absorption where the only way to get into the  
7 lymph node would be through the blood supply? Do we know  
8 how this drug is removed from the skin? Because it might  
9 make some difference in where the lymphoma would then  
10 appear. Perhaps.

11 DR. RABKIN: I think those are very plausible  
12 scenarios, and we don't have knowledge there.

13 DR. STERN: And it's also not only where the  
14 drug is getting to, but in fact there's something in  
15 dermatology called SALT. Basically they're interactions  
16 and interplay and T cell trafficking between skin and lymph  
17 nodes as just part of normal immune response. So in fact,  
18 you've got to remember, as I said earlier, when we talk  
19 about the effects of immunosuppression, the skin is in fact  
20 a very active immunologic organ with a lot of T cell  
21 trafficking going back and forth.

22 DR. CHESNEY: Dr. Danford, you had your hand  
23 up.

24 DR. DANFORD: I think we need to be a little  
25 bit cautious about making special recommendations for

1 people who have been exposed to these topical agents to  
2 have examinations frequently by dermatologists, not because  
3 I don't think it's a good idea. I think that's probably an  
4 excellent idea in clinical medicine, but it may confuse us  
5 for the scientific question of are they at special risk for  
6 developing these malignancies early because we're not  
7 subjecting whatever we're going to use as a comparison  
8 group to the same sort of diligent search for the  
9 malignancies, and we may falsely uncover a risk that's not  
10 there because of differences in ascertainment of the  
11 outcome.

12 DR. CHESNEY: Dr. Andrews.

13 DR. ANDREWS: If I were pressed to do a study,  
14 I would look at the outcome of lymphoma and make use of the  
15 State cancer registries for case ascertainment. And I  
16 wouldn't limit the study only to children. I would try to  
17 get a population where the incidence is higher where it  
18 would be more likely to be able to detect a difference.

19 DR. CHESNEY: Dr. Stern.

20 DR. STERN: If one were to embark on one study,  
21 I think we've heard over and over that there's a big power  
22 problem, and one of the things is there are two sponsors,  
23 and I just wonder whether rather than encouraging two  
24 separate, under-powered studies, one of which will turn out  
25 to be positive and negative, whether we might not say if

1 you're going to do a study, take it all in one shot, and  
2 that also might help guarantee a little bit of investigator  
3 independence from the sponsoring company. Also, there's a  
4 lot of cross-use between these two drugs. In my practice  
5 who gets Protopic versus Elidel is what their insurance  
6 company is, and that's something that changes over time  
7 because most insurers, at least in Massachusetts, have one  
8 or the other and not both as a covered drug. So if you're  
9 going to do it, which I'm not really terribly enthusiastic  
10 about, you may as well do it once jointly for all the  
11 reasons I've said.

12 DR. CHESNEY: Dr. Epps.

13 DR. EPPS: I would make full use of the  
14 Children's Cancer Registry. Perhaps a few questions about  
15 calcineurin inhibitors would be helpful even though that's  
16 sort of retrospective, but I think that would be at least  
17 moving in the right direction. Obviously, longitudinally,  
18 it would be very difficult, very expensive. I don't think  
19 that looking for actinic keratoses is helpful. As far as  
20 viral infections, warts, EBV, probably also molluscum  
21 contagiosum seems to be increased as well. I would look at  
22 that a little bit, although we already know that they're  
23 all increased.

24 So I agree, I don't think other than our  
25 routine dermatologic exams -- a lot of the primary care

1 physicians do see things that if they aren't sure what they  
2 are, they do refer on, so that if we started seeing  
3 teenagers and young people with suspicious or skin cancers,  
4 then we should certainly be aware and think about it,  
5 especially if they're atopic or chronically. A lot of the  
6 chronically or more severe atopics we see regularly. You  
7 have to certainly not only for insurance purposes because  
8 they won't renew their prescriptions forever, but we don't  
9 renew the prescriptions forever because as the disease  
10 evolves and it changes and certainly therapy should change.

11 DR. CHESNEY: Dr. Rabkin and then Dr. Wingo.

12 DR. RABKIN: Deliberately repeating myself, the  
13 underlying condition is controversially associated with an  
14 increased risk of lymphoma. So if a study like that were  
15 to identify those patients to have an elevated risk, it  
16 wouldn't be straightforward to ascribe that to the  
17 medication.

18 DR. CHESNEY: Dr. Wingo.

19 DR. WINGO: I just wanted to make a comment  
20 about the Children's Oncology Group cancer registry just to  
21 point out that it is not population-based. It's facility-  
22 based. There are advantages and disadvantages to going  
23 with such a registry as compared to the population-based  
24 registries.

25 DR. CHESNEY: Other comments about question 2?

1 (No response.)

2 DR. CHESNEY: That is enough?

3 DR. SHIRLEY MURPHY: Yes.

4 DR. WILKIN: Yes.

5 DR. CHESNEY: Question 3.

6 DR. CUMMINS: FDA has not asked for such long-  
7 term studies in topical products before. To require such  
8 screening means that we are asking companies to take on  
9 very large, lengthy studies with substantial logistical  
10 challenges in patient retention, follow-up, costs, and  
11 other factors. In what situations should we require such  
12 studies? And what criteria would you identify as important  
13 in deciding that this type of study be done?

14 DR. CHESNEY: Dr. Danford.

15 DR. DANFORD: I have a question. Leaving the  
16 FDA aside and the issue of topical products aside, can  
17 anybody think of examples of investigations that have been  
18 as long-term as we've proposed and as complicated as we've  
19 proposed that have actually come to fruition and provided  
20 valuable information for us?

21 DR. MATTISON: I think the DES study is a good  
22 example, a double-blind trial looking at the impact of this  
23 drug. There are a host of reproductive and developmental  
24 endpoints that would have never been identified actually  
25 had the study not been conducted. That's not the only one,

1 but that's certainly one that comes to mind.

2 DR. CHESNEY: Dr. Mattison, I have you down  
3 here. Was that what you wanted to say?

4 DR. MATTISON: Oh, sorry.

5 (Laughter.)

6 DR. MATTISON: It seems to me that coming back  
7 to the mantra that I've been singing here today, long-term  
8 studies generically seem to me to be especially important  
9 to consider when the endpoints are developmental and may  
10 take some substantial period of time for the impact of the  
11 agent to be expressed. So I would say that one of the  
12 criteria would be use of the agent during developmental  
13 time frames or life stages and concern for uncertainty  
14 about the impact of those exposures across the course of  
15 development.

16 DR. CHESNEY: Dr. Glode.

17 DR. GLODE: So my criteria for these topical  
18 products would be, first, is there evidence of systemic  
19 absorption of the product. Secondly, is there evidence  
20 beyond pharmacologic absorption of systemic effect of any  
21 kind, and third, are the other formulations that are  
22 systemically administered associated with serious and/or  
23 life-threatening complications. And if the answer to those  
24 questions are all yes, then that's the population that  
25 deserves long-term studies I think.



1 DR. CHESNEY: Yes.

2 DR. GORMAN: I'd like to echo what Dr. Glode  
3 said because I think those are the parameters, and the  
4 outcomes with the systemic absorption and systemic effects  
5 have to be severe and pose a public health challenge. If I  
6 remember Dr. Wingo's data correctly, there are about 20  
7 cases of lymphoma in adults per 100,000, and if 20 percent  
8 of Americans have atopic dermatitis, and if they're all  
9 exposed to this drug class, and if it just increases the  
10 relative risk by a 2-fold effect, which might be hard to  
11 determine statistically, that increases the burden of  
12 disease, from the back-of-the-envelope calculations, by 25  
13 percent in the American population, which I think is a  
14 substantial increase in risk. So if everybody in the 20  
15 percent got it and got a 2-fold increase in their rate of  
16 lymphoma, that would increase the total number of lymphoma  
17 cases in the United States by 25 percent. I think that's a  
18 big number.

19 DR. CHESNEY: I think the question is in what  
20 situation should we require such studies. Dr. Rabkin  
21 pointed out the difference between lymphoma being life-  
22 threatening and skin cancer not necessarily being life-  
23 threatening. So I think a criterion would be that there is  
24 a signal for a life-threatening event which would, for me,  
25 precipitate the requirement for a more comprehensive study.

1                   Dr. Fink and then Dr. Andrews.

2                   DR. FINK: I think since widespread usage and  
3 long-term risk are important, I would almost raise the  
4 question that this is one drug or one class of drugs where  
5 there's that concern. There are many new bioengineered  
6 agents coming into use and we're reaching a period of very  
7 rapid drug development, and it would almost strike me that  
8 it may be more cost effective to look at undertaking some  
9 NIH federal-sponsored, large-term pediatric population  
10 studies looking at all risks rather than separating them  
11 out drug by drug because it may be environmental risks, it  
12 may be drug-related risks, but we're clearly in a very  
13 rapid period of evolution both in terms of pharmacotherapy  
14 and potentially changes in the environmental risks.

15                  DR. CHESNEY: I had that same thought earlier  
16 today, and I think wasn't original. Has Dr. Alexander not  
17 been a proponent of long-term childhood studies such as the  
18 Women's Initiative?

19                  DR. RABKIN: There actually is in the planning  
20 phases implementation of a very large cohort of children to  
21 be followed from birth, actually prior to birth, with  
22 cancer being one of the important endpoints. But that  
23 still may not be powerful enough to detect a side effect of  
24 these medications if they're not used as frequently as the  
25 manufacturers hoped.

1 DR. CHESNEY: Could you tell us a little more  
2 about that study? It's to look at all life events?

3 DR. MATTISON: We're about 2-and-a-half to 3  
4 years into what's thought to be about a 6-year planning  
5 phase of a study that's called the National Children's  
6 Study that would enroll about 100,000 families. It was  
7 mandated that NICHD begin planning this in the Child Health  
8 Act of 2000. The goal is to look at environmental  
9 influences on children's health, growth, and development.  
10 There are, in designing the study, substantial concerns by  
11 various working groups about pharmaceutical exposures and  
12 their impact. As I say, it's in its planning phases right  
13 now.

14 There is an advisory committee that's providing  
15 recommendations to NICHD and to the other federal agencies  
16 that are participating in this, and there's substantial  
17 discussion about even with a sample size of 100,000, how  
18 useful this would be for ascertaining exposures that are  
19 associated with cancers given that it's likely that this  
20 will be some sort of a representative sampling of folks  
21 around the United States.

22 But there are current discussions going on with  
23 NCI about the role that this study could play either as a  
24 control cohort or as a cohort to look at specific  
25 biomarkers in association with exposure.

1 All of the information about the study is  
2 publicly available. There is a public web site called the  
3 nationalchildrensstudy.gov, and the current hypotheses that  
4 have been proposed by the working groups and other  
5 organizations are there, as well as minutes from all the  
6 meetings.

7 DR. CHESNEY: Thank you.

8 Dr. Ten Have.

9 DR. TEN HAVE: One more aspect of the criteria  
10 for launching these studies may be better animal studies  
11 that try to approximate patient reality better. Somebody  
12 mentioned that none of the animal studies have been done on  
13 young animals. That may be one criterion.

14 Another one may be lower doses, longer duration  
15 trials in animals, again to try to approximate more what's  
16 happening in clinical reality.

17 DR. CHESNEY: Any other suggestions for  
18 question 3?

19 (No response.)

20 DR. CHESNEY: On to question 4.

21 DR. CUMMINS: Is there a role for cancer  
22 registries and/or the SEER program in this long-term  
23 follow-up project? Please discuss how one might utilize  
24 existing registries or programs.

25 DR. CHESNEY: Dr. Andrews.

1 DR. ANDREWS: I think the answer is obvious.  
2 If there is to be a study looking at exposures in lymphoma,  
3 then the State registries could play a key role. The SEER  
4 program, I don't think, allows you to actually do the  
5 linkage. I think that has to be done at the State level.  
6 So one could identify the patients and exposures through  
7 some mechanism, whether it's through an automated database  
8 or through patient recruitment, and link that information  
9 with cancer registries for ascertainment of lymphoma.

10 DR. EPPS: I agree.

11 DR. CHESNEY: Any other comments about that?

12 Dr. Fink.

13 DR. FINK: A concern I guess I would have with  
14 the use of the registries is they may be helpful, but again  
15 going back to some of the data from the asthma field, there  
16 have been several nice studies that showed that only about  
17 10 percent of 30-year-olds who had pediatric asthma recall  
18 that they had asthma as a child. I'm not sure, if you use  
19 a registry approach, what the likelihood is of recalling  
20 atopic dermatitis or use of a calcineurin agent for making  
21 that association.

22 DR. ANDREWS: I would agree. I wouldn't start  
23 with identifying cases from the registry because I think  
24 recall would be hopeless here. I would identify people  
25 exposed first and use their exposure status and their

1 identification to then identify reported cases of lymphoma  
2 because we know there is close to 100 percent ascertainment  
3 of lymphoma in these cancer registries. So it would be  
4 some kind of a longitudinal study where the registries are  
5 used to ascertain the outcome.

6 DR. FINK: Okay, but that's a big registry to  
7 take on people who use the drug. That doesn't exist  
8 currently.

9 DR. CHESNEY: Dr. Gorman.

10 DR. GORMAN: I think the registry doesn't exist  
11 as a registry, but I think the prescription databases, if  
12 available, could provide you with those numbers that could  
13 then be linked 40 years later to the cancer registries.

14 DR. CHESNEY: Dr. Santana mentioned before he  
15 left, if it was pertinent to bring up, the -- I forget now  
16 how you all describe this where you put the label on and  
17 you require the physician to register, that process. He  
18 said he wondered if that was a situation where physicians  
19 using these drugs would have to be registered and the  
20 patients have to register. Could you review that policy  
21 for those who might not have heard it yesterday? Obviously  
22 I didn't remember the details.

23 DR. WILKIN: Well, there is such a program for  
24 thalidomide which is a systemic, very potent teratogen  
25 where physicians must take certain CME types of courses and

1 make assertions that they understand the pharmacology of  
2 thalidomide, the teratogenic risk, that they understand the  
3 risks of getting pregnant and how those risks might be  
4 minimized with counseling. And then pharmacies I believe  
5 register, and there's a controlled distribution. The  
6 thalidomide goes to the pharmacy and only specific  
7 pharmacies. Again, the physician would direct a patient to  
8 go to a specific pharmacy to pick it up. There's a program  
9 embedded in all of this of getting pregnancy tests in a  
10 timely manner in women of childbearing potential. So those  
11 are the kinds of things that could be done if that's  
12 responsive to the question.

13 DR. CHESNEY: That would certainly allow  
14 registering of every patient who used it, but it seems that  
15 it might be a bit extreme.

16 Dr. Fost.

17 DR. FOST: Thalidomide is a drug with virtually  
18 a 100 percent incidence of an extreme adverse effect if  
19 used improperly. So that sort of intensive monitoring is  
20 warranted by the severity. Here where you don't even know  
21 -- to invest that sort of effort in something that may  
22 produce -- well, you don't know what it will produce and it  
23 may take you 20 years to find out. There are hundreds of  
24 drugs you'd want to ask that kind of question about.

25 DR. CHESNEY: I agree. I just wanted to bring

1 it to the table on his behalf.

2 Dr. Rabkin.

3 DR. RABKIN: Just to mention an alternative  
4 model for investigating pharmacoepidemiologic associations  
5 is to take advantage of preexisting healthcare networks  
6 that already have computerized records of prescriptions and  
7 also track health outcomes. So that's something that a  
8 number of pharmacoepidemiologic research groups have been  
9 able to investigate with fairly large patient populations.

10 DR. CHESNEY: Thank you for bringing that up.  
11 The Kaiser Permanente group was mentioned yesterday as an  
12 example of that.

13 Other suggestions for question 4?

14 (No response.)

15 DR. CHESNEY: Is that enough? Okay.

16 Moving on to the first part of question 5, Dr.  
17 Cummins, maybe we could just ask for any more specific  
18 suggestions about other studies that would be recommended.

19 DR. FOST: Could we just go back to 4 just to  
20 add to Dr. Rabkin's suggestion?

21 DR. CHESNEY: Yes.

22 DR. FOST: What's the extent of the use of the  
23 calcineurin inhibitors in Europe in countries where they  
24 have integrated databases, medical databases?

25 DR. CHESNEY: Dr. Salmon.



1 DR. SALMON: I have to say I can't answer that  
2 question either on a national level or across Europe. I  
3 suspect the records are available, but I'm afraid I don't  
4 have them.

5 DR. CUMMINS: The first part of question 5 is  
6 what other studies would you recommend, for example, animal  
7 studies.

8 DR. CHESNEY: Other studies that people would  
9 recommend that haven't already been brought? Dr. Stern.

10 DR. STERN: Well, I do think that the amount of  
11 animal photo-carcinogenicity experiments that have been  
12 done for these compounds compared to the likely magnitude  
13 of risk represents an imbalance that could be easily  
14 corrected, and I think there are a number of designs that  
15 can look at simultaneous and sequential exposures with  
16 appropriate controls and at least in some models -- you  
17 can't predict extent, but at least predict direction or how  
18 much to be concerned would either help to increase our  
19 concerns or perhaps even allay our concerns about the use  
20 of these in various ways, including controlling for age of  
21 animals and levels of exposure and chronicity.

22 DR. CHESNEY: I think many people picked up on  
23 that.

24 Dr. Wilkin.

25 DR. WILKIN: Perhaps Dr. Stern can give us a

1 few more pieces of information on this. We thought about  
2 this internally. The rodents, in which we look at this  
3 model, are essentially nocturnal animals. So this is sort  
4 of a very artificial thing that happens to them. I'm not  
5 sure that over time they have all of the evolutionary  
6 adaptive advantages in their immune system. In other  
7 words, if we got a negative response, what would that tell  
8 us, I mean, a negative signal that the calcineurin  
9 inhibitor wasn't doing something?

10 DR. STERN: I don't do mouse work and I don't  
11 recall -- and perhaps you do -- for the calcineurin  
12 inhibitors, if they've ever been tried systemically in mice  
13 with photo-carcinogenicity. I'm not aware of that, if  
14 there are positive responses.

15 I guess what I would look for is, first, I'd  
16 look and see if there's a positive animal model of exposing  
17 the animal, giving them either placebo or systemic doses of  
18 calcineurin inhibitor and seeing if there's an increase in  
19 subsequent skin cancer risk, kind of the human model in  
20 transplantation. If it hasn't been shown, I'd try that  
21 experiment. If that were negative, I'd go home and take  
22 back all my comments.

23 DR. CHESNEY: Dr. Gorman.

24 DR. GORMAN: I'd like to suggest a varicella  
25 study. I think dogs are susceptible to varicella. There's

1 also a vaccine in dogs for that. I would like to see if  
2 when this agent is used topically on dogs, whether the  
3 death rate from varicella or the breakthrough rate for  
4 immunized dogs changes.

5 DR. CHESNEY: Dr. Fink.

6 DR. FINK: This wouldn't really be an animal  
7 study, but it might be interesting to try and look at, in a  
8 small number of young patients, if this drug is going to be  
9 used, whether local application of it affects their immune  
10 response to vaccinations since that is taken up by  
11 localized lymph nodes. I don't know how feasible that is,  
12 but it would strike one as something worth looking at.

13 DR. CHESNEY: I was very intrigued by Dr.  
14 Hill's comment that there may be drainage to the local  
15 nodes, and I wondered about the feasibility of looking at  
16 the local nodes in animals. I have no idea how to do it,  
17 but to see if the lymph nodes near a localized area of  
18 application look different than nodes at another site or  
19 whether it would be possible to label the drug and see how  
20 much of it went to nodes and how much went elsewhere in  
21 terms of additional studies.

22 Dr. Rabkin.

23 DR. RABKIN: We're I think several steps away  
24 from the question about what the goal is, though, because  
25 even if you do note differences in the local lymph nodes,

1 it may not be relevant to question as to whether this  
2 increases risk of systemic lymphoma.

3 DR. CHESNEY: I agree, but it was a great idea  
4 and I just wanted to say it.

5 (Laughter.)

6 DR. CHESNEY: Other comments about additional  
7 studies that could or should be done?

8 (No response.)

9 DR. CHESNEY: Have we been of any help with  
10 these questions? I feel like they were very difficult and  
11 complex to try to answer in a short period of time.

12 DR. WILKIN: Yes. I think we've heard a lot of  
13 important suggestions today.

14 I would like to make just a couple of comments.  
15 Originally we started out with the first part of question  
16 1 and not the second part, and it was really a lead-in to  
17 these long-term studies. We had planned this session of  
18 the advisory committee to talk about uncertainty and how to  
19 study for it. I don't know that the FDA group really came  
20 with the preparation to talk about risk management per se.

21 And the other part that we missed today is I  
22 think we might have heard a somewhat different portrayal of  
23 the actual risk if industry had had an opportunity to go  
24 over the data. So I think we're going to take all of the  
25 information we've heard, discuss it with the two industries

1 that currently are involved. Who knows? Maybe there's an  
2 opportunity for this group to get a refresher course in  
3 what happens at the cellular level and also in dosimetry.

4 I guess that's one of the other important  
5 points today that we had sort of thought about but maybe  
6 not articulated in such succinct terms as Dr. Stern, that  
7 this is largely a question of dose and duration. And if  
8 you'll permit me one more quote, Paracelsus did say that  
9 whether a substance is toxic or not depends on what its  
10 dose is. We have a lot of topical products that have,  
11 frankly, been developed from fairly toxic drugs given  
12 systemically. Just as an example, 5-fluorouracil is used  
13 on the surface of the skin. I can guarantee that the side  
14 effect profile is nowhere close to what we see with  
15 systemic 5-fluorouracil. We have active agents in topical  
16 products for which industry has never developed a systemic-  
17 form product simply because of toxicity concerns. So I  
18 think there's an enormous dose aspect to all of this.

19 We even have a retinoid in a product which is  
20 largely going to be used in the setting of pregnancy. It's  
21 used for melasma and melasma is virtually a physiologic  
22 sign of pregnancy and we know that retinoids given in much  
23 higher amounts are actually going to lead to  
24 teratogenicity.

25 So I think one of the things that we always

1 want to be careful about -- and I think I heard the word  
2 "scare" maybe once or twice -- is I think we want to make  
3 an important distinction when we are working on risk  
4 management to carefully define the line between pharmaco  
5 fear mongering or scaring and conveying uncertainty. I  
6 think that's something that we also heard the conveying of  
7 uncertainty from the committee. And I think we're going to  
8 work hard internally, and we may come back and find out  
9 from your group if we got it right. But we want to make  
10 sure that we haven't crossed over beyond into the crying  
11 wolf because crying wolf undermines every precaution and  
12 warning and all of the other, if you will, advisory or  
13 hortatory things that FDA puts in all of our medication  
14 guides and healthcare provider letters and all these sorts  
15 of things.

16           So I think we want to be very careful. We  
17 don't want to be overly careful. I mean, we want balance  
18 is basically what I'm saying. Dr. Fost actually mentioned  
19 that when thalidomide was brought up. He said this is not  
20 thalidomide I think, something to that effect, that we need  
21 to think of a measured communication response for the  
22 information we have got.

23           So I think the committee has worked very hard  
24 today, given us a lot of good information. You've not only  
25 wrestled with the science, but probably you've wrestled

1 with the even greater difficult issue of societal values,  
2 that is, how much do we want to know things, how much are  
3 we willing to spend, subject kids to different sorts of  
4 things to learn about them. It's a difficult area when  
5 we're working with uncertainty, and we'll be working with  
6 the companies and we may well come back to you to see if  
7 we've been able to convey the uncertainty in an appropriate  
8 manner.

9 Thank you.

10 DR. CHESNEY: Thank you very much for putting  
11 it in the broader perspective. I think we realize that  
12 when we come, you ask us to look at something very focused,  
13 and we don't have necessarily the perspective that you do.

14 But we thank you for bringing it to us.

15 I also want to thank you for educating us in  
16 ancient mores.

17 I also have a comment that if anybody on the  
18 panel needs a cab and didn't sign up there will be a desk  
19 in the lobby that's got an FDA label on it and they will  
20 help find a cab.

21 I thank everybody on the panel very, very much  
22 for all your comments. I think it was a very informative  
23 session. Thank you.

24 (Whereupon, at 2:55 p.m., the subcommittee was  
25 adjourned.)