

U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

OFFICE OF THE COMMISSIONER

+ + + + +

PEDIATRICS ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

WEDNESDAY,  
JUNE 29, 2005

+ + + + +

The Advisory Committee met at 12:30 p.m. in Room 1066 of the Food and Drug Administration, 5630 Fishers Lane, Rockville, Maryland, Dr. Joan Chesney, Chair, presiding.

PRESENT:

- P. JOAN CHESNEY, M.D., Chair
- DENNIS M. BIER, M.D., Member
- ANGELA DIAZ, M.D., M.P.H., Member
- DEBORAH L. DOKKEN, MPA, Patient-Family Representative
- MICHAEL E. FANT, M.D., Ph.D., Member
- ELIZABETH A. GAROFALO, M.D., Industry Representative
- MARY GLODE, M.D., Member
- RICHARD L. GORMAN, M.D., Pediatric Health Organization Representative
- PAULA KNUDSON, Acting Voting Consumer Representative
- ROBERT M. NELSON, M.D., Ph.D., Acting Chair
- THOMAS B. NEWMAN, M.D., M.P.H., Member
- JUDITH R. O'FALLON, Ph.D., Member
- VICTOR M. SANTANA, M.D., Member
- ROBERT M. WARD, M.D., Voting Consultant
- JAN N. JOHANNESSEN, Ph.D., Executive Secretary

PRESENT FROM FDA:

SARA F. GOLDKIND, M.D., M.A.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

SOLOMON IYASU, M.D., M.P.H.  
LAWRENCE GRYLACK, M.D.  
DIANNE MURPHY, M.D.  
ROSEMARY ROBERTS, M.D.  
ALAN M. SHAPIRO, M.D., Ph.D, FAAP  
JEAN WENDY TEMECK, M.D., FAAP

PRESENT FROM OFFICE FOR HUMAN RESEARCH PROTECTIONS:  
BERNARD A. SCHWETZ, D.V.M., Ph.D.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

AGENDA ITEM:	PAGE
Call to order	3
Role of Committee	7
Summary of Deliberations from Pediatric Ethics Subcommittee	11
Discussion and Pediatrics Advisory Committee Recommendations	22
Overview of FDA's Pediatric Advisory Committee Activities	43
Overview of Agenda	58
Ethinyl estradiol, norgestimate	72
Tolterodine	85
Ciprofloxacin, Paricalcitol, Zolmitriptan, Dorzolamide, Leflunomide	115
Concluding Remarks	140

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

P-R-O-C-E-E-D-I-N-G-S

(12:33 p.m.)

CHAIRPERSON CHESNEY: I think we're ready to start. Welcome to the Pediatric Advisory Committee and all of the members of the FDA who have worked so hard on the agenda from yesterday and for today and tomorrow. And I think we'll start with introductions and maybe we can start on my right.

DR. SCHWETZ: I'm Bernard Schwetz, the Director of the Office for Human Research Protections.

DR. IYASU: I'm Solomon Iyasu, I'm the Acting Deputy Director for Pediatric Drug Development of the FDA.

DR. GOLDKIND: I'm Sara Goldkind, the bioethicist in the Office of Pediatric Therapeutics.

DR. MURPHY: Diane Murphy, Director, Office of Pediatric Therapeutics, FDA.

MS. DOKKEN: I'm Deborah Dokken, the Family Representative on the committee.

DR. O'FALLON: Judith O'Fallon, Emeritus Professor of Biostatistics, Cancer Center Statistics of the Mayo Clinic.

DR. JOHANNESSEN: I'm Jan Johannessen. I am the Executive Secretary of the Pediatric Advisory Committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. CHESNEY: Dr. Chesney, Professor of  
2 Pediatric Infectious Diseases of the University of  
3 Tennessee and Director of the Office of Academic  
4 Programs at St. Jude.

5 DR. NELSON: Robert Nelson, Pediatric  
6 Critical Care Medicine at Children's Hospital  
7 Philadelphia and the University of Pennsylvania.

8 DR. GLODE: Mimi Glode, Professor of  
9 Pediatric Infectious Disease at Children's Hospital  
10 University of Colorado in Denver.

11 DR. DIAZ: Angela Diaz, Professor of  
12 Pediatrics, Mount Sinai School of Medicine, New York  
13 City.

14 DR. BIER: Dennis Bier from the Children's  
15 Nutrition Research Center at Baylor College of  
16 Medicine.

17 DR. FANT: Michael Fant, Immunotologist  
18 from the University of Texas Health Science Center in  
19 Houston.

20 DR. NEWMAN: Tom Newman, Professor of  
21 Epidemiology and Biostatistics and Pediatrics at UCSF.

22 DR. WARD: Bob Ward. I'm a Neonatologist  
23 and Director of the Pediatric Pharmacology Program at  
24 the University of Utah.

25 DR. KNUDSON: Paula Knudson, I'm the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Consumer Representative to the Committee and an IRB  
2 administrator at the University of Texas Health  
3 Science Center Houston.

4 DR. GORMAN: I'm Rich Gorman, a  
5 Pediatrician in private practice and a Pediatric  
6 Health Organization representative.

7 DR. GAROFALO: I'm Betsy Garofalo. I'm a  
8 Pediatric Neurologist. I am the industry  
9 representative. I work for Pfizer.

10 CHAIRPERSON CHESNEY: Thank you, and now  
11 Jan will read the meeting statement.

12 DR. JOHANNESSEN: I would just note for the  
13 record that Victor Santana will be participating in  
14 the meeting today. He's just running a little bit  
15 late but he will be here.

16 The following announcement addresses the  
17 issue of conflict of interest with respect to the  
18 first portion of this meeting and is made part of the  
19 public record to preclude even the appearance of such  
20 at the meeting. The topics of this portion of today's  
21 meeting are of broad applicability and, unlike issues  
22 before a committee in which particular products are  
23 discussed, issues of broader applicability involve  
24 many industrial sponsors and academic institutions.

25 All special government employees have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 screened for their interests as they may apply to the  
2 general topics at hand. The Food and Drug  
3 Administration has determined that no potential  
4 conflicts of interest exist. The FDA acknowledges  
5 there may be potential conflicts of interest, but  
6 because of the general nature of the discussion before  
7 the committee, these potential conflicts are  
8 mitigated. We note that Dr. Robert Ward is  
9 participating in the meeting as a voting consultant  
10 and that Paula Knudson is participating as the acting  
11 voting consumer representative.

12 We would also like to note that Dr.  
13 Elizabeth Garofalo has been invited to participate as  
14 an industry representative acting on behalf of  
15 regulated industry. Dr. Garofalo is employed by  
16 Pfizer. In addition, Dr. Richard Gorman is  
17 participating as a Pediatric Health Organization  
18 representative, acting on behalf of the American  
19 Academy of Pediatrics. With respect to all other  
20 participants, we ask in the interest of fairness that  
21 they address any current or previous financial  
22 involvement with any firm whose product they may wish  
23 to comment on.

24 We have an open public hearing later this  
25 afternoon at 3:30 and I would just remind everyone to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 please turn your microphones on when you speak so that  
2 the transcriber can pick everything up. Thank you.

3 CHAIRPERSON CHESNEY: Thank you, Jan. And  
4 now Dr. Dianne Murphy, who is Director of the Office  
5 of Pediatric Therapeutics, is going to give a brief  
6 meeting overview.

7 DR. MURPHY: While you were asleep last  
8 night, we changed the agenda, so we now have Dr.  
9 Goldkind, who will -- we try to let you know about  
10 these things a little before this, but I was so  
11 organized, I pulled it out of the book. So Dr. Sara  
12 Goldkind, who is a bioethicist in the Office of  
13 Pediatric Therapeutics is going to discuss the role of  
14 the Pediatric Advisory Committee and the Subpart D  
15 referrals and as you know, this meeting took place  
16 yesterday and Dr. Nelson will give us a summary after  
17 we hear from Dr. Goldkind.

18 DR. GOLDKIND: It's my pleasure to present  
19 to you some overview slides on the Subpart D referral  
20 process. Many of you will be familiar with this  
21 process but for those of you -- but it is confusing  
22 and so I want to go through it again. And for those  
23 of you who are not familiar with this, we also will  
24 welcome some questions after I'm done.

25 But yesterday we heard the deliberations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of this ?Precursor Preference in Surfactant Synthesis  
2 of Newborns? at the Pediatric Ethics Subcommittee  
3 meeting. It's the second joint referral since the  
4 establishment of the full Pediatric Advisory Committee  
5 and the Pediatric Ethics Subcommittee that we've done  
6 together with OHRP.

7 And what I wanted to go over is that now  
8 that the Pediatric Ethics Subcommittee has deliberated  
9 and established a set of recommendations that it's  
10 going to present -- that Skip will present to you  
11 today, the Pediatric Advisory Committee can review  
12 those recommendations and make -- and advance a set of  
13 recommendations to the Commissioner and the Secretary  
14 for consideration.

15 And those recommendations can be from one  
16 of the following. It can be a recommendation to allow  
17 the protocol to proceed because it satisfies one of  
18 the first three categories of Subpart D or it could be  
19 a recommendation to allow the protocol to proceed  
20 because it satisfies one of the first three  
21 subcategories of Subpart D with modifications. Or it  
22 could be a recommendation to allow the protocol to  
23 proceed with or without modifications because it would  
24 satisfy the fourth category of Subpart D, 46.407 or  
25 50.54, which is indeed the category that the Pediatric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Ethics Subcommittee determined that the protocol would  
2 fit best in with modifications.

3 Or it could recommend that the protocol  
4 not be allowed to proceed and provide specific reasons  
5 for that recommendation. Now, as I mentioned, the  
6 Pediatric Ethics Subcommittee established that the  
7 protocol for the purposes of the comparison group  
8 should go forward under this particular category, and  
9 Skip will expand on this discussion.

10 But the three elements that have to be  
11 satisfied in order for this protocol to fit within  
12 this category are that the research presents a  
13 reasonable opportunity to further the understanding,  
14 prevention or alleviation of a serious problem  
15 effecting the health or welfare of children; that the  
16 research will be conducted in accordance with sound  
17 ethical principles; and that adequate provisions are  
18 made for soliciting the assent of children and  
19 permission of their parents or guardians as set forth  
20 in 46.408 and 50.55. And for this particular  
21 protocol, the assent of children is not applicable  
22 since we're -- the subjects are pre-term infants and  
23 full-term infants.

24 So we wanted to just underscore once again  
25 that although we have a different numerical system

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with HHS, for the purposes of the Subpart D  
2 regulations, they are comparable, these four different  
3 categories.

4 Now, once the Pediatric Advisory Committee  
5 establishes its recommendation, that recommendation  
6 will be transmitted by the Office of Pediatric  
7 Therapeutics with comments on the recommendation to  
8 the FDA Commissioner for consideration. And not only  
9 will a letter from the Chair of the Pediatric Advisory  
10 Committee defining the recommendations of the  
11 Pediatric Advisory Committee accompany that  
12 transmittal memo but so will the summary that you have  
13 before you that was written by Dr. Nelson.

14 Then once the Commissioner makes a  
15 determination, that will be sent to OHRP, which will  
16 transmit that recommendation and it will be packaged  
17 with the Pediatric Advisory Committee recommendation  
18 and the Pediatric Ethics Subcommittee Chair summary  
19 for review by the Assistant Secretary for Health, who  
20 will make the determination for HHS on behalf of the  
21 Secretary.

22 So some of the possible determinations  
23 that are open to the Secretary or the Commissioner are  
24 that, again, similar to what are open to the Pediatric  
25 Advisory Committee, that in fact the research

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 satisfies one of the first three categories of Subpart  
2 D or that the research could be supported under the  
3 fourth category of Subpart D, 46.407 or 50.54, or that  
4 in order for it to be supported under 46.407 or 50.54,  
5 it would require additional modifications or not to  
6 support the research at all.

7 And additionally, the Secretary makes  
8 decisions related to funding, makes comments on  
9 funding issues, since this would be -- since there's  
10 an accompanying grant application to NHLBI or NHO --  
11 right, NHLBI. And that's it. So if there are any  
12 questions or -- I'd be happy to take those now.

13 CHAIRPERSON CHESNEY: Are there any  
14 questions about the process?

15 DR. GOLDKIND: Okay, thank you.

16 CHAIRPERSON CHESNEY: Thank you. And now,  
17 Dr. Robert "Skip" Nelson is going to review the  
18 summary of yesterday's deliberations which, as Sara  
19 mentioned, you have in front of you.

20 DR. NELSON: Thank you. First, I would  
21 call people's attention to one of the handouts which  
22 was the handout we had available yesterday for our  
23 meeting, which has the title ?Pediatric Ethics  
24 Subcommittee of the Pediatric Advisory Committee.?  
25 The reason I think that may be of interest to some of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you is a lot of the information that we had to review  
2 this protocol was, in fact, not in the documents that  
3 had been originally presented but, in fact, in the  
4 presentations.

5 And I'd call your attention to Dr. Hamvas,  
6 the principal investigator's presentation starting on  
7 page 35 and then also noting page 43 where there is  
8 actually a hypothesis and the like. So, as I'm  
9 reading the summary, for those of you who are  
10 interested in his slides, that's where you'll find it.

11 And then I might suggest with the  
12 permission of the Chair, who is one of the people who  
13 was at open discussion at least, Paula, Michael and  
14 Joan have an opportunity to comment on my summary to  
15 make sure that I haven't omitted or misrepresented  
16 anything. So I'm going to just read this as people go  
17 along.

18 The Pediatric Ethics Subcommittee of the  
19 Pediatric Advisory Committee met on June 28<sup>th</sup>, 2005 to  
20 review a proposed research protocol entitled  
21 "Precursor Preference in Surfactant Synthesis of  
22 Newborns." The proposed research would be conducted  
23 at the St. Louis Children's Hospital and supported by  
24 the National Heart, Lung and Blood Institute.

25 The Washington University Medical Center

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 IRB referred the protocol for review under 45 CFR  
2 46.407 -- and for simplicity throughout the rest of  
3 the document, I'll probably just list the last number  
4 of these references -- and 50.54 since it determined  
5 that the protocol is not approvable under 404, 405,  
6 and 406 or the comparable FDA 50.51, 52, 53, yet  
7 presents a reasonable opportunity to further the  
8 understanding of a serious problem affecting the  
9 health of children and could be conducted in  
10 accordance with sound ethical principles.

11 Now, the proposed research involves the  
12 administration of a 24-hour infusion of palmitate and  
13 acetate labeled with a stable (non-radioactive)  
14 isotope carbon 13, followed by the measurement of  
15 labeled surfactant obtained by routine, clinically  
16 indicated tracheal aspiration.

17 In addition, two to five blood samples  
18 totaling a maximum cumulative volume of 2.5  
19 milliliters will be drawn from either an in-dwelling  
20 catheter placed for clinical indications or in  
21 association with a clinically indicated blood sample.

22 In other words, there will be no additional  
23 procedures performed as part of this research protocol  
24 other than the 24-hour infusion.

25 All infants enrolled in the protocol will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been intubated for clinical indications. There  
2 will be no catheters placed for the research, nor  
3 additional venipunctures performed as part of the  
4 research. As such, the incremental risks of the  
5 research beyond the risks of routine clinical care  
6 include the rare, defined as less than two percent  
7 risk of infection from the infusion, the possibility  
8 of glucose and/or electrolyte disturbances and the  
9 need for a blood transfusion given the additional  
10 blood volume taken for research testing.

11 During the presentation and discussion,  
12 the subcommittee heard data from 53 previously studied  
13 infants showing no increase in these adverse events  
14 when compared to protocol eligible but not enrolled  
15 infants.

16 The investigators have gone to great  
17 lengths to insure the safety of the 24-hour infusion.

18 The subcommittee determined, in agreement with the  
19 referring IRB, that the risks of the research  
20 procedures presented only a minor increase over  
21 minimal risk. The protocol involves two different  
22 populations of infants who are intubated for clinical  
23 indications. The first population are infants born at  
24 a gestational age between 24 and 28 weeks who are  
25 studied shortly after birth at two weeks and four

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 weeks after birth. As of the continuing review report  
2 dated September 29<sup>th</sup>, 2004, 18 pre-term infants have  
3 been enrolled in the study. The Washington University  
4 Medical Center IRB approved the enrollment of the pre-  
5 term infants under 46.406.

6 The objective of this portion of the  
7 protocol was to study the surfactant production in  
8 pre-term infants suffering from hyaline membrane  
9 disease. As a study of the physiology of surfactant,  
10 the research did not offer the prospect of direct  
11 benefit to the individual infants enrolled. However,  
12 the risk was limited to a minor increase over minimal  
13 risk. The research procedures are reasonably  
14 commensurate with the experience of pre-term infants  
15 receiving clinical care for hyaline membrane disease  
16 and the pre-term infants have a disorder about which  
17 the research may yield generalizable knowledge of  
18 vital importance.

19 The second population are a comparison  
20 group of full term infants who require endotracheal  
21 intubation and mechanical ventilation along with the  
22 placement of intravascular catheters as part of  
23 routine clinical care for non-pulmonary conditions.  
24 To be included, these infants would need to have a  
25 normal chest x-ray and gas exchange as reflected in an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aspired oxygen concentration of less than 0.3. The  
2 investigators have proposed this population in order  
3 to explore the impact of gestational age versus the  
4 evolution of chronic lung disease on surfactant  
5 kinetics by studying a population of infants without  
6 lung disease. Although the ideal comparison group  
7 would be intubated and mechanically ventilated infants  
8 who are matched for both gestational and chronological  
9 age, such infants would be extremely rare.

10 It is the inclusion of this comparison  
11 group that resulted in the referral for federal review  
12 under 50.54 and 46.407, for these infants lack the  
13 disorder that is the stated objective of study, i.e.  
14 surfactant kinetics in pre-term infants with hyaline  
15 membrane disease. Although the Pediatric Ethics  
16 Subcommittee reviewed the amendment in the context of  
17 the entire protocol, it is the amendment to include  
18 this full term comparison population that is the focus  
19 of discussion.

20 The subcommittee reviewed the  
21 appropriateness of the comparison group drawing on the  
22 scientific presentations and expertise of the panel  
23 members. Although the protocol as submitted focused  
24 on the use of a full term population as a comparison  
25 group to shed light on the data from pre-term infants,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are important questions of surfactant physiology  
2 and the respective impact of various disease processes  
3 and mechanical ventilation that could be usefully  
4 examined and would provide important information about  
5 this population of full term infants. Nonetheless,  
6 the full term infants in the comparison group lacked  
7 the condition as defined by the submitted protocol, in  
8 other words, disordered surfactant physiology as a  
9 result of prematurity.

10 The decision to study the intubated full  
11 term infants as a comparison group rather than the  
12 primary focus of investigation effectively defined  
13 this population as lacking the necessary condition  
14 under 406 and 50.53. However, the subcommittee  
15 believed that a protocol focused on describing  
16 surfactant kinetics in an intubated full term  
17 population of infants could have been approvable under  
18 46.406 and 50.53. The subcommittee agreed that  
19 referral under 46.407 and 50.54 was appropriate for  
20 this protocol as written. The subcommittee also  
21 agreed that such referral may not have been necessary  
22 if understanding surfactant kinetics in full term  
23 infants who are intubated and mechanically ventilated  
24 had been the focus of investigation.

25 Following a full discussion of the issues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as reflected in the above summary, the subcommittee  
2 voted unanimously -- 11 in favor, no objections or  
3 abstentions -- in favor of the motion, approvable with  
4 conditions, under the Category 21 CFR 50.54 and 45 CFR  
5 46.407. The subcommittee assessed that the proposed  
6 research presents a, quote, "reasonable opportunity to  
7 further the understanding of a serious problem  
8 affecting children, since premature births are  
9 increasing and have a high morbidity and mortality  
10 associated with them, such as an average  
11 hospitalization of two to three months and potentially  
12 significant developmental and medical sequelae.?

13 The subcommittee voted in favor of  
14 requiring two conditions for the research to go  
15 forward and of recommending but not requiring a third  
16 condition. The first required condition -- 11 in  
17 favor, no objections or abstentions -- focuses on the  
18 homogeneity of the comparison group in providing a  
19 meaningful comparison to the data generated from pre-  
20 term infants. The subcommittee discussed a number of  
21 conditions that may impact on surfactant physiology in  
22 full term infants, such as congenital abnormalities  
23 resulting in pulmonary hypoplasia and disorders in  
24 pulmonary blood flow associated with such conditions  
25 as congenital heart disease.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The subcommittee recognized that the  
2 principal investigator had listed some exclusions in  
3 his presentation. As the focus of the proposed  
4 research was not on describing the heterogeneity of  
5 surfactant physiology and the various conditions  
6 affecting full term infants, careful attention needs  
7 to be paid to make sure that this comparison group is  
8 relatively homogenous.

9           As mentioned, although the ideal  
10 comparison group would be intubated and mechanically  
11 ventilated infants who are matched for both gestation  
12 and chronological age, the subcommittee felt the  
13 proposed research would, in effect, be a descriptive,  
14 hypothesis-generating study and that inclusion of the  
15 comparison group would contribute to the overall  
16 knowledge potentially generated by the study. The  
17 subcommittee recognized that assuring homogeneity may  
18 involve a learning process as data about surfactant  
19 physiology in intubated full-term infants are  
20 obtained.

21           The second required condition -- 10 in  
22 favor, no objections, one abstention -- involves a  
23 number of modifications to the parental permission  
24 documents reviewed by the subcommittee, particularly  
25 the document intended for use in the full-term

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population. The language needs to be simplified to an  
2 eighth grade reading level, including the required  
3 language about confidentiality and protected health  
4 information. The reference to there being no likely  
5 research-related risk should be deleted. The  
6 discussion of alternatives should be framed from the  
7 perspective of research participants and not from that  
8 of the investigators; in other words, the consent  
9 documents should mention that one alternative is not  
10 to participate in the research.

11 This discussion should also be highlighted  
12 under a section separate from the benefits of  
13 participation. The discussion of the purpose of the  
14 study should de-emphasize any immediate connection  
15 between the data arrived from full-term newborns and  
16 the understanding of the surfactant physiology in pre-  
17 term infants. The template language about not needing  
18 treatment found at the beginning of the document  
19 should be removed. Such language should not be  
20 included in the document describing a basic physiology  
21 study as it may inadvertently reinforce a therapeutic  
22 misperception.

23 Finally, there was considerable discussion  
24 about the importance of parents having an approachable  
25 and independent person to whom they can direct

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 questions about the research. Parents may be  
2 intimidated by the inclusion of titles such as, quote,  
3 "Chairman" and, quote, "Privacy Officer" in describing  
4 individuals who are available to answer questions  
5 about the research.

6 The third recommended but not required  
7 condition -- 11 in favor, no objections or abstentions  
8 -- continued the discussion of the importance of  
9 parental understanding of the research with the  
10 recommendation for an independent advocate to be  
11 available during the parental permission process; in  
12 other words, someone who would be approachable,  
13 accessible and available to discuss the research.

14 Although the subcommittee came to no  
15 conclusion about who such a person should be, there  
16 was general agreement about the function of such a  
17 person. A key function of such a person would be to  
18 assure that the parents, before signing the parental  
19 permission document, understood that this was a basic  
20 physiology study that offered no therapeutic benefit  
21 for the individual infant.

22 It should be noted that this  
23 recommendation was initially proposed as a mandatory  
24 condition but rejected as such by a majority of the  
25 subcommittee -- three in favor, eight against, no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 abstentions.

2 In summary, the Pediatric Ethics  
3 Subcommittee of the Pediatric Advisory Committee  
4 determined that the proposed research presents a  
5 reasonable opportunity to further the understanding of  
6 a serious problem affecting the health of children  
7 will be conducted in accordance with sound ethical  
8 principles and that adequate provisions are made for  
9 soliciting of -- we'll change that -- soliciting of  
10 the permission of parents or guardians as set forth in  
11 45 CFR 46.408 and 21 CFR 50.55. As such, the  
12 Pediatric Ethics Subcommittee recommends that the  
13 Pediatric Advisory Committee recommend to the FDA  
14 Commissioner and the Secretary of HHS that the  
15 research be approved under 45 CFR 46.407 and 21 CFR  
16 50.54 contingent on a satisfactory response to the two  
17 required conditions as discussed above.

18 CHAIRPERSON CHESNEY: Thank you. And Skip  
19 points out that the first of these subcommittee  
20 meetings he had four days to prepare the summary and  
21 this time he had less than 18 hours, so very complete.

22 Are there -- is there discussion, questions, concerns  
23 regarding this summary? Dr. Bier?

24 DR. BIER: I'd just like to make a comment  
25 for the record about the independent, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advocate. If this study is being carried out in an  
2 NIH clinical research center at Wash U., where there  
3 is a pediatric and adult center, all the GCRCs today  
4 have a staff, a program person who is either called a  
5 research subject advocate or medical director, and  
6 part of their role is to serve precisely as this kind  
7 of an independent, you know, voice, so I think they  
8 may have that person already.

9 DR. NELSON: They could choose to use that  
10 person but I don't think they have GCRC funding right  
11 now. So it wasn't -- as we asked, it wasn't part of  
12 their currently situation but that would be the person  
13 they could use.

14 DR. BIER: But there is a pediatric unit of  
15 the GCRC at Wash U. and they do do neonatal work.

16 CHAIRPERSON CHESNEY: Deborah Dokken?

17 MS. DOKKEN: I wanted to comment on -- as a  
18 family representative, I was really pleased to see the  
19 Condition 2 and 3 of the subcommittee because my own  
20 sense in looking at the background materials was  
21 having some questions about the consent document, not  
22 about the research itself.

23 For Condition 3, I guess I understand why  
24 this became -- was not left in as a mandatory  
25 condition, but it seems to me the independent advocate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a mechanism for the underlying purpose of the  
2 insuring, as it says in the sentence above, parental  
3 understanding of the research and by making this not  
4 mandatory have, you know, have we sort of deflected  
5 the importance of insuring parental understanding. So  
6 is there a way of keeping in the parental  
7 understanding and not tying it to the mechanism of the  
8 independent advocate?

9 DR. NELSON: I guess my -- there was a lot  
10 of discussion with the principal investigator about  
11 the consent process, and at least speaking for myself,  
12 the first comment. The second comment, there was a  
13 broad discussion about the importance of that general  
14 issue and research in general.

15 And although there was some disagreement  
16 about whether this should be mandatory or not, I at  
17 least personally was relatively assured in listening  
18 to the principal investigator about how he would  
19 handle that conversation to where when it came down to  
20 say, "Well, what should we do in this instance, not in  
21 general," people felt comfortable that the process was  
22 a reasonable one and that parents were not -- were, in  
23 fact, being appropriately worked with. I mean, that  
24 was at least my take on the discussion of the process.

25 CHAIRPERSON CHESNEY: If I could just add a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couple of points. He was pointing out that they've  
2 been a leader in family center care, which I don't  
3 think I had fully appreciated, and he also pointed out  
4 that in the -- I think it's in the consent form, they  
5 say that we -- or I forget where, they talk to the  
6 family and then they come back 24 hours later and talk  
7 again, and if they're still not sure, then they come  
8 back 24 hours later. So I think that was some of the  
9 discussion that took place. Dr. Fant, do you remember  
10 any other --

11 DR. FANT: Yeah, just from personal  
12 experience situation, I know that I spent some time in  
13 St. Louis at that institution about, you know, I left  
14 about eight years ago. But the culture of the  
15 institution is one that takes sensitivity and respect  
16 of parents to heart. And as a matter of fact, I think  
17 the standard that's applied there is probably -- you  
18 know, I haven't seen it exceeded anywhere that I've  
19 been. So I personally am comfortable in this  
20 particular instance with this group of investigators  
21 implementing the principle of the recommendation for  
22 the purposes of this study.

23 In terms of my own comment yesterday, I  
24 think I specifically spoke to the point that while I  
25 was in support of the recommendation, I had concerns

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about making it mandatory at this particular point in  
2 time, not because I have any concerns about the spirit  
3 of what we're trying to accomplish, it's just that if  
4 there are no clear ideas about who the person is, how  
5 their role is defined within the institution, you  
6 know, it may or may not fulfill the goal that we're  
7 all trying to achieve. So trying to make it  
8 institutionalized -- make something mandatory in my  
9 mind that's not defined very well, you know, doesn't  
10 accomplish a whole lot.

11 So that was the reason for my vote against  
12 yesterday, not voting against the principle of what  
13 we're talking about. And secondly, with this  
14 particular group, I had no reservations about the  
15 spirit of that recommendation being implemented.

16 CHAIRPERSON CHESNEY: Paula, did you want  
17 to make any comments?

18 DR. KNUDSON: No, I was just very glad that  
19 we had the discussion because I think it's terribly  
20 important to bring this up repeatedly that parents  
21 really in vulnerable situations need all the help they  
22 can get to make informed choices. So I was very  
23 pleased actually with the extent of the discussion and  
24 the final recommendation was fine with me.

25 CHAIRPERSON CHESNEY: I think if it's any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reassurance, it took up maybe not the majority of the  
2 meeting but close to it. Go ahead, Deborah.

3 MS. DOKKEN: I guess I wasn't clear. I  
4 have no question whatsoever about the particular  
5 institution because I know a fair amount about Dr.  
6 Cole's pioneer work in family-centered care in NICUs.  
7 And so I wasn't as much talking about the institution  
8 and the specific research as my own, you know,  
9 happiness that a statement like this was in a  
10 recommendation from this committee. And that's more  
11 what I was referring to, that I want to make sure that  
12 we don't soften what we say about the importance of  
13 parental understanding.

14 CHAIRPERSON CHESNEY: Dr. Newman?

15 DR. NEWMAN: Yeah, I have two concerns and  
16 a question. The first concern is in the materials  
17 here on page 15, the e-mail from Dr. Kalhan who says,  
18 "The committee is certainly aware of the case from  
19 Maryland when a contaminated solution of tracer  
20 palmitate/albumin was infused into a healthy adult  
21 resulting in septic shock, et cetera.? And so that  
22 concerns me and I'm thinking that if I were a parent,  
23 I would want to know that that had happened. That  
24 would scare me a lot, so I don't know how -- I have no  
25 idea what the denominator is for how many, you know,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 palmitate albumin -- tracer palmitate/albumin  
2 solutions are infused but -- so this, I think, is  
3 scary.

4 My second concern is that the -- none of  
5 the stuff I read provided much of a scientific  
6 rationale for including the control group and how  
7 those data would be analyzed and how, you know,  
8 exploring surfactant synthesis in the control group  
9 would help understand what's going on with the babies  
10 they really want to study who have a problem with  
11 surfactant which the control group doesn't. So I just  
12 -- I didn't see how including this control group would  
13 further understand -- help further understanding of  
14 the condition in premies.

15 And my question is, it seems like there's  
16 two different standards and I'm not clear which one  
17 applies. One is a reasonable opportunity to further  
18 the understanding of a disease or condition. And the  
19 other is yield generalizable knowledge of vital  
20 importance. I don't think even in the premature group  
21 that I would think that this study would yield  
22 generalizable knowledge of vital importance.

23 I would say that it might contribute to  
24 the understanding of it. I don't even think it will  
25 contribute to much understanding including the control

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group. So I'm not clear whether our standard is, you  
2 know, vital importance or contribute to understanding  
3 for both the pre-term babies and the term babies.

4 CHAIRPERSON CHESNEY: I'm just going to  
5 respond quickly and then let Skip pick it up and then  
6 I will. He said in his introductory comments that a  
7 lot of the material that convinced us to come to these  
8 conclusions was presented at the meeting and wasn't  
9 available and I have the exact same concerns that you  
10 did. I didn't understand the science of why was this  
11 so critical. And I have a much better understanding  
12 of that but I'll let Skip respond first. And I'm sure  
13 others who were there do also.

14 DR. NELSON: Tom, I think you're correct.  
15 The materials that were submitted before the meeting  
16 had nothing in it that would justify the scientific  
17 purpose, period, all right? So, you know, the bottom  
18 line, that's why I handed out the slides at least to  
19 give a representation of the information that was  
20 discussed and that took up the whole basically -- the  
21 majority of the meeting was all of that particular  
22 discussion and the issue surrounding those issues.

23 The interpretation of reasonable  
24 opportunity versus vital importance, I think, is an  
25 open question and we could debate from a regulatory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perspective whether they're meant to imply something  
2 different or not. I think from the discussion people  
3 felt that it met either standard and part of the  
4 difficulty was that this is an unknown area and to  
5 some extent that's what I was alluding to about the  
6 need to sort of start generating some of this data  
7 because you really can't do any kind of sample size  
8 without knowing the confidence interval around your  
9 point estimate. So basically, you sort of had to  
10 start doing it before you really knew do you need 10  
11 or eight or 12 and that's, in a sense, the discussion  
12 of homogeneity versus heterogeneity was focused around  
13 some of those issues but without getting into  
14 statistical language.

15 And then on the final point, I actually  
16 disagree. I mean, we don't know anything about this  
17 anecdote. You know, if I put something together in my  
18 garage, yeah, it should be mentioned to the parents if  
19 they were doing it in their garage, but they're not  
20 doing that.

21 I mean, this is being prepared by  
22 Pharm.D.'s in laminar hoods in the same way that they  
23 prepare all of their other infused materials. And so  
24 I was reassured that the quality assurance, quality  
25 improvement, preparations that they do and a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was presented are, in fact, quite up to what I  
2 would consider an appropriate industry standard and  
3 not knowing anything about this anecdote other than  
4 this anecdote, I wouldn't want to -- I mean, that  
5 would be alarming for no particular purpose.

6 CHAIRPERSON CHESNEY: I have -- I'm torn.  
7 I want to hear from both of you but I wrote out a very  
8 brief paragraph based on what I finally understood of  
9 the science yesterday and I would be glad to share  
10 that or have your questions first.

11 Well, it turned out -- and again, Michael  
12 and Skip can -- and Dianne and other people who were  
13 there can correct me if I make a mistake, but it turns  
14 out the key slide is at the top of page 42 of  
15 yesterday's materials. And it turns out that if you  
16 give premature infants labeled acetate and palmitate,  
17 they pick it up and make surfactant. But what's the  
18 most interesting thing about this is the number of --  
19 the amount of unlabeled surfactant which you can see  
20 decreases with time.

21 So the infant is using less either  
22 recycled or other surfactant and using almost  
23 exclusively the exogenously administered surfactant  
24 and that is, to me, the most intriguing aspect of all  
25 of this and it suggests that maybe their surfactant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pool is depleted. And that, therefore, leads to some  
2 other possibilities for therapy, one of which is that  
3 you increase the surfactant pool in some way.

4 And when I finally kind of understood all  
5 of this, I asked if anybody had considered giving  
6 surfactant to older premature infants. And it turns  
7 out that the NIH is, in fact, sponsoring such a trial  
8 right now. So I think that's another lead for  
9 possible therapy is that maybe this recycled pool,  
10 which appears to be decreasing with time, is actually  
11 being very rapidly catabolized in the premature  
12 infant. And if that's not the case in the normal  
13 infant, then that also opens up potential  
14 possibilities for therapy so you could understand --  
15 if you could understand why the catabolism has  
16 increased.

17 And then their third and one of the most  
18 important issues, I think that Dr. Whitsett, who not  
19 only gave a presentation but then spoke in the open  
20 public hearing, had to do with nutrition, that we  
21 really have no idea how to nourish premature infants  
22 and should it be -- it is possible that we should be  
23 giving them a lot more palmitate or acetate, and so  
24 the whole issue of how this could impact how we  
25 peripherally nourish premies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And the point as I understood it about  
2 using the newborns is that if you were to do the study  
3 in a newborn and, for example, discovered that the  
4 pool, the unlabeled pool, was the predominant source  
5 for surfactant synthesis in the newborn, then that's  
6 dramatically different than what we're seeing in  
7 prematures with time. So -- anyway, I'll let Skip  
8 correct or add to that.

9           DR. NELSON: I think that's a reasonable  
10 summary, I guess, from a non-surfactant physiologist's  
11 perspective, which is mine, too. I learned a lot.

12           DR. WARD: Joan, I would just say that I  
13 would agree with you completely about the importance  
14 of these types of studies to understanding how to  
15 better treat premies based on that analysis of this  
16 data.

17           I'd just like to respond to Tom's comment  
18 about the contaminated solution. If we were to react  
19 to every situation like that, every time we  
20 administered any dose that had been administered  
21 incorrectly in the hospital, we would have to go tell  
22 every parent that, "Yes, a tenfold digoxin overdose  
23 has killed a child but we're going to give this to  
24 your child because we feel they need it.? I just  
25 don't think we can respond to that in real terms and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in real world in providing care.

2 CHAIRPERSON CHESNEY: Dr. Newman?

3 DR. NEWMAN: The e-mail said the committee  
4 was certainly aware of that, so I figured that you  
5 knew something -- I mean, we know nothing about this  
6 case. We don't know whether it was part of, you know,  
7 a human subjects approved protocol, it was research or  
8 anything about how it happened because I think  
9 actually it might be good to know about it because  
10 maybe it was a human subject's approved protocol that  
11 had all of the same safeguards that are being  
12 described here. I mean, I don't know, so I just --  
13 that concerned me.

14 CHAIRPERSON CHESNEY: Dr. Goldkind?

15 DR. GOLDKIND: I'm not certain that the  
16 case that I'm thinking of is the case that's  
17 referenced in this comment. I was suspecting that the  
18 person was referring to the Johns Hopkins University  
19 19-year old who was enrolled in an asthma trial who  
20 was administered a shelf chemical. There were  
21 questions about how that was prepared and she had an  
22 acute asthmatic attack and was unable to be  
23 resuscitated.

24 DR. NEWMAN: This says septic shock from a  
25 tagged palmitate, so it sounds much more close to this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug test.

2 DR. NELSON: All that is is antitoxin and  
3 basically all you need is quality control to make sure  
4 there's not antitoxin in the solution. And I've seen  
5 that happen when people are getting bone marrow  
6 transplants. You know, so even if the anecdote was  
7 within research, I don't think it -- with their  
8 presentation of what they've already done to date,  
9 this is based on, I don't know, eight years of doing  
10 this work and dozens and dozens of preparations, et  
11 cetera. I don't think it's applicable, even if we  
12 knew more detail.

13 CHAIRPERSON CHESNEY: They also pointed out  
14 that it's -- and the neonatologist could confirm this  
15 -- that albumin is hung for 24-hour periods of time in  
16 the neonatal nursery every day and infection is not of  
17 concern any more than the sort of low-level constant.

18 Any other -- Dr. Ward?

19 DR. WARD: I would just make one  
20 observation about this issue of having the advocate  
21 there for the parents. Every time we develop an  
22 informed consent relationship with a family, it's  
23 always incumbent on us to be sure to the best of our  
24 ability that the parent fully understands or we do  
25 exactly what they describe of coming back another time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and if they ultimately don't understand exactly what's  
2 happening, they can't participate -- their child can't  
3 participate. This seems to me like double coverage  
4 and I think that's commendable, especially in this  
5 particular patient population to be studied.

6 CHAIRPERSON CHESNEY: Dr. Nelson?

7 DR. NELSON: I would like to just highlight  
8 the issue that I raised in one of the paragraphs just  
9 to reinforce that point because it took a fair amount  
10 of discussion for me to sort of wrap my mind about  
11 that and that's the paragraph on page 2 that starts,  
12 "The subcommittee reviewed the appropriateness of the  
13 comparison group.? In effect, what this trades on is  
14 the ambiguity of the word "condition" within the  
15 regulations.

16 These investigators presented this  
17 comparison group which, A, they're not normal. They  
18 are diseased newborns, they just are thought not to  
19 have a disease that impacts on surfactant physiology.

20 So they presented them as a diseased comparison group  
21 and therefore, in that way, that group did not have a  
22 condition that was the focus of that investigation,  
23 but the risk was felt to be a very minor increase over  
24 minimal risk.

25 Now, had the investigators actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposed to study the impact of even mechanical  
2 ventilation on surfactant physiology, which, as an ICU  
3 doc, I know it can impact, this would not have even  
4 come to a 407, 50.54 review. It could have been  
5 approved under the category minor increase over  
6 minimal risk, et cetera. So that's what I'm eluding  
7 to here. As written, we recognized it was an  
8 appropriate referral. Had they said that the  
9 condition they were investigating was the impact on  
10 surfactant physiology of these very same diseases that  
11 these newborns had that they were then offering as a  
12 comparison group, it is possible, speculative but  
13 possible, that the IRB locally could have approved it  
14 under the minor increase over minimal risk under 45  
15 CRF 46 and 50.53. So just to -- I think that is worth  
16 at least highlighting for people's information and  
17 edification.

18 CHAIRPERSON CHESNEY: Just to amplify that,  
19 Dr. Fleischman said right at the end of our meeting  
20 that had that word "condition" been worded slightly  
21 differently, just what Skip said, the whole issue  
22 never would have come before the committee yesterday  
23 because it was a lot of time, effort and manpower for  
24 what, as Skip says, was considered a relatively minor  
25 increase over a minimal risk. So it was an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting exercise.

2 Any comments from the FDA with respect to  
3 this?

4 DR. MURPHY: I think the committee is  
5 asking all the appropriate questions because this is  
6 actually where the discussion went yesterday. There  
7 was a tremendous amount of time about the concern,  
8 about how is this control group going to relate back  
9 to this premie group? Well, you know, not really.  
10 And how do we better define the control groups and how  
11 useful the information just out of the control group  
12 would be by itself.

13 Also, I think there was some discussion,  
14 and correct me if I'm remembering this, because I  
15 didn't bring my notes from yesterday with me, is that  
16 the discussion of the additional risk of infection  
17 from the infusion was considered by the IRB, you know,  
18 was noted as one of the things and was looked at and  
19 investigated as to, you know, what is that risk and  
20 what do we think it is in our institution. And I  
21 think that that all was put together in their thinking  
22 about how they referred it, and I think it came down  
23 to that really the risk here is the infusion in the  
24 blood, you know, the extra blood, and that that  
25 infusion is going to not be an additional line, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're not, you know, don't have an additional line  
2 sepsis site and that it really gets back to what  
3 everybody has described as this minor increase. So I  
4 do think that the discussion is really going pretty  
5 much where, I think, a lot of the concerns were  
6 yesterday, and unfortunately we just didn't have all  
7 of the science information slides to provide you all  
8 until we got them and the committee got to see them  
9 yesterday.

10 CHAIRPERSON CHESNEY: I assume that we need  
11 to take a vote on this, and Dr. Nelson, do we need --  
12 can we take one vote or do you think we ought to vote  
13 on each of the conditions? Or how --

14 DR. MURPHY: Joan, you know, last time you  
15 all -- if the committee had recommendations that it  
16 felt strongly about, you know, we did take those and  
17 put those into our referral, so I think the  
18 opportunity of this committee, like yesterday, has  
19 recommendations that you all need to discuss, I guess  
20 is what I would put on the table, as you go around.

21 CHAIRPERSON CHESNEY: Right, additional  
22 recommendations. All right, should we go around and  
23 do it person by person and then people can say they  
24 approve of everything as stated or have an additional  
25 recommendation to propose? Okay, let's start here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with Dr. Garofalo.

2 DR. GAROFALO: I don't have any additional  
3 comments and I'm not certain if I'm a voting member  
4 for this portion but I certainly approve -- agree with  
5 the recommendations.

6 CHAIRPERSON CHESNEY: Thank you. Dr.  
7 Gorman?

8 DR. GORMAN: No additional recommendations  
9 but an observation that this is the second such  
10 meeting, and while the bugs and kinks are still being  
11 worked out, it's the second time that at the meeting  
12 substantial additional data was presented that was not  
13 available to the review committee prior to the meeting  
14 and perhaps, in our due diligence as we move forward  
15 with this process, that that can be rectified.

16 DR. KNUDSON: I have no additional  
17 recommendations. I suggest that we accept the report  
18 as outlined by Dr. Nelson. Thank you.

19 CHAIRPERSON CHESNEY: Thank you. Dr. Ward?

20 DR. WARD: I would recommend that we accept  
21 the report as Dr. Nelson submitted it.

22 CHAIRPERSON CHESNEY: Dr. Newman?

23 DR. NEWMAN: I think I'll abstain on that.  
24 I'll agree with Dr. Gorman. At least for me, it's --  
25 I'm used to sort of reading stuff and having time to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think about it and so I don't feel like I can, you  
2 know, meaningfully vote on this because, although what  
3 Joan said was clear and made sense, it's just hard for  
4 me to absorb it, you know, in a few minutes, and so I  
5 still don't understand the scientific value of the  
6 control group, so I'll abstain.

7 CHAIRPERSON CHESNEY: Dr. Fant?

8 DR. FANT: I vote to accept the  
9 recommendations as outlined.

10 CHAIRPERSON CHESNEY: Dr. Bier?

11 DR. BIER: I vote for the recommendations  
12 as outlined.

13 DR. DIAZ: I vote to accept as outlined.

14 CHAIRPERSON CHESNEY: Thank you, Dr. Diaz.  
15 Dr. Glode?

16 DR. GLODE: I'd like to abstain. I  
17 realized yesterday that I have a personal relationship  
18 with a relative of the principal investigator that I  
19 didn't realize until yesterday, so it would be in my  
20 best interest to abstain.

21 CHAIRPERSON CHESNEY: Dr. Nelson?

22 DR. NELSON: I guess it would be odd if I  
23 made any changes. I don't have any further changes to  
24 recommend.

25 CHAIRPERSON CHESNEY: Go ahead.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: No, I don't. I stand.

2 CHAIRPERSON CHESNEY: I accept the  
3 recommendations as summarized. Dr. Santana?

4 DR. SANTANA: I have no additional  
5 recommendations, and beyond accepting, I would endorse  
6 the recommendations because they are very appropriate  
7 given the issues that were presented in the  
8 subcommittee.

9 CHAIRPERSON CHESNEY: Dr. O'Fallon?

10 DR. O'FALLON: I accept as stated.

11 CHAIRPERSON CHESNEY: Deborah Dokken?

12 MS. DOKKEN: I also accept the  
13 recommendations of the subcommittee.

14 CHAIRPERSON CHESNEY: Thank you. So, Jan,  
15 we have two abstentions and --

16 DR. BIER: Joan, could I add something?

17 CHAIRPERSON CHESNEY: -- and 10 yeses.  
18 Yes, Dr. Bier.

19 DR. BIER: Just for the record, I spent 19  
20 years at Washington University. I know all the  
21 principals there, just so we have it in the record.

22 CHAIRPERSON CHESNEY: Thank you. I can't  
23 even think of a quick response to that. Is there  
24 anybody here who hasn't spent time at Wash U. in the  
25 nursery?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Dr. O'Fallon and Dr. Santana raise  
2 hands.)

3 CHAIRPERSON CHESNEY: That speaks well for  
4 their nursery. Do we need any further discussion on  
5 this issue from the OHRP's perspective? Dr. Goldkind?

6 DR. GOLDKIND: Thank you very much and we  
7 will take those recommendations into account as we  
8 continue to try and tease -- hone the process. We've  
9 instituted, as the subcommittee noticed yesterday, a  
10 number of changes that made the protocol and the  
11 consent documents much more of the focus of the  
12 discussions than they were in the first subcommittee  
13 meeting, which had, you know, a number of  
14 inconsistencies that were brought to the attention of  
15 the IRB chair and the PI at the subcommittee meeting.

16 So we will try and be able to get all meeting  
17 materials -- set, perhaps, a due date for all meeting  
18 materials so that they can be supplied to both the  
19 Pediatric Ethics subcommittee and the Advisory  
20 Committee in advance of the meetings.

21 CHAIRPERSON CHESNEY: I would support that  
22 suggestion because I think it was as confusing for you  
23 all as it was for us until we heard the material  
24 yesterday.

25 Shall we proceed with Dr. Murphy's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation or is there a reason to wait until two  
2 o'clock? Okay, our next speaker is Dr. Dianne Murphy,  
3 as previously introduced, Director of the Office of  
4 Pediatric Therapeutics, who is going to give an  
5 overview of the Advisory Committee activities.

6 DR. MURPHY: As you can tell, we've had a  
7 change in agenda, and fortunately it fit with some of  
8 the activities that we wish to accomplish today  
9 anyway.

10 A year ago, we had the dissolution of the  
11 Pediatric Advisory Subcommittee of the Anti-Infectives  
12 Committee and the formation of the full Pediatric  
13 Advisory Committee and I thought -- it's been  
14 suggested that it might be a good idea annually for  
15 this committee to have some idea of what's been going  
16 on and to review what has been happening.

17 In addition, at the end of this meeting,  
18 we will lose three members of our committee. So I  
19 wanted to take a few moments and review for everybody  
20 sort of where we've been and where we're going. And  
21 what do I do -- you'll do it for me? Okay.

22 So there have been rather a number of  
23 changes and some of them fairly large since we began  
24 on this road in 1999. And that's when we had our  
25 first Pediatric Advisory Subcommittee meeting. And we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right out of the chute began dealing with both  
2 scientific and ethical issues. And ethics was such a  
3 big component of some of our first meetings that we  
4 actually developed a cadre. We had six different  
5 ethicists or more at the time that we had as SGEs that  
6 we were calling on on a fairly regular basis to help  
7 us with these questions and issues that arose.

8           Because we were already a subcommittee, we  
9 couldn't have an ethics sub-subcommittee, so we -- I  
10 couldn't come up with any other better terminology  
11 than the cadre of ethicists. I mean, somebody else  
12 can think of a better term, an expert group would be -  
13 - of ethicist. Next please. I'm sorry, and then we  
14 did become a full, after BPCA, become a full Pediatric  
15 Advisory Committee in 2004, as I mentioned, and that  
16 we were able to form, then, an Ethics Subcommittee  
17 which has now been very busy also, not only with the  
18 Subpart D referrals that they get but also with some  
19 other ethical issues that are coming their way.

20           And then recently, we asked you to advise  
21 us. The committee had already been participating in a  
22 number of reviews of products, safety assessments, the  
23 one-year, post-exclusivity safety assessments, and we  
24 asked you to provide us some feedback on how to make  
25 that process more useful to you where we could. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually, today and tomorrow will be our first  
2 attempt, and you'll see that despite our  
3 deliberations, things didn't fit into all these  
4 categories but we'll continue to refine it. So that  
5 is, I think, another important step that we are taking  
6 in our progress of trying to make the work of this  
7 committee efficient and productive and useful and  
8 meaningful to you because, as you'll see, it's a huge  
9 amount of work that this committee has been  
10 performing. Next slide, Jan?

11 Just real quickly because I promised I  
12 would not spend a whole lot of time that we have time,  
13 so I mean, is that okay, Solomon, if I take a little  
14 longer now? They tell me I am always over, spend too  
15 much time talking but there -- we had at least the  
16 three ethical issues that came up very early in our  
17 deliberations. These are big issues, you know, the  
18 pediatric trials, the use of subjects versus patients.  
19 I can tell you it's still an ongoing issue, the  
20 placebo control trials when can -- when they occur.  
21 And then we had a particular product which was needed  
22 to be studied in a very vulnerable pediatric  
23 population; how do you approach that? And actually  
24 many of the discussions we've had come up time and  
25 time again about the involvement of -- and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 particularly in these vulnerable populations, not only  
2 the parents but also many of the caretakers who have  
3 so much insight as to what's going on with this  
4 population. So next slide, please.

5 And our two Subpart D referrals that we  
6 have now complete the process. We've had a number of  
7 other, I don't want to say inquiries but let's put  
8 them in that category, that have not completed the  
9 process but need to. Next please.

10 Very quickly, I'm going to resummarize for  
11 you of all all of the science issues that you have  
12 addressed since -- many of you have addressed since  
13 1999. This first discussion was both an ethical and  
14 science, which often we are finding occur. Should we  
15 even develop a product for insomnia for kids? So it  
16 was both what are the scientific needs, what is the  
17 rationale and what are the ethical issues. The issues  
18 of developing psychotropic products for children and  
19 the treatment of chronic Hepatitis C. Keep going,  
20 Jan, we'll try to go through these quickly.

21 The next three meetings involved the  
22 development of antiretroviral drugs in HIV-infected  
23 and exposed neonates. And what -- had we had enough  
24 research in this area, what else was needed? And then  
25 the next one was the current epidemiology and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 therapeutic interventions relevant to  
2 hyperbilirubinemia. This is a field that is -- how  
3 should it be developed? How should we move forward?  
4 Tremendous potential impact. And then the -- how do  
5 we develop trials for reflux and GERD when the  
6 approach that's used for adults is very different than  
7 what's used for children. Next.

8 Then in February of 2004, the use of  
9 imaging drugs in conjunction with cardiac imaging  
10 procedures in the pediatric population, how do we move  
11 forward in that area, and of course, the beginning,  
12 the first of two meetings concerning suicidality and  
13 clinical trials for antidepressants for the pediatric  
14 population and at that meeting, the issues were  
15 defined and the approach. Next, please.

16 And in October of 2003, we talked about  
17 what are some of the clinical risks and brought this  
18 committee -- you know, what sort of messages should we  
19 be providing to the public when we don't have a known  
20 or defined risk but a potential risk both with the HPA  
21 axis suppression with the topical corticosteroids and  
22 then a very detailed discussion on how do we try to  
23 define studies to identify potential cancer risks.  
24 Next, please.

25 We had an update for you all in 2004, as I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mentioned at our final subcommittee meeting, which  
2 came out of a prior meeting in a safety review meeting  
3 that this committee had where you wanted more  
4 information on the neonatal withdrawal syndrome that  
5 was being seen with the SSRIs.

6 And in September of 2004 was the second  
7 meeting involving suicidality in the clinical trials  
8 for antidepressant drugs for pediatric patients. As  
9 you'll note, a number of these committee meetings have  
10 been combined committee meetings, not just with this  
11 committee but with Neuro/Pharm. They seemed to be our  
12 frequent partner in a number of these but also with  
13 the GI Division and Dermatology Division. Next,  
14 please.

15 And then the discussion I just mentioned  
16 that we had on how to improve reporting and this past  
17 February, the discussion we had on potential cancer  
18 risks in children and the use of topical calcineurin  
19 inhibitors and, next, would be to our meetings now.

20 I'm going to not go through every one of  
21 these. I'm going to ask Jan to just flip through  
22 these so you can see the dates, the breadth of the  
23 topics, bring you up to 2005, keep going and this is  
24 where we are today. As the number of products this  
25 committee has looked at the adverse reports on. Next,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 please.

2 To summarize it, the Pediatric Advisory  
3 Panels, including both the subcommittee and the full  
4 committee, have met to discuss ethical or scientific  
5 discussions 19 times since 1999. These panels have  
6 also had seven sessions devoted to safety, review of  
7 safety products, and you have reviewed -- as of  
8 tomorrow, you will have completed 42 products that  
9 have been reviewed. We will continue. We have a long  
10 list still to go and we are implementing your changes  
11 that you requested and we will continue to provide you  
12 all the written material as soon as we get it.

13 We're going to try to get it earlier as  
14 clearly has been indicated, and I've just listed here  
15 for you the things that we will always continue to  
16 provide you, which are the Office of Drug Safety  
17 adverse events reports and the use reports, the  
18 exclusivity studies, the Pediatric Division slides and  
19 the labeling. Next, please.

20 We will provide you an extensive  
21 assessment of products with possible new or increased  
22 safety signals as we will be doing tomorrow and this  
23 may include additional information from other experts  
24 outside of FDA. And we will provide a brief oral  
25 summary for the committee on products with no new or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 less concerning safety signals and will state why  
2 we've come to that conclusion that was with the  
3 committee. Next, please.

4 But as I said, we're going to -- we're  
5 finding already that everything doesn't always fit  
6 into those categories and we actually have come up --  
7 I think the terminology as we've got our extended  
8 reviews, our standard reviews and our abbreviated  
9 reviews, something along that line now that we're  
10 going to be providing for you. Next, please.

11 I wanted to say to everyone, this is a  
12 pretty amazing record, the number of topics that you  
13 all have looked at, the number of products that you  
14 reviewed. I think a lot of recommendations have come  
15 out of these meetings and we want to thank you very  
16 much. For those of you who will not be leaving the  
17 committee, there is much more to come. We have a  
18 number of public health issues that we will be  
19 bringing to you and some more issues concerning  
20 ethical trial design that is scientifically and  
21 ethically consistent with where we want to go.

22 So that's my quick overview of where we  
23 have been and where we are going. And I just want to  
24 take this opportunity to say thank you and goodbye to  
25 three of our members that are leaving us. We would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like -- Dr. Victor Santana, the Food and Drug  
2 Administration would like to provide an Advisory  
3 Committee Service Award in recognition of your  
4 distinguished service to the people of the United  
5 States of America. Thank you very much.

6 (Applause)

7 DR. SANTANA: Thank you. May I say a few  
8 words?

9 DR. MURPHY: Oh, please.

10 DR. SANTANA: Actually, it's a very  
11 humbling experience to have been asked to serve on  
12 this committee and certainly bring some of my  
13 expertise in hematology and oncology, but more  
14 important, what I've learned is how sensitive the FDA  
15 has become in the last few years to these pediatric  
16 issues. And I want to encourage the agency to  
17 maintain those high standards and being sensitive to  
18 the issues that we have to deal with. Thank you.

19 DR. MURPHY: Thank you. Okay, Dr. Glode,  
20 if you would please come up also. I always love the  
21 wording on this things. Again, the U.S. Food and Drug  
22 Administration Advisory Committee Service Award is  
23 being presented to Dr. Glode in recognition of  
24 distinguished service to the people of the United  
25 States of America. Thank you very much, Mimi.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Applause)

2 DR. GLODE: Thanks very much. I also feel  
3 that I have undoubtedly gained as much as I've given,  
4 especially on this committee that has such a broad  
5 range, and so it's been a very educational experience.  
6 Thank you very much.

7 DR. MURPHY: And now to the individual who  
8 has been the mother of the Pediatric Advisory  
9 Subcommittee and Committee, I wish Dr. Joan Chesney  
10 please come up and let us tell her -- and not only  
11 does the Food and Drug Administration want to thank  
12 her, but also to comment on the wonderful leadership  
13 that she has provided as the Chair of this committee  
14 and that not only has she provided wonderful,  
15 scientifically sound leadership but she has been fair  
16 and gracious and I think they will be shoes that will  
17 be hard to fill. And I want to personally also thank  
18 her for this wonderful contribution to FDA, Joan.

19 (Applause)

20 CHAIRPERSON CHESNEY: Well, I was  
21 reminiscing with Skip yesterday about -- excuse me, as  
22 you know, I get too emotional. It's much easier to  
23 discuss science -- reminiscing about the most  
24 difficult meetings and perhaps the most rewarding and  
25 I don't know how many of you were involved in the GI

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee on reflux. How many of you were on that one?  
2 That had to be the worst. It was a situation, for  
3 those of you who weren't there, where the  
4 gastroenterologists were sitting here and the  
5 neonatologists were here and they -- one group said  
6 reflux didn't exist and the other said it did and  
7 there was no agreement about whether it existed and  
8 trying to go forward from that was difficult.

9 Two other things. I think the lowest point  
10 of my tenure on this committee was the time I climbed  
11 into bed with a bug at the old Ramada Inn when we were  
12 still being put up there. One of the highest moments  
13 was Jan and Stan and their van service. That has just  
14 been a tremendous asset because you probably know that  
15 when you have a six o'clock plane and the meeting is  
16 going till four or five, you're antsy from one o'clock  
17 on, and that's just made a huge difference.

18 And what was the last thing that I was  
19 going to comment on? Well, I can't remember it right  
20 now but anyway, thank you all. This has been  
21 tremendously rewarding and we really don't even do the  
22 work. It's the FDA that does all the work and it's  
23 just been a tremendously rewarding experience and I'll  
24 stop there. Thank you.

25 DR. NELSON: Joan, do you mind staying up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there for a quick second?

2 DR. MURPHY: Skip has a --

3 DR. NELSON: I shared with a couple people  
4 on the committee that you'd be stepping out before the  
5 end of the meeting and Dr. Gorman penned some words  
6 that, having read them, I think, share my sentiment  
7 and I hope the sentiment of the rest of the committee.  
8 So I'm just going to read them.

9 "Since 1999 Dr. Joan Chesney has led the  
10 committee with expertise, wisdom and grace. In fact,  
11 she's been the only chair of both the subcommittee and  
12 the committee to date. She has led, refereed and  
13 summarized discussions and what discussions they have  
14 been: epidemiology, basic science, clinical trials,  
15 ethics, open public hearings that often presented  
16 hard-rendering personal experiences, and, of course,  
17 attempting to give coherent answers to questions posed  
18 by the Food and Drug Administration.

19 ?During all this time, Dr. Chesney treated  
20 all participants with respect as an active listener  
21 and as a chairperson reaching out to allow each  
22 individual to bring their insights, knowledge and  
23 point of view to the group. Her steady hand on the  
24 tiller has led to balanced discussions and thoughtful  
25 decision-making. Thank you, Dr. Chesney, for your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 service to the FDA. As a result of your work as the  
2 Chair of this committee, the health care of all  
3 children in the United States has benefitted. We wish  
4 you good luck and much success in all your future  
5 endeavors.

6 CHAIRPERSON CHESNEY: Thank you, thank you,  
7 Dr. Gorman, thank you everybody and I remember the  
8 last thing. Thus ended the St. Jude reign on the  
9 Pediatric Advisory Committee.

10 DR. MURPHY: Okay, I think we're scheduled  
11 for a break. Is that correct? We're a little early,  
12 so -- okay, we will reconvene at 2:15. Is that what  
13 you're saying, Jan? Okay, thank you very much.

14 (Whereupon, at 2:15 p.m., short recess was  
15 taken.)

16 DR. NELSON: So if we could get started.  
17 For those of you who haven't noticed, I'm not Joan.  
18 Joan decided to recuse herself rather than divorce her  
19 husband. We should all be so lucky. Anyway, So we  
20 have a reading of the opening statement.

21 DR. JOHANNESSEN: Thank you and good  
22 afternoon. The following announcement addresses the  
23 interest of conflict of interest with regard to this  
24 portion of the meeting and the discussion of a report  
25 by the agency on adverse event reporting as mandated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in Section 17 of the Best Pharmaceuticals for Children  
2 Act for Ortho Tri-Cyclen Cipro, Detrol La, Arava,  
3 Zemplar, Zlomig and Trusopt and is made part of the  
4 record to preclude even the appearance of such at this  
5 meeting. Based on the submitted agenda for the  
6 meeting and all financial interest reported by the  
7 committee participants, it has been determined that  
8 all interests in firms regulated by the Food and Drug  
9 Administration present no potential for an appearance  
10 of a conflict of interest at this meeting with the  
11 following exceptions. In accordance with 18 USC  
12 208(b)(3) full waivers have been granted to the  
13 following participants; Dr. Dennis Bier, for ownership  
14 of stock in a company with a product at issue valued  
15 between 15,000 and \$100,000.00 and stock ownership of  
16 a company with a product at issue valued at less than  
17 \$15,000.00 and Dr. Robert Ward for a contract between  
18 his institution and a company with a competing product  
19 with a total value of less than \$100,000.00.

20 We also note that Dr. Victor Santana  
21 reported stock ownership in a company with a competing  
22 product below the diminimus value of \$5,000.00. A copy  
23 of the waiver statements may be obtained by submitting  
24 a written request to the agency's Freedom of  
25 Information Office, Room 12A30 of the Parkland

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Building. In the event that the discussions involve  
2 any other products or firms not already on the agenda  
3 for which an FDA participant has a financial interest,  
4 the participants are aware of the need to exclude  
5 themselves from such involvement and their exclusion  
6 will be noted for the record.

7 We note that Dr. Robert Ward is  
8 participating in the meeting as a voting consultant  
9 and that Paula Knudson is participating as the acting  
10 voting consumer representative. We would also like to  
11 note that Dr. Elizabeth Garofalo has been invited to  
12 participate as an industry representative acting on  
13 behalf of regulated industry. Dr. Garofalo is  
14 employed by Pfizer. Dr. Richard Gorman is  
15 participating as a pediatric health organization  
16 representative, acting on behalf of the American  
17 Academy of Pediatrics. With respect to all other  
18 participants, we ask in the interest of fairness, that  
19 they address any current or previous financial  
20 involvement with any firms whose product they may wish  
21 to comment on.

22 We have open public comment scheduled for  
23 3:30 and I would just remind everyone to turn their  
24 microphones on when you speak. Thank you.

25 DR. NELSON: Thank you. So the first item

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the agenda is an overview of the agenda and the  
2 committee's role in BPCA safety reviews. That's going  
3 to be presented by Dr. Solomon Iyasu who is the Acting  
4 Deputy Director for the Division of Pediatric Drug  
5 Development. He's trained in pediatrics and medical  
6 epidemiology. Solomon joined the FDA in 2002. Prior  
7 to that he served as a medical team leader in the  
8 infant health program of the CDC. Solomon.

9 DR. IYASU: Thank you very much. It's my  
10 pleasure to welcome you today. We've now come to the  
11 adverse event reporting part of this meeting. I'm  
12 going to just provide an overview of the agenda and  
13 also describe to you the role that the legislation has  
14 provided for the committee members in this review. As  
15 you know, the Best Pharmaceuticals for Children Act  
16 was signed into law January 4, 2002. And this was  
17 authorized in 1997 in FDAMA and reauthorized in BPCA  
18 with respect to the provision of an incentive program  
19 providing exclusivity to drugs that are studied in  
20 pediatric patients.

21 The provision under this BPCA for  
22 exclusivity sunsets in 2007 so today the adverse event  
23 reporting is really mandated by the law to be provided  
24 for drugs that have been given exclusivity under this  
25 law. Just to give you a little overview of how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 exclusivity process is defined at FDA and how it's  
2 defined by the law, the origin of these studies in  
3 pediatric patients starts with the background  
4 literature, extensive literature review and background  
5 research to determine if there is a need for a study  
6 for a particular condition in a pediatric population.

7 The request for studies could be generated either  
8 because the sponsor has proposed a pediatric study or  
9 a PPSR as a quote and FDA determines that this is an  
10 important -- there's an important public health issue  
11 that needed to be addressed, then the FDA will issue a  
12 written request to a sponsor.

13 Sometimes the written request may be  
14 originating from the FDA because there's a public  
15 health need. The written request that is developed by  
16 the Review Division may be -- is actually reviewed by  
17 the Pediatric Implementation Team at the FDA and once  
18 that is approved the written request is issued to the  
19 sponsor and the sponsor, if it accepts the written  
20 request may complete the studies then submit them for  
21 determination of exclusivity. Exclusivity  
22 determination is done by the Exclusivity Board at FDA.

23 It has to be done within 60 to 90 days after  
24 submission of the studies.

25 And exclusivity is given to a sponsor if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it has fairly met the specifications as written in the  
2 written request and that the data that are collected  
3 under the studies are done in the manner specified in  
4 the original request. They do not have to prove that  
5 there's efficacy or that the indication is -- for the  
6 indication for which the drug is studied. And  
7 actually an application might take several months, six  
8 or 10 months but exclusivity determination is done  
9 within that time frame.

10 Now coming to the legislative mandate for  
11 doing these reviews and why we are here today, the  
12 BPCA specifies under Section 17 of the Act that the  
13 Office of Pediatric Therapeutics would review  
14 postmarketing adverse event reports during the one-  
15 year period after drug receives market exclusivity and  
16 the law also requires that such reports are referred  
17 to the Pediatric Advisory Committee for review in  
18 obtaining any recommendations for action. And  
19 therefore, that's the main reason why we're here  
20 today.

21 Now to review for you what data systems we  
22 use to be able to do these BPCA reviews. We mainly  
23 use the data base of all MedWatch and manufacturers'  
24 reports which is the AERS system. As you know, this  
25 started in 1969. So far there are about two million

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reports that have been accumulated in the system and  
2 may contain drug adverse event reports for therapeutic  
3 and biologic reports. This system, the AERS system  
4 excludes, of course, the vaccines which is tracked  
5 under a separate surveillance system called VAERS.

6 The sources of these reports, the Adverse  
7 Event Reports are mostly voluntary or spontaneous  
8 reporting. They are reported usually by health care  
9 professionals, consumers, patients or others but a  
10 large proportion of these reports come in because,  
11 they're required part of the reporting for  
12 manufacturers and all adverse drug experience  
13 information obtained or otherwise received from any  
14 source, foreign or domestic is a requirement for the  
15 sponsors to report to the FDA.

16 Now, just to give you a little background  
17 again on the FDA postmarketing definitions, which are  
18 defined actually under 21 CFR 314.80, the Adverse Drug  
19 Experience or ADE is any adverse event associated with  
20 the use of a drug whether or not considered drug  
21 related or not, including accident or intentional  
22 overdose occurring from abuse or drug withdrawal or  
23 failure of expected pharmacologic action.

24 Again to review the postmarketing  
25 definition, what is an unexpected ADE or unlabeled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 adverse drug experience? It is defined as any event  
2 not listed in the current labeling for the drug  
3 product including events that may be symptomatically  
4 or pathophysiologically related to labeled event but  
5 differ because of greater severity or specificity.  
6 And this may be relevant to the discussion tomorrow so  
7 I mentioned it here. Then again, another definition  
8 that we all need to be on the same page, there's also  
9 a definition for what a serious adverse event is. And  
10 that's defined as any event occurring of any drug as a  
11 result of any of the following outcomes. It could be  
12 a death, life-threatening event, hospitalization for a  
13 significant disability or a congenital anomaly or  
14 birth defect or other events requiring intervention.

15 Now, just to give you a little overview of  
16 how we assess some of these reports that we receive,  
17 an important consideration is, of course, making --  
18 evaluating the temporal relationship between an event  
19 and a drug that is taken by a particular patient. And  
20 also look for issues related to de-challenge where an  
21 ADR or event the signs and symptoms subside when the  
22 drug is discontinued. We also look for any evidence  
23 of rechallenge where the signs and symptoms are  
24 reported may be re-emerging again upon  
25 readministration of the drug. We look for dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 response relationship because that would give us some  
2 idea of what relationships there might be between the  
3 drug use and a reported adverse event.

4 We also take into consideration biological  
5 plausibility and available knowledge of PK and PD  
6 about the medications that are under surveillance.  
7 Other issues that we consider, animal preclinical  
8 studies, laboratory evidence, known classified and  
9 also looking for alternative explanations for why that  
10 event may occur related to maybe this is a  
11 manifestation of an underlying disease or is related  
12 to concomitant drug use. Just briefly, you know, we've  
13 provided this information to you before but it's, I  
14 think, useful to say a few words about the strengths  
15 and limitations of the AERS system. This basically  
16 includes all the U.S. marketed drugs, simple expensive  
17 reporting system, inexpensive reporting system. I  
18 think one of the useful attributes of this system is  
19 that it's good for detecting rare and serious adverse  
20 reactions but for commonly occurring or more frequent  
21 events it may be not that sensitive.

22 Limitations, again, it varies from drug to  
23 drug in terms of reporting but generally, there's a  
24 significant under-reporting events to the system and  
25 there's variability in terms of the quality and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 completeness of records that we'll see. And another  
2 big, I guess, limitation is that the numerator is very  
3 -- is uncertain in terms of the number of counts that  
4 we have because it's sort of a sample of what the  
5 population of events maybe have been occurring in a  
6 population. The denominator is usually estimated. We  
7 don't have a good handle on denominators, so it's  
8 difficult to assess risk or measure risk or quantify  
9 risk.

10 Now, coming to the role of the committee,  
11 as I specified in my first slide, the role of the  
12 committee is actually defined in the law. That they  
13 would have to -- they would focus on the review of the  
14 one-year post-exclusivity adverse event and we have  
15 decided to provide, as usual, additional information  
16 that will provide the context in which to evaluate  
17 some of these reports and this includes the drug use  
18 reviews which are prepared by the Office of Drug  
19 Safety and the summaries of the clinical and  
20 pharmacology/toxicology reviews of the studies  
21 conducted for exclusivity and also providing the drug,  
22 the latest drug product label and when available and  
23 necessary, we would also provide you the published  
24 literature pertaining to particular safety issues that  
25 may have arisen during these reviews.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           We wanted you, of course, to consider in  
2 your review the limited ability to make causal  
3 inferences from spontaneous adverse event reports such  
4 as the ones we're going to discuss today. It's  
5 important to consider and weigh the known benefits of  
6 drugs against the known risks in making any  
7 recommendation about the significance of any  
8 particular adverse events that are discussed. We also  
9 need to weigh, consider the anticipated benefits of  
10 any regulatory action that we may take based on these  
11 reports against some unanticipated event, adverse  
12 effects that such an action may have.

13           So in all the evaluations, I think it's  
14 important to consider that there are benefits and  
15 there are also risks in doing one way or the other and  
16 all of those factors need to be weighed and that's not  
17 new to you. But I just felt that this would be  
18 important to put into the discussion here. As Diane  
19 has mentioned before, that we're going to present to  
20 you several products today and also tomorrow  
21 concentrating on methylphenidate. This is one of the  
22 drugs that has received exclusivity and is up for  
23 discussion. We have -- the FDA has identified a  
24 safety concern here and therefore, we will bring an  
25 extensive discussion and presentation on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 methylphenidate tomorrow and that will be a new  
2 labeling action that we will be discussing pertaining  
3 to this product.

4 Coming to today's presentation, we have  
5 several products that will have what Diane discussed  
6 standard presentations. Now remember that we had  
7 mentioned that we'll have abbreviated versus standard  
8 and then extensive presentations, now, based on what  
9 the safety issue or the adverse events are indicating.

10 For the first drug we have Ethinyl estradiol and  
11 norgestimate which is also Ortho Tri-Cyclen. The  
12 issue that will be discussed here is not particularly  
13 a safety issue in the sense that we've discovered an  
14 adverse event from the AERS reports but it's what will  
15 be very important to show the committee in terms of  
16 what an addition of negative information in labeling  
17 based on studies submitted for this particular product  
18 in pediatrics.

19 And the second drug is Detrol,  
20 Tolterodine. There is also an issue here that -- a  
21 new labeling action that the FDA has taken and that  
22 will be discussed in terms of the genesis and the  
23 background and the rationale for doing that. And  
24 then the third drug that will be discussed will be the  
25 Ciprofloxacin. The adverse event review has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 identified some serious adverse events which are  
2 known. They are labeled but a majority of exposures  
3 for unapproved indications and the context in which  
4 these adverse events are occurring is the main reason  
5 why we brought it to the committee.

6 Now, and the last part of the day, we're  
7 going to present four drugs in quick succession. And  
8 they have not -- the adverse event review has not  
9 identified any new safety signal from the one you  
10 review. And therefore, we will give you our  
11 abbreviated summary. We will provide you information  
12 on -- a brief summary on what the drug is, what the  
13 use pattern may be and if there are any descriptions  
14 of any adverse events and why we think that the one-  
15 year review has not shown or indicated a possible  
16 safety issue.

17 And I will end there and unless there are  
18 questions, I'd like to introduce the next presenter.

19 DR. NELSON: Dr. Glode?

20 DR. GLODE: I just had two very quick  
21 questions. One could probably be answered by our  
22 industry representative. If a consumer reports to the  
23 manufacturer a potential adverse event after a drug,  
24 does the primary source document get transferred or is  
25 there some interpretation that goes on or do they just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fax them a MedWatch form? What happens if you report  
2 that, you know, your 15-year old had a heart attack a  
3 week after starting Drug X and you write a letter to  
4 the manufacturer?

5 DR. GAROFALO: I can tell you that what we  
6 do -- I mean, there is an intake person and there's an  
7 exchange back and forth to try and get as much  
8 information as possible but sometimes we get very  
9 little -- you know, we get very little information  
10 from whomever calls but there's an attempt and a cycle  
11 to try and follow up and get as much information as we  
12 can to complete the form.

13 DR. GLODE: To complete the regular  
14 MedWatch form.

15 DR. GAROFALO: Yes.

16 DR. GLODE: Yes, I see. And then that  
17 comes to my second question; does the FDA have the  
18 authority and the ability to medically investigate a  
19 report? Sometimes it seems like there's very limited  
20 information as though maybe -- I'm thinking of very  
21 serious, potentially serious adverse events where  
22 there's maybe a call to the physician but there's not  
23 much there. Do you have the authority to go review  
24 the medical charts of people who died from Drug X if  
25 you choose to, if you start getting 10 reports from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Drug X?

2 DR. IYASU: Well, I'll refer that to the  
3 ODS folks, Office of Drug Safety but we do have the  
4 opportunity to follow up in every case and if the  
5 information is provided on the report form about who  
6 the reporter is. If it's anonymous, it will be very  
7 difficult to follow up on where. So we do have the  
8 opportunity. We do often call the originator of those  
9 reports. If there's additional --

10 DR. GLODE: Can you go beyond the  
11 physician. Can you go review the medical chart or is  
12 it a HIPAA violation or --

13 DR. IYASU: I'll ask --

14 DR. MURPHY: Do you want to answer that?  
15 Basically, we -- I say "we", ODS will call if they can  
16 get -- if we're looking at something serious, like  
17 you're saying, and they have the identifier, they will  
18 call, they will try to get as much information as  
19 possible. I don't want to make any statements as to  
20 what they would do as far as going to the Office. I  
21 can tell you that I know in the past that in certain  
22 situations, you know, any records that they could get  
23 their hands on they have done that, but I don't --  
24 I'll ask you guys to address that.

25 DR. GIERHART: Brenda Gierhart, Medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Officer DMEDP, HFD 510. The problem you cite is in  
2 the case of a death because you're unable to get the  
3 patient permission to obtain hospital records since  
4 most deaths would be associated with hospitalization  
5 and autopsy report. So then attempts can be made to  
6 try and find the family and get consent. In such a  
7 case, it's considered extremely important. I have  
8 asked for an FDA officer to present with a badge to  
9 the medical records department of a hospital and  
10 therefore, they are allowed to review the records and  
11 then they abstract from the records what is important  
12 and return that information to the reviewing division  
13 with the concern.

14 DR. GLODE: Thank you.

15 DR. NELSON: Victor?

16 DR. SANTANA: Can you clarify something  
17 for me? On your next to your last slide, under Cipro  
18 you have a bullet that says, "The majority of  
19 exposures for unapproved indications." Well, that's  
20 probably true for every medication that we prescribe  
21 in the United States, not necessarily their use or the  
22 approved indication, it goes beyond that. So how do  
23 you then -- what process do you go through when you  
24 have a scenario, this is a hypothetical scenario,  
25 where you're getting a lot of adverse events from your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review you determine it's because the drug is being  
2 used for an unapproved indication and then check that  
3 against the potential adverse events that may be  
4 occurring when the drug is used in the prescribed  
5 indication? Is there a process for you to gauge  
6 whether it's an issue related to the disease process  
7 or et cetera, et cetera? Can you kind of  
8 hypothetically answer that for me?

9 DR. IYASU: Well, it depends on the  
10 quantity and the quality of the reports that you get.

11 If you have enough information to make some  
12 determinations as to whether there are significant  
13 differences in terms of symptoms or signs reported for  
14 each type of user. You're talking about different  
15 settings, different diseases. The postmarketing  
16 reports are not going to help you that much in that  
17 aspect but we -- if it's identified that there are  
18 unapproved or this is off-label, and there are a  
19 number of reports that are pertaining, you know,  
20 either increased frequency or increased severity with  
21 populations for which the drug is now approved for,  
22 then that's a cause for concern and that's why we're  
23 bringing it to the -- you know, we'll take it to  
24 further, I guess investigation.

25 We also try to see whether there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literature pertaining to that. We've not specifically  
2 addressed that here in this presentation because we're  
3 focused on the one-year review, was there anything  
4 that we could present that would signify a potential  
5 safety issue that needed an immediate action, but this  
6 is a good question. The process is very cumbersome in  
7 terms of trying to disentangle underlying versus drug  
8 effect and that's very well-known to you from a number  
9 of discussions we've had.

10 DR. NELSON: Thank you. Do you want to  
11 introduce our next speaker?

12 DR. IYASU: Yes. Thank you. Our next  
13 presenter is Dr. Jean Temeck. She's a Board certified  
14 pediatrician and pediatric endocrinologist. She's  
15 been a member of the Division of Pediatrics Drug  
16 Development for two years. She's an acting medical  
17 team leader within that Division and the Division  
18 representative is Dr. Brenda Gierhart who will be  
19 sitting at the table for the duration of this  
20 presentation. She's a Medical Officer in the Division  
21 of Reproductive and Urological Drug Products.

22 DR. TEMECK: Good afternoon, Dr. Nelson and  
23 other members of the Advisory Committee. Thank you  
24 for coming today. Today I'm going to be speaking  
25 about norgestimate and Ethinyl estradiol. Background

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 information is that Ortho Tri-Cyclen and the lower  
2 estrogen dose product Ortho Tri-Cyclen low are oral  
3 contraceptives containing the progestational agent  
4 norgestimate and the estrogenic agent Ethinyl  
5 estradiol. In addition to contraception, Ortho Tri-  
6 Cyclen has been approved for the treatment of moderate  
7 acne vulgaris in females greater than or equal to 15  
8 years of age who are unresponsive to topical anti-acne  
9 medications, have achieved menarche and who desire  
10 contraception.

11 These products are marketed by Ortho-  
12 McNeill. Ortho Tri-Cyclen was originally approved in  
13 July 1992 and Ortho Tri-Cyclen Low in August 2002.  
14 Pediatric exclusivity was granted in December 2003  
15 based on conduct of a study to determine if Ortho Tri-  
16 Cyclen improves bone mineral density in adolescents  
17 with anorexia nervosa. Dispensed prescriptions for  
18 oral contraceptives increased from 92 million in 2002  
19 to 99 million in 2004. Dispensed prescriptions for  
20 Ortho Tri-Cyclen brand and generic products decreased  
21 by 47 percent during the first year of post-  
22 exclusivity compared to the prior year. Ortho Tri-  
23 Cyclen was the third most commonly dispensed oral  
24 contraceptive product in 2004 down from number 1 in  
25 2002.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Adolescent patients account annually for  
2 no more than approximately four percent of Ortho Tri-  
3 Cyclen prescriptions and no more than 6.6 percent of  
4 Ortho Tri-Cyclen Low prescriptions.       Paralleling  
5 trends in adults the total number of pediatric  
6 prescription claims for Ortho Tri-Cyclen declined  
7 almost 76 percent from January 2002 to December 2004  
8 while the total number of pediatric prescription  
9 claims for Ortho Tri-Cyclen Low increased over 100-  
10 fold during the same time period. These changes were  
11 expected since generics for Ortho Tri-Cyclen began to  
12 get approved in December of 2003 while brand Ortho  
13 Tri-Cyclen Low was first approved in August 2002.

14                   Gynecologists, family practitioners and  
15 internists are the most frequent prescribers for these  
16 oral contraceptives while pediatricians write no more  
17 than five percent of these prescriptions. In females  
18 17 years of age and older these products are most  
19 commonly prescribed during general counseling advice  
20 for gynecological examination. In adolescent females  
21 less than or equal to 16 years of age these products  
22 were also prescribed for the treatment of acne.

23                   Now we will look briefly at the results of  
24 the study performed for exclusivity and update the  
25 findings originally posted on the website. This was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one-year efficacy and safety study that was performed  
2 in response to a written request to assess the  
3 effective treatment with Ortho Tri-Cyclen compared to  
4 placebo on bone mineral density in female adolescents  
5 with anorexia nervosa. Exclusivity was granted based  
6 on a six-month efficacy end point. Specifically, this  
7 was Phase 2 double-blind randomized placebo controlled  
8 one-year clinical trial comparing Ortho Tri-Cyclen to  
9 placebo for the change from baseline in lumbar spine  
10 bone marrow density. A hundred and twenty-three  
11 adolescents, age 10 to 17 years who met the sponsor-  
12 modified DSM for diagnostic criteria for anorexia  
13 nervosa were enrolled. All patients were to be on a  
14 calcium and vitamin D supplement. The primary  
15 efficacy end point was the mean change in lumbar spine  
16 bone marrow density from baseline to cycle 6.  
17 Secondary efficacy end points included the mean change  
18 in lumbar spine, bone mineral density from baseline to  
19 cycle 13, the mean change in hip bone mineral density  
20 and body weight from baseline to cycle 6 and from  
21 baseline to cycle 13 and the mean percent changes in  
22 lumbar spine bone mineral density -- hip bone mineral  
23 density and body weight from baseline to cycle 6 and  
24 from baseline to cycle 13.

25 At the time of the interim report, 110

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients had a DXA scan at baseline and after six  
2 months of treatment. The primary efficacy analysis  
3 demonstrated a marginally statistically significant  
4 difference with a P value of .04 between Ortho Tri-  
5 Cyclen and placebo for the mean change in lumbar spine  
6 bone mineral density from baseline to cycle 6.  
7 However, the observed treatment difference of .01  
8 grams per centimeter squared was notably smaller than  
9 the expected treatment difference of .05 grams per  
10 centimeter squared which the study was powered to  
11 detect.

12 Of the second efficacy end points only the  
13 mean percent change in lumbar spine bone mineral  
14 density from baseline to cycle 6 was statistically  
15 significantly different between the two treatment  
16 groups. However, again, the observed difference of  
17 1.47 percent was noticeably smaller than the expected  
18 difference of six percent. As required by BPCA, these  
19 results were posted on the web. No change in labeling  
20 was made at this time. At the time of the final study  
21 report, 112 patients had a DXA scan at baseline and at  
22 the end of the study. There was no statistically  
23 significant difference between Ortho Tri-Cyclen and  
24 placebo for any of the efficacy end points at cycle  
25 13.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   During the trial there were no deaths and  
2 no thromboembolic events.       However at least one  
3 serious adverse event was reported in approximately 13  
4 percent of patients on Ortho Tri-Cyclen compared to  
5 approximately 23 percent of patients on placebo. In  
6 general the incidence of serious adverse events was  
7 similar between the two treatment groups with the  
8 exception of hospitalization for worsening anorexia  
9 nervosa which occurred more frequently in the placebo  
10 group and was the most common serious adverse event  
11 reported. Also in general, the incidence of any given  
12 adverse event regardless of severity, was similar  
13 between the two treatment groups with the exception of  
14 dysmenorrhea which was reported in approximately 16  
15 percent of patients on Ortho Tri-Cyclen compared to  
16 approximately five percent of patients on placebo.

17                   Labeling will be changed to incorporate  
18 the findings of this study to indicate that Ortho Tri-  
19 Cyclen is not effective for increasing lumbar spine  
20 and total hip bone mineral density in adolescents with  
21 anorexia nervosa. The labeling you see on this slide  
22 has been approved by FDA and I'll keep it up here for  
23 a few seconds so that you can have a chance to  
24 specifically read it.

25                   Next I will be highlighting key

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information from the package inserts for these  
2 products particularly as they relate to pediatrics and  
3 to safety. Like other oral contraceptive agents,  
4 contra-indications include patients with known  
5 thromboembolic or cardiovascular or cerebral vascular  
6 disease or risk factors for these diseases.  
7 Additional contra-indications include known or  
8 suspected pregnancy, breast or endometrial cancer,  
9 hepatic tumors and cholestatic jaundice. A box  
10 warning details the increased risk of serious  
11 cardiovascular adverse events in patients who smoke.  
12 These products are Pregnancy Category X. These  
13 products contain, in their labels, multiple serious  
14 adverse events in the Adverse Reaction section. The  
15 serious cardiovascular events include myocardial  
16 infarction, thromboembolism, thrombophlebitis and  
17 hypertension.

18 Serious cerebral vascular events include  
19 thrombosis and hemorrhage. Retinal thrombosis with  
20 resultant visual changes, including visual field cuts  
21 is also mentioned in the package insert. Since market  
22 approval, a total of approximately 1,000 spontaneous  
23 adverse events have been reported for all ages.  
24 Approximately 40 percent of the total have been  
25 serious, including 14 deaths. Approximately four

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent of these total postmarketing reports were in  
2 pediatric patients. Approximately, two-thirds of  
3 these pediatric reports were serious but there were no  
4 deaths.

5 During the one-year post exclusivity  
6 period, a total of slightly over 400 spontaneous  
7 adverse events were reported for all ages.  
8 Approximately one-third of them were serious,  
9 including three deaths. Approximately three and a  
10 half percent of the total were reported in pediatric  
11 patients. The majority of pediatric reports were  
12 serious although there were not deaths. Of the 14  
13 unduplicated spontaneous adverse events reported in  
14 pediatric patients during the one-year post-  
15 exclusivity period, two were in utero exposures and 12  
16 were adolescent exposures. Adverse events reported  
17 more than once were headache, metarasia, convulsion  
18 and drug exposure during pregnancy, the latter two  
19 unlabeled. Four patients were hospitalized, two  
20 neonates and two adolescents.

21 I will now detail the serious adverse  
22 events reported in pediatric patients during the one-  
23 year post-exclusivity period in the next few slides.  
24 Three serious adverse events included concomitant  
25 administration with isotretinoin and in two of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three cases also with prednisone. All of these  
2 serious adverse events were acute on onset and they  
3 included benign increased intracranial pressure,  
4 depression and cerebral thrombosis. Since  
5 Isotretinoin and prednisone are labeled for increased  
6 intracranial pressure and depression, the first two  
7 cases are confounded. With regard to the third case,  
8 Ortho Tri-Cyclen is labeled for cerebral thrombosis.  
9 In all cases the events resolved upon discontinuation  
10 of Ortho Tri-Cyclen, isotretinoin and prednisone and  
11 with appropriate medical intervention.

12 Serious acute visual events occurred in  
13 three patients, all with concomitant therapy. The  
14 events resolved or improved with the discontinuation  
15 of Ortho Tri-Cyclen. The Office of Drug Safety  
16 performed an in-depth review of all cases of visual  
17 adverse events since product approval and they did not  
18 find a pattern. The current label for oral  
19 contraceptives warns of retinal thrombosis and states  
20 that these products should be discontinued if there is  
21 unexplained partial or complete loss of vision,  
22 proptosis, diplopia, papilledema, or retinal vascular  
23 lesions.

24 As mentioned previously there were two  
25 cases of in utero exposure. Both fetuses were exposed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to Ortho Tri-Cyclen Low during the first few weeks  
2 post-conception. One neonate was born breech at 34  
3 weeks gestation and required two feedings but was  
4 otherwise healthy. The other neonate had seizures at  
5 approximately 24 hours of life that was found to be  
6 secondary to a right cerebral artery infarction which  
7 was diagnosed on both CT and MRI scans. The infant  
8 was discharged from the hospital on day six of life on  
9 anti-convulsive therapy.

10 Seizures occurred in two patients, one in  
11 the neonate that I just described and one in an  
12 adolescent with a history of seizures who was not  
13 taking anti-convulsive therapy. Since seizure was a  
14 comorbid pre-existing condition in these cases, there  
15 does not appear to be a safety signal. The remaining  
16 two serious adverse events were consumer reports. One  
17 of these was an isolated episode of acute  
18 hypertension, blood pressure 160 over 110, that was  
19 reported in an adolescent with a history of migraine  
20 who was taking Ortho Tri-Cyclen for approximately  
21 three months but no other medications. Hypertension  
22 is a labelled adverse event for oral contraceptives.

23 The other report consisted of three  
24 episodes of numbness of the right arm and slurred  
25 speech that occurred in an adolescent who was taking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Ortho Tri-Cyclen Low for an unspecified period but no  
2 other medications. Additional pertinent information  
3 in this case was not provided.

4 In conclusion, the number of pediatric  
5 adverse events roughly parallels the use. Although  
6 events were serious no pattern of new safety concerns  
7 was identified that is not addressed by current  
8 labeling for all contraceptive agents. Final  
9 assessment of the study conducted for pediatric  
10 exclusivity prompted new labeling. FDA recommends  
11 return to routine safety monitoring if the Advisory  
12 Committee concurs. I would now like to acknowledge  
13 the following individuals; from the Office of Drug  
14 Safety, Mark Avignon, Gerald Dal Pen, Andrea Feight,  
15 Adrienne Rothstein, and Kendra Worthy; from the  
16 Division of Metabolic and Endocrine Drug Products,  
17 Brenda Gierhart, Eric Coleman, Theresa Kehoe, Patricia  
18 Madara and David Orloff. Thank you.

19 (Applause)

20 DR. NELSON: Thank you. Open up then for  
21 any questions and to find out if we concur. Any  
22 questions or comments?

23 DR. DIAZ: In the last one with  
24 hypertension, what happened? Was the medication  
25 discontinued and what happened to the hypertension?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TEMECK: It was a consumer report. The  
2 information was extremely scanty, so all we know is  
3 that this was an adolescent who was taking Ortho Tri-  
4 Cyclen for about three months. The patient had a  
5 history of migraine, was not taking any concomitant  
6 medications. We have really no additional information  
7 but hypertension is a labeled adverse event for OCs.

8 DR. NELSON: Judith?

9 DR. O'FALLON: I'm more comfortable with  
10 this than for some of the ones that we're going to see  
11 later today because it looks to me -- you've given us  
12 an estimate of the amount -- the number of  
13 prescriptions that have been processed during this  
14 year and the fact that we have 11 SAEs, serious ones.

15 Dr. TEMECK: Well, actually, there were 10  
16 because one of those was a duplicate.

17 DR. O'FALLON; So the point here is that  
18 there's a -- compared to the rest of them coming down  
19 the line, there's a lot more information here and I  
20 feel more comfortable with going ahead and saying  
21 you've got a good -- you know, you've given us some  
22 good information.

23 DR. TEMECK: Thank you.

24 DR. NELSON: I guess we've got some things  
25 to look forward to, then, too, huh? Well then how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about just to do a quick show of hands of those who  
2 concur with the recommendation that's been made to us?  
3 Any abstentions or objections? So rather than read  
4 the names, I'll just say that all hands of all voting  
5 members were in the air.

6 I might just point out, I remember a  
7 couple of years ago, we were having a long debate  
8 about whether putting negative studies in the label  
9 was fostering off-label use. It seems we've now  
10 crossed that threshold which I would think is a  
11 wonderful threshold to have crossed.

12 DR. MURPHY: And I think that the comment  
13 that Solomon made earlier is really relevant. I think  
14 that the advocacy of this committee was important also  
15 in saying that we thought that the inclusion of  
16 negative information was important. I think we now  
17 track that for all of our products and clearly I think  
18 it is relevant to the physician that this product has  
19 been studied and I think the more we do this, the more  
20 they will understand that some of these that a  
21 negative study does not always condemn a product to  
22 meaning that it doesn't work. It just means the data  
23 we have at this point says it doesn't work the way it  
24 was studied. And I think that's an important  
25 educational program that we all need to continue to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provide, too. Thank you.

2 DR. TEMECK: Thank you very much.

3 DR. NELSON: Jean, are you going to  
4 introduce our next speaker or shall I?

5 DR. TEMECK: Yes, I will.

6 DR. NELSON: Go ahead.

7 DR. TEMECK: Dr. Larry Grylack is trained  
8 in pediatrics and neonatal/perinatal medicine. He  
9 practiced neonatal medicine for many years, primarily  
10 at Columbia Hospital for Women in Washington, D.C. He  
11 has clinical specialty interests in high risk infant  
12 developmental assessment and infant apnea. He has  
13 participated in clinical research and teaching. Dr.  
14 Grylack has been with the FDA for two years. And  
15 thank you very much.

16 DR. IYASU: Skip, I would like to introduce  
17 the Division representative, Dr. Lisa Soule, who is a  
18 medical officer in the Division of Neurologic Products  
19 for the city at the table and the presentation.

20 DR. GRYLACK: Thank you. Good afternoon.  
21 It's nice to see everybody again. I will be  
22 discussing Tolterodine today. Two drugs, namely  
23 Detrol and Detrol LA have Tolterodine as their active  
24 ingredient. Tolterodine acts as a muscarinic receptor  
25 antagonist. Detrol and Detrol LA are indicated for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the treatment of overactive bladder with symptoms of  
2 urge, urinary incontinence, urgency and frequency.  
3 The drugs are sponsored by Pfizer and received market  
4 approval at different times. However, pediatric  
5 exclusivity was granted at the same time for both  
6 drugs.

7 In terms of drug use trends in children,  
8 almost all use for both Detrol and Detrol LA were in  
9 the outpatient setting. Estimated pediatric  
10 prescriptions for the calendar year 2004 are listed on  
11 the slide showing that three and a half to four times  
12 as many Detrol LA prescriptions were written compared  
13 to Detrol. However, during the time frame of February  
14 2004, to January 2005 the percentage of prescriptions  
15 for all ages that were given to children was higher  
16 for Detrol than for Detrol LA. An approximate 50  
17 percent increase in Detrol LA use and an approximate  
18 33 decrease in Detrol use were documented over the  
19 period between the time frame of February 2002 to  
20 January 2003 compared with the time frame of February  
21 2004 to January 2005. So during that time span there  
22 was the increase in Detrol LA and a decrease in Detrol  
23 use.

24 Detrol LA prescription claims were  
25 approximately four times greater than Detrol claims

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 during the post-exclusivity period of February 2004 to  
2 January 2005. Looking at in-patient usage, discharges  
3 associated with mention of a Tolterodine product  
4 occurred in less than or equal to 0.2 percent of all  
5 hospital discharges during the year covering July 2003  
6 to June 2004. On this slide I've listed the studies  
7 that were requested of the sponsor in the written  
8 request for the purpose of gaining pediatric  
9 exclusivity. Additional studies were submitted by the  
10 sponsor as well. Let's focus now on the studies done  
11 in neurologically impaired children.

12 There three 12-week open label, dose  
13 escalation pharmacokinetics, pharmacodynamic and  
14 safety studies. The age groups are different for the  
15 studies and the numbers are all relatively small. The  
16 doses for the escalation studies are listed on the  
17 slide. The milligram per kilogram per day dose and  
18 the formulation were the same in the one-month to  
19 four-year old study and the five to 10-year old study.

20 The concentration used for those studies was one  
21 milligram per 5 cc's of syrup. In the 11 to 15-year  
22 olds the doses listed are total amounts, that is  
23 milligram per day and the formulation was a capsule  
24 unless the patient was unable to swallow the capsule  
25 in which case the capsule was opened and the beads

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sprinkled on their food.

2 Of all the patients enrolled in these  
3 three studies, 78 percent had a myelomeningocele with  
4 the others having a spinal cord injury or anomaly.  
5 The results showed that urodynamic data were  
6 inconsistent both within and across the three trials.

7 Secondly, there was a lack of dose response trends  
8 across the studies. The safety data from these trials  
9 will be addressed subsequently in my presentation.

10 Next, I will review the studies done in  
11 so-called neurologically intact children for the  
12 treatment of over-active bladder with symptoms of  
13 urge, urinary incontinence, urgency and frequency.  
14 Two randomized, double blind placebo controlled trials  
15 were conducted over a 12-week period using a dose of  
16 two milligrams per day of Detrol LA. The trials were  
17 both conducted in five to 10-year olds and the number  
18 of patients are listed on the slide. The primary  
19 efficacy end point for both studies was changed from  
20 baseline in the number of weekly incontinence episodes  
21 during waking hours. The results showed no  
22 statistically significant differences in outcomes  
23 comparing Detrol LA to placebo.

24 In turn, the label for Detrol LA states  
25 that efficacy in the pediatric population has not been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 demonstrated. Turning our attention to safety, we  
2 will focus on the data from pediatric studies,  
3 including those done for exclusivity and other studies  
4 conducted by the sponsor. The data base was composed  
5 of 917 unique patients who were exposed to  
6 Tolterodine. The reason I use the word "unique" is  
7 that some of these patients were also enrolled in  
8 longer term open-label safety extension studies.  
9 There were no deaths recorded but there were 24  
10 serious adverse events in 20 patients. Among the SAEs  
11 were lower urinary tract infections and four  
12 pyelonephritis, one of which was actually in the  
13 placebo group so not among the 917 Tolterodine exposed  
14 patients. Of the non-serious adverse events, the --  
15 that occurred in the study populations, 18 patients  
16 demonstrated aggressive and/or abnormal behavior.

17 Based on the safety studies from the  
18 pediatric studies, the Detrol LA label was changed to  
19 include information about an excess of urinary tract  
20 infections and abnormal behavior in Tolterodine  
21 treated patients compared to placebo treated patients.

22 At this point, I would like to summarize the labeling  
23 changes that resulted from the pediatric exclusivity  
24 trials. Based on the studies done with Detrol LA, its  
25 label was changed to incorporate the information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 listed on this slide. The label states that efficacy  
2 was not demonstrated, as we mentioned earlier. From a  
3 pharmacokinetics standpoint, the labeling reflects the  
4 facts that the dose-plasma concentration relationship  
5 is linear and that the ratio of the parent compound to  
6 metabolite differ depending on what type of  
7 metabolizer the patient is.

8 Third, labeling indicates that the  
9 percentage of urinary tract infections in five to 0-  
10 year olds was higher in the drug treated group  
11 compared to placebo. Finally, labeling indicates that  
12 aggressive, abnormal and hyperactive behavior  
13 disorders were higher in patients treated with Detrol  
14 LA compared to placebo. There have been no changes  
15 yet in the pediatric section of the Detrol label to  
16 date. However, the FDA's Division of Reproductive and  
17 Urological Drug Products has requested that the  
18 sponsor submit a revised Detrol label and we are told  
19 that, in fact, the sponsor has responded in an  
20 affirmative fashion to the Division.

21 This next slide is definitely not busy.  
22 Based on the adverse events reporting system, the  
23 FDA's Office of Drug Safety reported that there were  
24 no adverse event reports associated with Detrol or  
25 Detrol LA usage during the one-year post-exclusivity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period. In addition, the Office of Drug Safety  
2 conducted a search and analysis of pediatric adverse  
3 events during the postmarketing periods for both  
4 Detrol and Detrol LA. Keep in mind that the  
5 postmarketing period for Detrol is longer than for  
6 Detrol LA. A combined number of adverse event reports  
7 for both drugs was 31 with 29 of them being  
8 unduplicated. Twenty-five of the reports were  
9 associated with Detrol and four with Detrol LA. Where  
10 data was available, there was a positive de-challenge  
11 in 15 patients, in other words, as Dr. Iyasu had  
12 pointed out sometimes disappeared when the drug was  
13 discontinued, and there was a positive re-challenge in  
14 other words, symptoms reappearing when the drug was  
15 restarted in one patient.

16 Of the 29 patients with adverse events  
17 associated with these drugs, anti-cholinergic events  
18 were cited in nine reports. The symptoms are  
19 described on the slide. Events representative of  
20 central nervous stimulation were cited in eight  
21 reports with the symptoms described in the slide as  
22 well. Anticholinergic and central nervous system  
23 stimulation together were cited in two reports;  
24 Urinary tract infection in two, medication error in  
25 three and other categories in five and some of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 others will be described subsequently under the  
2 serious adverse events.

3 I have indicated by notation which  
4 findings are unlabeled. With the asterisk I have  
5 indicated aggression and hyperactivity as being  
6 unlabeled in the Detrol label but, again, as I  
7 mentioned earlier, that will change since the sponsor  
8 has responded affirmatively to the Division's  
9 recommendation for change in the Detrol label. With  
10 underlining, I have indicated a confusion, overheating  
11 and flushing are unlabeled in both the Detrol and  
12 Detrol LA labels.

13 Let's look at the 18 reports that were  
14 graded as serious. "Review of these events in  
15 collaboration with the Office of Drug Safety showed  
16 that there were no deaths, five hospitalizations, one  
17 disability, two patients who were older than 16 years  
18 of age and -- they tried to sneak in, in the pediatric  
19 adverse incident -- and 10 events considered to be  
20 non-serious. Therefore, we are left with six serious  
21 adverse events. This slide details the six serious  
22 adverse events, including the five hospitalizations  
23 and the one disability. The hospitalization I have  
24 listed first was the only event where the symptoms  
25 were thought to be possibly related to Tolterodine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Symptoms in this patient were breathing difficulty,  
2 nocturnal laryngitis and cough which resolved after  
3 Tolterodine was discontinued, so one of the positive  
4 de-challenges.

5 The symptoms in the other patients who  
6 were hospitalized are also listed on this slide. The  
7 one disability reported was described as hyperactivity  
8 but there was not a lot of additional detail in that  
9 report. As I alluded to earlier, the Division of  
10 Reproductive and Neurologic Drug Products has  
11 requested from the sponsor that safety information  
12 currently existing in the Detrol LA label be  
13 incorporated into the Detrol label specifically  
14 information about the increased incidents of urinary  
15 tract infections and abnormal behavior in Tolterodine  
16 treated patients compared to placebo.

17 The sponsor has also been asked to include  
18 information about the lack of pediatric efficacy in  
19 the Detrol label. And as I mentioned, Division has  
20 reported that the sponsor has responded in an  
21 affirmative manner. In closing I am affirming that  
22 the one-year post-exclusivity monitoring period for  
23 Detrol and Detrol LA as mandated by the BPCA has been  
24 completed. Secondly, the FDA recommends that these  
25 drugs return to the FDA's routine monitoring after a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 change is made in the Detrol label. I ask whether the  
2 Pediatric Advisory Committee agrees. And I would like  
3 to acknowledge the individuals from the Office of Drug  
4 Safety and the Division of Reproductive and Urological  
5 Drug Products listed on this slide for their work in  
6 support of this presentation. Thank you for your  
7 attention.

8 DR. NELSON: Thank you. Questions? Mary.

9 DR. GLODE: On page 45 for the committee on  
10 the slide of the summary of the labeling changes  
11 resulting from the exclusivity studies, I don't know  
12 exactly what the labeling change will say but -- right  
13 there -- it would certainly be helpful to me as a  
14 physician, if I was reading the label to have some  
15 statistical significance here so either say in -- you  
16 know, in a study involving 917 patients, or whatever  
17 that the postmarketing study showed, or to have P  
18 value there or numerator and denominator, so I know  
19 whether the percentage of UTIs was statistically  
20 significantly higher and had some grasp of whether  
21 that was a clinical significant difference.

22 DR. GRYLACK: The label for Detrol LA reads  
23 that a total of 710 pediatric patients, 486 on Detrol  
24 LA and 224 on the placebo age five to 10 with urinary  
25 frequency and urgent incontinence were studied in two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 phase three randomized so forth studies and then gives  
2 the percentages with UTIs and --

3 DR. GLODE: Does it give a P value then?

4 DR. GRYLACK: It does not in the label.

5 DR. GLODE: Okay, I would recommend that it  
6 include that.

7 DR. NELSON: Bob?

8 DR. WARD: I have the same question but it  
9 had to do with the background book. The frequency was  
10 6.6 percent in treated patient and 4.5 percent in  
11 placebo and without knowing the power, that difference  
12 didn't sound particularly different.

13 DR. GRYLACK: Dr. Soule, as was mentioned,  
14 is from the Division of Pediatric --

15 DR. SOULE: Yeah, one of the difficulties  
16 with safety reporting from these clinical trials is  
17 that typically there are no safety hypothesis  
18 specified and they're not subject to formal hypothesis  
19 testing, nor powered for those end points, so what we  
20 tried to do in the label was simply provide a  
21 description of what was observed.

22 DR. WARD: But we hold our efficacy to the  
23 standard of being statistically significant. And I  
24 think we should do the same thing for the adverse  
25 events. So if the 6.6 percent is not different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statistically, than the 4.5 percent then I don't think  
2 we can have them put that in the labeling. I mean,  
3 it's a double standard it appears to me.

4 DR. SOULE: Well, again, it was simply  
5 trying to describe what we saw in the studies.

6 DR. GRYLACK: It depends whether the  
7 studies are powered for safety to begin with and --

8 DR. WARD: Right, no, I understand that,  
9 but do you see my point, if --

10 DR. MURPHY: I think, Bob, though one of  
11 the issues though, is that the agency always feels  
12 that it errs on the side of providing the data versus  
13 not providing the data, particularly when you have not  
14 designed -- you don't know what the adverse event -- I  
15 mean, sometimes you do, but when you don't know what  
16 the adverse events are going to be, and you end up  
17 with X number and they're higher, it's just like our  
18 adverse event reporting postmarketing in a way in that  
19 we don't -- we don't require that that be  
20 statistically -- because you can't -- now, in a study  
21 you could, I understand what you're saying but that  
22 assumes that you set out to test the hypothesis, and I  
23 think that since you don't know always what those  
24 events are going to be, I think what the Division has  
25 tried to do is to look at the events, decide whether

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they think they are different or not within the  
2 limitations of having not defined up front what the  
3 test was going to be.

4 And if it's -- and if it meets some of  
5 those other criteria, it makes sense, you know, you  
6 mobilized your bladder, you may get higher -- you  
7 know, then they feel, I think, that it would be better  
8 to err on the side of providing that information with  
9 its limitations. So I guess that's my concern, to say  
10 we can't report anything if it doesn't have a P  
11 value. I mean, that would be very problematic.

12 DR. WARD: But then wouldn't you feel that  
13 you had an obligation to put the data in as the data  
14 are, that is in the treated group, the frequency of  
15 UTI was 6.6 percent and with a sample size of what,  
16 700 or something patients, and was 4.5 percent in the  
17 placebo treated group, so that the physician could  
18 then make a decision based on numbers, not a  
19 qualitative statement. Do I think this risk is worth  
20 it in this particular patient that has a hyperactive  
21 bladder.

22 DR. NELSON: I guess I hear two different  
23 things being said. Many labels include tables that  
24 list adverse events relative to the groups without P  
25 values because they're not powered to answer that as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first point. The second point is, if by chance you  
2 found one that was statistically significantly  
3 different but you thought the risk benefit was still  
4 in favor of approval, putting a P value in the label  
5 if it is in fact statistically significant might be of  
6 help to those of us who don't have our calculators  
7 with us as we're reading the label. So there's really  
8 two different issues there, Bob.

9 DR. WARD: I think we need the raw numbers  
10 to make an assessment but I think that it is worth  
11 putting in -- I think we should put in the P value  
12 about the adverse events, even though it wasn't  
13 powered to detect a difference and it was powered to  
14 detect a difference in efficacy but not a difference  
15 in adverse events. I think the P value should be  
16 there but I think the raw numbers should be there as  
17 well.

18 DR. NELSON: I assume a P value only if it  
19 reaches less than .05. I mean, listing all of them  
20 .5, .4, .6, .2.

21 DR. WARD: If we feel that this is  
22 significant enough to put in the label, yet it's not  
23 statistically significant, I would like to see the p  
24 value put in there that indicates what the actual P  
25 value is.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GRYLACK: You know, obviously the  
2 wording is what counts here, I mean, how you say it.  
3 When I read higher, that's an implication to me.  
4 Okay, there was no other way to say that, just to list  
5 the numbers, so I think it's what you imply and if you  
6 don't have the power to know whether they're different  
7 than maybe we should say, we don't know whether  
8 they're different.

9 DR. NELSON: So I guess the point there  
10 being use of the word higher may not imply a  
11 statistical test but is often read that way. And that  
12 a tabular format, if, in fact, it's not statistical,  
13 would be a more accurate representation of the data.

14 DR. WARD: You couldn't say that in the  
15 results section unless it was statistically  
16 significant.

17 DR. NELSON: Right, right. Judith.

18 DR. O'FALLON: The -- pertinent to this  
19 discussion, I think what might be helpful would be to  
20 use confidence intervals. They are descriptive. You  
21 know, that's what they are and they reflect both the  
22 number of events that you saw and the size of the  
23 number of the patients that were treated or the people  
24 that were treated. And physicians will quickly learn  
25 two things. One is that if the confidence intervals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 do not overlap, you've got a significant and the  
2 second thing is, remember, if you're using a .05 level  
3 test, you expect to see one in 20 of them being false  
4 positives. So if you're looking at all kinds of  
5 adverse events, you're going to see some that are  
6 significant when they really aren't. So these are  
7 some of the issues that they're concerned about, but I  
8 would say that using a confidence interval estimator  
9 in the -- in a table would help physicians to get some  
10 idea of the -- you know, the size of the occurrence  
11 based on that sample, okay?

12 DR. NELSON: That's assuming a level of  
13 statistical significance understanding.

14 DR. O'FALLON: You will learn. We all will  
15 learn.

16 DR. NELSON: Let me go first to our  
17 statistician, our other statistician, I should say,  
18 Tom and then Bob.

19 DR. NEWMAN: I just agree emphatically with  
20 Judith that this is -- I mean, what the clinician  
21 wants to know is, is this difference anything bigger  
22 than what would be expected by chance based on the  
23 numbers in the study and how big a difference is  
24 consistent with the results of the study and the  
25 confidence intervals are much more informative than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 just the numbers, also more informative than the  
2 power, so it's really -- I would strongly support  
3 that.

4 I just wondered whether this labeling --  
5 there may be more to it than what I read here. I hope  
6 that the extent of the discussion of the randomized  
7 double blind study is not just efficacy not  
8 demonstrated but that similarly there's you know the  
9 point estimate of 95 percent confidence interval for  
10 the efficacy because this drug was studied in 500  
11 children and apparently no efficacy was demonstrated  
12 and so I mean, just saying "efficacy not demonstrated"  
13 doesn't tell the doctor that the study was done with  
14 500 kids and it didn't work. It just says for all  
15 they can tell, there was no study done. I mean, that  
16 was true before -- efficacy not demonstrated was true  
17 before the exclusivity study was done.

18 So point estimate 95 percent, confidence  
19 levels for efficacy -- and is my understanding of the  
20 PK data, there was no dose response, you know, a full  
21 difference in dose from .03 to .12 and there was no  
22 dose response and there was inconsistent results on  
23 the urodynamic studies? Is that all basically saying  
24 the same thing, that the drug doesn't work?

25 DR. SOULE: Would you like me to read the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actual language from the label?

2 DR. NEWMAN: Sure.

3 DR. SOULE: And this appears in the  
4 Pediatric Use Section, we have a statement, "Efficacy  
5 in the pediatric population has not been  
6 demonstrated". That's followed by the following  
7 paragraph. "Two pediatric phase 3 randomized placebo  
8 controlled double blind 12-week studies were conducted  
9 using Tolterodine extended release, Detrol LA tablets.

10 A total of 710 pediatric patients (486 on Detrol LA  
11 and 224 on placebo) age 5 to 10 years with urinary  
12 frequency and urge urinary incontinence were studied.  
13 The percentage of patients with urinary tract  
14 infections was higher in patients treated with Detrol  
15 LA, 6.6 percent compared to patients who received  
16 placebo, 4.5 percent. Aggressive, abnormal and  
17 hyperactive behavior and attention disorders occurred  
18 in 2.9 percent of children treated with Detrol LA  
19 compared to 0.9 percent of children treated with  
20 placebo."

21 DR. NEWMAN: So, yeah, I guess what I would  
22 want is a point estimate 95 percent confidence  
23 interval for the efficacy and I think efficacy not  
24 demonstrated is kind of an under-statement because the  
25 study was powered to look for efficacy and it didn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 find it, so I think you could say lack of efficacy was  
2 demonstrated.

3 DR. NELSON: I guess two comments. I agree  
4 with Tom and there's a marked difference between -- I  
5 mean, what you read sounds similar to the Ortho  
6 product which is good but it wasn't -- I mean, you're  
7 reading from a document that I guess wasn't in the  
8 briefing book, nor on the website which I downloaded  
9 this morning. So it was unavailable. Where is it? I  
10 mean, I just -- 185, interesting, there's two  
11 Pediatric Use sections. There's two labeled, one for  
12 Detrol LA and one for -- All right, so I was looking  
13 under the wrong label. So will it be in both?

14 DR. SOULE: Yeah, it's currently in the LA  
15 label and as Dr. Grylack reported, they have agreed to  
16 add this to the Detrol label also.

17 DR. NELSON: That is the source of  
18 confusion, thank you for clearing that up. Richard.

19 DR. GORMAN: Could the FDA develop a  
20 standard shorthand for those of us who don't read the  
21 label in as much depth as our eminent statisticians  
22 and clinical epidemiologists and just sort of -- where  
23 it says "Pediatric Use" say "after adequate controlled  
24 trials efficacy was not demonstrated," and then put  
25 all that detail behind it, something that starts out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with "it has been studied, it doesn't work in this  
2 population."

3 DR. MURPHY: This gets back to the original  
4 issue, okay? If you look back to certain classes of  
5 products two negative studies don't mean that a  
6 product doesn't work. And so that's our quandary.  
7 And it's trying to word it that this is what we have,  
8 folks, that's it. And again, I think the confidence  
9 interval is something that we at FDA understand how  
10 useful that can be but we've made a quantum leap to  
11 put in negative data. If we start trying to make a  
12 book out of it, we're -- you know, we just -- but we  
13 want it to be informative, so we take -- we will take  
14 this back and there may be a way that we can -- you  
15 know, if we're going to say randomized double blind,  
16 blah, blah, blah, you know, maybe we can get some  
17 other information in there, too. I just want to  
18 balance, you know, trying to make it informative with  
19 the limitations of knowing that often in some classes  
20 of products you may have three or four more studies  
21 then there might be positive.

22 DR. NELSON: Judith?

23 DR. O'FALLON: Every study has to tell what  
24 its power -- you know or every good study anyway, has  
25 to say how much power it has to detect what words of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 clinically significant differences that are looking --  
2 they're looking for. It may very well be that you  
3 could have a statement saying "studies with 80 percent  
4 power to detect differences of this sort failed to  
5 find a difference," you know, and that would be a  
6 sentence, but it would give people a feeling for how  
7 negative these studies really were.

8 DR. NELSON: Dennis?

9 DR. BIER: You know, earlier this afternoon  
10 we were talking about the surfactant thing. If  
11 somebody here at the table had said, "We can't provide  
12 this information on the informed consent to the  
13 parents because they wouldn't be able to understand  
14 it, and we shouldn't inform them," we would have all  
15 stood up and you know, yelled and screamed. So I  
16 think we should apply the same standard to the people  
17 who are going to prescribe the medication.

18 DR. NELSON: Victor.

19 DR. SANTANA: Having sat on this committee  
20 for awhile, I think I've very sensitive to this issue  
21 that our task is not to rewrite the label for the FDA.  
22 So let's be careful here in terms of what we're  
23 saying. That's not our duty. Our duty is to advise  
24 the FDA on what we think are important points that  
25 they may want to consider when they review this label.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And to me the important points is that in the  
2 efficacy section, you do have to state the number of  
3 studies that have been done. The efficacy study  
4 should have been powered to detect a difference, so  
5 you must give a P value in the efficacy section.

6 Now, you give all the other numbers that  
7 you want. I think in the side effect adverse event, I  
8 kind of disagree a little bit with the  
9 biostatisticians. The studies are not powered to  
10 detect differences in terms of toxicity than it's  
11 redoing statistics after when the study's done and one  
12 has to be very careful but in that section, one could  
13 provide the raw data which, I think is what the  
14 committee is saying, "Tell us what six percent is; is  
15 it two out of X number or is it, you know, 500 out of  
16 10,000." Give some number that then the clinician can  
17 personally weigh what the value of that is.

18 I'm not in favor of doing retrograde  
19 statistics for toxicity if the studies were not  
20 designed to detect those differences.

21 DR. WARD: But do you think it's fair to  
22 say it is higher?

23 DR. SANTANA: No, I disagree with that  
24 word, yes. I do disagree with that word. I think  
25 that implies a judgment that we should not be making.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. NELSON: So I'm beginning to detect a  
2 consensus of remarks and so I'm going to ask if we can  
3 provide feedback on the question whether we concur and  
4 allow me to clarify that. Concur is specifically with  
5 the labeling that we had read to us and not the  
6 language that was presented in more briefer fashion on  
7 the slide. And so I guess I'll ask with the  
8 additional advice that we've provided, whether we at  
9 least concur on this particular product with the  
10 labeling changes and then the routine monitoring going  
11 forward, two questions.

12 DR. WARD: Can you clarify which language  
13 you're talking about, Skip?

14 DR. NELSON: Well, let's just ask about the  
15 monitoring, which is the question they're asking us.  
16 They're information us about the label, I guess from  
17 that standpoint, so in terms of monitoring.

18 DR. GRYLACK: Yeah, the question, as I  
19 understand it is, can routine monitoring continue  
20 given that the change is going to be made in the  
21 Detrol label?

22 DR. NELSON: Right, going forward.

23 DR. MURPHY: In other words, we would not  
24 bring this back to you, okay, that's what we're  
25 saying.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NELSON: Right.

2 DR. MURPHY: We would not bring back to the  
3 committee ongoing review of adverse events. We would  
4 -- it would go back through the usual process with the  
5 Division looking at it.

6 DR. NELSON: Go ahead, Judith.

7 DR. O'FALLON: This is my problem. It's a  
8 process one. I can't figure out -- it will -- those  
9 are wonderful reports that you put together. I want  
10 to thank you very much for all the information. There  
11 are enough unstandard ways of giving the information  
12 that makes it hard to get at it, but from what I can  
13 tell, is you had about 68,000 prescriptions or  
14 something for -- during this postmarketing year and no  
15 adverse events, serious adverse events. Now, I'm --  
16 you go back and use the postmarketing, which is  
17 dependent upon the fact that there are two rather  
18 different follow-up periods for these two. Is this  
19 an adequate process to use to say it's okay, it looks  
20 like we don't have any new information, we can go back  
21 to just plain old regular monitoring, or should we be  
22 saying there's not enough information yet available to  
23 make that judgment?

24 DR. GRYLACK: Well, the reason that the --  
25 you know, since there was a signal, especially with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 paradoxical CNS stimulation events is that, you know,  
2 got the Office of Drug safety to go back and do the  
3 postmarketing study in addition to the post-  
4 exclusivity, so then if you're recommending additional  
5 monitoring, the question is how much longer are you  
6 going to -- you know, so I think that has to be  
7 considered as well.

8 DR. IYASU: Could I add something? I think  
9 we have to provide some context for this. We -- the  
10 review was for the one year. In fact, if you look at  
11 the postmarketing reports that came from FDA for  
12 pediatrics there were up to zero reports in 2003,  
13 2004, 2005. This labeling action that has been  
14 discussed today is really to update the Detrol label  
15 because of information that we had from prior  
16 reporting pertaining mostly to this particular  
17 product. And from the corporate trials we have known  
18 that there are issues related to the CNS stimulation  
19 and at the time that this was approved, the label  
20 included the information about this possible safety  
21 issues. So the issue now in terms of re -- updating  
22 the label for Detrol is based really on those prior  
23 reports and also supplemented by the corporate trial  
24 data and we don't believe that there's a difference  
25 between -- there's no reason to make a distinction

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 between those two products, given that they can occur  
2 in both.

3 So when we ask you if you concur with the  
4 -- with taking Detrol into the monitoring -- into the  
5 routine monitoring, it's really basically that we  
6 haven't seen any safety issues in the last three  
7 years. We have this prior information and that is  
8 being addressed in the new label and is -- there have  
9 been questions about whether that is an adequate  
10 description that would be helpful to the prescribing  
11 community in order for them to assess, and I think  
12 that's a legitimate question.

13 I also want to say that the largest  
14 summary for the reviews for those critical trials is  
15 available on the web as you well know and it's also  
16 included in the -- in your packet, so that is  
17 something that many, many prescribing physicians may  
18 not -- are not aware of but that is something that we  
19 have to make sure that people are aware of. There is  
20 additional information that's available on the web.

21 DR. MURPHY: I guess, let me ask you,  
22 Judith, because what Sol is pointing out is the  
23 slides, again, we -- this would fall into our category  
24 of not having a safety issue, just wanting to inform  
25 the committee about the information because when you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at the review, not the slides, you know, we do  
2 give you approval and there is, you know, a pretty  
3 consistent pattern that we're not seeing anything new.  
4 So I guess that's why we're saying we're not -- we're  
5 giving you -- if there is so little in the one year,  
6 we do go back and look at the prior after we've had  
7 lots of conversations about this, you know, because  
8 you have so little.

9 We do go back and look. And if we don't  
10 see anything there, then we -- that's where we say we  
11 don't think we need to do it more. So that was why it  
12 wasn't just the zero this time, it was looking back  
13 over the total history so far.

14 DR. O'FALLON: Well, for me it was just a  
15 question and it came up because I was looking at all  
16 of them, okay, as to how much is enough information, I  
17 mean, how many prescriptions say or people should be  
18 treated, pediatric people, should be treated before we  
19 can say, "Well, we didn't see much of anything so  
20 we're pretty confident that not much of anything was  
21 happening". If you treat 100 and you get nothing,  
22 that has a different meaning than treating 1,000 and  
23 having nothing. Do you see what I mean, and I'm just  
24 asking.

25 DR. MURPHY: I think in our prior

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussions that one of the things we didn't come up  
2 with was a set number. Okay, we're going to look at  
3 this until we had so many prescriptions. We didn't -  
4 - what we've been trying to do is, again -- and we're  
5 delighted to receive your feedback, is say, "Okay,  
6 right now our approach is we don't see anything.  
7 We're going to look at those previous years and if we  
8 still don't see anything, we're" -- if there's a low  
9 use, you'd have to go for a very long time to see  
10 anything. So our approach is that if we don't see  
11 anything we'll look back to the prior years. If we  
12 don't see anything there, then we're going to say we  
13 don't think there's anything there.

14 DR. NELSON: For the sake of clarity, I'm  
15 assuming by saying routine, it means it doesn't come  
16 back to this committee. It does not mean the Office  
17 of Drug Safety does not continue to investigate  
18 serious adverse events that are reported. So, you  
19 know, I think we need to keep that in context. It's  
20 not as if they stopped doing their job. They're just  
21 saying, they need to come back and report to us in a  
22 year as to what they've done in that year unless  
23 there's a specific question. Is that fair?

24 DR. O'FALLON: Does it mean you do continue  
25 to do the same level of monitoring or is it just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reporting? I got the impression it was a change in  
2 monitoring procedures.

3 DR. MURPHY: I think it would be unfair to  
4 say that having this meeting doesn't reprioritize  
5 things sometimes. I think we have to be quite honest  
6 about that but no, the monitoring, the reports go in  
7 and certainly as Dr. Nelson said, anything that comes  
8 in that's serious gets its usual rapid review and in-  
9 depth review. It's -- what they get are counts and  
10 they tend to look for patterns, okay. So unless  
11 there's something else that comes in like a serious --  
12 or a peak, they won't possibly go into as much depth  
13 as we might getting ready for these meetings. But  
14 yes, the reviewing still goes on, just with a  
15 different group as far as not reporting back to us.

16 DR. GRYLACK: I just want to say that in  
17 terms of the drug use, you know, I think we are  
18 fortunate to be able to capture the Detrol adverse  
19 events because if you look at the usage, you know, the  
20 Detrol usage has been decreasing, so the fact that 25  
21 of the 29 unduplicated reports were associated with  
22 Detrol speaks to the fact that you know, we, I mean,  
23 the Office of Drug Safety, was able to capture that  
24 and therefore, provides support to the change in the  
25 Detrol label to go along with the Detrol LA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. NELSON: Thanks. In the interest of  
2 trying to keep our public hearing within its time  
3 framework, I'm going to ask if we could come to a  
4 conclusion about whether we concur or not, so I guess  
5 I'll ask all those who would concur with the  
6 recommendation for this to be routine monitoring from  
7 here on out in the context of the labeling changes  
8 that we've seen, raise your hand. And any abstentions  
9 or objections? I see none. Thank you.

10 Let me ask if there are individuals who  
11 would like to speak during the open public hearing. I  
12 see none, I hear none, so there must be none, I guess  
13 unless we don't have enough people in the audience to  
14 do a proper sampling, but --

15 (Laughter)

16 DR. NELSON: All right, Larry, do you want  
17 to introduce Alan?

18 DR. GRYLACK: Well, on the chance that  
19 they're already getting up to raise public issues, I  
20 stayed around so I could introduce Dr. Alan Shapiro,  
21 who is a pediatric infectious disease specialist with  
22 a PhD in biochemistry. His past research includes  
23 working immunology infectious diseases and molecular  
24 pharmacology. He has also had training in pediatric  
25 nephrology and medical genetics. Dr. Shapiro has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with the Division of Pediatric Drug Development for  
2 almost two years working as a medical officer and has  
3 been a valued colleague of mine.

4 DR. NELSON: Solomon, your microphone.

5 DR. IYASU: I want to introduce Dr. Joette  
6 Meyer, who is a clinical reviewer with the Division of  
7 Special Pathogens and Transplant Products, who will be  
8 sitting and be a resource during this presentation.

9 DR. SHAPIRO: Thank you. Thank you, Larry.

10 I'd like to go over the adverse events for  
11 Ciprofloxacin. Ciprofloxacin, also known as Cipro, is  
12 an anti-bacterial that gained original market approval  
13 in October of 1987 and has many adult indications and  
14 its pediatric indications include post-exposure  
15 inhalational anthrax and second line therapy for  
16 complicated urinary tract infections and  
17 pyelonephritis in patients one to 17 years of age.  
18 The sponsor was granted pediatric exclusivity in  
19 December of 2003.

20 I'd like to discuss the drug use transfer  
21 Ciprofloxacin, systemic Ciprofloxacin accounts for  
22 roughly 41 percent of the 33.5 million prescriptions  
23 dispensed for the quinolone class in the United States  
24 in 2004. Dispenses prescriptions for systemic  
25 Ciprofloxacin have increased slightly from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approximately 13.6 million in 2003 to 13.8 million in  
2 2004. Pediatricians were responsible for  
3 approximately one percent of the prescriptions  
4 dispensed for Ciprofloxacin tablets in the US during  
5 2004 and approximately 17 percent of the suspension  
6 formulation dispensed during the same time period.  
7 From the IMS National Disease and Therapeutic Index  
8 from 2002 to 2004, 13 to 26 percent of the total use  
9 in pediatrics was for the treatment of urinary tract  
10 infections. It was unclear what fraction of these  
11 infections were complicated UTIs and therefore, we can  
12 assume that most of the use of Ciprofloxacin was off  
13 label.

14 Now, I'd like to discuss the pediatric  
15 exclusivity trials. The first was a controlled safety  
16 trial with efficacy data being collected and the  
17 second was an open label safety trial. The first  
18 trial which was a controlled safety trial consisted of  
19 a perspective randomized double blind trial with  
20 patients with complicated urinary tract infections or  
21 pyelonephritis ages one to 17 years in which  
22 Ciprofloxacin was compared to intravenous ceftazidime,  
23 oral cefixime or oral trimethoprim/sulfamethoxazole.  
24 A subset of these patients participate in the  
25 pharmacokinetic study which contributed to the labeling

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       pediatric dosing recommendations.

2               As part of this study, there was a  
3       muscularskeletal evaluation and there was an  
4       independent pediatric safety committee that performed  
5       a blinded review of all cases of muscularskeletal  
6       adverse events. These included patients with an  
7       abnormal gait or abnormal joint exam. Cases were  
8       evaluated for evidence of clinically diagnosed or  
9       possible evidence of arthropathy. Arthropathy was  
10      broadly defined as any condition effecting a joint or  
11      periarticular tissue that may have been temporary or  
12      been permanent. The muscularskeletal events evaluated  
13      by the safety committee are listed below. I should  
14      mention that the majority of the adverse events  
15      reported were arthralgia.

16              Now, going over the results of the  
17      controlled safety trial, I went to mention from our  
18      prior discussion there are confidence intervals in the  
19      label where you will see that 95 percent confidence  
20      interval for the safety trial. And in regard to  
21      arthropathy events, at the six-week follow-up, 9.3  
22      percent of the Ciprofloxacin patients versus 6 percent  
23      of the comparator had arthropathy events. And at the  
24      one-year cumulative follow-up, 13.7 percent of the  
25      Ciprofloxacin patients versus 9.5 percent of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comparator patients had arthropathy events.

2 I should mention that all these events did  
3 resolve by one year's time. Going over neurological  
4 adverse events, at six-weeks follow-up, three percent  
5 of the Ciprofloxacin patients versus 2 percent of the  
6 comparator had adverse events. And I should emphasize  
7 that towards the end of this slide is that the most  
8 frequent adverse event were gastrointestinal with 15  
9 percent of the Ciprofloxacin patients versus 9 percent  
10 of the comparators who had adverse events.

11 Now, going over the controlled safety  
12 trial also collected at efficacy data and on this one  
13 we also have a 95 percent confident intervals that you  
14 can look up in the label. So we have a favorable  
15 clinical response in 96 percent of Ciprofloxacin  
16 patients, versus 93 percent of the comparators.  
17 Bacterial eradication was in 84 percent of the  
18 Ciprofloxacin patients, versus 78 percent of the  
19 comparator.

20 Now, going onto the second trial which was  
21 an open label safety trial. This was a perspective  
22 non-randomized open-label observational study that  
23 evaluated the long-term muscularskeletal and  
24 neurological system health in pediatric patients two  
25 months to 16 years who received Ciprofloxacin versus a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 non-quinolone antibiotic. I should emphasize the  
2 enrollment, choice of antibiotic, dosing regiment and  
3 treatment duration were determined at the enrolling  
4 physician's discretion. And also that the same  
5 definition of arthropathy was used as in trial 1.

6 Now, with -- because this was a non-  
7 randomized observational study, we were not able to  
8 make direct comparisons between Ciprofloxacin versus  
9 the non-quinolone comparator. Therefore, I'm only  
10 going to mention the rates for Ciprofloxacin here. So  
11 at six weeks of follow-up, for any musculoskeletal  
12 events there was nine percent of total adverse events  
13 reported were musculoskeletal and the majority of  
14 these were arthropathy, which was eight percent of the  
15 total adverse events. Neurological adverse events  
16 were seven percent of the total reported.

17 Now, going to the one-year post-treatment  
18 follow-up, the total for musculoskeletal events were  
19 13 percent of the total events reported, again, the  
20 majority being arthropathy at 11 percent of the total  
21 and neurologic was 11 percent of the total. Now,  
22 going on to labeling changes that derived from these  
23 exclusivity studies; Ciprofloxacin was approved as a  
24 second line treatment of complicated urinary tract  
25 infections and pyelonephritis in patients one to 17

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 years of age. It was not a drug of the first choice,  
2 due to increased incidents of adverse events compared  
3 to controls including events related to joints and  
4 surrounding tissues.

5 As we had discussed earlier, in the  
6 complicated UTI clinical trials, the -- Ciprofloxacin  
7 versus the controlled, there were -- there was a  
8 larger amount of gastrointestinal and arthropathy  
9 adverse events. And the label was also informed with  
10 pharmacokinetic and dosing information for the  
11 intravenous and oral formulations.

12 Now, I'd like to go over the adverse  
13 report since marketing approval for Ciprofloxacin.  
14 There were over 10,000 reports of adverse events in  
15 all age ranges. Of these pediatric reports, there  
16 were 228 reports which -- of which 142 were serious  
17 and there were 13 pediatric deaths. Now going on to  
18 the time period post-exclusivity period for  
19 Ciprofloxacin for all age groups, there were over 600  
20 reports of adverse events. In the pediatric age,  
21 there were 19 adverse event reports which consisted of  
22 17 unduplicated reports, all were serious and there  
23 was one pediatric death. Now, on the next slide I'm  
24 going to give you an overview of the types of adverse  
25 reports we had.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           As you can see here, we had 17 adverse  
2 event reports and you can see the breakdown here. I'm  
3 going -- in my subsequent slides, I'm going to be  
4 discussing the pediatric death, the muscular skeletal  
5 adverse events, central nervous system adverse events  
6 and the gastrointestinal adverse events. As Solomon  
7 eluded to about Ciprofloxacin, of these adverse  
8 events, 12 out of the 17 patients with reported  
9 adverse events were receiving Ciprofloxacin for an  
10 unapproved indication for pediatric use.

11           Now, going onto the post-exclusivity  
12 pediatric death, this is an adolescent female with  
13 chronic mucocutaneous candidiasis and common variable  
14 immunodeficiency admitted with a one week history of  
15 progressive dyspnea on exertion. This patient had a  
16 complicated hospital course, treated with multiple  
17 medications, was diagnosed with Candida tropicalis  
18 fungemia, developed mucosal and gastrointestinal  
19 bleed, liver and renal dysfunction. The patient died  
20 due to uncontrolled gastrointestinal bleeding. The  
21 possible ideologies for these bleedings include  
22 diffuse intravascular coagulation due to fungal  
23 sepsis, liver or renal dysfunction, and lastly,  
24 possibly hematological/coagulation dysfunction related  
25 to Ciprofloxacin.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Now, to discuss the musculoskeletal events  
2 that occurred in the post-exclusivity period; the  
3 first one is a 12-year old who experienced tendinitis,  
4 back, hip, knee and heel pain three weeks after taking  
5 Ciprofloxacin for a temporal osteomyelitis that  
6 followed facial surgery. This patient had a history  
7 of Crouzon's syndrome, acanthosis, a  
8 ventriculoperitoneal shunt and osteitis. She received  
9 a total of five weeks of Ciprofloxacin oral therapy  
10 for outpatient treatment of osteomyelitis. The MRI by  
11 report showed knee effusion and some thickening of the  
12 cartilage. The diagnosis was tendinitis of the tibia,  
13 patella and Achilles tendon. Patient could not stand  
14 or ambulate and required a wheelchair a month after  
15 the medication was discontinued. I should mention  
16 that we have obtained further report on this patient.  
17 This patient is still having difficulty ambulating  
18 and is still weak.

19                   Now, on the second musculoskeletal adverse  
20 event, this is a patient that also has prolonged  
21 disability. It's a 10-year old treated for a post-  
22 operative abscess with persistent leg pain and  
23 inability to run. I should emphasize this is a  
24 foreign report. This patient started Ciprofloxacin  
25 therapy for post-operative abscess, developed knee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pain during treatment and Ciprofloxacin was stopped  
2 after four days of therapy. The patient was confined  
3 to bed during the entire hospitalization. Pain was  
4 persistent and considered disabling and patient was  
5 unable to run.

6 The next two adverse events --  
7 musculoskeletal adverse events were patients where the  
8 musculoskeletal problems resolved following  
9 discontinuation of therapy. The first one was a 14-  
10 year old with osteomyelitis of the little finger  
11 treated with 14 days of Ciprofloxacin. The patient  
12 developed Achilles tendinitis after one week of  
13 therapy which increased in severity by 10 to 14 days.

14 Ciprofloxacin was discontinued and patient improved.

15 The second patient was a 15-year old with chronic  
16 osteomyelitis of the left radius and developed joint  
17 stiffness and ecchymoses in both knees while on  
18 Ciprofloxacin. Symptoms resolved within two weeks of  
19 discontinuing therapy.

20 Now to summarize the musculoskeletal  
21 events; the potential for severe adverse events in  
22 joints and tendons subsequent to the use of quinolones  
23 is addressed in several sections of the Ciprofloxacin  
24 label and the warning, precaution and adverse reaction  
25 sections. These adverse events include rupture of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Achilles tendon, pain and inflammation of the tendons,  
2 joint stiffness, tendinitis, pain in extremities and  
3 effects on joints. Now moving on to the post-  
4 exclusivity central nervous system adverse events.  
5 This is an eight-year old patients who had seizures  
6 before and after Ciprofloxacin in therapy but this was  
7 in the setting of numerous concomitant medications and  
8 underlying brain cancer. The second patient was a 15-  
9 year old who developed status epilepticus while on  
10 Ciprofloxacin and cefepime therapy for the treatment  
11 of a urinary tract infection. Both drugs were  
12 discontinued and the patient recovered.

13 Convulsions are addressed in the warning  
14 section and also in the adverse reaction section of  
15 the labeling, where it states that during clinical  
16 trials convulsive seizures were reported in adults.

17 Now, moving on to our gastrointestinal  
18 post-exclusivity adverse event, this is an eight-year  
19 old with severe pseudomembranous colitis and ascites  
20 following therapy with co-trimoxazole, cefotaxime and  
21 Ciprofloxacin. The event resolved with corrective  
22 treatment and did not reoccur. In the warning section  
23 of Ciprofloxacin label, pseudomembranous colitis is  
24 discussed. I should also emphasize the  
25 pseudomembranous colitis has been reported with nearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all antibacterial agents and may range in severity  
2 from mild to life-threatening.

3 Now, to conclude, on our review of the 17  
4 unduplicated pediatric cases showed mostly labeled  
5 adverse events. Twelve out of the 17 patients who  
6 developed adverse events were receiving Ciprofloxacin  
7 for unapproved indications for pediatric use. This  
8 completes the one-year post-exclusivity monitoring as  
9 mandated by DPCA. FDA recommends routine monitoring  
10 of adverse events for this drug in all populations.  
11 Does the Advisory Committee concur?

12 I'd like to acknowledge the members of the  
13 Office of Drug Safety and the Division of Special  
14 Pathogens and Transplantation Products for their help  
15 in this presentation. Thank you.

16 DR. NELSON: Thank you. Questions,  
17 starting with Victor.

18 DR. SANTANA: Point of clarification; the  
19 most common pediatric adverse event was hematologic  
20 but you did not give us details about those and --

21 DR. SHAPIRO: I actually have those for  
22 the committee.

23 DR. SANTANA: Can you briefly describe  
24 those and, as a corollary to that, and it may come out  
25 when you mention those, were those underlying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hematologic diseases that patients may have had that  
2 got worsened by the medication?

3 DR. SHAPIRO: Okay, the -- basically this  
4 was throm -- there were events of thrombocytopenia,  
5 hemolytic anemia, pancytopenia, neutropenia and  
6 coagulopathy and you know, most of these patients had  
7 underlying problem with, you know, that -- you know,  
8 mainly Ciprofloxacin was used -- had been used in  
9 fairly sick patients.

10 DR. SANTANA: Do you know if any of those  
11 patients had underlying hematological or oncologic  
12 problems?

13 DR. SHAPIRO: I would just have to double-  
14 check that for a moment. Joette, do you know?

15 DR. NELSON: While you're looking, are  
16 there other questions?

17 DR. SHAPIRO: Okay, there was one patient  
18 who had basically liver disease who had enterococcus  
19 faecalis and staph aureus infection. So this was a  
20 liver transplant patient, so you wouldn't expect to  
21 have hematological issues because of their liver  
22 disease.

23 The other one was a patient which we --  
24 had a recent history of meningitis but this was one  
25 that had neutropenia anemia and Coombs positive, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would not -- you know, this could be secondary to  
2 infection. The other patient was a patient with Down  
3 Syndrome who was receiving other medications. He  
4 developed a low fibrinogen and -- well, with rising  
5 thrombin clotting times. So this was a patient  
6 underlying condition with a lower respiratory tract  
7 infection.

8 DR. NELSON: It occurs to me an  
9 interesting epidemiologic question would be to look at  
10 the trends in off-label use of antibiotics over time,  
11 because one hypothesis I would have is given the  
12 increasing resistance that develops over time and also  
13 the lack of other drugs sort of in the pipeline,  
14 something like Cipro, at least in my experience, our  
15 infectious disease consultants start recommending it  
16 when we get down to where we've tried everything else  
17 and I suspect what we're seeing here is the patient  
18 population who is very ill that we then try Cipro in,  
19 and I wouldn't be surprised if that trend would be  
20 seen in all antibiotics at some point as we need to  
21 continue to escalate into second and third line drugs  
22 as we get more and more difficult patients with  
23 infections to treat. So that would at least be my  
24 interpretation of what we're seeing in this data and I  
25 suspect that would be almost true of any antibiotic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that we would be evaluating.

2 DR. SHAPIRO: I would have to concur from  
3 my own experience, but I would have to say that some  
4 of the indications here for the 17 adverse events I  
5 summarize here, one was UTI prophylaxis which I would  
6 definitely not consider is something I would use  
7 Ciprofloxacin for. Another one was a febrile episode,  
8 not my first choice and for cases of osteomyelitis,  
9 yes, it can be used but usually, you know, we try to  
10 use other antibiotics and these are the patients who  
11 usually go home on home IV. So I'm just trying to  
12 give you an idea. And also sinusitis it's not usually  
13 our first choice either or meningitis prophylaxis is a  
14 CDC recommendation for adults, you know, for someone  
15 who's exposed to Neisseria meningitidis, but it's not  
16 include -- it's not an official recommendation. It's  
17 not labeled.

18 DR. NELSON: And the age of that patient  
19 that -- and I think many pediatricians will look and  
20 figure, you know, 13, 14, 17, what's the difference.  
21 So -- Ciprofloxacin is a lot easier than rifampin.

22 DR. SHAPIRO: Oh, yes, quite a bit,  
23 compared to four doses of rifampin. I would -- I'm  
24 just giving you an example.

25 DR. NELSON: Especially Ortho Cyclen or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something like that. And for urine tract prophylaxis,  
2 I mean, if the patient had just finished a complicated  
3 UTI with a very difficult to eradicate organism, some  
4 physicians would consider that good practice to go  
5 ahead and continue them on that Cipro at that point.  
6 That may be wrong, okay, but I think some might do  
7 that.

8 DR. SHAPIRO: Well, as Larry mentioned, my  
9 background, I have a little background in nephrology.

10 I can tell you that, yes, we've had patients who have  
11 constant Pseudomonas infections, the concern is that  
12 when you're using it for a prophylaxis with  
13 Ciprofloxacin, our concern has always been the  
14 development of resistance. It's not our favorite drug  
15 to use for prophylaxis.

16 DR. NELSON: So before seeing if we  
17 concur, are there other comments or questions? So the  
18 recommendation is that this also be relegated to the  
19 routine but yet vigilant monitoring going forward. A  
20 show of hands for those in favor of that. Any  
21 objections or abstentions? Let the record show that  
22 all hands of voting members were raised. Thank you.

23 DR. SHAPIRO: Okay, I'd like to reinvoke  
24 Solomon Iyasu to the stand who will continue the  
25 discussion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Dr. Santana, in your thing on  
2 page 219 and 20 are the heme cases, just so you'll  
3 know they're there. Okay, if you want to look at  
4 them.

5 DR. SANTANA: What page did you say?

6 DR. MURPHY: 219, 220.

7 DR. SANTANA: Thank you.

8 DR. NELSON: Now, before Solomon gets  
9 started, let me comment, the next four that are being  
10 discussed are part of now the abbreviated  
11 presentations, so the goal here is to get through all  
12 four of them and then talk about them as a group.  
13 Now, if there is a point of overwhelming concern after  
14 one of the abbreviated presentations, feel free to  
15 scream and we'll recognize you but the goal is to go  
16 through all four and then discuss them as a group.

17 DR. WARD: What did we decide on the label  
18 to be in our previous discussion number 2?

19 DR. NELSON: Cipro?

20 DR. WARD: No, Detrol. We voted on a  
21 routine monitoring but --

22 DR. NELSON: Right, it was the labeling as  
23 presented and as read by --

24 DR. WARD: Okay.

25 DR. MURPHY: I mean, we will take back the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations that Rosemary and I, sidebar here, you  
2 know, that the committee would encourage, you know,  
3 inclusion of confidence intervals, where we can, where  
4 we have that kind of information. And as far as that  
5 label is concerned, I think the concern about the word  
6 "higher", all I can tell you is the medical officer  
7 wrote down your concerns and we'll probably take them  
8 back to the division. One of the issues here is that  
9 -- and I just want to state as a fact, the labels are  
10 negotiated and the negotiation has occurred. Clearly  
11 the company is not going to fight taking out something  
12 that says it's higher, so I think that won't be a big  
13 issue if the Division feels they're comfortable with  
14 taking the recommendation. So if your question is,  
15 will we make sure that that recommendation is  
16 translated back to the division, the answer is, ?yes.?

17 DR. WARD: Right, I think that's as much  
18 as we can ask for. The other would be in place of  
19 percentage to actually have the numerator and  
20 denominator so that again, we could evaluate or judge  
21 how big of a sample we were dealing with.

22 DR. MURPHY: Right.

23 DR. NELSON: Tom?

24 DR. NEWMAN: I would like the Pediatric  
25 Advisory Committee to strengthen your hand in those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 negotiations by unequivocally saying we want -- you  
2 know, point us to the confidence intervals for the  
3 efficacy data, not just the adverse effect data.

4 DR. MURPHY: Well, that's what I was  
5 referring to, because that's more likely that we'll  
6 have that, I mean, definitely, so we will go back with  
7 the fact that you would like to see that in the --  
8 particularly where we have -- let me put it this way,  
9 they tend more likely to put it in when you've got a  
10 positive, but you also want to see it where we have  
11 the negative.

12 DR. WARD: Actually, it's very important  
13 for negative studies, too.

14 DR. MURPHY: I understand. We've gone  
15 from nothing to more and I'm just trying to -- you say  
16 you think it's very important, also for the negative  
17 information.

18 DR. NELSON: And start to require  
19 packaging of magnifying glasses in with the labels.  
20 Solomon.

21 DR. IYASU: Okay, now we've come to the  
22 last part of this session. I'm going to quickly go  
23 over these four drugs and summarize for you why we  
24 think there are no safety issues raised by the review  
25 and that we're going to ask you that these drugs will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go back to the routine monitoring. I want to  
2 recognize a few people who are present here for these  
3 reviews. For Zemplar, the Division representative is  
4 Dr. Eric Colman, who is actually there in the back.  
5 The Medical Officer from the Division of Pediatric  
6 Drug Development who reviewed this drug is Alan  
7 Shapiro, who was just here. For Zomig, it is Eric  
8 Bastings from the Division of Neurology Products and  
9 the Medical Officer from Pediatrics is Dr. Susan  
10 McCune who is sitting in the back there as well. And  
11 Trusopt was -- the Division representative from Anti-  
12 infectives and Ophthalmology Drug Products is Dr. Rhea  
13 Lloyd and the Medical Officer from the Pediatrics is  
14 Dr. Jane Filie and Dr. Jane Filie also has done the  
15 review for Arava for pediatrics and the division  
16 representative is Dr. Carolyn Yancey. So if there are  
17 any follow-up questions for details, we have adequate  
18 resources to respond to your queries.

19 First, I'm going to discuss Zemplar, which  
20 is a synthetic Vitamin D analog. Its sponsors, Abbott  
21 Laboratories, indications for which this drug is  
22 approved is prevention and treatment of secondary  
23 hyperthyroidism associated with chronic renal failure.

24 The original market approval was in April of 1998,  
25 exclusivity was granted in December 2003. During the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 one-year review there were no pediatric adverse events  
2 that were reported through AERS and also looking  
3 through from market approval through January 2005,  
4 there were no pediatric reports of adverse events. It  
5 is estimated that approximately 1,000 pediatric  
6 dialysis patients may be exposed to the medication.  
7 Primarily, this is used in clinics and so the  
8 outpatient use is very limited. Safety and  
9 effectiveness were examined in a 12-week trial in  
10 pediatric patients with end-stage renal disease on  
11 hemodialysis and the details of this study are  
12 described in labeling and you have that in your  
13 package.

14 I must point out that there were no  
15 patients in the trial that developed hypercalcemia and  
16 that is also indicated in the label. In summary, for  
17 that drug, there are no safety concerns so I'll ask  
18 the question of the committee at the end of the  
19 presentations for all these drugs.

20 The next drug is Zomig tablets and Zomig  
21 ZMT, which is an orally disintegrating tablet. It's a  
22 selective 5-hydroxytryptamine receptor agonist  
23 sponsored by AstraZeneca. It's approved for acute  
24 triptodemigraine with or without aura in adults, not  
25 recommended for pediatric use; however, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information from exclusivity studies is in the label  
2 and that is in your package. The original market  
3 approval date was November 25th, 1997. Exclusivity  
4 was granted on December 18th, 2002.

5 Turning to the use in pediatric patients,  
6 pediatric patients accounted for less than two percent  
7 of all claims for Zomig oral tablets and five percent  
8 of all claims for Zomig ZMT, so the use is not that  
9 great. During the exclusivity period -- post-  
10 exclusivity period, there were two pediatric adverse  
11 event reports identified. One was an accident of  
12 ingestion of a 2.5 milligram Zomig by a toddler. He  
13 was hospitalized for observation. No adverse  
14 reactions were noted during that hospitalization  
15 episode but it's what's in the AERS report, so that is  
16 the reason why we're reporting that to you.

17 The second case in an adolescent was  
18 partial seizure after taking Zomig. The patient had a  
19 history of seizures following astrocytoma removal that  
20 were not being treated. At that time, this patient  
21 was not on any anti-convulsants. The partial seizures  
22 are unlabeled but unclear if they are due to the drug  
23 or the underlying pathology.

24 We -- but looking at the adverse event  
25 reports prior to the exclusivity period, there were a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 total of 24 reports. There were two reports of death;  
2 however, upon cross examination, they represented the  
3 same patient, so there's really one, both of the same  
4 patient was an intentional overdose of Imitrex, Zomig  
5 and Sudafed, so a confounded case. During this prior  
6 reviews there were certain unlabeled events that  
7 occurred in the frequency of less than two or three  
8 dose now drug ineffective lethargy, accidental  
9 exposure, accidental overdose, brain edema or pupils  
10 fixed, not that the brain edema and pupils fixed were  
11 only noted in the intentional overdose patient.

12           There were no new concerning unlabeled  
13 safety signals identified in pediatric adverse -- in  
14 pediatrics from market approval to 2005. Therefore,  
15 we didn't feel that there was a safety signal that  
16 needed to be followed up. For Trusopt which is the  
17 third drug, it's a carbonic anhydrase inhibitor,  
18 marked by Merck. It's approved for the treatment of  
19 elevated intraocular pressure in patients with ocular  
20 hypertension or open-angle glaucoma. The original  
21 approval was in December 1994. The exclusivity was  
22 granted in January 5, 2004.

23           For this drug, Trusopt, there's minimal  
24 use in pediatrics, .5 percent of prescription claimed  
25 for Dorzolamide, hydrochloride, ages 1 to 16 years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There were no adverse event reports during the one-  
2 year post-exclusivity period and we concluded that  
3 there were no new safety signals that were identified  
4 through this review.

5 The last drug to be reviewed is Arava,  
6 Leflunomide. It's a immunomodulator marketed by  
7 Aventis. It's approved for the treatment of  
8 rheumatoid arthritis in adults, specifically to reduce  
9 signs and symptoms and to inhibit structural damages as  
10 evidenced by x-ray erosions and joint space narrowing  
11 and to improve physical function. The original market  
12 approval was September 10, 1998. The market -- the  
13 pediatric exclusivity was granted on November 10th,  
14 2003.

15 The summary pertaining to the exclusivity  
16 trial for Arava is on the label but in summary, no  
17 pediatric indication was given for this drug because  
18 it failed to win on the primary endpoint. The trial  
19 with the superiority design against the high-dose  
20 methotrexate was all Leflunomide showed some activity  
21 over historical baseline, it failed to win on the  
22 primary endpoint. And you must realize that JRA is a  
23 very difficult disease treated by specialists, a  
24 complicated course, and the details and results of the  
25 trial and the PK section of the labeling are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adequately described. I think it's in your package as  
2 well. If there's further discussion about that and  
3 questions, I think we can address that as well.

4 But in terms of use in pediatrics,  
5 remember I said it's not approved for pediatrics. The  
6 minimal use in pediatrics, again, .1 percent of all  
7 prescription claims for Leflunomide were in  
8 pediatrics. There were two adverse event reports for  
9 the one year post-exclusivity period. One was a  
10 transient elevation of liver enzyme which is an  
11 expected labeled event. The patient recovered.

12 There was a second adverse event which is  
13 liver failure after intentional overdose of  
14 acetaminophen while on Leflunomide. The symptoms  
15 resolved and our conclusion is that there are no new  
16 safety signals that have been identified through this  
17 one-year review. This completes the review for the  
18 four drugs and we recommend that these drugs go to  
19 return monitoring for adverse events. Does the  
20 Advisory Committee concur with this conclusion?

21 DR. NELSON: Thank you, Solomon.

22 DR. IYASU: And I would like to  
23 acknowledge all the collaborators in this review from  
24 Office of Drug Safety and from the Office of New  
25 Drugs, and there are many of them. I think I'll just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 keep the slide for a couple of minutes. Thank you  
2 very much. If there are any questions, we have also  
3 the medical officers from the Review Division and from  
4 -- and I'm here also to answer any further discussion  
5 about these four drugs and our conclusions.

6 DR. NELSON: Questions or discussion?  
7 That slide impresses me by the amount of work that  
8 goes into producing even the abbreviate reports.

9 DR. IYASU: Right. We have to go through  
10 the whole process before we reach that conclusion and  
11 I want to recognize not only the Office of Drug Safety  
12 and the Review Divisions but also certain individuals  
13 who are not named usually in these presentations, who  
14 work very hard to make this happen, and Kristin Phucus  
15 is our project manager who has been very instrumental  
16 in organizing and coordinating among the different  
17 offices to get these reviews done in time and could  
18 you stand, Kristin and be recognized?

19 And there are many more other in the  
20 Division who help us. And all the medical officers  
21 within the Division do spend a lot of time, including  
22 our leadership here, Dr. Rosemary Roberts and Shirley  
23 Murphy and Lisa Mathis, where is she, who work very  
24 hard with us reviewing these drugs. And it's a  
25 tremendous amount of work. If I was to estimate the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 number of people involved in these reviews for the  
2 eight drugs it would probably be 100 people across the  
3 different offices.

4 DR. NELSON: Okay. So I guess the  
5 question is, do we concur that these four drugs can  
6 now be placed under routine monitoring? So hands of  
7 those that would be in favor of that recommendation.  
8 Any objections or abstentions? Seeing none, let the  
9 record show that all voting members' hands were in the  
10 air, in favor of that. Thank you, Solomon.

11 DR. IYASU: Thank you very much.

12 DR. NELSON: And Dianne, the agenda says  
13 concluding remarks.

14 DR. MURPHY: So now you all have  
15 experienced a transition. We started with sort of our  
16 standard review, we went to this abbreviated, and  
17 tomorrow we will be discussing a product which -- what  
18 we were calling a focus expanded presentation and  
19 which we have brought in other people to also make  
20 presentations. And certainly I think we need to do  
21 this a couple of times and circle back and see how  
22 useful the committee is finding this approach or not.

23 I do want to emphasize that we do send you  
24 this material as soon as we get it cleared and we  
25 tried very hard to separate out the Subpart D stuff

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the committee because we knew the committee would  
2 want to see it, so that you would get that earlier  
3 before you got drug stuff and at least have time to  
4 look at some of that. And we are now trying to get,  
5 as you know, not only the ODS reviews, which we think  
6 are extensive, as you can see, and go into tremendous  
7 background, but also the slides, so you understand  
8 somewhere about what we're thinking. So -- but the  
9 ODS reviews, you should always be getting and have  
10 time to read before you get here. Okay? Our slides,  
11 you may or may not always get. We try to get them to  
12 you before the review, but as I said, this is an  
13 evolving process and we will continue to re-evaluate  
14 it but we look forward to your participation tomorrow  
15 and I really don't have anything else to say except  
16 for I appreciate everybody being here and appreciate  
17 the feedback that we got back from you all today on  
18 the products that were presented this afternoon.

19 Rosemary, Solomon, anything else?

20 DR. ROBERTS: I would like to point out in  
21 your notebook on page 480, the labeling that has gone  
22 into Leflunomide with respect to the study. This is -  
23 - this is really FDA going out on a limb to put this  
24 kind of information in a label. This particular study  
25 is -- as Solomon has told you, was a superiority

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 design. And Leflunomide was studied against a high-  
2 dose methotrexate and so it did not show superiority  
3 against high-dose methotrexate. Now, and Carolyn  
4 Yancey, who is the pediatric rheumatologist, who knows  
5 more about this than I, but it's my understanding that  
6 to see an efficacy with methotrexate of 89 percent is  
7 really very high. And the efficacy seen with  
8 Leflunomide was 68 percent, which in some trials with  
9 methotrexate would be what you'd see with  
10 methotrexate. And it's my understanding that with no  
11 treatment it's somewhere in the 30 to 35 percent  
12 range. So although Leflunomide certainly is not a drug  
13 without adverse side effects, nor is methotrexate, and  
14 the juvenile rheumatoid arthritis patients that are  
15 having to use drugs of this nature are those who  
16 really do need anything that could help modify their  
17 disease.

18 So after long talks with the Division, and  
19 this had been -- this subsequently was brought before  
20 a regulatory briefing, we did agree that it was very  
21 important information to let the prescribers of drugs  
22 like this for special populations to be aware that  
23 although it failed on the primary efficacy end point,  
24 there was activity of the drug.

25 So I just wanted to point out that this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- this is really new for the agency and if you all  
2 had any comments as to whether there's more we could  
3 do, less we could do or what.

4 DR. NELSON: Let me just ask a couple  
5 quick questions myself and then go to Mary and Tom.  
6 As a non-rheumatologist, I wouldn't know that the  
7 active comparator was methotrexate, so at the first  
8 glance, unless one is in the know about the design of  
9 the trial, you wouldn't actually know what it was  
10 compared against. The second is, as a non-  
11 statistician, if I knew it was designed as a  
12 superiority trial, having listened enough to the  
13 debates about choice of difference, et cetera, I would  
14 then ask myself, well, what's the confidence I can  
15 have that it was equivalent? I mean, if what you're  
16 saying is that 68 versus -- that may be close enough  
17 that it's better than nothing, is there some way you  
18 could equivalence so that actually I conclude that  
19 it's not ineffective, but yet it's effective because  
20 it was compared against something that just happened  
21 to be really good in that trial?

22 So as it says, I mean, I think it's -- I  
23 agree that this is a step forward, but it still leaves  
24 a couple questions in me as a sort of naive consumer,  
25 non-rheumatologist?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ROBERTS: Well, I agree with you that  
2 it leaves questions open. For the physicians with the  
3 expertise who are taking care of these patients, they  
4 can probably put -- fill in some of that missing  
5 information and I have heard criticisms that there is  
6 no dose put in and things like that, so how helpful  
7 was it really? As I said, this is our first launch  
8 out into doing something of this nature and I think  
9 that we actually did this before we had the buy-in of  
10 the entire center as to moving towards this. And it  
11 was presented and I think there was agreement that we  
12 need to try to inform as best we can, if we think that  
13 there is a product, especially in a special  
14 population, where there is potential use of that, and  
15 let those specialists be aware of that.

16 DR. NELSON: Mary and then Tom.

17 DR. YANCEY: I'm Carolyn Yancey. Just two  
18 comments. In terms of the dosage and the information  
19 we've put in the PK section of the PK section of the  
20 label, if you look at that carefully, you'll see we  
21 describe the entire study group with children based on  
22 weight and that was an analysis that took place within  
23 the Division, not from the sponsor. They submitted  
24 the analysis based on age which pediatricians  
25 certainly recognize in a chronic disease that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly is not going to give you informative  
2 information.

3           The other issue that came up with the  
4 information that came from that internal analysis was  
5 the fact that it looked as if the children who weighed  
6 less than 40 kilograms were under-dosed. We were  
7 extremely careful and we vetted this in many arenas.  
8 It looked as if they were under-dosed. In terms of  
9 the outcome, the advocacy outcome, 68 percent for a  
10 DMAR, a disease-modifying anti-inflammatory, anti-  
11 rheumatic drug is significant. If you look at adult  
12 studies for DMARs you will typically get an outcome of  
13 30 percent, possibly 40 percent and that would be  
14 outstanding. So to see methotrexate, which we know  
15 does very well in children, that's not new  
16 information, but to have the outcome of the drug under  
17 study at 68 percent was outstanding and to Rosemary's  
18 point, this was the first time we really pushed to get  
19 the clinical information from the pediatric trial into  
20 the label when, in fact, the sponsor -- I want to make  
21 this clarification from the slides, did not request an  
22 indication in children. This was also a very unique  
23 situation, which preceded pediatric involvement being  
24 in that rheumatic disease group, so a very challenging  
25 label.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1                   We do not list the name of an active  
2 comparator unless the sponsor has submitted and  
3 succeeded with two efficacy trials and that may be  
4 debated, but we have been extremely careful in  
5 rheumatology not to list active comparators. This  
6 came up with another drug, quite familiar, got a lot  
7 of press since September and we did not list the  
8 active comparator because they, in fact, did not  
9 achieve what needs to be achieved to put that in a  
10 label from which they can then market that advantage.

11                   DR. MURPHY: I think that's a really -- I  
12 was hoping you'd say that, because the whole concept  
13 of putting active comparators is just --

14                   DR. NELSON: It's a reverse kind of  
15 marketing. I understand.

16                   DR. MURPHY: Yeah, exactly, exactly,  
17 exactly.

18                   DR. NELSON: Mary and then Tom and then  
19 Bob.

20                   DR. GLODE: Based on what you just it may  
21 not apply to this drug, but maybe it will apply more  
22 generically. If you're putting together a pediatric  
23 exclusivity study, and you're trying to decide, is it  
24 the sponsor or the FDA or both together who decides  
25 whether or not the design is a superiority trial or a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 non-inferiority trial? Who determined they went for  
2 superiority instead of non-inferiority?

3 DR. MURPHY: I'll answer generally and let  
4 you answer for this. The -- for just routine drug  
5 development, the company, theoretically could go out  
6 and do anything it wanted and show up on our doorstep.

7 Okay? They usually come and visit us and talk to us  
8 and we talk about what we recommend. Sometimes they  
9 take those recommendations, sometimes they do not.  
10 They do not have to. They can design the trial they  
11 want. Now, for exclusivity, however, if it is a  
12 written request, then we say what trial design we want  
13 and I --

14 DR. YANCEY: Correct. That was vetted  
15 with the Division and the sponsor and the sponsor  
16 wanted a superiority design trial with the feeling  
17 based on the literature as well as the performance of  
18 the drug under investigation in other populations,  
19 specifically adult. They felt as if they potentially  
20 could succeed with that statistical design.

21 DR. NELSON: Tom?

22 DR. NEWMAN: Well, I think generally more  
23 information on the label is good. I particularly do  
24 appreciate the confidence intervals here. One  
25 suggestion would be, I had to spend a long time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at it to tell what the confidence intervals  
2 were of because there's no point estimate there and so  
3 normally they don't overlap zero, they don't overlap  
4 one. I'm trying to tell because -- so one suggestion  
5 would be to make it clearer what that is and it looks  
6 like it's the improvement in this change in functional  
7 ability, which is measured in unknown units, so I  
8 don't know whether point one and point two is a big  
9 change or a little change.

10 So something, either whatever the standard  
11 deviation for this measure, something to let me know  
12 whether point one or point two is clinically  
13 significant and something to indicate that that's what  
14 this confidence interval is of would be helpful but I  
15 appreciate -- I think that when these studies are done  
16 for exclusivity, in general, the goal should be to  
17 have the written request be for something that then  
18 would be able to be put on the label, that it will be  
19 that well done and that important that then, you would  
20 want to put it on the label.

21 DR. NELSON: Thanks, Tom. Bob?

22 DR. WARD: What goes in the label is  
23 clearly a moving target over the last decade and this  
24 is, I think, a very well-presented amount of  
25 scientific data but I would wonder if the network of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rheumatologist, for example, if they were presented  
2 with this, what their reactions would be, what their  
3 questions would be and would it communicate  
4 effectively to them. And that's a network that is  
5 accessible to you and I would suggest it doesn't --  
6 somebody doesn't even have to be there but it could be  
7 simply mailed to them with a question about what are  
8 your questions, does this communicate to you? Do you  
9 feel you can prescribe for this population that's very  
10 difficult to treat more effectively with this label?

11 DR. MURPHY: Bob, so you're asking us to  
12 mail to the group for a label -- for something that  
13 would not indicate it.

14 DR. WARD: Correct. But it may not be  
15 indicated but when you look at the comparison to  
16 placebo, it would appear that it is a very significant  
17 improvement. And I don't know the side effect profile  
18 of Arava compared to methotrexate well enough to say,  
19 "Gosh, I think this might be safer and therefore, I  
20 would be quite happy with let's say a 68 percent  
21 response rate with Arava rather than going for an 80  
22 percent response rate in methotrexate. But I would  
23 just wonder if the population that needs to use this  
24 information if they would feel that this was a really  
25 effective presentation of data to them. That's what I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 was thinking.

2 DR. YANCEY: In response to that, actually  
3 the information that was put into the PK section of  
4 the label was put in there because of that reason.

5 DR. WARD: Great, okay.

6 DR. NELSON: But I would also speculate  
7 that if you sought that advice, it would, A, have to  
8 be in a public forum and B, if you asked more than  
9 nine, it would have to go through the Office of  
10 Management and Budget; is that correct?

11 DR. WARD: At 11 you go to the White  
12 House, I think.

13 DR. NELSON: Dr. Gorman.

14 DR. GORMAN: A point of information; on  
15 the summaries for these studies that goes on the FDA  
16 website, would the active comparator be named and  
17 would the detailed information that Dr. Ward so  
18 desperately wants shared with the rheumatologist be  
19 available?

20 DR. MURPHY: The answer is, yes, I would  
21 hope so but the definition of summary, if you go up  
22 there and look, varies but yes, there should be a very  
23 thorough description of the trial and the outcomes and  
24 the adverse events and pharmacokinetics.

25 DR. GORMAN: So perhaps Dr. Ward could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just e-mail then the hyperlink and say they could look  
2 at it for their information.

3 DR. WARD: I'll do that.

4 DR. GORMAN: I knew you would.

5 DR. NELSON: Judith.

6 DR. O'FALLON: Just this business about  
7 the superior or the versus non-inferiority trials, it  
8 takes a lot fewer patients to do a superiority trial  
9 than a non-inferiority trial and so especially when  
10 you guys are not requiring that they show efficacy.  
11 The smart thing to do is to do a superiority trial  
12 because you can do it with far fewer patients than if  
13 you're going for a non-inferiority. And the reason is  
14 that in superiority you're looking for big difference  
15 and for non-inferiority trials, you're looking for  
16 little differences and if you're looking for little  
17 differences, you have to have big samples. Okay?  
18 It's counter-intuitive but that's the way it works.

19 DR. NELSON: And the answer to the  
20 question was, yes, methotrexate is named in the  
21 clinical summary. So it might have been raised the  
22 question whether you should put the website on all the  
23 labels, you know, the general website.

24 DR. MURPHY: Actually, that's a very  
25 interesting thought.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: Yeah, I mean, you know, just  
2 where to find it.

3 DR. MURPHY: Yeah, because there is so  
4 much more information for the very few pediatric  
5 studies that we have, yeah.

6 DR. NELSON: Mary, do you have a comment?

7 DR. GLODE: Well, just in response to  
8 Judith's comment, but if you go for superiority and  
9 you lose, you might wish you had gone for non-  
10 inferiority.

11 DR. NELSON: Victor?

12 DR. SANTANA: So I have hopefully a last  
13 question since we're a little bit over time here. And  
14 it has to do with -- maybe I was asleep when this was  
15 discussed earlier in the day but now we have three  
16 categories that we're going to put these adverse  
17 events into and then depending on the category, there  
18 will be a deep discussion in the committee or not.  
19 And I think it's intuitive the last one, right? I  
20 mean, abbreviated presentations, nothing new there.  
21 But I'm not sure that I understand the thresholds or  
22 the criteria that define the first two and how does --  
23 what is the new word, how does a standard then become  
24 an in-depth or, you know, can you clarify for us in  
25 public what criteria you're using to define those two?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MURPHY: Well, I can clarify the first  
2 two in that what we decided upon in our review, our  
3 discussion earlier which is those products where we  
4 think we see something possibly, we are going to bring  
5 those in -- for the adverse event part now, we're  
6 going to be bringing those in for discussion and try  
7 to focus on those where we think there might be  
8 something. The other we just described. What  
9 happened in this process, and Solomon can speak to  
10 this in more detail, is we may or may not want to keep  
11 doing this sort of in between thing. In other words,  
12 we were trying to also provide you additional  
13 information. That was one of the things, I think that  
14 went on here and Solomon can speak to what other  
15 criteria we used to decide to give you this one that  
16 was in between.

17 DR. IYASU: Well, I think whenever there's  
18 a clear suggestion from the reviewers that there is a  
19 potential safety issue, we're going to try to give you  
20 whatever information we have, as much detail-extensive  
21 information so that you can make some informed  
22 decision or advice to us. You can define maybe  
23 potential safety issues in many different ways but you  
24 know, we're concerned enough because it's either  
25 unlabeled and it's occurred in pediatric patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where -- and the severity may be higher or the  
2 frequency may be higher than what one would expect.

3 And if this was known to the pediatric --  
4 to the prescribing community, this safety issues, it  
5 can potentially influence their prescribing habits.  
6 Those are some of the things that may go into the --  
7 into some of the factors that we take into  
8 consideration but basically it's the post-marketing  
9 interviews that we have and in the context of what we  
10 know from the clinical trials. Now for the standard  
11 presentations, this is sort of what Dianne is saying  
12 is in between. Is there something that we would like  
13 to get some discussion on or inform you about new  
14 developments?

15 For example, for the Detrol issue, it was  
16 really the FDA's approach to addressing the safety  
17 issues raised by the prior year reviews and say,  
18 "We're taking some action on this", and really  
19 informing you that we've done some active labeling and  
20 we've started the process. So that is an important  
21 communication piece that needed to get out in the  
22 public domain and also get some input from you. For  
23 Cipro, as I say, I think in my presentation it wasn't  
24 more -- sort of a safety -- new safety issue. It was  
25 really a question of the severity. A very commonly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 prescribed drug. These are the range of things that  
2 we're seeing in the AERS report and maybe there are  
3 some knew insights out there that the committee can  
4 provide into this and so we decided to bring you this  
5 review because it's really a very commonly prescribed  
6 drug and we don't know how people know about this but  
7 not everybody knows about some of the severe cases  
8 that we saw and the fact that the context in which  
9 these reports have occurred has been for off-label  
10 use.

11 DR. SANTANA: And so what bumps you to an  
12 in-depth?

13 DR. IYASU: The in-depth is what I say, is  
14 like what I described first, that it has to be  
15 important enough and concerning enough that you know,  
16 if that information is known for example, and it's  
17 discussed and prescribers have that knowledge, that it  
18 might actually influence their prescribing habits.  
19 But really it's based on the available data that we  
20 have. We're not usually making causal inferences  
21 based on post-marketing. But there is a concern here  
22 that we wanted some input from you.

23 DR. MURPHY: Let me try it another way. I  
24 think what's happening is that what you heard that in  
25 between what we're calling sort of the standard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 overview are parts that we might lump into the  
2 abbreviated but we thought because this is a pediatric  
3 advisory committee involved with safety, if there was  
4 some activity that had gone -- already happened or was  
5 of interest that we, instead of our first time out, if  
6 you will with this new approach, we thought we would  
7 rather bring that to you and tell you we didn't really  
8 see anything or it already had been noted and taken  
9 care of and put in the label and inform you of it. So  
10 this is a group that, as I've mentioned, we're not  
11 really sure and you all -- we'll get feedback from you  
12 what we should do with it. Maybe we should put it in  
13 the abbreviate because we're not telling you we're  
14 seeing anything in the way of a safety signal.

15 DR. SANTANA: I asked the question because  
16 I'm speaking now as a public consumer who also uses  
17 medications and things like that. I was struck, as  
18 you well know, that there was an article in the Wall  
19 Street Journal this morning about what we're going to  
20 discuss tomorrow and so when I read that article based  
21 on what I've reviewed trying to come here, I was  
22 trying to weigh the judgment of why this particular  
23 medication that we're going to discuss in-depth, got  
24 bumped up to the next category after hearing  
25 everything that was presented today. And so as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 public consumer, I want some clarity how the agency,  
2 in terms of what criteria they're using to define  
3 these as more in-depth, I think that would be a good  
4 point to put out there.

5 DR. MURPHY: I think --

6 DR. SANTANA: It's not a negative comment,  
7 it's actually a positive comment.

8 DR. MURPHY: No, no, I think Solomon  
9 summarized it, that we think we see -- we saw  
10 unlabeled new events. It's a very commonly used  
11 product. We think it might impact the way people at  
12 least discuss a risk management. You know, so I think  
13 -- is there a category I forgot, but, you know, we  
14 just felt that this is one we could tell you that  
15 there's nothing going on and we needed to bring it to  
16 you and do it in-depth.

17 DR. NELSON: Thank you. I might point  
18 out, in Victor's behalf, that his reading of the Wall  
19 Street Journal did not bias him in terms of  
20 participating in tomorrow's discussion.

21 DR. SANTANA: It's a public document, I  
22 paid for it.

23 DR. NELSON: I've also been asked, since  
24 we meet tomorrow at 8:00 a.m. in this same room, that  
25 those of us who have wrappers and other bottles that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 we've consumed, if we could make sure that they find  
2 their way to a trash can so we have a nice -- and  
3 members of the audience, so that we have a nice clean  
4 room to come back to in the morning. So with that, I  
5 think we're adjourned.

6 (Whereupon, at 4:45 p.m. the above  
7 entitled matter concluded.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)