1	
2	
3	
4	
5	
6	
7	
8	
9	
10	
11	CENTERS FOR MEDICARE AND MEDICAID SERVICES
12	Medicare Evidence Development & Coverage Advisory
13	Committee
14	
15	
16	
17	
18	
19	
20	May 21, 2008
21	
22	Centers for Medicare and Medicaid Services
23	7500 Security Boulevard
24	Baltimore, Maryland
25	

- 1 Panelists
- 2
- 3 Acting Chair
- 4 Saty Satya-Murti, M.D., M.Sc.
- 5
- 6 Panel Members
- 7 Marion Danis, M.D.
- 8 Daniel D. Foley, M.D.
- 9 Mark D. Grant, M.D., M.P.H.
- 10 Spencer H. Kubo, M.D.
- 11 Stephen L. Ondra, M.D.
- 12 Stephen Pauker, M.D.
- 13 Andrew Sloan, M.D., F.A.C.S.
- 14 Jonathan P. Weiner, Ph.D.
- 15
- 16 Patient Advocate
- 17 Leslie B. Fried, J.D.
- 18
- 19 CMS Liaison
- 20 Louis Jacques, M.D.
- 21
- 22 Consumer Representative
- 23 Randel Richner, B.S.N., M.P.H.
- 24
- 25

# 1 Panelists (Continued) **Industry Representative** Jose Alvir, Dr.P.H. 6 Guest Panel Members Naomi Lynn Hurwitz-Gerber, M.D. Elliott J. Roth, M.D. 10 Executive Secretary Maria Ellis

000	04
1	TABLE OF CONTENTS
2	Page
3	
4	Opening Remarks
5	Maria Ellis/Louis Jacques, MD/
6	Saty Satya-Murti 6
7	
8	CMS Presentation and Voting Questions
9	Jean Stiller 11
10	Susan Miller, M.D. 17
11	
12	TA Presentation
13	Mark Oremus, Ph.D. 36
14	Pasquilina Santaguida, Ph.D. 46
15	
16	Guest Speaker Presentations
17	Pamela W. Duncan, Ph.D., F.A.P.T.A. 65
18	Stephanie A. Studenski, M.D., M.P.H. 88
19	
20	Scheduled Public Comments
21	Michael W. O'Dell, M.D. 102
22	Gad Alon, Ph.D., P.T. 107
23	Robert Mullen 111
24	Jennifer French 115
25	Mary Wagner, M.S., M.G.A. 119

000	05			
1	CONTENTS (Continued)			
2				
3	Open Public Comments			
4	Mark Pilley	122		
5				
6	Questions to Presenters	12	6	
7				
8	Initial Open Panel Discussion		172	
9				
10	Formal Remarks and Voting Que	estions		196
11				
12	Final Open Panel Discussion		218	
13				
14	Closing Remarks and Adjournment	ent	2	226
15				
16				
17				
18				
19				
20				
21				
22				
23				
24				
25				

1 PANEL PROCEEDI	1	PANFI	PRO	CFFD	INGS
------------------	---	-------	-----	------	------

- 2 (The meeting was called to order at 8:15
- 3 a.m., Wednesday, May 21, 2008.)
- 4 MS. ELLIS: Good morning and welcome,
- 5 committee chairperson, members and guests. I am
- 6 Maria Ellis, an executive secretary for the Medicare
- 7 Evidence Development and Coverage Advisory Committee,
- 8 MedCAC. The committee is here today to discuss the
- 9 evidence, hear presentations and public comments, and
- 10 make recommendations concerning the design and
- 11 methodological issues that challenge clinical
- 12 research regarding innovative neurorehabilitation
- 13 techniques. The meeting will discuss the various
- 14 kinds of evidence that are useful to support requests
- 15 for Medicare coverage in this field.
- 16 The following announcement addresses
- 17 conflicts of interest issues associated with this
- 18 meeting and is made part of the record. The conflict
- 19 of interest statutes prohibit special government
- 20 employees from participating in matters that could
- 21 affect their or their employers' financial interests.
- 22 Each member will be asked to disclose any financial
- 23 conflicts of interest during their introduction. We
- 24 ask in the interest of fairness that all persons
- 25 making statements or presentations also disclose any

- 1 current or previous financial involvement in a
- 2 company that manufactures or provides devices or
- 3 other tools for the research of innovative
- 4 neurorehabilitation. This includes direct financial
- 5 investment, consulting fees and significant
- 6 institutional support. If you haven't already
- 7 received a disclosure statement, they are available
- 8 on the table outside of this room.
- 9 We ask that all presenters please adhere
- 10 to their time limits. We have numerous presenters to
- 11 hear from today and a very tight agenda and therefore
- 12 cannot allow extra time. There is a timer at the
- 13 podium that you should follow. The light will begin
- 14 flashing when there are two minutes remaining and
- 15 then turn red when your time is up. Please note that
- 16 there is a chair for the next speaker and please
- 17 proceed to that chair when it is your turn.
- 18 For the record, voting members present for
- 19 today's meeting are: Marion Danis, M.D., Daniel
- 20 Foley, M.D., Mark Grant, M.D., Spencer Kubo, M.D.,
- 21 Stephen Ondra, M.D., Stephen Pauker, M.D., Andrew
- 22 Sloan, M.D., Jonathan Weiner, Ph.D., and Leslie
- 23 Fried, J.D. a quorum is present and no one has been
- 24 recused because of conflict of interests.
- 25 The entire panel including nonvoting

- 1 members will participate in the voting. The voting
- 2 scores will be available on our web site following
- 3 the meeting. Two averages will be calculated, one
- 4 for the voting members and one for the entire panel.
- 5 I ask that all panel members please speak
- 6 directly into the mikes. You may have to move the
- 7 mikes since we have to share. If you require a taxi,
- 8 there is a sign-up sheet at the desk outside of the
- 9 auditorium. Please submit your request during the
- 10 lunch break. And lastly, please remember to discard
- 11 your trash in the trash cans located outside of this
- 12 room.
- 13 And now I would like to turn the meeting
- 14 over to Dr. Louis Jacques.
- 15 DR. JACQUES: Thank you, Maria, and thank
- 16 you all for coming today. This clearly is a very
- 17 broad and complicated topic for a number of reasons,
- 18 including the fact that the underlying disease itself
- 19 is quite complex. If we manage to completely solve
- 20 everything to everybody's satisfaction today we will
- 21 have exceeded my expectations tremendously. If we
- 22 manage to make some progress and enlighten ourselves
- 23 and each other on this, then I think that is
- 24 certainly a reasonable goal.
- 25 To my immediate right chairing the

- 1 committee today is Dr. Saty Murti.
- 2 DR. SATYA-MURTI: I'm Dr. Saty
- 3 Satya-Murti, I am a neurologist and a consultant for
- 4 sometimes CMS and sometimes industry, and I have no
- 5 conflicts of interest for this particular meeting,
- 6 and I'm not representing any neurology societies or
- 7 academies.
- 8 I wanted to ask Maria, do I get to vote?
- 9 No, okay. I have been on these panels before but I
- 10 just wanted to be sure.
- 11 DR. DANIS: I'm Marion Danis, from the
- 12 Department of Bioethics at the National Institutes of
- 13 Health and run the ethics consultation service there.
- 14 I have no conflicts of interest.
- 15 DR. FOLEY: I'm Dan Foley, I'm an
- 16 emergency physician and I'm the medical director of
- 17 Allina Health System. I have no conflicts of
- 18 interest.
- 19 DR. GRANT: I'm Mark Grant, I'm an
- 20 associate director of BlueCross BlueShield
- 21 Association's technology evaluation center and I have
- 22 no conflicts of interest.
- 23 DR. KUBO: My name is Spencer Kubo, I'm a
- 24 cardiologist from Minneapolis-St. Paul. I'm also
- 25 global medical director for Acorn Cardiovascular. I

- 1 have no conflicts.
- 2 DR. ONDRA: I'm Steve Ondra, I'm a
- 3 professor of neurological surgery at Northwestern
- 4 University. I am a consultant to Medtronic and
- 5 receive research grants, but nothing in this area.
- 6 DR. PAUKER: I'm Steve Pauker, I'm from
- 7 Tufts, I have no conflicts, although sometimes I wish
- 8 I did have some.
- 9 (Laughter.)
- 10 DR. WEINER: I'm Jonathan Weiner, a
- 11 professor from here in Baltimore at Johns Hopkins
- 12 University. I have no direct conflicts of interest,
- 13 although within the Johns Hopkins University, I'm
- 14 sure among my 15,000 colleagues there may be.
- 15 MS. RICHNER: I'm Randel Richner, Neocure,
- 16 a private consultant on health economics and
- 17 reimbursement. I have no conflict in this particular
- 18 issue today.
- 19 DR. ALVIR: I'm Jose Alvir, I'm the
- 20 industry representative. I work for Pfizer. Pfizer
- 21 does not have any tools or devices for this
- 22 particular issue, although we do have drugs for
- 23 neurologic disorders.
- 24 MS. FRIED: I'm Leslie Fried, I'm employed
- 25 at the American Bar Association Commission on Law and

- 1 Aging, and I direct a joint project with the
- 2 Alzheimer's Association on Medicare coverage issues,
- 3 and I have no conflicts of interest.
- 4 DR. HURWITZ-GERBER: I'm Lynn Gerber, I'm
- 5 the director of the Center for the Study of Chronic
- 6 Illness and Disability at the George Mason University
- 7 in Fairfax, Virginia. I'm on the board of governors
- 8 of the Academy of Physical Medicine and Rehab but I'm
- 9 not representing them today and I have no conflicts
- 10 of interest.
- 11 DR. ROTH: Good morning. I'm Dr. Elliott
- 12 Roth, I'm chairman of physical medicine and
- 13 rehabilitation at Northwestern University School of
- 14 Medicine and chief academic officer at the
- 15 Rehabilitation Institute of Chicago and I have no
- 16 conflict of interest.
- 17 MS. STILLER: Good morning. I want to
- 18 thank you, chairman, panelists, invited guests and
- 19 members of the public. On behalf of the Centers for
- 20 Medicare and Medicaid Services, welcome to today's
- 21 MedCAC meeting on methodological issues and
- 22 evaluation of innovative approaches to stroke
- 23 rehabilitation.
- 24 I would like to take this opportunity to
- 25 introduce myself and the CMS analytic team

- 1 responsible for today's meeting. My name is Jean
- 2 Stiller, and my role is lead analyst for the project.
- 3 Stuart Caplan is a senior analyst on the team.
- 4 Dr. Susan Miller is the lead medical officer. And
- 5 Maria Ellis, who most of you already know, is the
- 6 MedCAC executive secretary. Dr. Louis Jacques is the
- 7 director of the Division of Items and Devices and Dr.
- 8 Steve Phurrough is the director of our Coverage and
- 9 Analysis Group.
- 10 There are two main goals for today's
- 11 MedCAC meeting. The first goal is to clarify the
- 12 design and methodological issues that challenge
- 13 research in the field of neurorehabilitation,
- 14 specifically in the area of stroke. The second goal
- 15 for today's meeting is to identify the desirable
- 16 characteristics of research trials in this arena.
- 17 Dr. Susan Miller will kick off today's
- 18 events with a presentation that focuses specifically
- 19 on the characteristics associated with
- 20 neurorehabilitation research, using stroke as the
- 21 incident disease.
- 22 Next we will hear a presentation by
- 23 Dr. Mark Oremus and Dr. Pasquilina Santaguida of the
- 24 McMaster University Evidence-Based Practice Center.
- 25 You will hear the details about the research they

- 1 conducted in response to the technology assessment
- 2 commissioned by the Agency for Healthcare Research
- 3 and Quality. The technology assessment is one of the
- 4 primary inputs used by the panelists to formulate
- 5 recommendations on today's topics. Panel members
- 6 were also provided with additional background
- 7 materials determined relevant to the subject matter.
- 8 Finally we will hear several presentations
- 9 from invited speakers and interested parties.
- 10 Questions posed to the MedCAC panel
- 11 consist of voting and discussion type questions. For
- 12 those questions in which panelists are asked to
- 13 express a degree of confidence, individual panel
- 14 members will be asked to respond with a score from
- 15 one to five; a score of five indicates that a panel
- 16 member is very confident in response to the question
- 17 posed, whereas a score of one indicates a complete
- 18 lack of confidence for that particular response.
- 19 Discussion type questions are not scored but allow
- 20 for a free exchange of ideas in the area surrounding
- 21 that particular topic.
- 22 I will now read aloud each of the 11
- 23 questions that the panel will later react to by
- 24 either casting an individual score in the case of the
- 25 voting type questions or discussing in detail for the

- 1 case of the discussion questions. Out of the 11
- 2 questions posed, nine questions will be scored. Two
- 3 questions, number 2 and 11, are for discussion
- 4 purposes only.
- 5 There is the tendency to generalize stroke
- 6 research to large heterogeneous populations. How
- 7 confident are you that the strategies below represent
- 8 meaningful comparators in observational studies? A,
- 9 protocol-driven usual treatment versus
- 10 protocol-driven usual treatment using the same
- 11 parameters plus the specified intervention. B,
- 12 patient him/herself before and after intervention.
- 13 C, patient him/herself before and after treatment,
- 14 then with treatment withdrawn and reinstated as
- 15 appropriate. D, non-protocol-driven usual care
- 16 versus intervention.
- 17 Panel Question Number 2: Large
- 18 prospective randomized trials are uncommon in this
- 19 field of medicine. Discuss how other study designs
- 20 can or cannot adequately account for potential
- 21 confounding factors such as: A, natural clinical
- 22 course of recovery. B, selection bias due to skill
- 23 level of therapist, comorbidities affecting both the
- 24 stroke etiology and course of recovery, ancillary
- 25 therapeutic resources, virtual home/community

- 1 environments, severity of illness. C, differing
- 2 assessment tools used across care settings, inpatient
- 3 rehabilitation facilities, skilled nursing
- 4 facilities, home health agencies, outpatient centers.
- 5 D, premorbid and cultural characteristics. E,
- 6 discharge settings and social support.
- 7 Panel Question Number 3. What is the
- 8 minimum period of time that interventions be followed
- 9 in order to identify a durable treatment effect? A,
- 10 zero to six months; B, six to 12 months; C, 12 to 18
- 11 months; D, greater than 18 months.
- 12 Panel Question Number 4. How confident
- 13 are you that each of the following outcome measures
- 14 is a reliable, valid and responsive indicator of
- 15 change in clinical trials that aim to improve an
- 16 individual's functional capacity in the performance
- 17 of ADLs, IADLs and locomotion/transfer abilities? A,
- 18 Barthel Index; B, six-minute walk; C, functional
- 19 independence measure; D, Fugl-Meyer Assessment.
- 20 Panel Question Number 5. How confident
- 21 are you that each of the following outcome measures
- 22 is a reliable, valid and responsive indicator in
- 23 clinical trials of therapies to improve an
- 24 individual's functional capacity in the performance
- 25 of language and communication skills? A, Aphasia

- 1 Quotient of the Western Aphasia Battery; B, Porch
- 2 Index of Communicative Ability.
- 3 Panel Question Number 6. How confident
- 4 are you that each of the following outcome measures
- 5 is a reliable, valid and responsive indicator in
- 6 clinical trials of therapies to improve an
- 7 individual's functional capacity in the performance
- 8 of swallowing? A, coughing/choking frequency during
- 9 a meal; B, video fluoroscopy.
- 10 Panel Question Number 7. How confident
- 11 are you that each of the following outcome measures
- 12 is a reliable, valid and responsive indicator in
- 13 clinical trials to assess patient, proxy, or
- 14 caregiver perceptions of the patient's health and
- 15 satisfaction with life and community reintegration?
- 16 A, Barthel Index; B, Modified Ashworth Scale; C,
- 17 EuroQol, quality of life for patient and caregiver.
- 18 Panel Question Number 8. How important
- 19 are caregiver burden and their narratives as indices
- 20 of successful rehabilitation?
- 21 Panel Question Number 9. How confident
- 22 are you that these conclusions can be generalized to
- 23 community practice settings outside the context of
- 24 specialized treatment centers?
- 25 Panel Question Number 10. How confident

- 1 are you that these conclusions can be generalized to
- 2 the population of Medicare beneficiaries?
- 3 Panel Question 11. What are the gaps in
- 4 the current evidence on stroke rehabilitation
- 5 therapies and Medicare beneficiaries?
- 6 Finally, I would like to introduce my
- 7 colleague, Dr. Susan Miller, who is the physician
- 8 lead medical officer on this project. Susan is board
- 9 certified in physical medicine and rehabilitation and
- 10 has been in community and academic settings for over
- 11 20 years.
- 12 DR. MILLER: Thank you, Jean. Good
- 13 morning to all and welcome to today's MedCAC. We
- 14 hope that you find this meeting to be a productive
- 15 one. As the field of neurorehabilitation is
- 16 evolving, CMS is constantly being requested to
- 17 consider new technological devices for coverage
- 18 consideration. When faced with these questions, CMS
- 19 considers, does the new technology, be it a device, a
- 20 procedure or a therapy, produce a clinically
- 21 significant benefit? Does it do more good than harm?
- 22 And are these answers generalizable to our
- 23 beneficiaries, who are of course those who are over
- 24 65 years of age, those who are disabled and those
- 25 with end stage renal disease.

- 1 In order to answer these questions, CMS
- 2 takes an evidence-based approach to the
- 3 decision-making process and looks for quality
- 4 research which in general we believe should include
- 5 at least a specific clinical question to examine, a
- 6 study design that will answer that question well,
- 7 both defined interventions and comparators,
- 8 appropriate measures of outcome, confidence that the
- 9 execution of the study promotes a truthful answer to
- 10 the question, and of course, again, useful answers
- 11 that are applicable to our population group.
- 12 The field of neurorehabilitation
- 13 technology is too large to cover in today's MedCAC
- 14 and so we have chosen stroke and its associated
- 15 technology as our incident disease. We thought it
- 16 made sense to use stroke because in the United States
- 17 alone, approximately 780,000 individuals experience a
- 18 stroke each year. Three-quarters of these strokes
- 19 occur in those who are 65 years or older. 600,000 of
- 20 these strokes are primary attacks, 180,000 are
- 21 recurrent. Stroke is not only a leading cause of
- 22 death in this country, it is also an incredibly
- 23 disabling disease. Among those who are 65 years or
- 24 older and are six months out from their stroke, it
- 25 has been estimated in at least one study that over 30

- 1 percent require some sort of assistance in ambulation
- 2 and 20 percent were dependent in some way in their
- 3 activities of daily living.
- 4 A stroke occurs when the brain is deprived
- 5 of oxygen and other nutrients. The most common type
- 6 of stroke is known as an ischemic one. Ischemic
- 7 strokes happen mainly when a blood vessel in the
- 8 brain is clogged off by usually a combination of
- 9 atherosclerosis and blood clots. In a hemorrhagic
- 10 stroke a blood vessel bursts, causing damage by
- 11 either leaking blood around or into the brain.
- 12 In either case, depending on where in the
- 13 brain the harm is done, the effects of a stroke can
- 14 include one or a combination of the following:
- 15 Weakness or paralysis, usually on one side of the
- 16 body; sensory abnormalities, again usually but not
- 17 always on one side of the body; spasticity, meaning
- 18 that the arm or leg can become very rigid and even
- 19 move involuntarily; dysphasia or impaired swallowing;
- 20 neglect, lack of awareness on usually the left side
- 21 of the body and of the environment on that side.
- 22 Strokes can also cause communication difficulties,
- 23 including trouble speaking or comprehending language.
- 24 Strokes cause visual disturbances, loss of control of
- 25 bowel and bladder, and they also cause cognitive

- 1 impairment, meaning that there are difficulties with
- 2 attention, planning, reasoning, problem solving, and
- 3 very importantly, learning. A stroke can cause one
- 4 to have balance difficulties, emotional and
- 5 behavioral changes. Stroke is often associated with
- 6 depression and impulsivity. And strokes can also
- 7 cause spatial perception impairment, meaning that
- 8 there can be difficulties in a person's ability to
- 9 judge distance, perhaps they will also confuse right
- 10 or left, or have trouble figuring out how to put on
- 11 their clothes.
- 12 It is estimated that there are more than
- 13 five million stroke survivors living in our country
- 14 today, and though there are many technologies out
- 15 there designed to improve the capability of
- 16 individuals who have experienced a stroke, CMS must
- 17 determine if there exists a clinically meaningful
- 18 benefit to the various medical technologies
- 19 presented. A good part of this decision is based on
- 20 the review of the data that is found in the medical
- 21 research literature. Therefore it is important to
- 22 ask, what are the key methodologic issues that
- 23 challenge research in the field of neurorehab in
- 24 general and strokes specifically.
- 25 In order to help answer this question CMS

- 1 commissioned a technology assessment to review and
- 2 critique the current stroke literature. The TA, as
- 3 it is otherwise called, concentrated not on the
- 4 treatment methods found in the literature but instead
- 5 on the methodology used to study those treatments,
- 6 attempting to identify the more robust
- 7 characteristics of quality research investigations.
- 8 The TA looked at the stroke literature to
- 9 analyze these datapoints that you see here, which we
- 10 all agreed upon to be important in the evaluation of
- 11 research in this field. As we have a number of
- 12 persons in our audience today who do not do research,
- 13 let me briefly define and discuss each of these
- 14 points for you. The study design describes the
- 15 approach that the research will take to the question
- 16 that is asked. Now when a new technology is being
- 17 tested it is compared against, for lack of a better
- 18 term, the old way of doing things.
- 19 Oftentimes the type of study design used
- 20 for clinical research such as this is a randomized
- 21 controlled trial, where at least two groups of
- 22 patients are chosen for investigation, one group uses
- 23 the old technology, the other uses the new, and at
- 24 the end of the studies the groups are evaluated to
- 25 see which did better. Theoretically if the group

- 1 using the new technology did better than the one that
- 2 didn't, then we should think that the improvement
- 3 seen was because of the new technology, but this is
- 4 not always the case, as we will discuss.
- 5 Nonetheless, because the randomized
- 6 controlled trial is so common in the literature, we
- 7 have chosen to examine its characteristics today. We
- 8 have also chosen to look at one of its cousins, the
- 9 systematic review. Systematic reviews are a type of
- 10 study design in which a literature search of relevant
- 11 articles on the same specific topic are performed and
- 12 then analyzed for predetermined key characteristics.
- 13 If these specific characteristics are found, it
- 14 allows the results of many different small studies,
- 15 again, all on the same topic, to be combined,
- 16 summarized and interpreted as a larger whole.
- 17 Frequently systematic reviews search randomized
- 18 trials for this purpose and so we are discussing both
- 19 types of research design today.
- 20 Before I go on, however, I do want to
- 21 emphasize that there are numerous other study designs
- 22 that may be applicable to medical research, some of
- 23 which are noted here. That we are using randomized
- 24 controlled studies and systematic reviews today as
- 25 our discussion platform does not negate the potential

- 1 of other appropriately chosen study designs to also
- 2 provide answers to our questions. The use of
- 3 randomized controlled trials may very well be
- 4 unsuitable in certain indications, and as you just
- 5 saw, today our panel will be discussing other
- 6 research design strategies.
- 7 The larger point that I am making here is
- 8 that study designs and all the other specific
- 9 examples that are used to illustrate our data points
- 10 today have their own unique advantages and
- 11 disadvantages that make them applicable or not to
- 12 various situations. CMS does not endorse design
- 13 methods, outcome measures or the like as appropriate
- 14 to use. Instead, our discussion today is to focus on
- 15 those over-arching design and methodologic concerns
- 16 that we all need to bear in mind in order to make the
- 17 best coverage decisions possible for our
- 18 beneficiaries.
- 19 Now having said that, let's return to our
- 20 example, the randomized controlled trial. One of the
- 21 reasons researchers use randomization is that if it
- 22 is applied properly, it lessens bias. Bias is
- 23 anything that can affect the results of a clinical
- 24 investigation, anything besides the intervention that
- 25 you are studying. In the randomization process the

- 1 investigator is trying to make his or her two
- 2 separate groupings of people as comparable as
- 3 possible, so that at the end of the clinical trial,
- 4 if one group is found to have a better outcome than
- 5 the other, we should again be able to logically
- 6 conclude with some confidence that one intervention
- 7 is better than the other.
- 8 In many of the studies that we see at CMS
- 9 the research groups are randomized, usually for age
- 10 and gender. However, people who have had strokes are
- 11 a heterogeneous or diverse population. Therefore in
- 12 a randomized controlled trial, it may not be enough
- 13 to just randomize only for age and gender. You may
- 14 have to consider other factors like the etiology or
- 15 the cause of the stroke, the type of injury caused by
- 16 the stroke and the functional and emotional
- 17 consequence of that injury. You may have to consider
- 18 the health status of your subjects, their social and
- 19 cultural environments, all depending on the
- 20 characteristics which might affect the outcome of
- 21 your study.
- 22 Consider for example that after a stroke,
- 23 people commonly have trouble walking. The cause of
- 24 this could be for one of many reasons. Some people
- 25 can't walk because of either muscle weakness or

- 1 paralysis. Others can't walk because of spasticity,
- 2 others not because they can't move their legs but
- 3 because they can't coordinate this movement with the
- 4 rest of their body. Some can't walk because they
- 5 can't understand the words that their therapists are
- s using to try to communicate to them as they reeducate
- 7 them in the skill. And some people may need more
- 8 practice than they can receive in their therapy
- 9 program, but they don't have family members or
- 10 friends who can help them with this. And some people
- 11 come to their stroke with multiple medical problems
- 12 like heart and lung disease that limit their
- 13 capability to walk again no matter how hard they try
- 14 or how much they attempt to practice.
- 15 Any one of these or similar factors can
- 16 influence the outcome of a study, yet be external to
- 17 the actual effect of the intervention. Therefore, it
- 18 is important to think of these factors at the
- 19 beginning planning stages of research in order to be
- 20 able to present unbiased results at its conclusion.
- 21 Now, suppose you have gone to all the
- 22 trouble to make certain that your groups have been
- 23 successfully randomized and therefore are comparable
- 24 to each other at the beginning of your trial. You
- 25 certainly would want to keep them that way throughout

- 1 the entire study except of course for the
- 2 intervention itself. And so the groups have to be
- 3 treated equally during the trial.
- 4 We have noted that in the area of
- 5 neurorehab research there are some different and
- 6 perhaps unique types of factors that can cause
- 7 difficulty in this area. Oftentimes technology needs
- 8 to be taught to patients during therapy sessions. So
- 9 some of the challenges that can factor into a
- 10 neurorehab study result are represented by questions
- 11 like, did the two groups receive the same type of
- 12 therapy save for the intervention itself? Did they
- 13 receive pertinent therapies in the same order? Did
- 14 the study groups receive the same frequency,
- 15 intensity and duration of therapy? Did the patients
- 16 obtain their treatments and final evaluations from
- 17 professionals with equal levels of skill and
- 18 experience? Did they all perform their therapies in
- 19 the same type of setting, and if performed in
- 20 different institutions of the same type, did all the
- 21 institutions in the trial provide their patients with
- 22 the same resources? Granted, these variables are
- 23 very difficult to tackle in a clinical trial, but do
- 24 need to be addressed in some fashion to provide
- 25 confidence that every attempt has been made to

- 1 separate the impact of outside influences or unequal
- 2 treatment from the actual results of the
- 3 investigation.
- 4 Another factor that can undermine the
- 5 quality of research by causing an unintentional
- 6 difference between the two groups is that the
- 7 individuals participating in the study may be at
- 8 different points in time in terms of their recovery
- 9 from their strokes. Physicians are aware that some
- 10 patients can show the ability to recover either fully
- 11 or in part spontaneously, meaning without medical
- 12 help of any kind after a stroke, usually over the
- 13 first six to 12 months.
- 14 So suppose for example more people who
- 15 were recovering naturally were in the intervention
- 16 group, as opposed to the group that used the old
- 17 technology, and suppose at the end of the study the
- 18 intervention group did better than the group using
- 19 the old technology. CMS would ask, was the better
- 20 outcome because of the new technology used, or would
- 21 it have happened anyway because the people in the
- 22 group were improving on their own. So to make
- 23 certain that, again, the intervention is the only
- 24 effect influencing the results of the study,
- 25 investigators must consider an appropriate strategy

- 1 in their study design to account for timing of
- 2 recovery.
- 3 There can be many other sources of bias in
- 4 a research study. One of the most devious is
- 5 opinion. Most of us if included in a research study
- 6 would come to the table with some preconceived
- 7 notions. For example, it might be my opinion that
- 8 because the technology is new or expensive or has
- 9 been heavily marketed, that it just has to be better
- 10 than the old way of doing things. If I then know
- 11 that I am receiving the experimental intervention as
- 12 opposed to the same old same old, I might just feel
- 13 better because I expect to, that's just human nature.
- 14 But it is, however, not a fair evaluation of the
- 15 technologies at hand.
- 16 Therefore, consideration needs to be
- 17 included in the study to reduce this sort of biased
- 18 effect. Where possible, this can be accomplished
- 19 through blinding. Blinding or masking, as some
- 20 people call it, means that the people who have a
- 21 stake in the study like the investigator and the
- 22 patient do not know who is getting the new treatment
- 23 and who is not until the study is completed. Again,
- 24 this can help prevent an external influence like
- 25 opinion from interfering with the actual facts of how

- 1 the study results are interpreted. Admittedly,
- 2 though, blinding is sometimes difficult to accomplish
- 3 in the study of new technology, but the concept
- 4 cannot just be rejected out of hand immediately.
- 5 Again, patient selection criteria are also
- 6 important to consider when planning a study. It
- 7 doesn't make sense to include in your study groups
- 8 people who are not typical of the real world
- 9 population that will be expected to use the new
- 10 technology if it is approved. Therefore, CMS needs
- 11 to know the relevancy of the procedure presented to
- 12 its beneficiaries, those who are 65 or older, those
- 13 who are disabled, and those who are experiencing
- 14 end-stage renal disease. Inclusion of these folks
- 15 into any study submitted to CMS should be considered
- 16 so that we can see the generalizable nature of your
- 17 work to the Medicare population.
- 18 Another concern for CMS is when progress
- 19 should be measured. CMS is mindful of the durability
- 20 of any effect that a new technology might have. A
- 21 durable study result is one that is relatively long
- 22 lasting, it gives an idea to us of the usefulness of
- 23 the technology. Many times study findings are
- 24 measured at the beginning of the period of treatment,
- 25 perhaps during that period, and finally at the end of

- 1 the treatment period, and then never again. In this
- 2 case we would have no information to evaluate these
- 3 findings, to see if they are really useful to our
- 4 patients not just while they're undergoing treatment,
- 5 but also in their normal surroundings as they go
- 6 about living their lives again. Durability of an
- 7 outcome is a helpful means for us by which to
- 8 evaluate clinical benefit.
- 9 The choice of a comparator is also very
- 10 important in any study. What is a comparator? Well,
- 11 consider that if a new technology is supposed to be
- 12 better, then it's supposed to be better than what,
- 13 and it is the what that is the comparator. The
- 14 definition of an ideal comparator is the best
- 15 available treatment in the field, given together with
- 16 the best overall care of the patient. Because when
- 17 undertaking a clinical trial of any study design, the
- 18 investigators do so because they are reasonably
- 19 uncertain if technology A or technology B provides
- 20 the better service to the patient. After all, no
- 21 patient should be subjected to a research group where
- 22 it is already certain that one treatment is inferior
- 23 to the other. So ideally the comparator should be no
- 24 worse than the most effective treatments already on
- 25 the market. To evaluate a new technology against a

- 1 comparator already known to be substandard in its
- 2 treatment abilities would make a study at its best
- 3 greatly flawed, and at its worst, unethical.
- 4 And as we are all trying to decide if new
- 5 and improved is better than old, just how do we do
- 6 that? What is it that is measured to demonstrate the
- 7 success of a new technology? There are at least
- 8 hundreds of outcome measures that have been used in
- 9 the field of neurorehabilitation to gauge patient
- 10 improvement or the lack therefor, but different
- 11 outcome measures provide different types of
- 12 information. How do you choose?
- 13 Particularly in the realm of technology
- 14 research, CMS is looking for outcome measures that
- 15 describe a clinically relevant result. Clinically
- 16 relevant is a difficult term to get your arms around.
- 17 I just want to bring to your attention an example of
- 18 a framework that can help you consider this concept
- 19 as you go about choosing your outcome measures. The
- 20 World Health Organization's International
- 21 Classification of Functioning Disability and Health,
- 22 or the ICF as it's known, considers three levels of
- 23 functioning and the interconnected environmental
- 24 background that are important in describing the
- 25 health and health-related status of any given person. The

- 1 three levels of functioning basically describe the
- 2 bodily structure of the individual, the whole person,
- and then the whole person within his or her social
- 4 context.
- 5 The first level of functioning called body
- 6 functions and structures relates to just that, the
- 7 physiologic processes and anatomic parts of our
- 8 bodies and the changes that occur after our bodies
- 9 are affected by injury or disease. Some examples of
- 10 the components of this area are our mental processes,
- 11 our muscle power, our muscle strength, our visual
- 12 function, our joint mobility, range of motion, and
- 13 the status of our muscle tone.
- 14 The second level of ICF functioning
- 15 considers the person as a unit or as a whole and is
- 16 pretty much defined by the activities or tasks we
- 17 perform, whereas the ICF's third level of
- 18 description, functioning of an individual within the
- 19 context of society, is portrayed by the way we
- 20 interact or participate in society, the roles we play
- 21 among our family, our friends and our employers, for
- 22 example.
- 23 These are a few examples of the activities
- 24 and relationships that we all engage in that further
- 25 define these two realms, the activities realm and the

- 1 participation realm of the ICF. As you can see, they
- 2 denote our basic activities of daily living like
- 3 dressing and walking and toileting, as well as our
- 4 communication skills and the relationships we have
- 5 within our families and our communities.
- 6 The ICF looks at all these levels of
- 7 functioning, not only as a continuum but also as a
- 8 set of forces that have effects upon each other.
- 9 Different research questions might be considered as
- 10 ways of moving between these levels of functional
- 11 definitions. Which outcome measures are chosen for
- 12 study, then, depends on the question being asked.
- 13 Particularly in the realm of neurorehab technology
- 14 research, CMS is mostly looking for outcomes and
- 15 outcome measures that describe a result that is
- 16 helpful to the patient by improving their ability to
- 17 perform some sort of task that represents an activity
- 18 of daily living. Usually, then, that outcome measure
- 19 must be descriptive of a function that is related to
- 20 personal care or independent living.
- 21 So for example, it might be nice if you
- 22 looked for, in a new device, you look for something
- 23 that increases leg strength or joint mobility in a
- 24 stroke patient, strength and range of motion being
- 25 measures of bodily structure and function. But what

- 1 might be really interesting is if this documented
- 2 gain in strength or joint mobility was sufficient to
- 3 allow the individual to walk further or to get on and
- 4 off a commode, and do either or both of these two
- 5 tasks with more independence and increased safety
- 6 than before. So in your study it would be reasonable
- 7 to choose outcomes that would measure the
- 8 accomplishment of both bodily function and activities
- 9 in the ICF framework.
- 10 My point here is that as you think about
- 11 the outcome measures you will use in your data
- 12 collection, it makes sense to bear in mind the
- 13 interrelationships between all the health-related
- 14 consequences of a disease process, and consider
- 15 taking into account several types of measurements
- 16 that have relevance to your study question and to the
- 17 functional concerns of your patient population.
- 18 Which outcome measures are used depends of
- 19 course on the goals of the study, and would certainly
- 20 be expected to be different for different research
- 21 questions. But the outcome measures chosen do need
- 22 to have scientific credibility, and that credibility
- 23 should be authenticated in most circumstances for use
- 24 in the population to which the measures will be
- 25 applied. And certainly in larger populations of

- 1 impaired individuals such as those who have
- 2 experienced a stroke, these outcome measures should
- 3 be authenticated specifically.
- 4 Within that realm CMS will pay attention
- 5 to these characteristics of the outcome measures at
- 6 least. Their validity, the ability of the outcome
- 7 measure to measure what it says it's supposed to
- 8 measure. The reliability, the degree to which the
- 9 measurement provides consistent and reproducible
- 10 results when it's used in equivalent conditions. And
- 11 the responsiveness of the measure, the degree to
- 12 which it can detect change. We also will want to
- 13 know that that change is not trivial but is
- 14 important, significant and worthwhile to the
- 15 healthcare status of the patient. These
- 16 characteristics of outcome measures are known as
- 17 their psychometric properties and they are absolutely
- 18 essential to the demonstration of a useful purpose of
- 19 a new technology.
- 20 I hope that I have now brought everyone up
- 21 to speed as to why we are here today, as well as
- 22 given enough background material to make today's
- 23 presentations informative. Let me close by saying
- 24 that an event like this does not happen through the
- 25 efforts of only a few people, and I would like to

- 1 thank my CAG team who have supported me through this
- 2 endeavor. I would also like to thank our
- 3 contributing team members from the Agency for
- 4 Healthcare Research and Quality. I would also like
- 5 to thank those who diligently worked on the
- 6 technology assessment, and finally, last but not
- 7 least, we thank our MedCAC panel members who today
- 8 will recommend to all of us how to best improve and
- 9 interpret the information that Medicare utilizes to
- 10 enhance the healthcare outcomes of our beneficiaries.
- 11 At this point I want to say thank you to
- 12 all of you, and I would like to introduce to you
- 13 Dr. Mark Oremus and Dr. Lina Santaguida of the
- 14 McMaster University Evidence-Based Practice Center.
- 15 They will be presenting our technology assessment.
- 16 DR. OREMUS: Good morning everyone, it's a
- 17 pleasure for both Dr. Santaguida and myself to be
- 18 here today to speak to you about our technology
- 19 assessment, and we'll get right into it here with a
- 20 brief background. Dr. Miller had already mentioned
- 21 some of these things so I will go through the next
- 22 couple of slides very quickly.
- 23 In the United States there are
- 24 approximately 600,000 incident cases of stroke
- 25 annually, and strokes are the third leading cause of

- 1 death in the U.S. They are also the second most
- 2 common cause of disability. Two-thirds of the
- 3 persons who suffer a stroke will actually survive the
- 4 stroke episode but half of the survivors go on to
- 5 have permanent disabilities.
- 6 The clinical consequences of stroke are
- 7 variable and they are influenced by the location of
- 8 the stroke in the brain and by the extent of cell
- 9 damage, and the complications from stroke span a wide
- 10 range of domains. For the purposes of our technology
- 11 assessment we were interested in six of these domains
- 12 which I have listed there, ambulation, quality of
- 13 life, activities of daily living, cognition,
- 14 communication, and dysphagia.
- 15 The efficacy of stroke rehabilitation
- 16 interventions should be evaluated using
- 17 evidence-based practice, and that is the use of the
- 18 best available evidence to make decisions about
- 19 patient care, and this maps into the methodologic
- 20 flavor of this meeting, because obviously a strong
- 21 research methodology is going to enhance the quality
- 22 of the evidence.
- 23 So the purpose of our technology
- 24 assessment was to evaluate the methodological quality
- 25 of published studies in stroke rehabilitation and we

- 1 used a series of eight evaluation criteria. Just to
- 2 resolve any confusion, Dr. Miller had mentioned ten
- 3 criteria, but on this slide some of those ten
- 4 criteria are simply combined, so that's why we have
- 5 eight criteria here, but it's the same as was
- 6 outlined in the previous presentation. So our
- 7 evaluation criteria included things such as study
- 8 design, patient selection, randomization and
- 9 blinding, and others which I won't go through right
- 10 now but we'll talk about them as we progress through
- 11 the presentation.
- 12 We decided to examine the published
- 13 literature to assess the methodological quality of
- 14 studies in stroke using two methods. The first
- 15 method is called purposive sampling and the second
- 16 method was a review of reviews.
- 17 For the purposive sampling approach we
- 18 searched three medical databases over the last five
- 19 to eight years to obtain up to 20 of the most
- 20 recently published articles in our six domains of
- 21 interest. I say up to 20 because in some instances
- 22 we could not find 20 articles, and when we found more
- 23 than 20 articles we decided to cap the number at 20,
- 24 again, because our interest was in methodology and we
- 25 felt that more recently published articles would be

- 1 more likely to have more of the cutting edge, most
- 2 up-to-date methodology used in this particular area.
- 3 So how do we go about getting the
- 4 articles? Well, we did our database searches and
- 5 retrieved a certain number of citations. So we
- 6 decided to screen these citations using several
- 7 inclusion and exclusion criteria. We went through
- 8 two levels of screening, the first being a title and
- 9 abstract level. Articles that passed that level of
- 10 screening went on to what we called full text
- 11 screening. And articles that passed the full text
- 12 screening level were abstracted, and we abstracted
- 13 data on those eight evaluation criteria.
- 14 For our results of the purposive sampling
- 15 we summarized the abstractive data into tables and
- 16 charts and we also selected two studies from each of
- 17 the six domains of interest and we provided in-depth
- 18 summaries and descriptions of those two studies.
- 19 The second methodological approach we used
- 20 in our technology assessment was called a review of
- 21 reviews, and in order to conduct the review of
- 22 reviews, we basically assessed the methodology of
- 23 systematic reviews and the individual studies that
- 24 were appraised within these reviews. To obtain
- 25 systematic reviews we searched three medical

- 1 databases over the last eight years to obtain
- 2 relevant studies and the methodological quality of
- 3 our systematic reviews and the studies in those
- 4 reviews were summarized in tabular form.
- 5 So our results: For the purposive
- 6 sampling approach, our initial literature search
- 7 retrieved 1,674 citations, and 127 of those citations
- 8 passed our first level of screening. Of those 127,
- 9 12 were duplicates, four were outside the range of
- 10 the 20 most recently published studies in a domain,
- 11 and one of the citations was just not retrievable, so
- 12 110 advanced to full text screening. And at the full
- 13 text screening stage we excluded a further 11, so we
- 14 abstracted 99 articles.
- 15 So now I'm going to go through a broad
- 16 summary of what we found in terms of the methodology
- 17 of those 99 abstracted studies. So in this slide
- 18 here you can see that most of the 99 studies were
- 19 randomized controlled trials. In terms of patient
- 20 selection, all but two of the 99 studies reported
- 21 both the inclusion and exclusion criteria used to
- 22 select their sample, as well as rudimentary sample
- 23 characteristics such as age and sex. In the quality
- 24 of life and dysphagia domains, there was one study
- 25 each that only reported one of those two categories,

- 1 but at least all of the studies reported one.
- 2 In terms of randomization, almost of the
- 3 all of the randomized controlled trials indicated in
- 4 the methods section that their studies were actually
- 5 randomized, and there was 100 percent reporting in
- 6 all of the six domain areas except for quality of
- 7 life and dysphagia.
- 8 In terms of blinding in the randomized
- 9 controlled trials, approximately 75 percent of the
- 10 RCTs reported that there was some blinding. However,
- 11 in the cognition domain reporting was poorest with
- 12 less than 50 percent of the authors of these studies
- 13 reporting that there was blinding.
- 14 Now looking at blinding in terms of both
- 15 the randomized controlled trials and the
- 16 observational studies which can include case control
- 17 or cohort studies, approximately 75 percent of all of
- 18 the studies described the type of blinding, and what
- 19 I mean by type of blinding is they indicated who was
- 20 blinded, was it just the outcome assessor or the
- 21 outcome assessor and the patient.
- 22 So now this slide will actually reveal to
- 23 you what type of blinding was used in the study. So
- 24 approximately 60 percent of the studies contained
- 25 blinded outcome assessors, that is, the person who

- 1 was assessing how the patient was performing was
- 2 blinded. Patients, healthcare providers and data
- 3 collectors were reported as blinded in less than 50
- 4 percent of the studies.
- 5 Approximately 80 percent of the studies
- 6 identified the professional background of the person
- 7 who was charged with actually delivering the
- 8 rehabilitation therapy. In terms of the timing of
- 9 the intervention, that is at what time post stroke is
- 10 the intervention actually first delivered, the timing
- 11 varied widely. Generally it fell within a range of
- 12 zero to three months post stroke, but many studies
- 13 did not report the timing of the intervention post
- 14 stroke.
- 15 In ambulation, 100 percent of the studies
- 16 actually did go and report the timing. For frequency
- 17 and duration of intervention, a majority of the
- 18 studies reported both the frequency and the duration
- 19 of the rehabilitation therapy. However, half of the
- 20 studies in the dysphagia domain reported neither. In
- 21 terms of length of patient follow-up, again, they
- 22 varied widely from study to study and from domain to
- 23 domain. Typical follow-up was between one to 12
- 24 months. However in the quality of life domain,
- 25 follow-ups tended to last longer than 12 months.

- 1 Eight of the 99 abstracted studies contained no
- 2 reported length of follow-up whatsoever.
- 3 Regarding prior and concomitant treatment,
- 4 slightly more than half of the studies did not report
- 5 prior or concomitant treatment that might be relevant
- 6 when you're assessing the efficacy of stroke
- 7 rehabilitation therapy. This was the poorest
- 8 reported key characteristic of the 99 abstracted
- 9 studies.
- 10 In terms of the standard treatment
- 11 comparator, virtually every study contained some
- 12 report of details of the standard treatment and
- 13 that's why, because reporting was so good in this
- 14 area, that we did not give a graph.
- 15 For psychometric properties, we can see
- 16 that in the ambulation domain there were 45 different
- 17 instruments used in the studies of ambulation.
- 18 Approximately 20 of these studies contained reports
- 19 of whether the instruments had their psychometric
- 20 properties in stroke. Now I have to clarify
- 21 something here. When we assessed whether these
- 22 instruments had psychometric properties in stroke, we
- 23 looked at whether the authors of the abstracted
- 24 studies or the authors of the systematic reviews
- 25 reported whether the instruments they used actually

- 1 had their psychometric properties in stroke. So
- 2 approximately 20 in ambulation had psychometric
- 3 properties as reported by the authors of the
- 4 ambulation studies.
- 5 For quality of life, again, 45 different
- 6 instruments were used to assess quality of life, and
- 7 approximately 25 had psychometric properties in
- 8 stroke. Very, very few of the authors reported
- 9 details of whether the instrument they used had an
- 10 established minimum clinically important difference
- 11 in stroke.
- 12 In terms of activities of daily living,
- 13 there were 25 different instruments used and
- 14 approximately 15 were reported to have psychometric
- 15 properties in stroke.
- 16 For cognition we're back up to that number
- 17 of 45 instruments, but only three instruments were
- 18 said by study authors to have had psychometric
- 19 properties in stroke. I should also point out that
- 20 more instruments may have had psychometric properties
- 21 established in stroke, but we are relying on whether
- 22 the authors of the studies indicated so. And none of
- 23 the authors of the activities of daily living or
- 24 cognition domain studies reported whether any of the
- 25 instruments they used had established minimum

- 1 clinically important differences in stroke.
- 2 For the communication and dysphagia
- 3 domains, there was absolutely no information
- 4 presented by study authors on whether any of the
- 5 instruments they used had psychometric properties in
- 6 stroke.
- 7 For ICF domains we looked at whether the
- 8 authors of the studies reported what domains of ICF
- 9 the instruments they used mapped onto, and when the
- 10 authors of these studies made these reports, we found
- 11 that the instruments they used tended to map onto one
- 12 of three ICF components, function, activity or
- 13 participation. So looking at the four domains where
- 14 psychometric properties were reported for stroke, in
- 15 the ambulation, quality of life, activities of daily
- 16 living and cognition categories, we can see that a
- 17 majority or a plurality of the instruments used were
- 18 not mapped onto an ICF domain by the authors of the
- 19 studies.
- 20 For three of these four domains, activity
- 21 was the most popular category of ICF when the
- 22 instrument was mapped onto such a category, the one
- 23 exception being in cognition where we only had three
- 24 instruments where psychometric properties were
- 25 identified, and so two of them mapped onto the ICF

- 1 category of function.
- 2 I also talked at the outset of the
- 3 presentation that we did an in-depth summary and
- 4 review of two studies per domain. We found that when
- 5 we reviewed these in-depth studies, 12 in total, we
- 6 found that the methodologies of these studies
- 7 generally coincided with the aggregative results that
- 8 I just presented. So for the purposes of this
- 9 presentation, I'm not going to present the results of
- 10 the summaries of the specific studies.
- 11 So the next section of the presentation is
- 12 going to discuss the results of the review of reviews,
- 13 and my colleague Dr. Santaguida is going to take over
- 14 and she's going to present the review of review
- 15 results. She's also going to present our discussion
- 16 and our conclusions.
- 17 DR. SANTAGUIDA: Good morning. So what we
- 18 see on this screen here is a flow diagram which is
- 19 typically presented in systematic reviews, and we see
- 20 that we started off with 949 titles and abstracts
- 21 which we initially screened to see if they were on
- 22 topic. And from that, 204 citations were received
- 23 and evaluated at full text, and you can see all the
- 24 reasons why we excluded citations or publications at
- 25 the full text level.

- 1 Our final number was 36 systematic reviews
- 2 of which 16 were Cochrane based and 20 were not.
- 3 Within the systematic reviews, we again looked very
- 4 carefully at how each of the reviews evaluated or
- 5 critically appraised the studies eligible for each of
- 6 the reviews. We looked very closely at what the
- 7 criteria were that they evaluated and if they used a
- 8 standardized checklist, and then where possible
- 9 within the systematic reviews, if they provided
- 10 individual study data with respect to quality
- 11 assessment. So for example, if they evaluated ten
- 12 randomized controlled trials on ten quality internal
- 13 validity criteria, then we looked to see what
- 14 proportion of those studies had changed the criteria
- 15 that they evaluated.
- 16 What you see here is, on the X axis we see
- 17 the different criteria, the quality criteria that we
- 18 looked at for each of the reviews, and then on the
- 19 Y axis we see the proportion of criteria that were
- 20 either not evaluated within the systematic reviews or
- 21 not achieved. So for example, with the dark colored
- 22 bar graphs which are from the Cochrane reviews, we
- 23 see that one category is empty, and that is for
- 24 allocation concealment within the Cochrane reviews.
- 25 That indicates that that particular quality criteria

- 1 was evaluated in all systematic reviews from the
- 2 Cochrane database, but we can also see that some
- 3 criteria were not achieved or not evaluated in some
- 4 of these reviews. For example, co-intervention and
- 5 contamination was not well evaluated in either
- 6 Cochrane reviews or non-Cochrane reviews. Similarly,
- 7 adverse events. I realize it's a little bit of a
- 8 busy slide but you can sort of see the idea.
- 9 So in looking at the systematic reviews we
- 10 noticed that 38 percent of the Cochrane reviews did
- 11 use a standardized checklist, of which the majority
- 12 of these checklists had psychometric properties in
- 13 the literature, and 78 percent of the non-Cochrane
- 14 reviews used standardized checklists. One of the
- 15 most frequently used standardized checklists was the
- 16 PEDro scale which, and they used either the nine or
- 17 11-item version, and the PEDro scale is specific to
- 18 randomized controlled trials, and you can see that
- 19 the domains, the quality domains that are part of
- 20 this particular scale, so for example there are three
- 21 items relating to blinding, and there are two items
- 22 relating to outcomes, and so on. The manner in which
- 23 some of the studies that they evaluated within these
- 24 systematic reviews achieved this criteria, as you can
- 25 imagine, varied widely between the studies because

- 1 there was a variety of stroke rehabilitation
- 2 therapies that were evaluated within these systematic
- 3 reviews.
- 4 We also searched for reviews on outcomes
- 5 specifically used within stroke and we found five
- 6 such reviews that focused on outcomes in stroke.
- 7 There was a range of studies that were included
- 8 within these specific systematic reviews that varied
- 9 from 32 to 357 included studies. The year of
- 10 inclusion for these systematic reviews on outcomes
- also varied from 1966 to 2005. One of these reviews
- 12 focused on acute stroke and the use of drugs. Three
- 13 of these reviews looked at health-related quality of
- 14 life outcomes, and one focused on all outcomes used
- 15 to evaluate walking.
- 16 So, there were several methodological
- 17 points to consider when evaluating studies in stroke
- 18 rehabilitation. We believe that RCTs or
- 19 observational studies, that is to say a study design
- 20 that has a comparative group, are ideal for
- 21 evaluating stroke rehabilitation. We believe it's
- 22 important that the sample characteristics of subjects
- 23 should be presented very clearly and hopefully in a
- 24 table format and stratified by treatment group. We
- 25 believe that the inclusion and exclusion criteria

- 1 should be exquisitely stated within the study
- 2 methods, and that the details of randomization and
- 3 blinding, those details related to the potential for
- 4 bias should also be clearly stated, reported in
- 5 studies.
- 6 From the purposive sampling, again, here
- 7 are some points that we think you should consider,
- 8 that the authors should report in greater detail the
- 9 type of professional delivering the therapy, the
- 10 timing and frequency and duration of the
- 11 intervention. They should report the length of the
- 12 follow-up, prior and concomitant treatments. The
- 13 comparator treatment, again, should be very clearly
- 14 described. And of course, the outcome instruments
- 15 selected to evaluate the rehabilitation therapy
- 16 within the study should use measures that have
- 17 psychometric properties established within the stroke
- 18 population.
- 19 With regard to the review of reviews,
- 20 there were a variety of stroke rehabilitation
- 21 interventions that were evaluated within the
- 22 systematic reviews, and the majority of these reviews
- 23 did not restrict the inclusion of studies by the type
- 24 of outcome. Usually the restriction was by the type
- 25 of therapy that was being evaluated. Most of the

- 1 reviews that included randomized controlled trials
- 2 scored very high with regards to quality criteria for
- 3 undertaking a good systematic review. The majority
- 4 of reviews in terms of quality criteria that they
- 5 evaluated within their eligible studies looked at
- 6 randomization, blinding, withdrawals, dropouts.
- 7 Fewer reviews evaluated very carefully or presented
- 8 information very carefully about the comparability of
- 9 the groups within the eligible studies, adverse
- 10 events, co-intervention and contamination. And many
- 11 of the reviews indicated that blinding of the patient
- 12 and the provider was not possible for stroke
- 13 rehabilitation.
- 14 With regard to the review of outcomes, a
- 15 variety of outcomes have been used to evaluate the
- 16 same attribute of interest, and from these review of
- 17 outcomes specific to stroke it would seem that there
- 18 is no single outcome that can likely capture all
- 19 relevant dimensions of an attribute of interest, and
- 20 that is to say that these attributes are
- 21 conceptualized in quite complex ways. Also, there
- 22 was a suggestion very much that if you're interested
- 23 in evaluating a particular attribute, for example
- 24 walking, then you should evaluate all components of
- 25 walking, all components of that particular outcome of

- 1 interest, walking outside, walking inside, walking on
- 2 uneven ground and so on and so forth. It shouldn't
- 3 just be walking in one dimension like walking
- 4 indoors, in a rehabilitation study.
- 5 There was a very strong recommendation in
- 6 the review of outcomes to select outcomes that had
- 7 established psychometric properties, which we've
- 8 discussed already, and of course to consider very
- 9 a priori what would be a clinically meaningful change
- 10 as opposed to a statistically different change.
- 11 Also, their recommendation was to consider what we
- 12 called floor and ceiling effects, which are simply in
- 13 part related to the attributes of the outcome that
- 14 you select to measure what you're interested in
- 15 evaluating, and also some very practical
- 16 administration issues when choosing the outcomes.
- 17 The timing of the outcome measurement, again, should
- 18 be justified, and some consideration of the time
- 19 points in which you measure attributes within the
- 20 patients, you should take into consideration the
- 21 natural history of stroke recovery.
- 22 There are I'm sure several design
- 23 challenges faced by researchers undertaking the
- 24 evaluation of stroke rehabilitation therapies, and
- 25 one of these of course is selecting and justifying

- 1 the comparator treatment. You know, of course as
- 2 Dr. Miller alluded, the comparator treatment should
- 3 be one that is the best currently available relative
- 4 to the treatment of interest, because if you choose
- 5 something that you know a priori is not effective,
- 6 that's clearly not a fair comparison. So selecting
- 7 the appropriate comparator and justifying that is
- 8 very important, and providing a theoretical rationale
- 9 as to why the treatment and when the treatment may be
- 10 having an impact in the recovery of stroke would also
- 11 be very important in providing that justification for
- 12 the comparator.
- 13 Very often in stroke rehabilitation there
- 14 is a situation that we call multimodal type
- 15 treatments versus unimodal. Clinically most
- 16 rehabilitation therapies are what we would classify
- 17 as multimodal, they have lots of small components put
- 18 together that make sense clinically, and so sometimes
- 19 this can present a challenge in terms of describing
- 20 these therapies but also in evaluating them. There's
- 21 some implications with the complexity of the therapy
- 22 that should be better described and better justified.
- 23 Also, we recognize that a lot of times in stroke
- 24 rehabilitation, although from a methodologic
- 25 perspective you seek to standardize the therapy,

- 1 there are situations where it makes sense clinically
- 2 to adapt the treatment somewhat to a particular
- 3 individual, and so when that has to occur in the
- 4 stroke rehabilitation therapy, that that be better
- 5 documented and better reported.
- 6 The other challenge I think that we
- 7 determined from looking at the literature was, we did
- 8 not notice that there was a consensus on how the
- 9 timing post stroke was defined between studies. We
- 10 think that there needs to be a better definition of
- 11 what people mean exactly when they say acute, you
- 12 know, subacute and chronic, because clearly we did
- 13 not find a consensus with regard to this in the
- 14 studies or the reviews that we evaluated.
- 15 We believe that there has to be a much
- 16 better description of the care provider
- 17 characteristics and possibly even the patient's
- 18 provider interactions because that might be something
- 19 very important depending on the type of stroke
- 20 rehabilitation therapy that is being applied, with
- 21 some consideration to reporting about the adherence
- 22 to the therapy. There needs to be a better
- 23 description of the system within which the care is
- 24 provided. These are all aspects like Dr. Miller
- 25 alluded to in the first presentation. And also the

- 1 physical environment in which the physical
- 2 rehabilitation is taking place. And if possible,
- 3 a priori identification of subgroups who may respond
- 4 differentially to the therapy.
- 5 We recognize that as researchers who look
- 6 at numerous publications that we are always limited
- 7 when we critically appraise a study in judging
- 8 whether the researcher actually did something in
- 9 their study design or in undertaking their study that
- 10 limited the potential for bias, or that they simply
- 11 didn't report it. And so for this very reason,
- 12 within the research community and within the journal
- 13 editorial community they have standards in which they
- 14 ask people who are reporting their research to have a
- 15 minimum amount of information.
- 16 And these, the examples that I have here
- 17 is the CONSORT statement which is applicable to
- 18 randomized controlled trials, and the STROBE
- 19 statement which is applicable to observational
- 20 studies. And what these statements indicate is the
- 21 minimum amount of information that you need to
- 22 indicate in your publication that would allow others
- 23 reading your publication to know what you did or did
- 24 not do to minimize bias. So again, if people adhere
- 25 to the CONSORT or the STROBE, it makes it easier for

- 1 us to determine if, you know, they eliminated bias,
- 2 but it doesn't necessarily mean that they selected
- 3 the right strategy to indeed do that in their
- 4 research design.
- 5 This technology assessment had several
- 6 limitations that we want to point out. One is that
- 7 we only looked at publications in the English
- 8 language. For the purpose of sampling, we selected
- 9 comparative study designs. We selected a priori a
- 10 subset of internal validity criteria to evaluate all
- 11 the studies irrespective of the rehabilitation
- 12 therapy that they used, and so we assumed that the
- 13 therapy did not have an impact because we focused on
- 14 the design criteria. Also as Mark mentioned, we
- 15 assessed the psychometric properties of the outcomes
- 16 in the pool of publications that we looked at based
- 17 on the references that they provided within the
- 18 publications themselves.
- 19 So what did we learn at the end of this
- 20 technology assessment? Well, we found that many
- 21 researchers did employ the randomized controlled
- 22 design to evaluate stroke therapies and that many of
- 23 these trials did have very positive, scored very well
- 24 with respect to some of this quality criteria.
- 25 However, there were a few problems that we think

- 1 researchers need to pay attention to, and inadequate
- 2 reporting of the criteria that I have listed here,
- 3 the randomization, the comparator treatment, the
- 4 adverse events, inter-group comparability, we think
- 5 that that can certainly be better reported. And
- 6 again, we think that the justification for the
- 7 selection of the comparator should be better
- 8 described.
- 9 Based on the therapies that we observed in
- 10 this technology assessment, blinding by these authors
- 11 was consistently shown to be difficult to achieve,
- 12 blinding of the provider and blinding of the patient.
- 13 We also noted that ample size was sometimes an issue
- 14 in some of these studies. Clearly if a study sample
- 15 size is too small, it's very difficult to have what
- 16 we call, you know, power as to the attribute which
- 17 allows you to detect a change. And then many of the
- 18 publications that we looked at also didn't really
- 19 provide information about the minimally clinically
- 20 important difference which is an attribute of the
- 21 outcome measure, and helping us to understand the
- 22 nature of the improvement that they measured. Also,
- 23 there was problems with contamination and
- 24 co-intervention.
- 25 Many of the outcomes reported in the

- 1 publications that we evaluated did not have
- 2 established psychometric properties within the stroke
- 3 population and we think that that's very important.
- 4 Also, many authors did not necessarily report the
- 5 psychometric attributes of the outcomes that they
- 6 selected for their study. We think that, you know,
- 7 when selecting outcomes, we recognize that there's
- 8 issues of practical administration that the authors
- 9 need to consider, the validity of the self-report
- 10 instruments, and also the rationale for the timing of
- 11 when the measurements occurred.
- 12 So I think what we observed in the
- 13 publications that we evaluated for this technology
- 14 assessment is that we did find some good quality
- 15 research for stroke rehabilitation therapies, but we
- 16 still think there's room for improvement in some of
- 17 the criteria that we've identified. Thank you.
- 18 DR. SATYA-MURTI: We have about five
- 19 minutes for questions, it should be confined to the
- 20 actual topic and not commentaries. I had a very
- 21 brief question. We heard you say that randomization
- 22 was not as good as we would have liked to see it and
- 23 how difficult it is to randomize and blind, and yet
- 24 two-thirds of your Cochrane reviews have good
- 25 randomization. How did they overcome the

- 1 heterogeneity of the stroke population in these
- 2 randomization studies?
- 3 DR. OREMUS: We found at least in the
- 4 purposive sampling section, which was my section of
- 5 the report, that the randomization was just general
- 6 randomization. And we almost had to come to that
- 7 conclusion because although many of the published
- 8 articles reported that the studies were randomized,
- 9 that's all they reported, that there was
- 10 randomization. They did not go in, the authors of
- 11 these studies did not go into depth and indicate how
- 12 they randomized, whether they took any issues into
- 13 consideration when they randomized, was there some
- 14 sort of a stratified randomization. They didn't go
- 15 that far into the randomization, so it's very
- 16 difficult for us to be able to assess how the
- 17 heterogeneity of stroke populations was assessed via
- 18 the randomization itself.
- 19 DR. SATYA-MURTI: So we have to -- almost
- 20 to the end of your presentation, you said two-thirds
- 21 of the studies had randomization, so I just wondered
- 22 how sure can you be of that considering, again, just
- 23 basic age, sex, base diagnosis, carotid occlusion,
- 24 that's really not, you know, for stroke
- 25 heterogeneity, is it?

- 1 DR. OREMUS: Unfortunately one of the
- 2 issue when we were evaluating methodologic quality of
- 3 studies is since none of us were there when the
- 4 actual study was conducted, we have to rely on what
- 5 the authors report. So when we say that two-thirds
- 6 were randomized, we're really going by what the
- 7 authors said they did, and as I just indicated a few
- 8 minutes ago, they really said we randomized but they
- 9 didn't go into depth. So it's very hard to take what
- 10 they reported in their methods section and make
- 11 judgments about how they addressed heterogeneity via
- 12 the randomization. It's a very difficult leap to
- 13 make that assessment.
- 14 DR. SATYA-MURTI: Thank you.
- 15 DR. GRANT: You noted quite a few studies
- 16 that by their nature lacked blinding. My question
- 17 for you is, how much of a threat to the validity of
- 18 the studies is your sense from reviewing those papers
- 19 does that pose, how much potential bias, and what
- 20 kind of efforts were made to account for that if in
- 21 fact that was the case?
- 22 DR. OREMUS: In the 99 abstracted studies
- 23 we found that many of the authors demonstrated a
- 24 cognizance of the issues surrounding blinding, so
- 25 that's certainly something positive to reflect upon.

- 1 In general, attempts were made to blind the outcome
- 2 assessor because that is perhaps the one area where
- an individual researcher could most easily address
- 4 some of the biases that arise out of a lack of
- 5 blinding, by trying to hide the assessor from what
- 6 treatment the individual is receiving.
- 7 At the same time they also indicated that
- 8 in many stroke studies it was quite difficult to
- 9 blind the patient or the person who was delivering
- 10 the therapy. That's just a limitation, and of course
- 11 there could be biases arising out of that. For
- 12 example, knowing which treatment you are giving to
- 13 someone and knowing the hypothesis of the study could
- 14 influence how an individual may regard the treatment.
- 15 But it's very difficult to, based on what was
- 16 reported in the studies, for us to assess whether
- 17 those biases actually had an impact on the results.
- 18 That's, again, another leap that we can't make based
- 19 on what we assessed. It's very difficult for us to
- 20 assess how those biases may have actually impacted
- 21 the studies. But there was, there were attempts to
- 22 try to mitigate biases based on what we saw.
- 23 DR. PAUKER: I have two questions, both
- 24 for Mark. How do you insure for the original sample,
- 25 do you know if you see it in a few studies, how can

- 1 you determine if it was not stated in the studies,
- 2 and it should be in there if there were two people
- 3 making the judgments or three people, how do we be
- 4 sure that you have a valid study reported in there?
- 5 That's question one.
- 6 Question two, you reported a whole bunch
- 7 of individual criteria, what is in every sentence,
- 8 but how often do the studies, be it two, three, four,
- 9 five, do they individually establish the criteria?
- 10 If you could comment on both of those
- 11 questions.
- 12 DR. OREMUS: Okay. For the first issue,
- 13 that was related to study selection?
- 14 DR. PAUKER: Yeah.
- 15 DR. OREMUS: This report was a bit of an
- 16 interesting report because unlike many systematic
- 17 reviews that we would normally conduct, we weren't
- 18 looking at efficacy, the mandate was to look at
- 19 methodology. So our primary concern was selecting
- 20 recently published studies in stroke rehabilitation
- 21 so that we could examine them from a methodological
- 22 perspective. So our funnel of selection was
- 23 basically to assess whether or not the studies we
- 24 captured in our broad literature research actually
- 25 dealt with stroke rehabilitation, and we basically

- 1 allowed any study into our assessment as long as it
- 2 did deal with stroke rehabilitation therapy, again
- 3 from a methodological perspective. We wanted to cast
- 4 a broad net, and I was just going to say, as Lina had
- 5 mentioned, that one restriction which was very
- 6 important was that it had to be a comparative study.
- 7 So if it dealt with stroke rehabilitation, if it was
- 8 comparative in more instances than not it could be
- 9 included. And we also looked at the most recently
- 10 published studies because we wanted to get a sense of
- 11 what was quote-unquote au courant with respect to the
- 12 methodology.
- 13 DR. PAUKER: How many people made that
- 14 judgment, one person, two? Was there any validation
- 15 of when to exclude a study or was that one guy or two
- 16 people, or both of you? How was that done?
- 17 DR. OREMUS: We had several screeners,
- 18 approximately, I would say, how many would you say,
- 19 Lina, at least six or seven screeners?
- 20 DR. SANTAGUIDA: Four.
- 21 DR. OREMUS: We had four screeners. Due
- 22 to the volume of studies we had one person evaluate
- 23 different chunks of studies, so there was no as you
- 24 would say multiple validation of the same study, so
- 25 it was basically studies were slotted and one person

- 1 would evaluate.
- 2 DR. SATYA-MURTI: One last question if we
- 3 have time. Dr. Ondra.
- 4 DR. ONDRA: This goes to the question
- 5 about the way the studies were randomized and the way
- 6 they balanced the populations appropriate to the
- 7 question being asked and that is, how were they
- 8 powered appropriately to the questions being asked?
- 9 DR. OREMUS: In many instances there were
- 10 no sample size calculations provided either a priori
- 11 or after the fact, so it was impossible to determine
- 12 whether the studies were adequately powered.
- 13 DR. SATYA-MURTI: We should move on.
- 14 There is, during the afternoon session at about
- 15 12:35, there will be opportunities for further
- 16 questions. Sorry about that.
- 17 DR. MILLER: It is now my pleasure to
- 18 introduce Pamela Duncan as our next speaker. Dr.
- 19 Duncan is a professor in the division of physical
- 20 therapy within the department of community and family
- 21 medicine at the Duke University Medical Center. She
- 22 is also a senior fellow in the Duke Center for
- 23 Clinical Health Policy Research. Dr. Duncan received
- 24 her B.S. in physical therapy from Columbia University
- 25 and her Ph.D. in epidemiology from the University of

- 1 North Carolina. She has published extensively on the
- 2 subject of functional outcomes and their measurements
- 3 in stroke. Her topic today is selecting outcomes to
- 4 inform policy.
- 5 DR. DUNCAN: Thank you, Dr. Miller, and
- 6 good morning. I do have some conflicts of interest
- 7 to share with you. I am the principal investigator
- 8 of an NIH-funded study funded through the National
- 9 Institute of Neurological Diseases and Stroke. It's
- 10 a randomized clinical trial Phase III of a walking
- 11 recovery intervention called the LEAPS trial, and
- 12 that is currently ongoing. I'm also a consultant
- 13 with Glaxo-SmithKline, I'm a paid consultant to
- 14 design a study to evaluate a drug to promote
- 15 neurogeneration and plasticity. And I also am a
- 16 consultant for Bioness to design a study to evaluate
- 17 the effectiveness of a functional stimulation
- 18 orthotic. I also need to say that I have spent well
- 19 over 25 years of a career evaluating outcome measures
- 20 in stroke.
- 21 The purpose of my presentation this
- 22 morning is not to give you the specifics of all the
- 23 elements of outcome assessment, but I was asked by
- 24 CMS to come today to speak from a broader perspective
- 25 to give you some conceptualizations of how we should

- 1 go about selecting outcome measures.
- 2 As has already been mentioned this
- 3 morning, selecting outcome measures and designing
- 4 trials in stroke rehabilitation is extremely complex.
- 5 As Dr. Miller and our Canadian colleagues have
- 6 suggested, we have to consider the various
- 7 etiologies, the heterogeneity of the symptoms, the
- 8 variability in severity, the time since stroke onset,
- 9 and the possibility of spontaneous recovery.
- 10 So I'd like to give you a concrete example
- 11 about time since stroke onset. We know after years
- 12 of evaluating stroke recovery that the most dramatic
- 13 recovery following stroke occurs in the first month
- 14 and the trajectory recovery continues for three to
- 15 six months. The trajectory of that recovery varies
- 16 by severity and in fact those individuals who have
- 17 mild strokes may achieve their functional
- 18 independence in activities of daily living by three
- 19 months, whereas more severe strokes may have a much
- 20 longer trajectory of recovery. As I will point out
- 21 to you later, not only in selecting outcome measures,
- 22 the time since stroke onset is extremely important.
- 23 We also must consider variability and
- 24 severity in assessing this very heterogeneous
- 25 population with heterogeneous symptoms and

- 1 heterogeneous severity, that one outcome measure does
- 2 not fit all. For example, it may be appropriate,
- 3 highly appropriate to select basic activities of
- 4 daily living as an outcome measure for the more
- 5 severe stroke patients. However, individuals who
- 6 have mild to moderate stroke still remain with
- 7 significant residual deficits, and the ADL measures
- 8 may have a ceiling effect.
- 9 So as Dr. Miller mentioned and I've
- 10 modified a little bit for simplicity today, the
- 11 over-arching model that drives us in selection of
- 12 outcome measures is the ICF model, which includes
- 13 body function and structure which I've chosen to
- 14 label as impairment, activity, participation. All of
- 15 these factors are modified by the health condition,
- 16 the disorder of the disease, and the contextual
- 17 factors with which the patient functions.
- 18 Now I want to make a very important point
- 19 as we select outcome measures in stroke. We're also
- 20 selecting outcome measures in a population that is
- 21 usually not healthy, and there are a lot of competing
- 22 comorbidities. Stroke does not usually happen to a
- 23 healthy brain. So when we look at health conditions,
- 24 we also have to consider competing comorbidities
- 25 across the course of time.

- 1 So I could give you many, many examples of
- 2 measures, and to be quite honest, one of the major
- 3 problems that we have in rehabilitation research and
- 4 in stroke research is we've got too many measures.
- 5 As already has been pointed out and very
- 6 disappointingly, in a review of the clinical trials
- 7 there were 45 measures of ambulation. That is not
- 8 necessary.
- 9 So let us just highlight a few measures
- 10 that are commonly used. In looking at body functions
- 11 and structures, impairments, we have the Fugl-Meyer
- 12 motor/sensory assessment, which is the most commonly
- 13 used measure to test motor recovery in all randomized
- 14 clinical trials. We have a very standardized measure
- 15 of balance called the Berg Balance Scale. We have
- 16 the MMSE which doesn't function very well in this
- 17 population but is commonly used. And we have other
- 18 cognitive assessments like trail-making or digit
- 19 symbols from the WAIS.
- 20 And then we have the scope of activity
- 21 measures and I've used gait velocity as an example,
- 22 six-minute distance, step activity monitoring, the
- 23 functional independence measures, and instrumental
- 24 activities of daily living.
- 25 And then participation really deals with

- 1 what role functions you have. Now we will use that
- 2 model to guide us as we select outcome measures, and
- 3 I will come back to that model in a moment as we talk
- 4 about gait and walking as a concrete example.
- 5 The other thing that we need to consider
- 6 is are we really doing efficacy trials or
- 7 effectiveness trials? And as most of you know in
- 8 this room, efficacy trials really are designed to
- 9 optimize the chance of detecting a biological effect,
- 10 that you select few patients under the ideal
- 11 circumstances. Most often the primary import may be
- 12 the impairments that the treatment is attempting to
- 13 minimize. However, many efficacy trials do include
- 14 other measures of activities and quality of life.
- 15 But in an efficacy trial in which you're trying to
- 16 show the biological plausibility of this
- 17 intervention, a primary endpoint may be an impairment
- 18 level measure. An example of this, if an
- 19 intervention goal is to improve motor control of the
- 20 upper extremity, you may use grip strength as an
- 21 appropriate outcome measure for an efficacy trial.
- 22 Effectiveness trials, on the other hand,
- 23 determine whether the interventions have beneficial
- 24 results when they're administered in the context of
- 25 ordinary clinical practice. The studies are broadly

- 1 conceptualized and they should use heterogeneous
- 2 samples. The outcomes in effectiveness trials should
- 3 be relevant to health and function.
- 4 Now efficacy trials are necessary and
- 5 often prerequisites to effectiveness. And a major
- 6 problem in rehabilitation is that we have not moved,
- 7 however, efficacy trials to effectiveness studies to
- 8 inform policy, and most all of our rehabilitation
- 9 technologies are assessed for efficacy rather than
- 10 effectiveness. But it's effectiveness trials that we
- 11 need to inform policy and when, in effectiveness
- 12 trials we need and we should measure impairments, but
- 13 the impairments must be related to changes in
- 14 function and disability to inform policy.
- 15 So what are policy-relevant measures?
- 16 Policy-relevant measures are clinically relevant
- 17 outcomes of substantial health importance. They must
- 18 be ecologically balanced indicators of population
- 19 health and function, and as already mentioned several
- 20 times this morning, they must be reflective of
- 21 sustainable outcomes, not simply outcomes at the end
- 22 of the intervention.
- 23 So to inform policy decisions, clinically
- 24 functional and social relevance, measures that
- 25 include this range improve activities of daily

- 1 living. We all value the ability to be able to take
- 2 care of our bodily needs and to be able to move. We
- 3 value the importance of mobility in our life and
- 4 safety in mobility, and we also value things like
- 5 using your hands. We also value instrumental
- 6 activities of daily living, and instrumental
- 7 activities of daily living are things such as can you
- 8 take your medicine, can you prepare a meal, can you
- 9 balance your checkbook. These activities are
- 10 extremely important for you to be able to accomplish
- 11 these to live in the community independently. So
- 12 these are what I call no-duh outcomes, they have
- 13 clinical, functional and social relevance.
- 14 Now another important factor that we
- 15 should consider is shifts in disability states and in
- 16 fact if you think of it, probably the most successful
- 17 trial in stroke was the NINDS trial of TPA, and that
- 18 trial was based on the shift in disability states
- 19 using the Rankin scale. So shifts in disability
- 20 states means that we move from levels of dependence
- 21 or independence using global measures like the Rankin
- 22 scale, or simple measures such as can you walk at
- 23 home or in the community. Those are socially,
- 24 clinical and policy relevant outcomes.
- Now here's an example of what I call the

- 1 Rorschach test of is this is a good outcome. This is
- 2 not a stroke survivor, it's actually a survivor of a
- 3 spinal cord injury, and this was his walking
- 4 capability when he came into a trial and this was his
- 5 walking capability afterwards. It's just one picture
- 6 of one man and I think we would all agree from this
- 7 test that it's quite obvious from the patient's
- 8 perspective and the clinical perspective that this is
- 9 a relevant outcome. But we don't have the chance in
- 10 large randomized clinical trials to take individual
- 11 snapshots.
- 12 So when we think of defining definitions
- 13 and shifts in disability, we need to be very
- 14 specific. And I want to use an example in walking
- 15 recovery. Now as we heard this morning, there are 45
- 16 measures of ambulation in randomized clinical trials
- 17 of stroke. Unacceptable. In reality, what do we
- 18 really want to know in walking recovery? Can you
- 19 walk or can't you walk? How fast do you walk? What
- 20 is your endurance for walking? And do you walk in
- 21 your usual daily activities? That's the scope of
- 22 what's clinically meaningful in walking recovery.
- 23 And I want to use gait speed as an example, and Dr.
- 24 Studenski in her next presentation will expand upon
- 25 this concept.

- 1 Gait speed reflects the functional and
- 2 physiological changes post stroke. It's related to
- 3 the severity of impairment in the home and in the
- 4 community, and as Dr. Studenski will point out, it's
- 5 a predictor of health status and functional
- 6 abilities. We know very well that for example, if
- 7 someone walks less than .4 meters per second they are
- 8 limited to household mobility. If they walk
- 9 between .4 to .8 meters per second there may be
- 10 limited community ambulation but they're not
- 11 independent. And greater than .8 meters per second,
- 12 they can walk independently in the community. We can
- 13 identify a state that's meaningful to those
- 14 particular parameters of gait velocity.
- 15 Now we can also look at severity. We
- 16 can't assume because of the heterogeneity of the
- 17 severity that we can necessarily have one metric of
- 18 success, and I'm going to use gait speed and walking
- 19 as an example. For example, if someone has a very
- 20 severe stroke, the probability unless we find the
- 21 cure for stroke is that they're not going to become
- 22 fast ambulators and they may not become independent
- 23 community ambulators, but they may become independent
- 24 in their home, and again, that has clinical
- 25 significance.

- 1 So if someone walks less than .4 meters
- 2 per second you want to know, could they transition to
- 3 a state that they could walk greater than .4 meters
- 4 per second. Or if someone walked .4 meters per
- 5 second but less than .8 meters per second, could they
- 6 transition to community ambulation.
- 7 Now what we did in a prior randomized
- 8 clinical trial is we defined successful walking using
- 9 this sliding dichotomy and we found that if
- 10 individuals, either the severe individuals who walked
- 11 less than .4 meters per second, after the
- 12 intervention who walked greater than .4 meters per
- 13 second, or if you walked greater than .4 but less
- 14 than .8, could you now walk greater than .8 meters
- 15 per second, was that transition in walking ability
- 16 relevant to anything else? And what we demonstrated,
- 17 that those individuals who made those transitions had
- 18 improvements in self-reported ADL, IADL, 77 compared
- 19 to 69 if you were a failure in that transition, 77.6
- 20 to 65.5 for mobility, and they also reported
- 21 improvements in quality of life in their role
- 22 functions, both emotional and physical role
- 23 functioning. So this shows a meaningful transition
- 24 in a disability state and in this case it was
- 25 walking.

- 1 So gait speed predicts hospitalization and
- 2 improvement or decline in gait speed predicts
- 3 morbidity and mortality, as Dr. Studenski will
- 4 demonstrate in the next presentation.
- 5 But let's go back for a moment.
- 6 Transitions in disabilities are very important
- 7 outcomes, but when do impairments become meaningful
- 8 outcomes to inform policy? Impairments become
- 9 meaningful if there are established risks of bad
- 10 outcomes, and the best example of an impairment is a
- 11 swallowing dysfunction, because we know that if
- 12 someone has a swallowing impairment it can cause
- 13 aspiration which can also be fatal. So if you have a
- 14 technology that will influence swallowing, then that
- 15 impairment level measure may be highly significant to
- 16 inform policy.
- 17 Impairments are also useful outcomes if
- 18 they are very what we call distasteful symptoms. In
- 19 other words, both personally and societally we do not
- 20 accept that individuals live in pain, so pain is a
- 21 body structure and function outcome, and if you have
- 22 an intervention that controls pain, that impairment
- 23 may be an appropriate outcome.
- 24 Now the other scope, which is rarely done
- 25 in rehabilitation trials, is that outcomes in which

- 1 we can demonstrate that we've reduced important
- 2 complications is very, very significant, and the best
- 3 examples come from falls. Falls contribute to
- 4 morbidity, institutionalization and mortality. Falls
- 5 are common, even among those who may be independent
- 6 in activities of daily living, and falls are very
- 7 important, relationship with fractures, high
- 8 mortality in the elderly.
- 9 Falls are common in stroke and simply
- 10 they're bad. 73 percent incidence of falls post
- 11 stroke, and these are individuals who have returned
- 12 to the community living, a fourfold increase in falls
- 13 risk. Of those who fall, stroke survivors experience
- 14 a tenfold increase in hip fracture compared to
- 15 non-stroke, and limited mobility leads to social
- 16 isolation and depression. So falls are important.
- 17 Now I just want to share with you a study
- 18 that we did do with a colleague, Heather Whitson, in
- 19 which we looked at a cohort of elderly male veterans.
- 20 And we looked at individuals who came into the VA
- 21 system with a diagnosis of stroke and we looked
- 22 across time for two years to see what was their
- 23 incident fracture rate. And we looked in the group
- 24 that had FRGs four to seven. And what we found, for
- 25 two years there was a 4.7 percent incidence of

- 1 fractures in that population, and we also found that
- 2 there was a difference in fracture risk based on your
- 3 functional independence measure scores.
- 4 Simply stated, those individuals who have
- 5 very limited ADL capacity are not mobile enough to
- 6 fall and those who are highly recovered can deal with
- 7 the stresses of the environment and don't fall and
- 8 fracture as frequently, but it's the moderate group
- 9 of individuals who have the highest risk of
- 10 fractures. So it's not a linear relationship, it's
- 11 actually curvilinear. Again, this is another example
- 12 about why you need to consider the severity of the
- 13 population as you select the outcomes.
- 14 Now I want to share with you some results
- 15 from our ongoing trial. I have no idea, I am
- 16 blinded, my assessors are blinded to the outcomes of
- 17 this study, and this trial is currently in
- 18 enrollment. But as of a few weeks ago we had 201
- 19 individuals enrolled in this trial. To be enrolled
- 20 in this walking recovery trial you must be living in
- 21 the community and the individuals have a Rankin score
- 22 between two and four, moderate levels of stroke
- 23 disability.
- 24 Among 201 individuals, these are incident
- 25 falls and fractures, so these 201 individuals, only

- 1 79 have been followed to a year. There are 241 falls
- 2 among 89 individuals, and we have 11 fractures. And
- 3 within the last couple of weeks, we have actually had
- 4 two more, and this is a high fracture event rate.
- 5 Now to be quite honest with you, in this trial funded
- 6 by NIH, falls is not the primary outcome, actually
- 7 gait velocity is. I can assure you, though, if
- 8 there's a difference in our groups in the fracture
- 9 rate, that will be very important and will probably
- 10 have major influence with policy.
- 11 So that's what I call a no-duh factor.
- 12 Reduction in falls is a primary outcome with
- 13 tremendous public health significance, and it is not
- 14 a rare event in stroke patients. Rarely, rarely,
- 15 rarely do any of the studies attract such outcomes
- 16 with substantial follow-up to have an impact.
- 17 Now, there are challenges of using only
- 18 ADL measures. If you have a mild stroke the
- 19 probability of you becoming independent in activities
- 20 of daily living at three months is 90 percent.
- 21 However, individuals who have mild strokes continue
- 22 to have residual significant disabilities that may be
- 23 impacted by certain interventions. And in fact
- 24 moderate strokes, as I've just demonstrated, may be
- 25 independent in ADLs and living at home but have

- 1 complications such as falls and fractures.
- 2 So ADL measures have a very high ceiling
- 3 effect and again, I have spent a significant amount
- 4 of my career demonstrating that in the population of
- 5 stroke survivors, individuals may be independent in
- 6 ADL but there may remain significant disabilities
- 7 that affect function, and possibilities of future
- 8 decline or complications is an important take-home
- 9 message.
- 10 Now an example. One may be independent in
- 11 ADL but not have any functional use of their upper
- 12 extremity. Someone can score 95 or 100 on the
- 13 Barthel ADL index and have no functional use of their
- 14 dominant upper extremity. So we may need to use
- 15 domain-specific assessments in some cases.
- 16 So interventional studies, for example for
- 17 upper extremity recovery, may use very specific
- 18 measures that capture upper extremity use, and the
- 19 ADL measures as the Barthel or the SAM are simply not
- 20 adequate.
- 21 When giving an example from an article
- 22 published in JAMA, the Effect of Constraint-Induced
- 23 Movement for Upper Extremity, published by Steve Wolf
- 24 and colleagues, I won't get into the specifics of
- 25 this design. In my opinion it didn't have the right

- 1 comparator group but the outcome measure selection
- 2 was superb. So in this particular study of
- 3 constraint-induced movement for the upper extremity,
- 4 they selected measures across all the domains of the
- 5 ICF. They looked at the measure of motor control
- 6 using the Wolf motor function test, which would be a
- 7 body structure and function measure. They looked at
- 8 use of the upper extremity, could you functionally
- 9 use the upper extremity as reported by the motor
- 10 activity log. And they also looked at the patient
- 11 self-report of difficulty using the stroke impact
- 12 scale hand function measurement.
- 13 And what they demonstrated is that they,
- 14 with this CIMT intervention, improved motor control,
- 15 they improved use of the upper extremity, and they
- 16 improved the patient's reported ease of using the
- 17 upper extremity. In other words, their selection of
- 18 outcome measures told a story, and this is a quote
- 19 specifically from their article: "The paretic upper
- 20 extremity was used at least half as much as before
- 21 the stroke on twice as many activities following the
- 22 interventions, and that this behavior persisted
- 23 through the 12-month follow-up." That is a
- 24 convincing story for constraint-induced measurements
- 25 from an outcome perspective. I can't discuss, or am

- 1 not going to discuss the comparator model, but from
- 2 an outcome perspective they demonstrated it very
- 3 effectively.
- 4 Now there are many other issues to
- 5 consider in outcome measurements to inform policy,
- 6 and I want to leave you with at least two things that
- 7 you absolutely cannot, you cannot avoid in these
- 8 study designs, severity and time post stroke.
- 9 The other factor, and I think it follows
- 10 up on some of the questions that were asked before,
- 11 is that as you select outcomes and design your
- 12 studies you have to consider the exclusion or
- 13 inclusion criteria. In reality, most of the studies
- 14 that have been done so far in rehab and recovery have
- 15 very restrictive inclusion-exclusion criteria, and
- 16 stroke is a very broad condition with tremendous
- 17 competing comorbidities, and the number of subjects
- 18 enrolled are too few to be generalizable to many and
- 19 most of the patients we see in the Medicare
- 20 population.
- 21 I'm just using an example of a
- 22 Meta-Analysis of Therapeutic Effect of Functional and
- 23 Transcutaneous Electrical Stimulation on Improving
- 24 Gait Speed Post Stroke, and this was an article
- 25 published in the Archives of Physical Medicine Rehab.

- 1 There were only eight articles that met their
- 2 criteria for meta-analysis. The number of subjects
- 3 enrolled ranged from 13 to 32, and given the
- 4 heterogeneity of comorbidities, symptoms and
- 5 severity, you bet you didn't capture those in 32
- 6 patients. The stroke onset was chronic, it ranged
- 7 from 12 to 51 months.
- 8 As our Canadian colleagues mentioned, this
- 9 is not uniformly defined, definitions of chronic or
- 10 subacute or acute. Overwhelmingly, though, most of
- 11 the studies done in stroke are done in the chronic
- 12 population greater than six months post stroke, and
- 13 that's for a lot of reasons, ease of recruitment and
- 14 stability in recovery, but it doesn't address the
- 15 effectiveness of this intervention, or even the most
- 16 appropriate outcomes in the early stages.
- 17 And then we had variability in baseline
- 18 gait speed across the studies which ranged from .19
- 19 to .88 meters per second. .19 meters per second, for
- 20 those of you who are not familiar with the ranges of
- 21 normal gait velocity, is extremely impaired and
- 22 barely mobile. And .88 meters per second you can
- 23 walk in the community, it approaches normal
- 24 ambulation speed for an elderly population.
- 25 So in measures to inform policy you must

- 1 have functional and health relevance. We have to
- 2 evaluate the sustainability of the benefits and we
- 3 have to describe more specifically the
- 4 characteristics of the subjects who would benefit and
- 5 we have to have large enough samples not only to
- 6 power our studies, but also to generalize our results
- 7 to a broader population.
- 8 And with that, I will conclude and thank
- 9 you. I will take any questions. Did you have a
- 10 question?
- 11 DR. DANIS: I really appreciate the
- 12 perspective you have, it seems very appropriate. I
- 13 wanted to just ask, though, if you have the
- 14 clinically meaningful outcome measures such as falls
- 15 being nonlinearly related to the more functional
- 16 level, how do we begin to make inferences? You know,
- 17 you're going to have to measure so many things. I
- 18 wanted to ask that and also whether because of the
- 19 variety of severity, could we design studies in a way
- 20 that allowed for doing some variable use of measures
- 21 so that you have more stringent tests used in your
- 22 least disabled group and just work your way up or
- 23 down.
- 24 DR. DUNCAN: Well, let me take the second
- 25 question first. Absolutely. We have to use sliding

- 1 dichotomies of outcomes because individuals, and in
- 2 fact in my own study which I designed, we have
- 3 defined success with two definitions depending on the
- 4 level of severity of walking speed on randomization
- 5 in the trial. And so we have to employ those types
- 6 of shifts in disability states, similar to as they
- 7 did in the TPA study from NINDS, that you have a
- 8 shift in disability state of zero and one, which
- 9 you've cured, or you could have a shift in disability
- 10 state from a four or five to a three, which is again
- 11 highly clinically relevant. So we do need to
- 12 consider different definitions of success and you can
- 13 do that in a trial design by defining that a priori.
- 14 So yes, that has to be considered.
- 15 We also need to understand the scope of
- 16 deficits that occur after stroke. I know that we
- 17 have an impression that most stroke patients are
- 18 severely impaired waiting to go to nursing homes.
- 19 That is actually not the picture of stroke survival.
- 20 The majority of stroke patients go home, they live
- 21 independently but they live with major sequelae,
- 22 vascular cognitive deficits, limited mobility, and
- 23 we've published those effects. So you have to
- 24 consider the whole range.
- 25 DR. DANIS: And what about the lack of

- 1 linearity and the relationship?
- 2 DR. DUNCAN: Well, again, you have to go
- 3 back to the idea of severity. And falls and
- 4 fractures is a geriatric condition, not just a
- 5 condition of stroke. We know that a third of all
- 6 individuals who are over the age of 65 fall in a
- 7 year, and we've demonstrated from geriatric research
- 8 that you can reduce the risk of falls with very
- 9 specific interventions. So what it will require are
- 10 larger sample sizes, and as Dr. Studenski will point
- 11 out, different methods of analysis and more a
- 12 survival analysis and that type of thing. It will
- 13 not be answered by small Ns.
- 14 DR. SATYA-MURTI: We have -- yes, Ms.
- 15 Richner. After that we should close it, because we
- 16 have an opportunity for afternoon questions.
- 17 MS. RICHNER: A quick question. We heard
- 18 from Dr. Miller at the beginning, and one of the
- 19 questions that CMS is grappling with again is the
- 20 clinically meaningful results within a drug, device
- 21 or intervention, and to me when I was looking at even
- 22 the HTA evaluation that you did, it includes
- 23 everything from Chinese acupuncture to TPA, which you
- 24 mentioned before. It seems to me that CMS needs to
- 25 have some idea about how to look at the acute and

- 1 immediate instruments and metrics that are being used
- 2 there, versus the neurorehab interventions that are
- 3 currently on, so it seems to be an apples-to-oranges
- 4 comparison. Is there some way to look at this
- 5 differently to take, because I think it's just --
- 6 DR. DUNCAN: I'm sorry, I can't hear you.
- 7 MS. RICHNER: It just seems to me we have
- 8 to be able to help CMS to say what are the
- 9 interventions, acute intervention, what are those
- 10 outcome measures that are meaningful for that drug
- 11 device kind of thing, versus those sort of
- 12 longer-term interventions.
- 13 DR. DUNCAN: Is that a comment or a
- 14 question?
- 15 MS. RICHNER: It's a question, how do we
- 16 do this?
- 17 DR. DUNCAN: Well, I believe that first of
- 18 all we have to reduce the number of measures that we
- 19 begin to accept. That's not saying that, for example
- 20 in walking recovery, 45 measures is not an acceptable
- 21 battery, given that we know what clinical relevance
- 22 is for walking, right? So the field has been very
- 23 profuse in developing new measures but without really
- 24 selecting the most clinically relevant measures, so
- 25 we have to establish clinical relevance and we have

- 1 to narrow our scope.
- 2 That being said, we also have to make the
- 3 measures consistent with our intervention. To be
- 4 just a no-duh, you wouldn't take a gait velocity
- 5 measure for an upper extremity recovery. And
- 6 basically what, that's also what we've done many
- 7 times with ADL measures, we've taken ADL measures
- 8 that are the most basic functions that we all value,
- 9 but if they're the only things that we can do, we
- 10 wouldn't be too happy, right? So we have to be very
- 11 domain-specific in some cases.
- 12 DR. MILLER: Dr. Duncan, thank you very
- 13 much. Please let me now introduce Stephanie
- 14 Studenski. Dr. Studenski received her nursing and
- 15 medical degrees from the University of Kansas and a
- 16 master's in public health from the University of
- 17 North Carolina. Her post-doctoral training includes
- 18 fellowships in rheumatology and geriatrics at Duke
- 19 University Medical Center. I calculated that you
- 20 didn't sleep for ten years or so, is that about
- 21 right?
- 22 DR. STUDENSKI: Yes.
- 23 DR. MILLER: Currently she is a professor
- 24 of medicine in geriatrics at the University of
- 25 Pittsburgh where she is also the director of clinical

- 1 research at the university's institute of aging. Dr.
- 2 Studenski is well known for her research that studies
- 3 the consequences and effect of interventions
- 4 surrounding balance disorders in older adults. She
- 5 has also published on the topic of clinical
- 6 performance measurement and the concept of
- 7 informative and meaningful change in that domain.
- 8 Her subject matter today is what is a meaningful
- 9 benefit in terms of health policy.
- 10 DR. STUDENSKI: Good morning, and thank
- 11 you, Dr. Miller. Before we go on, I want to hope at
- 12 the end someone in the audience comments on what's
- 13 happening in other places with measurement, like the
- 14 NIH toolbox, and the major effort to get rid of
- 15 floors and ceilings with the item response theory and
- 16 the new expanded measurement strategies. So that
- 17 hasn't been touched on, it's not part of my talk, but
- 18 it may be very relevant.
- 19 So again, more to my talk here today, I
- 20 was asked to address my disclosures. I have no
- 21 conflicts of interest with device companies.
- 22 However, I do consult regarding measurement of
- 23 function with multiple pharmaceutical companies,
- 24 including Merck, Glaxo, Pfizer, Lily, and Asuvio, and
- 25 I do have NIH and VA funding largely for work related

- 1 to disability and function.
- 2 So what I'm going to address today is
- 3 trying to think our way through, how would you decide
- 4 how much benefit you need to achieve to be useful and
- 5 how do we even begin to try to measure those sorts of
- 6 things, and then we'll talk about what are some of
- 7 the challenges in the field.
- 8 So we've heard today already, a
- 9 statistician cannot tell you what is important, they
- 10 can only tell you if it was likely to occur by
- 11 chance. A P value of .001 tells you nothing about
- 12 whether you want to reimburse or pay for a service,
- 13 largely because if it's a very large study, it could
- 14 be a very small, small effect. And as we've heard in
- 15 stroke rehabilitation, you often have small studies
- 16 where a potentially very important effect might not
- 17 be statistically significant but still be clinically
- 18 very important. So the bottom line is, the clinical
- 19 significance or patient benefit is a value state and
- 20 it is informed by patients, families, providers,
- 21 they're the ones who tell us what's important. But
- 22 what we can do as researchers and reporters and
- 23 interpreters of evidence is have a good understanding
- 24 of how to present that information in ways that make
- 25 it more interpretable.

- 1 So what do we mean by a benefit? Well, it
- 2 could be that the patient's better or it might be
- 3 that we kept them from getting worse or kept
- 4 something bad from happening. So what we have to do
- 5 is say well, what do we mean by better or worse.
- 6 We've been through this, I'm not going to do this in
- 7 detail, but obviously there's many different things
- 8 we can measure based on our conceptual frameworks,
- 9 and we can certainly also be interested in events and
- 10 states, and have in mind who's telling us what and
- 11 how it's measured. Then we are struggling with this
- 12 idea of what makes something objective and how do we
- 13 capture these nice psychometric properties.
- 14 Another issue I think we need to be
- 15 thinking about more carefully is when to measure.
- 16 We've heard some things about sustainable benefit,
- 17 but I also think that stroke and many disabling
- 18 processes don't change in a linear fashion, they
- 19 fluctuate, people have good and bad days, good and
- 20 bad weeks. So we often used fixed time points and
- 21 say what's the effect at three months, but you know,
- 22 there might be studies where something that's really
- 23 important might be timed to first event, how long did
- 24 it take after the stroke until someone achieved a
- 25 threshold of independent home ambulation, and maybe

- 1 the intervention gets you their faster.
- 2 I'm also very interested in low demand but
- 3 frequent monitoring, and I will be talking more about
- 4 that as we move on. An example would be accumulating
- 5 caregiver hours over time.
- 6 We've heard a lot about psychometric
- 7 properties and I just want to emphasize that over the
- 8 years I think the psychometricians have taught me a
- 9 lot, but I also think the clinicians and the patients
- 10 have taught me a lot, and face validity, which is,
- 11 does it make sense, does it sound like it's measuring
- 12 what it's measuring, is a very valuable element of
- 13 psychometrics. So you can see all kinds of numbers
- 14 jumping around with statistical properties, but face
- 15 validity is very very important.
- 16 So let's talk about this idea of
- 17 developing a criteria for what's better or worse.
- 18 You can develop these kinds of ideas for continuous
- 19 measures where you have whole scales or sets of
- 20 performance results, and you can also develop it for
- 21 categorical measures and I think I'm going to tell
- 22 you very briefly. There's a huge world of
- 23 literature, people who spend all their time thinking
- 24 about these things, but the bottom line for me is,
- 25 anchor-based methods are best for face validity

- 1 because what you do is you say to the patient or the
- 2 family or the provider, is this person better
- 3 overall? And then you go back and use that as an
- 4 anchor to say how much do they have to change in this
- 5 scale or that score, or that gait speed to be
- 6 detectable compared to the people that were not
- 7 reported as having changed.
- 8 We heard just briefly about this idea
- 9 about minimally important change. The concept there
- 10 is where can you get enough signal-to-noise ratio
- 11 that you can actually hear the signal. And I think
- 12 it's an important number, but I want to emphasize
- 13 that it's not the only amount of change I care about.
- 14 I think substantial change, or changed a lot might be
- 15 a really important state, and I'm not sure that my
- 16 goal with treatment is the least detectable change,
- 17 maybe it's the amount of change a person thinks is
- 18 really valuable, so we often use anchors in a variety
- 19 of ways to understand how much change is important to
- 20 people.
- 21 There's a variety of statistical methods
- 22 based on a lot of math that are overall called
- 23 distribution-based methods that you can use. They
- 24 are the best for precision and so you will get the
- 25 most tight confidence intervals when you use these

- 1 sorts of measures, but they're dependent on what
- 2 sample you calculate them on and they're not
- 3 necessarily linked to values, they're just
- 4 calculations.
- 5 So I like to use both, and what I'm
- 6 interested in is if you went through a whole series
- 7 of approaches to what's important to patients and
- 8 what is a nice psychometrically precise reliable
- 9 measure. You can try to see if you can come up with
- 10 some consistency. So I don't know if I have a
- 11 pointer here. On the top row is, let's see, so the
- 12 first column is just talking about three different
- 13 measures, gait speed, six-minute walk and the short
- 14 physical performance battery, which is a combination
- 15 of walking, chair rises and balance tasks that's used
- 16 a lot in geriatrics. Across the top row are the
- 17 kinds of things we can measure using these
- 18 distribution and anchor-based methods. And in the
- 19 far right column you can see sort of the summary that
- 20 if you look across all the different ways you
- 21 calculate these things, you can come up with some
- 22 summary indicators that are reasonably consistent
- 23 across all of these measures.
- 24 And as you can see for example under gait
- 25 speed, we're interested in both this minimally

- 1 significant as well as a larger magnitude of change.
- 2 And I think these kinds of estimates can be useful,
- 3 for example, if you wanted to evaluate a treatment
- 4 for policy reasons and you could determine what
- 5 proportion of your intervention subjects achieved a
- 6 substantial change, not just a minimal change.
- 7 The other kinds of things that you can do,
- 8 and I won't do this in detail, is you can go back and
- 9 say okay, having calculated these minimal and
- 10 substantial changes, what kind of impact do they have
- 11 on other things that are going on with the patient at
- 12 that time. And what we were doing here is taking
- 13 data from a large clinical trial, the LIFE study
- 14 which was 424 older adults receiving walking and
- 15 strength training, and you can look at both decline
- 16 and improvement in performance measures and the
- 17 impact it has on a whole variety of health and
- 18 function measures. So that is a sense of sort of
- 19 some concurrent validation here, and the magnitude of
- 20 how much does a performance change affect people's
- 21 perception of their health and function.
- 22 Here's something else we did. We're
- 23 interested in predictive validity, and what you see
- 24 at the top is a line that is green for a short
- 25 distance and then blue for a long distance. So this

- 1 is a study I did ten years ago. We were measuring
- 2 people in their homes every three months, about 500
- 3 older adults, and then we have been following their
- 4 survival ever since. So our question here was, what
- 5 is the effect of short-term change in function on
- 6 long-term survival? So what we defined was people
- 7 who improved over that first year in any one of the
- 8 set of measures listed on your left there, gait
- 9 speed, short physical performance, SF-36.
- 10 We used these substantial change measures
- 11 that we've calculated and we said okay, did you ever
- 12 achieve this criteria for improvement during that
- 13 year, yes or no, and then what happened to your
- 14 survival. And what was striking to us is that out of
- 15 all these measures, the only one that predicted
- 16 nine-year survival were people who improved in gait
- 17 speed over one year had substantially better
- 18 nine-year survival than people who didn't. And this
- 19 is what it looks like as a survival curve. Overall,
- 20 the people whose gait speed ever improved .1 meter a
- 21 second during that year, 30 percent died over the
- 22 next nine years, and the people who never improved
- 23 that much, 50 percent died over the next nine years.
- 24 And we did subgroup analyses and this was true for
- 25 age groups, different walking speed, different

- 1 comorbidities, gender, ethnicity, health status,
- 2 functional status, et cetera.
- 3 Dr. Duncan spoke a little bit about
- 4 thresholds. I've done some work in this area. These
- 5 numbers are a little different than hers since I work
- 6 largely with diverse community dwelling older
- 7 populations with moderate amounts of disability, and
- 8 so it may be that these thresholds are different in
- 9 stroke populations than they are in other
- 10 populations. There are certainly reasons to think
- 11 that that could be true.
- 12 Another question that I spent some time
- 13 thinking about is how do we decide, we have a
- 14 treatment group, we have a comparison group, what's
- 15 an important difference between the two groups in
- 16 these outcomes we've talked about here? I think the
- 17 hardest way to interpret these numbers is giving two
- 18 means. So I don't care if we're talking about FIMs
- 19 or Fugl-Meyers or whatever, I give you a mean of one
- 20 group and a mean in another group, and you tell me
- 21 how important is that difference. That's a tough way
- 22 to understand it.
- 23 I think rates are a little easier to
- 24 interpret but we might still need informants,
- 25 patients, clinicians, families, policy-makers to say

- 1 how much is worth it. One of the most useful ways to
- 2 do this is to use a widely valued approach which is
- 3 called the number needed to treat, which many of you
- 4 may be familiar with. I think I'm going to show just
- 5 briefly, the idea is that you look at a rate
- 6 difference between two arms. And so you had, 70
- 7 percent of the intervention group had a gait speed
- 8 gain and 40 percent of the control group did, so the
- 9 difference between the two rates is 30 percent, and
- 10 you invert that and you end up saying well, that
- 11 means that you would have to treat three-and-a-third
- 12 people to get one who benefitted specifically from
- 13 getting this intervention. In this way of doing
- 14 things you can compare between treatments, how many
- 15 people would have to receive the treatment in order
- 16 to benefit.
- 17 It's virtually never true that none of the
- 18 comparison group gets better, so there's always this
- 19 idea that there needs to be addition of benefit. So
- 20 it would be up to the policy-makers, the providers,
- 21 the patients to say well, what would they be
- 22 willing -- are they willing to have five people be
- 23 treated to have one benefit? If it's a very serious
- 24 outcome like that, we provide treatments right up to
- 25 treat where the number needed to treat is 100, 200,

- 1 500 to prevent the death if it's young persons and
- 2 heart attacks or motor vehicle deaths.
- 3 I don't know if you can see this, but this
- 4 is this idea of looking at time to event as a
- 5 rate-related thing that might be more interpretable
- 6 to policy makers and families. So I'm just proposing
- 7 that what if we were looking at recovery of walking
- 8 ability, that we could perhaps measure every week,
- 9 have they achieved some level of independent home
- 10 mobility, and compare two arms and look at these
- 11 rates of achieving this important outcome, and then
- 12 ask our patients, families, providers to say how much
- 13 of a difference would be useful to you. But I think
- 14 this is a metric they could respond to more easily
- 15 than being given two mean numbers.
- 16 This is something I'm very interested in
- 17 and spending a lot of time with in the last year.
- 18 I'm going to give you an example of work about time
- 19 in state as a measure of treatment benefit. I've
- 20 been doing this work in the area of cancer treatment,
- 21 not stroke rehabilitation, but I think it applies.
- 22 The set of table and text on the left is saying what
- 23 if we had a new treatment whose goal was to prolong
- 24 survival? The one on the right is what if we had a
- 25 treatment whose goal was to increase tolerance of

- 1 cancer treatment. And what we're seeing on the left
- 2 is we have a new treatment versus the usual
- 3 treatment, and the usual way you'd report this on
- 4 cancer is survival days. And what you would see in
- 5 the New England Journal of Medicine is that this is a
- 6 fabulous new treatment for this terribly rapidly
- 7 fatal cancer, we increased survival from 160 to 200
- 8 days, and that would be very important in that world.
- 9 What I'm saying, if we looked at time in
- 10 state and we cared about what patients have to say,
- 11 maybe along the way we've been asking them, how many
- 12 of those days you were alive did you have to spend
- 13 over half your time in bed and how many days were you
- 14 unable to go outside with help, and perhaps we should
- 15 consider the days that you can get out and around as
- 16 independent days and useful days. And in this
- 17 treatment and prolonging survival you can see that
- 18 the new treatment made survival longer but you spent
- 19 most of your time in bed and having restricted days,
- 20 so the usual treatment had a lot more independent
- 21 days than the new treatment. We don't provide
- 22 information like this in many of our worlds of
- 23 trials.
- 24 And on the right my goal was to increase
- 25 tolerance, I'm interested in treatments that are more

- 1 gentle for cancer. My survival didn't change at all,
- 2 but I had a whole bunch more independent days with
- 3 the new treatment so maybe that would be useful to
- 4 people. So I'm suggesting perhaps in stroke rehab,
- 5 because there's all this fluctuation, people have
- 6 good days, bad days, sometimes their knee hurts, that
- 7 maybe we would accrue a number of days when you can
- 8 get out of the house or something like that.
- 9 In terms of heterogeneity we've heard a
- 10 lot about these issues, outcome rates varying. I
- 11 think I won't go anywhere else with that right now.
- 12 We have been beginning to explore subsets
- 13 of people in terms of trying to determine whether
- 14 these various ways of anchoring and calculating
- 15 meaningful change might vary with severity of
- 16 disability, would a smaller change in gait speed
- 17 perhaps be more of a signal in a very slow walker.
- 18 You need larger samples to do that and we are doing
- 19 that with sample sizes in the thousands now.
- 20 Again, I think we've addressed some of
- 21 these issues about the indicator of benefit needs to
- 22 make sense based on the amount of disability in your
- 23 stroke population.
- 24 We've talked a little about duration of
- 25 benefit as an issue. You certainly could use number

- 1 needed to treat for the sustained benefit, so with
- 2 how many are still independent in community
- ambulation a year after treatment, and you could do
- 4 this rate difference and calculate number needed to
- 5 treat. I'm very interested in what we might do with
- 6 time in state over a longer period of time so we
- 7 could be looking at what's happening with household
- 8 mobility over a year.
- 9 So, my pain points are that we should be
- 10 thinking about ways of reporting on patient treatment
- 11 effects that are closely linked to value, have strong
- 12 measurement characteristics but are more easily
- 13 interpretable by decision-makers than just group
- 14 means. Mean values for treatment arm are the hardest
- 15 to interpret from a clinical and policy point of view
- 16 and probably should be avoided. Time to event or
- 17 time in state might be some novel ways that we could
- 18 account for the fluctuating nature of disability, and
- 19 all that we can do is provide information in
- 20 interpretable format. The decision about what's
- 21 worth it is still a social decision. Thank you.
- 22 DR. SATYA-MURTI: Thank you very much.
- 23 We'll take a 15-minute break for PDL, physiological
- 24 demands of daily living, and reserve the questions
- 25 for the afternoon please. Thank you.

- 1 (Recess.)
- 2 DR. SATYA-MURTI: We had a new panel
- 3 member join us, Dr. Sloan. Dr. Sloan, would you
- 4 identify and introduce yourself and mention if you
- 5 have conflicts of interest, because you couldn't be
- 6 here earlier?
- 7 DR. SLOAN: My name is Andrew Sloan, I'm
- 8 an associate professor of neurological surgery at
- 9 University Hospital Case Medical Center and I have no
- 10 conflicts.
- 11 DR. SATYA-MURTI: Thank you. Maria will
- 12 introduce the speakers next.
- 13 MS. ELLIS: Now we'll have the scheduled
- 14 public speakers. First is Dr. Michael O'Dell, and
- 15 you will have five minutes.
- 16 DR. O'DELL: Good afternoon. Thank you
- 17 very much for the opportunity to speak with you
- 18 today. I'm representing the American Academy of
- 19 Physical Medicine and Rehabilitation. The Academy --
- 20 first of all, I have no financial disclosures to
- 21 offer. I frequently prescribe functional electronic
- 22 stimulation, robotic and partial weight-bearing
- 23 strategies, but I have no financial interests in
- 24 those companies.
- 25 Rehabilitation medicine, for those of you

- 1 who don't know, is the field of medicine that
- 2 addresses function which is best defined as
- 3 performance of individuals. What we do, we do in
- 4 teams with our colleagues in physical occupational
- 5 therapy, speech language pathology. We're not a
- 6 pill, we're not a procedure, we're a process. And as
- 7 I think you've heard today, that lends part of the
- 8 difficulty in doing research in the area.
- 9 AAPMR is the largest professional
- 10 organization representing physiatrists or
- 11 rehabilitation medicine physicians in the country.
- 12 Our members along with our colleagues in neurology
- 13 and neuroscience and rehabilitation professional
- 14 researchers have really been at the forefront of a
- 15 philosophical and a technological revolution in
- 16 neurologic rehabilitation. My point of view is as a
- 17 clinician, I see and I evaluate patients with
- 18 neurologic disease and stroke every day, and also as
- 19 a researcher addressing mostly FBS robotics and
- 20 psychometric properties of scales at the moment.
- 21 I wanted to bring out just a few issues
- 22 related to the methodology of the research in the
- 23 studies that we're talking about today. Much of what
- 24 I'm going to talk about has already been mentioned by
- 25 the previous speakers and I'll be able to go fairly

- 1 quickly.
- 2 There's been really a revolution in the
- 3 approach to neurologic rehabilitation from a very
- 4 compensatory strategy, getting folks to do better, to
- 5 more of a remedial approach, can we actually change
- 6 the natural history of the motor recovery from
- 7 stroke. There are very different approaches to the
- 8 population and as we look at the methodology of the
- 9 research to study these, I would emphasize the
- 10 importance of understanding the difference between
- 11 impairment and activity-based outcome measures and
- 12 how that plays in to figuring out whether one or the
- 13 other actually works.
- 14 The other issue is very clearly from a
- 15 motor recovery standpoint, specificity of exercise as
- 16 mentioned earlier this morning, and particularly
- 17 repetition of exercise is crucially important. And
- 18 not just a few repetitions, a lot of repetition,
- 19 which certainly indicates that the length of
- 20 treatment may need to be longer than we have thought
- 21 of in the past.
- 22 The explosion of technology available to
- 23 rehabilitation professionals over the last ten to 15
- 24 years is really quite impressive. Functional
- 25 electrical stimulation, both upper and lower

- 1 extremities, robotics the same, upper and lower
- 2 extremities, and newer developments in virtual
- 3 reality treatment. TMS and exercise approaches. As
- 4 Dr. Duncan mentioned earlier, constraint-induced
- 5 motor therapy as well as some of the partial body
- 6 weight supporting strategies. One of the areas that
- 7 we're really only beginning to understand is how to
- 8 use motor learning theory in what we do on a daily
- 9 basis regardless of the technology with stroke
- 10 rehabilitation, and the use of pharmacology.
- 11 Without -- I just want to emphasize a
- 12 couple of points in terms of issues about bridging
- 13 the research and the clinical care, perhaps a little
- 14 bit different take on speakers previously. I think
- 15 it's very important for this group to ask the
- 16 question, can there be a durable treatment effect
- 17 without durable treatment? And yes, certainly
- 18 providing an intervention and then looking at what
- 19 the outcomes down the road might be are crucially
- 20 important, but we don't expect a limited period of
- 21 time, treatment with statins and then expect that the
- 22 cholesterol is going to remain low, and don't treat
- 23 for a limited time for insulin and expect that the
- 24 diabetes is going to be cured. So looking at exactly
- 25 the question to be asked, and is it reasonable that

- 1 if we don't provide ongoing treatment whether we're
- 2 going to see ongoing improvement.
- 3 And the other important point, again,
- 4 understanding the endpoints. Are we measuring motor
- 5 recovery, the speed of movement, the accuracy of
- 6 movement, are we measuring the activity important to
- 7 someone or are we measuring their function in the
- 8 community? And again, making sure that we know what
- 9 questions that we're asking and that we know how to
- 10 measure them. This slide you can look at at your
- 11 leisure and I will be happy to answer any questions
- 12 later in the afternoon, but I think most of the
- 13 points in terms of possible strategies have really
- 14 been addressed by the speakers earlier today.
- 15 So in conclusion, the American Academy of
- 16 Physical Medicine and Rehabilitation looks forward to
- 17 working with CMS and other groups in really exploring
- 18 the best methodology to provide the best treatment
- 19 and access to that treatment for our patients. It's
- 20 very clear that repetition and specificity of
- 21 exercise, whether technologically mediated or not, is
- 22 going to be a very important area for further
- 23 research.
- 24 I think it's also very important to
- 25 understand and realize, there are pockets of very

- 1 reasonable and credible research in some of these
- 2 areas already. I would mention certainly upper
- 3 extremity functional electrical stimulation and upper
- 4 extremity robotics. There is a good deal of at least
- 5 reasonable research at an impairment level already.
- 6 Again, the American Academy of Rehab looks forward to
- 7 working with CMS as we bring these technologies and
- 8 the very best rehab care to our patients and our
- 9 stake holders. Thank you very much.
- 10 MS. ELLIS: Dr. Gad Alon.
- 11 DR. ALON: I want to thank the committee
- 12 for the opportunity. I'm Gad Alon, I'm an associate
- 13 professor at the University of Maryland School of
- 14 Medicine department of rehabilitation sciences. I'm
- 15 currently a paid consultant for Bioness but I am not
- 16 being compensated for my presentation today.
- 17 With existing intervention, only 12
- 18 percent of stroke survivors are likely to recover
- 19 full function of the upper extremity. 65 to 70
- 20 percent will recover the ability to walk, but at a
- 21 very slow pace and very limited distance. Many will
- 22 depend on some assistance, cane or walker, or
- 23 orthotic device, and at least 25 percent or higher
- 24 are likely to fall.
- 25 The critical question that I ask both as a

- 1 researcher and clinician are, what are the physical
- 2 rehabilitation options to help a stroke survivor?
- 3 Option one is actually no intervention.
- 4 This option is strongly contradicted by
- 5 evidence-based practice and offering it to the
- 6 patient is in my mind unwarranted and maybe even
- 7 unethical.
- 8 Option number two is to provide
- 9 task-specific oriented exercise training over three
- 10 to 12 months, and some continued progress can be
- 11 expected during that period. The difficulty is that
- 12 most patients cannot practice task-specific exercise
- 13 because their upper and lower extremities are
- 14 paralyzed or paretic, and they are unable to
- 15 activate those muscles appropriately.
- 16 So option number three is actually to
- 17 combine task-specific exercise with functional
- 18 electrical stimulation, or FES, and that's where my
- 19 area of research has been focusing.
- 20 But the question then is of all of the
- 21 therapeutic technologies available today, why FES and
- 22 not robotic or partial body weight support or some
- 23 other exercise technologies? Well, my answer is that
- 24 FES is the only and the least costly technology that
- 25 is available to date for daily training in the

- 1 rehabilitation center, in the outpatient clinic, in
- 2 the home, and most importantly as a patient home
- 3 self-administered training option. The fact that the
- 4 patient can continue to practice on his or her own
- 5 provides the best chance for further improvement of
- 6 motor control and functional gain, even in the
- 7 chronic paralysis or paresis.
- 8 Studies provide compelling clinical
- 9 evidence that early initiation and prolonged
- 10 application of an electrical stimulation program are
- 11 reasonable and in fact probably needed. There are
- 12 many, many studies and obviously we provided, or I
- 13 provided and the committee has it from many other
- 14 resources, about the data available today.
- 15 But there are obviously major issues
- 16 related to the outcome measure and selecting the
- 17 appropriate test is a challenge because there are too
- 18 many. As Professor Duncan said before, I believe
- 19 also there are too many tests that have been
- 20 validated and are highly reliable and reproducible,
- 21 but are not necessarily relevant to FES, to what FES
- 22 is expected to improve. For example, the FIM and
- 23 Barthel indexes are practically nonrelevant to FES.
- 24 The most relevant tests for the upper
- 25 extremity are those that measure the ability to open

- 1 the hand, to grasp, to move, and to release objects,
- 2 and for the lower extremity, those are to measure the
- 3 ability to walk at certain speed, the distance, and
- 4 possibly the incidence of fall. Relevant tests must
- 5 also consider, as previously mentioned, the severity
- 6 of the paralysis, and consequently my take on all
- 7 this is that there is unlikely to ever be one test
- 8 fits all.
- 9 In fact, regarding to the FES, I would
- 10 like the committee to consider that there are
- 11 actually two options. One, improving function while
- 12 using the FES, and second, improving function after a
- 13 period of training with the FES but testing the
- 14 function of interest without the FES. And when we
- 15 consider the research option, we need to consider
- 16 those two options as well in terms of the design.
- 17 Because of time I'm going to skip on many
- 18 of the other slides and I just want to summarize that
- 19 in closing, after at least 15 to 20 years of FES
- 20 clinical trials around the world and the cumulative
- 21 clinical and statistical favorable outcome, it seems
- 22 to far exceed the inherent limitation in
- 23 rehabilitation research. Many experts seem to have
- 24 reached consensus that effective training should be
- 25 task-specific, the study design must consider the

- 1 severity of the paralysis as well as the time since
- 2 the onset of stroke, and that the outcome measure
- 3 must reflect the specificity of the technology or the
- 4 intervention used. From that perspective I hope the
- 5 committee will revisit extending on the appropriate
- 6 design of clinical trials as double blind clinical
- 7 trials are practically impossible in neural
- 8 rehabilitation. Thank you.
- 9 MS. ELLIS: Robert Mullen.
- 10 MR. MULLEN: Good morning. Speech
- 11 language pathology is a relatively low tech field, so
- 12 no Power Point to present in our five minutes this
- 13 morning. But first of all, I would say that my name
- 14 is Rob Mullen, I'm the director of the National
- 15 Center for Evidence-Based Practice in Communication
- 16 Disorders at the American Speech Language Hearing
- 17 Association, or ASLHA. Beyond my involvement with
- 18 ASLHA I have no financial or other conflicts of
- 19 interest to disclose.
- 20 ASLHA is the professional society in the
- 21 U.S. for speech language pathologists and
- 22 audiologists, so we represent in excess of 130,000
- 23 members who are clinicians, administrators,
- 24 researchers and faculty, and we bring to today's
- 25 discussion a number of actually fairly grave concerns

- 1 about today's meeting, which some of you may have
- 2 noted if you read the written remarks that we've
- 3 submitted.
- 4 We submitted a number of comments
- 5 regarding the individual questions which you all will
- 6 be discussing later today, so I'd like to confine my
- 7 remarks this morning to some of the more global
- 8 concerns that we have. And one of the primary
- 9 concerns is that there are no speech language
- 10 pathologists on this panel. It's also apparent to us
- 11 that there are no occupational therapists, there are
- 12 no physical therapists, there are no
- 13 neuropsychologists. And that worries us, that those
- 14 huge stakeholders would be excluded from the panel.
- 15 I thought that Dr. Duncan and Dr. Studenski gave us
- 16 some very important insights related to physical
- 17 therapy this morning, and it would have been great,
- 18 we think, to have folks like that on the panel as
- 19 well as folks in some of these other disciplines to
- 20 really capture all of the stakeholders that we feel
- 21 are appropriate.
- 22 In addition to the lack of representation
- 23 on the panel, we're concerned that there appears to
- 24 be, or have been at least a lack of consultation as
- 25 well with these stakeholders. Certainly ASLHA was

- 1 not consulted in terms of framing these questions,
- 2 nor are we aware of any of the other rehab
- 3 associations being involved. Certainly our sister
- 4 associations in occupational or physical therapy, as
- 5 far as I'm aware, they were not consulted either in
- 6 terms of the development of these questions.
- 7 I think one of the manifestations of that
- 8 lack of involvement of these disciplines has to be
- 9 noted with the development of some of the particular
- 10 questions. I think you need look no further than
- 11 question number one to see what we perceive as
- 12 actually a fairly substantial bias in the way that
- 13 that question is written. The question refers,
- 14 starts out by talking about the problems of
- 15 generalization from study results to large
- 16 heterogeneous populations and then goes on to raise a
- 17 question about observational studies, which frankly
- 18 perplexes us because the notion of generalization is
- 19 an interpretation issue rather than a study design
- 20 issue. And so why that leads into the question
- 21 specifically about observational studies is something
- 22 that we quite frankly can't understand and it seems
- 23 to us to be frankly pejorative, and introduces a bias
- 24 potentially against observational studies.
- 25 One of the other manifestations of the

- 1 concern about the lack of involvement from these
- 2 major stakeholder groups has to do with the
- 3 particular outcome measures that are cited within
- 4 many of these questions, particularly four through
- 5 seven. We frankly were and continue to be perplexed
- 6 at the choice of the measures that were specifically
- 7 mentioned in these questions as they relate to speech
- 8 language pathology. The measures that are cited here
- 9 certainly do not reflect current research in speech
- 10 language pathology, they don't reflect current
- 11 clinical practice in speech language pathology. Some
- 12 of them are in fact one of the used measures, some of
- 13 them basically haven't been used for a decade or
- 14 more, and there are some very glaring omissions from
- 15 the list, and we would argue about even the propriety
- 16 of having such a brief list of outcome measures in
- 17 the first place. But if there is going to be a list,
- 18 we really have concerns about how this list was
- 19 created, we really can't make sense of how that was
- 20 done.
- 21 So, I would like to ask for your
- 22 consideration in taking a look at the comments that
- 23 ASLHA has submitted in terms of the individual
- 24 questions in your discussions later this afternoon,
- 25 so thank you.

- 1 MS. ELLIS: Jennifer French.
- 2 MS. FRENCH: My name is Jennifer French,
- 3 and you do get a second break from Power Point
- 4 presentations from me, as well as an ease on your
- 5 back from switching over. Again, my name is Jennifer
- 6 French, I represent an organization called Neurotech
- 7 Network, we're a 501(C)(3) public charity. And I do
- 8 need to state a bit of a conflict of interest. I
- 9 don't have any direct conflict of interest, but our
- 10 organization does have about 30 percent of our
- 11 funding from corporate sponsorships.
- 12 In terms of our comments that we would
- 13 like to make to you today is that we know that stroke
- 14 is a disabling event and we also know that the
- 15 disabling events have loss of mobility, cognition,
- 16 speech, balance and endurance. But there's also a
- 17 lot of other secondary health considerations that you
- 18 need to take account.
- 19 Neurotech Network, again, we're a
- 20 nonprofit organization, and we focus on the education
- 21 of and advocacy for neurotechnology devices for
- 22 people with impairments. And we believe in the topic
- 23 of clinical trial design and analysis of
- 24 neurorehabilitation there are three issues from a
- 25 patient's perspective that we believe the committee

- 1 should consider. First is face validity when you're
- 2 looking at the comparison group. Second is the gap
- 3 between the FDA approval and CMS reimbursement. And
- 4 third is the long-term health care of a stroke
- 5 survivor.
- 6 First when we look at drug trials, the
- 7 rehabilitation, in terms of rehabilitation is faced
- 8 with a challenge of defining what the comparison
- 9 group should be as well as incorporating blinding
- 10 into the study. In terms of rehabilitation, the
- 11 patient has to be actively involved in the
- 12 rehabilitation. Whether it's electrical stimulation
- 13 of a muscle, gait training with treadmills, or
- 14 rehabilitation using robotic-assisted devices, the
- 15 patient is involved in the treatment actively.
- 16 Therein lies the challenge of
- 17 rehabilitation of clinical trials. A clinical trial
- 18 design, we recommend to use a controlled group as
- 19 those receiving conventional rehabilitation
- 20 established at the time of the trial design. This
- 21 will help overcome the challenge by allowing
- 22 recruitment of a control group from a realistic
- 23 setting that patients experience in standard of care.
- 24 Secondly, I know that part of the
- 25 discussion is going to be in terms of gaps and

- 1 barriers, and our second point is in terms of
- 2 understanding that the FDA approval process is
- 3 different from the CMS approval process. It's really
- 4 not clear to the patient. It is difficult for us to
- 5 understand why a treatment can be FDA-approved but
- 6 not covered by CMS. The time frame between approval
- 7 can be months and even years. In clinical trial
- 8 design the FDA has a pre-IDE process; if the CMS has
- 9 a similar process, it's not well known. If there is
- 10 such a process that exists, we recommend that it
- 11 have, you have an inter-agency collaboration to aid
- 12 in the early design of clinical trials in an effort
- 13 to reduce the gap between FDA approval and CMS
- 14 reimbursement review.
- 15 Finally, a topic that is very near and
- 16 dear to my heart is the long-term care of the patient
- 17 and the economic impact. The effect of stroke does
- 18 not just impact the stroke survivor but also the
- 19 social network. For instance, if a member of a
- 20 household has a stroke, another member of the
- 21 household must become the caregiver. If there's not
- 22 a caregiver then either one is hired or they are
- 23 brought into a skilled nursing facility. In the case
- 24 where a person in the household becomes a caregiver,
- 25 there's a true economic impact. That person may no

- 1 longer be able to work and have to stay at home to
- 2 take care of that stroke survivor. Now that economic
- 3 impact may not be felt by CMS, but it's definitely
- 4 felt by other social agencies and we need to be aware
- 5 of that.
- 6 Also, in addition to daily care giving,
- 7 treatment of stroke survivors is not autonomous.
- 8 Treatment and therapy for stroke is not a short-term
- 9 endeavor, it's a long-term rehabilitation process.
- 10 As new treatments are considered, they need to be
- 11 viewed as a complement to the overall care and not
- 12 just a stand-alone treatment. This long-term view
- 13 can help to understand how treatment being tested can
- 14 impact the care, the cost and the quality of life of
- 15 a stroke survivor. Ultimately a short-term
- 16 investment in rehabilitation of a stroke survivor can
- 17 convert to long-term savings of the overall
- 18 healthcare costs of that person, and not only
- 19 improving function but reducing secondary
- 20 complications, maintaining independence and improving
- 21 quality of life, not only for the survivor, but the
- 22 social network and the caregiver. Thank you for your
- 23 time.
- 24 MS. ELLIS: Mary Wagner.
- 25 MS. WAGNER: Good afternoon. I'm Mary

- 1 Wagner and I have no disclosures today. I want to
- 2 thank you for the opportunity to speak. I am a
- 3 speech language pathologist and I am speaking today
- 4 on behalf of NARA, the National Association of Rehab
- 5 Providers and Agencies. NARA is a professional
- 6 association who for 30 years has focused on the
- 7 business side of rehabilitation. We represent
- 8 thousands of therapists and 70 business organizations
- 9 throughout most of the states in the United States.
- 10 NARA's members are owners or those who manage
- 11 Medicare-certified rehabilitation agencies, long-term
- 12 care facilities, certified home health or
- 13 comprehensive outpatient rehabilitation facilities.
- 14 NARA's members provide services through physical
- 15 therapists, occupational therapists and speech
- 16 language pathologists.
- 17 We recognize the importance of scientific
- 18 evidence and the need for evidence-based approaches
- 19 to therapy and the need for good solid research, and
- 20 achieving that goal we recognize has many challenges.
- 21 Having quality researched evidence to verify
- 22 therapeutic approaches is a longstanding challenge
- 23 for the rehabilitation industry. Historically most
- 24 rehabilitation therapy clinical research comes from
- 25 teaching institutions or the VA, and it's very costly

- 1 for the average clinical setting to dedicate staff
- 2 that will obtain funding, manage and participate in a
- 3 clinical research project. As a result, some
- 4 innovative therapy approaches to therapy may never be
- 5 shared.
- 6 The challenges are well explained in
- 7 several research articles. Dr. Weinstein from the
- 8 University of Southern California and Dr. Ludwig from
- 9 Rancho Los Amigos National Rehabilitation Center and
- 10 University of Southern California sort of put it in a
- 11 nutshell. To quote them, the research design is
- 12 dependent upon internal and external validity needs;
- 13 ethical considerations, should we provide therapy,
- 14 this new technique to this population and not to that
- 15 population; the feasibility and pragmatic concerns
- 16 and perspectives of the research funders, third-party
- 17 payers, reviewers, investigators, clinicians, and of
- 18 course our patients.
- 19 Occupational therapists have found there
- 20 is really not a lot of evidence for the efficacy of
- 21 specific interventions. One way that perhaps we can
- 22 look at research is sort of a back door approach, if
- 23 you will. Look at outcomes, everyone's working on
- 24 how to come up with looking at outcomes and paying
- 25 for performance, and maybe if we look at the outcomes

- 1 and then go backwards from that, what interactions
- 2 and what techniques, therapeutic interventions help
- 3 to make the best outcomes, and then drill down to see
- 4 what was done in those particular areas.
- 5 And then that being said, it's important
- 6 to keep in mind that a cookbook therapy approach, one
- 7 where treatment for a defined diagnosis is one
- 8 treatment is best for everyone, that isn't what
- 9 therapy's all about. It's the training and skill of
- 10 the individual clinician that enables him or her to
- 11 explore diagnostically how a patient learns along
- 12 with their strengths, weaknesses, comorbidities.
- 13 Their personality even will help to determine what
- 14 approach will be most effective in providing positive
- 15 outcomes with that individual.
- 16 However CMS decides to proceed on this
- 17 important issue, NARA would like to be a bridge
- 18 between the clinical and the research. As Dr.
- 19 Studenski talked about, the anchor. The anchor can
- 20 look at the outcomes and then drill down from there.
- 21 NARA being representative of that critical connection
- 22 of the clinical and subsequent business aspects, we
- 23 would like to be part of the process and we would
- 24 like to be included as was mentioned by the ASLHA
- 25 representative.

- 1 And when we're trying to look at something
- 2 that's so critically important, all of the players
- 3 need to be at the table. We need to have research
- 4 that's meaningful, makes sense and will work in the
- 5 real business world, and that's where NARA would like
- 6 to have a role and be a part of the decisions that
- 7 are being made as we go forward to decide what are
- 8 the best evidence-based practices to incorporate for
- 9 the future of rehabilitation services. Thank you.
- 10 MS. ELLIS: Now we'll have open public
- 11 comments. We have Dr. Mark Pilley.
- 12 DR. PILLEY: I understand I have two
- 13 minutes. And as Dr. Jacques and Murti understand,
- 14 that's tough for me to do. Mark Pilley, previous
- 15 contract medical director for Mutual of Omaha for a
- 16 few years, and then IntegraGuard. I just got
- 17 finished doing the durable medical (inaudible) for
- 18 jurisdiction D. Today I'm a consultant working in
- 19 here representing RS Medical, so I have to disclose a
- 20 couple of things that are a conflict. I am getting
- 21 paid for being here today, but my comment is a
- 22 general comment.
- 23 I'm also a fellow and on the board of the
- 24 American Academy of Disability Evaluating Physicians,
- 25 and one of the things that struck me with this

- 1 particular presentation was the application of the
- 2 ICF, which I think the AMA guides have just gone to
- 3 in using that in making a determination of permanent
- 4 impairment, which might provide an opportunity to
- 5 provide sort of a global way of assessing impairment
- 6 of the whole person, taking multiple systems into
- 7 consideration when calculating that particular
- 8 impairment rating.
- 9 That having been said, the academy of
- 10 course is a nonprofit academy, I think we just
- 11 acquired a 503(C), but I don't know that there's many
- 12 funds in that because we like to do more in terms of
- 13 research and clinical studies and trials.
- 14 But one of the things that we do teach is
- 15 that pain is a significant impairment and a barrier
- 16 to recovery, because activity obviously begets
- 17 activity. One of the things I didn't see presented
- 18 here was a way of determining improvement in terms of
- 19 reduction in pain, because in reducing pain, people
- 20 get up and they do more things. But it also means
- 21 they're not taking medications that can impair their
- 22 functionality and in particular narcotics, and I
- 23 think that is a significant impairment and risk to
- 24 the beneficiary or to the patient, in terms of the
- 25 more narcotics you're taking, of course the increased

- 1 risk you have of decreased cognitive thinking and of
- 2 awareness and of falls. So I just wanted to make
- 3 that particular comment. But regardless of how it's
- 4 accomplished, I think it's most important to consider
- 5 that as an inclusion in positive outcomes.
- 6 DR. SATYA-MURTI: We don't have any other
- 7 scheduled or ad hoc speakers. I think we will break
- 8 for lunch and come back, we're scheduled to come back
- 9 at 12:35, maybe we can come back at 12:25 instead, or
- 10 12:30. Thank you.
- 11 (Recess.)
- 12 DR. JACQUES: Good afternoon and welcome
- 13 back. Before we actually resume the agenda where we
- 14 left off, I just wanted to respond to a couple of
- 15 comments that people had made. One, I think it's
- 16 important to keep in mind that this meeting is not
- 17 about a particular technology, nor is it about a
- 18 particular modality. And we realize that the
- 19 rehabilitation of people with stroke, certainly it
- 20 involves people from multiple disciplines.
- 21 The composition of the MedCAC panel is
- 22 based on, the membership of the panel, which is a
- 23 public process, there is an annual nomination process
- 24 and if there are organizations that would like to
- 25 nominate one or more individuals for membership on

- 1 the MedCAC panel, certainly it's a public process and
- 2 one can avail themselves of that. The constitution
- 3 of the MedCAC panel is based from the MedCAC panel
- 4 membership.
- 5 And again, just as a reminder,
- 6 neurological rehabilitation is an extraordinarily
- 7 broad subject. Certainly we could have also talked
- 8 about spinal cord injuries, we could have talked
- 9 about congenital problems, we could have talked about
- 10 all kinds of things, and it would have unfortunately
- 11 been an unmanageable meeting in terms of size. We
- 12 chose stroke because of its particular relevance to
- 13 the Medicare beneficiary population and we recognize
- 14 that even in that setting, that the conversation may
- 15 be a little bit narrower than some would prefer. But
- 16 keeping in mind that we are not making a determination
- 17 here about the coverage of any particular technology,
- 18 we do feel that the broad discussion of the
- 19 methodologic challenges related to determining
- 20 appropriate outcomes and trial design and things like
- 21 that can provide some generalizable information that
- 22 people may find helpful in other settings.
- 23 Saty, you want to take it from here?
- 24 DR. SATYA-MURTI: Yeah, you've said it.
- 25 The idea is not to focus on single treatment

- 1 modalities but what kinds of measurements would you
- 2 like to see, and that will apply to some of the
- 3 questions too when we get down to it.
- 4 I would start off about with my question
- 5 to the presenters. I would like to confine myself to
- 6 one question at a time so we get the opportunity for
- 7 others and not have multiple questions. My question
- 8 to the two TA presenters this morning would be, we
- 9 heard about functional electrical stimulation and
- 10 then when I read your TA I found you had included
- 11 that, I actually used the search term to go down to
- 12 see, but you had also mentioned that FES, the sample
- 13 sizes were small and that you had some questions
- 14 about FES itself. We heard the benefits of FES this
- 15 morning, two speakers talked to us and said, but did
- 16 they satisfy the characteristics of a good study, did
- 17 they have all the concert requirements, stroke
- 18 requirements mentioned, or is it too focused a
- 19 question.
- 20 DR. SANTAGUIDA: There were citations that
- 21 were reviewed in both sections and so the focus was
- 22 on their methodological quality.
- 23 DR. SATYA-MURTI: And how was it?
- 24 DR. SANTAGUIDA: I can't recall
- 25 specifically those studies but we can get back to you

- 1 with that information. I'm not sure that they were
- 2 distinguished among the other therapies.
- 3 DR. SATYA-MURTI: So you couldn't find a
- 4 particularly striking distinction of the FES in
- 5 comparison to the others that you reviewed is what
- 6 you're saying?
- 7 DR. SANTAGUIDA: We paid no attention in
- 8 the purposive sampling to what the therapy was. We
- 9 selected studies based on the outcomes that they
- 10 evaluated.
- 11 DR. SATYA-MURTI: Okay, thank you. All
- 12 right, one question, so I'll toss it on.
- 13 DR. HURWITZ-GERBER: Is Dr. Miller a fair
- 14 person to ask a question of?
- 15 DR. SATYA-MURTI: Sure.
- 16 DR. HURWITZ-GERBER: Susan, I really
- 17 enjoyed your presentation this morning, thank you. I
- 18 have one question and it's really sort of a
- 19 clarification. It was your slide which pertained to
- 20 categories of function within the ICF domain. My
- 21 sense is that there is interest both from CMS and
- 22 others who have presented this morning of using this
- 23 classification scheme in some way or another to
- 24 either identify opportunities for outcome measurement
- 25 or for conceptualizing problems of disability through

- 1 this model, and towards that end you mentioned that
- 2 you thought an instrument would have to address the
- 3 issue of health consequences and functional concerns
- 4 of patients. I thought that was a really important
- 5 statement which takes us a little bit further than
- 6 the standard strict methodologies of outcome
- 7 measures, of performance, and those sorts. Did I
- 8 mishear what you said, is this something that you
- 9 would like us to at least incorporate in our
- 10 thinking?
- 11 DR. MILLER: Yes. That's the answer.
- 12 DR. JACQUES: Which question are you
- 13 saying yes to?
- 14 DR. MILLER: All of them. CMS is very
- 15 interested in the, particularly in the technology
- 16 field, in the activities domain of the ICF, and particularly
- 17 in terms of devices is very interested in those
- 18 measurements or perhaps those categories of function
- 19 that would fall under activities in the ICF scheme.
- 20 DR. HURWITZ-GERBER: But specifically from
- 21 the patient perspective?
- 22 DR. MILLER: Yes. Now, I think that
- 23 that's fair to say as CMS. However, in addition to
- 24 that, what we are trying to bring out is the fact, is
- 25 the questions about caregiver burden. We are quite

- 1 aware of the questions of caregiver burden, so
- 2 whereas it's not necessarily a coverage
- 3 consideration, we do believe that it is in the
- 4 interest of our beneficiaries to certainly consider
- 5 that question during the study if it is appropriate.
- 6 DR. HURWITZ-GERBER: Thank you.
- 7 DR. DANIS: I wanted to particularly thank
- 8 Dr. Duncan and Dr. Studenski for some very coherent
- 9 presentations about the direction we need to go in
- 10 and I wanted to ask both of you about your thoughts
- 11 about measurements that will make it feasible to get
- 12 large enough amounts of data to have any policy
- 13 inferences. It seems like it's going to be very hard
- 14 given how diverse the presentation of stroke patients
- 15 are to get large sample sizes that you can get -- and
- 16 it seems like you'd like to move towards data
- 17 collection in the clinical setting where you actually
- 18 observe a lot of patients and outcomes. And I'm
- 19 wondering about what sort of measures you think could
- 20 be useful in the context of clinical care that would
- 21 be good and not too complicated to actually
- 22 administer in that setting, and also ask you about,
- 23 one other question, which is what you two think about
- 24 duration of follow-up given the rate of progress in
- 25 recuperation in stroke patients.

- 1 DR. DUNCAN: In response to your first
- 2 question about the clinical utility and feasibility
- 3 of these measures, we have to go back to what the
- 4 purpose of this panel is, and as I understand it is
- 5 to look at technology. And if you are evaluating
- 6 technology you should use the same standards that we
- 7 might use in any FDA trial in which we come in with a
- 8 conceptualization of what those instruments are and
- 9 how we are going to apply them. And the range of
- 10 measures that we've talked about are all feasible in
- 11 the context of a randomized clinical trial to
- 12 evaluate specific technology, and it's -- and again,
- 13 I do a lot of consulting with drug companies so we're
- 14 all, we can come up with a coherent battery that is
- 15 not such a burden to the patient and can characterize
- 16 the effect across the domains of the ICF model. So
- 17 it can be done, it's easy, I've done it for years in
- 18 a career, and it's not any more burden than any FDA
- 19 trial. So that would be my response to your first
- 20 question.
- 21 The second question, though, if you're
- 22 asking it in terms of what is clinically useful and
- 23 how you want to inform Medicare policy from a broader
- 24 perspective, again, I would go back to the factor
- 25 that we, to inform policy, we have access to a lot of

- 1 information in Medicare files and records that give
- 2 us very important outcomes, like fracture rates in
- 3 this Medicare population, like rehospitalizations,
- 4 and merging that with the number of days that they're
- 5 living in the community can be done from a broader
- 6 public health perspective.
- 7 And Medicare and CMS and AHRQ haven't even
- 8 begun to tap that as it can be addressed in rehab.
- 9 There are other models that AHRQ and CMS are doing in
- 10 cardiology in stenting, we're very involved in that
- 11 at Duke, and we need to bring that same level of
- 12 integrity and science into the rehab industry.
- 13 DR. KUBO: I would like to follow up on
- 14 that question, because the issue is not what we can
- 15 do in a randomized clinical trial which has CRAs,
- 16 case report forms, databases, data clarification
- 17 forms, and a thousand patients who are very well
- 18 serviced. We're talking about collection of data in
- 19 10,000 patients where there isn't a CRA, a central
- 20 repository of data or something like that. Is it
- 21 possible to use these measures in a clinical sector
- 22 rather than as part of an FDA trial?
- 23 DR. DUNCAN: Absolutely. Do you know how
- 24 long it takes you to measure gait velocity and what
- 25 equipment it takes you, and I can train a man off the

- 1 street to do it.
- 2 DR. DANIS: So that's what we're asking.
- 3 DR. DUNCAN: Yeah. I mean, that type of
- 4 index is that simple. If you think of the context of
- 5 clinical practice in general rehab practice now, a
- 6 patient is seen by OT, PT, speech and language,
- 7 physicians and nurses. And if you do a survey of all
- 8 those providers and you ask them how much time they
- 9 spend assessing a patient, all of them will admit to
- 10 about 45 minutes, 30 to 45 minutes. So in the course
- 11 of seeing a patient in the multidisciplinary
- 12 perspective, you may get eight hours of assessment
- 13 with no consistent profile of that patient because
- 14 each discipline brings in a different measure,
- 15 doesn't use standardized assessments, and doesn't
- 16 follow the patient prospectively with key indicators
- 17 of outcome.
- 18 MS. FRIED: Actually, I had sort of a
- 19 different question but in your presentation you made
- 20 the comment, or maybe it was a slide that said can
- 21 there be durable treatment without durable treatment
- 22 or something like that.
- 23 DR. DUNCAN: Yeah, that was there, yeah.
- 24 MS. FRIED: So this is sort of a broader
- 25 question because in my world representing

- 1 beneficiaries you get your rehab therapy after an
- 2 acute incident basically. The team comes in, they do
- 3 their assessment, you get care for a certain number
- 4 of days or weeks and then you're on a maintenance
- 5 plan, and that maintenance plan depends on if there
- 6 is a caregiver at home, it depends on so much. And
- 7 so, this is probably beyond, although I don't really
- 8 think it's beyond the mission, because you talked
- 9 about challenges to research in the field of
- 10 neurorehab and it seems like rehab goes much longer
- 11 than that short period. Can you tell me if there is
- 12 much research on, I don't want to call it maintenance
- 13 plans, because maintenance plans in the Medicare
- 14 world means you go on the plan and nobody helps you.
- 15 DR. DUNCAN: Yes, I understand that, and
- 16 let me tell you what the challenges are. Of all the
- 17 evidence that was reviewed, and I'll speak from
- 18 physical recovery because I know a lot about it, none
- 19 of the trials, constraint-induced movement, the
- 20 walking recovery trial that I have going on in
- 21 practice now is reimbursable or consistent with the
- 22 Medicare reimbursement policy. So the level of
- 23 evidence that's provided for intensity, frequency and
- 24 duration under the conditions of the randomized
- 25 clinical trial, as was mentioned by Dr. O'Dell and

- 1 others of task specificity and (inaudible) in
- 2 duration is not compatible with the current
- 3 reimbursement policy.
- 4 So what we had to do in terms of
- 5 sustainability is to think about building more
- 6 integrated models of care, and we all know that
- 7 Medicare cannot afford to pay for every level of
- 8 intervention that we might need, but again, drawing
- 9 on my skill in walking recovery, it is paramount with
- 10 my intervention that I get the patient to the level
- 11 that they're mobile enough that they can sustain a
- 12 level of activity and then be integrated into more
- 13 community-based programs. So I don't -- I'm not
- 14 standing here to say that Medicare should or could
- 15 sustain the interventions forever, it's not exactly a
- 16 statin pill, but we've got to get them to the level
- 17 of physical functioning that they can sustain their
- 18 well being.
- 19 DR. ALVIR: This is actually for Dr.
- 20 Studenski, and I think you were practically begging
- 21 for this question. We all know about the treatment
- 22 and ceiling effects for a lot of these outcome
- 23 measures, and we also know about all the
- 24 heterogeneity in this study population, and we also
- 25 know that a two-point increase or decrease in a scale

- 1 really means, or may mean something very different
- 2 depending on where the scale is. So again the
- 3 question which I think what you wanted asked was, are
- 4 there, has there been a lot or enough item response
- 5 theory or Rash modeling done on these outcomes that
- 6 we have been discussing? And again, this is not that
- 7 popular, because even the FDA draft guidelines and
- 8 patient reported outcomes don't even touch these
- 9 things, so could you enlighten us on that, please?
- 10 DR. STUDENSKI: You're probably aware that
- 11 there's a large contract that's been let and I think
- 12 David Sullivan is in charge of it. But again, the
- 13 theory is that we have dealt with a paper and pencil
- 14 world where everybody has to get asked the same
- 15 questions, and particularly in an area like physical
- 16 function, there is a natural ordered ness to
- 17 difficulty that can be used to range find using more
- 18 computer-based systems. So you ask a person if they
- 19 can walk; if they can't walk, there's no point to ask
- 20 if they can walk a mile or two miles or so on, they
- 21 don't walk, so then you want to know about how are
- 22 their transfers, whatever. And if they do walk you
- 23 may want to start finding out more. And so the idea
- 24 is these tree concepts and these are implemented
- 25 using computer logic sequences.

- 1 And there, as you know, is a large major
- 2 national effort to pool items from endless sources
- 3 and come up with essentially as I understand it, the
- 4 new generation SF-36. And I just think that because
- 5 one of the major areas that's being developed is
- 6 physical function, that that should, and you know,
- 7 that should be integrated with where you're going, so
- 8 I think the old days of the Barthel or any single
- 9 item, an instrument like that, are about to be over,
- 10 and you will be able to check a further range and you
- 11 will be able to do it much more quickly. Another
- 12 person who is doing work in that area that you know
- 13 well is Alan Jette, who has item banks and is
- 14 publishing in that area.
- 15 DR. ONDRA: I have a question for really
- 16 anyone, but perhaps the tech assessment people. It
- 17 seems to me that as I was reading through your
- 18 assessment, the real problems that we're having are
- 19 really fundamental. We don't have ideas in terms of
- 20 what is baseline treatment to compare. If you're
- 21 doing an RCT it's a little bit easier, but in
- 22 observational studies you need a baseline to compare
- 23 to to add a specific treatment, and what is that
- 24 baseline? And it also seems, am I correct, that
- 25 there's not a lot of disease-specific outcome

- 1 measures, is that correct?
- 2 DR. OREMUS: Well, there were two parts to
- 3 your question, so it seems that in some areas
- 4 certainly there is a bit of a deficiency as far as
- 5 the methodology goes. This goes to certain
- 6 evaluation criteria where we're more deficient in
- 7 terms of their methodological strengths than other
- 8 evaluation criteria. But having said that, some
- 9 studies were also very strong and some studies also
- 10 were not very strong. So there really is a lot of
- 11 variance in terms of methodology.
- 12 As far as specific measures, that was
- 13 certainly one area that seemed to be lacking from a
- 14 methodological perspective, is that many of the
- 15 studies went and took off-the-shelf measurement
- 16 instruments and used those in their evaluations,
- 17 precisely because there wasn't any firm guidance as
- 18 to what they should or shouldn't be using. So often
- 19 that's what they did is they took something generic,
- 20 and it's really inappropriate to use a scale just
- 21 because everybody else uses it. What really has to
- 22 be done is you have to assess what do you want to
- 23 measure, is an existing instrument appropriate enough
- 24 to measure what it is you want to measure, and does
- 25 it have strong psychometric properties in your

- 1 population.
- 2 DR. ONDRA: And without that you can't
- 3 really calculate an MCID?
- 4 DR. OREMUS: Well, the minimum clinically
- 5 important difference can certainly be calculated,
- 6 it's the meaning behind the difference that is very
- 7 important. And certainly if you're using an
- 8 instrument that is not psychometrically appropriate
- 9 in the stroke population, then what you calculate is
- 10 not going to be a valid measure.
- 11 DR. SATYA-MURTI: You mean to say there is
- 12 an MMCID, meaning behind minimum clinical.
- 13 (Laughter.)
- 14 DR. OREMUS: Yes, there are different ways
- 15 to define what is, philosophically speaking, what is
- 16 a minimum clinically important difference. But once
- 17 you have your definition, your understanding of what
- 18 it should be or what you think it should be, then
- 19 it's certainly important. For example, in my opinion
- 20 it's the smallest important difference that you would
- 21 want to see that is clinically significant. It may
- 22 not necessarily be the difference that everybody
- 23 would consider important, but from your perspective
- 24 what is the most important clinically significant
- 25 difference.

- 1 And that is obviously going to be
- 2 dependent on the scale. If you're looking at a scale
- 3 that measures change based on a point score, what is
- 4 the minimum number of point change on the scale
- 5 that's important, and that is in a sense where the
- 6 difficulty lies. Is a two-point change clinically
- 7 significant, often we can't answer that question
- 8 because we don't know what a two-point change means
- 9 clinically. So if we don't know what it means
- 10 clinically, we can't understand if it's the minimum
- 11 clinically important change.
- 12 DR. SATYA-MURTI: Thank you. Dr. Pauker
- 13 had a question.
- 14 DR. PAUKER: This question has four parts.
- 15 It's not clear to me why the issue of stroke is
- 16 different than any other chronic disease that has
- 17 long-term and short-term issues, and what I mean, do
- 18 you mean that we need to think about this special and
- 19 why don't we look at it with other chronic diseases
- 20 to make it fair.
- 21 Secondly, it wasn't clear to me as to
- 22 whether we're talking about effectiveness or
- 23 comparative effectiveness. There is one slide that
- 24 said the best available to use and there was a slide
- 25 that says it could be varied to a placebo to define

- 1 what kind of, we are picking the comparator, what
- 2 kind of comparator we're picking.
- 3 Third, there's clearly lots of things that
- 4 can be a very beneficial placebo effect, so if you
- 5 have a study design that's A compared to A plus B,
- 6 which is one mentioned to you a lot, you have to add
- 7 a placebo to A, you need to compare A plus something
- 8 to A plus B to see the effect of B, and I didn't see
- 9 that mentioned in there, and I would like comments
- 10 about that.
- 11 Finally, it appears as I've listened to
- 12 lots of these things that there is a very broad set
- 13 of potential outcomes in patients with stroke, so it
- 14 doesn't make sense to have a single scale that covers
- 15 all patients, not just what their deficit is but how
- 16 bad their deficit is. Is there some large scale,
- 17 because most of these things seem to be relative to
- 18 differences in severely impaired people whereas in
- 19 terms of functionality of a minimal difference at the
- 20 high end of the scale may not be picked up well, and
- 21 that may be very very important for integration into
- 22 society or a job or whatever else, and I didn't see
- 23 any comment about that. Tell me do you expect to
- 24 have a single measure across the board, or do we need
- 25 to have different measures for different variations

- 1 of stroke?
- 2 So those are four questions.
- 3 DR. OREMUS: I will try to address each of
- 4 the points. Regarding the first point, I certainly
- 5 think that there is a certain amount of
- 6 transferability of the issues that we're talking
- 7 about today to other chronic disease areas, but I
- 8 really can't comment further on that since we were
- 9 focused only on the stroke aspect of these
- 10 methodological issues.
- 11 The second point had to do with comparator
- 12 treatments, and certainly that is one of the most
- 13 important issues when you're evaluating any sort of
- 14 therapy, be it a stroke rehab therapy or any therapy,
- 15 is the validity of the comparator. And definitely
- 16 one of the issues that we addressed in our technology
- 17 report was whether or not to include studies without
- 18 a comparison group, and we felt it was necessary to
- 19 only include studies with a comparison group, because
- 20 we feel that in order to evaluate any technology, any
- 21 stroke rehab technology, you need to evaluate it
- 22 against something. So certainly it's important to
- 23 have a comparator treatment and it's important to
- 24 have a quote-unquote valid comparator treatment,
- 25 something that may be the standard treatment that

- 1 you're seeking to improve upon or some other
- 2 treatment that is used in the population of interest.
- 3 The third point was placebo effect, that
- 4 certainly is an important issue to consider in any
- 5 study, especially in stroke rehabilitation where you
- 6 may have other things happening in the background,
- 7 it's important to bring those things forward. So
- 8 definitely placebo effect is something that
- 9 researchers in the future should be considering when
- 10 they are designing their study. It's a
- 11 methodological issue that they need to build into
- 12 their design and certainly it's an issue that should
- 13 be addressed in their discussion if they feel that
- 14 there may be some effect on the result. So it's
- 15 definitely an issue that needs to be addressed.
- 16 And what was the last issue?
- 17 DR. PAUKER: The last one is the single
- 18 method when they can't cover the broader scale of
- 19 potential disability.
- 20 DR. OREMUS: That's right. Some of the
- 21 other presenters today may be better able to address
- 22 that question. I think right now we're at the stage
- 23 where we realize that there is an issue with the
- 24 current crop of instruments used to measure outcomes
- 25 in stroke rehabilitation, and so the first step is to

- 1 recognize the issue. And then the second step is to
- 2 really address the points that you've raised about
- 3 whether we can have a global measure or we may need
- 4 certain individual measures for specific issues. And
- 5 I think that now that we've recognized there are
- 6 problems with what's being done, the very questions
- 7 you raise are the next set of issues that we may have
- 8 to address in this field, and some of the other
- 9 presenters today might want to expand upon that.
- 10 DR. SATYA-MURTI: Dr. Foley first and then
- 11 I will have Dr. Roth after that.
- 12 DR. FOLEY: I was just going to ask a
- 13 follow-up. Can you actually have a placebo effect in
- 14 this particular disease entity where any amount of
- 15 stimulation or stimulation, whatever is potentially
- 16 having a therapeutic benefit consistent with what I
- 17 saw in some of the slides with Dr. O'Dell about
- 18 trying to get durable effect with durable treatment,
- 19 and actually any amount of stimulation trying to
- 20 stimulate plasticity and brain reorganization.
- 21 DR. OREMUS: I can't answer your question
- 22 personally because I'm not an expert in the area of
- 23 rehab itself, I'm a methodologist, so I'm going to
- 24 have to defer that.
- 25 DR. FOLEY: But Dr. Roth is so I'm sure he

- 1 can.
- 2 DR. ROTH: I actually had a question for
- 3 all or any of the panel members, but Pam, you can
- 4 start it out. And that is that you and others talked
- 5 about comorbidities and complications as actual
- 6 outcomes, listing (inaudible), rehospitalization, we
- 7 heard about pain, we've talked about even mortality.
- 8 I'm just wondering your thoughts and other
- 9 presenters' thoughts about complications as an
- 10 outcome measure.
- 11 DR. DUNCAN: Stroke is a chronic condition
- 12 and most of the individuals who present with a stroke
- 13 have the metabolic syndrome of diabetes and heart
- 14 disease. I can tell you, again I'm unblinded to
- 15 groups, but in my current trial that I have going on,
- 16 there are a lot of competing comorbidities and a lot
- 17 of intercurring events. I think that if we step back
- 18 from stroke and think about aging and chronic
- 19 conditions, we seem to understand now that the
- 20 evidence is very converging that maintaining a
- 21 certain level of physical activity and function may
- 22 be the best magic pill, and that we may be able to
- 23 influence recurrence of cardiovascular disease,
- 24 diabetic management, and so we need to move broader.
- 25 And that is the advantage that you have in Medicare

- 1 and Medicare data, is to be able to look at the
- 2 trajectory of these intervening comorbidities,
- 3 rehospitalizations and recurrent strokes. So that
- 4 has not been tapped from the rehab industry and
- 5 should be carefully followed and I just think, I just
- 6 use falls as a concrete example.
- 7 While I'm here I want to make one
- 8 follow-up to Dr. Pauker's comment and something that
- 9 Dr. Studenski said. I actually have, as I said,
- 10 having a career trying to get the community to
- 11 endorse more systematic measurements and not being
- 12 very successful, I've taken a step back to say why is
- 13 that, you know, why are we not there? To me it's
- 14 quite simple. But I think it goes back to this
- 15 question of clinical interpretability, do you really
- 16 understand what is the meaning of your measures.
- 17 I think, I always use blood pressure as a
- 18 perfect example, we understand the range of normal
- 19 blood pressure, we understand the risks with changes
- 20 in blood pressure. And I think the real challenge is
- 21 that in some of the measures that we've endorsed, and
- 22 it's a particular problem with the new Rosch analysis
- 23 and the item banking, I think it is the right
- 24 methodology to be able to get the scope of function,
- 25 but a clinician will never understand a logent score

- 1 if they don't understand a change in gait velocity.
- 2 So whatever we do, we have to understand the clinical
- 3 interpretability of what we're doing, and that is the
- 4 real challenge in some of these other metrics.
- 5 DR. SATYA-MURTI: Apropos to Dr. Roth's
- 6 question, one aspect that hadn't been touched upon
- 7 among comorbidities is post-infarct seizures. Many
- 8 of these patients, as neurologists they might be
- 9 actively undergoing postictal state or partial
- 10 seizures, and if we include them inadvertently in one
- 11 group or the other without knowing this is going on,
- 12 and euglycemia is the other factor, we might actually
- 13 bias the outcome one way or the other. If someone is
- 14 being measured on a certain day with one of the
- 15 indices when they're in a postictal state, that's
- 16 really going to weigh it way down. So among the
- 17 comorbidities mentioned, this is one that I didn't
- 18 find particularly brought out except for some rare
- 19 studies, so I wanted to put in a pitch in case
- 20 someone is thinking of devising future studies.
- 21 DR. O'DELL: I wonder if I might just take
- 22 a moment to address your placebo issue, and perhaps a
- 23 couple other issues as well. I'm absolutely
- 24 convinced there's a significant placebo effect
- 25 because so much of what we do in rehab depends on

- 1 engagement and participation. In so many folks,
- 2 particularly in studies in chronic stroke, there's
- 3 such a hope, there's such a desire to find whatever
- 4 the next best thing is, the next step, that simply by
- 5 being involved in a study and having the hope that
- 6 something new can happen very well may motivate a
- 7 patient that may have subclinical depression or
- 8 psychological issues to really do more than they had
- 9 done before. So I guess it's not exactly a
- 10 psychological effect, but the better engagement very
- 11 well could lead to functional improvements in a group
- 12 that isn't receiving active treatment.
- 13 DR. SATYA-MURTI: You mean like a
- 14 Hawthorne effect?
- 15 DR. O'DELL: No, I think it's probably
- 16 more than a Hawthorne effect. They are involved,
- 17 they are being observed and -- yeah, I guess it is,
- 18 because they would behave differently, and by
- 19 behaving differently and perhaps being more engaged
- 20 in the rehab therapies that are being provided, they
- 21 would put themselves in a position to benefit more
- 22 from that.
- 23 DR. ROTH: For some patients, just being
- 24 around the therapists and the clinicians is very
- 25 beneficial, even if they're not doing any of the

- 1 technical skills that we're talking about here.
- 2 DR. ONDRA: This really goes to a question
- 3 that I wanted to ask all three of you, and that is
- 4 the issue of blinding the patient to the therapy.
- 5 Please educate me because this isn't my field of
- 6 expertise, but it would seem that you could do that.
- 7 You can't blind the therapist, but the patient
- 8 doesn't know what therapy they're supposed to be
- 9 getting in standard treatment, so if you add in an
- 10 additional, I would think that you could blind the
- 11 patient and get rid of some of that placebo effect.
- 12 DR. DUNCAN: Absolutely. You have to have
- 13 a comparator control because it is beyond the
- 14 Hawthorne, it's this idea of social engagement. Not
- 15 to (inaudible) to give specifics, the CIT trial which
- 16 I used as a model for outcome measurement was not the
- 17 model for how you should select a comparator group,
- 18 because rehab itself does require that. However, you
- 19 can select a comparative intervention that, which
- 20 they have to get the same exposure and to be quite
- 21 honest, that you have some placebos that might work
- 22 as well, the patients don't know and oftentimes the
- 23 therapists don't know. So we can select comparative
- 24 interventions that may not be quite as task-specific
- 25 and could be an effective control, so yes, they can

- 1 be done. You cannot rest on placebo.
- 2 And the other issue is you cannot compare
- 3 it to usual care. The variability in usual care in
- 4 this country for stroke survivors is phenomenal, and
- 5 the things that you have to be able to control
- 6 exposure to in an intervention.
- 7 DR. SATYA-MURTI: Dr. Pauker first and
- 8 then Dr. Gerber.
- 9 DR. PAUKER: I want to for a moment take
- 10 the other side of placebo effects. Placebo effect is
- 11 actually a good thing, it certainly helps a lot of
- 12 patients, so you don't want to discount that as a bad
- 13 thing. We want to engage patients, motivate them,
- 14 and many of these therapies are specifically designed
- 15 to increase patient engagement and motivation because
- 16 that can have enormous placebo effects.
- 17 On the other side of that, the flip side
- 18 of placebo is that, we call it nocebo where I come
- 19 from, and it was developed to talk about the adverse
- 20 effects that happen by expectations. And I expect
- 21 that in clinical trials and studies that nocebo
- 22 effect may also be active and happening. So we need
- 23 to think about both the positive placebo side with a
- 24 P, and the negative nocebo side with an N, both can
- 25 be conceivable.

- 1 DR. HURWITZ-GERBER: This question is for
- 2 Dr. Studenski. It's a follow-up on what I heard you
- 3 say this morning, very enlightening to me about
- 4 opening up opportunities for patients to indicate
- 5 their preferences but attaching some sort of value to
- 6 it. In other words, oncologically speaking you've
- 7 got chemotherapeutic opportunities and then you make
- 8 a choice based on number of bed days versus fewer bed
- 9 days, et cetera. And that from a meta-question
- 10 approach started me thinking about rather than coming
- 11 up with single measurement tools that we could agree
- 12 upon, six-minute walk time, group strength,
- 13 Fugl-Meyer, how would you approach selecting not
- 14 which measures, but how would you approach selecting
- 15 the proper panoply of measurement outcomes for a
- 16 process as complex as stroke?
- 17 So we within the ICF, for example, we have
- 18 a number of domains, we have a lot of choices of
- 19 selections within those domains. Some of them are
- 20 very proximal to what we think the pathophysiology is
- 21 and some are very much about patient choice, i.e.,
- 22 participation and that. How would you make a menu,
- 23 if you would, based on a model such as the ICF that
- 24 might help us choose an appropriate selection of
- 25 outcome measures?

- 1 DR. STUDENSKI: I think that is a really
- 2 interesting and challenging question. I think I try
- 3 to myself remain humble about the measures that I
- 4 like the most because they're probably driven what I
- 5 think is important, and that might not be what any
- 6 particular patient thinks is important. So I like
- 7 gait speed a lot but, you know, I've had people say
- 8 listen, I've got one of those scooters, I don't care.
- 9 So one scenario might be to say we were
- 10 speculating, you know, could you have this Chinese
- 11 menu where you say there's, you know, based on the
- 12 kind of aspects of stroke impairments that are
- 13 present in this patient, here are a set of reasonable
- 14 impairment level measures, here's some reasonable
- 15 activity level measures, here's some reasonable
- 16 participation level measures, and is part of the
- 17 process if there are several, to engage the patient
- 18 in a discussion about their, you know, which taps
- 19 into what's important to them. So I think that might
- 20 be an element.
- 21 DR. HURWITZ-GERBER: Does that take you to
- 22 the issue of meaningfulness? That's kind of where
- 23 I'm going with this question. Without getting
- 24 logent, you know, Dr. Duncan was talking about
- 25 getting a number at the end of all of this, or in the

- 1 SF-36 which gives you a number, but it's awfully hard
- 2 to use that as an outcome that either leads you to
- 3 treatment, which might be one issue, or that shows
- 4 you the effectiveness of your intervention. So I'm
- 5 trying to see if something like that is getting you
- 6 close to the meaning.
- 7 DR. STUDENSKI: Right. So you're
- 8 incorporating patient values but still trying to stay
- 9 based in something that has other than space in terms
- 10 of measurement. You know, the challenge with the
- 11 balance is that there are social values that you're
- 12 trying to incorporate into your decisions that say
- 13 I'm not going to make everybody happy, right? So we,
- 14 I think Pam and I run into people who say well, you
- 15 know, my mobility goal is I want to be able to go out
- 16 and run again, and it's not going to happen. So that
- 17 there does have to be a balance between what is a
- 18 reasonable societal expectation of a treatment goal
- 19 and a patient's, and that was why I was trying to
- 20 think of a way to incorporate both. And I think in
- 21 terms of estimates of the magnitude of change that,
- 22 you know, trying to have a foundation of patient
- 23 values but then come up with something that's
- 24 relatively consistent so it can be applied is where
- 25 I'm trying to find the balance.

- 1 DR. SATYA-MURTI: Dr. Grant has been
- 2 waiting.
- 3 DR. GRANT: My persistence has prevailed.
- 4 I was struck, this is primarily for Dr. Studenski,
- 5 but I was struck from the technology assessment that,
- 6 the number of instruments that had minimal clinically
- 7 important differences. And if I could just make a
- 8 quick comment I think, you know, these different
- 9 metrics, there's a minimal clinically improvement I
- 10 sort of like, how much the patient improves. There's
- 11 a more detectable difference, what statistic you can
- 12 find. But there's also worsening too, and all those
- 13 are different quantities and need to be
- 14 distinguished.
- 15 But from a policy perspective and
- 16 evidentiary perspective it seems to me that, not
- 17 seems to me, I do strongly believe that that is the
- 18 quantity, that that is the benchmark that one has to
- 19 reach to be able to show a real benefit. Now it's
- 20 going to vary among individuals obviously, because
- 21 that quantifies evidence in a way that we can
- 22 understand in terms of how much benefit has accrued.
- 23 So to my question, though, what have been
- 24 the barriers here in terms of defining that, because
- 25 in terms of gait speed you seemed to point to it

- 1 directly and make good points about it. And for what
- 2 measures do you think that it's feasible to define
- 3 such a threshold and what are not, and where might be
- 4 the role.
- 5 DR. STUDENSKI: I think that it's a field
- 6 that's rapidly evolving and as we do the work, we
- 7 discover more challenges. So for me, for example,
- 8 the problem with the distribution-based approaches
- 9 are that they, one, assume symmetry, they say they're
- 10 based on standard deviations as if the curves were
- 11 symmetric, so they think improvement and decline are
- 12 the same. They are also sample-dependent, right, so
- 13 if you're calculating distributions, it depends on
- 14 the distribution and the sample. So there are
- 15 weaknesses and strengths.
- 16 Anchor-based methods, one of the things
- 17 that we're really struggling with right now is that
- 18 there's two main ways to do anchor-based methods.
- 19 One is you ask a person about their state now and you
- 20 ask a person about their state later, my mobility is
- 21 excellent, very good, good, poor, and people have
- 22 improved or declined based on how they have changed
- 23 that rating. The other is to ask a person if they've
- 24 changed, so my mobility has improved, it declined.
- 25 And we were shocked and dismayed to find out that

- 1 when you ask both questions twice, they don't relate
- 2 well to each other, which was incredibly depressing.
- 3 And there's a phenomenon where people
- 4 recalibrate. And again, I think Pam and I have seen
- 5 this for a long time, which is you ask a person how
- 6 their mobility is and they say it's really good, and
- 7 then you put them in a fitness program and you come
- 8 back and they go, now I know it was very good back
- 9 then. So both times they're saying pretty good but
- 10 they're also saying they're improving, and there's a
- 11 scenario I can do about decline the same way, that
- 12 experience alters your perception of where you were.
- 13 So I think we're working a lot now on how
- 14 to get to the next step, and these questions of are
- 15 these magnitudes different depending on where you are
- 16 with some of these measures. You know, the gait
- 17 speed low and high, certainly from many of the
- 18 self-report scales you can't assume that the gains
- 19 are smooth across the scale. But again, I think that
- 20 that kind of stuff, one of the upsides is you can do
- 21 a lot with observational data or secondary analysis
- 22 of clinical trials. Certainly if there are scales
- 23 that are being used in multiple small studies, you
- 24 can certainly learn about the relationships between
- 25 these measures as you try to calibrate meaningful

- 1 change. It wouldn't even matter what the
- 2 intervention is, you're just trying to look at how
- 3 people perceive change, and so from a research point
- 4 of view there's probably a lot of opportunity to pool
- 5 analyses of data on performance and self-report
- 6 measures.
- 7 And clearly, there are effect modifiers
- 8 that we're just starting to look at. So you know,
- 9 depending on culture or mood or many other things,
- 10 some of these things may vary as well. I think the
- 11 thing that I find heartening, because that was a lot
- 12 of challenges, is, the one I know best is gait speed,
- 13 is just how much it keeps coming out the same. I
- 14 mean, I'll start throwing all these problems at it,
- 15 what if I do it this way, what if I do it that way,
- 16 what if I do it with this sample, and I just keep
- 17 coming up with that .1 meter. We're worried about
- 18 the decline in improvement, it's coming out the same
- 19 both ways. So some of these problems are answerable
- 20 and I think there may be measures that are reasonably
- 21 robust to a number of these concerns.
- 22 DR. SATYA-MURTI: All right. Thank you.
- 23 There's two others following, and then as a reminder,
- 24 we have about 15 minutes left in Q&A.
- 25 I'm very impressed about the need to

- 1 incorporate caregiver other than professional
- 2 caregivers, such as family and friends. So that
- 3 being the case, I was wondering if there has been any
- 4 attempt at crafting an index that includes in the
- 5 universe of evaluation of patients, caregiver input.
- 6 Have they given up and gone to part-time, a spouse or
- 7 a son or a daughter, or have they had to completely
- 8 change jobs or go to night shift. So as I noticed,
- 9 there hasn't been any concerted attempt at that,
- 10 although that ought to be part of the global
- 11 evaluation, is it not?
- 12 DR. DUNCAN: Well, of course I do believe
- 13 that we need to look at caregiver burden and there
- 14 are major implications to the family, not only --
- 15 again, think of the Medicare population. The
- 16 Medicare population isn't usually the group that goes
- 17 back to work but what we see is that, and we've done
- 18 this research actually, that shows that it affects
- 19 the health of the caregiver. So the heavily burdened
- 20 caregiver declines in health and becomes extremely
- 21 depressed, so that's another cost to Medicare.
- 22 DR. SATYA-MURTI: We haven't got an index
- 23 yet to give some numbers to this, we haven't
- 24 attempted any quantification of this yet, have we?
- 25 DR. STUDENSKI: I think there's extensive

- 1 literature that's actually more about cognitive
- 2 impairment, and you'd have to tell me where in
- 3 physical impairment it is. But you know, things like
- 4 unpaid care hours. I mean, it's a very tangible
- 5 issue that I think may be very relevant. I mean,
- 6 it's not just spouses, it can be daughters, I think
- 7 it's very quantitative, to be able to estimate
- 8 informal care hours per day or per week, it's a
- 9 simple metric. And they certainly, again,
- 10 psychological and health burdens on caregivers as
- 11 well, but I think some of the simplest would be just,
- 12 not just measuring paid care but unpaid care.
- 13 DR. ROTH: There are several caregiver
- 14 burden scales and this literature is emerging right
- 15 now.
- 16 DR. SATYA-MURTI: Ms. Richner.
- 17 MS. RICHNER: Well, I'm the eternal
- 18 pragmatist, and I'm trying to pull some of this
- 19 together in a sense to understand. Dr. Gerber, your
- 20 question was right on. The issue from my
- 21 perspective, and I need clarification from CMS again,
- 22 is that you are grappling with the issue of having
- 23 decisions that need to be made on technology and
- 24 drugs, for instance, sort of that acute care kind of
- 25 decision-making about, and how it relates to

- 1 neurorehab activities. And I think your arena within
- 2 the coverage group is not about necessarily
- 3 healthcare services and rehab services over time.
- 4 And so this issue of clinically meaningful
- 5 difference is very very important in that you have to
- 6 have the measures that the scientific community and
- 7 all these, you know, very bright people that come
- 8 here in speech pathology and all the different
- 9 multidisciplinary areas, can come up with the
- 10 instruments that clearly can capture clinically
- 11 meaningful differences, and then you can decide to
- 12 make a decision about whether or not this is
- 13 something a Medicare beneficiary will benefit from.
- 14 So I'm having a hard time here with some
- 15 of the theoretical discussion in that we're mixing up
- 16 so many different parts of your needs. We need to
- 17 make, help you to have a tool or have a variety or a
- 18 plethora of tools, or I was excited about this
- 19 toolbox thing at the NIH and how, is that going to be
- 20 used. When we go to FDA, for instance, I hate to
- 21 bring up that feud again, but that's where it starts,
- 22 that's where we have to come up with a conclusion
- 23 about what is a valid instrument to measure whether
- 24 the thing is safe and works. So all of that comes
- 25 together here and, you know, I just want to make sure

- 1 that we're pointed all together in a way that you can
- 2 use.
- 3 DR. JACQUES: But we have a lot of needs
- 4 so any help we can get is always appreciated. The
- 5 dilemma that we often face, and it's been a while
- 6 since I've seen a large volume of stuff specifically
- 7 about neurorehab or stroke, we've obviously had a lot
- 8 of other topics that have taken a lot of interest in
- 9 the last couple of years. So part of the reason for
- 10 convening you all today and having this technology
- 11 assessment is I have this sense that there is this
- 12 looming wave that is going to be sort of washing on
- 13 shore over the next few years, and I would like to be
- 14 in a better place in terms of being prepared to deal
- 15 with that than I think we might be without some
- 16 informing both of us and of the community.
- 17 And it's not uncommon for people to come
- 18 into us and say well, you know, I have this new gizmo
- 19 and I would like Medicare coverage for it. And we
- 20 say well, what kind of evidence do you have? Well, I
- 21 got three trials, one has 14 people, one has 20
- 22 people and one has 32 people, and they all used
- 23 different outcome measures, but my P value is great,
- 24 so why don't you cover it. And as we all I think
- 25 clearly understand, that's an extraordinarily

- 1 challenging piece of evidence to try to make
- 2 confident conclusions about. So to the extent that
- 3 those investigators frankly could have invested their
- 4 time and energy and their research subjects' time and
- 5 energy in doing it better, however we want to define
- 6 better, I think the patients are better off, they are
- 7 better off, and in fact we're better off if we're
- 8 looking at better evidence rather than worse
- 9 evidence.
- 10 To the extent that some of those hurdles
- 11 may be very difficult to surmount, possibly for
- 12 reasons that are maybe peculiar to the stroke
- 13 population but maybe more generalizable, we'd like
- 14 your advice on how do we kind of mitigate some of
- 15 those shortcomings in the evidence. Because, you
- 16 know, the bottom line is that if a Medicare
- 17 beneficiary is going to be better off with something
- 18 than, I'll say she because most are women, than she
- 19 would have been without it, then it's in my interest
- 20 to advocate for that particular technology. On the
- 21 other hand, if we have something that there is no
- 22 reasonable expectation that that beneficiary would be
- 23 better, and in the meantime pursuing this wild goose
- 24 chase for this beneficiary would deprive her of the
- 25 opportunity to pursue something that might have a

- 1 much better likelihood of helping her, then I think
- 2 there's a harm there that we would like to avert.
- 3 So I guess getting back to my introductory
- 4 comment, I realize it may be very difficult or
- 5 impossible to get our arms completely around this,
- 6 but even if the results of this end up being, okay,
- 7 people are now aware of, maybe they don't know how to
- 8 solve the problem but at least now they know there is
- 9 a problem and they need to try to address it in their
- 10 protocol so that maybe instead of a glaring issue
- 11 it's a, well, okay, it's not perfect, but we can
- 12 still get around that.
- 13 DR. SATYA-MURTI: That's a good point.
- 14 Dr. Kubo.
- 15 DR. KUBO: Is it permissible to ask
- 16 Mr. Mullen and Mrs. Wagner a question?
- 17 DR. SATYA-MURTI: Sure.
- 18 DR. KUBO: You gave two very clear
- 19 presentations and objections, but I think we all
- 20 agree that measuring outcomes is very important. You
- 21 were somewhat critical of CMS in proposing certain
- 22 outcomes measures, but I didn't actually hear your
- 23 alternatives, and I'm actually asking you for sort of
- 24 leadership by example. Do you have an example where
- 25 you've taken, measured an outcome in a population,

- 1 not what CMS mandated you to measure as an outcome
- 2 but what you chose as a reasonable outcome, and
- 3 demonstrated to your satisfaction that this therapy
- 4 was either good and that you now use for all your
- 5 patients, or bad and that you no longer use.
- 6 MR. MULLEN: From the ASHA perspective we
- 7 don't really control what patients receive and so we
- 8 don't make the clinical decisions in that sense. We
- 9 certainly are working on a series of clinical
- 10 guidelines based on studies using a number of
- 11 measures. One example of such a measure that we
- 12 think is important would be the national outcomes
- 13 measurement system for speech language pathology,
- 14 which is actually a measure that has been endorsed by
- 15 CMS in the past. So that would be one example of a
- 16 measure that's widely used, a lot of psychometric
- 17 work has gone into it, but it's nowhere on the list
- 18 of measures that were cited here. But in terms of
- 19 making decisions about treating patients based on
- 20 that research, you know, at the association level we
- 21 don't treat patients, so perhaps Ms. Wagner has a
- 22 perspective.
- 23 MS. WAGNER: Actually I work for Erickson
- 24 Retirement Communities and we have large continuous
- 25 care communities around the nation, and we have been

- 1 looking for tools to use to measure our outcomes and
- 2 benchmark against national standards. Our speech
- 3 pathologists are using the NOMS, the tool he just
- 4 described, at all of our facilities, and it's an easy
- 5 tool to use, it's been in use for ten years, they
- 6 have ten years worth of data. And those individuals
- 7 or companies that participate in NOMS, it's free if
- 8 you are a speech language pathologist, and we are
- 9 able to get benchmark data comparing ourselves to
- 10 other speech pathologists across the nation and their
- 11 outcomes. And it's helped our therapists, knowing
- 12 which areas they need to focus their skill sets on a
- 13 little more because they might not be quite at that
- 14 benchmark level for a certain area.
- 15 As far as physical therapy and
- 16 occupational therapy, as you know, there are, or as
- 17 you may know, CMS recommended four basic outcome
- 18 tools, one of which is the AM pack, and that is a
- 19 tool that we're seriously looking at as a company to
- 20 use for our needs as we go forward. But we are
- 21 hesitant because there's a cost involved with that,
- 22 we're hesitant to make that investment since we don't
- 23 know if CMS is going to recommend certain tools to
- 24 use as we go forward. And if we invest all of this
- 25 money into a specific tool that's not going to be

- 1 used, that will not be a very smart decision.
- 2 However, it looks like -- nothing has been created
- 3 like the NOMS or that has filled that gap. The FIM
- 4 test that is listed on your list and referred to in
- 5 one of your questions really doesn't have adequate
- 6 information on it to measure what a speech language
- 7 pathologist does.
- 8 DR. HURWITZ-GERBER: What is the NOMS?
- 9 MS. WAGNER: It's the national outcomes
- 10 measurement system, NOMS, and that has been around
- 11 for ten years, it was created by ASHA and a whole
- 12 panel of people. Rob can explain it in greater
- 13 detail than I, if you would like.
- 14 DR. SATYA-MURTI: Thank you. As I
- 15 understand the custom and tradition here, maybe we
- 16 can take ten more minutes for any remaining questions
- 17 both to formal presenters and public commenters, and
- 18 then we go into panel discussion among the panel
- 19 members, so ten more minutes of any pressing
- 20 questions.
- 21 DR. PAUKER: Could I go to the last public
- 22 comments, which raised some questions about pain?
- 23 Pain treatment is an extremely important piece. Did
- 24 any of the measures put forth prior to that comment,
- 25 did any of them include attributes of how much

- 1 discomfort a patient is having and whether the
- 2 patient's discomfort is in some way affecting the
- 3 functional status?
- 4 DR. SATYA-MURTI: Pain measurement, does
- 5 anybody want to take that?
- 6 DR. PAUKER: Yeah. Pain strikes me as an
- 7 interesting piece and I didn't see the others mention
- 8 it.
- 9 DR. STUDENSKI: I think Pam can probably
- 10 speak to stroke-specific things, but you know, all
- 11 the global quality of life measures, you know, SF-36,
- 12 they all have a pain element in them, absolutely.
- 13 DR. DUNCAN: We usually use the McGill
- 14 pain scale. Pain is not that common in stroke, it
- 15 occurs under two major conditions. The most common
- 16 one is shoulder-hand syndrome, which is very painful,
- 17 and in that we always endorse a pain measure. And
- 18 the other one is if you have a thalamic pain
- 19 syndrome, which is pretty unbearable pain. So at
- 20 that point those, I don't know that they have been
- 21 specifically validated in stroke, but we commonly use
- 22 them in clinical practice in the presence of pain.
- 23 DR. STUDENSKI: And certainly you can
- 24 detect changes in physical performance measures with
- 25 interventions on pain, you know. So if you're in the

- 1 arthritis world, which I know better, you know, if
- 2 you intervene on knee pain, you change physical
- 3 function measures, so they do pick up changes in
- 4 pain.
- 5 DR. SATYA-MURTI: Dr. Pilley, you had a
- 6 remark about pain, did you want to add to that?
- 7 DR. PILLEY: I think another measurement
- 8 of pain is not necessarily in the symptomatic
- 9 presentation or self reporting assessment of pain,
- 10 which they might have a little improvement in pain
- 11 but not to change their utilization of pain
- 12 medication. And I think another more objective way
- 13 of measuring pain is are they using the same amount
- 14 of narcotics or pain medication, as well as are they
- 15 improving their functionality, because I think that's
- 16 where there is some risk in that. People may have a
- 17 decrease in their pain because they don't completely
- 18 understand what a pain scale of one to ten is. I
- 19 mean, you know, I do some occupational med stuff, and
- 20 people come in and say I've got a pain of ten, which
- 21 really means you're in bed and you're receiving
- 22 morphine, so on and so forth. But they may rate it
- 23 as a seven and then say well, I have a five today,
- 24 but their utilization of narcotics may have
- 25 disappeared completely. So that's a significant

- 1 beneficial outcome.
- 2 DR. SATYA-MURTI: All right. Dr. Miller
- 3 had a comment about EuroQol incorporating pain
- 4 measurements.
- 5 DR. MILLER: Yes. On the EuroQol
- 6 measurement which is purported, or which has been
- 7 studied in stroke and is purported to be valid in
- 8 those patients, there is a pain subsection.
- 9 DR. SATYA-MURTI: Okay. Dr. Danis had a
- 10 question.
- 11 DR. DANIS: I wanted to ask Dr. Studenski,
- 12 it seems to me we're heading in the direction of
- 13 having these expanded scales that focus in and it
- 14 seems like inevitably the best approach to go. Is it
- 15 ready for prime time in terms of trying to understand
- 16 what it means clinically?
- 17 DR. STUDENSKI: I don't think so.
- 18 MS. FRIED: Actually I had a similar
- 19 question about, they're called I guess computerized
- 20 technology, and is that just geared towards
- 21 locomotion?
- 22 DR. STUDENSKI: No.
- 23 MS. FRIED: So it's much broader, so can
- 24 you tell us where that is with all the different, I
- 25 guess occupational therapy and speech therapy and

- 1 other measurements?
- 2 DR. DUNCAN: Well, there are major
- 3 initiatives everywhere with this, but as Dr.
- 4 Studenski said, multiple dimensional assessments from
- 5 NINDS and NIH. There's groups like Dr. Alan Jette's
- 6 group, we're doing this in cognitive functional and
- 7 cognitive performance. So all that means is, if you
- 8 remember when you took the GRE, the GRW is now
- 9 computerized adaptive testing so if you can multiply,
- 10 you know, two times two equals four, you don't ask
- 11 somebody if they can do two plus two. So you find
- 12 the level at which they can perform and you go up or
- 13 slightly down. And so at zeros, you end very quickly
- 14 on the items and the constructs in which you can
- 15 function, and it's sort of like taking a ruler. But
- 16 you can actually get the overall statement of
- 17 performance with just a few items rather than a
- 18 comprehensive battery of items. Again, the challenge
- 19 for us is to put that into clinical interpretability
- 20 right now, and it's a whole industry emerging,
- 21 especially as it relates to physical functioning and
- 22 cognitive assessment.
- 23 DR. HURWITZ-GERBER: I would just like to
- 24 mention the NIH roadmap and in concert with that
- 25 something called www.promis.gov. It stands for

- 1 patient-reported outcomes medical information system.
- 2 It's only about patient-reported outcomes, whereas
- 3 Alan's work is much broader, it's more objective
- 4 measures as well. But the methodologies there are
- 5 spelled out beautifully on the web site and there are
- 6 tools that you can use to help you determine whether
- 7 or not you might create your own little personally
- 8 created outcomes measurement tool. And it is, the
- 9 coordinating center is at Northwestern with David
- 10 Cella, and it really is taking off now as a very very
- 11 important technology.
- 12 MS. FRIED: I actually had one more
- 13 question, sorry, and it has to do with question three
- 14 which we vote on, which says what is the minimum
- 15 period of time that interventions be followed in
- 16 order to identify a durable treatment effect. And I
- 17 find it a sort of confusing question, so maybe
- 18 someone can enlighten me. Does the zero to six
- 19 months mean zero from like the moment there was some
- 20 acute episode, or from the moment that therapy stops,
- 21 and are we comparing therapies that last 20 days that
- 22 they get in a snip, or a longer period of time? So
- 23 if someone can help me.
- 24 DR. STUDENSKI: From my perspective it's
- 25 important to build the answer to that around the

- 1 natural history of the condition, and so it's not a
- 2 single right answer question, right? And I also
- 3 think that changing trajectories might be an
- 4 interesting thing to do. You know, durable outcomes
- 5 is a set of questions, but time, I mean, if you can
- 6 get out of rehab faster because you can walk faster
- 7 or whatever, that's a good outcome, or finish your
- 8 home health more quickly.
- 9 So to me the idea of changing the course
- 10 of recovery is the answer and whether that is acute,
- 11 short-term, some of that might be weeks, some of it
- 12 might be durable, in which case you have to go to the
- 13 plateau phase. So maybe rather than having it be
- 14 fixed on time it should be fixed on the basis of what
- 15 you think the natural history of the condition is.
- 16 Does that make sense? So we know what the natural
- 17 history is, where plateau is likely to occur
- 18 depending on whether it's a severe or mild stroke.
- 19 DR. SATYA-MURTI: Dr. Ondra, I'll have you
- 20 ask the valedictory question and then we'll move on
- 21 to the panel discussion.
- 22 DR. ONDRA: Okay. So the valedictory
- 23 question is both a question and comment. I've sat on
- 24 several of these MedCACs, and each time you hear what
- 25 the problems and challenges are in the field and what

- 1 is unique, and every field of medicine and health
- 2 care has unique aspects that make common comparison
- 3 difficult. Having said that, in a perfect world we
- 4 really need to establish sort of measure, it seems to
- 5 me, to allow us to measure relative benefit and value
- 6 to both individuals and to society. I thought Dr.
- 7 Miller's presentation at the very beginning was a
- 8 great tone to kind of give a guideway on how we can
- 9 get to that commonality, and I think unless we do
- 10 that, it will be very difficult to answer those value
- 11 questions. How's that for a valedictory address?
- 12 DR. SATYA-MURTI: Good point too. Next is
- 13 a panel discussion among panel members. Whatever you
- 14 either wanted to say or not wanted to say, this is a
- 15 good opportunity, and you've given us almost 45
- 16 minutes?
- 17 DR. JACQUES: We actually may have given
- 18 you more time than you need, but you guys might just
- 19 be more efficient than some prior panels, so you're
- 20 under no obligation to take all of the time if you
- 21 don't think you need it.
- 22 There has been some discussion among
- 23 various people that some of the questions, in
- 24 particular questions four through seven may be prone
- 25 to sort of being interpreted possibly in a different

- 1 manner than was intended. It's not our intention at
- 2 this meeting to say that only the following, whether
- 3 they are those or others, only the following measures
- 4 are appropriate or acceptable in trials that Medicare
- 5 will look at. And to the extent that some panelists
- 6 have felt, you know, possibly rather than voting on
- 7 the question as it is, they might want to alter those
- 8 questions or amend those questions in some way, that
- 9 discussion could also take place during this period
- 10 of time if you wish.
- 11 DR. SATYA-MURTI: Good point. I will
- 12 provide you a slight alteration on questions four
- 13 through seven so that it doesn't appear that we're
- 14 endorsing one testing measure more than any of the
- 15 others, so that will exculpate us from any bias.
- 16 Before we go on to a discussion, I heard
- 17 about Chinese menu, and I like to use the salad bowl
- 18 metaphor, so culinary metaphors are really very good,
- 19 we've got a common denominator, we all have to eat.
- 20 And using that, I think the task today,
- 21 correct me, Louis and Susan, but the task today is
- 22 not so much as, are these current measures that were
- 23 chosen based on the frequency of utilization in the
- 24 studies, so are these the measures that you want, or
- 25 would you craft something, or you have no confidence

- 1 in any of these measures. So the idea is if you come
- 2 across a device or a modality treatment request like
- 3 Louis said, and from my own Medicare medical director
- 4 days, that is very commonly the need that triggers
- 5 literature search and discussion.
- 6 So if you come across a request for
- 7 coverage and it's based on studies and you submitted
- 8 those, what would you like to see incorporated into
- 9 it and what kind of methodologies would you like?
- 10 Would these suffice or would you have no confidence
- 11 in any of these, would you want to do something
- 12 different? Have I put that in correctly, Susan and
- 13 Louis?
- 14 DR. JACQUES: Yeah. And I think if I
- 15 could just add one little nuance on it, one way of
- 16 looking at the questions would be these are only, for
- 17 example, do you think that there exists validated
- 18 reliable measurements for these particular things
- 19 that people like to measure and report on in trials,
- 20 and following below is simply an example of some if
- 21 you want to consider them that way. So I think
- 22 that's sort of a slightly different way of saying
- 23 what Saty just said. I mean frankly, if you don't
- 24 think that valid measures exist, one could simply say
- 25 you have no confidence that this could be done at all

- 1 with these or others.
- 2 DR. GRANT: Could I just make a comment,
- 3 or first, Susan, go ahead.
- 4 DR. MILLER: I just wanted to say that in
- 5 choosing these particular measures, it was done
- 6 somewhat so that you could pick points of A versus C,
- 7 B versus D, to give the pros and the cons, the
- 8 advantages, the merits, the demerits of each of
- 9 these, and then perhaps consider the characteristics
- 10 of a better or best measure, if you will.
- 11 DR. SATYA-MURTI: So in other words, we
- 12 have the liberty to say we have no confidence in any
- 13 of these measures, so that will then be a setting for
- 14 you to request they come up with something that is
- 15 more global and more encompassing.
- 16 DR. MILLER: Certainly I think you can say
- 17 that, but I also think that it might be worth your
- 18 consideration to look at the measures and see what is
- 19 good and perhaps not so good in each of them.
- 20 Because again, they all have their usefulness as well
- 21 as their disadvantages in certain situations. Some
- 22 of them are more global measures, some of them seek
- 23 to, may be a back door way perhaps of caregiver
- 24 burden, at least in the way I personally think about
- 25 them. And that was the point of choosing them, just

- 1 to give some examples to play off of each other.
- 2 DR. SATYA-MURTI: With that in mind, one
- 3 last statement and then I will shut up. Questions
- 4 four through seven, before the word "indicator" on
- 5 line two, we would like to modify it by saying, how
- 6 confident are you that these outcome measures or
- 7 comparable measures which have been validated as
- 8 responsive, reliable and valid, and then go on to
- 9 indicators. So in other words, that change would
- 10 then indicate that not only these given measures or
- 11 comparable validated measures would provide you
- 12 confidence, and then consider them, all of them in
- 13 not individually but as a group, going to Fugl-Meyer
- 14 and so on.
- 15 DR. GRANT: Just a comment and sort of my
- 16 picture of this forest here, because I think there
- 17 are, it really is a bit of a forest. I think that
- 18 there are a couple of issues here. One is in general
- 19 for outcome measures short of death, most outcome
- 20 measures aren't perfect, some are more imperfect than
- 21 others. So the degree of uncertainty accompanying
- 22 the use of one versus another will vary and will vary
- 23 according to how it's administered, what the patient
- 24 population is, how appropriate it is, and just a
- 25 whole host of factors. So is there any one right

- 1 answer and is there any one right salad bowl, is
- 2 there any one right menu of items? I don't think so
- 3 and I think it's probably a little bit, I think we're
- 4 probably fooling ourselves to think that there might
- 5 be.
- 6 So we're left dealing with uncertainties
- 7 is the one issue and the, appropriately, you know, we
- 8 want measures that have appropriate psychometric
- 9 properties. You don't want to use something that's
- 10 just random obviously, but none of these are. That
- 11 part said, I think that, just to emphasize my point
- 12 before, for the purposes of decision-making,
- 13 informing at a policy level or even an individual
- 14 patient, it is critical to have information conveyed,
- 15 evidence conveyed in a way that's informative, that
- 16 people can understand, they can intuit, although I'm
- 17 not so bad with logents quite frankly, but you know,
- 18 it's a scale, to make sure that the scales are
- 19 integral.
- 20 And I think that that's where the major
- 21 shortcoming is here, is that there are not
- 22 well-defined minimum clinically important
- 23 improvements. Now that may, maybe there's no magical
- 24 numbers, but certainly we could say there's 20
- 25 percent, 10 percent, you know, outcomes reported in

- 1 that fashion, so that we could make a statement, or
- 2 CMS could make the statement, which people are in the
- 3 business of doing, to say that we have this degree of
- 4 certainty that this number of patients are going to
- 5 benefit to this extent and we're probably going to be
- 6 correct this amount of the time.
- 7 So to me that's how these measures, where
- 8 their usefulness lies, and that's different from the
- 9 other place where we had imperfect measures and some
- 10 are more imperfect than others. So that, you know,
- 11 as I said, unless we're looking at something like
- 12 mortality, but we're not looking at mortality here.
- 13 One last comment too, but this just came
- 14 to me, using adverse events here I think is entirely
- 15 appropriate. I mean if it's just event-free,
- 16 whatever time, or event-free, you know, the lack of
- 17 answer is just a good a measure of accuracy I think,
- 18 or effectiveness depending on where the study is
- 19 being conducted, as manifest, absolutely.
- 20 DR. SATYA-MURTI: Dr. Kubo.
- 21 DR. KUBO: I have two objections to
- 22 questions four through seven and I'd like to go over
- 23 them in sequence.
- 24 The first one is, you can think of test A
- 25 as being perfectly appropriate and effective in a

- 1 certain patient population at a certain time with a
- 2 certain intervention and much better than B.
- 3 Conversely, I could think of a separate patient
- 4 population and a separate intervention where B is
- 5 better than A, and so voting just once is really not
- 6 going to be helpful in that situation.
- 7 DR. SATYA-MURTI: That's one reason why we
- 8 could consider them as a group representing motor and
- 9 function abilities. I'm not necessarily defending it
- 10 because I had a similar thought. And then number
- 11 five talks about language, six about swallowing,
- 12 seven about quality of life. So these principal
- 13 domains among the ICF recommended compartments, so
- 14 either one of these or something like that -- let's
- 15 say tomorrow our workers come up, is that the walking
- 16 test, let's say they come up with something
- 17 equivalent or better. Would that be included among
- 18 one of these measurements you would like to
- 19 recommend? So I think we might consider them as a
- 20 generic group.
- 21 DR. KUBO: Okay. My second objection is
- 22 that the diversity of this panel is very useful in
- 23 having a broad discussion about many of the questions
- 24 and I think the discussion has been very rich and the
- 25 different perspectives have been very helpful. But

- 1 questions four through seven are really content-rich
- 2 and really require expert opinion. As a cardiologist
- 3 I deal with many of these different measures but not
- 4 these specific ones, and I know the subtleties and so
- 5 forth would be lost on me, and so I fear my vote
- 6 would be inappropriate or potentially misleading.
- 7 DR. SATYA-MURTI: This is a good point. I
- 8 think this was discussed several times, Susan may not
- 9 be here, and that is why I think maybe we could
- 10 consider -- I agree with you. I am familiar with
- 11 some of these measures as a neurologist, but
- 12 nonetheless I do agree. We don't know these
- 13 subtleties as well as some of the primary workers in
- 14 the area. But motor is a major part, cognition is
- 15 another major part. So if these were broad divisions
- 16 into those clumps and we could then go on to say yes,
- 17 I don't want a measurement that only depends on
- 18 quality of life and caregiver perspective but we do
- 19 need something from the motor area, be it one of
- 20 these or something like that. Is that the idea?
- 21 DR. JACQUES: That's certainly a
- 22 possibility. It's certainly up to the committee, the
- 23 committee would vote on whatever change you want to
- 24 make to the questions, and it's not unprecedented for
- 25 committees on occasion to say we just don't think we

- 1 can answer this one question so we're going to skip
- 2 over it. It's also not unprecedented to say we think
- 3 this would work better for us as a discussion
- 4 question rather than a voting question because we
- 5 think this isn't a nail, and the voting hammer just
- 6 doesn't work on this particular problem. And in fact
- 7 the discussion that has ensued for the last ten
- 8 minutes about this point I think has been very
- 9 informative and if the committee feels that in lieu
- 10 of voting confidence on questions four through seven,
- 11 that frankly you just want to sit down and have a
- 12 chat about them, it's fine with me, as long as you
- 13 all vote that that's what you want to do with it.
- 14 DR. PAUKER: To continue that line of
- 15 thought, as I read these before and I heard the
- 16 presentations, I read through this material, I still
- 17 don't know a lot about the measurement itself. So
- 18 for all of those I would vote not true and that may
- 19 not be what you want. I am stuck by one of the lines
- 20 in the discussion, in one of the presentations, I
- 21 can't tell you, it was Mark Pilley's presentation,
- 22 about having no single outcome measure to capture the
- 23 overall dimensions. I was (inaudible) but if I get
- 24 to that, my vote in that sector, my colleagues' and
- other cardiologists' vote might be a random number.

- 1 So having a measure of functioning is important, and
- 2 that's fine, but picking up the particular measures,
- 3 if there were an abstaining card, I would abstain.
- 4 DR. SATYA-MURTI: We could still give a
- 5 number for that, Dr. Pauker, maybe we could give it
- 6 three, which means that we're not sure, yes, they
- 7 could be useful, or they could not.
- 8 DR. PAUKER: But by giving it a three says
- 9 it is more than a one, and you know, giving a three
- 10 as not sure is different than saying I don't know the
- 11 difference.
- 12 DR. ONDRA: As a neurosurgeon, I
- 13 completely agree with my cardiology colleagues here
- 14 and couldn't have worded it better than the two of
- 15 them, so I will just leave that go.
- 16 DR. JACQUES: Well, we achieved that at
- 17 least.
- 18 (Laughter.)
- 19 DR. SATYA-MURTI: We'll just finish and
- 20 then come right back.
- 21 MS. FRIED: I have sort of a basic
- 22 question, I guess of CMS. Is CMS sort of adopting
- 23 the ICF construct, which is fine, I just want to --
- 24 because I know at least one Medicare contractor had
- 25 some discussion in one of the local coverage

- 1 policies.
- 2 DR. JACQUES: I know there are some local
- 3 Medicare contractors who have particularly adopted
- 4 that construct. We're not suggesting that one has to
- 5 use that construct and we're certainly not mandating
- 6 it. We're simply presenting it as here's an example
- 7 of one way of doing it. If the panel thinks that in
- 8 fact -- and some of the public speakers have said,
- 9 you know, wouldn't it be interesting if you could
- 10 sort of integrate everything related to this field
- 11 into one particular set of metrics so you could
- 12 follow the patient whether they're in the hospital,
- 13 post acute, through their disability, through their
- 14 employment, whatever, and we could all speak the same
- 15 language. I suppose that there are pluses and
- 16 minuses to that as there would be to anything else.
- 17 So we mention that not to suggest that you need to
- 18 put your stamp of approval on it, but simply here's
- 19 one way of trying to integrate this mess of 45
- 20 different walking functions and 45 something else.
- 21 MS. FRIED: It just seems to me that would
- 22 be something worthy of our consideration, the use of
- 23 the ICF. My sense is from what I've read is that
- 24 it's a really growing use of that construct.
- 25 DR. HURWITZ-GERBER: It seems to me that

- 1 we have a number of challenges with respect to
- 2 answering the questions. One of them that is
- 3 critical is that with respect to the individual and
- 4 the evaluation of the individual, we need both
- 5 subjective and objective measures, there's no way
- 6 around it. If we're moving into an area in which we
- 7 want to know about the patient's values and how they
- 8 see their health function, we need to ask them. So
- 9 one critical component is something which is both
- 10 patient-reported outcomes as well as objective
- 11 measures. I'm not willing to say, I don't feel
- 12 confident in saying which ones, but that is a theme
- 13 that I think CMS needs to hear from me.
- 14 The second is how difficult it is for
- 15 people to agree upon a standard battery when the
- 16 complexities are so great and the domains are so
- 17 varied that we've started talking about Chinese and
- 18 salad bars and things like that, and they are an
- 19 interesting metaphor for dealing with trans-domain
- 20 research. This is definitely trans-domain research.
- 21 We're looking at, whichever model you want, the NAGI
- 22 model or the IOM model, we are looking at things that
- 23 are fundamentally inherent in an individual, not a
- 24 group but an individual, as well as the interface
- 25 between that individual and his or her environment,

- 1 defined very broadly not in terms of society only but
- 2 in terms of the physical environment as well. So we
- 3 need measurements that address each of those domains.
- 4 And unfortunately there's no one single one out there
- 5 unless we get to five years down the pike when PROMIS
- 6 and CAT and all these wonderful technologies help us
- 7 through the maze, and we may be able to get there.
- 8 And the third thing is the one that was
- 9 brought up around pain, and I talk about that in my
- 10 own mind about symptoms, how important symptoms are.
- 11 Fatigue is the killer of rehabilitation. Patients
- 12 who are fatigued are unable to respond to care and
- 13 that is a very important variable in the mix and it's
- 14 one that CMS in my view ought to be galloping along
- 15 to try to figure out how to measure. It's difficult.
- 16 Our cardiologists define it one way, our
- 17 neurosurgeons define it a different way, our
- 18 psychiatrists define it a third way, and on and on
- 19 and on. So I do think that it is an area that has to
- 20 be attended to.
- 21 So we're now talking about the individual,
- 22 the individual with respect to his or her
- 23 environment, plus the symptom complex which has to be
- 24 constructed in order to understand what the
- 25 contributors are, both environmentally and within the

- 1 person him or herself.
- 2 And another huge issue, which is how do
- 3 you choose the comparators, what is this evidence? I
- 4 would like to see our TA group, to be honest with
- 5 you, take on that question, okay? Let's go after
- 6 what is best evidence, how do you define it, what's
- 7 the methodology, how are you going to recognize it
- 8 when you see it, et cetera, et cetera. We can't in
- 9 my view see each of these things independent of each
- 10 other, they're all of a mix. And I don't think that
- 11 helps you, unfortunately, pick one over another, but
- 12 we know that there are some very good instruments out
- 13 there. And it may well be that the walking speed or
- 14 the stature per unit of time is in fact a surrogate
- 15 for 15 other questions, but we just don't know that
- 16 yet. So I think we're going to have to be somewhat
- 17 flexible about this and not be so determined to pick
- 18 one or two or three metrics that don't give us what
- 19 we think we need in order to be intelligent about how
- 20 to make very important, very individualistic, and
- 21 often life-threatening decisions.
- 22 DR. JACQUES: I think you were more
- 23 helpful than you thought you might have been with
- 24 that particular response, because one of the things
- 25 that is helpful for us actually is not so much that

- 1 we would say you have to use this measure and that
- 2 measure, but simply whatever measures you choose,
- 3 don't only describe that well in the section of your
- 4 publication, even if the editor is going to beat on
- 5 you for page charges and everything else, put a
- 6 reasonable justification in there of why what you
- 7 picked is appropriate in this population at this time
- 8 for this intervention, and make the same discussion
- 9 with us when you come in rather than saying we used
- 10 this one, this one and this one, so therefore we're
- 11 wonderful.
- 12 DR. HURWITZ-GERBER: Leslie and Karen and
- 13 I were sitting at lunch and we said wouldn't it be
- 14 great if CMS met with the Archives of Physical
- 15 Medicine and Rehab, the Blue Journal, the American
- 16 Journal of PM&R, and said look, we need you guys, the
- 17 editors and the publishers and the scientists to sit
- 18 down and at least address the issue of what stuff
- 19 needs to be done so that what's published in your
- 20 first tier journals in fact cover these critical
- 21 bases. That would be a start in the right direction
- 22 as well.
- 23 MS. RICHNER: At least ones on the side of
- 24 having to do the studies that they want, okay? What
- 25 that means is that there is a responsibility again by

- 1 the scientific community to come up with the logical
- 2 tools that we can all be guided by, because what's
- 3 going to happen is that it will seem capricious in a
- 4 sense. CMS says you have to have this study and we
- 5 want this, you know, gold standard comparator and
- 6 this instrument, right? And then you go okay, well
- 7 then, you go out to the community and the aphasia
- 8 society and somebody else says no, that's not the
- 9 one, no, that's not the one, so what do you do? So
- 10 the challenge here is that we all want to come up
- 11 with the same answer, and it's just extraordinarily
- 12 frustrating until they can come up with some
- 13 granularity, and that we can all work on getting to
- 14 the same level. So to get it down to a pragmatic
- 15 level, again, is fine with Archives and everybody
- 16 else, but when is that going to happen, then we don't
- 17 get there.
- 18 DR. DANIS: It strikes me that we are in a
- 19 good position to try and say something about
- 20 influencing the quality of the information base that
- 21 will lead the policy decisions in the future and to
- 22 the extent that the information is going to be useful
- 23 for policy purposes, it has to be some kind of
- 24 creation of a direction that creates some justifiable
- 25 and valid uniformity to the data, because you need to

- 1 be able to move in the future into thinking about
- 2 what are interventions, clinical interventions that
- 3 are worth paying coverage for.
- 4 And it seems to me that in moving in that
- 5 direction what we have generally said when you do
- 6 quality of life literature and outcome literature is
- 7 you have a broad array of different kinds of diseases
- 8 that you tend to want to use standardized quality
- 9 measures for. You need to have some measures that
- 10 have some broad uniformity and some very specific
- 11 measures for the particular disease you're studying.
- 12 It seems to me we need to say something about that,
- 13 that there needs to be in all these kinds of studies
- 14 some highly validated and responsive tools that are
- 15 used in all studies, and then some very specific
- 16 measures for the given particular pathophysiology
- 17 you're studying.
- 18 DR. SATYA-MURTI: Dr. Weiner, or Dr.
- 19 Sloan.
- 20 DR. SLOAN: You know, echoing what you
- 21 said and what Dr. Gerber just mentioned, I think one
- 22 thing that should be considered is perhaps putting
- 23 all these parties together in a workshop. You need
- 24 to know what the patient values are, what the metrics
- 25 are, how these things or what the standard for

- 1 publication will be. And this has been something
- 2 that had been approached in the cancer field with not
- 3 perfect success but some degree of success, and at
- 4 least we've been able to come to an agreement on what
- 5 the areas of disagreement are and what things are
- 6 accepted and where we need to go to make the next
- 7 advances.
- 8 And so that would be the patients, you
- 9 know, the people who are doing the research, the
- 10 economists who have to figure out the metrics and the
- 11 costs of these things, and that could be done, you
- 12 know, within a two-day workshop. I suspect you're
- 13 going to get a lot more out of that than having a
- 14 panel that while broad in scope, you know, has a lot
- 15 of members who really don't understand the subtleties
- 16 of all these measurements that we're trying to make
- 17 decisions about.
- 18 DR. GRANT: I was going to say some of
- 19 what has been said before, but just to reinforce it,
- 20 I think that some uniformity is absolutely a
- 21 necessity ultimately on a pragmatic basis because
- 22 eventually somebody comes with a device and says my
- 23 device is better than your device, and if everybody
- 24 is using different metrics it's absolutely
- 25 impossible, and it causes conniptions for those of us

- 1 who are trying to synthesize evidence from multiple
- 2 sources with multiple outcomes. It makes it very
- 3 very difficult to inform decision-makers, so that I
- 4 think that at least some degree of uniformity is
- 5 just, is absolutely essential.
- 6 DR. SATYA-MURTI: We do have to come back
- 7 to four through seven in view of what we have all
- 8 been listening to. Would the panel consider only for
- 9 four through seven, we do know, we do not know,
- 10 because I think those questions in some form need to
- 11 be there because they address different domains. So
- 12 is it acceptable instead of giving a quantitative
- 13 grade, or even lumping them generically, but simply
- 14 say we do know that these would be useful or we do
- 15 not know, since the neutral question was also, as
- 16 Dr. Pauker said, I'm not sure is not the same as do
- 17 not know. That's too subtle, but maybe I don't
- 18 understand the difference clearly, but we could say
- 19 that we do know or we do not know? Is that
- 20 acceptable?
- 21 DR. KUBO: Could I just say, there are
- 22 some people who do know these instruments very well
- 23 and have personal experience and understand the
- 24 literature and the vagaries, so you could leave the
- 25 question as is, but leave an option six perhaps,

- 1 unknown, not sure, I slept at a Holiday Inn and don't
- 2 really know anymore.
- 3 (Laughter.)
- 4 DR. SATYA-MURTI: Yes, I would prefer that
- 5 too. Is the rest of the panel okay with that? So
- 6 we'll add a sixth category in addition, this is an
- 7 expanded language scale, six, we don't know.
- 8 DR. WEINER: Although we were on to
- 9 something, that we feel like we know a good measure
- 10 when we see it, when we have the right pieces. Now
- 11 granted, a lot of those things are generic, the
- 12 psychometrics that we've already discussed, but some
- 13 we have all been educated today and perhaps we can
- 14 comment maybe in discussion mode, and perhaps half of
- 15 it has already been said, but I think some of your
- 16 comments are on target, as were yours, Naomi. So I'm
- 17 not comfortable with just saying yes, we know or
- 18 don't know, but there are dimensions I'd like to see
- 19 on the record perhaps, but I don't think we can vote
- 20 on that.
- 21 DR. ONDRA: And I just had a comment for
- 22 Dr. Gerber, and actually Dr. Sloan too when they're
- 23 talking about a two-day forum. I think to do this
- 24 would probably take more than two days, maybe several
- 25 years of two days, but I think there is a format

- 1 although I'm not sure that CMS is the right
- 2 organization to pull together a physiatry group for a
- 3 think tank, but probably the national organizations
- 4 are, and I would start there with answering these
- 5 questions. The CMS might be able to advise but I'm
- 6 not sure it's the right organization to --
- 7 DR. KUBO: They could bring them to the
- 8 table, that's for sure.
- 9 DR. SLOAN: CMS might be perhaps an
- 10 appropriate sponsor.
- 11 DR. HURWITZ-GERBER: I think CMS could
- 12 invite them to weigh in on this, and wouldn't it be
- 13 wonderful, could you imagine if the VA and CMS and
- 14 AHRQ and all of the regulatory agencies got together
- 15 and said yeah, we understand in concept and maybe we
- 16 can have some commonality, perhaps not the battery of
- 17 tests, but some common language so we could get it
- 18 out there?
- 19 DR. ONDRA: We desperately need some
- 20 national funding agency to look at clinical studies
- 21 and to fund that, and NIH, that is not their mission,
- 22 it's like three percent of their budget. So we would
- 23 either need a new institute within NIH or some other
- 24 organ of government to try to figure it out, because
- 25 it's hard to get a roadmap for the future when you

- 1 don't know where you are now.
- 2 DR. JACQUES: AHRQ is here so we will have
- 3 that conversation with them.
- 4 DR. KUBO: That suggestion for that
- 5 interdisciplinary panel came from Mr. Mullen and I
- 6 think that was a very good suggestion. We have
- 7 actually done it just like Dr. Sloan, we've done it
- 8 in cardiology many times. The key is to have all the
- 9 stakeholders there and bring the FDA back into it as
- 10 well, and it is a very useful exercise. It is one in
- 11 which you do know fatigue because of everyone having
- 12 their own opinions and being unwilling to bend to
- 13 some of the others' opinions, but that discussion
- 14 becomes very rich and I think gets you further down
- 15 content-wise than where you will be today.
- 16 DR. WEINER: But one step further, future
- 17 coverage, you must take that into consideration, so
- 18 unless you're at the table it won't be covered, which
- 19 is something I know that CMS has done in the future
- 20 when it comes to outcomes.
- 21 DR. JACQUES: Yeah, we have amazing powers
- 22 of attraction, it appears.
- 23 DR. SATYA-MURTI: Any other discussion on
- 24 these issues? We are fast, aren't we? So, maybe we
- 25 will move on to actual questions, I think Maria Ellis

- 1 will tell us how to vote, is it the same procedure?
- 2 MS. FRIED: Before we begin, are we -- I
- 3 earlier proposed we at least consider a vote, or have
- 4 a confidence vote of the use of the ICF, is that
- 5 something we could talk about, whether that's
- 6 something --
- 7 DR. SATYA-MURTI: You have question 11 to
- 8 put that in.
- 9 DR. KUBO: Are you saying sort of like,
- 10 does this panel endorse the ICF concept of the three
- 11 different domains as part of being important
- 12 measures?
- 13 DR. FRIED: To be used by CMS as they
- 14 determine coverage.
- 15 DR. SATYA-MURTI: Our task today is what
- 16 are the gaps, so you might say a gap is the lack of
- 17 consideration for any other alternative than the ICF,
- 18 if that is your opinion.
- 19 DR. WEINER: The problem is that the tech
- 20 assessment didn't really talk about it today, but I
- 21 think we could endorse that as probably a good idea
- 22 to talk about.
- 23 MS. FRIED: Okay, that's not a problem.
- 24 DR. WEINER: We might just make a
- 25 statement saying we encourage CMS considering and

- 1 investigating the use of the ICF as they review and
- 2 move forward.
- 3 DR. JACQUES: And it's also important to
- 4 remember that your comments to us are as informative
- 5 as any votes.
- 6 DR. SATYA-MURTI: Are we ready to start?
- 7 Do we need to flash the questions or we can just
- 8 read.
- 9 Question one: There is the tendency to
- 10 generalize stroke research to large heterogeneous
- 11 populations. How confident are you that the
- 12 strategies below represent meaningful comparators in
- 13 observational studies?
- 14 A is protocol driven usual treatment
- 15 versus protocol-driven usual treatment plus the
- 16 specified intervention. So the specified
- 17 intervention is the add-on here, and you want to
- 18 start with confidence levels.
- 19 (Panelists voted and the votes were
- 20 recorded by staff.)
- 21 DR. SATYA-MURTI: How about choice B,
- 22 patients himself or herself before and after
- 23 intervention.
- 24 DR. ALVIR: Could you clarify?
- 25 DR. SATYA-MURTI: This is the patient

- 1 after the intervention?
- 2 DR. MILLER: It's not an N of 1. It will
- 3 be looking at whatever he or she was doing and then
- 4 you apply the intervention and take your observation,
- 5 then you look at what the next patient is doing, you
- 6 apply the same intervention, you take your
- 7 observations.
- 8 DR. SATYA-MURTI: On the same patient.
- 9 DR. MILLER: No, on different patients.
- 10 DR. ALVIR: On the same patients.
- 11 DR. MILLER: Pre-post.
- 12 (Panelists voted and the votes were
- 13 recorded by staff.)
- 14 DR. SATYA-MURTI: Okay. A slight
- 15 variation here, patient himself or herself before and
- 16 after treatment, then with treatment withdrawn and
- 17 reinstituted as appropriate.
- 18 (Panelists voted and the votes were
- 19 recorded by staff.)
- 20 DR. SATYA-MURTI: Where do we stand on
- 21 non-protocol-driven usual care versus intervention?
- 22 (Panelists voted and the votes were
- 23 recorded by staff.)
- 24 DR. SATYA-MURTI: Next is the non-voting
- 25 question. It calls for some discussion.

- 1 Large prospective randomized trials are
- 2 uncommon in this field of medicine. Discuss how
- 3 other study designs can or cannot adequately account
- 4 for potential confounding factors such as: Natural
- 5 clinical course of recovery. I think I'll take them
- 6 all in order. Selection bias due to: Skill level of
- 7 therapist; comorbidities affecting both the stroke
- 8 etiology and course of recovery; ancillary
- 9 therapeutic resources, virtual home/community
- 10 environment; severity of illness. Differing
- 11 assessment tools used across care settings, inpatient
- 12 rehab, skilled nursing facilities, home health
- 13 agencies, outpatient centers. Pre-morbid and
- 14 cultural characteristics. Discharge settings and
- 15 social support.
- 16 Since I'm not voting, I'll go down the
- 17 table on this.
- 18 DR. GRANT: When you say randomized
- 19 trials, are you specifically referring to specific
- 20 trials where the patient is randomized, or there may
- 21 be other randomization points such as sites.
- 22 DR. SATYA-MURTI: I think this is just the
- 23 patients.
- 24 DR. GRANT: So we're only discussing
- 25 patient randomization. That being the basis as a

- 1 preamble, I think part of the answer to these
- 2 questions is what we already discussed.
- 3 I feel that the natural clinical history
- 4 of stroke is so variable I feel very diffident about
- 5 doing any of these trials.
- 6 As far as comorbidities, I have seen
- 7 stroke patients where the brain is relatively intact
- 8 and there will be an infarct of myocardium or even
- 9 intestinal bowel removal, so it's not a critical link
- 10 (inaudible) so that being the case, these
- 11 comorbidities have a great effect on the recovery
- 12 itself which masses the comorbid difficulties, and so
- 13 I feel --
- 14 (Inaudible portion due to tape failure.)
- 15 DR. SATYA-MURTI: Would you include a
- 16 subset analysis?
- 17 DR. DANIS: I think you can have, when you
- 18 have that (inaudible) complete diagnoses,
- 19 sociodemographic data, characterization of the care
- 20 setting and the interventions, and I think we would
- 21 be way ahead of the game on everything.
- 22 DR. SATYA-MURTI: Thank you. Others.
- 23 DR. ONDRA: Well, I agree with that and
- 24 there is some concern about collection being hugely
- 25 expensive, and so this was really an EMR issue so I

- 1 think that's a very plausible situation. What you
- 2 don't want to do is look at and say we can't afford
- 3 to give it because the data collection requirement is
- 4 worth doing.
- 5 DR. SATYA-MURTI: And this collection
- 6 should be initiated prospective to any subset
- 7 analysis?
- 8 DR. ONDRA: Right.
- 9 DR. PAUKER: The question really comes
- 10 down to variability, but in order to look at the
- 11 effect of all these other things, each of these
- 12 things has to be measured, and if you can't measure
- 13 the variety of illness, there's no way you can make
- 14 these adjustments, so one of the steps is that has to
- 15 be developed as a measurable documentable outcome. I
- 16 think that's very important. Subset analyses are
- 17 important in RCTs so even designing them size-wise
- 18 wouldn't be effective if we didn't have the right
- 19 subject patients because of the subsets.
- 20 DR. SLOAN: You know, I hate to be
- 21 divisive, but we're saying there are almost 800,000
- 22 patients a year that fall into this category, so why
- 23 can't we put together a prospective randomized trial.
- 24 Perhaps it won't be all double blinded because there
- 25 are certain hurdles there and complications, but to

- 1 spend huge amounts of money to collect data from sort
- 2 of random and highly variable sources, from
- 3 institution to institution, it may be very hard to
- 4 really make any sense of that, so I don't know in the
- 5 end what you're going to get out of it.
- 6 DR. GRANT: (Inaudible) registries, and
- 7 I'm not familiar with the field as many others here
- 8 aren't, but I think that conceivably I could see a
- 9 useful place for a well developed, well conducted
- 10 registry that collects the correct data to do an
- 11 analysis of the natural history of disease under
- 12 usual care, which is critically important to
- 13 understand as a platform from which to perform the
- 14 appropriately designed randomized controlled trial.
- 15 MS. FRIED: There is a huge warehouse of
- 16 data that CMS has, is it ten years old, because we
- 17 just got a home health initiative and there is just,
- 18 I actually don't know, but my sense is like a
- 19 treasure trove of data on these chronic conditions.
- 20 DR. ONDRA: Maybe, and maybe only because,
- 21 what is the data integrity, so part of the question
- 22 is the data integrity, and so right now what I said
- 23 earlier is we need a better --
- 24 MS. FRIED: I thought it was claims data,
- 25 but I may be wrong.

- 1 DR. WEINER: Of course we should try to do
- 2 RCTs when possible, and often they are not possible
- 3 in a small group, and that's not the problem here,
- 4 there are other factors that we won't go into.
- 5 Secondly, if we're relying on
- 6 nonrandomized studies, I think these capture
- 7 (inaudible) one, but I think the same workshop that
- 8 we were talking about could address the study design
- 9 and covariants, and I think you're on the right track
- 10 here.
- 11 And thirdly, you know, the learning
- 12 organization as we move forward, I think there is a
- 13 lot of variability and I would say that perhaps
- 14 another workshop, I think that clearly there needs to
- 15 be protocols in the EMR context and so that too, and
- 16 they certainly would capture certainly the clinical
- 17 aspects here if not the organizational.
- 18 DR. SATYA-MURTI: Dr. Roth.
- 19 DR. ROTH: The question asked about how we
- 20 can account for those confounders and this speaks to
- 21 the theoretical use of single subject design.
- 22 DR. SATYA-MURTI: You're suggesting that
- 23 as a potential alternative?
- 24 DR. ROTH: Right, as an alternative to
- 25 help account for these multiple factors or N of one

- 1 studies.
- 2 DR. SATYA-MURTI: Would that have a
- 3 measurable effect when you stopped therapy when
- 4 you're doing nothing and then measuring a leftover
- 5 effect from treatment period when you're going to
- 6 non-treatment period?
- 7 DR. ROTH: Sure, that's certainly a
- 8 theoretical possibility, but if it's a well enough
- 9 designed single subject study, then there are ways to
- 10 account for that.
- 11 DR. SATYA-MURTI: All right. May we move
- 12 on to three? Maybe a show of hands might be the
- 13 correct way of voting on this, what's the minimum
- 14 period of time that interventions be followed in
- 15 order to identify a durable treatment effect?
- 16 Who votes for the --
- 17 DR. GRANT: May I ask a question out of
- 18 order? I mean, I just had a little difficulty here
- 19 and I think Dr. Studenski commented about these
- 20 different natural histories, so for some of them zero
- 21 to six months might be appropriate, for others it
- 22 might be longer. It's hard to put anything into a
- 23 specific category, that's just my take.
- 24 DR. ONDRA: I interpret this as from what
- 25 we understand, correct me if I'm wrong, that any

- 1 follow-on time is really looking at durability of the
- 2 effect.
- 3 DR. SATYA-MURTI: Accounting for all that,
- 4 if you were measuring it, would you stop at zero to
- 5 six months? We need to answer that in spite of those
- 6 shortcomings.
- 7 DR. PAUKER: What do we mean by durable,
- 8 do we mean after therapy stops or how long the
- 9 therapy continues? It isn't clear from this question
- 10 what do we mean by durable effects.
- 11 DR. JACQUES: It could be looked at in two
- 12 different ways. One would be, is the subject going
- 13 to still be continued after a month that treatment
- 14 effect is essentially seen and he was getting it. I
- 15 think the way the question was initially conceived,
- 16 though, was more if there was an intervention that
- 17 has a beginning and an end, that once the treatment
- 18 ends, how long should we follow the patient to see if
- 19 the treatment had a durable effect, six months after,
- 20 whatever.
- 21 DR. PAUKER: So which one?
- 22 DR. JACQUES: You could almost do it
- 23 either way.
- 24 DR. PAUKER: You've got to pick one or the
- 25 other.

- 1 DR. JACQUES: I would have to say it would
- 2 be how long after the interventional treatment period
- 3 has stopped.
- 4 DR. SATYA-MURTI: So after cessation of
- 5 the intervention, would the effect spilling over if
- 6 you measured it for zero to six months and so on.
- 7 DR. DANIS: So this is a duration of time
- 8 after the cessation of treatment.
- 9 MS. RICHNER: So your coverage decision
- 10 would be based on if it stopped.
- 11 (Inaudible colloquy.)
- 12 DR. JACQUES: I mean, imagine it this way.
- 13 Imagine there is some therapeutic intervention,
- 14 exercise, or whether it's stimulation or whether it's
- 15 something else that is not something that is clearly
- 16 designed to last for a month and that, if you use a
- 17 wheelchair and you stop using it, you can't get
- 18 around anymore, there are -- the wheelchair doesn't
- 19 work. Let's say you had some, for example a series
- 20 of exercises or something else for let's say a month,
- 21 it was designed to last for a month, that is in fact
- 22 the intervention that is being marketed, do X for a
- 23 month.
- 24 DR. ONDRA: It was an --
- 25 DR. JACQUES: If it was designed for

- 1 chronic use, you're not taking it away from them.
- 2 (Inaudible colloquy.)
- 3 DR. ROTH: Well, you know, I think there
- 4 are some treatments that you could see an immediate
- 5 effect and then it wears off. Traditional exercise
- 6 is an example where if you are not exercising often,
- 7 they don't have a persistent effect, so it speaks to
- 8 the idea that you would want to have as long an
- 9 effect as possible, and some of these technologies or
- 10 techniques are making a claim that they will show
- 11 that there's, you know, the more durability the
- 12 better.
- 13 DR. WEINER: Which of these numbers do you
- 14 like? There are things you might ask my --
- 15 DR. ROTH: Again, it's a judgment call. I
- 16 would say a year.
- 17 DR. ONDRA: There's differences, like for
- 18 spine surgery --
- 19 (Inaudible colloquy.)
- 20 DR. SATYA-MURTI: After Dr. Gerber, you
- 21 had a comment, and after that maybe we'll go to
- 22 voting on this.
- 23 DR. HURWITZ-GERBER: I just want to say in
- 24 support of what Elliott was saying, you know, if
- 25 you've got a frozen shoulder and you're working with

- 1 therapy, you're expecting that that shoulder's range
- 2 of motion will come back and stay back, and you would
- 3 imagine that a year or so would tell you how durable
- 4 your response is. I think the concept is somewhat
- 5 confounded when talking about durable medical
- 6 equipment, this is not the same as equipment.
- 7 Therapies, I mean maybe in terms of durable equipment
- 8 concepts you've got a different understanding, but
- 9 obviously given the confounders and given the nature
- 10 of the process, it would be very difficult to come up
- 11 with an opinion that was educated on my part about
- 12 what is durable. I mean, sometimes it's the life
- 13 expectancy of the individual, which may be two
- 14 months. So I have to duck this one.
- 15 DR. SATYA-MURTI: That will be all right.
- 16 I understand the reservations. Anyone else?
- 17 DR. KUBO: Part of it is depending on the
- 18 intervention. For a surgical intervention, I would
- 19 want that to last a year. Something that is less
- 20 invasive, just requires one visit, if it lasts for a
- 21 week or a month might be okay for me.
- 22 DR. ONDRA: But the costs may be the same.
- 23 DR. SATYA-MURTI: Okay. Does anyone think
- 24 zero to six months would be sufficient?
- 25 Six to 12 months?

- 1 12 to 18 months?
- 2 No one wants to wait for longer than 18,
- 3 all right.
- 4 Well, four through seven, that's the devil
- 5 in the details. What we wanted to do is modify the
- 6 question in the second line, indicator of -- allow me
- 7 to reread that. How confident are you that outcome
- 8 measures like the ones that follow or those that are
- 9 comparable, are reliable, valid and responsive indicators
- 10 clinical trials that aim to improve an individual's
- 11 functional capacity in the performance of ADLs/IADLs
- 12 and locomotion or transfer abilities?
- 13 We would consider all of those as a
- 14 potential comparable measure and vote on them as was
- 15 told this morning. Ready?
- 16 DR. HURWITZ-GERBER: If we feel they're
- 17 reliable and valid but not responsive, how do we
- 18 handle that?
- 19 DR. SATYA-MURTI: Give them a weighted
- 20 score in your own mind.
- 21 (Panelists voted and the votes were
- 22 recorded by staff.)
- 23 DR. SATYA-MURTI: All right. I think

- 1 We're going to enter the same six choices for the
- 2 next one. How confident are you that each of the
- 3 outcome measures like those below or those that are
- 4 comparable, are reliable, valid and responsive indicators
- 5 of change in clinical trials that aim to improve an
- 6 individual's functional capacity in the performance
- 7 of language and communication skills?
- 8 Aphasia Quotient of the Western Aphasia Battery and
- 9 Porch Index of Communicative Ability.
- 10 (Panelists voted and the votes were
- 11 recorded by staff.)
- 12 DR. SATYA-MURTI: Thank you. Six, how
- 13 confident are you that outcome measures or comparable
- 14 measures like the ones that follow or those that are
- 15 comparable, are reliable, valid and responsive
- 16 indicators of change clinical trials that aim to improve
- 17 an individual's performance of swallowing?
- 18 Coughing, choking frequency during a meal, videofluoroscopy,
- 19 and we are taking them as a whole.
- 20 (Panelists voted and the votes were
- 21 recorded by staff.)
- 22 DR. SATYA-MURTI: We're done with that.
- 23 Seven. How confident are you that each of the
- 24 following outcome measures are reliable, valid and responsive

- 1 indicators of change in clinical trials to assess
- 2 patient, proxy or caregiver perceptions of the
- 3 patient's health and satisfaction with life and
- 4 community re-integration? Barthel Index, Modified
- 5 Ashworth Scale, EuroQol.
- 6 DR. HURWITZ-GERBER: And the proxy in here
- 7 is not only a healthcare provider but could be a
- 8 significant other.
- 9 DR. MILLER: Correct, it could be anyone
- 10 chosen by the patients or anyone who knows the
- 11 patient well, but it does not have to be a caregiver.
- 12 DR. DANIS: I find it a little hard to
- 13 lump these, one is, Ashworth is very narrow and the
- 14 EuroQol --
- 15 DR. MILLER: If I may interject here, one
- 16 of the reasons for this particular question was to
- 17 develop a discussion on whether or not these measures
- 18 are appropriate as quality of life. They have,
- 19 looking at the TA, they have been used as quality of
- 20 life measures and what we wished to bring out here
- 21 was whether or not they should be.
- 22 DR. PAUKER: I don't know if we should
- 23 lump these, I don't know about these other ones, but
- 24 if we could break those out, that might help.

- 1 DR. MILLER: Yes, if that's your pleasure,
- 2 certainly we can break them out.
- 3 DR. SATYA-MURTI: And we can use six for
- 4 those with which you just don't know.
- 5 DR. DANIS: It just strikes me that our
- 6 goal as you get more and more to the more subjective
- 7 components, the capacity of a surrogate to reflect
- 8 accurately on what the subject is perceiving is so
- 9 much poorer, so it just seems to me, are we asking
- 10 are surrogates good measures? I mean, it's a tough
- 11 set of questions here.
- 12 DR. MILLER: I agree, and I don't want to
- 13 put my views onto the panel, but that was also placed
- 14 in this question for discussion. When we say a
- 15 proxy, clearly what the patient perceives as his or
- 16 her quality of life versus let's say what a family
- 17 member perceives as the patient's quality of life may
- 18 be two different things. Certainly there are
- 19 studies of this question, perhaps most dramatically in the patient
- 20 population of those with ALS in which, you know,
- 21 watching their kids grow, et cetera, are for these patients,
- 22 their definition of that which is a very good quality of life.
- 23 In my teaching experiences, however, I have found that concept very
- 24 difficult to be appreciated by my students.
- 25 DR. DANIS: Yeah. It makes me want to say

- 1 that I would endorse EuroQol measures taken from
- 2 subject, but be skeptical about EuroQol measures
- 3 taken from surrogates. I mean, unless you're
- 4 interested in hearing about the impact on the
- 5 caregiver, and that some of these are not measures of
- 6 that.
- 7 DR. MILLER: And that is some of the
- 8 difficulties that have been raised in the studies of
- 9 the psychometric measures, the individual testing
- 10 measures.
- 11 DR. SATYA-MURTI: The proxy versus patient
- 12 agreement was fairly good with EuroQol for motor
- 13 indices but not for psychological well being, so it's
- 14 got some merits to it. So we'll consider this again
- 15 individually in view of the fact that Ashworth, I
- 16 also think is more designed for spasticity, we use
- 17 that for MS patients, and EDSS, so we'll take them
- 18 individually. Do you feel about Barthel Index can be
- 19 rated one through six, we're including six here,
- 20 don't know? So we'll go with Barthel first.
- 21 (Panelists voted and the votes were
- 22 recorded by staff.)
- 23 DR. SATYA-MURTI: Okay. Modified Ashworth
- 24 Scale, is that a good indicator for quality?
- 25 DR. FOLEY: In general or related to

- 1 spasticity?
- 2 DR. SATYA-MURTI: No, in general for the
- 3 question, which would be a sensitive indicator of
- 4 quality of life and community re-integration.
- 5 (Panelists voted and the votes were
- 6 recorded by staff.)
- 7 DR. SATYA-MURTI: All right. EuroQol,
- 8 what does the panel think about EuroQol?
- 9 (Panelists voted and the votes were
- 10 recorded by staff.)
- 11 DR. SATYA-MURTI: Okay. Question eight,
- 12 how important are caregiver burden and their
- 13 narratives as indices of successful rehabilitation?
- 14 I was thinking about it, and do we need to
- 15 go to one through five, or just say very important,
- 16 somewhat or not at all important?
- 17 DR. DANIS: May I ask a question about
- 18 this?
- 19 DR. SATYA-MURTI: Yes.
- 20 DR. DANIS: It seems to me that whenever
- 21 you're making these kinds of value judgments, it's
- 22 whose perspective. I mean, are we asking when
- 23 thinking ultimately down the road about
- 24 reimbursement, do we want to be thinking about the
- 25 broad family context, or are we saying the narrow

- 1 question when we are assessing rehabilitation as an
- 2 endeavor? Does it -- are we thinking from the
- 3 patient's perspective? I think you could answer this
- 4 question depending upon what perspective you take.
- 5 DR. MILLER: This question is meant to
- 6 address it from the caregiver's perspective because
- 7 it is their narrative. And it is, meant as a way to
- 8 think of their narrative as a type of outcome measure,
- 9 of the success or non-success of a rehabilitation method
- 10 device, whatever.
- 11 MS. RICHNER: I just have a question.
- 12 When I was reading the materials, the issue was about
- 13 narratives versus a quantitative scale of some sort,
- 14 and they dismissed the issue of narratives, that
- 15 those were probably not used or they were too
- 16 indecisive. So to me, I would love it if the
- 17 question could be clarified whether or not you could
- 18 use a scale for caregiver burden, because it
- 19 obviously is extraordinarily important in stroke,
- 20 however, it's to me, the problem is whether it's a
- 21 narrative or a quantitative measure of some sort.
- 22 DR. SATYA-MURTI: That is a good question
- 23 because when we were thinking of this we were not
- 24 sure how validated and how, what kind of longitudinal
- 25 experience we have had with these scales. We just

- 1 heard that they are emerging and they are not fully
- 2 fleshed out yet. Is that correct, Dr. Roth? Were
- 3 you not the one who was saying --
- 4 DR. ROTH: There are many being used
- 5 for --
- 6 (Inaudible colloquy.)
- 7 DR. MILLER: This question was meant
- 8 to be a narrative index.
- 9 The EuroQol was meant to be more of a
- 10 scaled response of the patients and his or her proxy.
- 11 DR. WEINER: Does EuroQol ask about burden
- 12 on caregivers, carers as they say? Then it's really
- 13 proxy. So I would propose that we, how important
- 14 would be reliable measurements of caregiver burden as
- 15 indices, that's certainly what I would like to vote
- 16 on.
- 17 DR. SATYA-MURTI: May we take it as
- 18 narratives and validated indices separately?
- 19 DR. WEINER: We could use separately if
- 20 you'd like.
- 21 DR. SATYA-MURTI: All right. Because what
- 22 I'm thinking of is if they have emerged but not fully
- 23 emerged yet, then are we missing out something by
- 24 saying we would confine ourselves to known scales
- 25 only? Is there an aspect of caregiver narrative that

- 1 hasn't been captured unless we listen to it at large
- 2 for a defined population?
- 3 DR. ALVIR: Well, they are being used now
- 4 in Alzheimer's because it's very important there for
- 5 the caregiver.
- 6 DR. MILLER: Right. There are also some
- 7 in the congestive heart failure patients, for
- 8 example, up and coming tech research. May I suggest
- 9 that question 8.A be the narrative index and then B
- 10 would be a currently validated scale.
- 11 DR. SATYA-MURTI: So first for narratives,
- 12 do we have, are we going on one through five? If so,
- 13 okay.
- 14 (Panelists voted and the votes were
- 15 recorded by staff.)
- 16 DR. SATYA-MURTI: All right. B, of the
- 17 same question, how about a more formal validated
- 18 index?
- 19 (Panelists voted and the votes were
- 20 recorded by staff.)
- 21 DR. SATYA-MURTI: All right. Question
- 22 nine, how confident are you that these conclusions
- 23 can be generalized to community practice settings
- 24 outside the context of specialized treatment centers?
- 25 We talked about efficacy versus

- 1 effectiveness and these conclusions would refer to
- 2 the voting that we chose to the foregoing points up
- 3 to this point. So how confident are you that these
- 4 can be generalized from special centers to community
- 5 practice, one least confident, five most confident?
- 6 DR. DANIS: Are we talking about when a
- 7 practice setting does a serious job of trying to do
- 8 research, or are we asking how -- I'm not sure I
- 9 understand. Are we just saying --
- 10 DR. SATYA-MURTI: Once an efficacy trial
- 11 has been done and you have a publication and peer
- 12 review, then we start using it in community hospitals
- 13 and --
- 14 DR. DANIS: Oh, I see. And doing studies
- 15 to measure effectiveness.
- 16 DR. MILLER: No, that you use the results
- 17 of your study.
- 18 DR. DANIS: Oh, the results of the
- 19 efficacy study in practice and how confident are we
- 20 that we can translate, that we can assume that
- 21 efficacy data is useful without having done an
- 22 effectiveness study?
- 23 DR. SATYA-MURTI: Yes.
- 24 (Panelists voted and the votes were
- 25 recorded by staff.)

- 1 DR. SATYA-MURTI: I think many tech
- 2 assessments do ask questions nine and ten, so it's
- 3 applicable across the board. Ten is, how confident
- 4 are you that these conclusions can be generalized to
- 5 the population of Medicare beneficiaries, knowing
- 6 that age and other comorbid conditions and so on?
- 7 (Panelists voted and the votes were
- 8 recorded by staff.)
- 9 DR. SATYA-MURTI: And the last is a
- 10 discussion item which we have done up to this point
- 11 in various guises, but I'll read that and then we
- 12 have some time, it's 3:09. What are the gaps in the
- 13 current evidence on stroke rehab therapies in
- 14 Medicare beneficiaries? We've already spoken about
- 15 this but I'll start off with anyone who wants to
- 16 lead. What gaps do you identify? And one was that
- 17 ICF itself may not be the only game available.
- 18 MS. FRIED: I think what I said was
- 19 actually sort of the opposite of what you just said,
- 20 which was that I don't think CMS has really publicly
- 21 used the ICF in doing an analysis or looking into
- 22 their coverage decisions, and that's something that I
- 23 know that some of the Medicare contractors have, but
- 24 I don't think that CMS really has. And I was just
- 25 saying that that's something that they really should

- 1 think about given the prevalence of chronic
- 2 conditions in the Medicare population.
- 3 DR. SATYA-MURTI: All right. Anyone else
- 4 wants to fill the gaps? Yes.
- 5 DR. HURWITZ-GERBER: I think it's almost a
- 6 repeat of what I've already said, but first of all I
- 7 think apropos of what Leslie said, we need a model,
- 8 and the model has to have the domains that CMS values
- 9 or that your advisors help you value.
- 10 Second is, I think we need some
- 11 exploration of the literature to help us understand
- 12 what the criteria would be for selection of
- 13 comparators in a variety of settings and explore what
- 14 constitutes a reasonable way of selecting those.
- 15 In addition, we did talk about just
- 16 recently in the last couple of votes of the fact that
- 17 we have to have some data, or I believe we need to
- 18 have some data on caregiver burden, what is that,
- 19 which domains are included under caregiver burdens.
- 20 We've talked about potentially economics, we've
- 21 talked about psychological, physical and health
- 22 issues. I think we need to consider that as a very
- 23 critical component.
- 24 And there were two issues that were
- 25 brought up following the TA which are still sticking

- 1 in my mind which I think need better clarification.
- 2 For example, under one of the presentations the slide
- 3 was entitled results, appraisal of quality domains.
- 4 There were two areas of really significant
- 5 methodological bias: one is baseline characteristics
- 6 and the other one is cointerventions, and I think
- 7 that that's a huge gap.
- 8 I think we have to understand how to
- 9 evaluate patients at baseline if we're going to
- 10 measure incremental change, and in this field we
- 11 absolutely have to measure incremental change because
- 12 our RCTs are so expensive and so difficult to do,
- 13 that that's a huge problem. And then the issue of
- 14 deciding what are the cointerventions, are we talking
- 15 about mainly pharmacological or non-pharmacological,
- 16 or environmental. We have to begin to bring some
- 17 systematic approach to how we're going to evaluate
- 18 those quality domains.
- 19 DR. SATYA-MURTI: Yes.
- 20 DR. DANIS: I wasn't clear from the
- 21 technology assessment as to what was the age range of
- 22 the studies, but I think we need to think about the
- 23 applicability, particularly as you think about the
- 24 Medicare population and the fact that you are
- 25 including people who are, you know, of vastly

- 1 different ages. And as you get more towards the end
- 2 with the frail elderly, what can we say and what
- 3 inferences can we make about rehabilitation of
- 4 patients with stroke as they become more and more
- 5 frail, and as that fraction of the Medicare
- 6 population expands, I think it's really important to
- 7 know.
- 8 DR. SATYA-MURTI: Yes.
- 9 DR. FOLEY: I just have two issues. One,
- 10 we're not a homogeneous population in the United
- 11 States so that the burden of stroke and the issues
- 12 related to various subcultures I think is very
- 13 important and we have to look at it, and the burden
- 14 on those types of caregivers. In Minnesota we have a
- 15 large Mung population that has a lot of stroke
- 16 issues, for example. They tend to be very
- 17 close-knit. I think the patient population that I
- 18 see that has a stroke and then the complications
- 19 afterwards, they, the family and the family circle
- 20 gives a lot of care to those folks to the point that
- 21 they don't do anything for themselves. So that we
- 22 might do some things in the hospital that gets them
- 23 to a certain level and when they go home, they become
- 24 couch potatoes, they do nothing physical, or
- 25 stimulation drops off, so we need to understand those

- 1 populations.
- 2 The second I would say is, and it relates
- 3 to a lot of studies, there's a disconnect between
- 4 those of us who are at the hospitals, community
- 5 hospitals, who have a lot of patients who have this
- 6 burden, and the academic centers where you have these
- 7 study designers and many statisticians, and all kinds
- 8 of folks who do a lot of studies but who don't have
- 9 the patients. And unless we have a connection
- 10 between community caregivers who have those patients
- 11 and the academicians, I think the power on these
- 12 studies are going to be lacking.
- 13 DR. ONDRA: I think Dr. Danis' answer was
- 14 an important one, it affected how I answered number
- 15 ten, because I interpreted that as to the Medicare
- 16 beneficiaries as to a large group of them. I think
- 17 your point is a good one, that Medicare beneficiaries
- 18 is too heterogeneous a group to generalize to and you
- 19 almost want to, to me, have that question to a large
- 20 subset or the entire Medicare population, because the
- 21 Medicare population of people over 80 is an entirely
- 22 different group than the Medicare population between
- 23 65 and 75. So I think that it really depends on
- 24 whether you interpret it to the entire Medicare
- 25 population or to a large subgroup of the

- 1 heterogeneous Medicare population.
- 2 DR. PAUKER: I'm going to take the
- 3 opportunity to push my colleagues at Medicare where
- 4 they probably don't want to be pushed. But
- 5 nonetheless, I think it's important that we have good
- 6 studies on comparative effectiveness that we don't
- 7 have now, and I think it's terribly important that
- 8 those studies look at costs. Now there are lots of
- 9 therapies where the effectiveness is there but it's
- 10 more, and if we don't begin to look at costs and if
- 11 you don't begin to look at the cost of care, then
- 12 more and more money is in Medicare which we can't
- 13 afford, and we're gaining less and less. And I
- 14 realize that this requires enormous political will,
- 15 but that requires leadership and you've got to start
- 16 somewhere, and this might be a place to do it.
- 17 DR. SATYA-MURTI: Dr. Roth.
- 18 DR. ROTH: A couple of research gaps. The
- 19 first is the relative role of medical comorbidities
- 20 as confounders of outcome and also the role of
- 21 medical complications as potential measures of
- 22 outcome cost.
- 23 And then the second is as we talked about,
- 24 the ICF model, it's easy for us to think about the
- 25 connection between body functions and activity level

- 1 and participation, but that's not been very well
- 2 studied, the relationships between them and what
- 3 might mitigate against or support one predicting or
- 4 being associated with the other.
- 5 DR. SATYA-MURTI: Yes.
- 6 DR. WEINER: I think building on what Dr.
- 7 Pauker said, although I've heard eloquent arguments
- 8 for the individualization and, you know, the person
- 9 and the patient, this is by and large a societal
- 10 population-based program of 32, 40, whatever it is,
- 11 million Americans, and we must look at
- 12 population-based measures. And moreover, everything
- 13 is very atomized into fee for service or CPT codes or
- 14 whatever. That's all well and good, but it's not
- 15 really about study of one little CPT code at a time,
- 16 but rather systems of care. I'm hearing, and I've
- 17 learned a lot, a lot of very integrated care, systems
- 18 of care, and usually as I've been on many panels,
- 19 I've asked Kaiser Permanente or the VA or the UK,
- 20 somebody that thinks about populations and doesn't
- 21 worry about CPT codes, what they have done. We
- 22 didn't hear much about that today, but I'm sure there
- 23 are those in the stroke and rehab care community that
- 24 do think about it in an integrated way, holistic way
- 25 and societal way, and that's a lot more than research

- 1 design, but I think it's worth mentioning and studies
- 2 that capture some of those dimensions should be
- 3 supported.
- 4 DR. SATYA-MURTI: Leslie.
- 5 MS. FRIED: I just have two quick
- 6 comments. One I have to say at every MedCAC is that
- 7 there is nothing in the statute, in the Medicare
- 8 statute or law that says cost effectiveness, the law
- 9 is medical necessity. And this has been a
- 10 longstanding struggle with CMS, and at this point
- 11 there's nothing about cost effectiveness in the law,
- 12 so I just wanted to make that point.
- 13 The other gap I wanted to mention,
- 14 actually I raised earlier, and it has to do with what
- 15 is called in CMS terms as maintenance therapy. And
- 16 although -- and it might be worth some study into, if
- 17 somebody has an acute care episode and has whatever
- 18 their initial therapy course, they then sort of get
- 19 given a maintenance plan and sent on their way. And
- 20 I think that there may be a gap, I don't know, there
- 21 may be a gap in services like what happens with that
- 22 maintenance plan because you have a maintenance plan
- 23 but Medicare doesn't cover the maintenance service.
- 24 So the person goes home and maybe does the plan and
- 25 maybe doesn't. And whether that's an effective use

- 1 of, whether it's really maintaining or whether it's
- 2 just sort of here you go and go home.
- 3 DR. SATYA-MURTI: True. This has been
- 4 very illuminating, not only to identify gaps with
- 5 specific modalities and the issue at hand, but we've
- 6 identified even larger gaps in terms of methodology
- 7 and rigor, so some of these were brought up today.
- 8 They exist with many technologies and coverage issues
- 9 that come before CMS, but in this particular stroke
- 10 population they just seem to be amplified even more
- 11 so, is what I hear. The heterogeneity, applicability
- 12 and validity of indices.
- 13 So with that, my part is over, I think.
- 14 Louis.

- 15 DR. JACQUES: Yeah. I think my role is to
- 16 say thank you, you guys did a very good job with a
- 17 very difficult topic, which means we put little stars
- 18 next to your names so whenever we have a similarly
- 19 difficult topic, we kind of know who can deal with
- 20 it, so you get more of the same. Seriously, thank
- 21 you very much, and thank you to the members of the
- 22 public in our audience.
- 23 (The meeting adjourned at 3:19 p.m.)