

CENTERS FOR MEDICARE AND MEDICAID SERVICES
MEDICARE EVIDENCE DEVELOPMENT AND COVERAGE
ADVISORY COMMITTEE

- - - - -X
:
IN RE: FDG-PET AND :
:
FDG-PET/CT :
:
- - - - -X

Woodlawn, Maryland

Wednesday, August 20, 2008

The above entitled matter came to be heard
before the Medicare Evidence Development and Coverage
Committee in the CMS Auditorium, 7500 Security Boulevard,
Woodlawn, Maryland.

COMPOFELICE REPORTING SERVICES, INC.

6671 Farbell Row

Columbia, Maryland 21045

(301) 596-2019 (410) 381-2755

(800) 464-3019

FAX (410) 290-7249

PANELISTS:

ACTING CHAIR:

SATY SATYA-MURTI, MD, FAAN

PANEL MEMBERS:

I. CRAIG HENDERSON, MD

NORA A. JANJAN, MD

J. LEONARD LICHTENFELD, MD

ANDREW SLOAN, MD

CONSUMER REPRESENTATIVE:

LINDA A. BERGTHOLD, PhD

INDUSTRY REPRESENTATIVE:

PETER JUHN, MD, MPH

GUEST PANEL MEMBER:

RICHARD I. WAHL, MD

CMW LIAISON:

STEVE E. PHURROUGH, MD, MPA

EXECUTIVE SECRETARY:

MARIA A. ELLIS

C O N T E N T S

	PAGE
OPENING REMARKS:	
MARIA ELLIS	3
DR. Steve PHURROUGH	6
DR. Saty SATYA-MURTI	10
CMS PRESENTATION AND VOTING QUESTIONS:	
STUART CPALAN, RN, MAS	12
TECHNOLOGY ASSESSMENT PRESENTATION:	
KAREN GULENCHYN, MD	20, 42
MARIA OSPINA, MSc	23, 50
GUEST SPEAKER PRESENTATIONS:	
BRUCE HILLNER, MD	63
DAVID MANKOFF, MD, PhD	79
SCHEDULED PUBLIC COMMENTS:	
CARA TENENBAUM, ESQUIRE	116
BRIAN G.M. DURIE, MD	122
OPEN COMMENTS:	
DR. BARRY SIEGEL	130
DR. ED COLEMAN	134
DR. TONY SHIELDS	136
DR. SEAN TUNIS	138

1 financial conflicts of interest during their
2 introduction. We ask in the interests of fairness
3 that all persons making statements or presentations
4 also disclose any current or previous financial
5 involvement in a company that manufactures or provides
6 devices or other tools for the research of PET. This
7 includes direct financial investments, consulting
8 fees, and significant institutional support. If you
9 haven't already received a disclosure statement, they
10 are available on the table outside of this room.

11 We ask that all presenters please adhere to
12 their time limits. We have numerous presenters to
13 hear from today and a very tight agenda and,
14 therefore, cannot allow extra time. There is a timer
15 at the podium that you should follow. The light will
16 begin flashing when there are two minutes remaining,
17 and then turn red when your time is up.

18 Please note that there is a chair for the
19 next speaker and please proceed to that chair when
20 it's your turn.

21 For the record, voting members present for

1 today's meetings are: Dr. I. Craig Henderson, Dr.
2 Nora Janjan, Dr. J. Leonard Lichtenfeld, and Dr.
3 Andrew Sloan. A quorum is present, and no one has
4 been recused because of conflicts of interest.

5 The entire panel, including non-voting
6 members, will participate in the voting. The voting
7 scores will be available on our website following the
8 meeting. Two averages will be calculated, one for
9 voting members, and one for the entire panel. I ask
10 that all panel members please speak directly into the
11 mics. And you may move them -- you may move to the
12 mic since we have to share.

13 If you require a taxicab, there's a sign-up
14 sheet at the desk outside of the auditorium. Please
15 submit your request during the lunch breaks. And
16 lastly, please remember to discard your trash in the
17 trash cans located outside of this room.

18 And now, I would like to turn the meeting
19 over to Dr. Steve Phurrough.

20 DR. PHURROUGH: Good morning and welcome. A
21 particular thanks to the panel members who have agree

1 to be part of this event today. The panel is a bit
2 smaller. We had a few more who, over the last couple
3 of days, had some issues that prohibited them from
4 being here.

5 We have a topic today that we've engaged in
6 over the last several years, looking at appropriate
7 indications for the use of PET scanning in oncology
8 conditions. And we look forward to a vigorous
9 discussion around those particular indications.

10 In addition, the panel is going to have some
11 broader discussions around the concept that we used in
12 our last decision to collect some information, this
13 coverage with evidence development, and have some
14 discussions around the validity of this kind of data
15 collection and its -- in making decisions, not only
16 around coverage, but around use of technologies at the
17 physician/patient interface. So we look forward to
18 that discussion today.

19 Just a note as to the -- for those of you
20 who are veteran MedCAC attendees, we have a fairly
21 open voting process. Questions are asked, and the

1 panel gives their vote. In this case, typically, we
2 hold up the score. Most voting questions are Lichert
3 scales one to five.

4 However, two of the -- the first two voting
5 questions -- the first two voting questions are charts
6 where a lot of information is requested. The panel
7 has worked on those charts prior to this meeting.
8 They will finalize these charts as the day goes on.
9 And at the voting time for those two questions,
10 they'll be given a few minute to finalize that, and
11 those charts will be turned in.

12 There will not be a specific question where
13 they raise their hand or vote on each specific block
14 within that table. They will be asked to comment
15 broadly around what they thought about the evidence as
16 they filled in that table.

17 Our staff will take those individual charts
18 and hopefully in a short period of time, assuming that
19 we can make technology work, we'll have all of those
20 averages available on the screen for you to look at as
21 we proceed with our other questions. Trying to ask

1 for a vote on each block in each chart would have kept
2 us all here long past the time that we would have
3 wanted to be here.

4 A couple of logistical kinds of questions.
5 There is only the lunch break for both panel and
6 guests. If you need other breaks, take them as you
7 need them.

8 We have today as our recorder a new
9 individual doing that. She has permission to tell you
10 if you aren't being heard. So for both panels and
11 speakers, if you're not speaking into the microphone,
12 you'll will be chastised so that we can get a good
13 accurate record of what's going on today.

14 So I've given her permission to be very
15 straightforward. And she tells me she has no trouble
16 with that. So please use the microphones, and I'll
17 try to remember to do that also.

18 So again, thank you for all your
19 participation today. I unfortunately will have to
20 step out for about an hour shortly after we begin. My
21 boss thinks I need to be somewhere else for a short

1 period of time, and so I'll do that. And Dr. Jacques
2 will be the designated federal official during that
3 period of time.

4 So with that, I'll turn the meeting over to Dr.
5 Satya-Murti who is our chairman today.

6 DR. SATYA-MURTI: I was able to convince Dr.
7 Phurrough that in view of the caffeine withdrawal we
8 are undergoing this morning, we will be having a short
9 break between 10:30 and 10:45.

10 I am Saty Satya-Murti. I am a neurologist.
11 I do part-time voluntary teaching and clinical work at
12 an inner city non-profit, and I consult for industry.
13 I have no conflicts of interest.

14 DR. HENDERSON: I'm Craig Henderson. I'm a
15 medical oncologist from the University of California,
16 San Francisco. I have no conflicts of interest.

17 DR. JANJAN: Nora Janjan, M.D. Anderson
18 Cancer Center. I have no conflicts of interest. I'm
19 a radiation oncologist.

20 DR. LICHTENFELD: Len Lichtenfeld, American
21 Cancer Society. No conflicts of interest.

1 DR. SLOAN: Andrew Sloan, University
2 Hospital Case Medical Center. No conflicts of
3 interest.

4 DR. BERGTHOLD: I'm Linda Bergthold, and I'm
5 the consumer member of the panel. And I have no
6 financial conflicts of interest.

7 However, I am doing some advising to the
8 Center for Medical Technology Policy on private sector
9 coverage with evidence development. So I'm going to
10 lay my biases out on the table and say I think it's a
11 really good idea, and I'd like too see it work.

12 DR. JUHN: Hi. Peter Juhn, Medco Health
13 Solutions. I'm the industry representative. No
14 conflicts of interest.

15 DR. WAHL: I'm Richard Wahl from Johns
16 Hopkins. I'm Director of the PET Center at Johns
17 Hopkins, so in that sense, I have work that deals with
18 PET and part of my clinical income is derived from PET
19 interpretation.

20 I also have a patent that was developed at
21 the University of Michigan some years ago on

1 radionuclide guided biopsy, which has been licensed by
2 a dedicated breast PET company which, so far, hasn't
3 resulted in any sales. But there's a potential
4 conflict of interest.

5 And in the past, I have received -- or Johns
6 Hopkins has received research grant support from
7 General Electric, who makes PET scanning devices.

8 DR. SATYA-MURTI: All right. We'll start
9 the meeting. It's a weighty topic. The first
10 presenter is from CMS, Stuart Caplan.

11 Stuart, are you ready?

12 MR. CAPLAN: Yes, sir.

13 Good morning, everyone. Thank you. I hope
14 everybody is comfortable, and that your travel here
15 was easy. Chairman Murti, panelists, members of the
16 public, and invited speakers, once again, let me
17 welcome you to today's Medicare Evidence Development
18 and Coverage Advisory Committee meeting.

19 As Maria mentioned a few moments ago,
20 today's topic is FDG-PET or positron emission
21 tomography for use in nine cancers. Now, they are

1 brain, cervical, ovarian, pancreatic, small cell lung,
2 testicular, prostate, bladder, and kidney.

3 When we refer to PET today, we're also to
4 referring to PET/CT. The terms will be used
5 interchangeably.

6 The CMS analytic team for today's
7 presentation includes myself, Stuart Caplan, as the
8 lead analyst; Katherine Tillman as the co-analyst; Dr.
9 Shamiram Feinglass who is our lead medical officer on
10 the project; Dr. Jeffrey Roche, who is the co-medical
11 officer. We've heard from Dr. Steven Phurrough, the
12 Director of the Coverage and Analysis Group. Also
13 part of the team is Dr. Louis Jacques who's the
14 Director of the Division of Items and Devices and our
15 executive secretary, Maria Ellis, whom you all know
16 well. And I'd like to also thank the other people in
17 our staff who helped with today's presentation.

18 The panel has received the following
19 materials today: a draft technology assessment
20 provided by the Agency for Healthcare Research and
21 Quality, presentations of scheduled presenters. Note

1 that that written testimony bullet is incorrect,
2 although transcripts of today's meeting will be
3 available on our coverage website.

4 The panel members have a summary of
5 evidence, definitions of PET indications from the
6 National Coverage Determinations manual, and questions
7 for the panel.

8 PET is nationally covered for a variety of
9 oncologic, neurologic, and cardiac indications. But
10 it's important to note that the national coverage
11 determination for PET is an only policy. Unless a
12 specific indication for PET is nationally covered,
13 then it is nationally non-covered. F18,
14 Fluorodeoxyglucose -- Fluorodeoxyglucose or FDG is the
15 only nationally covered radionuclide for PET's use in
16 oncology.

17 Beginning in 1998 and through January of
18 2005, coverage of PET has been based on cancer site
19 and indication for the study. FDG-PET indications in
20 oncology are defined in Section 220.6 of our National
21 Coverage Determination Manual. And these indications

1 are diagnosis, staging, restaging, and monitoring.

2 I'd like to read an excerpt from Section
3 220.6 that defines each of these indications. And
4 we've provided the panel with a copy of these
5 definitions. This will take a moment.

6 Diagnosis is defined, "PET is covered only
7 in clinical situations in which: (1) the PET results
8 may assist in avoiding an invasive diagnostic
9 procedure, or in (2) the PET results may assist in
10 determining the optimal anatomical location to perform
11 an invasive diagnostic procedure. In general, for
12 most solid tumors, a tissue diagnosis is made prior to
13 the performance of PET scanning. PET scans following
14 a tissue diagnosis are generally performed for staging
15 rather than diagnosis. PET is not covered as a
16 screening test (testing patients without specific
17 signs and symptoms of disease). So that's the
18 definition for diagnosis.

19 For staging out of Section 220.6, "PET is
20 covered for staging in clinical situations in which:
21 (1)(a) the stage of the cancer remains in doubt after

1 completion of a standard diagnostic workup, including
2 conventional imaging, such as CT, MRI, or ultrasound,
3 or (1)(b) it could potentially replace one or more
4 conventional imaging studies when it is expected that
5 conventional study information is insufficient for the
6 clinical management of the patient, and (2) clinical
7 management of the patient would differ depending
8 on the stage of the cancer identified. That's the
9 staging definition.

10 For restaging, PET is covered, "(1) after
11 completion of treatment for the purpose of detecting
12 residual disease, (2) for detecting suspected
13 recurrence or metastasis, (3) to determine the extent
14 of a known recurrence, or (4) if it could potentially
15 replace one or more conventional imaging studies when
16 it is expected that conventional study information is
17 insufficient for the clinical management of the
18 patient. Restaging applies to testing after a course
19 of treatment is completed and is covered only subject
20 to the conditions above.

21 Finally, the definition for monitoring is a

1 short one. "This refers to PET to monitor tumor
2 response to treatment during the planned course of
3 therapy (for example, when a change in therapy is
4 anticipated).

5 As part of the CMS's in-depth evaluation of
6 PET over the years, CMS has commissioned technology
7 assessment through the Agency for Healthcare Research
8 and Quality. In September of 2000, there was a
9 technology assessment that addressed 22 indications
10 for PET. In May of 2001, there was a MedCAC -- I'm
11 sorry -- a technology assessment for breast cancer.
12 In April 2002, we commissioned one for soft tissue
13 sarcoma, and in April 2004, there was one commissioned
14 for six cancers; brain, cervical, pancreatic, small
15 cell lung, and testicular cancers.

16 In its evaluation of FDG-PET over the years,
17 CMS has also convened MedCAC meetings in October of
18 2000 and June 2001. Also, a MedCAC Executive
19 Committee for Diagnostic Imaging reviewed evidence on
20 PET for breast cancer in 2000.

21 In May of 2006, CMS implemented a national

1 coverage determination that expanded coverage for FDG-
2 PET for all cancer site and indications with limited
3 exception, as long as data was collected at the time
4 of service to assist in patient management.

5 CMS identified the National Oncologic PET
6 Registry or NOPR to prospectively collect these data
7 on the expanded cancer indications. We'll hear more
8 about NOPR in a later presentation.

9 Let's go on to the panel questions. The
10 scale for each response to the questions, one, no
11 confidence, two, little confidence, three, equivocal,
12 four, moderate confidence, and five, high confidence.
13 The panels have numbers to hold up so their votes can
14 be recorded.

15 Please note, panel members, that if you have
16 no comfort level responding to a question, you have
17 the option to not vote on that question.

18 Question one, how confident are you that the
19 evidence is adequate to conclude that FDG-PET imaging
20 improves physician decision making when used for the
21 following indications of each of the nine cancers

1 mentioned earlier, diagnosis, staging, restaging, and
2 monitoring? And again, the same five point scale of
3 confidence.

4 Question two, how confident are you that the
5 evidence is adequate to conclude that FDG-PET imaging
6 improves proprietary oriented clinical outcomes when
7 used for the following indications in each of the nine
8 cancers, diagnosis, staging, restaging, and monitoring
9 response to treatment? Again, no confidence, little
10 confidence, equivocal, moderate confidence, or high
11 confidence.

12 Question number three, how confident are you
13 that these conclusions are generalizable to other
14 cancers? The same voting scale.

15 Question four, how confident are you that
16 these conclusions are generalizable to non-research
17 PET facilities in the general community? No
18 confidence, little confidence, equivocal, moderate
19 confidence, high confidence.

20 The last question, number five, how
21 confident are you that these conclusions are

1 generalizable to the Medicare beneficiary population?

2 Here are contact information. My email
3 address, if anyone wants to find me, is
4 stuart.caplan@cms.hhs.gov. Here's the web address for
5 the Medicare coverage database. Thank you everyone,
6 and be well.

7 DR. SATYA-MURTI: We'll start with the first
8 TA presentation. I don't know who is presenting.

9 DR. GULENCHYN: Good morning. My name is
10 Karen Gulenchyn. I am the chief of nuclear medicine
11 in Hamilton, Ontario. But for the purposes of this
12 presentation, I have been working with the Evidence-
13 based Practice Group from the University of Alberta.

14 And I may be the most relieved person in the
15 audience at the moment because Maria is my technical
16 expert, and I had this horrible feeling that she
17 wasn't going to be here on time. I've been here for a
18 while and nervously watching for her. I am certainly not
19 the technical expert on technology assessments. So I
20 was very, very grateful to see her in a audience as I
21 stood up.

1 I'd like to acknowledge that this work was
2 done by the University of Alberta Evidence-based
3 Practice Center and supported by the Agency for
4 Healthcare Research and Quality and Centers for
5 Medicare and Medicaid Services.

6 Today we are going to present very briefly
7 some background information as background information
8 has already been presented, and I do not want to be
9 redundant; review with you the key questions that we
10 were asked to address as part of this technology
11 assessment.

12 We are going to outline the methods that
13 were used in the technology assessment such that you
14 are able to determine whether or not those methods
15 were, in fact, robust and valid; present the results
16 or a brief summary of the results of the technology
17 assessment and summarize the data and provide you with
18 the opportunity to ask questions.

19 As you know, positron emission tomography
20 has been under study for a long period of time. It is
21 a technology that became widely used in cancer over

1 the last ten years with the development of whole body
2 imaging devices.

3 And now with the introduction of PET/CT, we
4 will be using terminology quite interchangeably here
5 in terms of PET and PET/CT. But we have identified
6 interested technology assessment which technologies
7 have been applied to specific papers. Concerns have
8 been raised about the cost of this technology and also
9 about the cost of imaging in general with the rapid
10 growth of expenditures on imaging services, which has
11 outstripped other areas of medical practice.

12 And this has resulted in really a flurry of
13 technology assessments that have been performed around
14 this technology, beginning in 1997 and extending now
15 to the present one. Certainly this has occurred in
16 multiple jurisdictions and not just in the United
17 States. There has been an international focus on this
18 particular technology.

19 And I'm going to turn this over to Maria now
20 to continue. We're going to flip back and forth as we
21 go through the clinical and technical portions of this

1 presentation.

2 MS. OSPINA: Thank you, Karen. Good
3 morning, everyone.

4 So this report is kind of a continuation of
5 previous report that was made by the Duke Evidence-
6 based Practice Center in 2004. That report evaluated
7 pretty much the same questions that we did, but it was
8 related with six types of cancer; brain, cervical,
9 small cell lung, ovarian, pancreatic, and testicular.

10 The conclusions that this report arrived was
11 that PET was beneficial in helping physicians with
12 clinical questions that were related with staging and
13 detecting metastasis disease and recurrences.

14 They also identified some limitations in
15 their literature, and they were based on the fact that
16 most of the PET technologies were older generations,
17 the group of patients that were included in the
18 studies were very heterogeneous, and the results were
19 not presented by significant clinical subgroups.

20 So part of this work has continued by this
21 technology assessment that was commissioned by the

1 Centers for Medicare and Medicaid Services through the
2 Agency for Healthcare Research and Quality. The
3 objective is to try to summarize or synthesize the
4 evidence available for the use of FDG-PET for nine
5 different types of cancer, which are bladder, brain,
6 cervical, kidney, ovarian, pancreatic, prostate, small
7 cell lung, and testicular cancer.

8 This report is focused on four main
9 questions. The first question is related with the
10 diagnostic performance of FDG-PET or FDG-PET/CT
11 compared to other conventional modalities for
12 diagnosis or other procedures such as biopsy or other
13 tumor markers. The idea was to evaluate the abilities
14 of and the accuracy of PET for different clinical
15 situations which were related with diagnosis, staging,
16 restaging, and monitoring response to treatment.

17 The second question has to do with the
18 diagnostic thinking impact of FDG-PET and FDG-PET/CT
19 for the same clinical situations.

20 The third question has to do with the impact
21 of FDG-PET and FDG-PET/CT as part of a management

1 strategy in terms of the impact that this technology
2 and including this technology in the decision-making
3 process might have on patients and their outcomes.

4 And the fourth question is about an economic
5 evaluation more precisely, a cost effectiveness
6 analysis of FDG-PET and FDG-PET/CT for the same
7 clinical situations that we've been considering
8 throughout the report.

9 Basically, we've used recognized and
10 standard methods for synthesizing the evidence. And
11 I'm just going to make a brief presentation here of
12 the process. So first of all, we refined the key
13 questions along with HRQ and CMS. After that, we
14 reviewed some guidelines on diagnostic reviews and
15 prepared a protocol for the technology assessment.

16 Then we completed a comprehensive literature
17 search and retrieved the articles. Then there was a
18 process of selection of the studies according to a set
19 of eligibility criteria. Then we made a quality
20 assessment -- methodological quality assessment of the
21 studies. We made that extraction, and then we

1 summarized and synthesized the evidence.

2 So I'm going to go a little bit more -- in a
3 more detailed way in each of these steps of the
4 process. We reviewed some guidelines and basically,
5 the framework of this report is based on the six
6 tiered efficacy model of the technology assessment
7 that was proposed by Fryback and Thornberry some years
8 ago.

9 Basically, we focus our report in level two
10 to level six that are described by these authors.
11 That means that we are not including any information
12 about the quality of the imaging and the techniques
13 themselves into this report.

14 Our searches covered a period from 2003 to
15 2008, and were conducted in main electronic databases
16 such as Medline, Embase Central, and Scopus. We used
17 both mesh terms and free word terms. The terms are
18 described in the appendices of the full report.

19 For the selection of studies, this was a
20 two-step process. The first one was screening titles
21 and abstracts. And this work was done independently

1 by four reviewers. And all the decisions -- final
2 decisions were made by a consensus.

3 Then we applied a set of selection criteria,
4 and I'm just going to go briefly over them. So we
5 were including studies that were published in English
6 that were not duplicate, meaning that multiple
7 publications will not be considered, but they will be
8 considered part of a main study. In terms of the
9 population, we were going to include studies that had
10 more than 12 human participants, adults. The age
11 limit that we established was older than 16 years with
12 any of the 9 primary cancers that we were considering.

13 The test in terms of the type of studies
14 that we will consider were those including either FDG-
15 PET or FDG-PET/CT. In terms of the comparator, we did
16 not make restrictions, and we were open to include any
17 type of comparator such as biopsy or the use of other
18 imaging technologies.

19 We did not make restrictions in terms of the
20 study design, meaning that both perspective and
21 retrospective studies will be considered. But we

1 tried to keep all of them separate in our analysis.
2 And, of course, in terms of the outcome, those studies
3 that provide numeric data that might allow us to
4 provide simple estimates will be included.

5 Because we have four different questions
6 here, we could not use a single quality assessment
7 instrument for this technology assessment. And we
8 tried to adapt some available quality assessment
9 instruments based on the type of questions that we
10 were addressed.

11 So for questions one and two, we used the
12 Scottish Intercollegiate Guidelines Network tool which
13 is based on the QUADAS instrument. This is widely
14 used in systematic reviews of diagnostic tests for the
15 types of questions related with diagnostic accuracy.
16 So for questions one and two, that's the instrument we
17 used.

18 But for question three, because question
19 three about the impact of FDG-PET as part of a
20 management strategy resembles more like an efficacy or
21 effectiveness study, we tried to use an individual

1 component approach that will incorporate the fact that
2 the best scenario that we can find to address this
3 question will be like in the realm of a clinical trial
4 or analytical cohort studies. So we developed a
5 checklist based on these characteristics in trying to
6 assess how the analysis and how the design of this
7 study was planned.

8 For the question about the economic
9 evaluation, we used the Consensus on Health Economic
10 Criteria. This is the checklist. And it's, I guess,
11 with the drama (phonetic) criteria are the most widely
12 used instruments for economic evaluations nowadays.

13 But also, we tried to do a grading of the
14 evidence. And we used the Veterans Affairs Technology
15 Assessment Program grading scale to assess the
16 evidence. This is a system that goes from A to D
17 where A are the studies with the highest quality of
18 evidence and D are those studies with multiple flaws
19 in the design and analysis. So each of the studies
20 was rated also independently by two reviewers, and the
21 final decisions were made by consensus.

1 We extracted different types of data. But
2 I'm just going to present pretty much the type of
3 information that we're going to use in this
4 presentation. So study design and methods, we tried
5 to establish whether the study was retrospective or
6 prospective in the data collection; what was the
7 duration; what was the type of primary cancer; the
8 inclusion and exclusion criteria for enrollment of
9 participants; and any demographic characteristics.

10 We were also interested in describing the
11 characteristics of PET in terms of the technical
12 details, all the procedures about administration, what
13 reference standards were used for comparison, and what
14 were the criteria for interpretation, whether SUV was
15 used or it was more like a visual interpretation of
16 the data without consideration of any quantitative
17 information.

18 Again, the data was extracted by one
19 reviewer and was double checked and verified for
20 accuracy by a second reviewer.

21 For the summary and synthesis of the

1 evidence, we made a narrative analysis and constructed
2 evidence tables. For the questions about the
3 diagnostic accuracy of PET, we provided two by two
4 tables and individual values of sensitivity and
5 specificity. But also, and based on different common
6 characteristics on the studies, we tried to provide
7 pooled estimates for the positive and the negative
8 likelihood ratio. And we also produced a summary
9 receiver operating characteristics curve.

10 For questions two to four, because it was
11 hard to find a common denominator to try to combine
12 the evidence, this was presented in a narrative way in
13 the evidence tables.

14 The decisions, of course, of making or not
15 making a qualitative or quantitative analysis is
16 pretty much guided on what type of information we
17 find. We wish we could have provided a more
18 quantitative approach for questions two to four. But
19 unfortunately, due to not that many number of studies
20 and the different characteristics in terms of the PET
21 technology itself and the populations and the

1 characteristics of the studies, it was not possible to
2 get pooled estimates for all the questions that we
3 intended to.

4 So I'm going to present the results for the
5 entire technology report and the results for the first
6 question about the diagnostic accuracy. And Karen is
7 going to present later the results for the questions
8 from Q2 to Q4.

9 So we started with 12,568 citations that
10 were identified through the electronic searches to end
11 up with the number of 112 studies that were included
12 into the report. You can see here on the flow diagram
13 the reasons for exclusions of the studies, and they
14 are basically related with the set of eligibility
15 criteria that we were considering. The main reason,
16 as you can see, sometimes the studies did not satisfy
17 the criteria for two reasons at the same time, but we
18 chose only one of the reasons to make the final
19 decision for exclusion.

20 You can also see the distribution of the
21 number of studies in general. And we can tell that

1 the majority of the studies have been produced, of
2 course, from 2003 to 2008, in the areas of cervical
3 cancer, ovarian cancer, pancreatic cancer, and small
4 cell lung cancer, basically. And we can see that
5 that's a common denominator for each of the questions.
6 But I will present this information in a more detailed
7 way right now.

8 So for the question about the diagnostic
9 accuracy, I'm going to present the results by type of
10 cancer. For the questions about the diagnostic
11 thinking impact, incorporating FDG-PET as part of a
12 management strategy and the economic evaluation, we're
13 going to present the results in general.

14 So for bladder cancer we identified three
15 studies. Two of them were prospective and were
16 evaluating the use of PET, not PET/CT, and the main
17 use for staging purposes. You can see the sample
18 sizes of the studies range from 35 to 55.

19 We were able to provide a pooled estimate of
20 the efficacy of PET for staging purposes compared with
21 any reference standard. This analysis was based on

1 two prospective studies, including 88 participants.
2 And we found that the positive likelihood ratio of
3 4.88 was not statistically significant.

4 I'm just going to give you some guidelines
5 now so you can see for the next positive and negative
6 likelihood ratios in the next slides, how can you
7 interpret the data. Every time the positive
8 likelihood ratio crosses one, that means that the
9 measure is non-statistically significant. If it's not
10 including one, it means that from a statistical point
11 of view, it's a significant finding.

12 The other thing that you might want to keep
13 in mind is that the largest positive likelihood ratio
14 for a test, the better. The smaller the negative
15 likelihood ratio, the better for the test. So you can
16 see here that not even the positive or the negative
17 likelihood ratios are statistically significant.

18 I tried also to provide some sense about the
19 sensitivity and specificity values in the individual
20 studies. And you can see that there is a wide
21 variation in sensitivity. It goes from 50 percent to

1 94 percent. And specificity values for FDG-PET in
2 bladder cancer goes from 72 to 100 percent.

3 For brain cancer we identified five studies.
4 Three of them were prospective studies. All of them
5 were evaluating the use of FDG-PET. They are
6 basically mostly related to staging of the disease.
7 Sample size varied widely from 17 to 81 participants.

8 In this case, we were not able to provide
9 any data about the accuracy, pooled estimates for any
10 of the clinical indications that were considered.
11 Sensitivity values, you can tell, this is a dramatic
12 change from 7 percent to 63 percent values in
13 sensitivity reported in the individual studies. And
14 same with specificity, ranging from 14 to 100 percent.

15 As I mentioned before, most of the evidence
16 has been produced for cervical cancer. We can see
17 that 21 prospective studies have been produced, and
18 the majority of them have evaluated the use of FDG-
19 PET. The indications -- the majority of them, again,
20 are addressing the problem of staging of the disease.
21 And this is a finding that is consistent between the

1 studies about FDG-PET and FDG-PET/CT.

2 Sample sizes are -- I mean, they have a wide
3 range of variation from 14 to 517. And the same can
4 be said about the sensitivity and specificity values.
5 Sensitivity ranging from 40 to 100 percent and
6 specificity from 50 to 100 percent in the individual
7 studies.

8 These are the results of the Meta-analysis,
9 so basically we were able to produce Meta-analysis for
10 two indications, for recurrences and for staging. For
11 recurrences, only information about PET and for
12 staging for both PET and PET/CT.

13 You can see the comparators there. And what
14 we can see here is, in the first case, there were --
15 and this is the result of three studies that provided
16 information by site of the body where recurrences
17 might be identified. So what they -- and I mean, it
18 was interesting that the three studies were reporting
19 the data on the same types of sites of the body.

20 What we found is that the positive
21 likelihood ratio for identified recurrences in the

1 mediastinal region was statistically significant, and
2 the same to identified recurrences in liver or spleen.

3 On the other way, PET showed statistically
4 significant results to identify negative -- sorry, for
5 the negative likelihood ratio for peritoneal lesions
6 and again for mediastinal lymph node. The values are
7 good from a statistical point of view for both the
8 positive and the negative likelihood ratio.

9 The same results and statistically
10 significant findings were identified for staging
11 purposes, both for FDG-PET and FDG-PET/CT. But the
12 best value in all these indications was for the
13 identification of recurrences, in particular
14 mediastinal lymph node lesions.

15 For kidney cancer, we found eight studies.
16 The majority of these are retrospective studies. And
17 the distribution of the purposes range from staging to
18 primary diagnosis, basically. Sample sizes range from
19 15 to 60 patients. And we were able to produce one
20 meta-analysis on the use of PET for primary diagnosis
21 and staging. And again, for the positive likelihood

1 ratio based on prospective studies, the results were
2 statistically significant.

3 It's interesting that for the positive
4 likelihood ratio when we compare this information
5 based on retrospective information, this result was
6 non-statistically significant. This is a very
7 interesting finding because you would expect the other
8 way because retrospective studies are more open to
9 bias, and they might produce positive outcome bias.
10 But in this case, what we found is that the positive
11 finding was related to prospective studies, and it was
12 not confirmed by retrospective evidence.

13 Sensitivity values range in individual
14 studies from 47 to 90, and specificity values range
15 from 66 to 100 percent.

16 Ovarian cancer was another condition that
17 produced -- where a lot of evidence has been produced
18 with 14 prospective studies and 6 retrospective
19 studies. Most of the studies evaluated the use of
20 PET/CT as compared to the use of PET only. And in
21 terms of the purposes, recurrences again are the most

1 studies indication. Sample sizes are very
2 dramatically from 13 to 101.

3 We were able to produce some meta-analysis
4 about the use of PET for recurrences, both PET and
5 PET/CT. And what we found here is again statistically
6 significant results. It's interesting to see that in
7 some cases, the confidence intervals are very wide.
8 And in those cases, what is recommended is to -- I
9 mean, you need to have some reservations on how to
10 interpret this data because the wide confidence
11 intervals might be due to small sample sizes because
12 the rate of events to complete the two by two tables
13 is low. Those situations might affect the width of
14 the confidence interval. And again, the confidence
15 that you can put in the significance of these results.

16 Pancreatic cancer, again 17 studies, most of
17 them prospective, related with the role of PET as
18 compared to PET/CT where only two studies were
19 produced. The main purpose for the use was primary
20 diagnosis and in some cases, a combination of primary
21 diagnosis and staging.

1 We were able to produce two meta-analysis;
2 one when primary diagnosis were considered together,
3 and the other one was when the studies only considered
4 primary diagnosis separately. For the first case,
5 meta-analysis were produced for PET and PET/CT
6 separately. And the results, as you know, were
7 statistically significant, meaning that FDG-PET and
8 FDG-PET/CT seems to be useful for primary diagnosis
9 and staging purposes in pancreatic cancer.

10 Prostate cancer had only four studies, and
11 we were unable to produce a meta-analysis because no
12 common denominators in terms of the comparison in
13 terms of the populations were found.

14 But I can say that sensitivity values, well,
15 they showed a huge variation. Really nothing can be
16 said in terms of an approximate value of sensitivity
17 and specificity.

18 The same can be said with small cell lung
19 cancer where ten studies have been produced, the
20 majority of them prospective studies. And again, no
21 pooled data were obtained to evaluate the accuracy.

1 And any conclusions that can be made about FDG-PET for
2 this indication are based on individual studies, but
3 not on the pooled summary.

4 Same for testicular cancer. Any decisions
5 that can be made should be based on the results from
6 individual studies.

7 So I'm going to leave you now with Karen.
8 She's going to present the results for the questions 2
9 through four.

10 DR. SATYA-MURTI: May we ask a very brief
11 question, very focused?

12 MS. OSPINA: Certainly.

13 DR. SATYA-MURTI: You mentioned the larger
14 the LR positive the better.

15 MS. OSPINA: Sorry. I don't see it. Okay.

16 DR. SATYA-MURTI: The larger LR is better,
17 and the smaller LR is not so good. We are aware of
18 that. But how did you again calculate the statistical
19 significance of positive and negative LRs?

20 MS. OSPINA: Well, the indication -- let me
21 see if I can go -- I'm just going to show you in one

1 of the meta-analysis here. The positive and the
2 negative likelihood ratio, they behave like a Knott's
3 (phonetic) ratio. And the value of one means that the
4 results are not statistically significant. Every time
5 the confidence interval around the estimates include
6 that value of one, that means that from a statistical
7 point of view, the result is not significant. So
8 that's the criteria to -- does that --

9 DR. SATYA-MURTI: Yes. Definitely. Thank
10 you.

11 MS. OSPINA: So if you -- I know, I mean,
12 this is a lot of information to be presented in 45
13 minutes. But if you go over the meta-analysis in the
14 report, I guess that's something that can help you to
15 interpret the results from the meta-analysis. Keep in
16 mind that every time the confidence interval crosses
17 one, the results are non-statistically significant.

18 DR. GULENCHYN: As Maria indicated at the
19 beginning of her section of this presentation, the
20 answers around questions two, three, and four are much
21 more descriptive in nature because of the relatively

1 small number of studies.

2 When we look at the diagnostic thinking
3 impact of FDG-PET or FDG-PET/CT, we eliminate two of
4 the tumors as there was no information for those
5 tumors. The relative small number of papers that
6 describe this with respect to other seven tumors are
7 discussed -- or displayed on the next two slides.

8 And I'm going to sort of talk about these in
9 aggregate, although I will display the slides
10 separately as there was just too much information to
11 put all on one slide. It would have been absolutely
12 illegible.

13 I think the first thing to note is that the
14 sample size varies, but is relatively small in all of
15 these papers, ranging from a low of 24 to a high of
16 102, when one looks at the first paper by Bang under
17 pancreatic cancer.

18 The second is that there is a mix of
19 technology being described here, both PET and PET/CT
20 with PET/CT, of course, being much more common in the
21 more recently published papers. In ovarian cancer,

1 I'd like to point out that all of the papers utilize
2 PET/CT technology. And in kidney cancer and
3 testicular cancer, all of the papers are using only
4 PET technology.

5 There is a mix of indications that are being
6 explored, from staging through to restaging or
7 recurrence and then through to looking at diagnosis
8 with respect to the kidney cancer.

9 The changes in management is the large -- is
10 the endpoint that is most frequently reported. And
11 they range from 7 percent in testicular to 69 percent
12 in pancreatic, with a wide range extending from tumor
13 to tumor.

14 There is one where diagnosis was looked at,
15 and that is again in pancreatic cancer, the paper by
16 Ruf in 2006, which indicated that there was an
17 improvement in diagnostic interpretation in 25 percent
18 of the cases.

19 Most of these studies are either of grade B
20 or of grade C, i.e. there were methodological issues
21 associated with these studies. There was one

1 prospective study, therefore giving it a grade -- I'm
2 sorry -- grade A study in the ovarian by Simcock,
3 2006. There were 56 patients and that study was
4 performed prospectively and was felt to meet the
5 criteria for grade A.

6 There was one grade D study, and that was in
7 the area of testicular cancer. So we have a
8 retrospective grade D study by Karapetis in 2003.

9 So in summary, these two slides are showing
10 a wide range of impact of FDG-PET/CT from tumor to
11 tumor, with the numbers ranging between, as I said, a
12 change in management of 7 percent, lowest for
13 testicular, and 69 percent in pancreatic. There is no
14 ability to further synthesize this data in order to
15 come up with sort of a more comprehensive statement
16 regarding FDG-PET.

17 In Q3 where we're being asked to determine
18 whether FDG-PET or FDG-PET/CT can be used as a part of
19 a management strategy, the number of papers is even
20 smaller. This is probably why I volunteered to do
21 this part of it and leave Maria with those huge

1 numbers to be able to deal with.

2 And here we are dealing only with five
3 papers. And the results are summarized here. And I'm
4 going to try to take a little bit of time to go
5 through this slide. The first paper around brain was
6 looking at the ability of PET to, in fact, predict
7 survival. And it divided tumors into those with high
8 uptake and tumors with low uptake. And tumors with
9 high uptake of FDG, indicating and associated with
10 therefore a higher grade CNS neoplasm, had shorter
11 survivals. And there was an indication that PET could
12 be used as a survival predictor

13 This was a retrospective study. It has
14 major flaws associated with it. It was graded as a
15 grade D study.

16 In cervical cancer there were two papers
17 that looked at this particular issue. Both of them
18 actually used a historical control group. So this was
19 not a randomized trial, although it was a prospective
20 trial. And in patients in whom -- in the first paper
21 by Chang, in patients whose treatment was managed with

1 input from the PET study, there was some evidence
2 that, in fact, the survival rate was longer, i.e. the
3 treatment was more appropriately prescribed and
4 patients lived longer at 22 months versus historical
5 controls of 12.7 months. And the 95 percent
6 confidence intervals are there. They overlap very
7 slightly.

8 Lai 2004 also looked at this time restaging.
9 And, of course, trying to separate restaging and
10 recurrence is very difficult because you get
11 information on restaging any time you assess a patient
12 for recurrence, if the study is indeed positive.

13 And in this case, the two year overall
14 survival rate as compared to historical controls was,
15 in fact, not statistically significant. So there is a
16 little bit of -- there is difference between the two
17 studies that do exist in cervical cancer.

18 Ovarian cancer has been studied in a very
19 similar way. SLL stands for second look laparotomy
20 which was the comparator that was used. So this was
21 studying whether PET could be used as opposed to

1 second look laparotomy and predict progression. And
2 therefore direct treatment and therefore -- and what
3 we were looking at was in the first study progression-
4 free interval, and the second two disease-free
5 interval in patients in the first place with negative
6 results and then in positive results.

7 And so those -- the data has been looked at
8 in a number of different ways. And in fact, there
9 does not appear to be a huge difference between the
10 two. Of course, the interpretation of that could be
11 that PET could be used in place of second look
12 laparotomy. So one study, 55 patients, retrospective
13 design, but a little bit of evidence there that there
14 may be some utility.

15 And finally, the pancreatic study, again
16 using PET to assist in primary diagnosis, staging, and
17 therefore direction of therapy -- sorry. And on this
18 occasion, looking at responders versus non-responders
19 to treatment based on FDG-PET/CT. There seems to be
20 an indicator that PET may be able to identify patients
21 with an improved prognosis. So sort of tantalizing

1 bits of evidence here that are beginning to tell us
2 that PET and PET-FDG may be valuable in some tumors as
3 part of a management strategy.

4 Cost effectiveness was the easiest one to do
5 because there's one study in the literature on this
6 particular group of tumors. And that is in pancreatic
7 cancer. And this was a prospective study given a
8 grade B. And this really is a fairly rudimentary cost
9 effectiveness study and would be classified, I think,
10 as a cost minimization study indicating that there may
11 be net savings from the use of PET/CT at the rate of
12 about a thousand dollars per patient when it is used
13 to identify metastases and therefore avoid unnecessary
14 surgery which is, of course, very similar to the use
15 that it's being put to in non-small cell lung cancer.
16 And that was the only study in this particular group
17 of tumors that was identified that provided any
18 evidence on cost effectiveness.

19 So I'm going to turn this over now to Maria
20 to summarize, and then both of us will be available
21 for questions at the conclusion of the presentation.

1 MS. OSPINA: So I'm just going to present a
2 brief summary of the main findings of the report. So
3 for the question about the diagnostic test
4 performance, we found that the quality of the evidence
5 in general for the nine types of cancer is moderate to
6 poor. It's interesting to find that -- well, if we do
7 some analysis by year, then most recent study seems to
8 be improving the quality of the evidence.

9 Taking each of the types of cancer
10 individually, what we can find is that for bladder
11 cancer, the likelihood ratios were non-statistically
12 significant for the indication of staging. For brain,
13 we could not obtain pooled data. So any conclusions
14 should be based on the individual studies.

15 For cervical cancer, the largest positive
16 likelihood ratio was for FDG-PET to identify
17 recurrences in liver and spleen. And the smallest
18 negative likelihood ratio was for FDG-PET dedicated to
19 detect recurrences in mediastinal regions. The
20 positive likelihood ratio was statistically
21 significant for both FDG-PET and FDG-PET/CT. And the

1 negative likelihood ratio was non-statistically
2 significant for staging for both of the technologies.

3 For kidney, the findings of the positive and
4 negative likelihood ratio were statistically
5 significant for staging. In ovarian cancer, the
6 largest positive likelihood ratio was for FDG-PET for
7 the indication of identifying recurrences. And the
8 smallest negative likelihood ratio was for FDG-PET/CT,
9 again for recurrences.

10 For pancreatic cancer, what we found is that
11 compared to FDG-PET/CT, FDG-PET seems to show some
12 advantages for the diagnosis and identifying the
13 initial stages of the disease.

14 For prostate, small cell lung cancer, and
15 testicular cancer, we could not obtain pooled data.
16 And again, results should be based on individual study
17 data.

18 For the question about the diagnostic
19 thinking impact of PET, the quality of the evidence
20 again is mainly poor to moderate. I would say again,
21 more moderate than poor. And the largest amount of

1 evidence for the use of PET was for cervical, ovarian,
2 and pancreatic cancer.

3 We found that the treatment management
4 strategy changed after PET and ranged from 7 percent
5 in a study that was of a very, very low quality to a
6 69 percent in a moderate quality study on pancreatic
7 cancer. And again, I guess it needs to be kept in
8 mind that there is a lot of variation in these results
9 by type of cancer.

10 For the question about PET as part of a
11 management strategy, quality of the evidence ranges
12 from moderate to very, very poor. And the evidence
13 that is available is limited to cervical, ovarian, and
14 brain cancer as Karen showed you.

15 Cost effectiveness data, there is limited
16 evidence for pancreatic cancer only. Again, I
17 emphasize that these are results from 2003 to 2008.

18 This technology assessment has strengths and
19 some limitations. Some of the strengths are that it's
20 based on a sound methodology. We tried to provide
21 quality control in every single step of the review

1 process. We are using sound methods that have been
2 endorsed by other groups such as Cochran
3 Collaboration, the diagnostic test groups.

4 Some of the limitations are we restricted
5 our inclusion to studies that were published in
6 English. So there is some potential of publication
7 bias in this review. And also, there might be the
8 possibility of some grey lit bias. We did not include
9 results from abstracts from scientific meetings into
10 this report. So that's another limitation of it.

11 So basically there are some important points
12 to finalize this presentation. And the quality of the
13 evidence on FDG-PET for the nine cancers has been poor
14 to moderate. But again, I think there is an
15 improvement in this area as most of the prospective
16 studies have been produced in the most recent years.

17 The evidence as we can see is largely
18 confined to diagnostic accuracy as opposed to the
19 other questions that were considered in the report.
20 And the largest amount of evidence for the questions
21 in this report was found for cervical, pancreatic, and

1 ovarian cancers. And the evidence about the cost
2 effectiveness is restricted to pancreatic cancer with
3 only one study produced since 2003.

4 So this is what we we've done. Thank you
5 very much.

6 DR. SATYA-MURTI: Thank you very much. We
7 have about two to four minutes time for very focused
8 questions. Not general comments, but very focused. I
9 was keen on finding out what the statistical
10 significance on ovarian is. Your ratios are pretty
11 good, positive and negative, but they still were not
12 significant. Were they?

13 MS. OSPINA: Yes. It was. Yes. Yes.
14 Well, you can see. And these are the largest -- these
15 are the best estimates that we were able to find.
16 Both of them are significant and --

17 DR. SATYA-MURTI: They are significant, the
18 ovarians?

19 MS. OSPINA: Pardon?

20 DR. SATYA-MURTI: The ovarian was
21 significant?

1 MS. OSPINA: Yes. Yes. It was.

2 DR. SATYA-MURTI: Okay. All right. Thank
3 you.

4 DR. JUHN: I have a couple questions. The
5 first is that I saw that in your inclusion and
6 exclusion criteria, you limit it to studies that had
7 more than 12 subjects. What was the reasoning behind
8 that? Why was 12 chosen?

9 MS. OSPINA: That was -- actually was one of
10 the criteria that one of the clinical investigators
11 proposed to make a cut-off. It's an arbitrary cut-
12 off. That's all that I can say. I guess that the
13 impression he has is that -- and we tried to put it a
14 little bit lower. Traditionally, what reviews do is
15 to make a cut-off of more than 10 participants.

16 Again, I don't think that there is any
17 evidence to show that studies from 10 or larger or
18 smaller than 10 makes a difference. I'm not aware of
19 that. But it's like a convention in the area that you
20 try not to include the smallest studies.

21 I think that also might have an impact in

1 terms of the evident rates that you are calculating.
2 So that might be one of the reasons why it was chosen.

3 DR. JUHN: Actually, my concern is that the
4 number is too low because my kind of thinking in this
5 area is that those numbers are generally higher. You
6 want larger sample sizes in order to actually draw
7 conclusions from individual studies.

8 MS. OSPINA: I understand your point. We
9 were just trying to keep a balance between -- I mean,
10 there are some advantages. And of course, these -- I
11 mean, making a decision based on the sample size is a
12 very hard decision when you are trying to do a
13 systematic review because precisely one of the
14 powerful characteristics of a review is that you
15 empower the small studies if you are able to provide a
16 full estimate with all the small studies.

17 DR. JUHN: Regarding the pooling of the
18 estimates, did you include the grade of the study? So
19 did you include all the studies, or did you only
20 include grades A, B, or C? Or did you include all of
21 them?

1 MS. OSPINA: Well, in -- we included B and C
2 in the analysis. We did not make any restrictions in
3 terms of quality in the pool estimates of the meta-
4 analysis. And that would be a matter of more
5 sensitivity analysis itself. Of course, the impact of
6 combining studies with different quality, that will
7 reflect in the measure of heterogeneity of the
8 results.

9 In some cases, because these analyses are
10 based in the best of the cases on three or four
11 studies, the numbers are too small to make a
12 sensitivity analysis by the quality of the evidence.

13 DR. JUHN: My last question really has to do
14 with, I think there were probably what, over 200
15 studies? Or what was the total number of studies?

16 MS. OSPINA: 112 studies were included for
17 all the questions.

18 DR. JUHN: Okay. So I was -- I was actually
19 stunned by the fact that only eight of them actually
20 had a grade of A in the quality of study. So if you
21 could comment on kind of what was the -- why the

1 general level of the quality of all the studies were
2 so low.

3 And then secondly, I guess I'm a little
4 concerned that the investigators who are designing
5 these studies, you know, all of these criteria for how
6 to evaluate are kind of readily available, you know,
7 quite evidence based. Why they're not actually
8 incorporating that into their study designs.

9 DR. GULENCHYN: I'm going to try to answer
10 that question because I think it perhaps wants to this
11 group and a broader group as a whole to answer that
12 question, not just me.

13 I will identify first of all that I come
14 from Ontario in Canada, which has probably taken the
15 world's most rigid view of how PET should be
16 introduced and is introducing it through studies
17 because studies that have been sponsored by the
18 Ontario government, because they determined that in
19 fact the type of work that was being done was not
20 sufficient for them to make a decision.

21 I think what we're witnessing here, speaking

1 as a physician, and I've been in practice for 30 plus
2 years, is that we're seeing a transition in how the
3 diagnostic imaging world thinks about the introduction
4 of technologies. And so the way that technologies
5 have been introduced in the past, which is for usual
6 single site investigators to apply them to a group of
7 patients under the authority of their own REV's and to
8 look at how they impact on patients, thus resulting in
9 relatively small sample sizes and publications
10 initially of case reports and then slightly larger
11 publications is in transition.

12 I think it's changing. But the change --
13 and the change has really only occurred in the last
14 certainly five to ten years. We do now have
15 publications of standards through the various
16 different initiatives that have been undertaken by
17 professional societies. But it takes time to do those
18 studies. And it takes time to get them out and into
19 publication.

20 In the case of the Ontario studies, they've
21 been in process now since 2003. And we've just had

1 our first abstracts presented in June of this year.
2 So I think what we're witnessing is a change in the
3 way things are being evaluated. And that change is
4 underway at the current time. And we're only now
5 beginning to see some output from those changes.

6 I think Maria referred to that when she said
7 the more recently published papers have been -- have
8 tended to be the ones that have achieved the higher
9 quality ratings.

10 DR. SATYA-MURTI: Thank you. Yes. One last
11 question.

12 DR. WAHL: You said that one of the
13 advantages of the way your approach was that it was
14 well done in terms of the design. And I was just
15 wondering, though, in terms of the approach to
16 evidence based medicine, when you have a mechanistic
17 underpinning to a process, is it -- and this is
18 increasingly becoming important as we understand the
19 genetic abnormalities associated with specific cancers
20 and targeted therapies that target those abnormalities
21 -- is it reasonable to slice and dice accelerated

1 glycolysis into all of the disease states and treat
2 them as if they're not linked biologically?

3 Because they are very obviously linked
4 biologically. This is a consistent and rather common
5 process across cancers. Do you think the methodology
6 you're using adequately addresses the underlying
7 biology and physics which seem common? In almost
8 every case, the likelihood ratios that are positive
9 are very positive. The likelihood ratios that are
10 negative probably reflect that microscopic disease is
11 undetected. And they're not ever zero, which would
12 seem to be true across all of your analyses, but with
13 different confidence intervals.

14 And I'm just wondering, how does your
15 analysis deal with the underlying biology? It seems
16 to ignore it.

17 DR. GULENCHYN: Okay. I'm going to answer
18 that, first of all, with a question -- with an answer
19 that relates to how this study was designed and what
20 we were asked to do. And then I'll give you my
21 personal opinion after that if that's okay. All

1 right?

2 So I'm -- this technology assessment was
3 designed by CMS and AHRQ. And this was what the
4 University of Alberta Evidence Based Practice Group
5 was asked to address and asked to do. And therefore,
6 the questions -- the approach that was taken was as a
7 result of that particular design.

8 But I don't want to come across personally
9 as sounding tremendously defensive, which is what I
10 feel like I sound when I say that. And I think that
11 there are some arguments to be made for looking at
12 this more as a biological process and in summing data.
13 Where that may fall down would be where there is
14 particular interference in terms of the interpretation
15 of the results.

16 And that would occur in cases, as we all
17 see, with many nuclear medicine tests, not just this
18 one, where you have urinary excretion or normal
19 accumulation of the material in gut or in brain or
20 around the heart and, therefore, that may interfere to
21 some extent with the interpretation of the test.

1 So there may be an argument that can be made
2 to look at things in a more comprehensive view. But
3 that is my personal opinion and not related to the
4 work that we were asked to undertake.

5 DR. SATYA-MURTI: Thank you. I think we
6 should go on for the next two presentations. And then
7 -- we'll just slide from one to the other, and then
8 hold off on questions at the end of Dr. Mankoff's
9 presentation. Dr. Hillner is presenting next.

10 DR. HILLNER: Good morning. I'm Bruce
11 Hillner. I'm the lead investigator of the working
12 group of the National Oncology PET Registry. During
13 the question sessions my fellow co-investigators will
14 not -- will certainly not be bashful and be able to
15 involve themselves in the discussion.

16 Before I spend a few moments and my first
17 six or so slides reviewing the background of the
18 design of the Registry and then the subsequent
19 results, I think it's important from the get-go for my
20 discussion to say that we're presenting only in our
21 slides the results that are in the public domain of

1 peer review publications, which are the one-year
2 results of the summary findings across all cancers
3 within the Registry.

4 We have -- I have prepared subsequently,
5 either as a hand-out or additional slides that will
6 provide information on the treatment monitoring
7 findings of the NOPR Registry, as well as the findings
8 for the nine specific cancers that the questions were
9 put to you to address. So those information I'm not
10 going to show you here. But I can either show
11 subsequently or as a hand-out.

12 This project was sponsored by the Academy of
13 Molecular Imaging to provide the funding to get
14 started. But it's important I think also for you to
15 know that the Registry is self-sustaining with the \$50
16 dollar user fee per case, that it's a self-sustaining
17 project that the data management is coordinated
18 through the American College of Radiology, and it's
19 imaging network. And you see the endorsements as well
20 as that the Center for Medicare Services was an
21 advisor in our design.

1 Stuart briefly touched upon the idea that as
2 data accumulate, additional cancers may be covered for
3 PET scanning and that the Coverage with Evidence
4 Development program provides a clinically appropriate
5 compromise of data collection via the Registry that
6 provides important care information, at the same time
7 striving to have -- to minimally impact the flow of
8 patient care.

9 NOPR covers all the cancers that are neither
10 specifically covered or non-covered by CMS up so far
11 as 2004. We have detailed evaluation for 18 different
12 cancer types that we can share with you. For low
13 prevalence cancers, there likely will never be an
14 adequate quality evidence to support a coverage
15 decision outside this mechanism.

16 This is a national program. All Medicare
17 eligible PET facilities can participate. The program
18 is entirely funded by user fees, as I said, after the
19 start-up process. CMS reimbursement depends on timely
20 reimbursement of data submission. No data, no
21 payment.

1 The objective of the Registry is to assess
2 the effect of FDG-PET on referring physicians' plan of
3 -- and here's the key message -- intended patient
4 management as a surrogate for what their actual
5 management is across the spectrum of expanded cancer
6 indications for PET.

7 There is other registries and other
8 questionnaire legacy studies that have showed, not a
9 perfect, but a high correlation between intended
10 management and actual management.

11 Within that primary objective, secondary
12 objectives are to look at the role on intended
13 management when looking at referring physician, does
14 that change by specific type of cancer, the specific
15 indication, that is for example, initial staging,
16 diagnosis versus suspected recurrence. I specifically
17 pushed to have inclusion of the following two
18 characteristics to be able to see if patient
19 performance status and the role of if the physician
20 was the provider of the service versus a
21 diagnostician, if that influenced their decision

1 making and the stratification of PET, the specific
2 technology. But with time, that's gotten to be less
3 of an issue. Currently, 90 percent of the studies are
4 PET/CT.

5 Any PET facility in the United States that
6 is an approved to bill Center for Medicare Services
7 for the technical or global charges can participate.
8 They're responsible for collecting the data and
9 sending it via an internet web-based data collection
10 to NOPR.

11 Patients -- the study is open to all
12 Medicare beneficiaries. There is no consent necessary
13 to submit data to NOPR for the required transmittal to
14 CMS. Patient oral consent is necessary for inclusion
15 in our research data set, which is the data that I'm
16 going to show you.

17 Let's review four slides about process. If
18 you're the referring physician, you have to complete a
19 pre-PET form of five questions and return it to the
20 PET facility prior to the PET scan. Once the study is
21 done -- I will subsequently show you a graphic of this

1 sequence -- there is a complete -- they have to
2 complete a post-PET form which is four to seven
3 questions and return it to the facility within 30
4 days. Consent on the post-PET form is requested to
5 the referring physician to have their data in the data
6 registry.

7 So here's the time flow of what would happen
8 if you were getting a PET scan within the Registry
9 from left to right. The referring physician believes
10 the PET scan is appropriate for patient management.
11 They can -- they need to complete the pre-PET Registry
12 form shown by the red arrow.

13 The patient is asked for consent on the day
14 that they're at the facility to get the scan. The PET
15 scan is done. After completion, the PET scan is
16 interpreted and reported in standard operating
17 process. And that report is sent to the referring
18 physician. That referring physician is then sent a
19 post-PET form including a questionnaire about their
20 consent that asks them questions about, in light of
21 the PET findings, what is their intended management.

1 Thereafter, at the far end, the ongoing
2 patient management occurs. But we do not collect data
3 on what actual management is beyond the 30-day period.

4 So the pre-PET form asks five questions; the
5 specific cancer indication which the five are listed
6 here; the cancer type; the disease stage using classic
7 summary staging; the performance status using ECOG
8 classification; and intended patient management plan.

9 After the PET scan, there are questions that
10 are customized for the clinical indication. Questions
11 related to diagnosis are different than for treatment
12 monitoring. There's three to six for each indication.
13 Most of these are check box yes, no answer form. And
14 the consistency across the pre- and the post-PET
15 question is, what was your intended management plan
16 before the PET scan was done, and now in light of the
17 PET scan, what is your subsequent intended patient
18 management plan? And in addition, in light of the
19 PET, are you going to continue to -- what is your role
20 in the cancer care management?

21 What I am subsequently going to show you is

1 the results from the first year results that were
2 published in the Journal of Clinical Oncology in the
3 spring of 2008. This is a snapshot of the abstract.
4 This is the first year of data. We can subsequently,
5 as I said, give you individualized cancer results for
6 the first two years.

7 So from the first year, there was 22,000
8 consented cases from over 1500 facilities. The flow
9 diagram shows you the eligible cases to the actual
10 study cohort. 88 percent of patient who were eligible
11 had both physician and patient consent for
12 participation.

13 The technology profile which was 84 percent
14 was PET/CT. This is not a university medical school's
15 study. 71 percent of the studies were non-hospital
16 based. 76 percent of these were at fixed facilities.
17 Currently, there are over 90,000 completed cases of
18 PETs that data are available for evaluation. So the
19 numbers here are just a logarithm different than what
20 you heard or two, compared to what you heard in the
21 preceding speaker.

1 Before getting to the results, we thought
2 that it would be relevant to give you a sense of the
3 number of scans that are done per year compared to new
4 cases of cancer in individuals over 65 to get a sense
5 of the magnitude of PET scanning per new cancer as far
6 as the level of penetration across the country.

7 So here is shown eight common cancers, the
8 number of NOPR based scans that were done in 2007.
9 Again, this is not -- this is age-adjusted incidence
10 of individuals estimates over age 65. It shows that
11 the level of penetration ranges from about 3 percent
12 of new prostate cancer patients are getting -- got a
13 PET scan within the Registry to our estimate of
14 approximately 38 percent in ovarian cancer.
15 Across the 8 cancers, most of the strong cluster
16 tendency is about 10 to 12 percent of cases -- excuse
17 me -- of cancers had a PET scan done in individuals
18 over age 65.

19 Here is the main result that is shown. And
20 I'm going to take a few minutes to walk you through
21 it. The columns represent the cancer indication which

1 were quite balanced as far as the number of cases of
2 diagnosis, staging, restaging, and suspected
3 recurrence.

4 At the top it's showing you where there was
5 a concordance between the pre-PET plan and the post-
6 PET plan, and shows that there was approximately a 62
7 percent -- but they markedly differed between the
8 indication. Change in the intended management plan
9 was dominated at three to four times as frequent of
10 moving from a non-treatment plan to a treatment plan
11 versus going from a treatment to a non-treatment plan.
12 The overall summary of a 36.5 percent change in
13 intended management.

14 An alternative way of thinking about this is
15 to think about the pre-PET plan as far as the type of
16 plan that it was. Approximately 40 percent of
17 patients would have had an alternative imaging CT, MR,
18 ultrasound, et cetera. Within that universe of
19 patients, slightly more patients went to initiating
20 new therapy, 47 percent, while 37 percent of patients
21 would have had subsequent watchful waiting, supportive

1 care.

2 Our group thinks some of the most powerful
3 findings are the other red areas of attention.
4 Approximately 30 percent -- excuse me -- about 15
5 percent of patients would have had biopsy. Instead of
6 -- in light of the PET scanning, only 24 percent of
7 patients continued to have biopsy as their intended
8 management. 76 percent had a change to either
9 initiating therapy or observation, which were almost
10 -- which was an equal distribution.

11 In patients who a watchful waiting or
12 supportive care strategy was the plan intent,
13 approximately ten percent of cases, approximately one
14 quarter of patients, the physician changed his or her
15 management plan to initiate therapy.

16 Within the individuals that treatment would
17 have been done if PET was not available, 15 percent
18 would back away, usually because of evidence of more
19 advanced disease than anticipated to supportive care
20 or watching.

21 Eight percent of the time, there was a major

1 change in the treatment modality. We don't have time
2 to go into details for you with that, but the classic
3 would be to move a shift of category of therapy from
4 chemotherapy to radiation, surgery to radiation, et
5 cetera. That occurred approximately nine percent of
6 the time.

7 As I noted that the left column, 40 plus
8 percent of the time the intended management was
9 alternative imaging. As a worse case scenario, we
10 suggest that including the cases where the pre-plan
11 was alternative imaging may overestimate the impact of
12 PET. If we take as a lower boundary for assessing the
13 intended management, we analyzed the data assuming no
14 benefit from those case in the numerator. But we
15 include all those cases in the denominator of the
16 evaluation. The imaging-adjusted change in management
17 was just under 20 percent of 19.4 percent with a very
18 tight confidence interval as shown here at the bottom.

19 What about impact on other tests or
20 procedures? This was a specific question that was
21 asked of referring physicians. It's their opinion.

1 We cannot tell you what tests they subsequently didn't
2 actually do. But in their opinion, 76 percent of
3 referring physicians indicated that PET would impact
4 their future care by avoiding additional tests or
5 procedures.

6 In the working group's opinion, the
7 strengths of the Registry are that this is from the
8 real world. This is timely. This is data over the
9 last two years. These numbers are unprecedented in
10 their size. They're representing current technology,
11 85 percent PET/CT. In 2008 the data is 92 to 95
12 percent PET/CT.

13 We believe without having to drag this out,
14 that there is other evidence that good observational
15 studies usually match controlled studies in magnitude
16 and direction of effect. These results are similar to
17 a study that I did a couple years before under the
18 funding of AHRQ of a single center more tightly
19 managed institution that looked at our first year
20 results of what PET was introduced at my university.

21 The limitation, we have said over and over

1 in our publications is that we collected on a
2 surrogate endpoint of intended management, not actual
3 management. We don't know if the management changes
4 were in the correct directions. This was not a
5 clinical trial. We do not yet have information on if
6 it improved long term outcomes.

7 NOPR cannot address the question and was not
8 designed to address the question if PET should be used
9 in lieu of or as a complement to other imaging
10 technologies. The optimal sequencing of PET, CT, MR
11 is needed. And those sorts of evaluations require
12 clinical protocols with imaging adjusted modulations
13 of clinical strategies. We cannot address that
14 question. We also cannot tell you incrementally how
15 much better PET is compared to the next best legacy
16 imaging technology.

17 Our global summary is that intended
18 management associated with PET changed in previously
19 non-covered cancers. And this NOPR Register was
20 similar to similar institution studies of covered
21 cancers.

1 One-third of older patients undergoing PET
2 for cancer types covered under the Coverage with
3 Evidence Development program had a major change in
4 their intended management, including type of
5 treatment. The relative impact of PET on intended
6 management was observed across the full spectrum of
7 cancer indications and showed minimal -- minimal
8 variation across the 18 different individual cancer
9 types.

10 As I mentioned at the start of my remarks,
11 there is other work that we have prepared or have in
12 press. Our work related to PET for treatment
13 monitoring during a course of chemotherapy with or
14 without radiation involving 10,000 NOPR cases is in
15 press. And we are prepared to show you slides showing
16 the breakdown of that data either as summary or for
17 the nine cancers of the question that was put to the
18 panel.

19 We also have submitted for publication, but
20 only by a few weeks -- don't have accepted yet -- the
21 assessment from just under 50,000 cases of individual

1 cancer types for 18 individual cancer types of the 4
2 categories of cells that we are also prepared to show
3 you.

4 Those results show -- sure, there's a little
5 variation from 32 percent to 42 percent here. But in
6 the bigger picture, the NOPR Registry reflects the
7 biology of and not individual cancers.

8 We are fully prepared, and I am eager to do
9 evaluations where the NOPR data, the individual
10 identifiers, because we know the identifiers, we know
11 when the technology was performed, to be linked to CMS
12 claims data to track subsequent clinical outcomes.

13 We are also interested in using that data
14 because we have physician opinion on prognosis in
15 light of the PET scanning, and we have the PET
16 reports. So when the PET report is abnormal, for
17 example, we could look at SUV values as far as that
18 being a predictor of prognosis.

19 Our request to CMS in this reconsideration
20 is specifically for coverage of diagnosis, staging,
21 and restaging. But our working group opinion is that

1 the nine cancers is too narrow. This should be for
2 all the cancers that are covered within NOPR. We do
3 not find that there are specific individual cancers
4 that stand out as being exceptionally richer or
5 exceptionally distinctly clinically different.

6 We therefore eliminate the correspondence
7 for continuing going forward with the Coverage with
8 Evidence Development for data collection for these
9 classic indications, but continue the CED indication
10 for treatment monitoring.

11 Thank you for your attention. And now or
12 later I'll be happy to answer your questions.

13 DR. SATYA-MURTI: We should go on with the
14 next presentation before we open up for questions.

15 DR. MANKOFF: Okay to start with the next
16 presentation?

17 Good morning. My name is David Mankoff.
18 I'm professor of radiology medicine and bioengineering
19 from the University of Washington and Seattle Cancer
20 Care Alliance in Seattle. And I've been asked to
21 present some additional information and data from the

1 prospective of the four societies that you see
2 represented here. I should point out that this
3 represents a combination of societies that are experts
4 in cancer imaging, but all societies that are experts
5 in cancer care and treatment as well.

6 My take home message is simple. And it
7 echoes the last statement that was made by Dr.
8 Hillner, is that FDG-PET and FDG-PET/CT is a broadly
9 applicable technique to cancers in general and that,
10 again, I think the evidence in the NOPR and some of
11 the additional data I'll present to you this morning
12 support the broad applicability of this test to
13 cancer.

14 While we would expect a need to be able to
15 customize the approach for individual cancers, and we
16 would naturally expect some variability in performance
17 across different indications for different cancers,
18 the underlying biologic principles and the potential
19 impact upon clinical care is broad enough that, again,
20 the argument is that this is a broadly applicable
21 technique.

1 And so I'm going to present three sets of
2 data to help support that claim. Number one is that
3 I'm going to show you -- at least summarize some
4 studies and data that suggest that FDG is a
5 biologically robust tumor marker. Basically, the
6 glycolysis is a fundamental and important portion of
7 tumor biology.

8 Number two is that based upon reanalysis and
9 ongoing data collection and studies in previously
10 covered indications for FDG-PET and FDG-PET/CT,
11 remembering that in prior meetings we had similar
12 presentations of technology assessment showing you
13 fairly sort of moderate level of evidence, that
14 subsequent ongoing studies have actually continued to
15 support the accuracy and the utility of these studies
16 for these indications. And in fact, we're beginning
17 to use some of that data to refine our approach in
18 some of the previously covered cancers.

19 And then finally, I wanted to highlight some
20 studies, many of which were included in the technology
21 assessment, but perhaps not completely brought out in

1 some of the new cancers that are being considered at
2 this meeting.

3 So it's very important to go back to the
4 fundamental process here which is the
5 radiopharmaceutical that we're using to generate the
6 PET and PET/CTs fluorodeoxyglucose, which is a very
7 close analog of glucose. It has a single substitution
8 in the two position. And it very closely mimics the
9 kinetics and biochemistry at the two critical and rate
10 limiting steps for glycolysis. That is the delivery
11 and transport into the cells and at the
12 phosphorylation step at hexokinase.

13 And there's been fairly extensive
14 validation. We're dating all the way back to the
15 foundation work of Dr. Sokoloff (phonetic) in the 70s
16 showing that deoxyglucosine (phonetic) and more
17 recently fluorodeoxyglucose is a fundamental marker of
18 glycolysis. So we're measuring tumor glycolysis.

19 Now, I think some of the most exciting data
20 that have come across in the past ten years really and
21 some of them earlier are data supporting the very

1 early observation by Otto Warburg in 1931 that
2 aberrant and accelerated glycolysis is a very
3 fundamental process associated with cancer and the
4 cancer cell.

5 A body of work -- this review comes from Dr.
6 Peterson's (phonetic) group at Hopkins -- has shown
7 that this is not simply a question of nutrient
8 availability, that accelerated glycolysis occurs under
9 aerobic conditions when plenty of nutrients are
10 available. And that it is really actually a very
11 fundamental part of the way that cancer cells operate
12 biologically.

13 And there have been a number of studies
14 showing that aberrant glycolysis and in some cases
15 aberrant uptake of FDG is associated with processes
16 that we know are fundamental to cancers and that we
17 know are associated with more aggressive cancers.
18 This includes work coming from Dr. Wahl's (phonetic)
19 lab that showed that hypoxia would contribute to
20 uptake as you would imagine.

21 I think some very exciting work coming from

1 a number of labs, including Craig Thompson's
2 (phonetic) lab at the University of Pennsylvania
3 beginning to unravel how aberrant glycolysis is
4 associated with fundamental growth and other important
5 properties in cancer, very likely mediated through the
6 molecule AKT, which takes a very fundamental role in
7 the life and death of a particular cell.

8 And actually some of these more recent
9 observations are going back and being able to provide
10 the biologic underpinnings for some speculation that
11 was done in the mid to late 90s suggesting that
12 aberrant glycolysis may be a fundamental way where the
13 cancer cell avoids cell death that is normally
14 programmed into non-cancerous cells and allows it to
15 grow under sometimes stressful conditions without
16 undergoing what would normally be naturally programmed
17 cell death in normal cells.

18 So increasing data on both a clinical basis
19 and again on a molecular and scientific basis showing
20 that aberrant glycolysis, which is what we're
21 measuring with this technique, is a very fundamental

1 portion of cancer biology.

2 Now, I think one of the other clinical data
3 sets that I think tends to get underemphasized in the
4 technology assessment approaches is that there's a
5 wide variety of publications coming out showing that
6 for a number of different cancers that are treated in
7 a very wide range of fashions with everything from
8 surgery to chemotherapy to radiation therapy to
9 targeted treatment, that FDG uptake is prognostic.
10 That the level of uptake tells you something about the
11 aggressiveness of the tumor and the likelihood that
12 the tumor is going to progress and kill the patient.

13 And again, these are tumors with very widely
14 variant clinical presentations, sometimes widely
15 varying biologies, and certainly widely varying
16 treatments. And this association which occurs across
17 all of these different tumor types I think provides
18 further evidence of the fundamental association
19 between FDG uptake and the malignant phenotype.

20 Now, my favorite example comes from a study
21 that was done at Sloan Kettering on thyroid cancer

1 patients. These are iodine negative or iodine
2 refractory thyroid cancer patients which is one of the
3 approved indications.

4 I like this 'cause I, as a practicing
5 nuclear medicine physician, actually see these
6 patients because they often get treated with
7 radioiodine. And when I run out of steam with
8 radioiodine, we very much turn to PET as is the
9 indication to be able to go back and identify those
10 tumor sites which failed to take up iodine in thyroid
11 cancer, but may be seen by FDG-PET. And in fact, the
12 data continued to support that in about 70 or 80
13 percent of the cases, we're able to identify sites of
14 disease that we can't see when those tumors
15 redifferentiate and begin to lose their ability to
16 take up iodine.

17 But I think one of the under-appreciated
18 points here is that it's not only that we're getting
19 staging information, we're really getting very
20 fundamental information about the cancer because we
21 can go back and say, you know, we missed 20, 30

1 percent of the cancers in this situation because they
2 don't have FDG uptake. But what these data show you
3 is that in a very advanced patient population, none of
4 those patients who had absent FDG uptake, even if they
5 had widely metastatic disease died. And in this
6 otherwise indolent cancer, we had a rather profound
7 death rate with about 50 percent survival or 50
8 percent death rate at 3 years in the FDG positive
9 peeps.

10 So not only is this a staging tool that
11 helps up with restaging and making clinical decisions,
12 it really is fundamentally associated in many studies
13 with the cancer phenotype and provides us information
14 that I think is actually very difficult to get in
15 technology assessments that are focused appropriately
16 on things like sensitivity and specificity.

17 Now, the other thing I wanted to point out
18 is really the fundamental change in the technology
19 that has been cited before because a lot of the
20 literature we have is based upon FDG-PET, but more
21 recently based upon FDG-PET/CT. And here we're again

1 combining two rather fundamental approaches to cancer.
2 One is a functional approach based upon glycolysis as
3 I just showed you. But our ability to identify shape,
4 location, and their densities has been a fundamental
5 part of our approach to cancer diagnosis since the
6 inception of diagnostic imaging.

7 Now we're allowed to marry these two
8 techniques together. And this is an example from a
9 study that looked at the improvement in diagnostic
10 accuracy, showing that the combination of PET and CT
11 even versus separate reading of the PET and CT
12 improved the accuracy in correct staging.

13 And there have been a number of other
14 publications, including many folks in this room that
15 have contributed to this. But this is the kind of
16 thing that you see. If we were trying to read this
17 spot showing up in the pelvis as a nuclear medicine
18 physician, saying, there's a hot spot over in this
19 corner of the pelvis, yeah, we can identify that
20 that's an abnormality. But we may not have confidence
21 that that's an abnormality related to cancer as

1 opposed to a normal variant.

2 When we can all of a sudden marry this with
3 an anatomic technique which has its own limitations
4 that didn't really show this particular lesion well to
5 begin with, but then marry them together, we can say,
6 aha, that's a hot spot in the middle of a bone in a
7 patient who may have lytic bone metastases that might
8 not show up on CT. And I can have quite a bit of
9 diagnostic confidence that this is an abnormality.
10 And in fact, many of these studies will support that.

11 I now want to move on to show you some
12 highlights of ongoing information from previously
13 covered trials that continue to support the fact that
14 this is a very useful technique and that it, in fact,
15 shows that based upon prior covered indications, the
16 field has not stopped investigating these approaches
17 since they've been covered, and in fact, has used data
18 to be able to refine their approaches to diagnosis and
19 sue of the test.

20 So one of the more I think powerful
21 indications is that on the NCCN guidelines which have

1 more and more become some of the standards for
2 practicing cancer diagnosis and treatment, many of the
3 cancers, both covered and non-covered, are beginning
4 to be included in those guidelines and take an
5 important and appropriate role in the diagnostic
6 workup and, in some cases, treatment direction for
7 these individual cancers.

8 Now, in the case of lymphoma, this is
9 perhaps gone the furthest, where the presence or
10 absence of FDG uptake at the end of the disease has
11 been recognized as being fundamentally important and
12 prognostic to the disease management. In fact, so
13 important that the latest generation of lymphoma
14 trials that are out in Europe and beginning to happen
15 in the States as well are really using FDG-PET as a
16 ploy for making adaptive therapy decisions.

17 And that led to this position paper which
18 was published and widely cited from the Journal of
19 Clinical Oncology that not only talked about the
20 utility of this technique, supporting some of the
21 early data that had been presented at previous

1 meetings like this, but also said we need to develop
2 actually lymphoma specific guidelines so that we can
3 begin to use this in clinical trials and apply those
4 to clinical practice. And so this is an example of
5 work that has gone on subsequent to a prior coverage
6 decision.

7 This is a study that was presented by Dr.
8 Gulenchyn, the Ontario study that she mentioned in the
9 Society of Nuclear Medicine 2008. And I hope in the
10 question and answer period, if I make a mistake Dr.
11 Gulenchyn will correct me on this.

12 But this is a multi-center randomized
13 control trial, so an approved indication. We now have
14 randomized controlled trial going on in the Canadian
15 health care system in Ontario. And it was a
16 randomized control trial between conventional imaging
17 in lung cancer and PET/CT. And again, although the
18 numbers may be slightly different than what was
19 published in some of the retrospective studies, it is
20 showing a significant improvement in upstaging and
21 avoidance of inappropriate surgery in this randomized

1 controlled trial.

2 And very importantly from the standpoint of
3 cost effectiveness is that a single FDG-PET/CT may be
4 able to replace a number of other components of
5 conventional imaging in cancer staging. This would
6 lead to a considerable simplification and actually
7 lead at the same time to some cost savings.

8 At this year's ASCO in the clinical science
9 symposium where I actually was privileged to be able
10 to moderate it and Tony Shields who is in the audience
11 was one of the discussants, there was a Dutch study
12 that was presented that was again another randomized
13 controlled trial in restaging for metastatic
14 colorectal cancer, one of the approved indications,
15 the randomized FDG-PET versus no PET prior to a
16 planned laparotomy for apparent isolated liver
17 disease.

18 The primary endpoint was the futility of the
19 resection. This was a relatively small study, but
20 empowered to identify the primary endpoint. And in
21 fact, they found a 38 percent relative risk reduction

1 in futile laparotomy that was statistically
2 significant and came to the conclusion that, again,
3 the addition in this case of FDG-PET -- most of this
4 was done prior to PET/CT -- was helpful in reducing
5 the number of futile laparotomies in this fairly
6 common patient population.

7 Now, an area that I've worked on in
8 particular is breast cancer staging. And this is an
9 area where I'd say we've used some of the data that
10 have come around to help us to refine the indications
11 and use of this particular technique. So there are
12 compiled data, and actually again, I think supported
13 by another abstract that ASCO presented by Dr.
14 Gulenchyn, showing that FDG-PET/CT as a systemic
15 staging approach was not particularly helpful in early
16 stage breast cancer. I'll get to areas again where it
17 was helpful.

18 And perhaps this is not surprising because
19 prior using convention imaging have also shown a low
20 true positive rate and high false positive rate in
21 trying to stage very early stage breast cancer. We're

1 talking about stage one and early stage two disease.

2 On the other hand, the data continue to show
3 very promising results for more advanced disease, for
4 stage four disease where FDG-PET/CT has been very
5 helpful. So as an NCCN guideline panel that was
6 assembled, the specific recommendations coming out of
7 this was that PET was not recommended for systemic
8 staging of early stage disease.

9 And again, we're using ongoing evidence to
10 help refine the approach to using these techniques for
11 these common and previously covered cancers.

12 Finally, I want to highlight some of the
13 data that relate to the covered cancers that we
14 discussed that were perhaps not brought out by some of
15 the previous discussions and the technology
16 assessment. So one of the technology assessment
17 cancers where it was difficult to draw conclusions, in
18 part because there were not a lot of specificity data,
19 was in small cell lung cancer.

20 Now, here sensitivity and specificity are
21 obviously an issue. But the primary clinical issue is

1 trying to determine limited stage disease versus
2 extensive disease, which has a fairly profound impact
3 upon management. And there are three papers -- and I
4 believe all of these were included in the technology
5 assessment -- that very strongly showed that, number
6 one, PET upstaged patients in many cases and upstaged
7 them in a highly accurate fashion and had a, at least
8 modest, but fairly significant impact upon therapy.
9 And then importantly, at least two of these papers
10 came to the conclusion that this approach could
11 simplify staging.

12 So, yes, in a strict sensitivity and
13 specificity basis may have been difficult to assess.
14 But the application of this to the particular clinical
15 diagnostic test at hand has actually been pretty well
16 supported by the existing literature.

17 And this is just an example to show you why
18 that is. I'm going to see if I can get that slide to
19 move. Nope. Sorry. If you could see this, there
20 would be spinning diagram on the bottom. But what you
21 can see is that this is -- oh, thank you. Nope.

1 Didn't want to do it. Thank you.

2 Part of the reason this is such a powerful
3 technique for this is small cell lung cancer is one of
4 the more glycolytic cancers that we've seen. And in
5 fact, this provides a very high contrast study so that
6 you can get very good information with a lot of
7 contrast, sometimes not necessarily a lot of detail.
8 But it's ideal for doing these whole body surveys and
9 trying to determine limited versus extensive disease.

10 Thanks. Maybe we can go back to the slides
11 now.

12 So one of the areas discussed was cervical
13 cancer restaging and for documentation of recurrences.
14 This is data from Washington University, Dr. Siegel's
15 center, that looked at perhaps an important -- a
16 related but perhaps -- and certainly is a more
17 important question related to the restaging.

18 This was a prospective study done in two
19 stages. And what they did in this situation was to
20 look at treated cervical cancer patients, look at
21 their presence or absence of metabolic disease at

1 three months post-therapy, and then use that as a
2 comparator for subsequent progression free survival.

3 So to put this in slightly different terms,
4 we're doing an FDG-PET in the sense of a restaging
5 standpoint, and we're identifying disease that may be
6 there, it may not be there. But very importantly,
7 we're trying to identify whether the presence or
8 absence of that disease predicts what happens to the
9 patient.

10 And really very striking data that you see
11 up here, and you see the curve is represented down
12 below, showing that the presence or absence of FDG
13 post-therapy and to the extent in which we see new
14 disease versus persistent disease was very strongly
15 predictive of survival.

16 Now, what does that mean? Well, a very
17 interesting portion came into the second half of the
18 study where, as these data began to emerge and the
19 Center was identifying these patients at very early
20 stages as being failures of convention therapy, they
21 were able to institute salvage therapy in some of the

1 patients that had PET persistent disease. And in
2 fact, there are eight patients within the study that
3 had a successful response to salvage therapy and had
4 improved outcome at that time.

5 So not only do we do a good job of staging
6 in this particular instance, but again, coming back to
7 the fundamental properties of PET as a marker of
8 cancer, we see that this predicts outcome and can
9 actually be used to make critical decisions at an
10 appropriate time point.

11 Another area that was covered and actually
12 shown to be beneficial in the technology assessment
13 was in the restaging and staging of ovarian cancer and
14 suspected recurrence. There was an additional study
15 that was again cited in the technology assessment, but
16 looked prospectively at a very problematic area, which
17 is a pelvic mass in a patient who otherwise has a high
18 index of clinical suspicion, a study from Risum and
19 colleagues. It was published in Gynecology Oncology.

20 Here's a PET/CT showing a supercuvicular
21 (phonetic) recurrence in that situation. And it had

1 very high sensitivity and specificity in a diagnostic
2 application in a symptomatic patient population, a
3 patient with a pelvic mass and a high suspicion of
4 disease where really the only appropriate -- or the
5 only accurate approach thus far has been a surgical
6 approach.

7 And PET provided a very appropriate
8 diagnostic alternative in that setting. Again, not
9 necessarily covered in the technology assessment,
10 which shows the broad applicability of these different
11 techniques.

12 Finally, I would cite the data coming from
13 Sperti. Again, this was also cited in the technology
14 assessment and felt to be one of the higher quality
15 studies for these particular lesions which are
16 intraductal papillary mucinous neoplasms -- I hesitate
17 to say that quite this early in the morning too fast.

18 Now, this is a very interesting lesion.
19 It's actually not the most common lesion in the
20 pancreas. And it's a little bit of an artifact of the
21 fact that we've got good cross-sectional techniques

1 that we're beginning to identify some of these cystic
2 lesions in the pancreas that we didn't necessarily see
3 before. And it presents a tremendous diagnostic
4 dilemma because sometimes you have needed a surgical
5 approach to be able to make a decision about whether
6 or not these are benign or malignant.

7 And in fact, what this study shows, that
8 especially in the symptomatic patients, but even in
9 the asymptomatic patients, the FDG-PET in a diagnostic
10 application was highly predictive of benignity or
11 malignancy in these lesion and, in fact, was very
12 helpful in these patients in trying to guide the
13 surgical approach.

14 Now, why do I cite this study in this
15 relatively rare cancer? Again, it's the broad
16 applicability of this technique. We saw data
17 supporting the use of FDG-PET in pancreatic cancer.
18 Here's a relatively unusual tumor and in an early
19 study, again, the approach to diagnosis looks very
20 promising. Again, underscoring that this is a broadly
21 applicable technique to cancer.

1 So to summarize, I think there are good data
2 that FDG is a biologically robust marker of cancer.
3 And maybe equally importantly, rapidly evolving data
4 showing that aberrant glycolysis is a very fundamental
5 part of the cancer phenotype. Clinical data in prior
6 approved indications continue to support the use of
7 FDG-PET and, in fact, are being used to refine some of
8 the guidelines that exist in practice. And then as we
9 look at some of the other cancers that are now being
10 investigated, we find other forms of data and other
11 aspects that continue to support this application.

12 So again, there are going to be individual
13 variations in how we use this technique, individual
14 variations in its performance across cancers. But I
15 think based upon what we've seen in the NOPR data and
16 based upon these other data that I presented today, we
17 would recommend broad coverage for FDG-PET and PET/CT
18 for cancer diagnosis, staging, and restaging of
19 suspected recurrence as was highlighted at the end of
20 the last presentation.

21 Thank you.

1 DR. SATYA-MURTI: Thank you very much. We
2 have about ten to twelve minutes. If we have
3 questions, we can pose them at that time, and then
4 move on for a very short break.

5 I have a very brief question. In the
6 Registry, the participants knew that their future --
7 their answers might influence future coverage by
8 Medicare. Did they or did they not know that the pre-
9 and post-PET answers would alter the potential for
10 coverage?

11 DR. HILLNER: I don't think explicitly they
12 knew that. I have no way of speculating about the
13 average provider in the community. There was nothing
14 in our consent forms, for example, that said this data
15 will subsequently be used for subsequent
16 reconsideration. So that is a reasonable speculation.
17 But I have no data to ground it.

18 DR. SATYA-MURTI: Okay. Thank you. Dr.
19 Bergthold and then Dr. Sloan and Dr. Henderson.

20 DR. BERGTHOLD: I have a question for Dr.
21 Hillner. Given that there's so many limitations in

1 the NOPR study and there's so little as far as I can
2 tell that you can really say about kind of the
3 outcome, the actual outcome instead of the intended
4 outcome, I was a little surprised to see your request
5 that CMS cover all of these cancers for PET scanning
6 and stop the CED data collection.

7 It just didn't connect for me. I mean, I
8 don't see how you make the leap from sort of a pretty
9 poorly designed study that doesn't really tell you
10 what happens and has so many limitations and now,
11 therefore -- and same here, we recommend broad
12 coverage.

13 The quality of the data that was in the tech
14 assessment was really stunningly poor. Only nine --
15 or eight or nine rate A quality studies. And yet as a
16 result of that, we recommend broad coverage. Can you
17 connect those two for me?

18 DR. HILLNER: Well, we respectfully disagree
19 with that conclusion. But my individual opinion is
20 that if the concern of actual patient -- actual
21 management and actual outcome is the coin of the realm

1 that would require a change in coverage decision, that
2 the design of the Coverage with Evidence Development
3 process would need to be changed.

4 That continuing getting additional data
5 within the structure of where we've collected data I
6 think provides no additional new insight. The
7 confidence intervals across so many of the cells are
8 so narrow that the profile of what I would present to
9 you would not change. So the fundamental design would
10 require reconsideration.

11 DR. BERGTHOLD: Excuse me. So you're not
12 suggesting -- so you're not suggesting that CED be
13 dropped, but that it be changed in design. Right?

14 DR. HILLNER: I am suggesting to your
15 question that if you view that our results of intended
16 patient management are insufficient for the request,
17 that continuing the Coverage with Evidence Development
18 with the current NOPR design for an additional year
19 will not change your -- will not change your
20 reluctance because the confidence intervals are -- the
21 data are not evolving. Getting an additional case is

1 not going to change what we already are finding.

2 DR. SATYA-MURTI: Dr. Sloan?

3 DR. SLOAN: Yes. I have a question for Dr.

4 -- forgive me if I mispronounce your name --

5 Gulenchyn.

6 A number of the studies cited -- so Dr.

7 Mankoff just gave us a talk where his conclusions were

8 quite different from yours and cited a number of

9 papers that you did not cite, that it would seem to me

10 that, you know, they were published in the time frame

11 that you looked at. I'm wondering why some of the

12 papers he cited were not listed and do not appear to

13 be reviewed by your group.

14 DR. GULENCHYN: Okay. I'm going to try to

15 answer that, but I may have to go back and actually

16 look up the contents of the paper. I believe two of

17 the papers that he cited were, in fact --

18 DR. SLOAN: More than that.

19 DR. GULENCHYN: Were in the technology

20 assessment?

21 DR. SLOAN: Okay. Well, some were, but some

1 weren't. For example, just looking, you know, at
2 small cell, Brink and Fischer, Schwarz was not cited.
3 I didn't -- I didn't go through all of them. Risum
4 was not cited. So at least four of the studies he
5 cited, you did not cite.

6 DR. GULENCHYN: Okay. I would have to go
7 back and look at the specific reasons why those
8 studies were excluded. I --

9 DR. SLOAN: 'Cause they have an N greater
10 than 12, and they were published during the time frame
11 that you reviewed.

12 DR. GULENCHYN: Okay. Let me -- Maria is
13 going to address that.

14 MS. OSPINA: I guess we can do that if you
15 want. There is a list of excluded studies by reason
16 for exclusion at the end of the report. So we will
17 have to go and look at those references and see where
18 they were, in fact.

19 There is another possibility. And it's that
20 the study was not captured even by the filters that we
21 used for the search strategy. And that's something

1 that -- I mean, there is no perfect systematic review
2 in terms of capturing all the available evidence that
3 was produced.

4 So there are two possibilities here. One,
5 the study was excluded on any of the grounds that we
6 mentioned, and then we will need to look at -- if you
7 give me the full references, I might be able to track
8 the studies right now.

9 The other option is that the studies were
10 not captured by the filters in the search strategy.
11 And in that case, now that we know that those studies
12 are out there, we will have to incorporate that data
13 into the report.

14 DR. SLOAN: I wonder, it looks like you
15 looked only under specific cancers. So a paper that
16 covered more than one type of cancer probably would
17 have been excluded. Is that correct? Is that
18 assumption correct? So if a paper covered more than
19 one type of cancer --

20 MS. OSPINA: Oh.

21 DR. SLOAN: -- would it have been excluded?

1 MS. OSPINA: There was one reason is we
2 required that the studies provide data by type of
3 cancer. Right? And the results would have to be
4 presented by the type of indications that we were
5 considering.

6 In some cases, for example, there -- and it
7 comes to mind, I don't know exactly the references.
8 But I remember there were some cases where they
9 presented, for example, gynecological cancers overall.
10 But there was no specification about ovarian or
11 cervical which were the conditions that we were
12 looking at.

13 There were other cases, for example, where
14 let's say information was presented by type of cancer.
15 But the numbers for that type of cancer were not
16 enough and were less than 12 participants. So you
17 see, there were a lot of conditions that we required
18 for the studies to be included. And although it might
19 look weird that those three or four studies were not
20 included, that's another option that these studies
21 might have been identified, might have provided

1 information by the type of cancer. But maybe the
2 numbers were less than 12 participants.

3 So those are the factors that we need to
4 look back and -- look back at the report to see what
5 the reasons were for exclusion, if the study was, in
6 fact, excluded.

7 DR. SATYA-MURTI: Dr. Henderson?

8 DR. MANKOFF: I also wanted to point out
9 that there are situations in which the questions that
10 were posed to the technology assessment may not be
11 appropriate for the cancers. So in an area that I
12 think you're likely to be very familiar with, in brain
13 cancer, systemic staging doesn't make any sense. It's
14 a disease that only metastasizes to the neuraxis, and
15 so staging is not an appropriate question.

16 However, the appropriate question there
17 which would I think be documentation of recurrence and
18 prognosis was not one of those questions. There are a
19 number of studies that weren't cited in that,
20 including some work from our institution showing that
21 the predictive capability of the technique exceeds

1 conventional imaging like MR.

2 But I think the question in some cases
3 weren't -- wasn't posed for the technology assessment.
4 And that may have been one of the reasons it wasn't
5 there. And I did try to cite some of those important
6 indications to indicate broad utility.

7 DR. HENDERSON: I have two questions for Dr.
8 Hillner. The first, you emphasized appropriately that
9 there was no follow-up after 30 days. But you didn't
10 explain why that decision was made in the design of
11 the study.

12 Second question is, I was rather surprised
13 at the high frequency in which physicians and patients
14 refuse to give consent, particularly since that was a
15 requirement when they requested the PET scan. Why
16 such a high percentage of patients eliminated for that
17 reason?

18 DR. HILLNER: Approximately eight percent of
19 patients did not participate and four -- three to four
20 percent of physicians. I'm looking to my colleagues
21 if we have -- we have not -- I mean, it's suspicion of

1 big government is the extent of my answer. That if
2 they chose not to participate in the research project,
3 they still got the clinical service and that their
4 scan would be -- would be paid for.

5 But other than fear of big government, I
6 don't have an answer for that. What I can tell you is
7 that we have looked over time for trends of that. And
8 in the first six months compared to -- in six month
9 cycles that that's been minimal variation over the 24
10 plus months that we've been open. It's been very,
11 very stable.

12 The core primary endpoint of change in
13 intended management was negotiated. That was
14 negotiated between the Center for Medicare Services
15 representatives, the whole concept of evidence -- of
16 coverage with evidence development. And that was
17 negotiated honestly before I was even recruited to
18 participate in the project.

19 The balance was felt that the burden of --
20 burden to place on participating centers and referring
21 physicians that there was no carrot for the level --

1 for -- you know, the referring physicians are the key
2 to the project and that their time was felt to be
3 respected and what was the minimum data set design
4 that could get us relevant information.

5 You can agree or disagree on our design.
6 But this was not retrospective. This was -- you know,
7 before the design they had to indicate what they
8 intended to and what they subsequently planned to do.
9 And it would have been a different story if we were
10 presenting today if each of these cases was pre-
11 identified so that we could give you data on the
12 claims assessment on that. But that was -- that's the
13 history of how the process worked out.

14 DR. SATYA-MURTI: Thank you, Dr. Hillner.
15 We have copious amounts of time in the afternoon for
16 further discussion. So if I may, Dr. Lichtenfeld, you
17 had one question.

18 DR. LICHTENFELD: Well, since I'll be
19 limited to one question 'cause I do have some
20 comments. But I'll save that for this afternoon.

21 I want to go to Dr. Mankoff. And Dr.

1 Mankoff, you know, we're on the horns of a dilemma
2 here today because we've had the technology assessment
3 that says one thing. We have your comments which say
4 open it up. I want to make sure I understand the
5 basis that your society consensus statement, your
6 inner society statement, is really that last sentence
7 on that last slide.

8 Do you basically recommend that PET scanning
9 be opened up for everything?

10 DR. MANKOFF: Right. You know, I think
11 we're --

12 DR. LICHTENFELD: That I don't misinterpret
13 that because --

14 DR. MANKOFF: No. And if I might, you know,
15 add a little bit of interpretation to that, is that
16 there will be cancers and indications where this will
17 work better and not work better for other areas.

18 However, I think the documentation of
19 looking at what's happened to the prior covered areas
20 and the refinement of the field and the fact that when
21 we look broadly even at some of the smaller studies in

1 some of these rarer tumors, we see very similar
2 results in terms of diagnostic performance and
3 outcome.

4 And I think this is somewhat of a practical
5 decision. We can -- you know, part of the reason we
6 saw less data in the technology assessment is that
7 perhaps other than things like cervical and ovarian
8 cancer, some of these are rarer cancers.

9 So we have two approaches. We can do a
10 randomized controlled trial for every cancer and every
11 indication that's out there, including these rarer
12 cancers. We'll spend a fair amount of money doing
13 that, and we'll take a while to collect those data.
14 Or we can try to identify trends that look broadly
15 applicable within the cancers and do what we do with
16 the rest of the practice, is to continue to refine our
17 application of both therapy and diagnostic techniques
18 as we appropriately use them in the field as
19 professionals with guidelines from our professional
20 societies and ongoing support through a variety of
21 mechanisms for continuing to test these and refine

1 these.

2 And that's why we spend a fair amount of
3 time looking at those previously covered indications
4 'cause, in fact, that's what's -- that has been what
5 happened, at least in some of those indications.

6 DR. SATYA-MURTI: Okay. Thank you, Dr.
7 Mankoff. Dr. Phurrough has a comment, and then we'll
8 break for 15 minutes.

9 DR. PHURROUGH: Just to clarify based on
10 some of the comments and questions over the last
11 several minutes. In making coverage determinations on
12 diagnostic tests, we have in the past and I suspect
13 will continue in the future, used patient management
14 -- change in patient management as a sufficient
15 condition of coverage.

16 We don't necessarily like to do that. We
17 need to be confident that changes in patient
18 management is a good surrogate for improved outcomes.
19 But, in fact, that's why we commissioned this
20 particular CD process to look at patient management
21 issues. And that's why we have this first question,

1 which really focuses on is there a change in patient
2 management.

3 So while in diagnostic tests we like to have
4 patient outcomes, and there are some decisions that
5 we've made that have required patient outcomes
6 depending upon the maturity of that particular
7 technology, it is not unusual that a coverage decision
8 on a diagnostic test is largely based upon change in
9 patient management.

10 DR. SATYA-MURTI: Thank you. About a 13
11 minute break, and we'll come back.

12 MS. TENENBAUM: Good morning. I'm Cara
13 Tenenbaum. I'm with the Ovarian Cancer National
14 Alliance. Before we get started, I do want to
15 disclose -- excuse me -- disclose that we don't have
16 any financial ties to the Medical Imaging Technology
17 Association. But we have done two awareness and
18 outreach projects with them. So I'll be happy to talk
19 to anybody about those in more detail, if you'd like.

20 I also want to let you know that our
21 organization has a very clear policy that we represent

1 patients and not necessarily our donors.

2 Also, before I get started, I know other
3 people have said this. But I'm going to use PET scan
4 as shorthand for FDG-PET and FDG-PET/CT scan.

5 Also, a disclaimer. I am not a doctor. I'm
6 not a statistician. I'm a patient advocate, and I'm
7 here representing patients and their families.

8 So all of you can read this. And I'm not
9 going to -- I'm going to try not to say what's on the
10 PowerPoint slide. Ovarian cancer, as many of you
11 know, is probably the deadliest gynecologic cancer.
12 This year 21,000 -- over 21,000 women will be
13 diagnosed with ovarian cancer. 15,000 of them -- not
14 of them, but 15,000 women will die.

15 I apologize for the typo. There's actually
16 a 45 percent relative five-year survival rate. So
17 more than half of the women diagnosed this year will
18 not survive five years.

19 Ovarian cancer has a high mortality rate in
20 large part because there is no good early detection
21 test and because the vast majority of women will have

1 at least one recurrence at some point in their life.

2 As we talk about the PET scan as a marker,
3 there is no reliable tumor marker for ovarian cancer.
4 There is one blood test, one serum marker, for those
5 of you who are doctors that works for some women some
6 of the time. And I'll get into the problems with
7 that.

8 Also, more than 70 percent of ovarian cancer
9 patients have a recurrence at some point in their
10 life, as I said. And what often happens with these
11 recurrences is that they happen with a shorter and
12 shorter time period. And women become resistant to
13 platinum-based therapies. And so, in essence, they
14 run out of chemotherapies.

15 I'm with the Ovarian Cancer National
16 Alliance. We're a national organization that
17 represents ovarian cancer patients, families, women at
18 high risk over the United States. We do this through
19 a partner member network of over 45 national, state,
20 and local members. We are dedicated to conquering
21 ovarian cancer.

1 You all know about the Coverage with
2 Evidence decisions, so I'm not going to go over that
3 or the PET Registry too much. But I do want to let
4 you know that we get a lot of calls in the office
5 about PET scans.

6 "How do I get a PET scan? My doctor says
7 I should get a PET scan." We always recommend that
8 women enroll in the Registry when possible. And so
9 we're very happy that it's been available for
10 patients.

11 Ovarian cancer has an issue with initial
12 diagnosis. As I said, there's no early detection
13 test. It's also not possible to biopsy the ovary the
14 way you could biopsy skin tissue or breast tissue. So
15 what we need is something that eliminates the need for
16 an exploratory biopsy because what that does is it
17 takes out an entire -- doctors take out the entire
18 ovary.

19 So using PET scans, surgeons can
20 appropriately be aggressive in debulking, which is
21 really a bad term for taking out cancer, from women to

1 remove as much of the primary mass as possible.

2 The PET scans can also be useful in
3 determining the original course of treatment. For
4 example, I know women who have been told they need
5 radiation prior to surgery. And a PET scan will show
6 that actually surgery is the better course.

7 What happens for ovarian cancer patients
8 also is that the tumor spreads so much, by the time
9 you've found the fact -- diagnosed a woman with
10 ovarian cancer, it shows up kind of like stars on the
11 PET scan. So it's not kind of an isolated mass. And
12 so the PET scan can help show the extent of the tumor
13 proliferation.

14 In recurrence, again what we're seeing is
15 that most women will have a recurrence. We're not
16 always sure when. So there are symptoms of ovarian
17 cancer. And there are women who are sensitive to the
18 C-125 blood marker.

19 But this can be falsely elevated. For
20 example, there are some chemotherapies that falsely
21 elevate this tumor marker. And so a woman and her

1 doctor might think she's having a recurrence even if
2 she's not. There are also a number of women and
3 ovarian cancer sub-types that do not have a C-125. So
4 for these women, they're really in the dark. And the
5 PET scan is literally a way to illuminate what's going
6 on inside her body.

7 We're also concerned, of course, with PET
8 scans in terms of monitoring treatment, so how a woman
9 is responding to chemotherapy. As I said, there are
10 some women who will not emit the C-125.

11 And I know that for CMS, of course, you're
12 concerned with the cost of care. And you don't want
13 to pay for chemotherapy that's not working. And
14 (unintelligible) oncologists are very careful about
15 the sequencing of chemotherapies.

16 For me as a patient advocate, I'm concerned
17 about patients getting toxic chemotherapy when they
18 don't need it. I'm sure all of you know someone or
19 have been through chemo. And as I just said during
20 the break, I wouldn't wish it on my worst enemy.

21 So, of course, we're interested in reducing

1 -- you're interested in reducing the cost of care, and
2 I'm interested in saving women's lives and increasing
3 their quality of life.

4 So what we recommend is that PET scans be
5 available when medically necessary for ovarian cancer
6 patients, as we said, for initial diagnosis,
7 monitoring response to treatment, and for recurrence.
8 At the very least, we'd like the PET Registry to stay
9 open.

10 And I do want to take this time to thank all
11 of you for considering this issue. It's something
12 that's very important to my patients. And I hope that
13 you make a decision that is really in line with
14 patient care.

15 Thank you.

16 DR. DURIE: Thank you very much. Ladies and
17 gentlemen, I very much appreciate the opportunity to
18 present information related to multiple myeloma.

19 It's not one of the nine cancers on your
20 list, however, I want to make the case that it is a
21 crucial cancer. It does require the availability of

1 PET scanning.

2 I'm here today as chairman of the
3 International Myeloma Foundation, which is a non-
4 profit entity based in the state of California,
5 501(c). I'm here, therefore, as an advocate.

6 I am, however, a physician who's specializes
7 in the treatment of multiple myeloma based at Cedars-
8 Sinai in Los Angeles. I am co-chair of the Southwest
9 Oncology Myeloma Committee. And I'm currently
10 conducting what I think is the largest trial ever
11 performed, involving over 600 patients, to evaluate
12 imaging as part of the ongoing management for multiple
13 myeloma with central review of the images. I'm also
14 involved in other trials related to myeloma.

15 So why should you listen to me regarding
16 myeloma? Well, there are quite a few reasons. One is
17 that it was included in the NOPR program that Bruce
18 presented to you. You may not have noted that myeloma
19 was at the bottom of his first chart. And it showed
20 that there were 1,336 patients with myeloma registered
21 through that program, which represented 13.1 percent

1 of myeloma patients.

2 So there is a substantial -- although this
3 is in quotes, "a relatively rarer cancer," where it is
4 definitely difficult to gather a lot of data, 13.1
5 percent of patients are currently getting scans
6 through that mechanism.

7 There are several aspects of myeloma.
8 Myeloma is a collection of diseases which can present
9 in a variety of ways. It can present as a solitary
10 plasmacytoma. It can present without the production
11 of a monoclonal protein, which makes it extremely
12 difficult to evaluate without scanning. So for this
13 group of patients, some type of imaging is essential.

14 It can present within bone. But
15 increasingly we recognize that it can present outside
16 of bone. And in this sense, for 20 to 30 percent of
17 the cases, it behaves exactly like a lymphoma, a
18 plasmacytic lymphoma. And the case I'm going to make
19 is that myeloma should be treated from a coverage
20 standpoint like lymphoma because it behaves in exactly
21 the same fashion.

1 There are also secondary cancers. As
2 patients live longer, about 15 to 20 percent of
3 patients with myeloma will actually develop secondary
4 cancers which are picked up on CT/PET.

5 So where does CT/PET stand? Well, what was
6 presented to you before the break is that NCCN has
7 guidelines. There are guidelines which incorporate
8 CT/PET for myeloma for staging and monitoring. There
9 are also other guidelines, international guidelines,
10 which incorporate CT/PET for myeloma.

11 I published one of the first large papers on
12 PET imaging and myeloma. It was in 2002. I'm
13 actually slightly glad that it wasn't reviewed by the
14 Canadians. I'm not sure whether it would be an A or
15 not.

16 The one thing I can tell you, though, was
17 that it was actually picked as the best clinical
18 investigations paper published in the Journal for the
19 year 2002. So I think it was a reliable study.

20 It did show that myeloma is 100 percent
21 positive. Myeloma is positive. It's a PET avid

1 cancer. If you have a benign form, MGUS, it's
2 negative. So it's extremely helpful in that
3 distinction.

4 23 percent of extra-medullary disease, so
5 they behave like a lymphoma which is already a covered
6 indication. The results are prognostically important.
7 If you have extra-medullary disease, you are
8 definitely going to die sooner. We need to know this
9 information because we have better therapies for
10 myeloma.

11 One important thing about myeloma that makes
12 it special, besides the fact that I study it, is that
13 it's a disease which is called multiple myeloma.
14 There are multiple lesions. It is a disease which
15 involves the whole body.

16 So if you want to look at it from a cost
17 effectiveness standpoint, you have two choices. One
18 is that you can use an MRI. You can MRI the spine.
19 Now, the disease, however, is affecting the spine.
20 It's also affecting the ribs. There's a soft tissue
21 plasmacytoma close to the kidney here. There are a

1 variety of lesions.

2 So that the only way to effectively and
3 efficiently and cost effectively scan the whole body
4 is to do a whole body FDG-PET scan. MRI for the
5 equivalent coverage would cost you \$7- to \$8,000.

6 And so because of this, there have been
7 guidelines published in 2006, a schema whereby CT/PET
8 could be integrated into the routine staging and
9 monitoring for myeloma.

10 It's also been linked -- you heard about the
11 linkage with the biology and the uptake of glucose and
12 glycolysis. Well, it turns out that PET scanning does
13 link to one of the fundamental biologic features of
14 bone disease and myeloma. And that is the production
15 of a DKK1 protein -- and this is from the New England
16 Journal -- where there was clear correlation with the
17 predilection for aggressive bone disease and this DKK1
18 protein production.

19 A very important study was just published
20 from a French group showing that if you have a
21 plasmacytoma, and you do either MRI or PET scanning,

1 MRI missed a total of 18 lesions. Whereas, with the
2 whole body PET, you picked up 10 additional lesions.

3 What that meant is that approximately 30
4 percent of the patients, instead of getting radiation
5 to a single plasmacytoma, got systemic therapy because
6 they were discovered to have not a solitary
7 plasmacytoma, but systemic disease.

8 So in 2008, we do need PET scanning for
9 myeloma. 30 percent of patients are diagnosed
10 earlier. We do need to stage them in some whole body
11 fashion.

12 There are better treatments. Patients are
13 living longer. So we need to be able to monitor them
14 and assess the status of the disease. And we need to
15 assess it using imaging.

16 Maybe I'll just show you this one. To get
17 the point out about survival, 75 percent of patients
18 with myeloma are not alive at 5 years. This means
19 that this is not a disease where you have trivial
20 decisions to make which might influence a few months
21 of outcome. These are decisions that will impact

1 long-term survival with transplant possibly or not.
2 So there are huge potential impacts on treatment
3 decisions.

4 So in conclusion, I would say that the
5 evidence does support the use of PET scanning for
6 myeloma in a fashion similar to lymphoma. It's
7 accurate for staging and monitoring. It does affect
8 treatment planning. And, as was shown by Bruce, it
9 was similar to the other cancers in the NOPR
10 evaluation.

11 More options for treatment require us to
12 have better decisions related to imaging. Thank you.

13 DR. SATYA-MURTI: Thank you, Dr. Durie. We
14 have an open public comments period. There are four
15 persons who are here to talk about this. Dr. Siegel,
16 Dr. Coleman, Dr. Shields -- five actually -- Allison
17 Colbert (phonetic) and Dr. Tunis.

18 So we'll give approximately two minutes for
19 each of these open presentations. And if you can line
20 up to the microphone so we would avoid the transit
21 time, that will be nice.

1 MS. ELLIS: Down front, please.

2 DR. SIEGEL: Good morning.

3 DR. SATYA-MURTI: Good morning.

4 DR. SIEGEL: I'm Dr. Barry Siegel. I'm
5 professor of radiology at Washington University in St.
6 Louis, and I'm one of the NOPR working group co-
7 chairs. I conflicted to that extent, and also to the
8 extent that I earn my living doing PET and
9 interpreting PET studies.

10 I really don't have specific prepared
11 comments, but wanted to provide some commentary
12 related to some of the questions that occurred during
13 the earlier session.

14 First, the question related to consent. We
15 were actually quite thrilled with 88 percent consent.
16 And in the prospective planning of the trial and in
17 consultation with CMS, we set 85 percent as the bar at
18 which if we didn't hit that target, we were going to
19 go back and redesign the approach to see if we could
20 get better consent.

21 Moreover, CMS has all the data. And they

1 actually can look at the non-consented data and the
2 consented data because they have the authority to do
3 that because they're the government.

4 Just a point about the funding for proper
5 randomized controlled trials of PET. We found
6 ourselves for decades in what I have labeled a catch-
7 22. The data aren't very robust as you've seen. And
8 therefore, there's no coverage. There's no coverage.
9 Therefore, we can't get the data from studies because
10 there are really not alternative funding mechanisms.

11 Richard Wahl and Ed Coleman and I did a
12 laborious study with NIH funding. We were very lucky
13 to get the funding. It was a breast cancer study that
14 showed that PET doesn't work for axillary staging, and
15 it's non-covered.

16 But getting the kind of funding that it
17 would take to do randomized trials is not realistic.
18 The sponsors who make the PET scanners are not going
19 to fund these studies. They have not funded these
20 studies. The people who make FDG have not and will
21 not fund these studies.

1 It's a commodity now. It's not a drug.
2 It's not a blockbuster drug that you have a billion
3 dollars a year in sales and you have to do the studies
4 to get FDA approval.

5 Going to the NIH in the last decade to try
6 to get funding for phase three clinical trials of PET,
7 given the current funding environment and the way it's
8 been, it's not worth the time to write the R01 or the
9 U01 to try the do these kinds of trials.

10 So that really is the reality. And as co-
11 chair of ACRIN, which is the American College of
12 Radiology Imaging Network, which does imaging-related
13 clinical trials, I can tell you that mounting these
14 trials, pulling them off, is an incredibly laborious
15 process, and maybe 50 years from now we'd have the
16 kind of robust data that you might -- we'd all really
17 like to see to be truly evidence based.

18 So what did we do? We said we've got a
19 construct of evidence. We have evidence from previous
20 questionnaire studies that showed a certain amount of
21 change in management. We have subsequent studies that

1 demonstrated that when people said they were changing
2 their management, they actually were doing it right
3 nearly all of the time. PET was leading to the right
4 decisions. And those right decisions led to improved
5 patient outcomes.

6 So we said if we could take all these other
7 cancers and show that change in management is in the
8 same ballpark as it was for the previously covered
9 cancers, that would be very important.

10 And we originally set the bar at a 15
11 percent change in management and frankly were
12 delighted and surprised when it turned to be in the 25
13 to 30 to 40 percent when we broke it down by
14 individual cancers.

15 And my final comment is in our asking for
16 coverage for all cancers for diagnosis, staging, and
17 restaging, and suspected recurrence, we're not blindly
18 saying that PET should be used in every patient with
19 all cancers.

20 What we're saying is that PET should be used
21 wisely, guided by practice guidelines, guided by NCCN

1 guidelines, and guided by Medicare's own rules on when
2 PET should and should not be used, which Stuart Caplan
3 articulated at the beginning of the session this
4 morning.

5 PET is meant to be a supplement to other
6 approaches to imaging or a replacement to imaging.
7 But not the end-all and be-all of all cancer imaging.
8 Thank you.

9 DR. COLEMAN: Hi. I'm Ed Coleman. I'm a
10 professor of radiology and Director of Nuclear
11 Medicine at Duke University. For disclosure, like Dr.
12 Siegel, I'm director of a PET facility. So some of my
13 income does derive from doing PET imaging. I'm also
14 an investigator with NOPR and do receive some support
15 for that effort.

16 In addition, I have research grants from
17 General Electric Health Care, consultant for General
18 Electric Health Care and am a stockholder in RCOA,
19 which is a mobile PET company.

20 I'd like to make a few comments concerning
21 the CMS process for reviewing of PET and PET/CT. One

1 of the issues that we have identified from the start,
2 when CMS decided to review PET cancer by cancer and
3 indication by indication, was that some indications
4 would never be covered that way.

5 Merckel cell tumor, a very low prevalent
6 cancer, 500 patients a year, very FDG avid, behaves a
7 lot like melanoma on PET scan, looks a lot like
8 melanoma, and is very helpful in those patients. But
9 at those numbers, you're never going to get a large
10 number of publications. You're never going to meet
11 the criteria from the Edmonton group for saying that
12 there's data supporting its utilization.

13 So over the years, we've had discussions
14 with CMS, what do we do with cancers like that. And
15 that was some of the basis for the development of
16 Coverage with Evidence Development. That way we can
17 get coverage. We can get some data on the use of
18 these cancers through the CED process. And I think
19 the CED process has been extremely helpful in getting
20 data on these less prevalent cancers.

21 It's interesting when you look at the tech

1 assessment that was presented today, the cancers with
2 adequate numbers, the likelihood ratios were high and
3 were significant. On those that didn't have adequate
4 numbers, either you couldn't even develop likelihood
5 ratios, or they were not significant in numbers.

6 So the numbers game is very important here.
7 But if we're going to be able to cover cancers like
8 the ovarian cancer, some others that are less
9 prevalent, we are going to need larger -- we would
10 need larger numbers to meet the evidence based
11 criteria for coverage.

12 Thank you.

13 DR. SHIELDS: Hi. I'm Tony Shields. I'm a
14 medical oncologist at Wayne State University and
15 Karmanos Cancer Institute in Detroit. I do work with
16 NOPR and have some stock in RCOA. And I've been
17 working with them in designing and carrying out these
18 studies.

19 I think intended management, which is the
20 endpoint that we followed, is certainly a reasonable
21 one. It matches what's happened before in studies

1 that have actually looked at outcome and in the
2 randomized trials that have looked at what the change
3 in management was and the benefit to patients.

4 The issue is, could we do this differently?
5 And I think it's going to be hard. If you actually
6 went to CMS and they have all the data and looked up
7 what happened, how would that change the results?

8 If 100 percent of the patients got the
9 intended management, that doesn't prove that it
10 necessarily was the right intended management. If 80
11 percent got it, does that mean we were wrong?

12 I, really, as a clinician who takes care of
13 cancer patients, I'd be surprised if 80 percent of my
14 patients get the intended management. Something
15 happens. Their kidneys fail. They get an infection.
16 They decide they don't really want the surgery you're
17 recommending. So a lot of things can change between
18 the time you plan the treatment and they actually get
19 it.

20 So I think the best way to really conduct
21 these studies is the randomized clinical trials. And

1 the limited ones that we've been able to do as a
2 community have certainly matched what the intended
3 outcome is and what the planned outcome is.

4 But as you've heard from Dr. Siegel, to
5 actually conduct randomized clinical trials in a wide
6 variety of cancers, especially some that are quite
7 rare, I don't think would be possible. So I think the
8 outcomes that we've measured match all the data that's
9 been accumulated so far.

10 Thank you.

11 DR. TUNIS: Good morning. I'm Sean Tunis
12 from the Center for Medical Technology Policy. I
13 don't have any conflicts that I know of related to
14 PET. I guess I wish I did, but I don't.

15 And I was the Chief Medical Officer at CMS
16 when -- in the early days of NOPR. So I really came
17 up just to provide some historical perspective and
18 perhaps say some things that Dr. Phurrough couldn't
19 say and will probably be sorry that I said.

20 But this is really just to focus on one
21 point. And I'm not trying to express an opinion about

1 the quality of the evidence, which is the main
2 question before you.

3 One thing I did want to do is point out for
4 folks who probably aren't familiar with the sort of
5 inner workings of the NOPR, that this was an
6 extraordinary accomplishment. You know, sort of
7 comparable perhaps to the moon mission or something.
8 I mean, it's amazing that it ever happened.

9 And I think, you know, Barry Siegel and
10 Brian Carey (phonetic) and Steve Phurrough and Stu
11 Caplan and others I couldn't name put in an
12 unbelievable number of hours to make this thing occur
13 at all. So it's remarkable that it exists. And you
14 know, I just wanted to make sure people were aware of
15 that.

16 You know, the other comment in terms of some
17 of the questions related to, well, why isn't the NOPR
18 better or why isn't the evidence better, and I guess
19 the one observation I'll make about it is that, you
20 know, the design of the NOPR in my personal opinion
21 was a policy compromise to try to get as good evidence

1 as is possible within the constraints of wanting to
2 provide expanded coverage, a reasonable magnitude of
3 expanded coverage for PET scans, which was, I think,
4 rightly considered a promising, but unproven,
5 technology.

6 And everybody in this room who's involved
7 with the NOPR knows how to do rigorous studies of
8 diagnostic imaging. It's not mysterious. What's
9 difficult to do is rigorous studies of diagnostic
10 imaging while trying to provide broad access to the
11 technology for the entire Medicare population
12 nationwide.

13 And so in order to do that, to provide broad
14 access and to collect some reasonable information on
15 impact on patient management, hopefully that's
16 relevant to impact on outcomes, you know, a bunch of
17 smart people got together, and this is the best that
18 they could come up with, given those constraints.

19 To have higher quality data, you would need
20 -- you know, you would need some kind of control
21 groups, meaning fewer patients enrolled, meaning that

1 you'd need some kind of funding mechanism, as Barry
2 and others have said, for studies like this. And they
3 don't exist.

4 So, you know, the mystery insofar as I'm
5 concerned is simply that when you're trying to design
6 scientific studies in the heat of battle while you're
7 trying to make a coverage decision and provide access
8 to promising technologies, you can't do a lot better
9 than the NOPR.

10 And I guess the final thing I would say is,
11 you know, given that this is an advisory group that
12 wants to -- that, you know, has a role in terms of
13 Medicare's thinking on Coverage with Evidence
14 Development and evidence issues generally, the key
15 broader points, I think, that the NOPR experience
16 highlights is that, you know, number one, somebody's
17 going to have to define what is minimally acceptable
18 evidence standards for imaging. And in the absence of
19 having some definition for that, you're going to be
20 all over the place in terms of what kind of studies
21 you get. And I know Dr. Phurrough knows that well.

1 But in the absence of having some consensus
2 about minimally acceptable evidence and whether or --
3 you know, it's impossible to know whether the NOPR is
4 above that or below that, and how is anybody supposed
5 to know how to design one of these studies?

6 The second is, there's got to be some kind
7 of funding stream for studies of this type. I agree
8 that the FDG companies don't have the financial
9 incentive. PET companies don't. But if you want to
10 make evidence based policy like this, someone's going
11 to have to pay for the studies.

12 And the last point is, the discussion about
13 how to design these studies is never going to work
14 very well if it's done in the heat of an ongoing
15 coverage decision. It's going to have to take place
16 outside the context of trying to make a policy
17 decision for 45 million Americans about what will and
18 won't be provided.

19 DR. SATYA-MURTI: Thank you. We are about
20 two or three minutes ahead of time, or even four. If
21 there are no other comments. We'll adjourn for lunch.

1 And when we come back, we have sufficient
2 time for both intra-panel and panel, extra-panel
3 discussions. And I'd like to lead off with Dr. Janjan
4 and Dr. Wahl, who were inadvertently or necessarily
5 cut off this morning.

6 Thank you.

7 (Whereupon, a luncheon recess was taken.)

8 DR. SATYA-MURTI: I don't have the authority
9 to hit the gavel. Maria took it away from me. So if
10 we may get started. Again, as I said, CMS has given
11 us time for both questions to the presenters, and
12 subsequently within panel, kind of an intramural
13 discussion. So we have time for that, and then the
14 voting. And Maria has housekeeping announcements.

15 MS. ELLIS: Good afternoon and welcome back.
16 Just a quick reminder, if you are eating or drinking
17 in the auditorium, please, please take your trash
18 outside and discard it in the trash cans outside.

19 Also, for the panel members, you were asking
20 questions in regards to your travel voucher. What
21 will happen is, at the end of the meeting, you will

1 receive an email from me with your travel voucher.
2 Before you even get back to your home, you'll have it.
3 Okay?

4 DR. SATYA-MURTI: In opening up the
5 questions for the afternoon, there was a question
6 asked about left-out studies this morning. I think
7 Dr. Sloan was asking -- I forget who -- and Dr. Ospina
8 has the answers for those studies.

9 And then we'll open with that and then Dr.
10 Janjan and -- who else? Dr. Wahl. Yeah. And then
11 I'll restart the whole process after that. Thank you.

12 DR. GULENCHYN: I just wanted to answer the
13 question that was asked this morning about studies
14 that had been excluded, but were identified by Dr.
15 Mankoff in his presentation. The -- all of the
16 studies that were excluded were either because of the
17 tumor type not being one of the tumor types that was
18 under consideration or, in the case of the study by
19 Patronis on brain cancer, it was out of the time
20 period that was to be discussed. It was published in
21 1985 and, therefore, was prior to the time period.

1 And there is one study that we included, but
2 in fact, did not reference in our presentation. And
3 that's the study by Schwarz on cervical cancer. In
4 that study, we are going to have to review the paper
5 to determine whether or not we made an error in not
6 including it under the discussion.

7 DR. JUHN: Was it actually in your
8 technology review? I didn't see it in the back.

9 DR. GULENCHYN: It was actually in our
10 technology review. It was one of the included papers.
11 According to our records, it was included.

12 DR. JUHN: So it's not actually listed in
13 here.

14 DR. GULENCHYN: There's something wrong with
15 that particular paper. And we're going -- not wrong
16 with the paper -- I'm sorry -- our assessment of the
17 paper.

18 DR. JUHN: I looked at the abstract during
19 lunch. And it looked like it was a prospective
20 cohort. So it wasn't clear that there was a control
21 group or a comparison group.

1 DR. GULENCHYN: But we are going to have to
2 look at that paper. And there will be modification to
3 the final document.

4 DR. SLOAN: There are a couple other ones
5 that -- like on small cell by Brink and by Fischer.

6 DR. OSPINA: (Unintelligible.)

7 DR. SLOAN: I'm sorry. I couldn't hear her.

8 DR. GULENCHYN: All of the studies that were
9 presented were in the report. They are there.

10 DR. SATYA-MURTI: All right. Go ahead.
11 Questions from this morning, Dr. Janjan.

12 DR. JANJAN: Well, thank you. The question
13 I really -- the conundrum we're struggling with here
14 is, we've got a review that says one thing and other
15 presenters that have other conclusions with much of
16 the same data.

17 I guess the question I have for Dr.
18 Gulenchyn is, how do we reconcile differences in
19 conclusions even though some of the -- or much of the
20 same data has been evaluated by presenters and you?
21 Should you revise your conclusions, or should the

1 others be tempered?

2 UNKNOWN MALE VOICE: Who should revise their
3 conclusions?

4 DR. GULENCHYN: I think, first of all, there
5 have been two totally different approaches here taken.
6 Okay? The data that we presented is from a technology
7 assessment. A technology assessment has specific
8 rules surrounding it. And those rules were very
9 clearly identified by Maria when she did the first
10 part of the presentation.

11 I think that the data that is being
12 presented to you from NOPR -- largely from NOPR, but
13 also from selected elements of the data that we
14 presented, is simply being looked at in a very
15 different way.

16 And I think that what you're trying to
17 reconcile here is how do you evaluate these imaging
18 technologies. And I think that -- is it Dr. Tunis or
19 Mr. Tunis? Dr. Tunis's comments were very, very
20 pertinent to this early on. You've got -- you've got
21 technologies coming on board that are new, rapidly

1 evolving. This one, relatively expensive. And you
2 want to know what difference do they make in terms of
3 the patient.

4 There is no good way at the current time of
5 funding these studies. In my own jurisdiction, the
6 funding for doing very limited studies on five
7 different cancers has, in fact, come from the Ontario
8 government to do the studies that we're doing. The
9 funding would not have come from CIH or our equivalent
10 to the NIH.

11 And so it is a problem for you at CMS as to
12 how to do this evaluation most appropriately. I don't
13 think I can prescribe an answer to that, quite
14 honestly. It's one that is a matter of debate, I
15 think public debate, and a matter for public policy.
16 And that's certainly not something that an individual
17 can determine.

18 DR. JANJAN: I guess the question I had
19 really was, you came at it from the point of view of
20 what were the indications. And the other presenters
21 were more or less stating that in their experience,

1 they found great value and were working backwards,
2 where is it not indicated. And I don't know how we
3 mesh that.

4 And the reason I asked this question is
5 because we do have this disparate opinion. And for
6 the record, when we make our votes, we have to
7 understand where those two diametrically opposed
8 positions are and how do we mesh them together to make
9 a decision.

10 DR. GULENCHYN: I wouldn't characterize the
11 positions as being diametrically opposed. I think
12 what you heard from our presentation was a review of
13 the literature that is -- a critical review of the
14 literature that is currently available.

15 We were asked specifically to address
16 specific tumors. And, therefore, we did not do a
17 review of PET overall. We provided you with some
18 evidence for some of the tumors for approving PET's
19 use.

20 Is it from grade A studies? In large part,
21 no. But there is evidence certainly that it does make

1 a difference in terms of patient management and also
2 diagnostic thinking and determination of prognosis for
3 at least ovarian, cervical cancer, and pancreatic
4 cancer.

5 And to a lesser extent, if you look at the
6 small cell lung cancer literature on an
7 individual-by-individual study -- we couldn't pool the
8 data because of the way the data was constructed in
9 the various different trials. But there is also some
10 data there. As to whether or not you should more
11 broadly accept the indications, we did not
12 specifically study that question.

13 DR. JANJAN: Thank you. It helps from the
14 position of -- the clinical position. So, thank you.

15 DR. SATYA-MURTI: Dr. Wahl?

16 DR. MANKOFF: (Unintelligible.)

17 DR. JANJAN: Yes, sir?

18 DR. MANKOFF: I would like to agree with Dr.
19 Gulenchyn that I think these are two different
20 opinions of some of the similar data. I think the
21 question is very different.

1 And I might cite as an example your Society
2 of Nuclear Medicine study which I think is a
3 pioneering randomized controlled trial on an existing
4 coverage that very clearly shows a statistically
5 significant benefit in patient outcome and avoiding
6 futile surgeries.

7 But that study is actually not set up to
8 answer the diagnostic questions. Those PET patients
9 are not going to surgery. So we don't know the
10 sensitivity and specificity of that result.

11 DR. JANJAN: That's right.

12 DR. MANKOFF: And so I have to -- with due
13 respect to the Committee, I think some of the
14 questions, especially for some of the indications --
15 we mentioned it in brain cancer -- are perhaps pitched
16 towards technology assessment, but perhaps not pitched
17 towards the way we're most likely to use this in
18 clinical practice.

19 DR. JANJAN: Thank you.

20 DR. SATYA-MURTI: Dr. Wahl, it's your turn.

21 DR. WAHL: I yield this briefly.

1 DR. SATYA-MURTI: Go ahead.

2 UNKNOWN MALE VOICE: (Unintelligible.)

3 DR. WAHL: Okay. I guess I have sort of two
4 questions. Hopefully that's all right. In the
5 assessment that was done from the Canadian judges,
6 they look like the likelihood ratios, you know, were
7 all positive for disease being present and all
8 relatively low for disease absent. The statistical
9 significance was lowest in those studies that had the
10 smallest numbers of patients.

11 And I was just wondering if, given the
12 mandate to look at the data from 2002 and later, if
13 that's really an appropriate way to make a
14 recommendation on coverage because the Duke assessment
15 previously, I think, only looked at six tumors. It
16 didn't look at bladder, renal, or testicular. And the
17 first bladder and renal papers were written in 1991.
18 And there has been literature evolving in that period
19 of time between 1991 and 2001, you know, 10, 11 years,
20 where these less frequent cancers were evaluated.

21 And I do think, for instance, when we're

1 trying to make a decision on an infrequent cancer and
2 there hasn't been a previous tech assessment on them,
3 the process of not including the previous data I think
4 is a potential systematic flaw and could lead to
5 underestimates of the value of test just because of
6 having low patient numbers.

7 Similarly, having done a trial on testicular
8 cancer which was tissue confirmed and, you know,
9 biopsy confirmed NIH funded prospective, published
10 before 2002, it takes a long time to do that study in
11 a single center. And in our center, we had excellent
12 separation between patients with disease and not based
13 on PET. But the numbers are small.

14 So I think it would be -- could you just
15 maybe comment about excluding 11 years of data in
16 those less frequent tumors?

17 DR. OSPINA: Well, first of all, I would
18 like to clarify that the magnitude of the likelihood
19 ratio has nothing to do with the sample size. The
20 impact --

21 DR. WAHL: The significance does.

1 DR. OSPINA: Sorry?

2 DR. WAHL: The significance does.

3 DR. OSPINA: How big the confidence interval
4 it is, yes. It might give a wide variation. But the
5 value of the estimate you don't expect to be lower or
6 smaller just because of the sample size.

7 Of course, if you have a small -- let's say
8 a likelihood ratio of 45, right, and you have a
9 confidence interval that goes from 0 to 1,000, what
10 it's telling you is that that estimate is based on a
11 very small sample size and that you are saying that
12 the result, yes, is not significant and that might be
13 a false negative result.

14 So, I mean, you are -- it would be occurring
15 because of the low sample size on a type two error,
16 meaning if you increase the sample size, the result
17 might become significant. But it's -- the magnitude
18 of the estimate itself, the point estimate, has
19 nothing to do with that.

20 But I agree with you. It's the width of the
21 confidence interval that might be affected by that.

1 When we received the request for the
2 technology assessment report, it was based on an
3 update of the Duke report covering the six types of
4 cancer and adding three more conditions. So I would
5 agree with you that in making a decision, you need to
6 take in mind -- take a look at what the Duke report
7 said.

8 In general, the conclusions, our conclusions
9 are not contradictory between the Duke report and our
10 report. Also, the gaps in research I think,
11 unfortunately, they remain in terms of the quality of
12 the studies. Although we've identified a little bit
13 of improvement for the last couple of years.

14 But I would say that I would agree. You
15 need to keep in mind both pieces of information for
16 making these decisions.

17 DR. WAHL: My second question was for Dr.
18 Hillner. And he referred to some data looking at
19 change in management in these less frequent cancers,
20 the ones other than the nine that we're asked to
21 address later in the panel.

1 And I thought it would be useful at some
2 point if he could just briefly show us what the -- or
3 tell us what the frequency of change in management was
4 in those less frequent cancers. And also if he could
5 comment in any way on the changes in treatment
6 planning, if any of those data are available.

7 DR. SATYA-MURTI: Now is as good time, if
8 you want to comment on that.

9 DR. HILLNER: There was approximately 4500
10 cancers that -- excuse me. Our two year data, which
11 is approximately 50,000 registrants, approximately 10
12 percent of that universe was outside 18 well-defined
13 cancers. So they're a real hodgepodge of small little
14 stuff. That 4500 cases has a change in intended
15 management of between 35 and 40 percent.

16 DR. SLOAN: If I may, can I ask a related
17 question?

18 DR. SATYA-MURTI: Yes.

19 DR. SLOAN: So you mentioned in your
20 presentation that you -- you didn't present the data
21 broken down by cancer type.

1 DR. HILLNER: Correct.

2 DR. SLOAN: It's probably -- I suspect it
3 may be premature to do so. But can you generalize
4 from cancer -- I mean, in other words, can you say of
5 the nine cancer types we're looking at today, for
6 example, can you say that the conclusions hold for --
7 the conclusions that you did present hold to some
8 degree or more with all of them? Or do you have big
9 changes in certain types of cancer and not so much in
10 others?

11 DR. HILLNER: Chair, I have the options of
12 either giving you a handout for everyone and then it
13 can circulate back here, or I can show. I have two
14 slides related to those nine specific cancers, if
15 you'd like me to show additional slides.

16 DR. SATYA-MURTI: Maybe the slides after we
17 are through with the initial round of questions, the
18 pent-up questions.

19 DR. HILLNER: Well, why don't I at least
20 give this so there's hard copy to the panel.

21 DR. PHURROUGH: Who has the slides?

1 DR. HILLNER: I have them on a CD or Barry

2 --

3 DR. PHURROUGH: Is our slide person still
4 back here? We'll see if we can get them on the screen
5 while you're talking.

6 DR. SATYA-MURTI: All right. Yes. While
7 we're waiting. Dr. Juhn?

8 DR. JUHN: I have a question for Dr. Mankoff
9 and also maybe any of the other society presenters.
10 I'd like your thoughts on two things. One is the
11 technology assessment and what your kind of general
12 reactions are to the Canadian judges.

13 And then the second is, if the grading of
14 those studies -- again, I think we've had 8 A's out of
15 112 studies. It kind of reminds me of my organic
16 chemistry course. So the question really is, why are
17 we having such a deficit of good studies in this area
18 given that the attention that's been paid by CMS to
19 this whole PET scanning area has really been quite
20 active over the last 8 or 9 years?

21 DR. MANKOFF: So I want to answer the first

1 part carefully because I respect my Canadian judge
2 colleagues. And they're actually in both cases, the
3 nuclear medicine physicians are physicians I know well
4 and respect within the community.

5 I would come back to the fact that I think
6 the questions are pitched differently. I think that
7 the technology assessment where the individual
8 questions are pitched the way you do a diagnostic or
9 maybe a biomarker technology assessment. And they
10 work very well in some instances, and they don't work
11 well in other instances. And so I think some of it is
12 the question that they were -- that they were forced
13 to answer.

14 I want to come back to the point on your
15 second question that Dr. Siegel made before. And that
16 is, for those that are used to drug therapy trials
17 where you make very nice prospective designs and maybe
18 even those that are used to assessing technology when
19 you're looking at a blood or tissue biomarker, this is
20 a much more challenging and much more expensive
21 approach to study designs.

1 And let me liken it a little bit to a
2 diagnostic biomarker. If you're doing a biomarker
3 study, you might do it in the setting of a clinical
4 trial. You might collect tissue or blood, and then
5 you might send that off to a central lab. And perhaps
6 for as little as \$10, \$15 dollars a study, you can
7 retrospectively go back and test the value of that
8 study. And then you can go back and prospectively set
9 that up with your next therapy trial.

10 Easy to do. The data is already collected.
11 You're not relying on a prospective study to collect
12 those data and validate it. And you can get very
13 large numbers to assess these questions with good
14 precision.

15 With an imaging study you can't do that.
16 You have to collect every single study prospectively.
17 You've got to pay for the study at the time you're
18 putting the patient through treatment. And those are
19 particularly pricey studies.

20 So I don't want to be too broad and sweeping
21 in this answer. But I think if we look at the history

1 of diagnostic imaging tests, in certain ways PET has
2 been put through a rigor that many of tests have not
3 lived up to. We certainly haven't seen this kind of
4 level of testing for something that we use every day
5 in our practice like CT.

6 DR. JUHN: That might be next. Is that
7 right?

8 DR. MANKOFF: Yeah. And maybe I'm getting
9 my body imaging colleagues in trouble by saying that.
10 And I do think that, again, citing the Canadian
11 colleagues, that when given the chance to do that,
12 after we have information from these grade B, C, and D
13 studies, we're able to put together randomized
14 controlled trials with proper funding that are
15 actually, I think, pretty good trials.

16 DR. JUHN: So if I could just summarize. I
17 think --

18 DR. MANKOFF: I'm sorry. I've droned on.

19 DR. JUHN: The second point is really that
20 the degree of difficulty is higher.

21 DR. MANKOFF: Right.

1 DR. JUHN: If I could ask our Canadian
2 colleagues here, is that, in fact, true, that the
3 degree of difficulty for PET as an imaging modality is
4 greater than other imaging modalities? I mean, is
5 there a fundamental difference there?

6 DR. GULENCHYN: Well, okay. I'm answering
7 from my own experience. I'm afraid I'm going to have
8 to answer from the experience in Canada and not in the
9 States. And I know that there are some differences.
10 So I will stand to be corrected on anything that I say
11 by some of my American friends here because I may be
12 out of line on some of this.

13 It is a difficult technology in that it
14 involves two components. It involves a drug, which in
15 Canada there is a regulatory framework around. And
16 you also have a regulatory framework around it.

17 The regulatory framework in Canada has, in
18 fact, been applied more stringently than the
19 regulatory framework has been applied in the U.S. And
20 that regulatory framework in and of itself creates
21 difficulties in the introduction of this technology.

1 And any difficulty that you encounter usually
2 translates to dollars.

3 And so in my own particular case, we now
4 have a notice of compliance for FDG for our product,
5 which cost us something in the neighborhood of \$1.3 to
6 \$1.5 million dollars. And I'm told that compared to
7 Pfizer, I did it on a shoestring. So, you know, but
8 it's expensive, and it's an obstacle.

9 Then on top of that, you have the cost of
10 doing the installation of the imaging equipment. And
11 in fact, that's the lesser cost. You have the cost
12 associated with training an entire cohort to learn how
13 to read and interpret these scans.

14 And then if you want to do it within the
15 construct of a formal trial, you have the costs of
16 designing and mounting that trial, which are not
17 unsubstantial. I actually don't have the costs of the
18 Ontario trial, but it's costly because it's taken us a
19 lot of time to do it, and then the costs of the data
20 collection and the costs of the data analysis.

21 And there is no -- there is no good -- there

1 was certainly in our case no good framework to have
2 all of that in place. And if you're also doing this
3 multi-centered, which you have to do, there's the cost
4 of communication and all the rest of it.

5 So, yeah. It's costly, and there are
6 obstacles to designing good trials. Now, mind you,
7 we've overcome a lot of those in the last little
8 while. And as Dr. Mankoff is pointing out, you're now
9 beginning to see data emerging that are supporting
10 decisions that were previously made by CMS.

11 So it is possible to overcome. But it is
12 not necessarily easy to overcome. And this particular
13 technology has faced challenges I think that were not
14 faced by earlier technologies such as CT and MR.

15 DR. SIEGEL: Thank you. So a partial
16 additional answer. I repeat what I said before,
17 funding has been a major obstacle to doing --

18 DR. SATYA-MURTI: Can you identify yourself?

19 DR. SIEGEL: What? I'm sorry. Barry Siegel
20 again. Sorry. My apologies. So funding has been a
21 major obstacle to mounting the large really robust

1 category A clinical trials that need to be done.

2 But I would challenge one thing that you
3 said. And that is, if you look back at the meta-
4 analyses that have been done of CT and MRI, that
5 literature has been just as badly dinged as has the
6 PET literature and, if anything, in some ways, the PET
7 literature is a little bit better because it's learned
8 from the CT and the MRI literature, except that the
9 studies tend to be smaller.

10 And so I don't think that the comparison is
11 fair. And I think if we took CT, and we did CT for
12 bladder cancer, or we did CT for Merckel cell tumor,
13 we would find that the studies, if they came out B's
14 and C, we'd be lucky. But CT wasn't put through that
15 particular wringer. As I've said many times, PET is
16 the whipping boy of high technology medicine.

17 DR. PHURROUGH: And you've managed it well.

18 DR. SIEGEL: Thank you. And we're seeing it
19 here.

20 DR. SATYA-MURTI: This is not uncommon. Any
21 new technology is held to a higher rigor than existing

1 technologies. I know several in neurology that won't
2 pass muster.

3 I have a quick question, and then Dr.
4 Phurrough has one. And then we'll start the process
5 again.

6 Is there a way to quantitate the uptake, or
7 is it subjective? Because this morning we were
8 talking about some of that. Is PET uptake
9 quantifiable in any validated manner? And if not, is
10 there a subjectivity? I know some picture clearly
11 show where it is located along with the CT.

12 DR. SHIELDS: I'll answer that one as the
13 non-radiologist. This is Tony Shields, by the way.

14 We certainly do quantitate the uptake. We
15 quantitate it a number of ways. For standard
16 clinical, it's standardized uptake value which has
17 been validated. There have actually been panels
18 convened by the NCI and in Europe to look at
19 reproducibility issues, to look at standardization
20 issues, and look at measurements.

21 There are now studies ongoing in the United

1 States, which a number of us are part of, looking at
2 reproducibility in hundreds of patients and looking at
3 the use of the measurements to measure response to
4 treatment.

5 For the most part, people have looked also
6 at whether those measurements are helpful in the
7 diagnosis of cancer, particularly in small pulmonary
8 nodules. And those are somewhat helpful. But just
9 looking above, background, in general, has been
10 probably the best use.

11 But people -- and when we looked at our
12 surveys at NOPR, most of the surveys -- most of the
13 reports that we generate from the local community do
14 report the standardized uptake values.

15 DR. SATYA-MURTI: So if quantitation as a
16 confounder is not there, can a prognosis or management
17 depend on have you further looked into the degree of
18 uptake versus the prognosis?

19 DR. SHIELDS: Quantitation is there. It is
20 used widely. It is reported. It has been -- as I
21 said, there are methods that have worked to

1 standardize it. Those haven't been incorporated into
2 every practice yet.

3 But to some extent, the fact that you can
4 see the lesions, particularly clinically, is helpful
5 above background. And people have looked at the
6 intensity to provide some measure of activity and some
7 measure of diagnosis and some measure of prognosis.
8 So it's used for all of those.

9 DR. SATYA-MURTI: Okay. Thank you. Steve?

10 DR. PHURROUGH: Bruce, did you want to show
11 your two slides right quick? And then I'll make my
12 comments.

13 DR. HILLNER: There's four slides. Two I am
14 first going to show, and then I'll pause, I think,
15 related to the change in intended management of
16 diagnosis, staging, restaging, and suspected
17 recurrence. And then I'd like to be able to get your
18 reaction, answer your questions.

19 I have two subsequent slides that deal with
20 the treatment monitoring which have a different --
21 it's a variant because it uses different indicators

1 for change in management.

2 So I'm only going to go through the first
3 two slides, which relate to what I presented this
4 morning. Essentially taking everything I presented
5 this morning and taking the data for the nine cancers
6 that we -- the panel was asked to consider.

7 So to review the layout here, the individual
8 cancers are at the far left. The indications are
9 shown across the top. In the parentheses is the
10 number of patients in the cell. And then the change
11 in intended management is the primary result.

12 The dashes are, for example, diagnosis in
13 cervical and the two in brain cancer, we did not
14 prepare results when cells were below 50.

15 So in -- and I think I would urge you to
16 separate out the diagnosis category compared to the
17 other three, staging, restating, suspected recurrence.
18 The message is pretty, I think, powerfully consistent
19 here, that 32 percent at the low range up to 41
20 percent.

21 For ovarian, pancreas, prostate, small cell,

1 again, those cells, 32 to 44 percent, with thousands
2 of cases in the suspected recurrence range.
3 Testicular cancer, there was 176 across all the board.

4 To go back, related to diagnosis, because of
5 the appropriate strict language currently that PET
6 should be used only in cases where there's problems
7 with getting classic anatomic characterization,
8 there's both fewer cases and the relative impact is
9 lower. As you see, 32 percent in brain, 25 in kidney,
10 20 to 35 percent in these remaining four cancers.
11 There was again too few cases in testicular cancer.

12 I'm happy to answer any questions. Yes,
13 Craig?

14 DR. HENDERSON: So the numbers in
15 parentheses, for example, if you add up those numbers,
16 that exceeds the total number of scans done for ovary,
17 if I have added them right. I may not have, but it
18 looks like it's about 4,600 --

19 DR. HILLNER: There's about 47- -- these are
20 two years --

21 DR. HENDERSON: Oh, these are the two year

1 data. Okay.

2 DR. HILLNER: These are our two data, not
3 one year data. These are two year data. So there was
4 approximately 4700 cases of ovarian cancer in two
5 years. And the vast majority of the scans within the
6 Registry were done for suspected recurrence, slightly
7 less for restaging. And as we know, that sometimes
8 it's -- clinicians are not clear-cut about which of
9 those two are.

10 So that's 4- to 5,000 cases in ovarian
11 cancer. The vast majority for restaging, suspected
12 recurrence. Approximately 40 percent change in
13 management.

14 DR. HENDERSON: Okay. Can you give me an
15 example of -- you're saying that a third of the -- I
16 guess it's not a third of all the studies. But of all
17 the studies where there was a diagnostic question, 306
18 scans, 35 percent had a change in the diagnosis as a
19 result or the management related to the diagnosis, as
20 a result of that scan?

21 DR. HILLNER: Correct.

1 DR. HENDERSON: And what kind of changes?
2 Can you just give me some sense of what that means?
3 Talk to me like a doc, you know, not like a
4 statistician for a second.

5 DR. HILLNER: Well, it may be that instead
6 of doing a biopsy, they are prepared to do an open
7 laparotomy, you know, in the case of ovarian cancer.
8 In pancreas cancer, the classic would be the decision
9 for --

10 DR. HENDERSON: Wouldn't that be a staging?
11 I mean, that seems to be more like a staging issue
12 than a diagnosis issue.

13 DR. HILLNER: No. For ovarian and pancreas,
14 I think are good examples of where you're getting dual
15 information because if you do not have a histologic
16 diagnosis of pancreatic cancer, but you have a high
17 suspicion of it based on whatever clinical
18 constellation and/or tumor marker, et cetera, that the
19 PET scan not only if, for example, in the scenario I'm
20 describing shows active mid-peritoneum activity, but
21 also shows hot spots in the liver, that it is both

1 staging and diagnostic, suggesting metastatic disease
2 to the liver.

3 But the indication is formally in our
4 Registry would be diagnosis because there was not
5 histologic confirmation of the diagnosis.

6 DR. HENDERSON: Okay. That's very helpful.
7 So diagnosis means that the scan was always done
8 before histologic confirmation?

9 DR. HILLNER: Correct.

10 DR. HENDERSON: And staging would be then
11 after. Restaging then is after they've had at least
12 initial treatment. Okay. So I understand.

13 DR. SATYA-MURTI: So that is one of the
14 obfuscations I too had in my mind. When you say
15 diagnosis, did that become a granuloma instead of a
16 malignancy or some other indolent lesion that looked
17 like a malignancy on the conventional imaging
18 modalities? And, if so, that would be diagnosis for
19 me. And did you look into that?

20 DR. HILLNER: We absolutely did. That it's
21 of suspected cancer. Diagnosis with a category of

1 what was under consideration. That does not mean that
2 the category of diagnosis means all those individuals
3 wound up having cancer.

4 It was suspected ovarian cancer was the
5 reason for getting the scan. There were other
6 clinical indications that made that -- supported that
7 concern. And the clinical constellation was such that
8 felt that the PET scan could help clarify the
9 situation short of getting a definitive tissue
10 diagnosis.

11 DR. SATYA-MURTI: And how many might that
12 be? Say for instance, in pancreas, I understand
13 chronic pancreatitis could simulate a malignancy. So
14 how many were there where entire diagnosis of
15 malignancy was removed from the running?

16 DR. HILLNER: Could you help me again?
17 Possibly restate the question?

18 DR. SATYA-MURTI: Were there instances where
19 the entire diagnosis was revised to something other
20 than malignancy? You may not have a number offhand.
21 But I wonder how many were, say, retrieved from a

1 diagnosis of malignancy altogether and did not undergo
2 even a biopsy?

3 DR. HILLNER: Barry, would you care to
4 comment, please?

5 DR. SIEGEL: Dr. Siegel again. So the truth
6 is, at the moment we can't answer that explicit
7 question. But there are lots of patients where, for
8 example, the indication for doing the test was a
9 pancreatic mass discovered on CT. The PET scan was
10 done to try to determine whether the -- and the pre-
11 PET plan was biopsy.

12 PET scan was performed. PET was completely
13 negative. The assumption was this is chronic mass-
14 forming pancreatitis, a true negative in this
15 particular case. And the plan now was to watch the
16 patient. That's the kind of change in management that
17 we're seeing in these individuals.

18 We're actually now preparing another paper
19 which is going to specifically drill down and look at
20 the diagnosis category, the suspected lesion, the
21 paraneoplastic syndrome, and the patients who present

1 with an unknown primary cancer, and how well PET
2 teases out that information.

3 Right now, they're all lumped together. All
4 three of those diagnostic categories are lumped
5 together in the data we've given you up to this point.

6 DR. HENDERSON: I had some more questions.

7 DR. SATYA-MURTI: Yes. One more.

8 DR. HENDERSON: I have a couple. Can I ask
9 a couple? Only one?

10 DR. SATYA-MURTI: No.

11 DR. HENDERSON: So on the NOPR dataset, what
12 percentage -- maybe you gave it and I missed it -- but
13 what percentage of patients had either a CT or an MRI
14 before they had their PET scan or PET/CT?

15 DR. HILLNER: No idea because the decision
16 was made that that was too high a burden. I -- so
17 nationally, I have no idea. I wish to tell you --
18 what I'm about to tell you is my study from four years
19 ago, five years ago.

20 In the first year of PET being approved at
21 this prior meeting, we audited intended management

1 pre, post, same design. In my study at one
2 institution, the first 250 PET scans that were done
3 and 76 percent of patients had prior CT or MR or both.

4 But I have -- we have no idea what the
5 national trends are.

6 DR. HENDERSON: Was there a difference in
7 the frequency in which management was changed in the
8 group that did and did not have prior --

9 DR. HILLNER: No.

10 DR. HENDERSON: There was no difference?

11 DR. HILLNER: No.

12 DR. HENDERSON: So I'd like to move on to a
13 second -- you know, what we do with what you've just
14 told us. You've seen the forms that we've been asked
15 to fill out with this table.

16 DR. HILLNER: Yes, sir.

17 DR. HENDERSON: Okay. So what you've
18 presented here is very nice in some ways. So our
19 first question here says, "How confident are you that
20 evidence is adequate to conclude that PET imaging
21 improves physician decision making in each of these

1 categories?"

2 We also heard this morning that when NOPR
3 was set up, that a 15 percent change in intended
4 management was -- that anything above that was
5 considered an indication for PET scan. Was that
6 written into the study, and do you agree with that
7 statement that was made earlier today?

8 DR. HILLNER: In our protocol, we wrote and
9 actively debated the statistical section related to
10 what would be potentially clinically important changes
11 in intended management and how many cases would have
12 to -- data would have to be collected to be able to make
13 an inference therein.

14 15 percent was the most conservative
15 estimate for some members of the working group.
16 Others felt that it should be higher than that, 25
17 percent, et cetera. The point of that was to make
18 sure that the Registry, for example, one year out, did
19 we have enough individuals in a cell up here to be
20 able to have confidence around the change of intended
21 management?

1 DR. HENDERSON: Is it reasonable to conclude
2 from what you just said that for each of these cells
3 in which you have a number, that the lower bound of
4 that number is above 15 percent?

5 DR. HILLNER: For 18 different cancers --

6 DR. HENDERSON: Well, we're interested in
7 these nine. We don't have to worry about these nine.

8 DR. HILLNER: We have results for 18
9 different cancers. And we have for, I believe it's 68
10 of the 72 potential cells, 200 or more cases. And for
11 all of those, they are above 15 percent.

12 DR. HENDERSON: Okay. So then that leaves
13 the final question. Well, I have two more questions,
14 but on the direction I'm taking right now.

15 So now we come back to this. So this means
16 then that for each one of these squares, if you have a
17 number up here, we could reasonably put five down
18 here.

19 DR. HILLNER: You could put five with the
20 exception of testicular cancer because testicular
21 cancer --

1 DR. HENDERSON: That's what I said. You
2 don't have any numbers.

3 DR. HILLNER: -- is so relatively infrequent
4 in the age of Medicare coverage. And that's not a --
5 that's reality. That's the combination of age and
6 epidemiology --

7 DR. HENDERSON: What do you mean by --

8 DR. HILLNER: -- that the role of PET in
9 staging and restaging in disease confined to the
10 brain, it's appropriately being under utilized. So we
11 have no way of making a position statement.

12 DR. HENDERSON: So how do we deal with, for
13 example, on brain, you have -- restaging, you have
14 blank and suspected recurrence, you have 41 percent.
15 Now, we don't have those two separate categories. We
16 have restaging. And pretty much the way it's
17 presented, restaging and suspected recurrence seem to
18 go together. They seem to be the same thing by and
19 large.

20 DR. HILLNER: I believe that I would defer
21 to others about the language related to -- is it an

1 artificial splitting of distinguishing restaging from
2 suspected recurrence. My understanding is is that the
3 language -- the regulatory language should consider
4 those two entities combined to be restaging.

5 DR. HENDERSON: Okay. So we can come back
6 to that later then. And my last question then has to
7 do with this, everything you've presented seems to
8 apply what we have as question number one, which is
9 really improving physician decision making.

10 Question number two has to do with patient
11 oriented clinical outcome. Do any of these data apply
12 to that and, if not, do you have any data that applies
13 that helps us determine whether there is an effect on
14 clinical -- patient oriented clinical outcomes?

15 DR. HILLNER: No.

16 DR. SATYA-MURTI: Dr. Lichtenfeld, and then
17 Dr. Phurrough wants to make a comment. You go ahead.
18 You've been waiting.

19 DR. LICHTENFELD: Is this working? Yeah.
20 Okay. It's Lichtenfeld, just for the record.

21 Anyway, a couple questions and somewhat to

1 follow up and to help us understand. If you go back
2 to the previous slide, what is the sensitivity and
3 specificity of PET scan in the diagnosis of ovarian
4 cancer and prostate cancer? Do you know? Nobody's
5 addressed the primary -- number to my knowledge here.

6 DR. HILLNER: I defer to a comment from Dr.
7 Siegel or Dr. Coleman. I don't feel that I can --

8 DR. LICHTENFELD: So we don't know based on
9 this data how many times a doctor changed their
10 treatment and really made the right decision 'cause we
11 don't have specificity and sensitivity data. Do we,
12 or do we?

13 UNKNOWN MALE VOICE: On the evidence review
14 we do.

15 DR. LICHTENFELD: No. No. I know the
16 evidence review shows wide ranges, and they use the
17 word diagnosis. What I want to know is, if a woman
18 has an ovarian mass -- I just simply want to know the
19 answer to the question, if a woman has an ovarian mass
20 and has a PET scan prior to the time of surgery, how
21 many times has that PET scan if it's negative,

1 correctly negative, and how many times correctly
2 positive for both prostate and for ovarian as examples
3 of the entire survey here?

4 Does anybody know?

5 DR. WAHL: Dr. Mankoff did present a slide
6 on that in his talk from one paper, the Risum paper,
7 which in 97 patients, 57 with cancer. This is in Dr.
8 Mankoff's presentation. It did address that. This
9 stated a sensitivity of 100, specificity of 93 percent
10 with a 55 percent prevalence of metastases. So that's
11 one study that was mentioned today.

12 I would just have to say that the data on
13 sensitivity and specificity also the Antioch (phonetic)
14 data was presented, which looked at a broad range of
15 tumors which, of course, wasn't included because it
16 covered multiple cancers, showing PET/CT to be quite
17 consistently better than CT across a range of cancers
18 in the probably 20 percent more accurate range.

19 So in general, my read is -- and I think the
20 read of many others -- is that PET/CT performs with an
21 ROC curve that is better, receiver operating

1 characteristic curve, than CT alone in many cancers.

2 I mean, there are exceptions.

3 But -- and the data from the Canadian group
4 also placed the -- did some ROC analyses that showed
5 PET pretty far up in the upper left corner, which is
6 indicating pretty good sensitivity and specificity.
7 And one problem with sensitivity and specificity, as
8 they pointed out, is if you dichotomize data, you may
9 lose data on continuous variables when there are
10 grades of intensity. So it's a little fraught with
11 difficulty.

12 So the ROC data speak to it being, in my
13 estimation, really a very accurate diagnostic method
14 across the board compared to more conventional
15 anatomic methods.

16 DR. LICHTENFELD: Then follow on. The
17 second part of that question was we talked about
18 ovarian. And obviously, you're giving a fairly high
19 number for sensitivity and specificity. What about
20 for prostate?

21 DR. WAHL: The Canadian I know just one

1 paper they quoted was out of Schoder (phonetic) that
2 showed a 35 percent sensitivity which was much lower.
3 And Dr. Coleman maybe has a comment.

4 DR. LICHTENFELD: And I guess -- oh, I'm
5 sorry.

6 DR. COLEMAN: There's been a few studies
7 looking at the sensitivity and specificity. It
8 depends on what stage of the disease you're talking
9 about.

10 DR. LICHTENFELD: I'm talking about primary
11 prostate. Let me clarify. That's a good point. I'm
12 not looking for metastatic disease. I'm talking about
13 the primary diagnosis of a patient with prostate
14 cancer. Two hundred and some thousand men a year.
15 You know, now we're going to do PET scans on them.
16 Okay?

17 DR. COLEMAN: I don't know of a study that
18 spoke --

19 DR. LICHTENFELD: 'Cause if I may, just so
20 you know where I'm coming from. That study -- and
21 they didn't put the slide back up. But that study

1 said that 30 some percent of the men had their
2 treatment changed. Now, we don't know why. We don't
3 know if they avoided surgery because they, quote,
4 "didn't have prostate cancer," if they got the surgery
5 because they may have had metastatic disease. We
6 don't know the answer.

7 So I'm just curious because it's a big
8 universe out there.

9 DR. COLEMAN: Yeah. I don't know of any
10 study that's looked at the sensitivity and specificity
11 of FDG-PET imaging in the diagnosis of prostate
12 cancer. So I'm not aware of any data for that.

13 DR. LICHTENFELD: And I think that that -- I
14 appreciate your honesty.

15 DR. SIEGEL: Well, I think an important
16 point to your data, there are 300- --

17 DR. LICHTENFELD: 321 scans.

18 DR. SIEGEL: 321 patients for prostate
19 cancer. So let's look at that compared to the
20 incidence of prostate cancer in the United States.

21 DR. LICHTENFELD: Over 200,000.

1 DR. SIEGEL: There is highly selective use
2 of PET for the diagnosis of prostate cancer for
3 whatever reason that those studies were ordered. We
4 can't tease that out right now. But we know it's not
5 being used for all of the 60,000 patients who were
6 diagnosed with prostate cancer.

7 Now, it kind of -- and that leads to one of
8 the fundamental issues where -- which is, we know that
9 PET is not perfect for every cancer. We know that
10 it's really good for some. It's pretty darn good for
11 others. And there's a few where it sort of falls
12 down.

13 The question is, though, should the cancers
14 where it falls down be simply excluded from coverage,
15 or should cancer be covered, and by proper
16 professional guidance, by NCCN guidelines on down the
17 line and education, the word gets out that the use of
18 PET for the diagnosis of prostate cancer is something
19 you only do under these unusual circumstances.

20 You know, we don't have coverage, non-
21 coverage decisions for CT for the diagnosis of certain

1 cancers. You're trying to look for microscopic
2 disease and you think that's what's going on. CT is
3 not a good way to find the cancer you're looking for
4 as well.

5 So I think -- what's always troubled me
6 about this approach is that PET is being denied kind
7 of this level playing field as use as a cancer imaging
8 tool. Is there the potential for abuse? You bet.
9 It's CMS's job to avoid that abuse. Is there
10 potential for misunderstanding and misuse? You bet.
11 It's the professional society's job and the practice
12 to make it clear to people that this is how this stuff
13 is used.

14 There's all kinds of tests out there. We
15 don't use them in every patient because we know some
16 things work for some things and other things work for
17 other things. And we don't apply them across the
18 board.

19 DR. LICHTENFELD: If I may, I'm not going to
20 get to my second part of the question, which you've
21 already responded to in a sense because I feel like

1 I'm heading into a final examination, and I'm trying
2 to figure out what the answers are. And I can't get
3 to the point I need to get to. And the fundamental
4 issue -- you know, I understand what you're saying.
5 But when you're giving that little bit of a
6 discussion, you're probably talking to the folks over
7 here from CMS because they're going to make the
8 ultimate decision in terms of coverage of all cancer
9 versus no cancer.

10 We're struggling with the question of we
11 have a lot of little cells up there. And those little
12 cells have very specific requests for us to rate the
13 evidence and the quality of the evidence and the
14 indication based on our best assessment of what we've
15 heard today.

16 And so the conundrum becomes very simply --
17 and you've articulated it very well. We have a
18 technological assessment that may focus on a
19 particular number of years, may have eliminated other
20 evidence that could have been of help to us in
21 reaching our decision. We don't know that up or down.

1 But we have that information which, for prostate
2 cancer, for example, says fundamentally we don't know.
3 We can't answer any of these questions.

4 And then we come up, and we have another
5 study up here from NOPR. And that study says in 30
6 some percent or 38 percent of the men who have primary
7 prostate cancer -- I assume primary for diagnostic
8 purposes. Maybe not. Maybe I'm wrong. But, you
9 know, in that, 28 percent of the men had their
10 diagnosis changed.

11 So, you know, there's -- and at the same
12 time in the back of my mind is the same concern you
13 just articulated, which is, having been around this --
14 pardon me for saying this. But from having been
15 around medicine for many years and involved in
16 regulatory and payment issues for a long time, I
17 appreciate your honesty and I appreciate, you know,
18 the Society is very, very supportive of that.

19 But I also deal in the real world because I
20 see the other impacts. So that's sort of sitting out
21 there as well. And we're not here to answer that.

1 But I don't know how we do answer what we have to
2 answer.

3 DR. JANJAN: I'm sorry. The question then
4 becomes, who and when are those studies going to be
5 conducted to step back and say, we should not do it in
6 this situation? Because the problem is, once it's
7 approved and everybody's using it, do we critically
8 later then do an analysis, and how long is that going
9 to take, and how many patients are going to get
10 scanned?

11 For example, in stage one breast cancer,
12 it's not -- the consensus is it's not indicated. So
13 how do we get to that point?

14 DR. COLEMAN: Coleman from Duke. I think
15 it's a lot like what happened with the covered
16 cancers. There were subsequent studies shown where
17 its useful and not useful, for example, in the breast
18 cancer. The early breast cancer, it's not useful.

19 We didn't know that at the time breast
20 cancer coverage was approved. But subsequent data and
21 guidelines were developed as the technology was out

1 there and maturing. So I think the same thing will
2 happen with this.

3 DR. JANJAN: But those studies have to be
4 done is what I'm trying to say.

5 DR. COLEMAN: Yes. They will be.

6 DR. SIEGEL: And just to follow that, I
7 think -- I keep coming back to Dr. Phurrough's own
8 words -- not his words, but the words in the guidance,
9 which is that PET is rarely used for the diagnosis of
10 cancer. That the vast majority of time, the way you
11 make the diagnosis of cancer is you see a mass, you
12 get a biopsy.

13 There are certain unusual circumstances
14 where PET is helpful. And one would hope that
15 physicians are generally responsible and trying to do
16 what's best for their patient most of the time. You
17 know, you have a patient who shows up with a limbic
18 encephalitis, and you can't find the primary tumor, a
19 PET scan that finds a small small lung cancer can make
20 all the difference in how you approach that patient.

21 DR. SATYA-MURTI: Ms. Tenenbaum, go ahead.

1 And then I know Dr. Phurrough is waiting. We'll
2 resume where we left off.

3 MS. TENENBAUM: Cara Tenenbaum, Ovarian
4 Cancer National Alliance. I just wanted to directly
5 address your question. And I do sympathize with your
6 concern over over utilization. But I just called my
7 office, and really quickly so you know, the best study
8 that we have right now is that the sensitivity and
9 specificity for PET scans for ovarian cancer is 92 to
10 93 percent, and for CT scans just as a contrast,
11 sensitivity is 44 percent and specificity is 71
12 percent. So it's quite an increase.

13 DR. LICHTENFELD: Thank you.

14 DR. PHURROUGH: A comment and then a
15 question. We make national coverage determinations to
16 decide what we're going to pay for. We did not ask
17 Alberta and we're not asking this panel to tell us
18 what we should and shouldn't pay for.

19 The goal for Alberta was to use a specific
20 methodology, the technology assessment methodology, to
21 assess the evidence around the various indications and

1 tumor types in which PET scanning is used. And that's
2 what they performed for us.

3 We didn't ask them to tell us whether we
4 should or should not cover a particular indication or
5 technology. Neither did we ask them to go afield from
6 the typical technology assessment methodology to look
7 at other kinds of data.

8 So the NOPR kinds of data is not the typical
9 kind of data that would be assessed in a formal
10 technology assessment. Typically that's not used, and
11 so it was appropriate that that kind of information
12 not be used.

13 Now, in what we're asking the panel to do,
14 we're saying you've got information from the
15 technology assessment. You've got information from
16 NOPR. You have a few other trials that were not part
17 of the technology assessment. You have an older
18 technology assessment that predated the Alberta
19 technology assessment.

20 And with all that plethora of information --
21 information may be broader than we want to say. But

1 with all that information, we want you to say is that
2 enough for me to make a decision around whether in
3 these particular cases and these indications a PET
4 makes a difference. PET changes management, PET
5 changes outcomes.

6 We're going to take your recommendations,
7 and we're going to look at them, along with NOPR data
8 and along with the TA data and along with a vast --
9 actually not so vast -- number of comments that we've
10 had and propose what we think we ought to pay for and
11 what we ought not to pay for.

12 Part of what we are looking for from you --
13 and you're asking very specific questions to help you
14 answer the questions we asked you to answer. We want
15 you to comment on, can you answer these questions with
16 NOPR-like data? Is NOPR-like data sufficient to
17 answer those questions? Do all the questions have to
18 be answered with Alberta TA kinds of data?

19 And so that's part of the discussion here.
20 As you arrive at your answers, you are in your answers
21 going to be answering that question. But we want you

1 to, as you have finished your answers, we want you to
2 be fairly blunt with us and blunt with the public as
3 to whether this kind of data collection is something
4 that the Agency ought to be invested in as we invested
5 our time and the NOPR people and physician community
6 invested their monies in collecting this information.

7 But it's not a question of what should we do
8 with the information you're going to give us. We're
9 pretty comfortable with deciding how to use the
10 information you give and not always answering it the
11 way that you'd like.

12 Now, with that, I have a question for Dr.
13 Mankoff. I know he's got to leave in a few minutes.
14 I want to put him on the spot first, and then some
15 others may want to answer this, too.

16 The recommendation that we heard from you is
17 that we should do away with individual decisions. We
18 should have broad coverage of cancer and cancer
19 indications with perhaps a few exceptions. And we
20 should leave it to guidelines to tell physicians,
21 guide physicians as to how they should practice.

1 What is your experience and opinion of the
2 guidelines that are out there, how evidence based are
3 they, how much expert opinion is there versus
4 evidence, and how regularly do physicians who are
5 ordering PET scans pay any attention at all to the
6 guidelines?

7 DR. MANKOFF: So I'm going to take a shot at
8 that, and then I'm going to leave time for my
9 colleagues with additional expertise in that.

10 I gave a simple answer because it's nice to
11 do that in a presentation. I think guidelines are
12 part of that answer. I might come back to something
13 that Dr. Siegel said, is that ultimately it comes down
14 to educating individual practitioners. And I want to
15 address both of those points.

16 The guidelines are emerging. There are some
17 guidelines that are getting better. There are some
18 other areas where we don't have guidelines. I've
19 cited the NCCN example because I think many are
20 familiar with those, and those are guidelines that are
21 developed with the available evidence.

1 And the guidelines are actually fairly
2 honest, I think, about what the quality of evidence is
3 as they've developed those guidelines. They're
4 updated quite frequently. And so if there is
5 additional evidence that can be brought to bear on
6 those kind of guidelines, then the quality of the data
7 has changed to match the nature of the evidence.

8 I did have an opportunity to participate in
9 one of the trials that was specifically convened for
10 four cancers -- I was on the breast cancer panel -- to
11 be able to try to update those guidelines based upon
12 available data.

13 And, in fact, as a result of that panel was
14 some of the recommendations on early stage breast
15 cancer when we re-examined the data that had emerged
16 in the meantime. An additional recommendation for
17 future attention paid to areas like locally advance
18 breast cancer, response evaluation, and bone
19 metastases where the data looked very promising.

20 So I think guidelines is an important part
21 of the answer. I would say those data are not bad --

1 those guidelines are not bad at the time being. And
2 as the data emerges, we'll have better guidelines.

3 I think the other portion is coming back to
4 the fact that oncologists and the physicians that are
5 ordering these tests want to take care of their
6 patients in the best way. They are very used to
7 looking at the quality of diagnostic data and making
8 decisions about whether they should get a PET scan and
9 whether or not they should get hair-two (phonetic)
10 testing on their breast cancer patient.

11 And so I think to the extent that we can
12 provide that education to our referring physicians, I
13 think we will get appropriate utilization of that.
14 And that's one of the ways I've interpreted the NOPR
15 is that the utilization is at least changing
16 management. And we're not getting thousands and
17 thousands of prostate patients coming in for diagnosis
18 because it hasn't been an appropriate indication.

19 I'm going to stop and see -- and give my
20 colleagues a chance to say.

21 DR. PHURROUGH: Dr. Shields might be helpful

1 as the practicing oncologist here.

2 DR. SHIELDS: You know, I've spent a while
3 working on PET. But my colleagues are new to it. And
4 for a long time they would stop me in the hall and ask
5 me, here's the situation, does this make sense or not.

6 And they're stopping me less because they've
7 learned how to do it. I mean, they're ordering them
8 regularly. They understand the indications. They
9 understand the limitations. And I think that's
10 changed in the community over the last few years. And
11 I think part of the availability of it has really
12 helped a lot.

13 You have to understand that the list we're
14 going through right now actually represents a
15 relatively small fraction of the cancers and the
16 patients we would scan. From our estimates, it's only
17 about 10 or 15 percent above what's being done right
18 now. So they really don't represent the bulk of the
19 cancers.

20 And as you get down to even the other
21 category which represented ten percent of our totals,

1 those are getting to be real rare, the Merckel cell
2 tumors and my favorite, I treat ampullary cancer. You
3 know, that's never going to have a guideline. You
4 know, you have to look at what's going on nearby, you
5 know, how does the intestinal tumors behave?

6 You know, it's approved for esophageal
7 cancer. It's approved for GE junction 'cause that's
8 right at the esophagus. But it's not approved for
9 gastric. And really, the histology, the treatments
10 are all the same. We need to sort of look at that
11 biology, and we understand that.

12 As oncologists, we're used to looking at
13 vague scans. We're used to looking at CT scans with
14 little spots and trying to interpret and know when to
15 use them, how to interpret them, how to act on them.
16 We've done that for years. And now we're learning how
17 to do that with PET.

18 And people know not to do it in prostate
19 cancer for the most part. I think they understand
20 that. Those numbers tell you that. And quite
21 honestly, I suspect if you drilled down to those 300

1 cases out of a universe of prostate cancer in the
2 United States, you'd find that those 300 were patients
3 that had a spot in their prostate, hasn't biopsied
4 yet, but they've also got a spot in their lung or
5 something, or they've got a spot someplace else, that
6 somebody's trying to say, okay, where do I go to
7 biopsy, what's going on.

8 And you'll find that those are complicated
9 cases with more than one thing happening, and that's
10 why they order the scan. It's not somebody with a PSA
11 of 6, and somebody's gone off and ordered, you know, a
12 scan.

13 And I think you have to take that into mind
14 when you do that. I think micromanaging it to say,
15 well, we're not going to do it for prostate cancer,
16 people already aren't doing it for prostate cancer,
17 you know, in terms of diagnosis. And the ones that
18 are doing, are probably ones that are complicated
19 ones, and they're trying to tease out what's going on.

20 And most of my colleagues don't order PET
21 every day. They order it when somebody comes in and

1 has -- you know, I'm treating them for breast, and
2 they've got a spot here. And I don't know if that's
3 real, and I don't know how they're responding. And I
4 need to work out the details. And it's an amazing
5 problem solving tool that we use regularly.

6 DR. PHURROUGH: Not to disparage physician
7 altruism, but physicians aren't ordering PET scans for
8 prostate cancer 'cause we don't pay for it except in
9 NOPR. Now, if we paid for it in prostate cancer in
10 NOPR, how many prostate cancer PET scans would we have
11 after one years? More than 321.

12 DR. SIEGEL: That's 321 in two years.

13 DR. SHIELDS: In two years.

14 DR. SIEGEL: And you're paying for it.

15 DR. PHURROUGH: Only in the Registry.

16 DR. SIEGEL: No, but for physicians to fill
17 out the paperwork --

18 DR. PHURROUGH: Only in the Registry.

19 DR. SHIELDS: Yeah.

20 DR. SIEGEL: -- the way we set the bar is
21 not a tremendous (unintelligible). We have had very,

1 very few push-backs from referring physicians about
2 participating in the NOPR. So we really believe we're
3 capturing a very, very large sample. We know we've
4 got close to 80 percent or over 80 percent of the PET
5 facilities in the country participating. And we think
6 we're getting a really large practice-based sample of
7 the patients who are eligible.

8 So I -- I mean, maybe I'm being incredibly
9 naive. But I really believe that we're seeing this
10 tool used as a problem solving tool by people who have
11 a general understanding of how to use PET, and now
12 they have an opportunity to use it where they couldn't
13 use it before.

14 DR. SATYA-MURTI: Well, very interesting.
15 Thank you. This is a weighty topic. I was looking at
16 USA Today at the left bottom to find a reduction
17 snapshot of this whole topic. Didn't find any. So
18 that would have made our jobs easier.

19 But CMS is telling us that if you're not
20 confident to leave the -- we have the prerogative or
21 liberty of leaving that block blank. So that would in

1 itself give you data. Wouldn't it? The not --
2 exactly sure. If we're not sure, we're just going to
3 leave it blank.

4 I have a question which may pertain to many
5 of these decisions. In the second table, we're asked
6 to determine clinically significant outcomes. This is
7 important for many number of treatments and tests
8 anyway.

9 So I used to feel that survival and symptom-
10 free survival were the most important outcomes. But
11 surely there are other outcomes such as not doing a
12 biopsy. So if I may have, within our panel, Dr. Wahl
13 had some comments. And then any of you, very simply,
14 what else would you like to see as an outcome? And
15 then maybe based on today's deliberation, there would
16 be a follow-up using those specific worded outcomes to
17 see how useful it is.

18 DR. WAHL: One discussion we were having
19 over lunch was really the challenge in diagnostic
20 imaging, when you have a diagnostic imaging test where
21 you have a gold standard such as histology where you

1 can actually measure the accuracy of the test. And
2 that's been done with PET quite a bit in the last 15
3 years. So diagnostic studies comparing PET to a gold
4 standard have been done showing that PET is often more
5 accurate than the standard anatomic imaging.

6 And once one proves that, and if PET's a lot
7 better, sometimes you don't even need really large
8 sample sizes to show that. The first prospective
9 study of PET for staging the mediastinal of non-small
10 cell lung cancer only had something like 24 patients.
11 But it was highly statistically significant because
12 the comparator, CT, wasn't very good. And PET, though
13 imperfect, was quite a lot better.

14 So to show, you know, effects when there's a
15 very potent improvement in diagnostic accuracy
16 sometimes doesn't take a whole lot of patients. Now
17 we've gone on to reproduce that in meta-analyses and
18 so on in lung cancer, which is very common.

19 But one of the challenges we face when we
20 try to take a diagnostic test where we know pretty
21 well what its performance characteristics are and put

1 it into a prospective clinical trial, is you know that
2 you may be randomizing patients to groups who will
3 have an inferior diagnostic method.

4 And there's only a certain window of time to
5 do that because IRBs -- and in fact this -- won't let
6 you randomize to what you know is a less good method.
7 So this raises the question, you know, in this Fryback
8 and Thornberry, that sort of thing, that level two
9 diagnostic evidence is accuracy, which for a
10 diagnostic test is really the performance
11 characteristic, something critical.

12 But level three is what do the physicians
13 think about it and what's the change in entropy. You
14 know, those -- I think that those may not be as
15 applicable to diagnostic tests as we would like.

16 So I think we do have to begin these
17 discussions with accuracy. And I do think accuracy
18 cannot be underestimated. And that's why those ROC
19 things were so important.

20 But you know, with the accuracy, I think
21 that the shorter indices include, you know, exclusion

1 of other tests, you know, exclusion of biopsies,
2 switching therapies. I mean, the things that NOPR
3 looked at I think are very important alternative
4 indices to survival because it is -- it becomes very
5 difficult to ethically deny patients good imaging
6 tests to randomize them to look at outcomes.

7 This has been done early in evaluation. But
8 there's only a certain window of time when one can do
9 it. And as I commented at the Society of Nuclear
10 Medicine meeting, given the efficacy of FDG-PET in
11 lung cancer, I was surprised that the Canadian study
12 was actually allowed to move forward with
13 randomization to the less accurate arm of CT. But
14 that was possible because PET wasn't widely available
15 in that health care system.

16 So I think some of these intermediate
17 indices have to be looked at very closely because
18 unlike therapies, we have an intermediate endpoint
19 with PET in diagnostic test the accuracy where we
20 really -- as diagnostic tests -- it gets very hard to
21 separate the diagnostic information from the

1 subsequent therapy and ignore that diagnostic
2 information except for a limited period of years.

3 And that plus the funding makes it hard to
4 do these prospective studies looking at outcomes the
5 way we can with therapies.

6 I did want to also say, I wanted to see the
7 other two slides of Dr. Hillner before we finish our
8 discussion because we have to see about treatment
9 changes. I think that was one of our series of boxes
10 on the right.

11 DR. JANJAN: That's exactly where I was
12 going because it seems to me that the use of PET is
13 sort of weighted front-end, diagnosis and staging.
14 Because when you look at Bruce's data, switch to
15 another therapy overall for the types of cancers
16 evaluated in this meeting, it's about 14, 15 percent
17 switch to another therapy and only about 10 percent --
18 or 5 to 10 percent go to supportive care.

19 So really, the use of PET is not -- is not
20 being used toward the progression and treatment. And
21 Bruce, you can maybe expound on this. But it's not

1 being used late in the course of therapy. It's being
2 really used earlier in the course of diagnosis and
3 treatment, if that's fair.

4 DR. HILLNER: Before -- I want to take a
5 couple of minutes of background because what's
6 different about the treatment monitoring data is that
7 the currently covered cancers, for example,
8 colorectal, lymphoma, all are part of the treatment
9 monitoring cohort that, with the exception of breast
10 cancer, treatment monitoring -- the use of PET
11 associated with treatment monitoring is not a covered
12 indication.

13 So the treatment monitoring universe of data
14 includes, outside of breast cancer, that's it. That's
15 the whole world. Okay? And this is truly unique.
16 This is 10,000 cases of treatment monitoring over the
17 first 18 months.

18 Now, for what I'm to show you are the nine
19 question cancers of interest. But we have all data on
20 lymphoma, colorectal, yada, yada, yada. So what is
21 the spectrum of when clinicians are using this?

1 Approximately 60 percent of the patients are in active
2 treatment for metastatic disease, 60, 62 percent.

3 The 38 percent are for local regionally advanced
4 disease.

5 Approximately 80 percent of the cases are
6 only getting chemotherapy at this time. Approximately
7 12 percent are getting chemotherapy plus radiation.
8 And the final 8 percent are only getting radiation.

9 We do not have information in any of the
10 settings about the prior treatments. We can't tell
11 you is this first-line therapy in metastatic disease,
12 second-line. If it was second-line, what the first-
13 line therapy was. We don't know what other prior
14 imaging that they had.

15 We just know that it is being used during
16 the course of a treatment plan. If it's after two
17 cycles of chemotherapy, four cycles of chemotherapy,
18 six cycles of therapy, we could figure that out from
19 claims analysis. But we do not have that information
20 from the referring physician. So from the get-go, I
21 can't answer those questions.

1 Again, I find the results strikingly
2 consistent. At the far left is adjustments in the
3 dose or duration of the therapy that they're currently
4 giving. And 30, 30, 32, 24, 30, 28, 22, 28,
5 shockingly, I view as being highly consistent.

6 Switching to another therapy is the vast
7 majority of the time to another form of chemotherapy.
8 Highly strong pattern of switching to another therapy
9 is more frequent than moving to best supportive care.
10 That is either a reflection of earlier in the process
11 of care or consistent with the American high-intensity
12 pattern of multiple courses of therapy. But it's
13 14.9, 14.6, 13, 15, 15.

14 DR. JUHN: Can I ask a question as to in
15 your survey, was it clear? I mean, were the questions
16 as you have them posed here kind of very general
17 questions, or were they very specific as to was it a
18 two-day adjustment in dose, or was it a five week
19 addition adjustment in dose?

20 I guess I'm getting to the issue of, if
21 you're asking -- again, this is all intention to

1 treat. I mean, this is a survey.

2 DR. HILLNER: Correct.

3 DR. JUHN: It is not the actual practice
4 that actually occurred. So I guess one of the
5 questions I have is, in a survey setting, if you have
6 the survey question in such a way that it's so
7 general, you know, can you actually get down to the
8 more specific --

9 DR. HILLNER: I think our results -- a point
10 of pride, I think you can legitimately critique the
11 uncertainty around adjustment or the duration of
12 therapy. I think that there's a substantial amount of
13 gray zone in that.

14 I really think that it would be very
15 surprising that switching therapy did not occur. I
16 think potentially a switch away from the current
17 therapy, possibly instead of the patient refusing
18 therapy, that it went to then subsequently to best
19 supportive care, but the continuation of therapy as is
20 when they're indicating they were going to change. I
21 really believe that an audit of the claims data will

1 show there is a change.

2 DR. JUHN: Actually, that raises a very
3 important question that I was going to ask earlier.
4 Are there specific plans to do some type of auditing
5 or some type of follow-on to see what kind of --

6 DR. PHURROUGH: Yes. We have their
7 database, and we are going to

8 DR. JUHN: Going to follow up?

9 DR. PHURROUGH: We're sort of developing the
10 kinds of questions we think will be --

11 DR. JUHN: I think that will add some
12 credibility to this. I mean, I think it's important.
13 I think the results are very, very good. But I think
14 there is that kind of question mark as to did the
15 reality follow the intention?

16 DR. SLOAN: Related to that, I'm wondering,
17 is there a possibility of double counting? In other
18 words, could you say I saw such and such on the PET.
19 And therefore, I'm going to adjust my duration of
20 therapy and switch to another therapy. Are you
21 counting -- are you sure that you're not counting that

1 in both columns?

2 In other words, one decision goes into two
3 columns. Is that a possibility? Could that be
4 potentially --

5 DR. HILLNER: I want to look to my data
6 managers. And I believe that's not true. But Barry
7 will comment, please.

8 DR. SIEGEL: Single best answer.

9 DR. SLOAN: Single best answer. I wonder if
10 you can give me an example. So if you see a response
11 on PET like response, you probably have a certain
12 finite time you can give a drug. And if you don't,
13 you'd switch to another therapy. So can you give me
14 an example of something that would be that?

15 DR. SHIELDS: I can give you examples 'cause
16 I use this quite regularly.

17 DR. SLOAN: Okay.

18 DR. SHIELDS: And quite honestly, I think
19 when we say adjust dose or duration of therapy, as a
20 medical oncologist, skipping drugs for two days
21 doesn't count. I mean, that's what we do for

1 Thanksgiving. I mean, that's certainly not a routine
2 issue.

3 I mean, the way we use it oftentimes is
4 trying to evaluate the magnitude of the response. It
5 used to be when I had one drug for one disease, and it
6 didn't work very well, is patients were treated until
7 they progressed and died. And that was the choice.

8 Now, the average survival of people with
9 metastatic colon cancer is a couple years. With
10 breast, it's three to four years. And these people
11 are on and off therapy regularly.

12 And we treat them to best response quite
13 frequently, and then stop and watch and wait. And
14 when they progress, we put them back on. And that's
15 true with ovarian. It's true with breast. It's with
16 all these.

17 And quite honestly, the CT scans, you know,
18 there are still lumps there. And I'm like, okay, is
19 this active or inactive? And what's the value of a
20 break, and can I stop therapy entirely? Can I adjust
21 the dose? Can I cut back from two drugs to one drug?

1 That's a daily issue in my practice. And PET helps on
2 that.

3 And those are real important questions for
4 my patients. When I can say, you're on two toxic
5 drugs now, and I'm going to cut you back to one
6 because your response is so good, or I'm going to cut
7 you off completely, and watch and wait, those are the
8 questions my patients live by because they really want
9 those breaks. And I'm sure Dr. Henderson sees that.

10 DR. HENDERSON: I think you answered that
11 beautifully. But one other point, too. And that is
12 that after you have treated a cancer for a period of
13 time, you've biopsied the cancer. And, for example,
14 local recurrences in skin after breast cancer is a
15 good example. Those studies were done extensively.

16 And you often find that you have 99 percent
17 fibrosis. You don't actually -- it's rare that you
18 have 100 percent. But when you're looking at a CT or
19 MRI, you're seeing a mass effect. But that doesn't
20 mean that mass effect is cancer. And this is another
21 potential application.

1 But I think you've covered a lot of the
2 others. But there's probably some overlap between
3 that first and second column that could go -- you
4 could probably move some of the patients in the first
5 column in to the second and vice versa.

6 DR. SATYA-MURTI: Any other questions? Dr.
7 Wahl?

8 DR. WAHL: Maybe I missed it from Dr.
9 Hillner. But out of the whole NOPR, what fraction
10 again of the NOPR registrants were in these nine
11 categories?

12 DR. HILLNER: Excluding treatment
13 monitoring, in the low teens, 12, 14 percent is our
14 working estimate. Possibly -- right?

15 DR. WAHL: No. I was just saying, if you
16 look at the nine indications versus the total number
17 of cases. I mean, out of the nine indications, I got
18 the sense there were like 70 or 80 percent of the NOPR
19 fell into this nine.

20 DR. HILLNER: Oh, I'm sorry. I'm sorry.
21 These 9 cancers -- of the universe of all cancers that

1 are getting PET scanning is 10, 12, 14 percent. These
2 9 cancers represent probably 80 percent. Do you have
3 it, Barry?

4 DR. SIEGEL: So this is the one year cohort
5 -- Dr. Siegel again -- the one year cohort for the
6 JCO paper. So treatment monitoring is not included.
7 The break down was 11.7 percent prostate, 9 percent
8 ovary, 9 percent pancreas, 7 bladder, 7 kidney, small
9 cell was 6 percent, cervix was 2 percent, and brain
10 was 1.2 percent.

11 What did I forget from the list?

12 UNKNOWN MALE VOICE: (Unintelligible.)

13 DR. SIEGEL: Okay. I'm glad someone was
14 doing the addition. Did I forget one that's on the
15 list? I think that's it. Okay. So about half.

16 DR. SATYA-MURTI: Dr. Henderson?

17 DR. HENDERSON: I'm still struggling with
18 the -- with actually addressing these questions,
19 filling in these boxes, since that seems to me to be
20 our major exercise here. So I've actually filled out
21 based on the NOPR and the responses from Dr. Hillner.

1 And then I have the responses, which I've
2 been changing it a little bit. Not a lot, but
3 somewhat during the course of the day, that I filled
4 out before coming here.

5 DR. SATYA-MURTI: I noticed that.

6 DR. HENDERSON: Well, I thought that was
7 what we were supposed to do, until we came to a final
8 number.

9 DR. SATYA-MURTI: Yes. Yes.

10 DR. HENDERSON: But there's still an awful
11 lot of difference. So if you'd just take question
12 number one -- question number two is even more of a
13 headache. But question number one, just take those
14 boxes. There are 36 boxes there. Okay.

15 So if I take the NOPR data and I'm making
16 some adjustment, I didn't make them all 5's. I just
17 sort of said, okay, well let's make a 4 if it's under
18 30 and a 5 if it's above. And 1 if it's blank.

19 So it works out that the -- from the NOPR
20 data I have the 1's and 2's equal 6 out of 36. Well,
21 when I look at the tech assessment I come to 26 out of

1 36 are either 1's or 2's. In other words, that's
2 where I come out after reading all of that. It means
3 that I have no confidence, little confidence based on
4 that data set that would have had an impact on
5 therapy.

6 And then if I look at the 4 and 5's, there
7 are 30 out of 36 using the NOPR data, but only 6 out
8 of 36 that I feel either moderate confidence or high
9 confidence.

10 Now, I've read through this. I've read
11 sections of it several times now. And you know, maybe
12 Dr. Gulenchyn wants to address this.

13 You referred earlier to conclusions. But I
14 can't really find conclusions in there. And I find in
15 the end, what I have to do is sort of read over. I
16 haven't been able to find any criteria that I could
17 apply systematically. I tried as I read through this.
18 And I went back and forth. I didn't put them on the
19 sheet here first. I just wrote them in the book
20 because I figured, well, I'll go back and try to be
21 consistent.

1 But ultimately, for this question, I find
2 that the only thing that really applies, particularly
3 if I use the NOPR kind of thing, is that last segment,
4 which is just text, a textural description, and then
5 you have one kind of a table that sort of summarizes
6 the treatment decisions in almost every section, but
7 no real statistics, no real cut points.

8 So I mean, is this -- would you come up with
9 only 6 out of 36 that you would say would be in the
10 four or fives if you were to fill this out? Have I
11 interpreted this the way you meant it to be
12 interpreted, or did I miss it?

13 You clearly stated what your conclusions
14 were, and I missed them. Is that a problem? 'Cause
15 you did refer to conclusions earlier in one of your
16 answers to questions. But I just can't find the
17 conclusions in there that allow me to fill out this
18 table or to check whether I agree with your
19 conclusions.

20 DR. GULENCHYN: The technology assessment
21 that you have in hand at the moment, the bundle,

1 rather large pile of paper that was published and that
2 you were provided with has a blank section in it under
3 each one of them for discussion of the data and some
4 conclusions if we are able to draw them.

5 That has -- the paper that you have at the
6 current time is not a complete paper. The time period
7 that we were given for a complete technology
8 assessment was compressed. And we took it as far as
9 we were able to in terms of doing a data summary and a
10 data critique.

11 We have not drawn conclusions at this point
12 in time beyond any that I perhaps expressed when I was
13 doing the presentation. So there is data there on
14 sensitivity and specificity. There is data there --
15 there is ROC data. And there's the likelihood ratios
16 that were presented in the data this morning. And
17 those all pertained to question one.

18 On questions two, three, and four, four as I
19 said, was easy. That's the pancreas. And, yes.
20 There is some limited cost effectiveness data for the
21 pancreas.

1 On question two and question three which is
2 how do these technologies impact on management, the
3 majority of data is there on Q2. And for each of the
4 nine cancers that we were asked to address, certainly
5 the management changes that are being quoted are in
6 large part, in fact, in line with where NOPR has come
7 in with data indicating management changes.

8 The level of confidence in those management
9 changes is reflected by the grade that the papers have
10 been given in terms of the A, B, C, D grade. And that
11 will be reflected in a full -- a fuller conclusion
12 under each of the forms of -- under each of the forms
13 of cancer. But it's not complete at this point in
14 time.

15 DR. HENDERSON: So you're eyeballing it
16 right now, which is what we've had to do, that if you
17 take these 36 boxes, that you'll have 6 of them with 4
18 or 5 or quite a few of them with that when you reach
19 your conclusion.

20 DR. GULENCHYN: Maria's going to go now.

21 MS. OSPINA: Sorry. I guess when we

1 presented this point at our technology reports, our
2 facts, we are -- we haven't provided yet any
3 discussion -- any interpretation of the data. And I
4 guess that's what you will have to do with the facts
5 and the data that we provided.

6 DR. JUHN: I have a related question.

7 DR. SATYA-MURTI: Go ahead Dr. Juhn. CMS
8 format -- and please correct me -- is such that after
9 you are done with the question, there should be within
10 panel discussion. So we'll move onto that after your
11 question.

12 DR. JUHN: In your preparation for the
13 technology assessment, did you also look outside North
14 America to see if anyone else had done technology
15 assessments in this -- in this area? And, if so, what
16 were those findings? I mean just in general terms.

17 MS. OSPINA: It's something that -- sorry,
18 we forgot -- in the slides -- if you look at the set
19 of the slides, there is a summary of the findings.
20 That is the best that we can do for today's meeting,
21 trying to provide a summary of the evidence. But

1 there's a lot -- too many other things that we still
2 need to do for those final sections that -- I guess
3 the final deadline for this report is September 15th.

4 But part of the things that we are planning
5 to consider is to put our findings in context with
6 other reviews and other reports that have been
7 produced including, of course, the Duke report, but
8 also other meta-analysis that have been published in
9 the medical literature.

10 DR. JUHN: Right. So can you comment on any
11 -- has NICE looked at this or has any of the other --

12 DR. GULENCHYN: Yeah. There was a slide in
13 the presentation that we gave that listed the
14 technology assessments that we have considered. And
15 certainly, we have considered ISIS out of Ontario,
16 NICE, the Quebec group, several other American, the VA
17 and the Duke TA. There's one out of Australia and one
18 out of Scotland as well. So, yes. It has been looked
19 at internationally.

20 DR. JUHN: But if you looked -- I mean,
21 again, just very qualitatively at a macro level, are

1 your findings consistent with what many of these other
2 HDAs have found?

3 DR. GULENCHYN: In terms of the quality of
4 the data, yes.

5 DR. JUHN: And also kind of directionally in
6 terms of --

7 DR. GULENCHYN: And directionally. Yes.

8 MS. OSPINA: I guess what is interesting --
9 and this is something that agrees with what the Duke
10 report states -- is most of the studies have been
11 addressing the -- how useful PET is for staging and
12 recurrences. Those two areas seems to be the most
13 widely studied in the medical literature.

14 DR. JUHN: Okay. Good.

15 DR. SLOAN: One quick question.

16 DR. SATYA-MURTI: Your last question. Were
17 you waiting to make a point?

18 MS. PATHEL: I was waiting to --

19 DR. SATYA-MURTI: Please go ahead. We
20 should go on to within panel discussions.

21 MS. PATHEL: My name is Carrie Pathel

1 (phonetic). I am a registered nurse and have been
2 working within the PET community for about the last
3 ten years.

4 A couple things is, I've been at multiple of
5 these CMS Advisory Committee meetings. And it appears
6 that sometimes we're getting side-barred by small
7 issues with diagnosis versus initial staging versus
8 restaging.

9 As far as the technical assessment versus
10 the NOPR data, the NOPR exists because we all knew
11 that there was not technical data sufficient to make
12 the decision based on solely. So I ask that -- you
13 know, I just want to keep that in the forefront of our
14 mind, that the NOPR exists. Medicare sponsored it.
15 And we all in the community have educated our
16 physicians, our clinicians to the point that we could
17 obtain data, real data from real patients to help make
18 this decision.

19 So I hope I'm not preaching. But again, you
20 know, those little instances make a big difference.

21 DR. SATYA-MURTI: Thank you very much. The

1 very last one.

2 DR. SLOAN: Yes. Dr. Hillner, you just made
3 the point about the data being consistent with all the
4 nine cancers. And the slides sort of demonstrate
5 that. But your own conclusion, you left out three
6 different cancers. You didn't -- you asked for
7 approval for brain, cervix, ovary, pancreas, small
8 cell. You didn't ask for bladder, kidney, and
9 prostate, for example. I mean, is there something
10 that -- are you sort of --

11 MR. CAPLAN: (Unintelligible.)

12 DR. SLOAN: Sure.

13 MR. CAPLAN: The request was for six
14 cancers.

15 DR. SLOAN: Right.

16 MR. CAPLAN: And CMS has decided to address
17 the additional three, which would have been the top
18 nine in the registry.

19 DR. SLOAN: Okay.

20 MR. CAPLAN: Okay.

21 DR. SIEGEL: I just want to make sure this

1 is clear. The petition, if that's the correct term --

2 PANEL MEMBER: Right.

3 DR. SIEGEL: -- that was submitted by the
4 NOPR working group asks for coverage for diagnosis,
5 staging, restaging, and suspected recurrence of all
6 cancers, not six, not nine.

7 The six came from the title of the extant
8 NCD. And the three were added for the reason we just
9 heard, to what was done in the technology assessment.
10 But our request stands as all cancers. And the
11 recommendation from the intersocietal representative
12 was for all cancers.

13 DR. SATYA-MURTI: Thank you very much.
14 Panel, I think this time period is intended for
15 discussion within the panel, maybe some type of
16 summarization. If so, I'll go down left to right.
17 And my own --

18 DR. LICHTENFELD: Can I ask a methodology
19 question?

20 DR. SATYA-MURTI: The very last one?

21 DR. LICHTENFELD: Well, yeah. Dr.

1 Phurrough, I just want to make sure I didn't hear you
2 say -- how are we to consider the NOPR data in our
3 discussion and deliberation?

4 DR. PHURROUGH: That's up to you.

5 DR. LICHTENFELD: Okay.

6 DR. PHURROUGH: And what we'd like to hear
7 from you is whether you think the data is sufficient
8 to answer these questions. It should or should not be
9 used to answer the questions as part of the discussion
10 here.

11 DR. SATYA-MURTI: I enjoyed these
12 deliberations. Science on one hand and clinician's
13 dilemma. I'm a clinician myself.

14 The two outstanding things -- aspects that
15 occurred to me is that some of these tests -- the
16 index test was assessed without blinding to the -- to
17 the comparator. So I think your -- a TA brought this
18 up. And that would be a little difficult for me
19 because many a time, to interpret the index list in
20 void is difficult.

21 But nonetheless, during actual initial

1 studies, it needs to be done that way. I think it's
2 one of the earliest tenants of EBM for diagnostic
3 tests is to separate the two.

4 And I know that TA also narrowed the
5 interval because with a diagnosis like a malignancy,
6 if there is too much of a separation, one test might
7 come out better than the other if there is more than,
8 say, three months. So that is a bit of an obstacle
9 why total blinding was not possible.

10 On the Registry -- again, we brought this up
11 this morning -- when the registrant or the participant
12 is aware that the future of continued wide-spread
13 application of a technology is dependent on the pre-
14 and post-test answers, even unintentionally it is very
15 hard to maintain neutrality or equipoise to know that
16 something is going to follow which hinges on coverage
17 if management was deferred.

18 I know most -- all of the clinicians will
19 not do it intentionally. But nonetheless, I wonder
20 how much of an operative influence that had.

21 So those were the only two. It's not

1 questions, but those were my concerns.

2 DR. JUHN: Can I just raise a question about
3 the grids that we're filling out? I think the first
4 one is difficult.

5 DR. SATYA-MURTI: Can we go this way and
6 then come back, if you don't mind? Thank you. Thank
7 you, Dr. Juhn.

8 DR. HENDERSON: So as I look at it, where we
9 stand right now, if I look at the -- the NOPR analysis
10 is easy to understand. It's very straightforward and
11 very attractive. And so it would be -- and they've
12 very nicely filled in the boxes for us for question
13 number one, which makes it very attractive.

14 But I must say, I can't think of many
15 situations where using just the tendency of physicians
16 to decide to do something has ever been sufficient.
17 I've been on a lot of tech panels, mostly FDA for Blue
18 Cross/Blue Shield. And that decision by itself has
19 never been sufficient to say this is something that is
20 worthwhile and gives me confidence that the patient is
21 well served, which isn't exactly the way the question

1 is answered, number one.

2 But that is the way number two is addressed
3 really. So I'm not comfortable with just saying,
4 let's take anything above 15 percent of the lower
5 bounds or let's take everything of 30 percent and
6 above and say, well, 30 percent of the patients have
7 decision change, that's sufficient.

8 And likewise, we have this question, can we
9 apply this to all tumors? And again, there is
10 consistency of the data, the NOPR data, and I would
11 say even in most of the data as is presented in the
12 tech assessment as well.

13 But we do have -- there are some sections in
14 here, clearly the avidity for FDG does vary somewhat
15 from one tumor to another. And there's also some
16 practical questions and issues related to excretion
17 and how that may make assessment of some tumors,
18 particularly in the areas of kidneys and bladder
19 particularly difficult.

20 And we also know that breast was certainly
21 an example where it was concluded that there -- I

1 think probably because they focused on only one tumor,
2 I haven't actually read over that. But it looks to me
3 like that in itself is evidence that we can't just
4 check yes for all of these boxes based on the data we
5 have right now.

6 It does seem to me, however, that if we were
7 to apply the NOPR criteria, that is, 15 or 30 percent,
8 either one of those numbers, of the physicians change
9 their mind as a result, in fact, using the tech
10 assessment and using the NOPR data, we would actually
11 fill out the boxes of question number one and probably
12 number two the same. 'Cause in number two, if we look
13 at these criteria for patient outcomes, percentage of
14 patients improved with test or without test, morbidity
15 avoided after having image information, change in
16 quality, adjusted life, and so on, if we use those
17 criteria, neither group really presented very much
18 data for any of these tumors. At most, we have an
19 anecdote here and there where there's a study that may
20 provide some compelling evidence that -- that we've
21 affected patient outcomes.

1 But unfortunately, if we begin to add this
2 business of avoiding toxic treatment, I think that's
3 been pretty much covered in the two presentations
4 under number one, not as a separate spinout, if you
5 will, under number two. And so that wasn't one of the
6 things that I used in my initial criteria for number
7 two. I'd have to go back and redo it.

8 I think we don't have, you know, a
9 commonality in our definitions of how we're going to
10 fill these out. And I have focused, I guess through
11 my training with other type of panels, I've focused on
12 trying to address the questions that the sponsor, in
13 this case CMS, asks rather than coverage. So that's
14 why I keep coming back and harping on the questions.

15 And I'm still -- on questions three, four,
16 and five, I feel somewhat comfortable. But on
17 questions number one and two, I'm still having a lot
18 of discomfort here.

19 DR. JANJAN: I guess the thing that strikes
20 me most is the NOPR data that's actual in-practice
21 data. And it reflects a snapshot of clinical practice

1 in the United States.

2 The key thing though -- you know, they
3 always say, don't order a study unless you plan to act
4 on it. And so the key piece is what did you do with
5 the study of the nation? And I think I would strongly
6 recommend from my perspective getting the next piece
7 of information from Dr. Hillner. And that's
8 coordinating outcomes with this data because unless
9 the outcomes reflect something important from the
10 data, then you're really not answering the question.

11 You can try and sort out sensitivity and
12 specificity in that did you change something? But
13 unless it's making some impact on patient care, then,
14 you know, you wonder, well, that will help, I think,
15 better define the indications for PET. And I guess
16 the piece -- as I say, the piece that I think really
17 is necessary as the next step is getting the patient
18 outcome data to correlate with this information that
19 we've been presented today, which I think is a very
20 important snapshot of clinical care.

21 DR. SATYA-MURTI: Dr. Lichtenfeld?

1 DR. LICHTENFELD: Thank you.

2 I sort of voiced my concerns earlier. I
3 think Dr. Henderson actually is going along the same
4 path.

5 You know, we were asked to do an exercise
6 before we came here. And that was to fill in the
7 blanks as to what we thought. And then to come here
8 today, listen to the testimony, and then see if that
9 changed our opinion. I, you know, still am waiting to
10 take my final exam. I'm in the process of taking it.
11 And I'm going to admit that I have not the degree of
12 confidence that I'd like to have in giving the
13 answers. And the reason I don't have the confidence,
14 I think, has been already articulated.

15 If you held to a -- you know, I've seen, and
16 all of us have seen -- Dr. Henderson has alluded to it
17 -- we're trying to move into an evidence-based world
18 in terms of how we practice our medicine. But the
19 quality of evidence isn't what we would like to have.

20 So we have to rely on surrogates to give us
21 the answer. We hope that more often than not that the

1 surrogates get us to where we want to go correctly.
2 Unfortunately, we found out, without going into
3 details, that the surrogates aren't always perfect.
4 And that's where the basic dilemma is for me.

5 So I will say that I probably have moved up
6 the number ladder simply because of the NOPR data.
7 And I believe the NOPR data does provide some
8 additional evidence in this. Is it compelling and
9 conclusive? The answer is no for a variety of
10 reasons.

11 Nobody's fault. Nobody's saying it was, you
12 know, wrong or ill-intent. But it's not to the
13 statistically compelling evidence level that we'd like
14 to have from the types of studies that were analyzed
15 by the folks who did the assessment.

16 If it sounds like I'm equivocating, I am. I
17 wish I could hand the sheet in blank, but I won't.
18 But I don't -- whatever I -- whatever I would put down
19 here for many of these boxes, I can't say if you came
20 back to me and said what's my personal confidence
21 interval, the answer is, it would be pretty wide. And

1 I don't think I have -- can make -- make the
2 adjustment -- make the -- necessarily be as precise as
3 I'd like to be.

4 Having said that, I think it's also
5 important to bear in mind that this is a technology,
6 at least in the experience in the world that I live in
7 and many others here live in, has been held to an
8 entirely different standard than anything I've seen
9 before.

10 MRI -- CT scan is the one that I like to
11 talk about a great deal. A CT scan here in Baltimore,
12 we had to beg, borrow, and steal to get a CT scan back
13 in 1977, when I started my practice. We didn't have
14 it, by the way, before I started practice. I was in
15 training here. We didn't have a CT scan. We had a
16 couple. And we had to get consults, we had to get
17 approvals. Well, you know, it was a natural selection
18 process.

19 And then eventually someone said, you know
20 this thing really does work, it's better than the
21 chest X-ray. It really does show us more. That's the

1 way -- that's the way it developed. We didn't go
2 through this process.

3 And now, we've sort of come full-circle.
4 And we're requiring a standard that may be -- may be
5 not achievable for all the valid and legitimate
6 reasons that have been cited, i.e., this isn't the way
7 that technology is introduced to our medical care
8 system. The standards are entirely different for
9 technology such as this technology as they might be
10 for the use of a new -- a new drug that comes to
11 market. So there were entirely different standards.

12 And here we are as an expert panel trying to
13 make some sense out of this. And by the way, we still
14 don't even have the conclusions from the technical
15 assessment. So you can imagine not only, you know,
16 was I indecisive, I mean, I crossed out 15 different
17 times, and I put different numbers in. I was so
18 thrilled to see that my fellow panel members had done
19 the exact same thing when they came here.

20 So I know that that really gets to the
21 issue. But I will say that, you know, we're caught --

1 as I said in the very first comment I made today.
2 We're on the horns of a dilemma in terms of how you
3 apply the standards. We have to make a decision. And
4 I just wish that at some level I was more comfortable
5 with the decisions I had to make.

6 DR. SLOAN: I agree with everything that's
7 just been said. I mean, I think the methodology of
8 the technology assessment is extremely robust. But I
9 think very few things that we do in medicine would
10 meet that standard. And I don't think it's realistic
11 to expect everything we do to meet that standard.

12 I think it's been stated a lot of times in
13 the last decade since a human-genome project that the
14 standard -- you know, the future of medicine and the
15 goal, the ultimate goal, I guess you should say, is
16 the concept of personalized medicine.

17 And people talk about genomics, proteomics,
18 epigenomics as a way to go forward with that. But
19 that's decades and billions of dollars away. And I
20 think one of the most important things that we can do
21 is to try to figure out what works and what doesn't.

1 And I think PET as a functional study may be the ideal
2 -- one way to do that. So I think the NOPR data is
3 very interesting and exciting in that way.

4 On the other hand -- and it's also true that
5 the most expensive thing you can do is to give someone
6 a treatment that -- both in terms of personal expense
7 and finances to give someone a treatment that doesn't
8 really work 'cause it doesn't work, and they still
9 have the side effects.

10 That said, the data is very subjective. And
11 it would be very helpful to get some of that -- that
12 additional data from CMS. Because right now, we're
13 really being asked to interpolate between two
14 different types of data and classifications. And I
15 don't know that anyone can really do that. We'll
16 certainly try as best we can, but it's very hard to be
17 objective.

18 DR. BERGTHOLD: I want to say something
19 positive about coverage with evidence development.
20 And that is that I think it is a real step forward for
21 CMS to be doing this and to be trying it. And if the

1 methodology wasn't perfect or the questions weren't
2 perfect and, obviously, we're getting outcome in terms
3 of data that is really hard to interpret, that
4 shouldn't, in my view, be in any way an impediment to
5 doing this better in the future.

6 To say that because we haven't done it
7 before, it's not fair to do it now seems kind of crazy
8 to me. In fact, I think we -- it's too bad we didn't
9 do it before. Maybe we should reopen CT and MRIs.
10 But since we're not going to do that, going forward,
11 this is the future way to deal with Medicare costs and
12 Medicare quality.

13 And I'm sorry for the people who do PET
14 scans that they -- it has sort of fallen on them to be
15 the first ones. But I do think we need to be
16 continuing to do this.

17 I -- I have -- I had a very difficult time
18 as well in evaluating the evidence and thought it was
19 very -- a very complicated thing to do. But I was
20 glad to see some evidence for doing this because we
21 don't make decisions. It's not up to us on this panel

1 to make the decision. In fact, you know, we should
2 remember that. We are evaluating the evidence that's
3 before us, perfect or imperfect. That's our job.

4 And so our answers will reveal whether or not we
5 think it's, you know, more or less perfect.

6 Just one final thing, when we talk about
7 sort of physician practice and guidelines, you only
8 really need to look at the Courage Study to know --
9 and I don't think there's that much difference between
10 a cardiologist and oncologist and how they apply
11 guidelines -- to know that you could have fantastic
12 guidelines, and it will have little or no impact on
13 practice.

14 So I think we have to have coverage
15 standards and better evidence along with guidelines.
16 I don't have a lot of trust in those kinds of things
17 to change physician behavior.

18 DR. JUHN: So first of all before I get into
19 a couple of my comments, I just wanted to clarify. Of
20 the two grids we have, I think the first one is about
21 improving physician decision making, and the second

1 one is about patient outcomes. Is that correct?

2 So in our evaluation of the literature, it
3 really is to determine whether the evidence, the
4 literature evidence or some of the other studies that
5 have been presented really do have an impact on
6 patient outcomes. I think the challenge is, there
7 were very few of those -- of those studies.

8 DR. PHURROUGH: There are probably two ways
9 to look at -- at question two. One, is there direct
10 evidence that says the use of a PET scan results in a
11 particular outcome? The second one being, are we
12 comfortable that -- assuming that there's evidence
13 that there's a change in patient management, are we
14 comfortable that that is an adequate surrogate, and
15 there's additional evidence that would demonstrate
16 that a change in patient outcome -- patient management
17 would result in a change in outcome?

18 So you could choose either one of those
19 tactics. You would need to be comfortable in
20 explaining your --

21 DR. JUHN: I'm usually a purist, so I'll go

1 with the former.

2 So just a couple comments here. One is, you
3 know, I've asked a few questions about the quality of
4 the evidence. And I know that we've had a little back
5 and forth about, you know, different standards for
6 evidence. I think this is a -- I think an issue that
7 through the, you know, kind of obviously very
8 important for this particular technology. But I think
9 as Linda talked about, this really has much broader
10 ramifications. And I think if we really are going
11 down the path of evidence-base medicine, we have to
12 have a very consistent set of principles and standards
13 that we'll be following.

14 And if we start identifying certain
15 technologies or certain diagnoses where we're going to
16 have a different standard, I think that's going to
17 create, at minimum, confusion. And at worst, I think
18 it kind of gets us kind of going backwards as opposed
19 to going forwards.

20 So I think there's something to be said for
21 are we going to establish a set of evidence, a set of

1 principles by which we're going to evaluate the
2 evidence? And if so, good or bad, what does that
3 evidence say?

4 And then this question of well, if it's not
5 being funded adequately, I think that's a -- that's a
6 separate discussion. I mean, that's a separate
7 discussion about how do we convince those who can fund
8 these studies that there's value in funding these
9 studies? But to say that we have kind of poorly
10 conducted studies or not well -- well designed studies
11 because there really isn't funds, I think that's a
12 very, very challenging -- challenging argument.

13 The second thing I wanted to comment on is
14 the NOPR database. I think coverage of evidence
15 development, I think, is a very important conceptual
16 approach. I think -- I think it was mostly gotten
17 right with NOPR. I think we got quite a ways down the
18 line. I think the challenge, though, is the data that
19 we've been presented is early, and it's suggestive,
20 it's not definitive. And I think we really need to go
21 -- and I was glad to hear Steve, you say you're

1 actually planning the follow-up studies to really link
2 real patient outcomes with the intentions that were
3 collected in the -- in the survey data.

4 So again, I think as a number of folks have
5 said here, we'll comment on the evidence. I think the
6 decisions are really going to be not only CMS's
7 decisions, but it's also -- I think that the broader
8 community to again define what is it that we mean by
9 evidence-base medicine. Are we going to hold
10 ourselves to it, or are we not?

11 DR. WAHL: Well, I've been working with PET
12 in humans for about 20 years, so I have a bias coming
13 into this based on experience in a fair number of
14 cases. And I do think one of the things that I
15 brought up early on that I worry about in a strict
16 evidence-base analysis is not looking -- not having a
17 good way to incorporate the biological underpinnings
18 of the process.

19 Because a lot of work has been done looking
20 at the molecular characteristics of glucose
21 utilization in tumors, and it's a rather consistent

1 alteration. It's a survival advantage that cancers
2 can live in conditions of low glucose -- excuse me --
3 low oxygen through the use of glucose. And this is a
4 huge advantage, and it's almost a general phenomenon
5 and it was described in the thirties.

6 So ignoring that and treating each of these
7 as independent entities I think ignores everything --
8 you know, all my basic science classes in medical
9 school and every -- you know, it just becomes a
10 statistical exercise. And it doesn't incorporate the
11 biology.

12 And I do think we have to -- in my mind, the
13 behavior of most tumors is quite comparable. And this
14 underlying biology gives me more confidence that if I
15 apply it to a less common cancer, that it's going to
16 work. And I think that's been borne out by the NOPR
17 data.

18 I feel since we have as a, you know, country
19 moved to make PET available in some of the more common
20 cancers, like lung and colon, lymphoma, and these are
21 being used very widely, denying it to patients who

1 have the misfortune of having an infrequent tumor is
2 to me tragic because it's just very difficult to meet
3 the evidence thresholds.

4 Having -- having -- you know, you can say
5 multi-center studies and so on, but we see in the NOPR
6 data, you know, under 200 testicular cancers in two
7 years. You're just never going to get there. So we
8 eventually have to make a leap that the combination of
9 biology and evidence is sufficient to extend our
10 views, be they positive or negative, to other cancers.

11 The other thing I'd like to say -- and you
12 can probably tell by my leaning a bit and since I do
13 PET and get paid for it -- that I think that it's
14 generally quite valuable. Though the evidence is
15 remarkably unsatisfying sometimes, and having been in
16 the position of putting together prospective multi-
17 center trials, I should at least tell you one
18 anecdote.

19 When you first develop an imaging test, the
20 IRBs say, well, you can't use the imaging results to
21 make decisions because it's an experimental test.

1 Then once you prove it's better, you're quickly told
2 that you can't compare it to the other test because
3 now you know it's better. This is where you have the
4 accuracy data.

5 And this is one of the challenges we face.
6 There's only a certain window of opportunity to do
7 these prospective trials. I think we're a little bit
8 past that point now because we know that the positive
9 likelihood ratios in all these tumors is so high, the
10 positive scan really means something.

11 I'd like to just come back to one other
12 thing. These patients are getting imaging now.
13 They're getting CT scans. They're getting MRI scans.
14 And by and large, although the analysis didn't look at
15 it specifically, but it certainly referred to the
16 performance of PET is typically better than that of CT
17 -- not always.

18 So one thing we have to ask for getting
19 image with an inferior test, what happens if you image
20 with an inferior test? You have management decisions
21 that are based on incorrect data. Those management

1 decisions can be rather bad excursions and
2 expenditures of resources due to the bad diagnostic
3 information. So although not strictly studied, the
4 mini study, the best diagnostic information, is
5 actually the cheapest because the therapies are more
6 appropriately applied.

7 So those underlie some of my thoughts. And
8 I've been changing my numbers as well. And I'll stop
9 there.

10 DR. SATYA-MURTI: Dr. Phurrough?

11 DR. PHURROUGH: I'm not going to comment on
12 what I think about the evidence. You all get to see
13 mine in about three months, I guess. You'll get to
14 see the Agency's. Sometimes those are mine, and
15 sometimes not.

16 For those of you who are concerned that CMS
17 has selected PET as the entity that we're going to
18 restrict and let everything else run wild, you
19 probably would like to talk to the cardiovascular
20 interventionist who would like to do carotid stenting
21 where we, in fact, don't cover the FDA-approved

1 indications for carotid stenting as we think FDA was a
2 bit lax -- I'm sorry -- a bit liberal in their
3 indications.

4 I'd also like to comment just briefly on our
5 sort of our decision-making process, which attempts to
6 say that we're looking for sufficient, adequate
7 information that a particular technology improves
8 outcomes. And if we can answer that question yes, and
9 we find it to be reasonable and necessary, reasonable
10 and necessary is the CMS mantra as safe and effective
11 is for FDA. And we cover it. Coverage can be broad.
12 It can be narrow. There can be restrictions. CED is
13 one of those restrictions.

14 It is extremely common for us to find that
15 there isn't sufficient data to answer the question as
16 to whether it improves health outcomes or not. And
17 unfortunately, that is typically taken as a
18 disparaging comment on the technology when, in fact,
19 it's a comment on the amount of evidence for that
20 technology.

21 Unfortunately, that's somewhat semantic in

1 that it ends up resulting -- if we follow our
2 evidence-based principles -- in non-coverage. Whether
3 the technology works or not, if there's not evidence
4 to show that it works, we're not going to pay for it
5 doesn't help those that are not getting the technology
6 because it follows some separate principles.

7 But it is clearly a different question -- a
8 different answer than if there's sufficient data to
9 show that it doesn't work. And that's always a much
10 more challenging issue for us. If there's sufficient
11 data that it works or sufficient data that it doesn't
12 work is certainly an easier coverage decision than one
13 in which we don't have a sufficient amount of data to
14 know one way or the other, which is why we have these
15 meetings so that we can get the expert opinion that,
16 in fact, Congress asks us to get on a regular basis
17 around these issues.

18 One final comment on outcomes data. Just as
19 the NOPR registry is a -- is an experiment in and of
20 itself in the ability to collect data in this manner
21 and use it for the purposes of making coverage

1 decisions, the use of outcomes data falls into that
2 same category. We have begun in some isolated
3 instances to attempt to marry outcomes claims data
4 with other data that we've collected. As many of you
5 may recall back in 2004, sometime previously, we
6 required registries for implantable defibrillators,
7 ICDs, and were collecting data at the point of
8 implantation around patients to look at whether
9 appropriate criteria were being followed.

10 Well, we're now attempting to track those
11 patients through our claims databases to see what's
12 their survival. Does a survival in our claims
13 database match those in the trials? Are there
14 increased number of procedures? Are there more MIs?
15 Are there more sudden deaths? What's sort of the
16 outcomes that we can track in our claims database?

17 And we can track those outcomes fairly well.
18 We pretty much know when our patients live and die.
19 Not always, we occasionally pay claims on dead people,
20 but not very often.

21 So this is another one of those where we

1 want to take some fairly rigorously-collected data
2 around what happened at a point in time and then track
3 those patients to see what occurred. There actually
4 is some improvement in the data we can track now that
5 we are able to track part D data. Challenging even
6 for those who work here, your part D data, but that's
7 a technical issue we're working on. But we know what
8 chemotherapy people are getting because we're paying
9 for it. So we can know whether the doses were
10 increased or not increased or when the drugs were
11 changed or not changed. So we're hoping that we can
12 use this to sort of marry these two kinds of data.

13 This still comes down to the methodological
14 question of, is that sufficient? If it's not -- if
15 it's not the purest randomized trial prospectively
16 collected data, does even marrying those two pieces of
17 data make them sufficient to answer the questions?
18 Not just what we're trying to answer, but the
19 questions that patients and physicians are trying to
20 answer as they see patients.

21 I'm not asking you to necessarily answer

1 those questions today. But I do want to just focus
2 on, this is an ongoing maturation process of doing
3 evidence-base coverage decisions to learn what it is
4 that you can use and should use in making evidentiary
5 decisions.

6 And the questions aren't all answered.
7 We're going to answer them as they go along. And new
8 technologies are going to, in our view, benefit from
9 this new environment, in your view, may be guinea
10 pigs. It depends on your point of view. But as new
11 technologies come along, we are going to apply what we
12 have learned in the methodological world around how we
13 can evaluate these and not evaluate these.

14 And just as a final comment, regardless of
15 the decisions we make around this, we think this has
16 been -- CMS thinks this has been a tremendous
17 cooperative work with the folks who have been involved
18 in collecting the data in the NOPR registry. It is a
19 tremendous amount of work on their part. And we've
20 been -- we've been, we think, privileged to be
21 involved in this -- in this work. Recognizing from

1 the beginning that we weren't real clear what we'd get
2 at the end and how well we would use it, but we
3 certainly think this has been a superb effort and a
4 significant advancement in how we do collect data in
5 our patient population.

6 DR. JANJAN: Steve, if I might?

7 I think the issue you make about what
8 happens between a clinical trial and general practice
9 is an important one because in phase four -- you know,
10 in a clinical trial, you've got very strict
11 eligibility criteria. Once you get it out into
12 general practice, you don't have those same strict
13 eligibility criteria.

14 And what may be true in a clinical trial in
15 terms of results may not always be reproduced in the
16 phase four experience. And I think the NOPR data is
17 important from that respect as well because you're
18 getting a more generalized outcomes data than you
19 would from a clinical trial.

20 And as we try and compare the data from
21 clinical trials and apply that to NOPR and some of the

1 other things that have been presented here today, I
2 think is an important step because the phase four
3 data, I think, is actually much more important to
4 refine the indications for treatment.

5 DR. SATYA-MURTI: The fact of having older
6 tests slipped under without the EBM knife, I am
7 sympathetic and empathetic. There are many in
8 neurology -- many tests, such as the EEG, which
9 wouldn't qualify for use with current technology.

10 And somebody was talking about CTs. We had
11 ten CTs assigned in a large Navy base during my two
12 year draft stint. And, yes. A retired line officer
13 got that always. So that you would relate to that.
14 Okay.

15 So nonetheless, I think as part of our both
16 evolution and enlightenment, it is unfortunate we are
17 subjected to this. There is one obscure neurologic
18 tests called McNeeder (phonetic) encephalogram, MEG,
19 that is having similar difficulties now. So I -- I
20 suppose it's part of evolution in medical thinking is
21 how I need to look at it.

1 We could move on to the voting question,
2 unless we have really a very tumescent comment we need
3 to release.

4 Do I read the questions, as is the custom,
5 or --

6 DR. PHURROUGH: I think --

7 DR. SATYA-MURTI: You go ahead.

8 DR. PHURROUGH: I think for these first two
9 questions what we want you to do is just we'll take a
10 few minutes for you to finish your charts. We'll
11 collect your charts, and then we'll let you comment on
12 your charts -- on your -- why you filled out the
13 charts the way you did while our recorder here is
14 trying to get them computerized.

15 MS. ELLIS: Excuse me. Panel members, there
16 are new blank questions inside your folder, if your
17 name is already on them.

18 DR. SATYA-MURTI: And then go back to the
19 voting process. I am not a voting member. So we'll
20 start with question three. Start with three. How
21 confident are you that these conclusions are

1 generalized to other cancers? We have this voting
2 flag.

3 DR. BERGTHOLD: Before we do it, I think we
4 need to -- Peter, why don't you explain?

5 DR. JUHN: Yeah. Just for clarification.
6 So this question is about the conclusions of the
7 studies of the nine cancers, whether those study
8 conclusions from the nine cancers can be generalized
9 to cancers that were not part of that original nine.
10 Is that the intent of the question?

11 MR. SHIELDS: That was my interpretation of
12 the question.

13 DR. JACQUES: Well, what we were -- Louis
14 Jacques. What we were thinking at the time was
15 whatever personal conclusions you made in the table
16 about the sufficiency of the evidence, did you, based
17 on however you determined to fill out that table, do
18 you feel that those conclusions are generally
19 applicable?

20 Now, if your table is entirely heterogenous,
21 then I suppose the conclusion you would say is that

1 the generalizability of this is similarly limited.

2 And there may be ups and there may be downs.

3 On the other hand, if your roundtable was
4 largely positive or largely negative, you would
5 essentially be saying yes. And I believe the same
6 thing about the others.

7 DR. BERGTHOLD: Okay. That helps.

8 DR. PHURROUGH: And specifically outside the
9 nine cancers to other cancers.

10 DR. BERGTHOLD: Got it.

11 DR. SATYA-MURTI: We have a voting system
12 that the Olympics have borrowed from us. So maybe
13 hold up the numbers.

14 DR. PHURROUGH: Hang on a minute. Hang on a
15 minute. Hang on a minute. Our recorder is otherwise
16 occupied. Someone's got to record over here. Let her
17 finish what she's doing. Hold up your numbers again.

18 PANEL RESULTS: (Wahl, five; Juhn, one;
19 Bergthold, two; Sloan, two; Lichtenfeld, three;
20 Janjan, four; Henderson, three.)

21 DR. SATYA-MURTI: I believe one of the

1 things I'm tasked with is to find out why you chose
2 what you chose. Dr. Henderson, starting with you, why
3 did you go for three? Very briefly.

4 DR. HENDERSON: Well, actually, in my
5 earlier marks, I think I made that clear. Number one
6 is that the difference, for example, between breast
7 cancer and these other cancers has led me to believe
8 that probably we can't just routinely and knee-jerk
9 say, without looking at some data, that these cancers
10 are all exactly the same.

11 And then I commented also on the avidity
12 for, you know, for the glucose with the FDG. And then
13 the location of the tumor could make a difference
14 also, also related to the FDG and interference. So
15 those are the three reasons why I thought you have to
16 be thoughtful about each one.

17 DR. JANJAN: I selected four because of the
18 homogeneity of the NOPR data.

19 DR. LICHTENFELD: I selected three because
20 of all the reasons I mentioned earlier. I felt it was
21 -- my table was all over the place, so I didn't think

1 it was fair to apply it to all other cancers.

2 DR. SLOAN: I selected two more or less for
3 the same reason, the NOPR data was fairly consistent.
4 The previous data was very inconsistent. So I sort of
5 went right between. And my personal conclusions were
6 somewhat varied by cancer.

7 DR. BERGTHOLD: I thought the evidence
8 showed that it is not as effective, for example, for
9 brain as it is for cervical. So I selected two.

10 DR. JUHN: I selected number one because I
11 had no confidence that you could extrapolate from one
12 cancer to other cancers when there is such
13 heterogeneity of responses from the nine that we
14 reviewed.

15 DR. WAHL: And I was an optimistic outlier
16 at five. And the reasons for that are one, the NOPR
17 data were very consistent. Two, having practiced PET,
18 the generalizability I saw is that many of these
19 cancers -- most cancers have high uptake of FDG, and
20 that the detectability issues are typically related to
21 the size of the lesion and the location of the lesion

1 near FDG excretion sites.

2 So like in the brain, the brain has a lot of
3 glucose uptake. And you may not detect lesions as
4 well because of that -- because of the background,
5 similarly in the bladder and prostate. So I thought
6 generalizable -- certainly not fully generalizable.
7 But since it didn't say fully generalizable, I had
8 high confidence that the biology carried and that the
9 usual detection issues were related to location and
10 background and lesion size.

11 DR. SATYA-MURTI: Okay. Moving on to four.
12 How confident are you that these conclusions are
13 generalizable to non-research PET facilities in the
14 general community?

15 PANEL RESULTS: (Wahl, five; Juhn, four;
16 Bergthold, four; Sloan, three; Lichtenfeld, three;
17 Janjan, three; Henderson, four.)

18 DR. SATYA-MURTI: Go ahead.

19 DR. HENDERSON: Well, my reason for the --

20 DR. SATYA-MURTI: Go through the process of
21 explicatory --

1 DR. HENDERSON: Well, I suppose in a way
2 it's a lack of any suggestion that there's a lot of
3 variability. There's a lot of PET scan centers now,
4 and they seem to be of high quality. Although we
5 didn't address that directly, I thought that the tech
6 assessment did to some extent. The scientists and
7 radiologist here did to some extent.

8 And I haven't seen anything to suggest that
9 this is something that can't be done by -- other than
10 a specialized PET unit. But it doesn't have to be a
11 researcher or university-based PET unit.

12 DR. JANJAN: I selected three I guess
13 because I don't think there's enough phase four data
14 out there to say that four would be appropriate.

15 DR. LICHTENFELD: And I'll agree with the
16 prior comments.

17 DR. SLOAN: I selected three because, you
18 know, the NOPR data suggested that this could be done
19 to some extent in the community, not really highly
20 objective data. And I know from having a specialty in
21 a cancer that's fairly rare that certain community

1 centers that probably do an excellent job on the
2 common things like lung, breast, colon don't -- often
3 do a very poor job of brain and spine. So I selected
4 three.

5 DR. BERGTHOLD: I selected four for the
6 reasons that Dr. Henderson, I think --

7 DR. JUHN: Four, same reason.

8 DR. WAHL: I said five mainly because the
9 NOPR data included so many enrollees from community
10 settings. Had we had the true Olympic scale, I would
11 have probably used 4.6 recognizing that there probably
12 is variability from center to center.

13 DR. SATYA-MURTI: The last question, how
14 confident are you that these conclusions are
15 generalized to the Medicare beneficiary population?

16 PANEL RESULTS: (Wahl, five; Juhn, four;
17 Bergthold, four; Sloan, four; Lichtenfeld, four;
18 Janjan, four; Henderson, four.)

19 DR. SATYA-MURTI: Yeah. I thought that was
20 the easiest question of all, at least for me.

21 DR. HENDERSON: Well, in a way what you just

1 said poses a very good argument. And that is that if
2 we accept the NOPR data -- I certainly do. I didn't
3 accept it exactly in answers to questions number one
4 and two. But it was very credible to me. And if
5 we're going to do that since that's Medicare patients,
6 I think, obviously, the two are inextricably linked.

7 DR. SATYA-MURTI: And many of them were
8 Medicare age anyway, both in TA and the NOPR.

9 DR. JANJAN: No further comment. Just I
10 agree.

11 DR. LICHTENFELD: And I agree. I think the
12 comment about the NOPR data was really instrumental.
13 In fact, I changed my number here a couple times. But
14 that was the one that made me vote four.

15 DR. SLOAN: The same argument.

16 DR. BERGTHOLD: Actually, I was very pleased
17 to see the range of ages, you know, the range of -- or
18 the number of Medicare-aged people that were in the
19 studies that were done and the technology assessments.
20 Because often what we see in these panels is that
21 there were relatively few people of Medicare age in

1 the population studied. So I thought that it was very
2 impressive.

3 DR. JUHN: I gave it a four for a very
4 similar reason. The tech assessment did not give any
5 indication that the Medicare population wouldn't have
6 the same set of outcomes that the entire population
7 had.

8 DR. WAHL: I gave it a five because mainly
9 the NOPR data being basically all Medicare
10 beneficiaries in the largest set of data.

11 DR. SATYA-MURTI: They're coming out with
12 the table numbers.

13 DR. PHURROUGH: While they're doing that,
14 let me throw out sort of the broader questions around
15 methodology.

16 I know we ask you to answer specific
17 questions, but I'd like to have a bit of discussion
18 around where should CMS go. We think this CED process
19 is an appropriate process. As Dr. Tunis mentioned
20 this morning though, we are limited in what we can do
21 because we have a defined time interval.

1 And if we require particular studies in
2 general, we can only -- that's the only coverage you
3 get is in those studies. And you can't have coverage
4 outside the studies, which then limits -- we're either
5 limiting access, if we're looking for some fairly
6 rigorous study or to have broad access, we're doing
7 what we did with this particular issue. We're doing a
8 -- sort of a prospective observational study.

9 Registries sometimes have bad connotations.
10 I think this was a fairly decently designed
11 observational prospective study that used a registry
12 to collect the data.

13 So give me your comments. Is this a viable
14 way for us to collect information that's going to be
15 beneficial? Is this kind of observational prospective
16 study of benefit? Not just to us in making coverage
17 decisions, but to treating physicians and patients.
18 Interesting to hear your comments on it.

19 DR. SATYA-MURTI: I'd like to see what the
20 outcome would be. I know you mentioned that a change
21 in management is tantamount to one of the outcome

1 changes. So perhaps a little better -- maybe a
2 structure for what outcome is expected.

3 DR. HENDERSON: I think this NOPR is a
4 spectacular experiment. I congratulate you for
5 participating in it and moving in that direction.

6 Sure. I agree with you that the trial could
7 be improved. The answer that you are trying to keep
8 the barriers low is a reasonable answer. But I think
9 that one of the things that we want to do in our
10 society if we're going to have evidence-base medicine
11 is get to the point where we -- you know, where every
12 physician and hopefully every patient feels
13 comfortable contributing to that evidence.

14 I think oncology being a very new specialty
15 compared to the others and one in which we've had to
16 kind of fight to get a toe-hold or certainly at the
17 beginning of my career, people would spit on you as
18 you walked down the hallway. I mean, it was very
19 difficult. So I think most medical oncologists have
20 sort of lived their whole life with the idea that they
21 had to get better therapies. What we had was really

1 awful with all that nausea, vomiting, hair loss.

2 I think medical oncology is a field where
3 almost every practicing oncologist has had some
4 training in doing clinical trials. I'd like to get to
5 the point where all of the medical profession is that
6 way. They all feel that obligation. And I think this
7 is an excellent first step. I'm hoping that maybe we
8 could pay \$75 dollars and get a little bit more data
9 next time.

10 DR. JANJAN: I think NOPR has been a
11 tremendous success. From a clinician's point of view,
12 it's very important to understand what's being done
13 and why it's being done. And the data -- and if you
14 go the step further on outcomes, you can produce the
15 data that's not possible for clinical trials because
16 of the sheer volume of patients that you've put into
17 the effort and the outcome data that you have
18 available to you.

19 It takes individual institutions years to
20 accomplish a very small patient number. And I think
21 that's part of the problem with the initial study

1 presented by the Canadian group. Because so many of
2 these clinical trials have such limited patient
3 numbers, and it's just not possible to get those data.
4 I think this is a very important step forward for all
5 of medicine.

6 And as a clinician, I think it's extremely
7 important data in helping me go to the next step in my
8 practice of care. So I would very much encourage its
9 use in almost every new technology or question that
10 you have coming down the line.

11 DR. LICHTENFELD: Well, I'm going to
12 basically echo the comments that have already been
13 made.

14 I think from the professional organizational
15 side of things, I think it's terrific that the
16 organization stepped up. My compliments to ACRIN for
17 participating. I know that they are participating in
18 other trials. And I think that that's incredibly
19 positive.

20 I think the difficult question that we were
21 faced with is the perfect versus the good. We do not

1 have perfect data. We will likely never have perfect
2 data. And you're probably very familiar and many of
3 the people in the room are probably familiar, we've
4 had other instances in cancer medicine in the past
5 where we tried to get some -- through CMS, through
6 Medicare reimbursement -- some handle on various
7 treatments. And we were unable to do that.

8 Well, here we were -- you know, this was
9 successful this go around. And I think that I would
10 consider the data, you know -- I hate to say the word.
11 I wish it were more academic, it couldn't be. That's
12 what I mean the perfect and the good.

13 Was it compelling? Yes. It influenced my
14 decisions that I made, clearly impacted my assessment.
15 And it was -- I wish it were complementary, c-o-m-p-l-
16 e-m -- a complementary to the technical assessment.

17 In fact, it created a great deal of -- as I
18 mentioned earlier, equivocation for me because I had
19 two different data sets showing two different things.
20 And I know that both of them were done well, but how
21 do you make a decision on that? Well, maybe as I've

1 commented earlier, maybe that is -- not being able to
2 make a decision, in fact, may be a decision. And just
3 looking at some of the numbers up there, they're
4 probably a lot more conservative in some respects than
5 I would have anticipated, given the NOPR data.

6 But I certainly think that everyone -- I
7 can't speak for everyone. But I know I appreciate
8 having that. It did influence my decision. And I
9 certainly would encourage CMS to make those
10 investments going forward so we can get real life,
11 real-time information from quality centers to show how
12 does this stuff really impact what we do in medical
13 practice.

14 DR. SLOAN: I agree with the previous
15 speakers, Dr. Janjan and Dr. Lichtenfeld. I think the
16 organizers are to be complimented on the NOPR
17 approach. I think it's really refreshing and novel
18 and may provide -- you know, it's certainly a new
19 approach and may provide a new methodology to address
20 these questions.

21 At this point, however, it's a little

1 unclear how to interpret the data because of the
2 subjectivity of the data. And I think I would look
3 forward to seeing the results of CMS's additional
4 studies. And this may be something that can be done
5 for other technologies as has previously been pointed
6 out.

7 DR. BERGTHOLD: Well, I'm going to mention
8 the patient here because from the point of view of the
9 Medicare beneficiary, this is a huge step forward, I
10 think. And going forward in terms of having access to
11 a treatment, but then being able to sort of contribute
12 your data to knowing how it works or how it doesn't
13 for not only you, but everyone else. So I think this
14 is a big plus for Medicare beneficiaries.

15 I would say a better construction of the
16 questions to make -- it's all about the question, and
17 it always is. You know, you ask a good question, you
18 get a decent answer. And I realize that the
19 construction of the question was a complex political
20 policy compromise. But I think that's sort of one
21 thing to look into the future.

1 The second thing for the future would be to
2 try to get ahead of the curve a little bit, hopefully
3 before you're being hit on the head with a hammer, you
4 know, to have a little bit more time to develop the
5 process of -- the CED process.

6 DR. JUHN: Yeah. I want to add my
7 congratulations to CMS for embarking on this. I think
8 this is very much kind of the next step in what we do
9 with evidence and the decisions that surround
10 interpretation of evidence.

11 The two comments that I would have --
12 actually recommendations -- one is I'm not sure that
13 showing the interim results, i.e., the results of a
14 survey, on intention is really -- you know, can
15 sometimes cloud the picture, if you will. So I guess
16 I would suggest if you're going to do this again in
17 the future to really think about, can you actually get
18 to a set of outcomes. And have that presented as
19 opposed to the interim data.

20 The second suggestion is one way to kind of
21 marry the technology assessment and information we get

1 from registries like this is to actually have -- in
2 this case the NOPR data and the results from it
3 actually subject to an evidence grading exercise by
4 the folks at the technology assessment. I think it
5 would be kind of interesting to see where they might
6 come out on that.

7 DR. WAHL: I sort of re-second the
8 congratulations on the NOPR approach. I think it's
9 provided really unique information that had never been
10 available in diagnostic imaging before. The large
11 fraction of data from outside of academic centers I
12 think is a remarkable strength.

13 And I do think that this whole approach
14 could be explored in other therapeutic and diagnostic
15 approaches. So I think that's attractive.

16 I would say that the data on treatment
17 monitoring I think if that continues to be collected
18 would be very interesting because that is more limited
19 in the literature. But I have been encouraged that
20 the data show the broad applicability seemingly in
21 decision making and across cancer types.

1 Can I say one other thing while I'm
2 finishing up?

3 To conclude my comment, having been involved
4 in working through PET from early stages to now, it is
5 somewhat -- it represents an opportunity for our
6 government to invest in well designed and funded
7 prospective imaging trials before we get so far along.
8 It's been a deficit in not getting those done.

9 Those have been done in many of our
10 therapeutics, but they have been done much less in
11 diagnostics. And I think that this represents a, you
12 know, future opportunity in investment and to
13 integrate imaging studies more -- clinical imaging
14 studies carefully evaluating technologies a little
15 earlier in their evolution to help maybe make better
16 decisions in the future.

17 DR. PHURROUGH: From a policy viewpoint,
18 coverage of diagnostics is a mine field, much more so
19 than therapeutics. It's sort of the same in the
20 payment for diagnostics, where we don't have the same
21 type of payment scales as we do in other issues. So

1 it's -- we're struggling to meander our way through
2 how we manage diagnostics 'cause it's challenging.

3 PANEL MEMBER: That's because radiology fees
4 are so much higher.

5 DR. PHURROUGH: It's not just imaging. It's
6 --

7 PANEL MEMBER: I think I'm the only
8 radiologist here.

9 DR. PHURROUGH: -- simple CBCs cost lots of
10 money to the Medicare program. You can't go see your
11 physician without having some blood drawn.

12 DR. SATYA-MURTI: Is the overall -- all
13 panelists versus selected panelists -- can you explain
14 the table a little bit more?

15 PANEL MEMBER: It's probably an average of
16 everybody in question one and two.

17 PANEL MEMBER: But everything has overall.

18 DR. SATYA-MURTI: Pardon me?

19 RECORDER: The (unintelligible), that's just
20 the board voting members. And then overall is the
21 entire --

1 DR. SATYA-MURTI: Right. Yeah. You want to
2 go through the same? We don't have to explain why we
3 voted that way. Any comments?

4 PANEL MEMBER: We brought down the average
5 every time.

6 DR. PHURROUGH: Is anyone surprised at
7 averages?

8 PANEL MEMBERS: Yes.

9 DR. PHURROUGH: Do you want to make comments
10 about any of it?

11 DR. SATYA-MURTI: The overall tends to be
12 overall the same as the panel members except in the
13 diagnosis column. Two versus one point -- I guess
14 even that is not -- with such small samples, that may
15 not be that different.

16 Thank you very much.

17 DR. LICHTENFELD: You said if anyone wants
18 to make a comment. And since I have no fear, you
19 know, I will say I am surprised about how low the
20 scores are. And maybe I did pass the test after all.

21 DR. PHURROUGH: So your scores were in the

1 same range as these?

2 DR. LICHTENFELD: Yeah.

3 DR. PHURROUGH: So that's why you're
4 surprised.

5 DR. LICHTENFELD: No. No. And I think
6 that, again, that you know, when you look at it
7 overall and you're just trying to process it, you
8 don't find a whole lot of fours. You find an awful
9 lot of threes, and you find twos and ones. I mean,
10 that's where the trend is.

11 And given the NOPR data, I would have
12 expected it to be perhaps a bit higher. But I think
13 everybody is caught in the same bind. That's the way
14 I read it.

15 You know, ultimately, it was tough to be
16 emphatically and enthusiastically in favor of even
17 what appeared to be some of the most positive
18 indications that we had. So I think that it just
19 reflects that overall -- I mean, that's not a
20 statistical glance. It's just having -- you look at a
21 lot of numbers and try to get a sense of opinions.

1 The panel was much more conservative than I would have
2 anticipated.

3 DR. JANJAN: I would agree with that. And I
4 think that maybe having a range or having a frequency
5 might also help because it -- with only four even
6 among the total overall score, it still is a small
7 end. But having a frequency may help us understand
8 this better.

9 DR. HENDERSON: I'm just curious whether --
10 because I altered my scores today particularly as I
11 heard the OPRR data. So in the end, I went through,
12 and I tried to mentally remember everything that the
13 tech panel had integrated. Did others do that too, or
14 did you --

15 PANEL MEMBER: Yes.

16 DR. HENDERSON: So everybody kind of changed
17 their scores during the course of the day 'cause I
18 kind of thought that's what our instructions were.

19 DR. SATYA-MURTI: Yes.

20 DR. HENDERSON: Put all the data together,
21 and we were free to do that. Okay.

1 DR. PHURROUGH: For those of you in the
2 audience, this particular panel was somewhat different
3 than many others in that they had significantly more
4 homework than we typically give MedCAC panels, which
5 may be the reason a few didn't show up and decided to
6 drop out. They decided that homework was past their
7 --

8 This was a tremendous amount of work. And
9 each of you, I think, have demonstrated that you took
10 the task to hand well, and you focused on this. And
11 it's impressive the amount of the work and thought
12 that you put in. And the Agency is really better off
13 for your having spent this time. And so we thank you
14 for all this work.

15 For those speakers, we appreciate the work
16 that you put into that. For the NOPR folks who have
17 spent lots of hours not just in preparing for this,
18 but in collecting all the information, thanks to you.
19 And for those others who took their time to present
20 and be present and make their comments known, we thank
21 you for that, too.

1 And the results of this, the actual voting
2 questions, will be on our website by close of business
3 tomorrow -- hopefully by close of business tomorrow.
4 The transcript will be available in a couple of weeks.
5 Hopefully sooner than that, but I tell you a couple of
6 weeks so that you don't expect something in a couple
7 days. And then they'll be a --

8 DR. WAHL: I would just say that it would be
9 important in this calculation to determine how blank
10 fields were handled in terms of the average because
11 I'm a little puzzled by some of the low numbers. And
12 if it was left blank, I think it should be clear how
13 -- how the average -- a blank could be counted as a
14 zero. Potentially that may not be appropriate.

15 DR. PHURROUGH: Blanks were removed, and so
16 they weren't counted as zero. But as you'll see
17 across the bottom, each person's votes will be
18 available. And so we'll post the spreadsheet, and so
19 each person's votes will be available for people to
20 look at.

21 So thank you very much. You'll see our next

1 comments and our proposed decision in several weeks.

2 Thanks.

3 (Whereupon, the proceedings were concluded.)

COMPOFELICE REPORTING SERVICES (301) 596-2019

C E R T I F I C A T E

I hereby certify that the recording represented by the foregoing pages was transcribed by me, or under my direction; that this transcript is a true and accurate record to the best of my ability.

COMPOFELICE REPORTING SERVICES, INC.

Teresa E. Compofelice, CVR

COMPOFELICE REPORTING SERVICES (301) 596-2019