

00001

1
2
3
4
5
6
7
8
9
10

11 CENTERS FOR MEDICARE AND MEDICAID SERVICES
12 Medicare Evidence Development & Coverage Advisory
13 Committee

14
15
16
17
18
19

20 October 22, 2007

21
22 Centers for Medicare and Medicaid Services
23 7500 Security Boulevard
24 Baltimore, Maryland
25

00002

1 Panelists

2

3 Chair

4 Barbara McNeil, M.D., Ph.D.

5

6 Panel Members

7 Mark D. Grant, M.D., M.P.H.

8 Mark A. Hlatky, M.D.

9 Deborah Schrag, M.D., M.P.H.

10 Ruth Bush, M.D., M.P.H.

11 Karl Matuszewski, M.S., Pharm.D.

12 Nancy Davenport-Ennis, B.A.

13 Leslie B. Fried, J.D.

14

- 15 HCFA Liaison
- 16 Barry M. Straube, M.D.
- 17
- 18 Consumer Representative
- 19 Linda A. Bergthold, Ph.D.
- 20
- 21 Industry Representative
- 22 Peter Juhn, M.D., M.P.H.
- 23
- 24 Past Administrator
- 25 Thomas A. Scully, J.D.

00003

- 1 Panelists (Continued)
- 2
- 3 Guest Panel Members
- 4 Jean Slutsky, P.A., M.S.P.H.
- 5 Michael A. Jacobs, M.D.
- 6 Sean Tunis, M.D., M.Sc.
- 7
- 8 Executive Secretary
- 9 Michelle Atkinson

- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

00004

- 1 TABLE OF CONTENTS
- 2 Page
- 3

4	Opening Remarks	
5	Michelle Atkinson/Barry Straube/	
6	Barbara McNeil	6
7		
8	Introduction of Panel	10
9		
10	CMS Welcome Presentation	
11	Herb B. Kuhn	11
12		
13	CMS Presentation	
14	Rosemarie Hakim, M.S., Ph.D.	13
15		
16	Presentations	
17	Peter Savage, M.D., F.A.H.A.	18
18	Martin L. Brown, Ph.D.	27
19	Michael Schoenbaum, Ph.D.	32
20	Judith Fradkin, M.D.	43
21	Susan Nayfield, M.D.	53
22	Walter Koroshetz, M.D.	61
23	Madeline K. Turkeltaub, C.R.N.P.	72
24		
25		

00005

1	TABLE OF CONTENTS (Continued)	
2		
3	Scheduled Public Comments	
4	Steven Glassman, M.D.	82
5	Daniel Resnick, M.D.	85
6	Dr. Weintraub	88
7	Randy Burkholder	94
8	Ann-Marie Lynch	100
9		
10	Questions to Presenters	112
11		
12	Open Panel Discussion	174
13		
14	Closing Remarks and Adjournment	225
15		
16		
17		
18		
19		

20
21
22
23
24
25

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at 8:10
3 a.m., Monday, October 22, 2007.)
4 MS. ATKINSON: Good morning and welcome,
5 committee chairperson, members and guests. I am
6 Michelle Atkinson, executive secretary for the
7 Medicare Evidence Development Coverage Advisory
8 Committee. The committee is here today to discuss
9 evidentiary priorities for the Medicare program.
10 The following announcement addresses
11 conflicts of interest associated with this meeting
12 and is made part of the record. There are no
13 conflicts of interest for today's meeting.
14 We ask that all presenters please adhere
15 to their time limits. We have numerous presenters to
16 hear from today and a very tight agenda, and
17 therefore cannot allow extra time. There is a timer
18 at the podium that you should follow. The light will
19 begin flashing when there are two minutes remaining,
20 and then turn red when your time is up. Please note
21 that there is a chair that says next speaker, and
22 please proceed to the chair when it is your turn.
23 For the record, the entire panel will be
24 voting today. The voting scores will be available on
25 our web site following the meeting.

00007

1 I ask that all panel members please speak
2 directly into your mikes, and since we have a few
3 number, we're going to have to share today.
4 And lastly, please everybody, if you
5 could, discard your trash in the trash cans outside.
6 And now I would like to turn the meeting
7 over to Dr. Barry Straube.
8 DR. STRAUBE: Good morning. I'm Barry

9 Straube, I'm chief medical officer for CMS and also
10 the director of the office of clinical standards and
11 quality, and want to welcome the panel as well as all
12 the members of the audience.
13 This is quite a unique meeting of the
14 MedCAC and I want to thank Dr. Steve Phurrough, who
15 is the director of the coverage and analysis group,
16 as well as the staff of that group for putting this
17 meeting together.
18 Previously in the past, certainly up until
19 the '90s, Medicare paid for everything generally that
20 the FDA approved as safe and efficacious, but
21 starting in the mid 1990s there was an emphasis on
22 gathering evidence and making evidence-based
23 decisions on coverage policies here at CMS.
24 I think if you look at the history over
25 the last seven or eight years in particular, we've

00008

1 made as an agency some significant advances. First
2 there was an expansion of the clinical research
3 policy that started at the end of the Clinton
4 administration where we attempted to broaden coverage
5 and make sure that Medicare beneficiaries had access
6 to clinical research trials.
7 After that was implemented and over the
8 first five years, including the input by a number of
9 people sitting on the panel here, the Agency
10 developed a concept of coverage with evidence
11 development, where again, the broadened coverage by
12 covering technology services and devices that had a
13 preponderance of evidence that would suggest it ought
14 to be covered but didn't quite meet our evidentiary
15 standards, so we put in place a process through which
16 registries and clinical trials would be able to
17 participate.
18 We continued to focus through work at
19 least for medicine with many, many other
20 organizations, including AHRQ, on comparative
21 effectiveness, and Section 1013 (inaudible) portfolio
22 working with AHRQ to gain information on comparative
23 effectiveness.
24 There's many other things that I could

25 talk about, but I hope I've made the case that we're

00009

1 focusing more and more on the development of evidence
2 so we can make wise coverage decisions, but also so
3 that we can use that evidence to educate physicians,
4 clinicians and beneficiaries on the appropriate use
5 of that technology. So this panel this morning is
6 somewhat unique and again, I thank Steve and the team
7 for even coming up with the concept where we're going
8 to look at evidence prioritization, and so bear with
9 us as we go through today because we're treading on
10 new ground, but continuing the charge towards the use
11 of evidence when it comes to coverage in medicine.

12 With that I'm going to turn this over to
13 Dr. McNeil who I think may have some comments and
14 will introduce the panel.

15 MS. MCNEIL: Well actually, I think Barry
16 has said most of what I wanted to say as introductory
17 remarks. This is a new approach for MedCAC and I
18 think it's also going to be fairly tricky for us
19 because it's really, the whole approach today is
20 going to represent a mind shift in how we think about
21 things.

22 People have traditionally talked about the
23 burden of disease, the cost of disease, the
24 prevalence of disease, the incidence of disease,
25 disability days, whatever. Those have typically been

00010

1 how we've thought about lots of the things that we
2 do, but we're really down one level deeper this time,
3 as Barry said.

4 It's going to be important for the
5 speakers and the panel to keep their eye on that ball
6 that we're looking at, that we're looking at
7 particular clinical services, so at the end of the
8 day we can make a priority list of them for CMS.

9 That's going to be the challenge. Hopefully by the
10 time the speakers have finished their remarks, we'll
11 have quite a large list to digest and discuss.

12 As Michelle mentioned, we have a lot of
13 speakers, we've got a large panel, there will be lots

14 of discussions, and I'm afraid I'm going to be fairly
15 brutal in keeping panelists to their time. So if you
16 think you're going over, if you think right now you
17 have 20 slides for 15 minutes, you might want to
18 start deleting. But with that, I think I would like
19 to start introducing the panel quickly.

20 I'm Barbara McNeil, from Harvard Medical
21 School.

22 DR. GRANT: I'm Mark Grant, from the Blue
23 Cross Blue Shield Association.

24 DR. HLATKY: Mark Hlatky from Stanford
25 University.

00011

1 DR. BUSH: Ruth Bush, a vascular surgeon
2 from Texas A&M.

3 DR. MATUSZEWSKI: Karl Matuszewski,
4 University Healthcare Consortium.

5 MS. DAVENPORT-ENNIS: Nancy
6 Davenport-Davis, Patient Advocate Foundation.

7 MS. FRIED: Leslie Fried, ABA Commission
8 on Law and Aging.

9 DR. JUHN: Peter Juhn, Johnson & Johnson.

10 DR. BERGTHOLD: Linda Bergthold, consumer
11 representative and Medicare beneficiary.

12 MR. SCULLY: Tom Scully, with Welsh,
13 Carson, Anderson & Stowe.

14 MS. SLUTSKY: Jean Slutsky, with the
15 Agency for Healthcare Research and Quality.

16 DR. TUNIS: Sean Tunis, with the Center
17 for Medical Technology Policy.

18 DR. JACOBS: Michael Jacobs, orthopedic
19 surgeon.

20 DR. MCNEIL: Thank you. And now Herb
21 Kuhn, the deputy administrator of CMS, would like to
22 say a few words.

23 MR. KUHN: Thank you all very much for
24 coming together today for this important meeting, and
25 as indicated before, it really is a chance for us to

00012

1 look at the evidentiary priorities in Medicare
2 coverage. One, I want to thank Barbara McNeil for

3 chairing this group and for taking on the
4 responsibility here. I also want to thank Barry
5 Straube, Steve Phurrough, Barbara McNeil, and all
6 their colleagues for putting together a very
7 different type meeting. I also want to thank the
8 guest panelists, particularly Tom Scully and Sean
9 Tunis, a couple CMS alumni, Tom being our former
10 administrator and Sean being the former medical
11 director here and also director of clinical standards
12 and quality, for being back to share their own
13 thoughts on this as we move forward.
14 You know, as you think about these
15 meetings in the past that we've had before here,
16 mostly what we brought together was a panel to
17 consider a specific evidence and coverage issue, but
18 this meeting is different, as you've heard before,
19 and as you'll see as we move forward today, because
20 it's really a chance for us to think about and look
21 at and learn what are the challenges to CMS as we go
22 forward, and I think Barry provided a good summary of
23 why we need to be thinking about that.
24 So at the end of the day the outcome,
25 you'll hear this again, will be a list that you come

00013

1 up with to help us think about a list of research
2 priority projects that will not only contribute to
3 the body of medical evidence that's out there, but
4 also really have a great amount of help in terms of
5 providing the needed services for Medicare
6 beneficiaries as we go forward with this program.
7 And we couldn't think of a better way to kind of have
8 that discussion than to bring that forward before the
9 folks here at MedCAC.
10 So again, thank you to the members of
11 MedCAC for being here to take on this issue, thank
12 you for our guests for being here to offer their
13 advice, and for everybody that's here to participate
14 in the meeting in the room, this will mean a lot to
15 us. I think it's a lot of heavy lifting for one day,
16 but I can't think of a better group to try to
17 accomplish it. So again, thank you all for your
18 participation, we do appreciate it.

19 DR. MCNEIL: Thank you, Herb. Are there
20 any questions before we start among the panel? Okay.
21 Then why don't we start with Rosemarie Hakim, who is
22 going to present some background information of a
23 very generic, very general nature. So Rosemarie,
24 you're on.
25 DR. HAKIM: Can you hear me? Today I want

00014

1 to talk about some major costs to the Medicare
2 program. And before I start I just want to say a
3 couple of things, one is because Barbara will cut me
4 off, that heart disease is by far the biggest cost to
5 the program. I think you get the picture.
6 Also, I want to warn you that most of my
7 slides look like I was buying property on Park Place
8 in a Monopoly game.
9 The first slide says that most of the
10 changes, recent changes in the Medicare population in
11 the last 25 years occurred in the disabled and in the
12 oldest of the old. So that if you look at the blue
13 bar, the number of disabled in the Medicare
14 population has doubled while the number of patients
15 over 80 has been steadily declining.
16 In this slide we see where most of the
17 money goes. Physician and supplier costs are \$83
18 billion and about 700,000 for about 33 million
19 people. Hospital costs of 80 billion and about
20 seven-and-a-half million people have had hospital
21 services. The next most expensive is skilled nursing
22 facilities, followed by home health agency services.
23 This slide gives you the most important
24 discharge diagnoses. If you look down at the
25 circulatory system diseases, we're spending about \$33

00015

1 billion on that. The next most expensive is
2 respiratory system, hospital discharges.
3 Looking at, this slide just picks out
4 several of the numbers for heart disease. The
5 biggest hospital diagnosis, which is not the same as
6 procedures, is atherosclerosis, at about \$7 billion.
7 If you look at MI and other ischemic diseases, they

8 come in at about 5 billion. CHF is really expensive,
9 5 billion, and stroke and cerebrovascular disease is
10 about 4 billion.
11 Now this is procedures for heart disease.
12 All surgeries are about 25.5 billion. Removal of
13 coronary artery obstructions, which is mostly
14 stenting, is nearly 4 billion. Coronary bypass graft
15 is 3.5 billion for fewer people than for stenting.
16 And cardiac cath is 2 billion, also for about the
17 same number of people that are getting stented. In
18 the bottom you see surgeries involving insertion of
19 pacemakers or ICD are about 2 billion.
20 This slide shows hospital stays for
21 fractures, which total about 3.7 billion, and the
22 most expensive part of that is fractures of the
23 femur. And interestingly, poisoning by drugs and
24 biologics is about \$230 million for about 49
25 patients, 49,000 patients.

00016

1 Digestive disorders are not quite as
2 expensive as the other things. The most expensive
3 are cholelithiasis and diverticulosis, followed by
4 enteritis and colitis.
5 These are all surgeries on the
6 musculoskeletal system. Total knee replacement was
7 the biggest one and affects the most people. Second
8 most expensive is reduction of facial fractures.
9 Total hips come in fourth at 1.2 billion, and disc
10 surgery is about \$200 million.
11 This shows outpatient services which by
12 far is chronic renal failure, mostly for dialysis.
13 Respiratory services therapy is about 9 million, or
14 I'm sorry, 900 million, followed by chronic ischemic
15 heart disease services.
16 The most affected patients are those
17 who -- I'm sorry -- the most served patients are for
18 screening, hypertension, diabetes and cardiac
19 arrhythmias.
20 Okay. This is a slide that shows you how
21 respiratory therapy is the biggest part of hospital
22 procedures.
23 This shows you home health agency

24 services, which are headed by treatment for
25 circulatory system disorders followed by diabetes.

00017

1 Musculoskeletal comes in third at 800 million,
2 followed by skin care at almost 800 million.
3 Next, the final slide shows you
4 differences in admissions to skilled nursing
5 facilities. We have the nursing homes. In 2000 the
6 biggest reason was hip fracture and that's changed.
7 The two most common reasons for admission are now
8 heart failure and pneumonia. Admissions for acute
9 stroke has changed dramatically.
10 And my final slide shows the differences
11 between physician services in total and per person
12 services per year. The highest costs are all
13 hospital outpatient visits and consults, totaling
14 about \$12 billion, and ambulance services and
15 hospital evaluation each come in at 6 billion.
16 Cataract removal is about 2 billion, and payment for
17 oxygen concentrators is the fifth highest.
18 Now the per patient payments go to mostly
19 injectables. Rituxan is \$14,000 per patient,
20 radiation treatment delivery, again, about 14,000 per
21 person. Remicade, Neulasta and ESA are also up
22 there, and wheelchairs come in for almost \$4,000 a
23 person.
24 So that's it. If you want to look up
25 these statistics yourself, we have them on our web

00018

1 site.
2 DR. MCNEIL: Rosemarie, what is ESA.
3 DR. HAKIM: It's erythro-stimulating
4 agents.
5 DR. MCNEIL: Thank you. Any quick
6 questions for Rosemarie?
7 Well, this is the umbrella. She provided
8 the data on really the costs at the aggregate level
9 as well as numbers of patients that are involved in
10 the Medicare pool, so we can pick up on that as the
11 overview, and we're going to be looking for surfaces
12 under those various diseases and conditions that we

13 want to identify.
14 So with that, we will move on to Peter
15 Savage, from the office of the director of the NHLBI.
16 DR. SAVAGE: Thank you. I have the slides
17 in front of me here, but -- there they are, okay.
18 What I'm going to try to do in the next 12
19 minutes is to talk very briefly about some area where
20 there's gaps in information, particularly from
21 translating information that comes from previous
22 research into things that are useful for clinical
23 care. And I want to start with a couple general
24 comments.
25 This is obviously an oversimplification,

00019

1 but asking the question of what traditional clinical
2 trials tell us, they tend to be designed, many of
3 them, to focus on finding the cause of a disease,
4 finding the maximum benefit of optimal therapy,
5 provide limited adverse event information at the time
6 that drugs and devices are sometimes cleared for sort
7 of clinical use. The clinical care environment,
8 however, is often very different. Patients have
9 multiple diseases, they're on multiple drugs. More
10 and more in the elderly, the drug combinations are a
11 source themselves of problems. The type of therapy
12 is less intense, the follow-up can be less complete
13 than in a trial, and so problems can emerge that
14 aren't seen in the trials.
15 There's a wide variation of patient
16 response to treatment and that has multiple
17 components, the healthcare system itself, patients
18 and how they behave, the overall environment in which
19 they work, they live. And in many cases unexpected
20 adverse events emerge years after a drug has gone on
21 the market, and the troglitazone controversy that
22 just made the news a few months ago is one example of
23 that.
24 And what I want to talk about in several
25 of the slides that are coming is the need for

00020

1 practical studies where the research is actually

2 close to what will be implemented in clinical care
3 settings. It involves both cases in which there
4 should be some sort of a systematic tracking or
5 observation to monitor for benefit and harm, and also
6 of necessity some randomized clinical trials that
7 have a very practical orientation. And the system
8 itself needs to be fairly agile in order to respond
9 when problems are identified.

10 One of the big areas despite the fact that
11 it's been around for more than 50 years is
12 hypertension control. It's the major cause of
13 cardiovascular disease worldwide. It actually is a
14 major contributor to myocardial infarction, to
15 stroke. Congestive heart failure, which you could
16 see from the previous talk, accounts for an enormous
17 amount of expenditure.

18 Is an expensive clinical trial evidence of
19 the benefit of treatment? Major benefits have been
20 achieved, as you can see from slides that everybody
21 is probably familiar with in terms of the course of
22 cardiovascular age-adjusted death rate, but even now
23 the minority of patients achieve optimal control,
24 control according to guidelines. And as guidelines
25 are being tightened up further, that number actually

00021

1 in some specific cases is even less. And there are
2 multiple causes, as I mentioned just a few minutes
3 ago, and progress is lagging in certain subgroups of
4 the US population.

5 What are a couple of things that we need
6 to do? We need to improve our tracking and
7 surveillance of data to monitor trends when the
8 clinical study comes out about some way of improving
9 treatment of hypertension, what impact does it have
10 in the real world. We need to evaluate the
11 interventions for hypertension treatment in multiple
12 clinical settings, with a goal to achieve control
13 rates that will be seen in some of the best systems.
14 It's obviously very different whether
15 you're dealing with a big, well organized system such
16 as the VA, or even a chronically underfunded system
17 such as the Indian Health Service, where there's a

18 whole structure of care providers and various people
19 to assist them and people to reach out to the
20 patients in the community, and a single practice or a
21 small group practice or a small clinic in a poor
22 urban area.
23 And there's a clinical trial that's in the
24 process of being worked on, it hasn't been approved
25 to go forward yet, but at the NIH, looking at the

00022

1 treating blood pressure to lower limits, lower levels
2 in high risk patients to see if further benefit can
3 be achieved. It's going to be called the SPRINT
4 trial if it goes forward.
5 A major area for prevention, as again was
6 highlighted in the previous talk, is heart failure.
7 The target result of our prior success, people are
8 staying alive that would have died from their
9 myocardial infarct, and people with heart failure are
10 living longer. It's a major cause of morbidity and
11 mortality. Prevention is critical. If something can
12 be done to prevent people from moving to the advanced
13 stages of heart failure, the treatment is easier,
14 hospitalizations are reduced.
15 The major causes of heart failure are
16 hypertension and coronary artery disease with loss of
17 myocardial mass. So again, it goes back to the link
18 between what we were just talking about. And there's
19 a disproportional impact of heart failure on
20 minorities, and we need trials and ongoing
21 surveillance to see how we're doing.
22 Specifically, we need an effort to,
23 studies to increase efforts to control known
24 congestive heart failure risk factors. As I
25 mentioned, there are things that we just talked

00023

1 about. There's also a feeling that a major area that
2 needs further research is diastolic heart failure.
3 The current recommendations for heart failure with
4 impaired systolic function are quite detailed, but
5 for heart failure with preserved systolic function,
6 diastolic heart failure, the main recommendation that

7 has strong evidence is blood pressure control. And
8 there are a series of other things recommended but
9 that are relatively nonspecific.
10 NIH has a trial that's ongoing called Top
11 Cat, which is looking at the use of beta blockers and
12 ACE inhibitors and receptor antagonists to try and
13 provide specific benefits to patients with diastolic
14 heart failure, but the general way in which it should
15 be best treated needs to be evaluated in more detail
16 looking at the drugs that are used in systolic heart
17 failure, as well as corticoid receptor antagonists.
18 Vascular imaging is a major area and
19 there's a set of questions that really need to be
20 addressed by any new technology that comes along and
21 some of the ones that are out there on imaging tests.
22 What is the clinical usefulness of the new test, what
23 does it actually add to what we already know? What
24 are its advantages, what are its complications, what
25 are its costs? Does it replace or add to the

00024

1 procedures that are done for current assessment?
2 What are criteria to have it actually accepted and
3 paid for in practice?
4 And the question we have to ask, I think,
5 is the question of whether some of this fascinating
6 technology is driving practice rather than the actual
7 clinical needs of the patients with disease driving
8 more refinement of the technology and careful testing
9 to see what really helps.
10 One of the areas in particular right now
11 is CT angiography. There are trials needed to look
12 at its utility in diagnosis and prognosis, what are
13 its advantages for disease progression assessment?
14 Is traditional angiography still needed? One of the
15 negatives is that even though there's been some
16 improvement, there is a substantial dose of
17 radiation, the utility for screening is uncertain,
18 and it's not optimal for repeat exams. Trials are
19 needed to look at its utility so that we actually
20 know whether it can be used to prevent cardiovascular
21 disease, or can be used to refine the treatment in
22 such a way that actually impacts upon clinical

23 outcomes.
24 Now one of the questions is what is the
25 optimal group in which this needs to be looked at.

00025

1 There are people with very low risk factor patterns
2 where doing a test is unlikely to produce much if any
3 benefit. There are people that already have risk
4 factor patterns that are known in advance of such a
5 test that they begin the maximal therapy, and so
6 again, it might not make much difference. And so
7 there does need to be an identification of some type
8 of intermediate risk patient group to see whether or
9 not it can be, it can contribute to a better clinical
10 outcome.
11 And it was pointed out to me in the course
12 of putting this together that the NCI's lung cancer
13 screening trial is an example of a more systematic
14 approach to looking at technology.
15 Drug-eluting stents, to some degree, and I
16 think this is a little bit of an exaggeration
17 obviously, is an opportunity missed. The development
18 of drug-eluting stents addressed a major problem with
19 the bare metal stent. It was obvious that rapid
20 clinical adoption could be foreseen. It was also
21 obvious that clinical trial results that were
22 available in the beginning when the stents were
23 approved were inadequate to really understand the
24 long-term safety and efficacy of this technology.
25 There was some concern expressed at the

00026

1 beginning about the possibility of late events, but
2 it's obviously become greater subsequently, a few
3 years ago, and one of the residual things now is the
4 fact that patients may need longer-term anticoagulant
5 therapy and if that's true, the cost projections and
6 projected savings from the drug-eluting stents,
7 obviously that whole question is substantially
8 altered.
9 Clinical questions remain unanswered about
10 long-term complications, the appropriateness with
11 severe disease. It's an evolving technology and new

12 stents need to be evaluated quickly because they may
13 not have the same adverse effects.
14 Congestive heart failure, or rather
15 chronic obstructive pulmonary disease, I will go over
16 very quickly. There's an NHLBI study regarding
17 oxygen supplementation and the group suggested that
18 we really need to look at whether or not pulmonary
19 rehab would be valuable in patients with moderate to
20 severe COPD or following acute exacerbation.
21 Blood diseases, my last slide shows, I'm
22 going to move ahead here, there were some practical
23 research questions about the impact of storage time
24 on the characteristics of blood, optimal transfusion
25 triggers, blood transfusion, how many should be given

00027

1 to what target, what's the difference in clinical
2 outcome depending upon the risk, and what is the cost
3 effectiveness.
4 So in conclusion, there has been major
5 progress on several chronic diseases. Cardiovascular
6 disease, I may be somewhat biased, but I would say
7 it's a very good example. But it also shows, as you
8 look at the current status of cardiovascular disease,
9 that the complexity is reduced by progress. Costs of
10 the benefits achieved are high. We need a better
11 understanding to optimize prevention. We need better
12 ways to apply and assess clinical trial evaluated
13 treatments in real world settings. We need more
14 research in clinical environments. Thank you.
15 DR. MCNEIL: Thank you very much. That's
16 a terrific list to start us off with. Okay. Let's
17 move on to the NCI with Martin Brown.
18 DR. BROWN: Thanks. That was a great talk
19 by Dr. Peter Savage because it actually, the same
20 themes are the things that we encounter at NCI, so I
21 will touch on some of those same ideas, I think.
22 So -- I'm sorry, I had two sets of slides, so I just
23 wanted to must sure this is the right one here.
24 We were asked to list five topics of
25 concern in evidence gaps. I know at CMS you're

00028

1 interested in diseases that are prevalent and have a
2 high expense to beneficiary ratio, so it puts us in
3 kind of an odd situation because as you know in
4 regard to treatment, cancer is, you know, according
5 to who you ask, 50 diseases or 100 different
6 diseases, and the treatments are very heterogeneous
7 and increasingly tailored. And so any one treatment
8 is not very common actually, not very prevalent, and
9 it's not a very large expense in and of itself. If
10 you add them all up, cancer of course is a major
11 expenditure by CMS, probably almost 20 percent of CMS
12 reimbursements.

13 But there are, the procedures that are
14 cancer-related that are more prevalent and also are
15 pretty big dollar expenditures are cancer screening
16 and surveillance, because a population that receives
17 screening, of course, is not just the population of
18 the diagnosed cancer patients, but potentially the
19 entire segment of the population. So that's one area
20 where I think there's some real, and again, a very
21 dynamic technological development going on in that
22 area.

23 Another area is the area of
24 pharmaco-surveillance of drugs, which as you know are
25 increasingly being developed. And not only do we

00029

1 have new drugs being developed which are quite
2 expensive, but increasingly there are diagnostic and
3 prognostic biomarkers which, the question is, is it
4 just a drug, is it just the treatment drug, or is it
5 just a package of prognostic and diagnostic
6 biomarkers and the drug itself which should form the
7 service that should be the topic of a coverage
8 decision, and if that's the case, how would you do
9 it.

10 The other area I just wanted to mention,
11 supportive therapy, as you all know, that's been an
12 area of obvious concern that we just, the ESA, and
13 there's some evidence that the stimulating factors
14 may be an issue too, but there's unrecognized adverse
15 events.

16 And so in terms of evidence gaps, again,

17 this is sort of repeating what you just heard, we
18 have randomized controlled trials for some screening
19 modalities, but two questions emerge. Number one,
20 how do those apply to older populations that CMS
21 covers, especially very old populations with shorter
22 life expectancy and more comorbid conditions that may
23 have not been represented in a trial, that is
24 eligible for treatment, or participation in trials as
25 well.

00030

1 And number two, what about screening
2 modalities that are not the ones that were
3 represented in randomized trials but are
4 technological extensions, either of the trial
5 evidence, or are technological variants of screening
6 modalities? So here's just an example of that,
7 colorectal cancer screening. You know, the original
8 trial involved guaiac fecal tests and currently in
9 addition to it, there is a chemical fecal test, and I
10 know there was interest beyond this, and there is now
11 an emerging CT colonography, and I expect this may be
12 the subject of a CMS coverage decision in the future.
13 They are looking at fecal DNA tests and there will
14 probably be several others within the next years.
15 There's even the possibility of a serum, blood serum
16 test for colorectal cancer screening.
17 So what do you do about, you know, how do
18 you go about a coverage decision, what kind of
19 evidence is sufficient when you don't have the
20 original mortality endpoints clinical trial which are
21 hundreds of millions of dollars and 10 to 15 years of
22 time, but is it sufficient to use certain kinds of
23 modeling or extrapolations from that data to single
24 out.
25 Of course in lung cancer, as you just

00031

1 heard, there is an NCI CT screening trial that will
2 have results sometime in the future.
3 Another broad area that I think is of
4 relevance is what I call the triad,
5 pharmaco-surveillance, (inaudible) and others.

6 There's sort of three concerns here. In terms of
7 pharmaco-surveillance, when we start to look at data
8 in the actual world of access to various large
9 databases and we find long-term adverse effects that
10 weren't evident in the original trial, you know, what
11 do we do? Do we simply put restrictions on a drug or
12 device or technology that's already been approved in
13 the past, and what evidence do you need to do that.
14 So the focal point, for example in our
15 viewpoint at NCI is the recent understanding that HRT
16 therapy did not have some longer benefits that it
17 supposedly had, and in fact is a risk factor for
18 breast cancer. And of course there was, you know,
19 there has been a large decrease in the use of that
20 therapy as a result of that information. But if
21 you're asked from a coverage viewpoint what kind of
22 evidence would you need and what kind of restriction
23 would you want to place on such a drug that was
24 already in practice, I think that's a very important
25 question. And there are other examples of that sort

00032

1 of phenomenon.
2 On the other hand, there are common drugs
3 which may turn out to be preventive for cancer, for
4 example. Through the same type of surveillance
5 research you may find evidence of that, and then how
6 do you respond to that kind of evidence?
7 And finally, as I already mentioned, this
8 whole area of clinical practice in which you have
9 so-called tailored therapies, we have diagnostic and
10 prognostic biomarkers. And the question of how you
11 move from relatively small studies in a highly
12 selective population who typically are younger
13 without comorbidities, to large older populations,
14 and what kind of package combination of drug therapy
15 and diagnostic/prognostic markers might be the
16 subject of that actual coverage decision, I think is
17 a very complex and increasingly important question.
18 So that actually, I have pretty much
19 covered my slides, I think. So that's it.
20 DR. MCNEIL: Thanks very much, Martin.
21 We'll keep plowing ahead and then hold questions for

22 our last speaker. So Susan Nayfield from the NIA, is
23 she here? How about Michael Schoenbaum.
24 DR. SCHOENBAUM: It would be great if I
25 could speak off my own slides, though, and not

00033

1 Susan's. Thank you.
2 So, I want to thank the committee for
3 organizing this I guess unique session, and also for
4 including the National Institute on Mental Health in
5 this. I know that the focus in this session is on
6 evidence to support coverage and quality improvement,
7 so I will be brief with the context.
8 This slide shows the distribution of
9 disease burden in the U.S. and Canada and shows here
10 in the blue wedge that 30 percent of the burden at
11 the population level is attributable to
12 neuropsychiatric disorders, and fully half of that is
13 attributable actually to medical disorders. For the
14 Medicare population in particular, it's important to
15 note that the number of Americans with mental
16 disorders in the Medicare age range is projected to
17 rise quite substantially over the coming decades due
18 to a combination of demographic trends and
19 improvements in treatment.
20 Now in the last few years there have been
21 several comprehensive analyses of priorities for
22 improving mental health care, in particular by the
23 Institute of Medicine, by President Bush's New
24 Freedom Commission on Mental Health, and also by the
25 U.S. Surgeon General. And I will be drawing on their

00034

1 recommendations and of course on our evidence base,
2 which was the basis for many of their
3 recommendations.
4 The reports identified many common
5 priority issues for quality improvement both across
6 mental disorders, and between medical and mental
7 health disorders, so I think you will recognize some
8 common themes in what I will talk about and what some
9 of the preceding and presumably subsequent speakers
10 will talk about.

11 I'm going to illustrate our comments here
12 by focusing on two particular conditions, depression
13 and then schizophrenia and psychotic disorders.
14 Just, again by way of brief background, depression is
15 common in the Medicare population, it's four percent
16 overall in the Medicare age range, 10 percent in
17 primary care settings, and 15 to 40 percent in
18 patients with comorbid medical illness. And it's
19 important to note also that the prevalence of
20 depression rises with the severity of medical
21 illness. Also, 15 percent or so of SSDI awardees
22 have a primary causal disability of depression or
23 psychotic disorder.
24 The clinical features of depression
25 inhibit care pretty directly actually. They inhibit

00035

1 appropriate care for depression. They also inhibit
2 care for chronic illness. It is easy to think if I
3 were old and sick and bereaved and, you know,
4 unemployed, I would be depressed too, but it turns
5 out this is actually a fallacy, that even in elderly
6 populations with significant medical illness, other
7 life risk factors that, you know, that one would
8 expect to be associated with depression, that even
9 people like that respond to depression treatment and
10 in fact they respond to depression treatment at rates
11 that are very comparable to working age people,
12 younger populations.
13 So, depressed patients have more severe
14 medical illness, they have higher rates of
15 disability, they have up to twice as high rates of
16 mortality, and they also have substantially higher
17 medical costs compared with other like or similar
18 patients without depression. Importantly, most cases
19 of depression can be treated effectively in general
20 medical settings, but currently half of Medicare
21 beneficiaries with depression are not recognized or
22 treated at all.
23 Among those that are treated, care is
24 often ineffective, which is not to say they receive
25 no care, they actually receive the wrong care or they

00036

1 may receive subtherapeutic doses, or they may start
2 therapeutic doses and discontinue. And the end
3 result of this is that overall, only one in five
4 patients with depression in the Medicare population
5 currently get better under prevailing practice
6 standards. So usual care, thus, is not effective.
7 That is, simply providing coverage for
8 efficacious treatments which Medicare largely
9 provides now is not adequate for, you know, improving
10 outcomes in Medicare beneficiaries with depression.
11 What is effective is a proactive system of care,
12 collectively called collaborative care which includes
13 the elements here on the slides. So obviously
14 screening and assessment leads to patient
15 identification. Patient education and activation.
16 Treatment, which is already largely covered under
17 Medicare, meaning antidepressant medication and brief
18 structured psychotherapy, common behavioral therapy
19 and other similar directed type of therapies.
20 And then an important active ingredient to
21 an effective model is care management in the general
22 medical setting to support treatment, to get people
23 on an appropriate treatment plan. But then what's
24 really critical is proactive tracking of outcomes.
25 Once you've started a treatment plan, you reassess

00037

1 the patient periodically to see if the patient has
2 improved; if the patient has improved, fine, you can
3 continue what you're doing. If the patient has not
4 improved, you do something different. And the care
5 manager turns out to be integral in activating the
6 provider, the clinician to do something different, to
7 change treatment if the patient is refractory.
8 Another key ingredient here is mental
9 health consultation to the general medical provider.
10 So you don't necessarily need to send the beneficiary
11 to a psychiatrist or a psychologist, most elderly
12 people with depression don't want to go to a mental
13 health specialist, and it turns out not to be
14 necessary to send them most of the time. If
15 necessary, or course that's important too. But what

16 is essential for improving outcomes of care, it turns
17 out, is having a mental health specialist available
18 on a consulting basis not to the patient, but to the
19 general medical treatment team.
20 So again, together, this model is referred
21 to as collaborative care, and based on 30 or more
22 randomized controlled trials, effectiveness trials
23 across multiple population groups in the United
24 States, collaborative care has been shown to very
25 substantially improve outcomes. In fact, I guess the

00038

1 short version of the rest of this slide is that
2 collaborative care essentially doubled the
3 effectiveness of usual care for depression.
4 Importantly, collaborative care also seems
5 to be largely cost neutral, and even cost saving in
6 higher risk populations. This slide shows trial
7 results for depression in diabetics over two years,
8 with a negative impact on cost. The costs were
9 actually lower in the intervention group. So based
10 on this kind of evidence, the President's New Freedom
11 Commission explicitly recommended that public and
12 private care cover the core elements of collaborative
13 care.
14 Why is that important? Because Medicare
15 and most other insurance do not currently cover what
16 the President's commission referred to as the active
17 ingredient, the core elements of collaborative care.
18 And in particular, Medicare and other plans don't
19 cover care manager time, particularly via telephone
20 contact, which turns out to be an element of almost
21 all of these trials, and as you know is relatively
22 cost effective to deliver, it is certainly an active
23 element of these interventions that is typically
24 unreimbursible. Now a specialty consultation,
25 similarly, if you send a patient to a mental health

00039

1 specialist, that's reimbursible, but if the primary
2 doctor consults with a mental health specialist about
3 that patient's case load, that is not reimbursible,
4 again, without face-to-face patient contact.

5 Screening is reimbursible under some
6 circumstances. Outcome tracking is not explicitly
7 reimbursible. That is, if you do a hemoglobin A1c on
8 a diabetic, you can submit the results of the
9 hemoglobin A1c. But if you do the depression
10 equivalent of a hemoglobin A1c, which is something
11 like the PHQ-9, a structured assessment, that is not
12 directly reimbursible. So, okay.
13 So we know that this model works and it
14 works in heterogeneous practice settings across
15 diverse patient populations. What is it that we
16 still need to learn? What are the priorities that
17 argue for new evidence? And the answer there is
18 basically lots of ways, there are lots of things we
19 need to learn to move towards population-based
20 delivery of a model like this.
21 For instance, what are the best ways to
22 deliver, to implement collaborative care across
23 different practice settings? So big practices
24 typically can support an internal practice care
25 manager, but solo or small practices, which AHRQ says

00040

1 I believe accounts for something like 50 or 60
2 percent of primary care visits currently, may need to
3 contract with a third party to provide services like
4 this, because they just don't have enough of a case
5 load to support their own care managers. And then
6 the question is, should that third party be
7 contracted by the practice so that there's a linkage
8 from the practice to the provider, or should it be
9 contracted by Medicare as, for instance under the
10 current Medicare Health Support pilot program? What
11 linkages work well in those situations?
12 Similarly, what kind of plan change is
13 effective for doing this on a population level?
14 Should it be fee per service or, you know, each
15 contact or each consultation? Should there be a case
16 rate based on a month or a three-month or six-month
17 management? Should there be beneficiary cost
18 sharing? There's some evidence about issues like
19 this, but I think for population level applications
20 we need more evidence.

21 The warning means I have two minutes left,
22 is that right?
23 DR. MCNEIL: Exactly. You might want to
24 move ahead in your slides.
25 DR. SCHOENBAUM: Yeah, I understand. I'm

00041

1 actually going to stop with depression. The issues
2 with schizophrenia we can talk about separately, and
3 they are actually conceptually very similar, okay.
4 So the third issue is how can we
5 incentivize quality? If we want people to do this,
6 if we want to spend money on this, what are the right
7 outcome measures, what are the right incentives to
8 get people to do it, via PQRI, CPT category two
9 codes, other ways to capture the core elements to see
10 if appropriate care is being delivered. And then
11 again, moving further out on the research frontier,
12 extending this model to the whole patient so that we
13 have effective depression modules, we have effective
14 depression in diabetes, depression in heart disease
15 and so on, but really we want to treat any of the
16 diverse range of conditions that a patient might
17 present with, and currently we don't know very much
18 about diabetes.
19 Possible leverage points for developing
20 this evidence, obviously coverage decision, procedure
21 codes and quality measures, again, PQRI. Ideally we
22 want information systems that generate these things
23 short of a full-blown electronic medical record.
24 Demonstrations and pilot programs, I mentioned
25 Medicare Health Support, which is ongoing; the

00042

1 Medical Home demonstration, which is impending; the
2 DIAMOND initiative, which is a Minnesota initiative
3 that CMS could join and actually had joined via
4 Medicaid but not via Medicare, and so on.
5 NIMH is available to work with CMS on any
6 of these initiatives. Thank you very much.
7 DR. MCNEIL: Thank you very much. Can we
8 then assume, if you could fast forward to one of your
9 last slides, the same conclusions would hold for

10 schizophrenia?
11 DR. SCHOENBAUM: Sure.
12 DR. MCNEIL: Okay, fine.
13 DR. SCHOENBAUM: Should I describe those?
14 DR. MCNEIL: No. Only point out which
15 slides would be relevant for us.
16 DR. SCHOENBAUM: So what's relevant is
17 slide -- oh, I actually don't have them numbered
18 here, so it's one, two, three, four, five from the
19 end, titled Effective Strategies Exist. And again,
20 it highlights evidence-based models for improving the
21 reach of efficacious treatments for schizophrenia.
22 DR. MCNEIL: Thanks very much. That's on
23 page ten.
24 Okay. Why don't we go on to Judith
25 Fradkin, from NIDDK.

00043

1 DR. FRADKIN: Am I supposed to push
2 something or are you going to bring my slides up?
3 The NIA representative is here now.
4 DR. MCNEIL: Okay. Well, why don't you
5 go ahead. It must be the Cleveland Indians exerting
6 their revenge.
7 DR. FRADKIN: There we go.
8 First of all, I would like to thank you
9 for inviting me to present at this important meeting.
10 I just want to take a minute to give a different
11 perspective than Dr. Hakim on the contribution of
12 diabetes to Medicare costs, because most of the costs
13 of diabetes are not for the care of diabetes per se,
14 but because patients with diabetes have so much of an
15 increased risk of cardiovascular disease, of
16 fractures, of pneumonia, of infectious diseases.
17 And so if you look here just as a
18 footprint of the percentage of Medicare patients who
19 have diabetes, which is 21 percent, versus the cost
20 to Medicare of taking care of people with diabetes,
21 which is 31 percent of your budget. And you see that
22 for ESRD it of course is even a greater increase,
23 going from one percent of the population to 6.2
24 percent of the costs. So I think when you represent
25 the costs simply as a cost of caring for diabetes,

00044

1 that really underestimates the importance of diabetes
2 both to the Medicare population and to the CMS
3 budget.
4 Now I think everybody knows that the
5 prevalence of diabetes is very high in older people
6 65 and above. In addition, it's substantially
7 increased in people with disabilities. And looking
8 at the incidence, you can see that the problem is
9 only going to get worse as we move into the future.
10 The good news is that we have done a
11 study, the Diabetes Prevention Program, in over 3,000
12 people which included 20 percent over 60 and 45
13 percent minorities, which showed that lifestyle
14 modification, weight loss of about seven percent
15 could reduce the risk of developing diabetes by 58
16 percent. And in the population over 60, the effect
17 was actually greater; it reduced the risk of
18 developing diabetes by 71 percent.
19 So that brings us to issues related to how
20 best to translate those findings to try to prevent
21 the phenomenon that diabetes is potentially going to
22 overrun our healthcare system. And I think, first of
23 all, we need better methods to identify those at risk
24 for diabetes. There are 54 million Americans with
25 pre-diabetes; practically none of them know they are

00045

1 at risk and, therefore, they're not being advised by
2 their physicians to do these kinds of changes that
3 can in fact prevent the risk of diabetes. So I think
4 we need to develop algorithms based on data from
5 longitudinal studies, from CMS data, to help decide
6 who are the people in whom preventative intervention
7 should be delivered.
8 We also need to develop more cost
9 effective behavioral therapy. In the Diabetes
10 Prevention Program, the therapy consisted of 20
11 individualized one-hour sessions. Clearly it's not
12 going to be feasible to provide that for 54 million
13 people. So we need to develop ways of group
14 delivery, Internet delivery, delivery in community

15 settings. We're doing a study now which looks very
16 promising delivering these key interventions at the
17 YMCA. Most Americans live within ten miles of the
18 YMCA. If those kinds of things do occur, we're going
19 to need a model to pay for them.
20 Bariatric surgery has increasingly been
21 shown to affect both mortality in diabetes, but I
22 think there are a huge number of unanswered questions
23 with regard to bariatric surgery, particularly
24 related to the impact of timing of the procedure, the
25 level of obesity, when in the course of disease it

00046

1 should be done, and how that affects risk, benefits,
2 costs, and development of diabetes.
3 And finally now, there is clearly a huge
4 interest in the pharmaceutical industry in developing
5 weight loss medications. I think that when those
6 come on, we're going to need to look at long-term
7 effect on hard outcomes rather than short-term effect
8 on weight loss.
9 Now we've also shown that glycemc control
10 can dramatically reduce the risk of microvascular
11 complications, and for type one diabetes it's been
12 shown that it can also reduce the risk of
13 macrovascular complications. So again, there are a
14 number of questions related to how we should try to
15 control glycemia in diabetes. And we really, at this
16 point it's very hard for people who are on insulin,
17 for example, to bring glycemia down to near normal,
18 but the question is, should we start glycemc therapy
19 earlier when people have milder diabetes when it's
20 much easier to control the diabetes, and would in
21 fact starting therapy earlier preserve the beta cell
22 and make diabetes easier to control in the long term?
23 Another glaring piece of information that
24 we need is a head-to-head comparison of the various
25 therapies for glycemia, using cardiovascular disease

00047

1 and other heart outcomes. Clearly this has been
2 getting a lot of attention recently in the media.
3 Clearly for people who develop diabetes at

4 a younger age, we want to get their A1c as close to
5 normal as possible, because they have many, many
6 years to develop the complications of diabetes. But
7 for patients who develop diabetes at an older age
8 where they may have a limited life expectancy, I
9 think we don't really know what is the optimal level
10 of glycemia as assessed by A1c that will be
11 associated with better quality of life and better
12 functional outcomes.

13 Also, we need to learn how to maximize the
14 benefits from self glucose monitoring. We really
15 don't have strong data in patients on oral
16 hypoglycemic as to which patients can benefit from
17 that, how it should be done, how physicians and
18 patients should take the information that they get
19 from self glucose monitoring and translate it into
20 changing their glyceemic therapy.

21 Here I just want to show you that, again,
22 in blue patients with diabetes versus, in white
23 patients without diabetes, and you see the
24 cardiovascular mortality is much greater. You also
25 see that in men in patients with diabetes, the rate

00048

1 is dropping, as they are in the general population,
2 but in women it's not so clear that rates of
3 cardiovascular mortality are dropping parallel to the
4 general population in women with diabetes.

5 And I think this raises a number of
6 questions, given that cardiovascular disease is the
7 cause of death in two-thirds of patients with
8 diabetes, about some of the issues that Peter
9 mentioned, how best to improve blood pressure and
10 lipid control in the primary care setting. We need
11 to find ways to increase the utilization of low cost
12 effective therapies such as aspirin, influenza
13 vaccinations, to prevent the complications of
14 diabetes.

15 And we need to find better ways of
16 monitoring utilization. So in many of the patients
17 with diabetes, some aspects of diabetes care such as
18 aspirin or influenza vaccination can't be measured
19 because they have no way of measuring it when people

20 are getting flu shots from all sorts of sources and
21 so there is nobody who can really be held
22 accountable. And I think given the importance of
23 some of these comprehensive care aspects, we need to
24 find ways of measuring it so that we can actually
25 assess whether patients are getting it.

00049

1 I think also for many patients with
2 diabetes who are on polypharmacy and may be taking 10
3 or 12 medications, we need to figure out better to
4 enhance adherence to medication. And a variety of
5 things have been proposed, such as a polypill.
6 Blister packs have been studied in the military,
7 where people get all their medications for a given
8 day in one blister pack. So if they get a monthly
9 blister pack, it could make fewer medication errors
10 and enhance adherence. I think these are some of the
11 kind of practical issues that we need to study for
12 patients with diabetes.
13 Amputations actually are decreasing in
14 patients with diabetes, and that's one of the few
15 pieces of good news, but it's really a tremendously
16 understudied area when you consider that about one in
17 100 to one in 200 elderly patients with diabetes will
18 in fact lose a piece of a limb each year. And I
19 think we need to look at approaches for preventing
20 limb loss. Again, therapeutic shoes and socks, the
21 value of those I think needs to be studied further.
22 In particular, I think we need to find
23 better ways to identify and educate high risk
24 patients. It's been proven that in these kinds of
25 programs where you identify patients with early

00050

1 neuropathy and give patient education, can in fact
2 prevent ulcers and limb loss, and this is something
3 that, again, we need to learn how to implement in the
4 general care setting.
5 We also really do not have any rigorous
6 studies comparing approaches to healing of ulcers in
7 terms of offloading, methods of debridement, use of
8 biologics, indications for angiography and

9 revascularization. All of these are areas that are
10 very much understudied.
11 And finally, I think it would be really
12 important to identify predictors of ulcer healing, to
13 know whether a person should proceed to amputation or
14 whether they might be able to be salvaged.
15 Just as diabetes dramatically increases
16 the risk of cardiovascular disease, so does kidney
17 disease. And Peter Savage already mentioned a study
18 in planning, SPRINT, to try to look at optimal
19 strategies to slow the progression to cardiovascular
20 disease, which will include a large sampling of
21 patients with chronic kidney disease. This is
22 clearly something that is an understudied area. We
23 know that chronic kidney disease increases the risk
24 of cardiovascular disease, but we know remarkably
25 little about specific therapies to prevent that.

00051

1 I know Medicare is very interested in the
2 fistula first program, but again, I think if it is
3 related to early placement of a vascular abscess,
4 particularly how far in advance of such conditions
5 like diabetes where there may be poor healing,
6 optimal timing for initiation of dialysis. GFR is
7 not a perfect marker of uremia; do they study markers
8 that could help determine when patients should start
9 dialysis methods to reduce cardiovascular disease in
10 end stage renal disease patients.
11 And also questions about how best to
12 evaluate pretransplant patients. Some studies did
13 huge cardiovascular workups, others did practically
14 none, or at least not as large invasive workups, and
15 the value added of that needs to be studied.
16 And finally, I just want to close with
17 urologic data which, not that urologic procedures are
18 part of my institute's mission. These don't cost
19 Medicare patients so much, but they cost Medicare
20 patients huge amounts of money in out-of-pocket
21 expenses, particularly for incontinence, and also,
22 they are a major cause for admission to nursing
23 homes.
24 And so some of the issues that we need to

25 study are now that we have minimally invasive surgery

00052

1 both for HPH and for female incontinence, guidelines
2 for who should get these kinds of surgeries need to
3 be established. Many urologists accept a urodynamic
4 evaluation, but I don't think we really have strong
5 evidence with regard to the role of urodynamics in
6 evaluation and treatment of lower urinary tract
7 symptoms.
8 And then finally, optimal urologic
9 treatment for spinal cord patients, studies comparing
10 intermittent catheterization versus indwelling
11 catheterization, because there are different costs in
12 terms of personnel and supplies, but we need to know
13 which will do better in terms of development of
14 infection, which is in fact the major cause of death
15 in spinal cord patients who die from urosepsis.
16 So I'm going to conclude by saying that
17 NIDDK would love to work with CMS and to develop
18 studies to address some of these subjects, and we
19 really welcome opportunities to do that. Thank you
20 very much.
21 DR. MCNEIL: Thank you very much. That's
22 a terrific list to help us start our discussion. All
23 right. Susan Nayfield arrived so we will wind back a
24 little bit and go to the NIA. Hopefully we can get
25 her slides.

00053

1 DR. NAYFIELD: Thank you for the
2 opportunity to be here on behalf of the National
3 Institute of Aging. We are a small institute with
4 much overlap in terms of disease focus. The
5 presentation I'm going to give relies more on the
6 independence of older people, preventing nursing home
7 admissions, and maintaining an independent lifestyle.
8 The goal of the National Institute on
9 Aging is maintaining independence and health in old
10 age, and the challenge for us today is to identify
11 areas where additional evidence could lead to better
12 targeting of coverage. We're interested in effective
13 services being delivered to our older patients either

14 by expanded coverage or better focus of current
15 coverage.
16 The critical services we've identified
17 rely on, or focus on prevention of falls in elders,
18 structured exercise programs to maintain walking
19 ability and independence, post-acute stroke
20 rehabilitation, coordinated management for transition
21 and medical rehabilitation services following hip
22 fracture, and therapies for unexplained anemia in the
23 elderly.
24 The first problem, falls, 30 percent of
25 people over age 65 suffer a fall each year, and this

00054

1 increases to 50 percent over age 80. 50 percent of
2 these falls, half of these are recurrent falls in
3 patients who have had a previous fall and should have
4 been recognized as at risk for additional falls and
5 injuries. They are a major cause of hip fractures
6 and a major independent determinant of functional
7 decline. The risk of skilled nursing facility
8 placement increases by three-fold for first falls and
9 ten-fold for falls with injury.
10 Falls are a condition in which there are
11 multiple risk factors, medical disease, medication,
12 environmental factors such as home hazards or
13 footwear, and cognitive function actually does
14 contribute to the list of variables for a risk of
15 falling. The service that we're interested in is a
16 coordinated multidisciplinary risk factor screening
17 and intervention program for community-dwelling
18 elders.
19 The Cochrane review in 2003 recognized the
20 efficacy of the multidisciplinary programs and of the
21 individual components of these programs. And through
22 work by Mary Tinetti and our fall prevention center
23 at Yale, there is a Connecticut Collaboration for
24 Fall Prevention currently being studied, and the
25 results of this will be published next month.

00055

1 A variety of professional societies have
2 addressed this issue, guidelines for fall prevention

3 have been established by the American Geriatric
4 Society and endorsed by the American Academy of
5 Orthopedic Surgeons.
6 What we find is that while components of
7 the services are currently covered, they are not
8 widely provided, and they are not provided in a
9 coordinated manner. These services involve
10 evaluation of gait and balance, review of
11 medications, review of footwear, a home inspection
12 for risk situations, and to coordinate not only these
13 evaluations but the interventions to help fix the
14 problems is a major issue.
15 The additional needs for evidence you see
16 here. We feel that we need to know how changes in
17 coverage could improve outcomes, is there an
18 alternative administration of current coverage that
19 could help fall prevention and initiate these
20 coordinated programs? How can we increase the
21 dissemination about the benefits of this and current
22 coverage to the physician population who see patients
23 at the risk of falling?
24 The second focus, structured exercise
25 program to maintain walking ability, it's amazing

00056

1 that the loss of ability to walk a moderate distance
2 can have such a dramatic effect on the independence
3 of older patients. Low physical activity, as
4 manifest by very little walking, is a strong
5 predictor of severe disability. And while there are
6 numerous current recommendations for exercise in
7 general, there is lack of evidence for the efficacy
8 of a specific program for specific problems.
9 The structured physical activity program
10 designed to maintain walking ability has been in a
11 pilot phase and results are now in from that, it is
12 called the LIFE study, Lifestyle Intervention and
13 Independence For Elders, conducted by Dr. Marco
14 Pahor, who is now at the University of Florida in
15 Gainesville. This started as a center-based
16 aerobics, strength, balance and flexibility exercise
17 training that was transitioned into home-based
18 maintenance with periodic follow-up by a trained

19 professional.
20 The pilot study found good adherence
21 improved physical performance in 424 patients over
22 age 70 years, actually in the intervention group,
23 which was half of that 424 patients. And there were
24 trends toward lower incidence of major mobility
25 disability.

00057

1 Additional needs for evidence include a
2 full-scale clinical trial which is under development
3 by NIA now that looks at long-term functional and
4 health effects as well as cost effectiveness. It
5 would be most helpful in this undertaking to have
6 this viewed as a clinical intervention and considered
7 for clinical trial participation.
8 Post-acute stroke care. Over half of
9 stroke patients are unable to walk at hospital
10 discharge, and this impaired ambulation leads to
11 falls, fall-related injuries, hospital readmission,
12 nursing home placements, and contributes to physical
13 decline. What's most important is that the clinical
14 course following a stroke, particularly in older
15 people with a variety of comorbid conditions, varies
16 from patient to patient, and patients may not have
17 apparent problems early in their course, these may
18 become more obvious as the patient transitions to a
19 familiar home environment or to a rehabilitation
20 facility.
21 So we feel that the integrated and
22 coordinated aspects of post-acute rehabilitation
23 services need to be tailored to the individual
24 patient needs. This has been examined by numerous
25 Cochrane reviews and they found that an extended

00058

1 home-based rehabilitation program and physical
2 therapy was beneficial in improving functional
3 independence following stroke.
4 We have found in studies by Studenski and
5 Duncan that 50 percent of patients with limited
6 ambulation have meaningful improvement in lower
7 extremity strength and gait velocity with post-acute

8 stroke rehabilitation. Gait velocity is a very
9 interesting predictor here, because the ability to
10 walk .4 of a meter per second limits an individual to
11 within-the-home activities. Moving that up to .8 of
12 a meter per second means that they can ambulate in
13 the community and return to a more usual community
14 discourse.

15 We also know that following the guidelines
16 that are in existence doesn't improve care.

17 So while many services are currently
18 covered, they're not widely provided, they're not
19 well integrated and coordinated. They are time
20 limited, they are limited to certain time periods
21 following the event, and they are insensitive to
22 individual patient course and needs.

23 So the areas for additional evidence are
24 on the effect of the following on improving outcomes:
25 Changes in coverage, alternative administration

00059

1 policies for current coverage, and again, the need
2 for increased information dissemination about current
3 coverage to promote the use of currently available
4 services by community physicians for stroke patients.
5 Studies on coverage for integrated and
6 coordinated services should guide us further,
7 particularly focusing on patient-tailored programs,
8 cost effectiveness, and payment for quality programs.

9 A fourth area that's a bit of a hot topic
10 right now is anemia. Over 10 percent of patients age
11 65 years and above are anemic by World Health
12 Organization standards, and this increases to 20 to
13 25 percent of patients ages 80 plus. Recent work by
14 Jerome, et al., has shown that about a third of these
15 anemias given a standard workup are nutritional,
16 about a third of them are related to anemia of
17 chronic disease or chronic kidney disease --

18 DR. MCNEIL: Dr. Nayfield, you have two
19 minutes.

20 DR. NAYFIELD: And a third are unexplained
21 despite clinical evaluation. Anemia, even mild
22 anemia is associated with a variety of bad outcomes
23 as you can see here, and with a focus on unexplained

24 anemia, we believe that increased responsiveness to
25 this were precursors to EPO in aging as one of the

00060

1 causes.
2 So the question becomes, can we treat
3 anemia, unexplained anemia in the elderly? Currently
4 we use erythropoietin. There has been a lot of
5 clinical experience with it, it is controversial
6 right now in terms of its complications, particularly
7 a high incidence in patients with kidney disease.
8 However, these are patients without kidney disease.
9 In the future there are non-traditional ESAs and
10 other approaches to targeting cytokines, hepcidin,
11 HIF, or other mediators.
12 You can see the evidence here. There have
13 been a number of fall studies, particularly in the
14 frail elderly. Patients with heart failure show that
15 you can increase hemoglobin with erythropoietin and
16 increase physiologic measures and functional status.
17 The additional needs for evidence involve
18 large-scale clinical trials to establish efficacy,
19 dose and schedule, exploratory studies, and coverage
20 for clinical trial participation. NIA is going to
21 establish a consortium on unexplained anemia in the
22 elderly to help address these issues, and many of
23 these studies will begin shortly.
24 Very quickly, the last focus is post-hip
25 fracture care, particularly on the transitions

00061

1 between care and the coordination of care that most
2 hip fracture patients endure. This is a very
3 vulnerable population as you can see; over half do
4 not return to pre-fracture function. They average
5 three to four transitions in the first six months
6 compared to, 20 percent have five or more
7 transitions, and these transitions are often
8 associated with adverse drug effects, falls, and
9 fragmented or sub-optimal care.
10 So the service we're interested in is
11 integrated and coordinated post-hip fracture care.
12 The evidence is here. There are guidelines that

13 exist, for example, evaluation of hip fracture
14 patients for osteoporosis and treatment with
15 bisphosphonates; however, this is not widely used.
16 And there is also the need for additional evidence in
17 these areas.
18 Finally, again, as other speakers have
19 echoed, there are opportunities for collaboration.
20 We can design our studies best to answer your
21 questions if we know what evidence you need and where
22 you think the gaps are as well as we do. Thank you.
23 DR. MCNEIL: Thank you very much. Okay,
24 Dr. Koroshetz, neurological disorders and stroke.
25 DR. KOROSHETZ: Very good, thanks very

00062

1 much, it's a pleasure being here today. I'm
2 representing the National Institute of Neurologic
3 Diseases and Stroke, and we were asked to prioritize,
4 we cover about 600 diseases, the prioritization means
5 that we've got to be pretty stingy on about 598 of
6 these.
7 I'm going to probably concentrate on
8 cerebrovascular disease because it seems like it may
9 be of most interest to this audience, and I'm going
10 to talk about two themes.
11 The first theme I think in terms of
12 research gaps is that we have, we have suffered
13 because we have a lack of evidence about how
14 community practice parallels clinical trial results.
15 I think that's a general theme I would like to point
16 out, and the example I would use is carotid artery
17 revascularization for asymptomatic stenosis. This is
18 a fairly well-studied area. The clinical trials have
19 shown the natural risk of stroke is pretty low, it's
20 about two to three percent per year, endarterectomy
21 is of benefit. However, the benefit is related to
22 the fact that the operation has an extremely low
23 procedural rate of stroke and death, less than three
24 percent, and the patient has to live a certain amount
25 of time to reach the benefit, given that the stroke

00063

1 and death rates are about the same as the annual

2 rate, and that's considered to be about five years to
3 show benefit.
4 The problem is that the clinical trial
5 results are known, then when it goes into clinical
6 practice it becomes an extremely common procedure,
7 and we have no real data on how it's operating in the
8 real world. There are a lot of big concerns. Some
9 people who have looked at Medicare databases, the
10 suggestion is the mortality rate, which is the only
11 thing one can get out of those databases, is
12 excessive, and suggests that possibly the entire
13 United States general efforts to limit carotid artery
14 disease stroke may not be benefiting patients as a
15 whole. That's a question that's still out there and
16 we don't know the answer.
17 It was brought up even further in the
18 recent SAMPRIS trial where there was an attempt to
19 compare endarterectomy to stent in patients who have
20 difficult surgical risks, and the thing about that
21 trial, it showed that most of the patients, about
22 two-thirds were asymptomatic patients, and the
23 complication rates from either the endarterectomy or
24 the stenting procedures were higher than one would
25 have wanted to recommend a patient to undergo any

00064

1 procedure. The question then raised again, whether
2 in the real world patients are being submitted to
3 these procedures and that there is no net benefit
4 potentially in that arm, because of the high risk
5 patients that are now being operated upon.
6 The second theme I wanted to bring out is
7 that for us in the neurologic world we're dealing
8 with patients who have conditions that may cause
9 severe damage to their quality of life or cause
10 death. So talking to those patients about the
11 options is a very difficult situation. The patient
12 is going to want, if at all possible, to try to make
13 the deficit go away, in other words -- I'm sorry --
14 make the risk go away, whether it be a berry aneurysm
15 in the head, arterial decompression, carotid
16 stenosis. If they meet a proceduralist and that
17 proceduralist is confident about their ability to

18 make their problem go away, the patient is generally
19 going to go in that direction. The question is, to
20 really make it an educated decision, one has to know
21 what the risks and the benefits are of these
22 procedures.
23 And this has been a problem in PFO closure
24 where you have a hole in either the left or right
25 side of the heart. A third, almost a third of the

00065

1 patients have this hole. So the patient has a
2 stroke, they are found to have a hole, the question
3 is, do you close the hole. It's a real quandary for
4 the patient. Statistically, a stroke due to that
5 hole is incredibly, incredibly low probability. For
6 a patient with a low probability of an event, you
7 have a patient being very nervous about their anatomy
8 and the lack of data with regard to what is the best
9 way to proceed.
10 Carotid stenting we talked about.
11 Intracranial clot removal is another
12 device and a new procedure that's out on the table
13 for patients with acute stroke, and again, we don't
14 have data, although it's being used.
15 Intracranial stenting, when the stenosis
16 occurs inside the brain, patients have little option.
17 Stenting intracranially is now being studied.
18 Surgical epilepsy versus medical therapy
19 is another major procedure that's on the table in
20 terms of when the procedure is indicated, and this is
21 removal of epileptic focus to try and prevent further
22 epilepsy in a patient.
23 In terms of research gaps concerning
24 clinical services and stroke, I think it was
25 mentioned by Dr. Savage about the problems with

00066

1 atherosclerosis being, you know, a major source of
2 health problems. And one question I think we're
3 going to face in the future is screening for
4 atherosclerosis. For patients who die of a heart
5 attack or die of a stroke, but that's not the disease
6 they die of, the disease is atherosclerosis, now you

7 can diagnose atherosclerosis. We have very good
8 imaging techniques where you can determine if you
9 have athero in the neck and the heart, and the
10 femoral arteries and the aorta.
11 The question is going to come to the table
12 fairly soon, when do we put money into screening for
13 those procedures, intervening with primary
14 intervention before someone has an event. Most of
15 what we are doing now is general health, kind of
16 education and guidance and risk factor reduction, but
17 we're not really diagnosing atherosclerosis, which is
18 the real killer, and we can screen for it. There are
19 now, you know, trucks that go around to churches and
20 parking lots and malls where you can have screening
21 procedures, patients will pay money to know if they
22 have atherosclerosis. The quality and the impact of
23 those screenings need to be tested.
24 We talked about carotid disease so I'll
25 skip over. In terms of the cumulative nature of

00067

1 stroke we now have a therapy which is similar to what
2 we had in the heart in the '80s, which is intravenous
3 thrombolysis, it's been shown to have some benefits
4 and reduce disability, reduce movement of patients
5 from the hospital to a nursing home, so I think it's
6 cost effective to the Medicare system in general.
7 However, the costs to the hospital itself, the acute
8 hospital has to put a lot of money into the proper
9 administration and care of these patients, so there
10 is a need to examine these costs, and they seemed
11 very responsive to doing that just a couple years
12 ago.
13 The issue we still have is how to get this
14 therapy out into the community, so that's a big
15 clinical service gap. Currently in the United States
16 if you have a stroke, what happens to you depends on
17 where you have your stroke. If you happen to have it
18 near a very experienced stroke center, you'll get the
19 treatment. But most patients are not going to get
20 the treatment, only about two to three percent of
21 patients will get intravenous thrombolysis for their
22 stroke. Some of that relates to the fact that they

23 don't get to the hospital in time, but a lot of it is
24 because the hospital systems are not organized across
25 the country yet in a uniform manner.

00068

1 Another issue that's coming up is what is
2 the appropriate treatment and diagnosis workup for a
3 patient with transient ischemic attack. A transient
4 ischemic attack in its purest form is a period of
5 time when your brain is ischemic but your deficits go
6 away before there's any real significant damage. The
7 trouble with it is that there is really no good way
8 to know whether a person's spell is due to ischemia
9 or not, and therefore TIA is often used as a term for
10 a whole bunch of different spells and that makes its
11 treatment difficult. The question now is can we
12 increase the specificity of diagnosis and then target
13 specifically those patients for more intensive workup
14 and treatment to prevent a stroke, because TIA, a
15 real TIA patient has a very high risk of stroke in
16 the next two to three weeks after their event. This
17 is a warning sign. We need to be able to
18 specifically diagnose it and then diagnose a
19 treatment.
20 When they fail reperfusion therapy, a
21 patient has a major stroke, there's usually a clot
22 inside one of the blood vessels in the brain.
23 Intravenous thrombolysis, as I mentioned, is useful.
24 However, these big clots are very resistant to the
25 intravenous therapy, which led many people to try and

00069

1 go in with catheters to try to move the clot either
2 with drugs or with a clot retrieval device. This
3 really needs to be studied because these procedures
4 are very, extremely risky procedures involving
5 catheterization with patient's injection of dye, and
6 there's lots of problems that can go on during these
7 intracranial procedures.
8 NINDS is currently running a trial of
9 patients who get TIA in a randomized intra-arterial
10 versus medical management after the intravenous
11 therapy.

12 The advance, one of the advances I think
13 we're going to be seeing is new stroke imaging coming
14 into emergency therapy. This is done in many centers
15 now and it's a basis of studies that are trying to
16 expand the time window of intravenous thrombolysis
17 past the current three-hour window. The idea there
18 is that if you have a specific imaging technology and
19 select those patients who can still benefit even
20 though it's past three hours, versus a large portion
21 of the patients in whom the stroke is already done by
22 three hours and could no longer benefit. The only
23 way to deal with these patients currently is through
24 imaging, but this needs to be proven in randomized
25 trials.

00070

1 We mentioned chronic atherosclerotic
2 disease, a big problem in patients with diabetes and
3 African-Americans, Asians, and we currently have
4 trial now to randomize patients to medical versus
5 intracranial stenting.
6 It's important for these procedures that
7 you recognize that once a registry has been
8 established in the hospital, that that is a magnet
9 for patients to not go into a clinical trial but
10 instead go into a registry. You have to re-examine,
11 what is the use of these registries, and when do they
12 become an impediment to real randomized clinical
13 gathering, a big problem for the NINDS trials.
14 It was mentioned, the difficulty with
15 rehab after stroke, what is the appropriate rehab.
16 If it was treatment of a berry aneurysm that
17 ruptured, or a subarachnoid hemorrhage, very high
18 mortality rates, it's not clear whether surgery or
19 endovascular technology is important, and
20 unfortunately what the patient gets is determined by
21 where they go, as opposed to being evidence-based.
22 We talked about PFO closure, and just to a
23 mention, there was an attempt to do a clinical trial
24 of PFO closure, the clinical trials could not enroll,
25 and the FDA changed their requirements. There's a

00071

1 clot retrieval device which is used for acute stroke
2 the first couple of hours after stroke. There was a
3 series of patients and it was shown that if you
4 pulled the clot out of the patients, the patients did
5 better, as opposed to if you went in and didn't pull
6 it out or you were unsuccessful.
7 That led to FDA approval of the device,
8 but there was no randomized trial. The mortality
9 rate in those series is about 50 percent, which is
10 the highest you see in any trial. So it's not clear,
11 you know, what is the net benefit. Sure, it works,
12 it will help people if you can get the clot out. The
13 question is, how much risk are you putting them at in
14 attempting to try, and without a randomization
15 technique, there's no way of doing that.
16 In many of these procedures we may have
17 to, you know, because it's so difficult, we may have
18 to go to some sort of a daisy analysis to get these
19 trials done, to get some evidence as opposed to just
20 a randomized one-for-one trial.
21 So, lots of other things, cardiac arrest,
22 hypothermia, there's been a few trials but it's not
23 really spreading across the country as it should, or
24 maybe we need another trial.
25 I think my time is running out. I think I

00072

1 will end up with this last slide to kind of summarize
2 my ideas. We need some mechanism where people can
3 innovate to develop new technology, and that comes
4 from the use of these devices and treatments in the
5 community, but that's got to go through safety and
6 performance testing, Phase Two trials, Phase Three
7 trials. The more leakage we have in safety and
8 performance to Phase Two, the more chance we'll never
9 get the answers out of Phase Three, and that's kind
10 of the theme I wanted to bring out today. Thanks
11 very much.
12 DR. MCNEIL: Thanks very much, Dr.
13 Koroshetz. You might have noticed that he had some
14 new slides, so we will be making copies of those for
15 the panel as well as for the audience. Thank you
16 very much.

17 So now we have Dr. Turkeltaub from
18 arthritis and metabolic disease.
19 DR. TURKELTAUB: Good morning everyone,
20 and I thank the panel for inviting us to participate
21 in this program. My remarks obviously will be
22 involved with those mission statements or those
23 mission areas that our institute is involved with,
24 particularly osteoporosis, osteoarthritis, with
25 osteoarthritis of the knee as a separate entity, and

00073

1 back pain as well. So, I would like to say that I
2 don't envy the work of the panel identifying
3 priorities in all of these very important areas.
4 Osteoporosis is extremely important in
5 this population. We're aware that it's a major
6 problem and that there are risk factors, some of
7 which we know, some of which we don't know yet, and
8 some of which can be at least avoided. We know that
9 a third of women over 65 have spine fractures, 15
10 percent of white women will have hip fractures, as
11 has been covered by my colleagues, and that they can
12 be treated and prevented if discovered before the
13 major bone loss occurs. And so what we're doing and
14 what we would recommend be done is that there be a
15 good education related to providing the coverage and
16 to providers of what can be done to prevent
17 osteoporosis, and this has to be done from the
18 earliest stages.
19 We know that the Surgeon General recently
20 had a report that was put out on osteoporosis and
21 there are many publications out there, but as
22 previous speakers have indicated, information that
23 may be out there may not be used. And so that
24 becomes a major issue with regard to this population
25 and the reality of the situation.

00074

1 The educational materials need to be
2 enhanced, and they do provide us with some
3 opportunities for additional research as part of the
4 materials. Where should they go? Why aren't they
5 being used? How are they accessed by diverse

6 populations? Are they targeted to the right
7 populations? And do they really make a difference
8 even when they are looked at? So we spend a lot of
9 money on these materials in all of our areas of
10 prevention, I think, so why aren't we having the
11 effect that we felt we should?

12 With regard to educational programs,
13 there's also little follow-up, so we really do need
14 to look at prevention strategies, whether or not
15 these prevention strategies are followed. We need to
16 look at lifestyle changes and how we can best get
17 people to incorporate lifestyle changes. This is for
18 heart disease, cancer, stroke, anything that we want
19 to look at.

20 The skeletal risks associated with
21 smoking, for example. How many people are aware of
22 that? And the need for calcium and Vitamin D intake,
23 and who hasn't heard about Vitamin D these days? A
24 big hot issue. What are the outcome measures that
25 actually determine the effectiveness of prevention

00075

1 programs and how do we measure these? Is it
2 different in men and women?
3 So, research opportunities. Who to treat
4 and what to treat them with. Dexascan, although
5 Dexascan has been used for many years now, there are
6 still many issues related to even the use of Dexascan
7 for determining the level of bone involvement in
8 individuals. How are they interpreted, how are they
9 standardized, how and when should they be used, in
10 what population? There are some guidelines out there
11 indicating women at age 50 should have a DEXA, but
12 how often should they be followed up? What about the
13 men, is that the best way of discerning bone density
14 in males? Do we have the appropriate standards for
15 comparison?

16 QCT is one of those procedures that is
17 covered by Medicare, but we're really not sure
18 whether QCT is an appropriate measure. Is it
19 necessary, is it better than DEXA, is it better in
20 men and maybe not in women? What are the standards
21 for QCT? So we need to make recommendations with

22 regard to that.
23 Identifying markers is a big issue at
24 NIAMS, we are looking at markers for most of our
25 disease conditions. What markers will be predictive

00076

1 of fracture risk? What biospecimens can we look at
2 that would give us an indication of who might be at
3 greatest risk and what needs to be done for them and
4 at what point in time? Obviously a 50-year-old and
5 an 80-year-old with similar outcomes from a Dexascan
6 may need different treatments, and so we need to look
7 at age relation in treatments that are used.
8 Predicting fracture risk using both MRI
9 and ultrasound, looking at bone quality. Bone
10 quality is more than just mass or density, we're also
11 looking at bone strength. And so there are new
12 technologies that are being developed to look at bone
13 strength as well, which will give us better
14 indication of risk for fracture. So we're looking at
15 those types of technologies.
16 And looking at genes that affect bone mass
17 and can be targeted in the development of
18 osteoporosis therapies so that, what do we find that
19 stimulates bone growth? There are certain
20 populations where we see high density bone, we see
21 them in certain individuals. There's high density
22 bone on DEXA. But what causes that bone to be more
23 dense in some than in others? What can we find in
24 that population that will provide information for us
25 to continue the development of interventions?

00077

1 And then what to treat them with. Use of
2 bone morphogenetic proteins to stimulate fracture
3 healing or bony fusions would be very helpful in
4 developing methodologies for working with this
5 population, preventing fractures of the back or
6 fractures of the spine and so forth.
7 Combination therapies are what we're
8 interested in evaluating. The regimen of Vitamin D
9 and calcium, and in fact what are the best doses of
10 those. The low dose hormone in spine therapy,

11 hormone therapy and alendronate, for example, because
12 these will decrease the amount of each of the
13 components of the dual therapy and decrease the side
14 effect chances or problems associated with them.
15 Parathyroid hormone and alendronate, and cholesterol
16 lowering statin drugs, maybe that will be a two-fer.
17 Start them on the statins and you get better bone
18 quality.
19 And then of course behavioral studies
20 related to nutrition and exercise, and that is very
21 important also in terms of shaping the type of
22 lifestyle people live.
23 Now, osteoarthritis. Osteoarthritis is a
24 very interesting question. Why do some people who do
25 not have complaints of pain on x-ray actually show

00078

1 changes that indicate osteoarthritis? And vice
2 versa, people who complain of pain don't have any
3 changes showing up on x-ray. That's something that
4 we found looking at our Osteoarthritis Initiative, in
5 fact.
6 Cartilage is an understudied tissue and so
7 we need to look more at cartilage. What is it made
8 of and how does it change, what causes it to change
9 during osteoarthritis, and how does it break down,
10 and then how can we prevent that breakdown.
11 Actually in looking at and understanding
12 this condition we also have to look at the
13 opportunities that the Osteoarthritis Initiative has
14 created for us. The Osteoarthritis Initiative is a
15 research resource that NIH has, we have developed it
16 with the National Institute on Aging, and it together
17 with some of the databases at CMS might provide us
18 with some additional information that we might be
19 able to use.
20 Now looking at cartilage, we might look
21 at, for example, the relationship of SERMS or the use
22 of raloxifene on joint cartilage to see if that has
23 any impact on the ability of the cartilage to sustain
24 itself. We have had some studies looking at the use
25 of doxycycline and the ability to prevent the

00079

1 destruction of cartilage, and we do have specimens
2 that can be used for other researchers to maximize.
3 We're looking at the effects of
4 bisphosphonate on symptoms and pathophysiology, and
5 in fact we do need to look at pathophysiology. How
6 can we prevent rather than just treat.
7 And then of course tissue engineering, one
8 of our big areas of concern, how can we in fact
9 develop the scaffold that will allow cartilage to
10 regrow.
11 When we look at research opportunities
12 related to osteoarthritis, we also looked at
13 identification of risk factors and prevention.
14 Behavioral changes have been talked about, what do we
15 do about obesity and exercise habits? We start with
16 the young and we work to old age, but we need to look
17 at these and see what can change behaviors.
18 Clinical trials. Treatment of pain is
19 very important to these issues. How much exercise is
20 beneficial and how much is too much? And again, the
21 effect of Vitamin D on knee OA.
22 DR. MCNEIL: Dr. Turkeltaub, you have two
23 minutes.
24 DR. TURKELTAUB: Yes, thank you. When we
25 look at OA, we're also looking at the evidence report

00080

1 from AHRQ, and I notice somebody from AHRQ on the
2 panel. They recently came out with a publication
3 that reviewed intra-articular viscosupplementation,
4 oral glucosamine and chondroitin in combination, and
5 arthroscopic lavage or debridement for knee OA. And
6 yet, the best available evidence does not clearly
7 demonstrate clinical benefit. So the recommendation
8 that NIAMS supports is to have clinical trials that
9 are multi-center and that are RCTs. So we have many
10 opportunities for research in knee OA, from looking
11 at the types of invasive surgery and joint prostheses
12 that can be used to investigating the role of
13 exercise in protecting the knee.
14 In terms of back pain, we'll do this
15 quickly although it's probably the major area, as

16 anyone among us know, or don't know somebody who has
17 back pain. But we're looking basically at the
18 effectiveness of surgery versus nonsurgical treatment
19 for low back pain. We've had a major study that's
20 indicated that there are times when surgery is as
21 effective or not as effective as not having surgery,
22 so it's still up in the air. What do we do about it?
23 How can we determine and at least try to predict who
24 is going to benefit from this type of procedure?
25 So predictive elements are very important,

00081

1 and that gets back to some of the biospecimens and
2 the ability to have predictors in that area. Disc
3 degeneration, who will get it, how can we prevent it?
4 Who will better benefit from the lumbar fusion or the
5 use of artificial discs? Who can tolerate the use of
6 artificial discs, what material is best to be used in
7 that arena? And then disc arthroplasty or
8 degenerative disc disease at the cervical and lumbar
9 spines.
10 These are all the major issues that we're
11 looking at right now that will, we feel, have a great
12 impact on the Medicare population, and we look
13 forward to working with you on these.
14 DR. MCNEIL: Thank you very much. So
15 let's see, I think Dr. Ferris appears not to be here;
16 is that correct? So I think what we'll do, my sense
17 is there will be a fair amount of discussion to drill
18 deeper into some of these presentations in order to
19 get some greater specificity on some of the clinical
20 service that might be relevant to the major bullet
21 points that were mentioned by many of the speakers.
22 But before we do that, what I would like
23 to do is ask for our public, those individuals from
24 the public who wanted to speak, to start. So the
25 first one will be Steve Glassman and Daniel Resnick,

00082

1 who are both orthopedic surgeons, and they will be
2 followed by Randy Burkholder and Ann-Marie Lynch.
3 DR. GLASSMAN: Good morning. My name is
4 Steve Glassman, I'm an orthopedic surgeon from

5 Louisville, Kentucky, and I'm here on behalf of the
6 Professional Society Coalition Task Force on Lumbar
7 Spine Fusion. My conflicts are that I'm a consultant
8 and receive royalties from Medtronic and I receive
9 research support from Medtronic and from the Norton
10 Healthcare System.
11 The Professional Society Coalition Task
12 Force has been formed by the constituent societies
13 which represent the vast majority of spine surgeons
14 in the United States. The purpose of the task force
15 is to advocate for and promote an improved evidence
16 base with regard to lumbar fusion surgery. The task
17 force is also intended to provide improved
18 communication with CMS regarding available evidence
19 and the efforts of our members to improve that
20 evidence.
21 As we're all aware, lumbar degenerative
22 disease is a common clinical problem and the burden
23 of the disease in the Medicare population is growing
24 with the aging demographic. Many existing treatment
25 options, but surgical and non-surgical, are resource

00083

1 intensive. As an example, AHRQ data suggests that
2 the number of fusion procedures grew by 73 percent
3 from 1997 to 2005. At the same time insurance claims
4 data documented substantial increase in the use of
5 epidural steroid injections, which is a non-surgical
6 alternative which also faces the problem of the
7 widespread use despite suboptimal proof of efficacy.
8 Based on these issues and the fact that
9 spinal fusion has been used as a comparative standard
10 for newer technologies, CMS convened a Medical
11 Coverage Advisory Committee on fusion about a year
12 ago. At the MCAC meeting there was disagreement
13 about the inherent quality and appropriate
14 interpretation of the existing literature, but there
15 was broad agreement that the evidence base was
16 inadequate for most fusion patients.
17 A major limitation which might be improved
18 through collaboration with CMS is the lack of any
19 reasonable diagnostic specificity in our present
20 coding and data collection system.

21 The primary question of last year's
22 hearing was whether spinal fusion was an effective
23 treatment for low back pain. The problem is low back
24 pain is a common symptom, not a diagnostic entity.
25 So asking whether fusion is helpful to low back pain

00084

1 is like asking whether antibiotic treatment is
2 effective for shortness of breath. In either case
3 the answer will be completely dependent on the
4 specific etiology of the symptom.
5 One consensus conclusion of the MCAC
6 hearing was that better studies comparing surgical
7 and non-surgical treatment are necessary. The
8 inherent problem is that our preferred study design,
9 a randomized trial, is not well suited when comparing
10 these treatment options. The dilemma is that failure
11 of conservative treatment is regarded by most
12 surgeons as a prerequisite to fusion surgery.
13 Therefore, if a patient is randomized before they
14 fail conservative treatment, they don't have standard
15 surgical indications and may not be good candidates
16 for surgery. If on the other hand a patient is
17 randomized after they fail conservative treatment,
18 then the non-surgical arm of the study is simply
19 repeating the treatment modalities they've already
20 failed. This inherent dilemma is the likely
21 explanation for high unilateral crossover rates which
22 have plagued most RCTs for spinal fusion.
23 Additionally, there's little available
24 evidence supporting our standard medical treatments
25 for lumbar degenerative disease, and therefore a

00085

1 consensus non-surgical regimen isn't readily
2 available.
3 We believe that by working in
4 collaboration with CMS, the difficulties in balancing
5 methodology and clinical relevance can be bridged
6 such that future studies generate data which is
7 considered meaningful by a broad range of
8 stakeholders. At the conclusion of last year's MCAC
9 hearing, CMS expressed its intention to work with

10 professional societies and others to improve the
11 available evidence base. Our goal is to pursue that
12 collaboration.
13 Since last year's meeting there have been
14 several studies that have added to the existing
15 evidence base and improved our understanding of
16 appropriate roles for fusion. The most notable,
17 which was just mentioned, is the SPORT study, and
18 NIH-funded randomized controlled trial. Although
19 some controversy exists with regard to study
20 methodology, SPORT has clearly raised the bar with
21 regard to evidence for spinal surgery.
22 I would now like to turn over the podium
23 to Dan Resnick from Wisconsin, to talk about
24 potential avenues of collaboration.
25 DR. RESNICK: Hello. My name is Dan

00086

1 Resnick, I'm a neurosurgeon from the University of
2 Wisconsin. My conflicts are that I am a consultant
3 for Medtronic, I've received research support from
4 the American Association of Neurological Surgeons and
5 Congress of Neurological Surgeons.
6 At the conclusion of the MCAC meeting in
7 November 2006 we were charged by CMS to basically get
8 our house organized. Representatives from every
9 major spine society have gathered in order to form
10 the lumbar fusion task force. We have
11 representatives from the North American Spine
12 Society, the Scoliosis Research Society, Congress of
13 Neurological Surgeons, the American Association of
14 Neurological Surgeon, and the American Academy of
15 Orthopedic Surgeons, so basically every spine surgeon
16 in the United States is represented by this panel.
17 The purpose of this panel is to serve as a
18 clearing house and advisory panel for outcomes
19 research regarding lumbar fusion surgery and the
20 treatment of low back pain by either surgical or
21 non-surgical means. The makeup of the panel draws
22 from all specialties related to the surgical
23 treatment for low back pain, to include both skeptics
24 as well as proponents of lumbar fusion. We've
25 included curmudgeons as well as innovators in terms

00087

1 of the treatment of spinal disease.
2 And what we hope to do is partner with CMS
3 and with the other funding agencies to try to answer
4 some of the funding problems, in terms of identifying
5 effective treatments for these modalities. One of
6 the main problems we have, as Steve alluded to, is we
7 don't know who is getting fusions and why in the
8 Medicare population.
9 Lumbar fusions were, the literature base
10 reviewed at the MedCAC panel specifically dealt with
11 a 40-year-old patient population with degenerative
12 disc disease. That's not who the MCAC or CMS is
13 interested in, and does not reflect the fact that
14 Medicare patients receiving this care are almost
15 always being treated concomitantly for another
16 disorder such as lumbar stenosis which limits
17 ambulation, which you heard is a major predictor of
18 morbidity in that population. Such as a
19 radiculopathy, such as compression fractures, which
20 are another source of morbidity. So we need to know
21 who is getting treated for lumbar degenerative
22 disease in the Medicare population, and we need to
23 consider methods to improve the specificity of the
24 diagnostic description in order to enhance the
25 analysis.

00088

1 The main message we want to convey to this
2 panel is that while lumbar fusion doesn't appear to
3 rank more than a rounding error in terms of the
4 overall expenditures of CMS when you look at the
5 other disorders being considered, we feel very
6 strongly that lumbar fusion in the Medicare
7 population is something worthy of investigation. We
8 are here and ready and willing to partner with the
9 CMS and the various funding agencies, and we share
10 your enthusiasm for providing strong evidence-based
11 and effective treatments for our patients with low
12 back disorders who are in the appropriate demographic
13 for CMS. Thank you very much.
14 DR. MCNEIL: Thank you very much.

15 Dr. Weintraub, is he here now, from the AHA.
16 DR. WEINTRAUB: Good morning and thank you
17 very much. I'm here representing the American Heart
18 Association this morning. I have a number of
19 industrial grants but no conflicts as far as this
20 presentation is concerned.
21 So, which disease represents the greatest
22 burden for Medicare beneficiaries? We've heard about
23 it all morning, haven't we? It's cardiovascular
24 disease. It's the number one burden, it's the most
25 common cause of death in our society. The number of

00089

1 beneficiaries consuming resources will be greater for
2 cardiovascular disease than for any other entity.
3 One in three American adults have some form of
4 cardiovascular disease. The most common, as you've
5 already heard, is hypertension, and of course which
6 is most common in the Medicare population.
7 What are the most common diseases?
8 Coronary artery disease is the most common source of
9 death, acute myocardial infarction. This has
10 actually decreased over the last 40 years by some 40
11 percent or so but there are still in the range of
12 800,000 acute myocardial infarctions in the United
13 States every year, and most of those are going to be
14 in Medicare beneficiaries.
15 Congestive heart failure is actually the
16 most common cause of hospitalization in the Medicare
17 population, and consumes resources similar to that
18 for coronary artery disease.
19 Arrhythmias, particularly atrial
20 fibrillation, are also a major problem and a major
21 source of resource consumption. And of course stroke
22 is as well and is the number three cause of death
23 after cardiovascular disease and cancer.
24 Our major risk factors are all well known
25 to you and require intervention really throughout

00090

1 life, and those are systemic arterial hypertension,
2 hyperlipidemia, obesity and glycemc problems. And
3 while we've done well with other risk factors, not as

4 well as we should in the control of hypertension and
5 hyperlipidemia, obesity has actually gone in the
6 other direction and has been getting worse,
7 especially in young people. And as obesity has
8 increased, of course Type II diabetes has increased
9 with it.

10 Cardiovascular disease is our number one
11 cause of mortality, has been on the decline, and this
12 has been going on for years, since the 1960s, but
13 remains our number one cause of death.

14 Cardiovascular disease is responsible for over
15 800,000 deaths per year, 36 percent of all deaths are
16 caused by cardiac disease. Heart disease is our
17 number one killer, and stroke, as I just said, is
18 number three.

19 You also have lots of people who are
20 surviving coronary artery disease. We heard that
21 from Dr. Savage earlier this morning, more and more
22 of our patients are surviving, and since they're
23 surviving, one of the things that has come along with
24 this is an increase in the number of patients with
25 heart failure, and there our situation is not quite

00091

1 as good as it is in the treatment of heart attacks or
2 risk factors.

3 While we decreased the in-hospital
4 mortality over the last number of years from heart
5 attacks from some 15 percent more to a rate of 7
6 percent, the long-term consequence are again not so
7 good and, well, it says here, 38 percent of patients
8 who have a heart attack will ultimately die of it.

9 If you think in long enough terms, people who have a
10 heart attack, ultimately we know what the cause of
11 death in most of those people is going to be.

12 And so while we've done well in risk
13 factors in some areas and not as well in many of them
14 as we would like, some things are increasing
15 problems. The aging of a population, especially as
16 the baby boomers move into the Medicare population
17 over the next several years. We have rising obesity
18 rates, especially rising obesity rates in young
19 people, as I have already noted. We do have improved

20 outcomes in heart disease. One of the things that we
21 are seeing is also improved outcomes in congenital
22 heart disease.
23 Now we're so focused in cardiovascular
24 disease in the Medicare population that congenital
25 heart disease sometimes is overlooked and it really

00092

1 shouldn't be. Congenital heart disease is the number
2 one cause of birth defects in our society, and as
3 we've done better in the treatment of congenital
4 heart disease, we now have large numbers of adults
5 with treated or partially treated congenital heart
6 disease, somewhere in the range of one million adults
7 now with congenital heart disease who also require
8 care.
9 So, which diseases and their treatments
10 are the costliest to the Medicare program? Overall
11 cardiovascular disease, overall costs of
12 cardiovascular disease in our society, a really
13 stunning number. In 2007, some \$432 billion. And
14 here's a little bit of a breakdown of these costs:
15 Coronary heart disease, 152 billion; stroke, 63
16 billion; hypertension, 66 billion; and heart failure,
17 33 billion. Now we see this figure for heart failure
18 and I think it's really an underestimate, because
19 some of those costs have really shifted into coronary
20 artery disease.
21 So heart disease being the leading cause
22 of hospitalization, especially in Medicare
23 beneficiaries, while we have decreased cardiovascular
24 events, we have increased procedures rather
25 dramatically, from 1979 to 2004 by 432 percent, a

00093

1 really rather scary number. In 2004 there were 6.3
2 million inpatient cardiovascular procedures.
3 Cardiovascular disease, we have an error here,
4 because it says \$29 million, but it cost \$29 billion,
5 but it's only three orders of magnitude off.
6 And here are our procedures. PCI, some
7 663,000 procedures. Coronary artery bypass surgery,
8 215,000. 638,000, that's probably low, for

9 diagnostic cardiac catheterization. And valve
10 surgery and pacemakers also remain quite common.
11 DR. MCNEIL: You have two minutes.
12 DR. WEINTRAUB: Okay. So overall costs of
13 cardiovascular disease, very high, as you see here,
14 estimated costs to Medicare. We also are spending a
15 lot on prescription drugs in the Medicare population,
16 some 15 billion in 2003 anti-hyperlipidemic drugs, 8
17 billion.
18 Where are our deficits in knowledge?
19 Certainly about congenital heart disease.
20 How do we approach the problem of
21 increasing the evidence base? We do need additional
22 research funding, basic, clinical and healthcare
23 delivery. And I would add, epidemiologist and
24 outcomes investigator funding, as I think Dr. Savage
25 from NHLBI would agree, is not what we would all want

00094

1 it to be.
2 What diseases and their treatments are
3 most critical to the evidence base? Acute myocardial
4 infarction, how to treat it, how to deliver care
5 remains a major source of concern, as does congestive
6 heart failure and how to use more advanced forms of
7 therapy such as ventricular assistive devices.
8 Arrhythmias and the use of ICDs remains expensive and
9 an area of investigation. We need more research in
10 peripheral arterial disease and we need to learn yet
11 more about stroke, its prevention and care.
12 So thank you very much for listening to me
13 this morning. Any brief questions?
14 DR. MCNEIL: No, we'll hold the
15 questions. Thank you very much. So the next speaker
16 will be Randy Burkholder, from PhRMA.
17 MR. BURKHOLDER: Thank you. I'm going to
18 be speaking without slides briefly this morning. My
19 name is Randy Burkholder, I appreciate the
20 opportunity to speak to you today on behalf of the
21 Pharmaceutical Research and Manufacturers of America.
22 We represent the nation's leading pharmaceutical and
23 biotechnology companies, and they invest \$433 billion
24 annually in research and development.

25 As a result of that investment, each new

00095

1 medicine brought to patients is backed by extensive
2 scientific and clinical research, and we strongly
3 support the development of such evidence to support
4 good decision-making in healthcare. We appreciate
5 the opportunity that CMS has provided for us to
6 provide input on this topic today.
7 I would ask CMS and the advisory committee
8 to consider three basic points today. Two of those I
9 believe have already been addressed by a number of
10 other speakers, so I will move over those more
11 quickly. One of those is the value of considering a
12 broad research agenda, one that looks not only across
13 the healthcare system and the range of interventions
14 and care processes, and management delivery
15 mechanisms that can impact patient outcomes, but also
16 at questions that can give us insight on how we can
17 do a better job applying what we already know works
18 in healthcare.
19 The second point that I think has been
20 brought out already that I again will touch on
21 briefly, is the utility of considering a broad range
22 of policy mechanisms in relation to this research
23 agenda and research priorities.
24 The third point and one related is the
25 value of CMS and MedCAC considering what has already

00096

1 been generated through existing priority-setting
2 mechanisms as they go about their work today.
3 So first, briefly, CMS and MedCAC should
4 consider all aspects of healthcare delivery that
5 affect patient outcomes. This includes not only
6 pharmaceuticals and medical technology certainly, but
7 also processes of care and approaches to care
8 management and delivery. The concept of a broad,
9 integrated, research agenda base is not a new one,
10 but it is one that has yet to be fully translated in
11 practice in the United States.
12 John Eisenberg, as I'm sure many of you
13 know, the former director of the Agency for

14 Healthcare Quality and Research, made this point in a
15 1999 article in JAMA when he said, the organizational
16 and structural changes to the healthcare systems
17 should be subjected to the same rigorous evaluation
18 that would be used for a new drug or device.
19 More recently, Doctors Elliott Fisher,
20 Michael Coe and Don Burwick, among a number of
21 others, have made similar arguments in recent years.
22 Addressing this issue just earlier this month at the
23 Institute of Medicine, Dr. Fisher from Dartmouth
24 Medical School said we need better evidence both
25 about biologically targeted interventions, but also

00097

1 about care delivery. I think it is critically
2 important that we broaden that focus to include
3 evidence-based care management and evidence-based
4 care delivery.
5 Research that's recently been conducted
6 and supported by both AHRQ and Case Western Reserve
7 University demonstrates the effect of increasing
8 co-pays on patient adherence. That offers just one
9 example of a way in which evaluations at the care
10 delivery benefit and design level can benefit
11 patients and ultimately benefit the healthcare
12 system. This study found that the effect of raising
13 co-payments from six dollars to ten dollars resulted
14 in increased patient noncompliance with prescribed
15 treatment, leading to a \$125 million reduction in
16 drug costs annually but also an increase in costs
17 overall of \$360 million annually as a result of
18 increased complications.
19 So secondly, CMS and MedCAC should look to
20 existing priority-setting mechanisms. Under the
21 effective healthcare program for comparative
22 effectiveness of research, AHRQ and CMS have
23 established a set of priority conditions as the focus
24 of HHS research efforts. AHRQ is to be commended for
25 the implementation of an open and transparent process

00098

1 for receiving input on research priorities under this
2 program.

3 In describing this effort at a listening
4 session in 2006, CMS officials explained that the two
5 agencies had jointly selected ten conditions
6 affecting Medicare beneficiaries. MedCAC should
7 consider the public input AHRQ has received through
8 this process and in particular place a priority on
9 those research areas identified by stakeholders but
10 not yet implemented by AHRQ.
11 Two brief examples of those types of
12 recommendations, again, that illustrate the value of
13 looking at a broad and integrated research agenda as
14 we consider Medicare research priorities. One was
15 the University of Colorado Health Science System,
16 which noted that relatively little attention has been
17 paid to problems faced by older patients receiving
18 care across multiple settings. They noted that most
19 older patients with complex needs often are receiving
20 care from a variety of different caregivers across
21 different settings and that more research is needed
22 on the best and most effective ways to integrate that
23 care delivery. Another effort in this area,
24 attention to strategies to ensure Medicare patients
25 safety would be paramount.

00099

1 Similarly the American Heart Association,
2 who we just heard from, emphasized the value of
3 additional research to better understand how we can
4 address the problem of medication nonadherence,
5 noting that this represents an opportunity to improve
6 the healthcare needs of American seniors, as well as
7 to take steps that could save the Medicare program
8 significant funds in the future. And AHA noted that
9 the costs of patient noncompliance with respect to a
10 prescribed therapy are estimated at approximately
11 \$177 billion annually.
12 Third and finally, CMS and MedCAC should
13 consider mechanisms beyond the national coverage
14 process to address research priorities. On some
15 questions of primary importance to Medicare
16 beneficiaries, such as those related to coordination
17 of care and medication treatment adherence, are
18 beyond the scope of coverage policy. And in

19 addition, linking the conduct of research and
20 analysis that needs to be rigorous, independent and
21 impartial, to a high stake, high impact Medicare
22 process at times can be of questionable utility. We
23 believe other policy mechanisms such as
24 demonstrations which have been cited by other
25 speakers today offer a valuable approach to

00100

1 addressing many of these questions.
2 Again, we appreciate the opportunity to
3 present before the advisory committee today and we
4 look forward to continuing to take part in this
5 discussion. Thank you.
6 DR. MCNEIL: Great, thank you very much.
7 So Ann-Marie Lynch, from AdvaMed.
8 MS. LYNCH: Thank you very much for the
9 opportunity to be here this morning. My name is
10 Ann-Marie Lynch and I am here on behalf of AdvaMed,
11 the Advanced Medical Technology Association. AdvaMed
12 member companies produce medical devices, diagnostic
13 products and health information systems that are
14 transforming healthcare through earlier disease
15 detection, less invasive procedures, and more
16 effective treatments. AdvaMed's members range from
17 the largest to the smallest medical technology
18 innovators and companies.
19 Thank you for holding this MedCAC meeting
20 and for soliciting public comment on your effort to
21 assist CMS in developing priority areas for
22 generating evidence that will impact the health of
23 Medicare's 42 million beneficiaries.
24 AdvaMed understands that generating
25 evidence to inform physician-patient decision-making

00101

1 is an important matter deserving of full public
2 discussion. We in the medical device industry
3 believe that the needs of Medicare beneficiaries are
4 paramount, and that better evidence will result in
5 improved clinical outcomes and enhanced beneficiary
6 access to high quality care.
7 AdvaMed asks each member of this MedCAC

8 panel to consider the following principles as you
9 develop a priority list of research topics:
10 First, CMS should focus on areas of
11 research that will have an impact on improving care
12 for diseases and medical conditions that are
13 widespread among Medicare beneficiaries, as you heard
14 this morning. Specifically, we recommend that the
15 evidence generation priorities begin with research
16 involving health system changes that will affect the
17 management and delivery of healthcare items, service
18 and procedures. In this context, the priorities
19 include changes to improve chronic disease
20 management.
21 As you know, and we saw many specifics
22 this morning, an estimated 45 percent of the U.S.
23 population has at least one chronic condition, and at
24 least 60 million individuals have more than one
25 chronic condition. Chronic illnesses are responsible

00102

1 for 70 percent of deaths, 76 percent of acute
2 hospitalizations, 88 percent of prescriptions filled,
3 and 72 percent of all physician visits. Healthcare
4 costs are estimated to be two times greater per year
5 for individuals having one chronic condition, and 14
6 times greater for individuals having five or more
7 chronic conditions.
8 Secondly, we commend CMS's efforts to
9 conduct this process of developing evidence
10 development priorities in a fashion that allows for
11 stakeholder input through the MedCAC process.
12 Openness and transparency in the determination of
13 research priorities will enhance the credibility and
14 strength of the ultimate conclusions of any evidence
15 development efforts. We urge CMS to continue efforts
16 to involve stakeholders in evidence development
17 priority-setting going forward, and that the
18 stakeholders include patients, physicians, hospitals,
19 and experts from the medical device and diagnostics
20 industry, who often have a unique understanding of
21 specific devices and technologies, among others.
22 Third, I ask that you note the immense
23 heterogeneity that exists among medical device and

24 diagnostic technologies. Depending on the type of
25 medical technology you may suggest for a study, there

00103

1 may be considerably different study design challenges
2 with very different types of evidence generated for
3 each based on the unique demands and limitations of
4 studying each technology. A one-size-fits-all
5 approach to evidence development for medical devices
6 and diagnostics simply would not work. We urge the
7 MedCAC and the research community as a whole to
8 recognize and make methodological allowances for this
9 diversity.

10 Fourth, generating evidence on new
11 technologies and procedures can be a challenging
12 task. Medical device technologies, both therapeutic
13 and diagnostic, often pose difficult technology
14 challenges due to their rapid evolution and short
15 life cycles compared to pharmaceuticals. This rapid
16 innovation cycle is the result of constant efforts to
17 make improvements to help Medicare beneficiaries and
18 other patients. To ensure that any research
19 performed is useful, it should be applicable to the
20 current generation of technology.

21 Finally, new medical device and diagnostic
22 technology effectiveness depends in part on user
23 training and experience. Early assessment of a
24 device may incorrectly state its effectiveness.
25 Accordingly, researchers should consider the effect

00104

1 of training and experience upon outcomes, and should
2 only conduct assessments when the technology has an
3 experience base and is widely available. Likewise,
4 those using these studies should recognize the
5 challenges and limitations of evaluating medical
6 device technologies.

7 Again, we appreciate the opportunity to
8 comment in this public forum and welcome future
9 opportunities to communicate with CMS and the MedCAC
10 regarding the development of evidentiary priorities.

11 Thank you very much.

12 DR. MCNEIL: Thank you very much as well.

13 Now I understand there is nobody from the
14 audience who has made a request to speak. I want to
15 make sure that's correct before we move on. A quiet
16 crowd. Okay?
17 All right. We've had lots of speakers
18 with very many different points of view. I was
19 trying to keep track of the kinds of things that were
20 being said today and I have four categories, and
21 there may be more. Let me tell you what I have down
22 here.
23 I have several individuals talking about
24 basic research problems that need to be attacked.
25 That's not our agenda, for example, issues of

00105

1 co-payments. That is not the kind of thing that we
2 will be addressing today, or basic level of disease.
3 While these are all critically important to most if
4 not all of the agencies that are here today, we will
5 not be talking about those specific aspects of
6 things.
7 The second general area that I heard a lot
8 of comments on was the issue of care coordination,
9 and I think we probably do want to talk about that.
10 I would like to hold that discussion, though, until
11 later, because I think that came from many different
12 speakers from many different diseases, and we'll have
13 to figure out how to actually talk about that,
14 because care coordination per se isn't really
15 specific enough for our purposes.
16 The third general kind of, the third topic
17 or area that I heard discussed was specific clinical
18 services, and I think the representative from
19 neurologic diseases gave us about 20 very specific
20 clinical services that we should consider. Bypass
21 surgery versus medical therapy, there were a whole
22 bunch of things. Those are definitely on our agenda.
23 And the fourth area was some general
24 clinical areas, like better treatment for acute heart
25 attacks, I think the AHA had some examples of that.

00106

1 Those we can talk about in the context of some

2 specific examples under each one of the generic
3 groups.

4 So, did I miss anything in terms of the
5 generic approaches? Barry.

6 DR. STRAUBE: Barbara, this may fall into
7 one or several of the categories, but I heard just
8 about everybody talk about prevention also,
9 prevention and I suppose risk factors or risk
10 predictors.

11 DR. MCNEIL: That's true.

12 DR. STRAUBE: So that might be a separate
13 area.

14 DR. MCNEIL: And actually the other one
15 was surveillance, particularly by heart and lung and
16 cancer, so we should put those on the list and figure
17 out how to make them a little more specific. Okay.
18 So let us ask questions of the panelists.

19 So Leslie, I think you --

20 MS. FRIED: I just had two more things to
21 add to your list that I heard. One is, almost every
22 person spoke about comorbid conditions and I think
23 that's something we may somehow approach. And the
24 other was the optimal strategies to treat people in
25 primary care settings, so it's a broad approach.

00107

1 DR. MCNEIL: I think that's part of the
2 first one.

3 MS. FRIED: I was thinking of the idea of
4 annual physicals or something like that which could
5 be a covered service at some point.

6 DR. MCNEIL: Well, I don't think we should
7 be looking at coverage so much. I think we're trying
8 to identify high clinical, potentially highly
9 valuable clinical services for which there is an
10 evidence gap.

11 MS. FRIED: Well, okay.

12 DR. MCNEIL: I mean, I think that's our
13 charge; is that correct?

14 MS. FRIED: I was under --

15 DR. STRAUBE: Well, I think we talked
16 about this at the beginning and I think it's
17 primarily focused on evidence gaps that we would like

18 to help guide us in terms of where we go forward with
19 our coverage decisions, but it's also in a larger
20 context of evidence that will help us inform patients
21 and clinicians and others how best to use those
22 services.
23 MS. FRIED: I heard a lot of folks talking
24 about screening and other things, if they were done
25 early that we could then identify, treat and assess

00108

1 earlier. So my thinking is, how does that happen if
2 people just go to the doctors when they're sick.
3 DR. MCNEIL: Okay, got it. Linda, did
4 you have a question or comment?
5 DR. BERGTHOLD: I think there are sort of
6 two kinds of umbrella issues that maybe are important
7 to all of the things that we're going to talk about
8 that folks mentioned. One was the relative lack of
9 Medicare beneficiaries in clinical trials, the
10 importance of getting more of this population into
11 the trials. And the second is the importance of
12 looking at comorbidities in the trials, so we're not
13 focused on a single condition. So if we could sort
14 of put those two things as, I don't know,
15 overarching, I hate that word, but overarching issues
16 or questions, something like that.
17 DR. STRAUBE: It comes to mind that we do
18 have some barriers that probably should help us to
19 try to limit what's a very broad discussion. Namely,
20 there are a number of issues that were raised and may
21 get raised that are not part of the Medicare program.
22 Specifically we've used the term screening, and
23 screening services are at the moment not covered
24 benefits. So we have to be a little bit guarded in
25 terms of how much we talk about that particular area,

00109

1 because it would be dependent on Congress to add
2 those services before we could even cover them.
3 Preventive services are a good example the
4 same way. When the Medicare program started, there
5 were no preventive services, and those have been
6 added sequentially by amendments to the Social

7 Security Act such that there are some but not all
8 preventive services added. So in terms of
9 prioritizing things that aren't covered under
10 Medicare, we probably ought to put those on a second
11 panel discussion in the future.

12 DR. MCNEIL: Sean.

13 DR. TUNIS: Yeah. Is this an opportunity
14 now to ask questions?

15 DR. MCNEIL: Well, I just want to make
16 sure that nobody has any other general comments.
17 Yes, Nancy?

18 MS. DAVENPORT-ENNIS: I just had one
19 general comment, Sean, and then we'll come back right
20 back to you. But likewise, I notice that a number of
21 people did talk about the issue of comorbid
22 conditions, but a number of people also, and I don't
23 know where this would fit, and perhaps it would fit
24 into one of the global areas that have been
25 identified, but there was much reference to the role

00110

1 of obesity in the diagnosis of so many diseases, the
2 need for more study of the role of obesity. And also
3 much discussion, particularly from the osteoarthritis
4 community as well as others, about the role of
5 mobility, which and how do you incent choices that
6 enhance mobility.

7 So if there is a way that we can put these
8 two items under one of the others that have been
9 called out, perhaps within care coordination, within
10 that discussion, how do you go near that, or even
11 specific clinical services, if part of the review on
12 clinical services could include that.

13 DR. MCNEIL: That's a great comment. Why
14 don't you think about how to do that as we carry on.

15 MS. DAVENPORT-ENNIS: All right. So I may
16 be interrupting frequently with comments.

17 DR. MCNEIL: Terrific, that's your role.
18 Let's see, were there any other general comments
19 before Sean asks the first question of the
20 presenters?

21 MR. SCULLY: Just one other general
22 comment that won't make your agenda, but the biggest

23 change in the Medicare program over the last four or
24 five years is the fact that we've got 20 percent of
25 people in Medicare participating in screening and

00111

1 that number is going to keep growing, and while I
2 know that we don't make coverage decisions there,
3 there are completely different behavioral trends I'm
4 told, like cancer screening, dialysis services, and
5 there's been very little to no research showing
6 whether people are better off or not, especially with
7 risk assessments.

8 Now that the insurance companies seem to
9 want to find sick people and do lots of preventative
10 care, tracking that and showing what's the difference
11 between, if one of the plans can save 60,000 bucks a
12 year per person per year whether they do provide
13 better preventative care, or whether somebody on
14 dialysis actually gets different care. So whether
15 you agree with the policy, this is a huge growth in
16 this direction, it has probably gone from 20 or 25 in
17 the last few years, and we don't really know if it's
18 a good idea or not, so I think it's a pretty critical
19 thing.

20 I know you're more focused on traditional
21 fee for service programs, but tracking the parallel
22 behaviors there I think is important to look at.

23 DR. MCNEIL: Agreed. Sean, do you want to
24 start us off?

25 DR. TUNIS: Maybe I could make one more

00112

1 general comment too to see if this fits into a
2 separate category. One of the things I heard from a
3 couple of the presenters was examples of effective
4 services like coordinated care, falls prevention,
5 et cetera. I think they were recommending evidence
6 development around basically how to get those more
7 broadly adopted, you know, through demonstration
8 programs, et cetera. So I'm wondering, you know, is
9 that sort of within the scope of what we're wanting
10 to talk about, you know, in other words, things for
11 which the evidence of effectiveness exists but they

12 are under-disseminated or under-utilized, and so you
13 see some kind of a demonstration or other mechanism
14 to try to expand, you know, expand their use.

15 DR. STRAUBE: No. I think definitely it
16 is, Sean, because it could be done through coverage
17 purposes or processes, but it could be through pay
18 for performance or other incentive programs, public
19 reporting in terms of quality outcomes and results,
20 so I think that's absolutely an extension.

21 DR. TUNIS: Okay. The question I was
22 going to ask to any of the presenters who care to
23 respond to it, it goes to the issue which CMS faces
24 frequently, which is, there is a mismatch between the
25 timing of rigorous clinical trials and when CMS is

00113

1 frequently called upon to make either a payment or a
2 coverage decision. So, I think there are several
3 examples of large NIH-sponsored trials for which it
4 seems to be too late for CMS to do anything with the
5 information.

6 There was the MIST trial by NIDDK which
7 was a comparison of minimally invasive interventions
8 for BPH to maximum medical therapy. That trial I
9 think is in year two of a five-year trial, and I
10 think we have been paying for almost all those,
11 Medicare has been paying for almost all of those
12 interventions for years already, and you know, TUNA
13 and TUMP --

14 DR. MCNEIL: Can you explain what those
15 are?

16 DR. TUNIS: TUNA is transurethral needle
17 ablation, TUMP is transurethral microwave something.

18 DR. MCNEIL: Okay.

19 DR. TUNIS: You know, there are several
20 others, and as Tom well knows, they are sort of well
21 reimbursed. And so the randomized clinical trial
22 would be an important priority, I think, for evidence
23 for CMS coverage and payment decisions. You know,
24 but it would have only been useful had that trial
25 been started three or four years ago.

00114

1 Now we have a similar situation, if I can
2 give one more example, you know, proton beam therapy
3 for treatment of early stage prostate cancer,
4 following on intensity modulated radiation therapy,
5 following on earlier forms of radiation, and Medicare
6 has already made its payment decisions around IMRT,
7 you know, and may or not make one around proton beam.
8 I think a recent AHRQ evidence review called for
9 large head-to-head studies, but you know, any study
10 started today will be delayed some years.
11 The last thing I will mention is one the
12 presenters talked about the missed opportunity of
13 drug-eluting stents. And we can all, you know, go
14 back to the decision several years ago by CMS to pay
15 kind of a bonus payment for drug-eluting stents
16 leading to, or partially leading to a fairly rapid
17 clinical adaption, and perhaps there was a missed
18 opportunity to generate evidence at that time.
19 So the broad question I'm wondering if any
20 of the institutes could comment on is the feasibility
21 of launching very early prospective evaluations of
22 some of these technologies in time to provide the
23 evidence that Medicare would need.
24 DR. MCNEIL: That seems like a terrific
25 question. I wonder who wants to be the first person.

00115

1 The enthusiasm is overwhelming.
2 DR. SAVAGE: I think Sean has touched upon
3 a very important issue and that is the process by
4 which the NIH generates the approval for large
5 clinical trials and so forth takes some time. And
6 inevitably a technology, particularly an attractive
7 technology will appear on the scene, and there isn't
8 time to wait five years for a standard clinical trial
9 to be done. So that, I guess the only thing I
10 thought of that, or the only two things I thought of
11 that could be relevant to this, one is to track
12 fairly carefully what's going on in a group of
13 patients in which this technology is being used
14 initially, so if something unexpectedly is going to
15 show up, you'll know about it relatively soon, rather
16 than eight or ten years later when it becomes

17 apparent to everyone.
18 The other is the question of whether or
19 not if the technology looks very promising, and the
20 drug-eluting stents certainly did in terms of
21 addressing one of the problems that existed with the
22 earlier stents, is there a possibility of giving some
23 sort of approval that would be contingent upon a
24 formal trial going forward and then a final decision
25 as to whether there would be continuous coverage

00116

1 after the result of that trial has ended.
2 DR. STRAUBE: Dr. Savage, that latter
3 comment that you made, that's what our coverage and
4 evidence development policy pretty well addresses.
5 Although I think we have some things we're struggling
6 with in that, in terms of there's probably so many
7 technologies, devices, services, et cetera, that
8 could potentially be at that stage where you want to
9 do that, and we might, the question for Medicare is
10 going to be how many of those are we going to put
11 into coverage for evidence development, and we had
12 intended initially when we opened it up to have it be
13 a rare occurrence.
14 It's seeming as though the demand may be
15 more than what we had expected before, and I think
16 Sean's question partly gets at that. We're hoping
17 that there's ways that industry, the academic
18 community, et cetera, might be able to figure out how
19 to answer the question sooner, kind of knowing what
20 we will end up asking for.
21 DR. SAVAGE: And I think we had experience
22 with the drug-eluting stents in that it was talked
23 about, finally a group of investigators came in and
24 said they wanted to do a study. We decided it would
25 be better to do a randomized trial than just do some

00117

1 sort of a registry. And then the process of getting
2 the application and getting it through the system and
3 so forth inevitably takes long enough so that in the
4 case of the stents, the FDA went ahead and approved
5 the devices and there was an explosion in their use,

6 and then it became hard to do a study in the United
7 States.

8 So I think it is, particularly for an
9 attractive technology that looks like it could be a
10 major advance, it is a significant problem. But the
11 idea of letting it go ahead with a final decision to
12 be made when the final results are in could be the
13 best way.

14 DR. MCNEIL: Can I ask just one
15 clarification question on this? It's my
16 understanding that most of the coverage development
17 approaches have involved registries. Which ones have
18 been RCTs?

19 DR. STRAUBE: Well, we used registries
20 with the ICD, but we had some problem with off-label
21 use of cancer drug, PET scanning.

22 DR. MCNEIL: But there are no patients in
23 it, right?

24 (Discussion off the record.)

25 DR. STRAUBE: They are not recruiting well

00118

1 but they are set up to be used in that manner. Some
2 of this is contingent also, we're struggling with our
3 clinical research policy and what the criteria ought
4 to be for that.

5 DR. MCNEIL: But just to pursue it a
6 second, so there is a randomized clinical trial on
7 off-label drugs in cancer?

8 DR. SCHRAG: Colorectal.

9 DR. MCNEIL: We're sure it's an RCT? Is
10 the NCI here to confirm that, just to answer this
11 question, are we sure this is an RCT?

12 DR. TUNIS: Which one?

13 DR. MCNEIL: Colorectal off-label drugs.

14 DR. TUNIS: Yeah, those were studies that
15 NCI had already planned to launch at the time that
16 the Medicare coverage decision was made. The one
17 other example of an RCT CED, well, other than the NET
18 trial which was the original one in the '90s, that
19 was a randomized trial before CED. The one that
20 hasn't worked so well is the national coverage
21 decision on PET scanning for Alzheimer's disease

22 which, the coverage policy would allow for coverage
23 for PET scanning for Alzheimer's in the context of a
24 randomized trial, one has been developed, but it has
25 not been able to obtain funding.

00119

1 DR. STRAUBE: The other randomized
2 clinical trial was the use of oxygen in the home
3 setting.
4 MR. SCULLY: Can I just comment on two of
5 these things? Part of the problem here is just
6 bureaucratic unresponsiveness between agencies. I go
7 back to, I think I made up with Sean's help the
8 higher code for drug-eluting stents which created the
9 methods that they had, because we couldn't make it a
10 higher code which allowed hospitals to get paid.
11 Part of the problem, though, was we created that code
12 six months before FDA approved it, because the
13 hospitals were going crazy. Plus there was zero
14 under the law, FDA couldn't tell us anything, and
15 unless something's changed, CMS finds FDA is
16 approving products using the New York Times.
17 And people don't understand that, because
18 FDA can't share anything if it's proprietary at the
19 time. So people come in the day after the FDA's
20 approved something and say where's my code, where's
21 my coverage, and the reality is CMS knows nothing at
22 all in most cases, which is a huge problem.
23 I'll give you another example, where FDA
24 (inaudible) functionally equivalent, which caused
25 quite a little stir, and Secretary Thompson asked

00120

1 NIH, NCI to do a study at that time of the
2 appropriate dosages of EPO and how it should be
3 worked. That was four years ago, and then
4 (inaudible) the last six months if they had done
5 something on it for the past four years, but I didn't
6 see any evidence that that happened.
7 DR. MCNEIL: Okay. So Mark and then
8 Debbie. Mark Hlatky first.
9 DR. HLATKY: I guess I had a question.
10 Our charge is to look at major gaps in evidence and

11 what kinds of evidence. The question I had is what
12 kind of evidence should we try and promote here, and
13 I guess I had thought about trials as the primary
14 kind of evidence that most people would accept, but
15 one of the speakers, and I can't remember which one,
16 had mentioned there were some registries which are
17 also very useful, and their point was it seemed to be
18 an either/or rather than a complementary thing. I
19 wondered if we could, I'm curious about hearing what
20 the problem is in terms of the, are the registries
21 going to kill off the trials, is that, do we have a
22 big problem here in terms of gathering evidence and
23 what kind of evidence, if you will, is admissible for
24 this.

25 DR. MCNEIL: Is there a reason you wanted

00121

1 to ask that question?

2 DR. HLATKY: One of the speakers made a
3 comment about the registries interfering with trials
4 and I'm wondering if I could hear some more about
5 that.

6 DR. WEINTRAUB: I guess I could expand a
7 little bit. You know, in terms of something like
8 carotid artery stenting, you're talking about
9 something that's really quite politically
10 complicated. You're talking about a new field, like
11 cardiology, coming into a field that was dominated by
12 vascular surgery. So the question that's out there
13 on the table is not just what procedure is better,
14 but which field, which specialty society is going to
15 take over the field. There's a lot of ego there, a
16 lot of politics, there's a lot of hospital
17 decision-making on where the resources are going to
18 go.

19 So we have, NIH has a randomized
20 controlled trial of stenting versus endarterectomy.
21 We're trying to enroll about 2,000 patients and it's
22 hard enrollment, and yet, you know, tens of thousands
23 of patients are going into registries. And the
24 registries are basically picked up and unfortunately
25 used as a tool to establish turf. And so it really

00122

1 complicates the decision-making.
2 And also, you know, if you're a
3 procedurist and you're being paid, you know, a
4 significant amount of money, thousands and thousands
5 of dollars to do a procedure, and then you're asked
6 to randomize, that means your income is cut in half
7 automatically. So I think the registries will kill
8 clinical trials in reality, and so I think they
9 should be really post-clinical trials and they should
10 be put on hold if a clinical trial is out there.
11 Otherwise, you're never going to get the answer. The
12 taxpayer pays tons of money to NIH to do these
13 trials, they don't get done, it becomes more and more
14 expensive. It's just not in the public health's
15 benefit, and the registry should be reserved until
16 after the clinical trials are done.
17 The issue of can you get the clinical
18 trial done in time, that's, as Dr. Savage mentioned,
19 that's a real problem. That's where maybe we need a
20 better coordination between CMS and NIH to get the
21 data out there in time. But it clearly is a slow
22 process and that's an inherent problem too.
23 DR. MCNEIL: That is a really critical
24 question you've raised, and I'm trying to decide
25 whether we should take time to speak about it or

00123

1 whether we should hold it. What do you think, Barry?
2 DR. STRAUBE: I think continuing on just a
3 little bit might be appropriate if other people have
4 comments.
5 DR. HLATKY: If I could just follow up on
6 that, I'm sure that one of the issues often talked
7 about is a lot of trouble enrolling, especially for
8 things that are already approved and funded, so I'm
9 not sure if a registry is a symptom or a cause of the
10 problem that is there. I think that in my view, the
11 registries are potentially helpful in addressing this
12 issue that one of the panelists here mentioned about
13 comorbidity. I think what that means is the people
14 who we see in the trials are really not very
15 representative of the real world, and we're much more

16 interested in real world evidence of what's working
17 and how well it's doing for that. And in that sense,
18 you know, the registries can be helpful, but I think
19 they're both pretty challenging, but I think they can
20 both be, I would like to see both of them go forward,
21 both trials as the best kind of evidence in ideal
22 people, and registries for more real world
23 situations.
24 DR. MCNEIL: Okay. Does anybody else
25 want to make a comment on that specific issue? Okay,

00124

1 Mark.
2 DR. GRANT: I could echo the previous
3 comments regarding carotid endarterectomy rather than
4 stent, we haven't had the opportunity to evaluate
5 that evidence, and how difficult it is to synthesize
6 it with the absence of clinical trial data when the
7 registries are proliferated. So I think it's a real
8 issue and I think that there certainly does need to
9 be a balance there. And the registries certainly
10 provide valuable information, no question, but they
11 oftentimes don't answer the critical question, is one
12 therapy more efficacious than another.
13 DR. MCNEIL: Okay. We have a number of
14 people who want to ask questions. Who has a question
15 on this particular point?
16 DR. BUSH: I just have a comment about the
17 registries. As a vascular surgeon and someone who
18 takes care of patients who are older and have
19 multiple comorbidities, oftentimes in a randomized
20 clinical trial, especially if you have a procedure
21 that's not being done that frequently, you aren't
22 going to enroll enough patients to be able to
23 evaluate in a randomized fashion whether or not we
24 should be doing something to a patient, especially
25 with a new technology. So I would agree that these

00125

1 should be done hand in hand, and it's nice to have
2 both data, and it's complementary.
3 DR. MCNEIL: So Peter, do you have a
4 thought on this?

5 DR. JUHN: The question I have is really
6 more to do with this notion of the evidence gap and
7 are we looking at the evidence gaps in the context of
8 coverage decision-making or clinical decision-making
9 or both. And I think this is especially important in
10 considering whether we're looking at so-called clean
11 randomized controlled trials versus kind of real
12 world observational type of information. And I think
13 having some clarity around that, I think, will help
14 in going through that prioritization.

15 DR. MCNEIL: Okay. So maybe Barry, and
16 then we will move on.

17 DR. STRAUBE: Again, I think that for
18 purposes of discussion here, because this will be so
19 broad, we should be focusing on the evidence gap and
20 its relevance to coverage decision-making. That was
21 the original intent of this advisory committee,
22 although we changed it to evidence development and
23 coverage so that we could take the broader picture in
24 the long term. So I think the focus today should be
25 on, in the coverage arena, but realize again that

00126

1 this would also benefit us in the broader picture.

2 DR. JUHN: In that context, I think then
3 given the current approach to reviewing evidence for
4 coverage decisions, I would say that the
5 observational trial designs are in a secondary
6 position to randomized trial design.

7 DR. MCNEIL: I think most people would
8 agree with that. Debbie?

9 DR. SCHRAG: So just to sort of try to put
10 this together, we've heard from all our speakers
11 about gaps in evidence, basic gaps in the clinical
12 evidence and how critical getting those RCTs done are
13 and how the registries are clearly second rate
14 evidence. So then the question is, how do we set up
15 systems to better incentivize Medicare beneficiaries
16 to participate in these clinical trials without
17 violating fundamental principles of research ethics.
18 I mean, that would seem to be a core question.
19 You know, I think those of us who work in
20 a clinic often find that Medicare beneficiaries are

21 somewhat ill informed about what a clinical trial is,
22 why they should participate in one, and, you know,
23 that itself, it's almost like public education in
24 that population. It's an issue of what's going to be
25 good for them, good for medicine, good for everyone

00127

1 to foster participation.
2 And then just a procedural note. Since so
3 many of these big Phase Three clinical trials are
4 developed with NIH funding, it's very interesting
5 that when you write an NIH grant and protocol, that
6 you go through a quite laborious section describing
7 accrual and enrollment plans for minority groups by
8 ethnicity very specifically, children and women. But
9 yet, really no attention is required to be put into
10 what is your plan for accruing elderly high
11 comorbidity patients, it's not required. So often
12 the very patients who Medicare treats, or covers, are
13 excluded.
14 DR. MCNEIL: I think that's really a
15 comment you want to have the NIH hear, because
16 they're the ones who make the guidelines on what goes
17 in the grant applications. Did everybody hear that?
18 DR. WEINTRAUB: I agree.
19 DR. MCNEIL: Yes.
20 MS. DAVENPORT-ENNIS: I would simply like
21 to mirror what my colleague has said, but I'd like to
22 add a couple of additional points for consideration.
23 I agree completely that it is extremely difficult to
24 get the senior population accrued into clinical
25 trials, not only because they may have a hard time

00128

1 understanding the complexity of what is going to be
2 involved in that, but also there is a reticence on
3 the part of the provider community to try to recruit
4 many of the seniors who have comorbid conditions that
5 immediately preclude their eligibility for going into
6 the trials.
7 A second point that I would like to bring
8 forward is that for many of the senior population
9 that we serve and that we all read about and study in

10 this country, household incomes are at such a point
11 that if the clinical trial requires travel, overnight
12 stays, full-time caregiver in attendance, they are
13 immediately precluded from that, particularly if they
14 are a widow or widower, children are in distant
15 locations.

16 And so as we have this conversation about
17 clinical trials needing to have seniors in them, I
18 don't know how we can have that without addressing
19 part of the concerns about what do we do to get those
20 with comorbid conditions to qualify for more of the
21 trials, and what do we need to do at least in terms
22 of educating the public that yes, if you're a senior
23 and want to go into a clinical trial, there are
24 certain special service needs you're going to need to
25 be helped with, whether through the family or the

00129

1 community at large, in order to get the senior
2 approval.
3 DR. MCNEIL: I think, if you don't mind, I
4 think this has been a great discussion on clinical
5 trials and comorbidities, but I think it's a little
6 bit tangential to the bulk of our charge today. So
7 what I would like to do is put a little semicolon on
8 this discussion about how we enroll patients in
9 clinical trials and how we deal with comorbidities,
10 and whether registries and clinical trials should be
11 done hand in hand or sequentially. And if we have
12 time at the end of the day, go back to some of those
13 generic issues.

14 But I'm afraid if we keep on this line,
15 we're not going to get at some of the particular
16 clinical services that we need to address, and we
17 won't have the opportunity to ask questions of our
18 presenters, which we actually have time for only for
19 about the next hour. So I want to be parsimonious
20 with their time and our discussion time.

21 So what I would like to do now is turn
22 over and say what does the panel have to ask about
23 any of the remarks from our panelists or our public
24 speakers that relate to specific clinical services or
25 to the elaboration of specific clinical services that

00130

1 would emerge under some of the broader rubrics that
2 some of the speakers gave. Is that okay? All right,
3 then Mark.

4 DR. GRANT: I'm going to be a little
5 obscure here first.

6 DR. MCNEIL: Not too obscure.

7 DR. GRANT: Okay. Or more general.

8 Having spent most of my professional life as a
9 practicing geriatrician, I was surprised not to hear
10 the topics of end of life care, which is of
11 considerable cost as well as interest to Medicare
12 beneficiaries, discussed, as well as the dementing
13 illnesses, in particular Alzheimer's disease. I was
14 wondering if some of you may have comments.

15 DR. NAYFIELD: Certainly there are a lot
16 of other issues. I am actually an internist and
17 hematologist. I was (inaudible) for years before I
18 was recruited to aging. I am not a neurologist, and
19 while there are needs certainly for care in
20 Alzheimer's disease, I'm really not the person to
21 speak to those, and we really did not have time to
22 include that expertise in our presentation. So that
23 is obviously something we have neglected.

24 In terms of end of life care, I think that
25 is very important. We are working now within, to

00131

1 some extent within our Peppers Center programs, to
2 look at some of these issues and to try and identify
3 these better. So we couldn't cover everything and we
4 did pick some of the things that we felt were most
5 pressing. It doesn't mean the other things aren't
6 important.

7 DR. MCNEIL: Thank you. Does anybody else
8 have a comment? We understand that you had a limited
9 amount of time and couldn't do everything, but thanks
10 for your time.

11 DR. KOROSHETZ: As a neurologist, I think
12 that the community recognizes this is a major
13 problem, especially when it's linked to use of health
14 service resources, and I think it's a social and

15 ethical problem. The physicians have a great deal of
16 difficulty, maybe it's not their area to make, you
17 know, pronouncements about it. It may be something
18 that the country has to look at in terms of an
19 overall policy. Clearly in intensive care units, in
20 stroke units, the issues really come up to the
21 physician, and there's a discussion with the family
22 about what's appropriate. It's a long discussion if
23 the discussion hasn't occurred when the patient hits
24 the hospital.
25 Clearly, I think the low hanging fruit

00132

1 here is to try to incentivize physicians and families
2 to try to think about these things before the events
3 happen. And I think a lot of hospitals now are
4 really pushing this kind of discussion, trying to get
5 forms actually on the record for all their patients
6 with regard to their wishes should disaster occur. I
7 think that's probably, it seems to me a place where
8 you can make a lot of progress.
9 DR. MCNEIL: In line with our charge,
10 perhaps we won't deal with this today, but is there a
11 specific component of end of life care that we should
12 be considering beyond end of life care? I want to
13 drive this committee as much as possible to
14 specificity.
15 DR. WEINTRAUB: I don't know if it's
16 really attributable to me, but if you were to
17 incentivize physicians to deal with end of life
18 discussions in patients over 65, I think that that
19 would be a major impact.
20 MR. SCULLY: Related to this, the fastest
21 growing area, as shown in one of the first slides of
22 the day, in the Medicare population by far, is
23 hospice care. But hospice is also the last Medicare
24 payment (inaudible) make a whole lot of sense and it
25 needs to be fixed. And the fastest growing part of

00133

1 hospice and the most controversial by a factor of a
2 hundred, is Alzheimer's care (inaudible) huge issue
3 about what research in the next couple years would be

4 useful to CMS defining who should be in hospice care
5 for Alzheimer's or at what point the diagnosis is, is
6 a gigantic threshold issue for research. And if
7 you're looking for an example in the next three
8 years, my guess would be (inaudible) without the
9 right research, that's about as high as you're going
10 to get.

11 DR. MCNEIL: Let's see, I think I have
12 Karl next.

13 DR. MATUSZEWSKI: Mark pretty much covered
14 my question about Alzheimer's intervention.

15 DR. MCNEIL: Okay.

16 DR. MATUSZEWSKI: But let me add a side
17 bar, and somebody else talked about it. These
18 various institutes with all their disease states, you
19 know, the 600 diseases, probably a thousand diseases
20 overall, I'm not sure if the focus is life span
21 extension. I mean at some point if we put down
22 coronary disease and look at cancer and other
23 neurological diseases, they're going to have to die
24 of something. But is quality of life, is that
25 ultimately also on the agenda as needing more study,

00134

1 maybe in an RCT type situation.

2 DR. MCNEIL: You have to do that in terms
3 of clinical service, so you have to be specific.

4 DR. MATUSZEWSKI: We talked about this in
5 the conference call, so for orthopedics, disc
6 replacement, quality of life for the patient, the
7 type of procedure, surgery you're going to perform
8 for that patient depending on whether they're 65 and
9 active or whether they're 85 and immobile, and do
10 those sorts of studies need to be done earlier,
11 perhaps while you're collecting some data for FDA
12 approval, or is that an ongoing commitment? So
13 indeed we have a device that's been approved; is
14 there some way, much like with pharmaceuticals, there
15 are expectations of a Phase Four post-marketing study
16 to provide that sort of data down the road.

17 DR. TURKELTAUB: Well, if I could just
18 from the NIH perspective let you know about one of
19 our roadmap initiatives, which is the PROMISE

20 network, which is patient reported outcome measures
21 through the use of technology, and we're developing
22 that now to look at quality of life issues, the way
23 to measure them, what components are important for
24 each different healthcare issue, and how that
25 information can be used to improve population's

00135

1 health in general.

2 So we're looking at quality of life. For
3 the arthritis institute, many of our conditions are
4 not life-threatening, but we are looking at quality
5 of life in particular.

6 DR. MCNEIL: Mark, did you have a question
7 for somebody?

8 DR. HLATKY: I do have a question. One of
9 the things that we saw on the AHRQ conditions that
10 was mentioned as a priority was peptic ulcer disease,
11 and one of the slides that we saw was a number of
12 things related to digestive diseases, numbers of
13 people with cholelithiasis, diverticulosis,
14 et cetera. So my question is for the NIDDK
15 representatives here, because I didn't see anything
16 about the digestive tract on the list of research
17 priorities from the agency, and I see a lot of things
18 saying this is a burden, and I'm wondering what areas
19 should be part of it.

20 DR. FRADKIN: Well, I guess with the
21 12-minute limitation, NIDDK has so many different
22 digestive diseases, it was really hard to know, you
23 know, where to focus attention. And I actually am a
24 divertologist rather than digestive diseases person,
25 so I could certainly get back to you, or did you have

00136

1 a specific question about digestive diseases?

2 DR. HLATKY: Just as a cardiologist and
3 since we're supposed to be very specific here, I'm
4 not sure I know what the research gaps are in terms
5 of that. I know that, you know, somebody is
6 recommending we should do more on peptic ulcer
7 disease, I see there are a lot of procedures being
8 done. Are there opportunities and gaps that people

9 know about, or maybe others? It seems to me that
10 ignoring the digestive system is not a good idea.

11 DR. FRADKIN: I think it was covered a bit
12 by cancer in terms of colon screening, but I'll try
13 to get back to you.

14 DR. MCNEIL: Susan, I'm sorry, did you
15 have a comment on that?

16 DR. NAYFIELD: The comment really goes to
17 quality of life. In geriatrics we look at functional
18 status as well and we have entered into a dialogue
19 with the FDA on having measures of functional status
20 being outcomes in clinical trials. That could be
21 useful for drug approval as we look at things, so
22 this is a concept for organized measurements of the
23 physical performance.

24 DR. BROWN: Can I add to that?

25 DR. MCNEIL: Sure.

00137

1 DR. BROWN: The PROMISE initiative, we
2 have, we support that in the cancer group, but we
3 also have cancer-specific components, and we have
4 also started a patient-reported sort of initiative
5 with our cooperative groups involved in clinical
6 trials. And even though we've all recognized for a
7 long time that endpoints, patient-reported endpoints
8 in addition to things like the obvious things like
9 mortality, treatment side effects, et cetera, are
10 important, it's really, this goes back 20 years,
11 probably longer.
12 There has been an ongoing sort of
13 discussion and struggle about what are the
14 measurements of patient-reported endpoints that you
15 can get out of randomized trials or also
16 observational data that were, you know, are as
17 rigorous as something like the mortality endpoint and
18 also are clinically meaningful. And I don't think
19 the answer has fully emerged, but it has been
20 recognized in the last couple of years at NIH that
21 this is something that needs to be done, and there is
22 a lot of activity now trying to find the answer to
23 this question.

24 DR. MCNEIL: Is this on that point?

25 DR. GLASSMAN: I just had a response to

00138

1 your comment about looking for new trials to provide
2 data. I think the point is that that data really
3 exists, and we had a discussion last year about
4 spinal fusion. All the control data which there's a
5 huge amount there out of the IED trials, was
6 discarded because it's part of an industry-sponsored
7 study looking at a device. But even if you would say
8 let's not look at the investigational arm of these
9 studies, let's look at the control arm of these
10 studies, because that's supposedly the standard
11 against which we're measuring it, there are very
12 large amounts of data that have been collected as
13 part of IED studies that we don't look at because
14 it's not, you know, in the RCT design that we want.
15 And I think, as a lot of people have said, that
16 doesn't seem to be giving us what we want in a timely
17 fashion.

18 I would suggest to you that a big piece of
19 evidence that is part of what you're looking for
20 exists already, you just might have to go back and
21 look at it a little differently than how we've done
22 in the past.

23 DR. MATUSZEWSKI: I think with some of the
24 IED, the control groups do have that background and
25 you could look retrospectively at quality of life,

00139

1 but some of the newer technologies don't. So you
2 often have data that maybe extends only two years out
3 and you're looking at not only device durability, but
4 patient ability to function with that device over
5 five or ten years, whatever the expectation would be
6 for that device to be implanted, that often doesn't
7 exist at the time of approval, and yet a coverage
8 determination has to be made with the hope that
9 indeed it will turn out like that in ten years. But
10 for control groups you're right, there is that data
11 that exists.

12 DR. MCNEIL: Peter.

13 DR. JUHN: I wanted to maybe shift gears

14 just a little bit and ask one of the presenters a
15 very specific question, and this is for Michael
16 Schoenbaum, which is on the whole depression area.
17 And I think I found your presentation quite effective
18 in terms of highlighting the multiplier effects of
19 depression. But the service that you're describing,
20 I was a little unclear what the specific service is
21 that we need and you have identified as having an
22 evidence gap, and therefore additional studies can be
23 done, that evidence gap be filled, and then CMS makes
24 some kind of coverage decision. Can you kind of
25 drill down to a very specific kind of set of, or

00140

1 description of the service, and then the type of
2 trials that could be done that could satisfy CMS in
3 the coverage requirement.
4 DR. SCHOENBAUM: Okay. I'm glad to have
5 an opportunity to elaborate a little bit. I should
6 admit up front that I'm not confident that I
7 understand some of these terms of art quite the way
8 you all understand them. So Barbara, in your
9 instructions to us you kept mentioning, you know, you
10 have to drill down to specific services. And you
11 know, service is the language that everybody is using
12 here, so with a caveat -- I mean, I'll try to respond
13 to Peter with a caveat that I'm not sure that I
14 understand exactly how that is meant in the Medicare
15 vocabulary.
16 I mean, there's service at the level of
17 treatment per se, is the question, right?
18 Antidepressant medication, structured therapy, those
19 things are already part of the Medicare benefit,
20 practically speaking, right? And the efficacy
21 probably shows that the majority of patients who are
22 exposed to these treatments and complete a
23 therapeutic dose of them actually get better. We
24 also know at a population level that no more than a
25 quarter of Medicare beneficiaries are exposed to

00141

1 anything approximating a therapeutic dose of these
2 treatments, so that's treatment per se.

3 And so in the mental health world, there's
4 been a huge amount of focus on what is it that we
5 have to do to real world practice to make it more
6 likely that patients who present with a condition
7 like depression, when they present at the medical
8 system or I guess there's even community outreach
9 models, but let's say when they show up at their
10 doctor, because most of them do, how does one
11 increase the chances that they will be identified
12 with this disorder and that they will leave with a
13 therapeutic treatment plan?
14 And at least in the way I use the word
15 service, or I understand the word service even, what
16 we have developed is a very strong evidence base
17 supporting a package of services, again called
18 collaborative care, but this is all based on Ed
19 Wagner's chronic disease model. So where, you know,
20 the patient shows up, there is some process by which
21 the patient completes a screener for depression. If
22 the patient screens positive, then the next step is
23 to engage the patient in doing and assessment. The
24 clinician can do this, but the clinician typically
25 isn't very effective at starting this process.

00142

1 And so the gap in the real world that
2 needs to be filled in order for this process to begin
3 is some kind of physician extender role, you know,
4 shorthand, care manager role, to engage the patient.
5 Then you start the therapeutic process, you send the
6 patient home with a prescription. Does the patient
7 fill the prescription? Does the patient stay on the
8 prescription? If the patient discontinues, and about
9 50 percent of people who start an antidepressant
10 discontinue after 30 days, why did they discontinue?
11 How does the clinician find out?
12 The standard of care at the moment is you
13 either send the person out to fend for themselves and
14 nobody does any follow-up, or they go out, they start
15 the med, they may stay on the med for very long
16 periods of time. In the Medicare population in
17 trials, we see people who enter trials who have been
18 on an appropriate antidepressant for a year, two

19 years, they haven't gotten better, right? So to get
20 them better, what you have to do is monitor outcomes.
21 So again, physician extender role, monitor outcomes,
22 play this intermediary between the patient and the
23 provider.
24 So those are the services. It's finding a
25 physician extender, somebody to engage the patient,

00143

1 connect the patient to the provider, follow up, get
2 the patient back if they're not getting better,
3 monitor outcomes.
4 DR. JUHN: So if I could just maybe for my
5 own sake, this is really about providing payment, if
6 you will, coverage or payment for another part, a
7 member of the care team?
8 DR. SCHOENBAUM: In essence, that's right.
9 DR. JUHN: So my question then may be to
10 perhaps Barry then. Is that the type of service that
11 we should be thinking about in terms of something
12 that could be covered by Medicare vis-a-vis this
13 depression area?
14 DR. STRAUBE: Yeah. Although I think
15 that's a very important area, it actually is somewhat
16 peripheral to the discussion today. Because, I mean,
17 the one area we have gotten into, we talked about
18 this earlier, are bundled services. We do have, we
19 struggle with that. In the population with cardiac
20 rehabilitation, historically we struggled with, and
21 recently we put out a national coverage decision on
22 pulmonary rehabilitation. And this gets into the
23 barrier that I mentioned earlier, that some of these
24 services are not benefits of Medicare clearly under
25 existing statute, so we get into payment and

00144

1 reimbursement questions, legal questions, et cetera,
2 as opposed to the evidence part that we'd like to
3 have identified today. I don't know if I'm making
4 myself clear.
5 DR. JUHN: It's a little challenging, I
6 think, in a lot of the care management descriptions
7 that were actually included in many of the

8 presentations today. Which is, if those services are
9 not part of the standard, current standard benefit
10 package, then it may make little sense for us to talk
11 about gaps in the evidence in those services, because
12 even if we were to identify those gaps and do some
13 research in those areas, we wouldn't be able to
14 actually cover anything given the current structure.

15 Is that a fair assumption, Barry?

16 DR. STRAUBE: Yes. That's what I tried to
17 mention earlier, that there were these barriers,
18 including noncoverage. There are simply issues like
19 hearing aids, which are noncovered under Medicare.
20 That wouldn't be a good use of our time.

21 DR. JUHN: So for the purpose of today,
22 then, we should not consider that in these care
23 management proposals in terms of the evidence gaps;
24 is that correct?

25 DR. STRAUBE: I think we have to be

00145

1 specific about what the care management proposal is,
2 and we need to comment on whether it's covered under
3 Medicare or not.

4 DR. JUHN: So this one that we just heard
5 would not be covered?

6 DR. STRAUBE: Under current statute that
7 bundled service, including multiple other caregivers
8 besides the physician and/or other people who would
9 normally be eligible for Medicare reimbursement may
10 not be covered, yeah.

11 DR. SCHOENBAUM: Just to clarify, I mean,
12 the model actually has been delivered under Medicare
13 in trials. I mean, there's issues of who is the
14 right person to play this role, you know, qualified
15 providers working in, clinicians and so on. But
16 there are certainly circumstances in which these
17 models can be delivered, you know, provided and
18 billed under Medicare, and under circumstances that
19 allow Medicare reimbursement.

20 The issue as I see it, I mean, I think
21 there may be some ambiguity about some of these
22 details, so maybe I could ask for a little
23 clarification and then sit down. The evidence

24 actually suggests that, you know, if you send the
25 patient to the care manager, if you send the patient

00146

1 to the psychiatrist, those things are all covered
2 services. The problem is, those are expensive things
3 to do, the patient doesn't want to do them, they're
4 not necessary to get the patient better. What
5 there's an evidence base for doing is extending some
6 of these things to telephonic contact, to curbside
7 relationships between physicians rather than sending
8 each patient to the physician face to face.
9 So it's the same providers providing these
10 other services, and maybe those things are outside
11 the scope of the Medicare benefit at the moment and
12 then the question, I guess, is how do you want to
13 handle it. The principles are the same for managing
14 a diabetic patient or for managing a CHF patient. So
15 one way or another, these issues are going to come
16 out.

17 MR. SCULLY: But realistically, though,
18 the fact is over the last 30 years the problem with
19 psychiatric care is measuring when the dose is
20 appropriate, and every time these things are
21 happening on a fee for service contract (inaudible)
22 today. I'm amazed that (inaudible) the Kaisers of
23 the world should be incentivized to do all of this,
24 and it doesn't work. You should be doing a clinical
25 trial of your own to measure the services that you

00147

1 think should be provided as a threshold, versus what
2 Kaiser or some (inaudible) get into the fee for
3 service world (inaudible) follow the money and if you
4 open up the payments to amorphous payments you get an
5 explosion and you get to spending and you get the
6 reaction. So it's not on the subject today, but if
7 there's ever a place where you should be looking at
8 it's Medicare management (inaudible).

9 DR. MCNEIL: Excuse me, I'm going to
10 exercise my prerogative. I think that this is really
11 important, but I'm afraid we have a very limited
12 amount of time, and if we have time at the end, at

13 five of 12, we can come back and talk about this
14 again, because I think there are some specific
15 coverage issues here that go beyond the charge to the
16 panel. So while I appreciate the importance of it,
17 if there is some component of mental health diseases,
18 drug X versus drug Y, shock treatments, whatever, and
19 you could bring those up as examples that we could
20 discuss, that would be really helpful.

21 DR. SCHOENBAUM: And I was focusing on
22 what I focused on exactly because the data of the
23 effectiveness evidence is in the direction I was
24 mentioning and not in -- you know, we need new
25 treatments but that's not where we're at at this

00148

1 moment.

2 DR. MCNEIL: Okay, so I guess we're at
3 different places. So Leslie, did you have a comment?

4 MS. FRIED: Well, it was to him but now
5 it's sort of broader in that many of the presenters
6 spoke about how we have some good research but it's
7 not being used in the clinical setting. So my
8 question is, and some of the speakers, and I think
9 almost every one of you said it, do we have a gap in
10 research on how to get what we know is good research
11 in the clinical field to the patients in the clinical
12 setting? Does that make sense?

13 DR. MCNEIL: But I don't think --

14 MS. FRIED: Well, if there are clinical
15 services that are, that have been proven that are not
16 being used or not being --

17 DR. STRAUBE: I think, again, this is a
18 noble set of questions and issues that people are
19 bringing up right now, but they all get back to Beth
20 McGuinn's work that shows that about 50 percent of
21 the time, when people go to physician offices, that
22 over time they receive care in accordance with
23 clinical guidelines. So they're doing a horrible job
24 of delivering care. That is not, again, my
25 understanding, and Steve Phurrough can chime in too,

00149

1 but if we go back perhaps to the questions that the

2 panel's going to have to address this afternoon, I'll
3 just read those, it might give people a little bit
4 better focus.
5 We're going to ask the question on what
6 diseases represent the greatest burdens to Medicare
7 beneficiaries. We're going to ask which diseases and
8 their treatments are the costliest to the Medicare
9 program, and we've had some presentations regarding
10 that. The third question is going to be, what do you
11 consider to be the most important clinical services
12 that address the major public health issues affecting
13 the Medicare population? The fourth question is, in
14 your opinion, what are the major gaps in evidence for
15 the clinical services in question three? Recall that
16 our primary emphasis is on the Medicare population.
17 And the last question asks you to provide a priority
18 list of clinical services for which additional
19 evidence is most critical for the Medicare
20 population.
21 MS. FRIED: Okay. I would suggest my
22 question went to number three, if there are clinical
23 services which have been proven but for which
24 Medicare patients or individuals are not getting. So
25 that was sort of the purpose of my question.

00150

1 DR. MCNEIL: Yes, Debbie?
2 DR. SCHRAG: I want to try to take your
3 question and make it specific by basically using that
4 as a jumping off point and applying it to a specific
5 clinical service. And maybe this will be a bad
6 example, but that is tobacco cessation and how
7 important that is in the Medicare population. And
8 again, I say that because the data we have in front
9 of us in terms of the killers of Americans in the
10 Medicare population, heart disease, cancer,
11 cerebrovascular disease. Injuries, maybe tobacco is
12 not implicated, but with COPD certainly. So four of
13 the top five, depending on whether you want to
14 include injuries or not, how many people are smoking
15 in bed, you know, clearly tobacco is important.
16 But is there an evidence gap or not when
17 we have to answer number three in terms of important

18 clinical services? Is there an issue there or is
19 everything humming along just fine? Is that specific
20 enough?

21 DR. MCNEIL: That is to say, is there an
22 evidence gap?

23 DR. SCHRAG: Is there an evidence gap on
24 understanding the benefit of tobacco cessation,
25 smoking cessation strategies for the Medicare

00151

1 population? Is it an important intervention in the
2 Medicare population, or does it really only matter
3 for 30-year olds and therefore, is it not an issue in
4 our population?

5 DR. MCNEIL: I have no idea.

6 DR. SCHRAG: I'm trying to take your
7 general --

8 DR. MCNEIL: It is specific enough. I
9 have no idea about the answer.

10 DR. WEINTRAUB: I think the issues that
11 are being raised here about preventive services, both
12 primary and secondary, are relevant to the decisions
13 you have to make, they are certainly relevant to the
14 American Heart Association. The American Heart
15 Association has been so interested in smoking
16 cessation in everybody. I don't know of a single
17 study in elderly patients concerning smoking
18 cessation. Mark, do you? I don't know of a single
19 one. So is there an evidence gap there? I suspect
20 there is.

21 I think in general we have an evidence gap
22 on how to deliver preventive services in
23 hypertension, in smoking cessation, in obesity, in
24 hypolipidemia, in general I think there's an evidence
25 gap, I think it's true for everybody, and I think

00152

1 it's true for injuries as well.

2 DR. MCNEIL: Thank you very much. Go
3 ahead.

4 DR. BROWN: You know, I think it's, from
5 what I understand, there are tobacco studies.
6 There's something called five As, which has been

7 shown to be effective and actually cost effective,
8 and I do think that smoking cessation in a clinical
9 delivery setting actually is understudied compared to
10 smoking cessation, you know, in other settings. And
11 we do have a study that is being conducted by HMOs
12 that were mentioned before, I won't give any brand
13 names out, which is looking at trying to enhance and
14 increase the delivery of smoking cessation in a
15 primary care setting, using electronic medical
16 records, using tailored feedback to physicians,
17 et cetera, and that study is ongoing through a
18 randomized trial and, you know, we hope to have
19 results relatively soon.
20 However, I'm not sure whether that would
21 be a Medicare-covered service, because it has to do
22 with utilizing the system resources of the HMO, not
23 necessarily something that has to do with
24 reimbursement to a specific position.
25 DR. MCNEIL: Let's keep it on the list.

00153

1 If I could, I would just like to try to turn the dial
2 a little bit to get a little more specific and ask
3 the cardiac people. I was surprised not to hear from
4 either the NHLBI or AHA the issue of atrial
5 fibrillation in the elderly and treatment for that.
6 I would have thought that would be high on your list,
7 but maybe I don't know enough cardiology. Was it on
8 the list?
9 DR. WEINTRAUB: Actually I did mention, we
10 had one point on the slides on arrhythmia, and we did
11 mention atrial fibrillation.
12 DR. MCNEIL: I'm sorry.
13 DR. WEINTRAUB: Atrial fibrillation is a
14 very complex area. As you well know, it's a problem
15 that increases in the elderly. If you look at
16 clinical trials on atrial fibrillation, most of them
17 are people in their 70s, not in their 50s and 60s.
18 DR. MCNEIL: So is the issue there, are
19 there new treatments for that disease that you would
20 be specifically looking at?
21 DR. WEINTRAUB: There are new treatments,
22 there are new medical therapies, there are procedural

23 therapies that are not fully understood and have not
24 been properly subjected to randomized controlled
25 trials. I think the evidence gap in atrial

00154

1 fibrillation is as large as anything you're doing.
2 DR. KOROSHETZ: A major problem with
3 atrial fibrillation is stroke, and so there are a
4 couple very specific issues. One is that the
5 treatment which has been really well studied clearly
6 showed that even in the elderly, Warfarin decreases
7 stroke risk and has overall benefit. We know that
8 the penetration of Warfarin into the elderly
9 community, and mostly with atrial fibrillation in
10 general, is not where it should be. And the problem
11 is the risk, and the inconvenience of taking
12 Warfarin, so I think that's a major issue.
13 There are things that could be really
14 groundbreaking like a new therapy that does not have
15 the same ups and downs of Warfarin. The risks of
16 Warfarin goes up exponentially as the INR level, the
17 level of blood coagulation starts to get too high.
18 And so if you look at large studies, patients are
19 only within range about 60 percent of the time, but
20 40 percent of the time they are either not protected
21 or they're so high they have a risk of bleeding into
22 the brain. So a better technology to try to get
23 people in range and just get their INRs in range
24 would be a dramatic benefit.
25 The current practice is to get your blood

00155

1 checked once every three to four weeks, which has
2 absolutely no evidence behind it, and anybody that's
3 looked at it has already seen that it's completely
4 inadequate. I think that would be a very clear-cut
5 study, to try to look at INR regulation to show
6 decreased morbidity, better compliance in the elderly
7 with this.
8 DR. MCNEIL: So, would you consider that
9 genetic testing for Warfarin sensitivity would be a
10 clinical service for which more data is needed, or is
11 that pretty much a done deal?

12 DR. KOROSHETZ: My understanding is that
13 that's currently something that NHLBI is studying.
14 DR. SAVAGE: I think that the reason we
15 didn't mention it is we thought we were supposed to
16 come up with a few topics, and it was one of a long
17 list of things. It clearly is a very important issue
18 in the elderly and the current therapy is suboptimal.
19 There are potential new anticoagulant drugs that are
20 being developed that would have less problem than
21 there is with Warfarin. The issue of genetics of
22 Warfarin metabolism and whether or not that will be a
23 breakthrough in terms of stabilizing the INR in some
24 way is certainly conceptually an interesting one.
25 I think it's also fairly complex in the

00156

1 sense that if you have an entity, anticoagulation, in
2 a drug like Warfarin, where the amount of
3 anticoagulation is dependent not only upon the
4 individual genetic profile of that individual, but
5 also upon other drugs they take, upon some foods that
6 they may eat, whether or not they forget to take
7 their medication some days or take too much some
8 other days and so forth, which is a problem in the
9 elderly with cognitive problems. The issue of
10 whether being able to do a genetic testing and look
11 at the genetic variants that have been identified so
12 far will lead to a major breakthrough in terms of
13 clinical outcomes is very much an open question.
14 There is a clinical trial that is in the
15 process of getting underway now that the NHLIB is
16 involved with, but we didn't think it was something
17 that -- I mean, the other side of it is what was said
18 just before I came up here, which is that one of the
19 problems is that with elderly patients there are a
20 lot of reasons why they're making mistakes with their
21 drugs and so forth, and that in its own right may
22 cause significant problems, they could be problems
23 that are greater than any benefit that comes from
24 knowing the genetics.
25 DR. MCNEIL: Thank you. Mark and then

00157

1 Leslie.

2 DR. HLATKY: I guess, although I'm
3 skeptical about the genetics and defib, it does raise
4 the whole issue about adverse drug reactions as being
5 a huge problem in all populations and especially in
6 the elderly, and I wondered if there were gaps either
7 in pharmacogenetics in particular or any other
8 interventions to reduce adverse drug reactions in the
9 elderly, is that an opportunity or gap in evidence
10 that we ought to be looking at?

11 DR. MCNEIL: Ask the audience.

12 DR. HLATKY: I guess it might be useful,
13 and I wondered if Randy Burkholder or any of the
14 others had anything to say about this.

15 DR. STRAUBE: I think Dr. Brown mentioned
16 this also with cancer.

17 DR. BROWN: Well, yeah. I will go with
18 what Peter Savage just said. I think there is a lot
19 of potential in this area, but it's a huge unknown
20 area, especially in the elderly because of all the
21 other factors that he mentioned. There are a couple
22 of examples in cancer that I think are kind of
23 parallel to the Warfarin thing in their level of
24 development and unknowable sort of issues, especially
25 for the elderly.

00158

1 Well, I don't want to say too much about
2 this because it's very early on, but we are looking
3 into the possibility at NCI and, you know,
4 cooperating with trans-NIH and trans-FDA to try to do
5 more to establish large, and these would be
6 observational databases that would be capable of
7 tracking -- doing two things. One is tracking late
8 and rare adverse effects from all kinds of drugs.
9 And number two, to establish a resource that could
10 bring in large populations into controlled trials
11 that could advance the early sort of, you know, Phase
12 One, Two kind of studies of these kind of
13 pharmacogenomic agents to a real Phase Three kind of
14 trial, which really hasn't been done very much so
15 far. We have a Phase Three trial at NCI for Onco-DX
16 in breast cancer, for example, that's the only one I

17 know about.
18 But you know, the problem is, it's hard to
19 bring in large populations, tissue resources,
20 et cetera, to bring these technologies up to that
21 level of development, but of course they can be
22 approved by FDA without that, and then disseminated
23 into clinical use.
24 DR. MCNEIL: Just to be clear, the Onco-DX
25 trial is a clear example where the evidence is weak

00159

1 but lots of patients are getting the test and the
2 resulting treatments if they're in the intermediate
3 range.
4 DR. BROWN: Right. It's weak, especially
5 in the middle range, that's the issue.
6 Just as a side issue, again, what goes on
7 in actual practice as opposed to what we look for in
8 clinical trials and what might be the ideal provision
9 of these things for, you know, guidelines, even in a
10 case like Herceptin, we don't even have a data system
11 in the United States today that can tell us among
12 women who are receiving Herceptin for treatment of
13 breast cancer, how many of them got the test in the
14 first place. And also by the way, is that test any
15 good? You know, that's an area where we have a
16 couple studies that we're starting to do. So I
17 think, you know, that's the most well established
18 sort of, you know, genetically tailored therapy with
19 a test.
20 But in the next five to ten years, there's
21 going to be a bunch of these combo kind of things
22 emerging, at least in cancer and probably in other
23 diseases also. Yeah, I think that's an evidence gap
24 that needs to be looked at a lot more.
25 MR. BURKHOLDER: Yeah, real briefly, and

00160

1 to go back to what I think the question was, are
2 there gaps or opportunities for those drug-related
3 problems, and clearly the short answer is yes. I
4 think the longer answer to a certain extent speaks to
5 I think one of the fundamental questions that it

6 sounds like the panel has been grappling with, which
7 relates to where your charge begins and ends in
8 relation to the kinds of evidence gaps and their
9 relation to a particular Medicare policy mechanism
10 that you should be looking at.
11 I think a lot of the answer on the
12 drug-related problem side, whether it's problems with
13 nonadherence or drug interaction or some of these
14 other things, is that exacts a very high toll on
15 beneficiaries and indeed on Medicare itself. Some of
16 those answers are not the clinical interventions
17 per se but the context of care in which those
18 interventions are delivered.
19 Just one example, and some of what I hear
20 being said is those kinds of questions are off the
21 table, but I think further clarity around that would
22 certainly be helpful. But just as one example under
23 the part D program and the medication therapy
24 management program that is a part of that is, the
25 real question is that I think we would all benefit

00161

1 from more evidence around what kind of MTM program is
2 more effective at addressing those kinds of problems,
3 and what are we getting, can we get more information
4 about those, what can we do to better identify the
5 ones that are effective, those kinds of things that
6 can help answer the kinds of questions I think you're
7 asking.

8 DR. SCHOENBAUM: Briefly I want to cover
9 the psychotropics, which is a major chunk of the
10 part D benefit, as it is for any other pharmaceutical
11 benefit. So quickly, I think from NIH's perspective
12 that there are three kind of issues I want to raise
13 briefly.

14 One is that I think that the quality of
15 psychotropic prescribing at the moment, I think from
16 our perspective needs considerable improvement. In
17 many cases this is, whether it's for psychotics or
18 whatever, it's more of an art than a science. There
19 are a range of drugs in a class. There's little
20 evidence that allows you to choose which drug you
21 should try first with this patient. Most patients

22 will respond to one or another of drugs in a class
23 but may not respond to the first drug or the second
24 drug that one tries, and I think this is certainly an
25 area of considerable research emphasis for us to

00162

1 equip practitioners with better guidance by genetic
2 information ideally eventually, other kinds of
3 personalization. In the meantime, via some kind of
4 outcome management that might preclude some of the
5 cost ballooning concerns that Tom Scully invoked.
6 I mean, from our perspective, quality of
7 care in mental health should all be measured in terms
8 of outcomes. If it works for the patient, the
9 patient is getting better, fine. If not, do
10 something different. Don't just keep throwing good
11 money after bad right off the bat.
12 The second issue is side effects,
13 particularly antipsychotic, which are increasingly
14 used in the elderly population by some -- you know,
15 fairly high and, as I understand it, growing fraction
16 of nursing home beneficiaries are getting
17 antipsychotics for off-label uses. Those drugs
18 actually cause a number of metabolic problems and
19 other kinds of physical problems, and I think
20 improving the practice surrounding those issues is
21 also an area of considerable interest to us.
22 And then a third issue that I want to
23 mention, again at the risk for going off the map, I
24 don't know whether part D falls into your scope or
25 not, but there are certainly coverage issues for

00163

1 psychotropics that affect the part D benefit. So as
2 I understand it at the moment, most FDA-approved
3 psychotropics are in practice being covered by most
4 part D plans, but as I understand it, this is a
5 practice and not a requirement. And we imagine that
6 if that practice were to change over time, then the
7 formulary's choice of psychotropic in a particular
8 plan and changing over time could actually turn out
9 to have considerable deleterious both clinical
10 effects for the affected beneficiaries, many of whom

11 are cognitively impaired in addition to being
12 elderly, and have a hard time processing changes in
13 their formulary and for whom discontinuation of
14 medications can be incredibly disruptive.
15 And actually, also produce selection
16 effects, kind of first order selection drivers.
17 Plans might, through their choice of psychotropics on
18 their pharmacy formulary, in effect practice cherry
19 picking to avoid people with mental illness.
20 DR. MCNEIL: Thank you. Sean, Karl,
21 Leslie.
22 DR. TUNIS: This is a question for
23 Dr. Turkeltaub, if I pronounced that correctly,
24 although I would be happy to hear also from others.
25 The question is, as I listened to the

00164

1 charge of the committee for this afternoon in terms
2 of what we're going to be asked to do, you know, what
3 are the major causes of morbidity and what are the
4 current gaps in evidence, et cetera, it strikes me
5 that each of the NIH institutes are doing a fairly
6 laborious process in their own priority setting for,
7 you know, allocating funds to clinical research to
8 identify where are the major areas of morbidity, what
9 kind of studies are ongoing, what is the life
10 sciences industry likely to do themselves, and
11 therefore where does NIH need to get involved.
12 And you know, given that that's almost
13 certainly going to be a much more accepted process
14 than anything that we can do here today, what I'm
15 curious about is what, you know, what part of that
16 process, A, if you could just sort of describe for us
17 a little bit how you go about doing that at the
18 institute, and then sort of what part of that doesn't
19 look at the question from the perspective that the
20 Medicare program might. So that's the basic
21 question, to better understand what you do now and
22 how a Medicare perspective might incrementally add to
23 that.
24 DR. TURKELTAUB: Well, the arthritis
25 institute also covers skin diseases as well. We have

00165

1 quite a broad, not as broad as one of the speakers
2 who had 600 to manage, to choose priorities from.
3 And so really the majority of what we do is
4 investigator-initiated, and we have to determine
5 through the use of panels that we bring in throughout
6 the year for our multidisciplinary panels what it is
7 that are the cutting edge issues that they're looking
8 at, and that's what drives us basically.
9 We will come up with some contracts, but
10 in our agency we don't use that many, the funding is
11 not used in that way. The osteoarthritis initiative
12 is an example of that kind of contracting process
13 which we obviously have put a good deal of money into
14 to get a 5,000-person cohort to be able to look at
15 biomarkers in. But that again is in relationship to
16 what the community brings to us and how we can
17 respond to the community needs. We'll put out broad
18 agency announcements that ask for innovative
19 therapies, but we don't dictate what these therapies
20 will be or in which one of our areas.
21 So in terms of really saying these are the
22 high cost items, this is where we're going to be
23 going with them and this is our long-range plans for
24 those in particular, we don't do those in particular.
25 We have a long-range plan that looks at all of the

00166

1 areas that we cover and they are identified within
2 that long-range plan what general issues are being
3 considered.
4 DR. TUNIS: So, that's actually very
5 helpful. I'm just curious if any of the other
6 institutes take any sort of different approach
7 that's, you know, more top down, I would guess I
8 would describe it as driven by public health
9 priorities and considerations, as opposed to kind of
10 investigator initiated and sort of scientific
11 opportunity.
12 DR. NAYFIELD: Well, at NIA we of course
13 like to identify the big items and focus on, as to
14 what we can do with those particular challenging
15 areas right now. Some of them need research, some of

16 them are at the point that they could just take off,
17 so part of the question is too, where each of the
18 problems stand in terms of compliance. Are there
19 some that are just sort of trying with a little bit
20 of input into research to really make contributions
21 that they're real close to? And we do try to guide
22 out applicants in the types of grants that they
23 submit by various funding initiatives and we can
24 target areas, particularly those in which there are
25 specific gaps to be filled or in which there are

00167

1 just, you know, really the time is right to get in
2 there and do things. And so part of what we need to
3 know, I think, is where the gaps are, not only in the
4 science, but in answering the questions about the
5 science and whether we would find it useful.
6 DR. MCNEIL: Okay. I'm looking and see we
7 have about six minutes left. We have questions from
8 Karl and Leslie and --
9 MR. SCULLY: Can I follow up real quickly
10 with one question?
11 DR. MCNEIL: Sure.
12 MR. SCULLY: I know you've talked about
13 (inaudible) used to drive crazy when I was foolishly
14 involved in sports for a while was rheumatoid
15 arthritis, but it always drove me crazy that Medicare
16 at the time was probably 80 percent (inaudible)
17 switch drugs, because that (inaudible). Now you have
18 part D (inaudible) in the rheumatologist's practice
19 and a patient's like to tell you which drug you take
20 and when you take it and how it's reimbursed, so
21 (inaudible) doesn't make a hell of a lot of sense to
22 me. But that's a huge issue for docs and for
23 patients with arthritis, I believe it's the third or
24 fourth highest expense for Medicare as far as drugs
25 go, and I know for a fact that Barry doesn't have the

00168

1 staff to have somebody just take a look at those
2 behavior patterns and see what's happening, and that
3 could be a huge impact on the Medicare program, I
4 think.

5 DR. MCNEIL: Quickly.

6 DR. TURKELTAUB: We are looking very
7 closely at the different types of combinations of
8 medication that can be used to prevent exacerbation,
9 for anywhere from juvenile arthritis to seniors and
10 how the medications can be used in those populations.
11 We're looking at it.

12 MR. SCULLY: (Inaudible).

13 DR. WHITE: My name is Richard White, I do
14 joint replacements. I came a long way and I wanted
15 to make a couple comments.

16 DR. MCNEIL: Sure.

17 DR. WHITE: I think of all the questions,
18 the five questions that are listed, I think the
19 first, second and probably the third questions have
20 all been answered and are really, no one has a
21 controversy. But in terms of the purpose of this,
22 Dr. McNeil, you have tried to keep everybody on
23 target, is the evidence gap in provided services.
24 I was a little disappointed by all the
25 presenters in the various areas, that they didn't say

00169

1 that these are provided services that you already
2 cover where we feel in our specialty we have an
3 evidence gap. Those are the people that should have
4 come forth and told you what those evidence gaps
5 were. You're providing services that are weakly
6 supported by evidence.

7 On the other hand, there are some that are
8 very strongly supported. I think the only one that
9 really brought it out, and we all know about it, is
10 carotid endarterectomy and the whole controversy with
11 respect to that. So I would have liked to have seen
12 all these various areas say these are the provided
13 services, we really are weak in these areas.
14 Obviously we sometimes provide covered services where
15 we don't have strong research.

16 Secondly, I think that the inclusion of
17 Medicare patients or beneficiaries in RCTs is very
18 critical. In what we do it's about 67 percent of our
19 enrollees, and I probably wouldn't underestimate
20 their abilities. Our Medicare patients at least in

21 our RCTs are probably the most informed and the most
22 compliant, compared to our irresponsible people that
23 are usually under 65 years of age, and so we're
24 pleased to have them in our studies.
25 Finally, I think I certainly would agree

00170

1 that the RCTs are very important, but at least in
2 total joint replacements the biggest mistake we see
3 is the tendency to totally ignore registries, and
4 many times we see RCTs starting, that even the weak
5 information we get from registries clearly
6 demonstrate that an RCT should never have been
7 started with that hypothesis.
8 I think the other thing that all these
9 various areas do is also come to you where the
10 evidence is not an evidence gap but it's so strong
11 that a covered service should not be covered. I
12 think the best two examples are in orthopedics, and
13 that is the debridement arthroscopy of the knee in
14 osteoarthritis, that's a covered service that should
15 be not covered, and also diagnostic arthroscopy of
16 the knee is a service that should not be covered,
17 because the evidence is very strong and just doesn't
18 support that.
19 But I'm encouraged by the panel and
20 curious to see what the discussion is this afternoon.
21 DR. MCNEIL: Great, thanks. Karl.
22 DR. MATUSZEWSKI: I had a question related
23 to a response about 15 minutes ago, one of the
24 dangers of this format, but drugs not to be used in
25 the elderly, those exist in the literature. You have

00171

1 drug interactions that are on all package inserts. I
2 think that's a real challenge, and maybe the research
3 is designing precision support whether it's software,
4 whether it's electronic medical records, that take
5 into account these combinations, the comorbidities,
6 the physiological functions. We all know that the
7 elderly have different functioning kidneys and
8 livers, and I think for one clinician to be able to
9 interpret that in their practice with the drugs that

10 are available and the drugs that are coming out now,
11 is almost an impossibility.
12 So I think, particularly for the Medicare
13 Advantage plans, it almost should be a requirement by
14 CMS that they take those factors into account, and I
15 think that those circumstances, whether adverse
16 effect from drugs or drug interactions could be
17 minimized in the future with the appropriate research
18 and stipulations by CMS.

19 DR. MCNEIL: I have quickly, like two
20 minutes left, so Leslie, then Linda, and Mark will be
21 it, unless there's a burning topic.

22 MS. FRIED: I do have a question for
23 anyone out there. Is there a gap in evidence for the
24 use of occupational therapy, physical therapy or
25 speech and language therapy to slow deterioration of

00172

1 function with degenerative diseases? Because it's a
2 constant problem for people who are Medicare
3 beneficiaries who are often getting denied services
4 because they're not going to improve in function, but
5 for those who may slow the deterioration or maintain
6 function? Do people understand the question? Is
7 there a gap of evidence?

8 SPEAKER: I think so, yeah. I think
9 you're right, but let me put it this way. I have
10 never seen anybody get worse from occupational
11 physiotherapy and the nervous system theoretically
12 improves with practice and so we, there is now more
13 and more science to the actual biological effects of
14 rehab therapy and, you know, exercise and muscle
15 strength development, but it's very hard to tease
16 apart exactly what part of the rehab therapy is going
17 to do, so I think it is needed.

18 DR. MCNEIL: Okay. Linda.

19 DR. BERGTHOLD: What about eyes?

20 DR. MCNEIL: No, we were not going to do
21 eyes today because we don't have an eye guy here.
22 Blindness is probably one of the key things we should
23 worry about, but unfortunately we don't have him here
24 today, so I think we're going to have to put that
25 whole area on the back burner and have CMS figure out

00173

1 how to deal with it.
2 Let me make a suggestion about how to
3 proceed because it's not obvious, at least to me.
4 The panel has a discussion period after lunch, and we
5 will not be in general asking you for input. We may
6 ask a question or two, but in general we've heard
7 from you, you had wonderful opportunities to make
8 your remarks, we will now be discussing among
9 ourselves.
10 What I would like to do, however, is the
11 following. I have been trying and I don't know
12 whether I was able to do it, to capture some of the
13 specific clinical services that have been mentioned
14 by various people, and Michelle is going to plug in a
15 number more that were on some of the slides. These
16 are going to be printed out and given to the
17 panelists for our review when we come back after
18 lunch. Also, they are going to be put on the screen,
19 presumably in about 15 or so minutes, however long it
20 takes to type these in.
21 We're going to try to do it now, and so
22 your job will be to look at that list and see if we
23 missed anything egregious in terms of specific areas
24 that you either mentioned and in my hurried nature I
25 forgot to put in, or that you forgot to mention.

00174

1 Now I've also put up on the slide a bunch
2 of things at the top that relate to surveillance,
3 comorbidities, end of life, delivery of preventive
4 services, quality of life, fees for Medicare
5 beneficiaries, and RCTs. I just put those there, we
6 did discuss them, and at some point they will be the
7 format of another CMS panel, but we're not going to
8 get involved in discussing them too much, if at all,
9 in the after lunch session.
10 I hope this works. I can't think of any
11 other ways to start grinding through a list. This
12 will clearly not be the last time we approach this,
13 but hopefully it will at least get us started. Does
14 this seem like a reasonable approach to people?

15 Okay.
16 I understand we're all on our own for
17 lunch. The cafeteria is downstairs. We will be back
18 here at one o'clock. Thank you.
19 (Luncheon recess.)
20 DR. MCNEIL: I would like to change things
21 around a little bit from what we proposed before
22 lunch. You now have in front of you a list of 105 or
23 so specific items that have been identified either in
24 the course of the talks by panelists directly, by
25 questioning or whatever. So this is really a mammoth

00175

1 task and I want to remind everybody that this is the
2 first step of a multistep process, so if something
3 doesn't make it, whatever that means, that doesn't
4 mean it's dead, it just means that we're trying to
5 develop an approach of getting at this very
6 complicated problem and to do it for the first time.
7 So nobody should go home seizing if your very
8 favorite thing isn't here.
9 Hold on, Steve has something else to say.
10 Maybe you should --
11 (Dr. Phurrough and Dr. McNeil conferred
12 off the record.)
13 DR. MCNEIL: Okay. So this is now going
14 to be a complicated exercise and I hope -- so, is one
15 good or one bad?
16 DR. PHURROUGH: One's bad.
17 DR. MCNEIL: So here's what we're going to
18 do. There are 105 items here. You are to rate each
19 one of these on a rating scale from one to five where
20 one is bad, make sure, one is bad, because I already
21 messed it up, and five is good. So it's an inverse
22 NIH score. And in addition, you are to circle the 25
23 favorite ones, the ones that you think are your very
24 favorites. And you're also going to put your name on
25 your sheet of paper, since this is a public meeting

00176

1 and we need to know who is saying what.
2 So, we're just going to do this for the
3 first round, no questions, no discussion, just to see

4 where we are. Yes, Debbie?

5 DR. SCHRAG: Using what criteria?

6 DR. MCNEIL: What qualitative criteria,
7 what you think are the most important clinical
8 services that the Medicare population would benefit
9 from, and for which the data are absent or weak.

10 Yes, Karl?

11 DR. MATUSZEWSKI: To clarify, the one
12 through five is on the presence or absence of data,
13 so a one would be bad, there's not enough data, and
14 five would be there is some data?

15 DR. MCNEIL: No, because we haven't gone
16 into the level of data for each of these.

17 DR. MATUSZEWSKI: So in terms of marking
18 each of the 105 topics on a one to five scale, I need
19 a basis for what is bad and what is good, and number
20 three being sort of in the medium.

21 DR. MCNEIL: Right.

22 DR. MATUSZEWSKI: Is it from what I think
23 its clinical value is?

24 DR. MCNEIL: Clinical benefit, yes.

25 DR. MATUSZEWSKI: Clinical benefit. So

00177

1 evidence has nothing --

2 DR. MCNEIL: Well, the assumption is that
3 for most of these, unless I'm wrong, the clinical
4 data on many of these are absent or thin, otherwise
5 they wouldn't have made the list; is that correct?
6 Is there one here that you think, where the data are
7 compelling?

8 DR. MATUSZEWSKI: I would disagree with
9 that. I think for some of these the data are fairly
10 robust or reasonable.

11 DR. PHURROUGH: Barbara, can I make a
12 comment?

13 DR. MCNEIL: Sure, Steve.

14 DR. PHURROUGH: This is to assist in
15 answering question three. What are those things that
16 you're going to recommend to Medicare that Medicare
17 should tell the research world, here's what you
18 should focus on. And so a five says yes, you should
19 tell the world that you should focus on this

20 research, and one says no. So whatever criteria you
21 decide that is, if it's high value that's important,
22 there's not a lot of evidence, the goal is what do
23 you think, or what do you want to recommend to us
24 that we should tell the research community that they
25 should focus on out of these 105. So rate them all

00178

1 and then choose the 25 that you want most. And
2 that's all the criteria you get.

3 DR. MATUSZEWSKI: I got it now. So five
4 is focus on this like a laser, and number one means
5 forget about it.

6 DR. PHURROUGH: One may be important, but
7 may not be something that we need to tell the
8 research community focus on because, as you said, we
9 may have all the evidence that we need around that
10 particular issue.

11 DR. MCNEIL: Mark.

12 DR. HLATKY: I thought I knew what I was
13 supposed to do, now I'm confused. I thought we were
14 going to try to go directly to question four in our
15 charge, the places where there were gaps in evidence.

16 So if I thought, just to pick something, CT lung
17 cancer screening, if I thought, gosh, we really need
18 a lot more evidence in that, I would give it a five.
19 That's not to say whether I thought lung cancer was
20 important, a big problem or not, but did I think
21 there was a gap of evidence. So are we answering
22 question three, which says, what are the most
23 important services? I could see an important service
24 for which we have no gap whatsoever in evidence.

25 DR. PHURROUGH: It is question four, I'm

00179

1 sorry. Question four is, what are we trying to tell
2 the world that we want Medicare to focus on. So it's
3 a combination; you will need to have answered
4 question three in your mind as to where the gaps in
5 evidence are.

6 DR. HLATKY: So some internal algorithm
7 like this is a big problem that has a big gap, so I
8 give it a really high five rating.

9 DR. PHURROUGH: Yeah.

10 DR. MCNEIL: Is that clear for everybody?

11 Yes, Peter?

12 DR. JUHN: (Inaudible.)

13 DR. MCNEIL: Well, I may have been wrong.

14 DR. PHURROUGH: Obviously people disagree
15 as to whether there is enough evidence or not.

16 DR. JUHN: I thought that we were
17 (inaudible).

18 DR. MCNEIL: Well, since there are two
19 different questions, let's figure out which one we're
20 going to answer. One is that, and one is the second
21 one that Mark and Steve just mentioned. Steve, which
22 one do you want us to do?

23 DR. PHURROUGH: Again, the goal here is
24 for you to tell us what we should tell the research
25 community, which things should we focus on. So

00180

1 that's where we want you to aim at, what should we be
2 focusing on.

3 DR. MCNEIL: You know, maybe one thing we
4 should think about is let's put the questions aside,
5 because they may be causing us a little bit of
6 trouble for the moment. And say what, let me see if
7 this is right, what do we want to tell CMS to advise
8 researchers via the NIH and AHRQ about areas that
9 they should focus on in terms of the care of Medicare
10 beneficiaries? Is that it?

11 DR. STRAUBE: Yep, and maybe to amend
12 that, taking into consideration several factors,
13 which includes those things that you think are
14 important clinically that address health issues in
15 the Medicare population, those areas that have gaps
16 in evidence as far as we know from the presentations
17 and our own personal experience, and any other
18 criteria that somebody might want to suggest.

19 DR. MCNEIL: And then you're going to
20 circle your favorite 25. Okay, let's give it a shot,
21 we'll say ten minutes.

22 (Panelists recorded results on sheets
23 which were picked up and tallied by staff.)

24 DR. MCNEIL: Has everybody finished, for

25 better or worse? Okay. This is a complicated list

00181

1 and it may be an incomplete list, there may be some
2 inaccuracies on it. Mark Grant has suggested that
3 item number 93 is kind of a non sequitur, oxymoron,
4 whatever. But that aside --
5 DR. MATUSZEWSKI: That was my favorite.
6 DR. MCNEIL: So the question is now, it's
7 a little bit hard to know how to proceed from here on
8 in, because as I said, this is going to be a
9 multi-step process. So the talliers are out there,
10 or Steve is the tallier, Steve is tallying the 25
11 favorites. And with any kind of luck, there might be
12 some congruence among that; on the other hand, there
13 may not, this may be a scatter plot, but at the very
14 least we will have a list.
15 At this point maybe what we should do,
16 since it's going to take him a few minutes to do
17 that, is comment on any of these that we think would
18 benefit from more specificity that we might think
19 about including at a later time. So for example,
20 Leslie raised the issued of vascular disease imaging.
21 MS. FRIED: Ruth.
22 DR. MCNEIL: Leslie didn't do that, Ruth
23 raised the issue of vascular disease imaging,
24 correct?
25 MS. FRIED: Yes.

00182

1 DR. MCNEIL: And the question was, what is
2 vascular disease imaging, when we talk about that,
3 are we talking about everything, or do we want to be
4 quite specific and say we're looking at newer
5 modalities, Doppler versus contrast angiography
6 versus MRA versus CTA? That would be the kind of
7 area where we might want to add some specificity. So
8 is that worth doing at this point or do we want to
9 just wait for Steve to come in and tell us we have
10 the top 25, and everybody can go get a cup of coffee?
11 Yes.
12 DR. JACOBS: I'm new to this forum, and I
13 would like to thank the speakers for their concise

14 presentations, but I'm a bit perplexed inasmuch as
15 given the general big picture. I don't, from
16 nine-minute presentations on topics for which I have
17 essentially no familiarity other than one or two, I
18 don't feel like I have any information base to decide
19 what the problems are, let alone moving to the next
20 step of where the information gaps are, because I'm
21 not familiar with two or three of these topics as an
22 orthopedist. And I would think, since you're
23 obviously talking about large amounts of money to
24 direct, on the one hand I would think you would need
25 subcommittees to refine the different areas and

00183

1 better triage what a group of people knowledgeable in
2 that area would recommend.
3 I mean, I really have very little
4 understanding of the mental health needs of the
5 elderly, and these topics to me are based on my
6 reading of the New York Times, as somebody said
7 earlier, frankly, and I would think we need to get
8 subcommittees to distill the problem areas and then
9 you need more information from the other folks as to
10 where these evidence gaps are. I mean, I can't begin
11 to have enough information to comment on anything but
12 orthopedics here, and I'm not sure why I can even
13 remotely triage these other issues based on a simply
14 designed superficial assessment of what are major
15 health factors, let alone the key issue that was
16 raised of where are the information gaps?
17 What is Medicare paying for that is not
18 substantiated by the data, as opposed to what
19 Medicare is paying for that is substantiated by the
20 data, which caused a confusion just a few minutes ago
21 as to how we should rate things, as to what we were
22 rating, whether they were information gaps or health
23 care issues.
24 DR. MCNEIL: I think what we said, correct
25 me, but two factors. One is we have a

00184

1 multidisciplinary panel and a multidisciplinary
2 audience, and virtually every institute from the NIH

3 represented, and you heard presentations from them
4 with their perceptions of what is important. So
5 you're right, you can't be expected to know about
6 depression in the elderly, but you do have some
7 ability to judge data and to listen to a discussion,
8 and to make assessments, probably better made in
9 orthopedics than psychiatry, but nonetheless we are
10 hopeful that this is a committee that has had a broad
11 enough experience with the healthcare system that
12 they are able to make sense of these.
13 The question of what we were making the
14 ratings on, I thought we had said we were going to
15 make a rating on a one to five scale in terms of our
16 perception of the importance of these to the Medicare
17 beneficiary using some intuitive calculator or
18 intuitive algorithm. And we didn't quite specify
19 with or without evidence at this point.
20 Now what we're not talking about here is
21 having Medicare throw a lot of money at the top 25
22 problems, if I'm correct. That's not what's on the
23 table. What's on the table is to identify those
24 areas that would be in need for evidence for which
25 Medicare might then be in a position to provide these

00185

1 clinical services to the elderly. So this is not
2 meant to be, as I understand it, and Barry can
3 correct me, a vehicle for him going outside and
4 writing a check 25 times to the various organizations
5 that would be involved in whatever these services
6 are; is that correct?
7 DR. STRAUBE: Yeah. I think I'll go back
8 to what I said at the beginning, and that was this is
9 the first time we have ever approached this topic,
10 this is completely new and different. And Steve and
11 the team have come up with the presentations, asking
12 the panel to try to go through the exercise that
13 Barbara just mentioned. What I'm learning from this,
14 and I think Steve and the rest of our staff are too,
15 is that trying to prioritize things is a difficult
16 process.
17 And maybe, Barbara, part of what we should
18 do while we're waiting for the tally is, and I sensed

19 people at the beginning were interested in this. We
20 had a whole number of topics suggested that we don't
21 really get to go through this exercise. I had
22 several that somehow didn't make it onto the list.
23 And a full disclosure for those of you who don't know
24 me, I'm a nephrologist and a transplant physician by
25 training and practice, so ESRD and CKD got mentioned

00186

1 numerous times. Again, we spend 21 billion in the
2 Medicare program alone on ESRD in totality, so to me
3 those should be on the list, and I could think of
4 five or six things under each one of them that we
5 could list as areas just like we listed on this
6 sheet.
7 There's some more generic things we
8 haven't talked about this morning, too, I was talking
9 to Sean at lunch. But from the agency standpoint,
10 we've put a tremendous focus going forward on health
11 disparities and how should that factor in to
12 prioritization to where is the need. We focused on
13 disparity of age certainly, but what about race and
14 ethnicity, what if we focused on gender, what about
15 income, and all the other disparities?
16 So if we're looking for filling the gap
17 for the time thing here, Barbara, maybe the panel
18 might want to start to brainstorm a little bit on how
19 we think about tying these in more than just this
20 list, and to verbalize to CMS that we want them to be
21 able to go back to the research communities and say
22 here are the things that our panel felt were areas
23 that you might want to focus on. And that's one
24 thing we could get out of this, with the caveats and
25 limitations of, do we have enough information to make

00187

1 that. So I'm raising, maybe we should expand a
2 little bit the thought process beyond just this.
3 This exercise probably would be interesting, but
4 should we be thinking a little more broader. Well,
5 one would be just to throw it open. The question was
6 how to do it, and first is to have some reaction
7 about that.

8 DR. MCNEIL: Mark.

9 DR. GRANT: And this is meant to be a
10 generic comment regarding along the lines of, maybe
11 not along the lines of, maybe not so much race and
12 ethnicity, but I think one we haven't talked about
13 much is that most of the evidence that we have to
14 support various treatments, therapies and diagnostics
15 predominantly have been derived from a relatively
16 young to younger old group of individuals, and the
17 oldest old have been under-represented in the least
18 if not the most. And so that not just the
19 comorbidity issue, but also the fact that the
20 85-plus-year-old group, which is expanding at
21 probably the most rapid rate among the Medicare
22 population, that group really needs to be addressed,
23 and I think specifically, in answering a lot of these
24 questions. Some of them pertain directly to them,
25 some of them do not, but from a geriatric

00188

1 perspective, I think that's really critical.

2 DR. MCNEIL: Peter?

3 DR. JUHN: I just had a question that's
4 kind of linked to what Mark just said, but really
5 trying to think of our deliberations today and how
6 that fits into continued dialogue which I think is
7 going to happen. I mean this is really the first, if
8 you will, of a series of conversations you and your
9 staff will be having with others. Can you comment a
10 little bit on how the output from today will actually
11 kind of help you with the next steps in this process?

12 DR. STRAUBE: Just off the top of my head,
13 I think there are several ways. Again, it was
14 mentioned about work groups and again, I suspect that
15 we may come up with issues that we will want to get
16 more expert opinion, not necessarily through a formal
17 MedCAC process but through more resource, less
18 intense telephone conferences, et cetera.
19 In my mind, and Steve is tallying, he runs
20 the group on a day-to-day basis, one of the things I
21 can think of that is helpful is when we start off the
22 beginning of the year, and Sean was asking the
23 question earlier, we have to prioritize what we're

24 going to consider in terms of national coverage
25 decisions, so we come up with lists. And this is

00189

1 one, perhaps one way of prioritizing at the beginning
2 of the year, both by taking things off the list
3 because the amount of evidence isn't there, but also
4 possibly putting it on the list to generate a
5 discussion, including the one at a MedCAC meeting
6 specific for that topic. So that would be one
7 example.
8 I think Steve's example of wanting to be
9 able to work with our colleagues at the various
10 branches of NIH and with industry in terms of where
11 are the areas that we should try to collaborate
12 together with more emphasis. And that may include
13 getting the Hill to support things, or whether we can
14 cover it under our clinical research policy, or
15 whatever the value is of focused research on these
16 particular topics. So those are two things.
17 A third area, we're involved with quality
18 metrics and going down the various healthcare lines,
19 particularly at AQA and HQA nationally, in the
20 process of measurement. People have talked today
21 about wanting to know where the gaps are and unless
22 you have data, you can't focus on them, so we will be
23 developing in parallel a quality metric development
24 process to focus on those areas that need more
25 information.

00190

1 So that, just off the top of my head --
2 DR. JUHN: And that is helpful. And I
3 think to my colleague's earlier question about some
4 of these topics really being not considered, I think
5 you have to get the process started sometime.
6 DR. MCNEIL: Nancy.
7 MS. DAVENPORT-ENNIS: There is an
8 additional comment that I would make. I agree so
9 much, Peter, that the way we move this forward is to
10 collaborate, and you were sharing the collaboration
11 across all of the different agencies within NIH as
12 well as with industry, certainly there will be

13 collaboration with providers.
14 But in interviewing a lot of our case
15 managers to be prepared for today's discussions, one
16 of the ideas that they asked that we advance is that
17 as you're trying to survey agencies, industry
18 providers to answer some of the questions about where
19 do we focus resources of the agency, where do we move
20 forward, to look at the role of the nonprofits in
21 filling some of the gaps that currently do exist.
22 And let me give you an example of a gap.
23 Just a mere ten years ago if we had a stroke or heart
24 attack patient, or any heart disease patient, we
25 could fairly quickly and easily create a system of

00191

1 support for that patient, whether it needed to be
2 long term or short term, whether they were insured or
3 uninsured. Today the resources to put together that
4 same patchwork are very reduced from what they were
5 even two to three years ago. So when we look at
6 something such as what federal programs are there to
7 help with that process, there are gaps.
8 And so as you look at the results of
9 today, I think that you will find great strength and
10 a lot of resources for the agency by looking into the
11 nonprofit community to see what can be done to help
12 them fill in some of those gaps, whether in services
13 or in research.
14 DR. MCNEIL: Thank you. Typically we're
15 not having too many audience comments, but if you can
16 make it a one-sentence remark, that would be great.
17 MR. BURKHOLDER: I'll make it just a few
18 sentences and again, thank you for indulging me. I
19 only wanted to come up because Dr. Straube, I think
20 your description, or your answer to Peter's question
21 is important to get clarity on.
22 What I heard you saying was the way CMS
23 would use this would likely be in the context of a
24 national coverage policy-making in one form or
25 another, which is very different from what I heard

00192

1 Dr. Phurrough saying, which sounded more like CMS

2 going out to the research community and saying these
3 are the issues that we think you should be looking
4 at. I guess I would just like clarity, or I think
5 clarity would help on that, because it holds very
6 important implications for the kinds of questions
7 that are on this list. For example, should drugs
8 covered on part D be on a list that relates to CMS
9 national coverage decision-making, probably not.

10 DR. MCNEIL: Thank you.

11 DR. STRAUBE: Again, I was speaking to the
12 context of prioritizing, Randy, how we look into
13 evidence priorities, which is what I thought we came
14 here to talk about, not necessarily to -- that's not
15 the priority of this meeting. I was asked how we
16 might use that information, and I don't think we're
17 going to get into a debate about it.

18 MR. BURKHOLDER: But I just, if we can get
19 a, it's this answer or it's this answer.

20 DR. STRAUBE: We're going to use it for
21 all of the above, okay? But the primary reason for
22 coming here was, as Steve articulated, to be able to
23 advise the research community on where there might be
24 gaps that the research community might look into.
25 But we will use it for other reasons.

00193

1 MS. FRIED: Are we still talking?

2 DR. MCNEIL: We're still talking.

3 MS. FRIED: Because certain things that we
4 talked about that were not on the list because
5 they're outside our scope, I think is worth
6 considering for the future MedCAC, and it really goes
7 to the issues of optimal settings for certain
8 treatments and for not only the primary care setting,
9 but I think we talked about transition like from a
10 hospital to a nursing home back to the community. I
11 think we find, we get a lot of comments from the
12 folks who sort of get lost. They get shipped to the
13 hospital and then they're out, and there's a lack of
14 transition and there's a lack of really good
15 discharge planning, and it really affects their
16 overall recovery from whatever their condition is,
17 but it's particularly true if someone has cognitive

18 impairment, be it dementia, depression, or any other
19 mental impairment.
20 There is just sort of this loss of
21 transition, and nobody's held responsible really.
22 You know, someone's treated in the hospital and then
23 discharged, and then they're discharged either to
24 home with some healthcare, or to assist, but there's
25 no real transition, and I think that really is

00194

1 important both for the Medicare program and for the
2 quality of life of the individual, and for the
3 caregiver, because they often get shipped back to the
4 hospital because of a lack of good discharge planning
5 and transition.
6 DR. MCNEIL: I would like to just mention
7 one experience that I've had recently that bears
8 directly on the topic so far, and that is I have been
9 chairing a committee for the IOM on highly effective
10 clinical services, or the identification of highly
11 effective clinical services for which data might or
12 might not be available, and I raise this as a
13 potential approach for CMS.
14 What we did, without going into all of the
15 gory details which are not public, was to ask the
16 various plans, the Wellpoints, the Aetnas, the ECRI's,
17 the Winifred Hayes, the Blue Cross TEC, AHRQ, all of
18 the major evidence development groups, what
19 clinically effective services were highest on their
20 list that were most in need of some kind of
21 decision-making for their beneficiaries or their
22 clients.
23 And what was just enormously striking was
24 the fact that virtually all of those agents, all of
25 those groups were evaluating the same thing within a

00195

1 given year period. Within a 12-month period, somehow
2 they all identified the very same 20 or 25 items that
3 have risen to the top of services for which they
4 needed an answer, and in many cases the data were not
5 there, as a matter of fact in most of the cases the
6 data were not there.

7 And the way they seemed to have identified
8 these services was through individuals in the field
9 saying, you know, we've heard about whatever and we
10 need to get a coverage decision on that, or they just
11 noticed claims coming in. So that might be one way
12 that you could think about, you probably do that
13 already, but it was just striking to see the absolute
14 one-to-one match across six or seven groups.
15 Oh, it was Tom and then Debbie and then
16 Mark, is that where we were?
17 MR. SCULLY: (Inaudible) stuck with, which
18 is, the reality is cost and size, and that's hearts
19 and strokes, orthopedics, where the vast bulk of the
20 dollars go obviously. But one of the things I think
21 are driving this may be, just to slice it a little
22 more, are things like ICDs. There's a lot of focus
23 on ICDs, we pay differently for ICDs regardless if
24 it's the same thing. I remember when I was here,
25 there was different levels for the ICDs, I could get

00196

1 a \$9,000 Rush ICD where I could get the same thing
2 with a \$32,000 unnamed Minneapolis ICD.
3 MR. MCNEIL: Unnamed?
4 MR. SCULLY: I won't say any names, it
5 begins with an M. But anyway, when you get down to
6 looking at those kinds of issues, those are important
7 issues, you know, what is the right level? In MRIs
8 and PET scanners, we were paying for upgraded CTs the
9 same as a brand new PET scanner that was more costly
10 to the manufacturer. You know, things like that when
11 you get to imaging, there are vast arrays of
12 different types of MRIs, CT scanners and PET scanners
13 out there, and we pay the same price for all of them,
14 and I think that's a coverage issue. You know,
15 should we be paying the same thing for a ten-year-old
16 low grade MRI as we do for the brand new one that's
17 much better? Those are big coverage issues that I
18 think are in many ways, both financially and
19 patient-wise, more important than some of the things
20 that have gone on.
21 One of the other things, somebody
22 mentioned this morning that you probably won't bring

23 up but I will, because I'm here to be difficult, are
24 coverage issues like Avastin, which is a great drug
25 for many purposes, but apparently it's about to be

00197

1 pulled off the market for macular degeneration,
2 because the same company has another drug coming on
3 that costs 20 times as much that's very similar.
4 DR. MATUSZEWSKI: Let me clarify, not
5 pulled off the market, restricted distribution.
6 MR. SCULLY: Restricted distribution, and
7 is that an appropriate thing for the Agency to look
8 at? I mean, these are taxpayer dollars and patient
9 issues, and should those coverage issues, even though
10 it's technically a dollar issue, there's no place
11 else that does it. FDA doesn't look at it. Those
12 are important issues for the Agency to look at
13 because they impact patients, they impact Treasury,
14 they impact consumers, and the FDA says it's not
15 their charter to look at them. And I don't think
16 anybody else can look at them and I think as a result
17 of that, it's important for CMS to look at that kind
18 of stuff.
19 I also think it's important for CMS to
20 look at coverage issues like tie-in medications.
21 Barry knows better than anybody that some of these
22 things on the cancer side, where some companies tie
23 one drug to another. FDA can't look at that, CMS
24 can, as an insurer. I think those are coverage
25 issues that the Agency has to look at.

00198

1 DR. MCNEIL: Karl.
2 DR. MATUSZEWSKI: Barbara, just a quick
3 comment on what you got in your project in the other
4 tech assessment organizations, the responses. I
5 wonder if it was somewhat temporally related to what
6 was on their plate in the immediate vicinity. I'd be
7 curious if any of the responses that they gave of
8 things they wanted evidence for were technologies
9 that were approved and in use for a longer time, for
10 greater than five years.
11 DR. MCNEIL: No, these were all brand new.

12 DR. MATUSZEWSKI: These were all recent.
13 So what they basically read you is sort of their top
14 20 list of things that are on their plates right now.
15 I think in terms of CMS's and Medicare's needs, if
16 there are technologies that have been approved for a
17 long, long time for which no evidence exists, or for
18 which there's a lot of practice pattern variation,
19 and for which we may need some evidence that's going
20 to determine is this a one, a three or a five. And
21 so what those other organizations gave you, I mean
22 that's good for the budget that came out this year,
23 but it's actually probably no help in 90 percent of
24 the things that are done for Medicare patients.
25 DR. MCNEIL: I think you're right, and

00199

1 the other question is we don't see every movement.
2 So let's see, Mark and then Debbie.
3 DR. HLATKY: I'm trying to think of how
4 all this is going to be used and be useful, and
5 especially if we're saying these are gaps in evidence
6 that should be addressed. I can see two things, we
7 have people from the NHLBI here, and they know stuff,
8 because I'm a cardiologist, they know heart disease
9 really well, and they probably could pinpoint better
10 than anybody here what things are bubbling up and
11 seem right in terms of research. But it also strikes
12 me that at the end of the day there's not enough
13 dollars to fund everything and it might be helpful
14 for them, you know, everything else being equal, to
15 say the one that seems to maybe be more helpful as an
16 issue for CMS or public health, you know, these kinds
17 of things, it's a tie-breaker for things like that.
18 The other one that strikes me is that
19 there is, as much as I respect the NIH, I think they
20 have certain areas that they focus on and other ones
21 that they don't. I can see here that this question
22 that came up on appropriate use of hospice care is
23 probably not on the radar screen anywhere within NIH.
24 And I wonder if CMS could help raise that issue and
25 say look, this is something that maybe falls in

00200

1 between the cracks given the way the Institute is put
2 together, and you know, you really need to raise it
3 up higher.
4 Or maybe, I don't know, I'll pick on
5 NIDDK -- that's the wrong one. For orthopedics, I
6 mean, I think maybe there's a big issue on basic
7 biology, maybe less so on the technology of joint
8 replacements, but maybe that's a huge issue for use
9 here, and maybe more public dollars should be devoted
10 to looking at that issue as opposed to not having it
11 done in a rigorous way.
12 So I'm just trying to say where can we,
13 you know, this kind of exercise with feedback to the
14 funding agency can be helpful, it seems to me it
15 could be in those close situations, where in other
16 ones they could say here's something that, you know,
17 we find a big variation and all kinds of stuff, and
18 there's no evidence, and we're worried about it, and
19 why aren't you guys studying it.
20 DR. MCNEIL: Okay. So we have, ready for
21 prime time, Steve.
22 DR. PHURROUGH: Okay. There were 14
23 ballots. The top 20 had a cutoff score of four or
24 five, or above.
25 DR. MCNEIL: What does that mean?

00201

1 DR. PHURROUGH: I'm sorry. If we went
2 with a score of four or less, four people saying this
3 is important, we got almost the same thing, so we're
4 only using the top 20, because the top 20 got a score
5 of five or more, so here they are.
6 Number one was number 56, that had 11
7 votes.
8 Number two was number 51.
9 Tied for three was number 50 and number 1.
10 Tied for four with seven votes was number
11 6, number 28, number 52, and number 105.
12 Six votes, number 16, number 22, number
13 40.
14 And the last group is number 7, number 11,
15 number 15, number 24, 25, 26, 58 and 69.
16 So hopefully that adds up to 20 things

17 that I called out.

18 DR. MCNEIL: So orthopedics and neurology
19 didn't make the list; is that right, didn't make the
20 top 20?

21 DR. PHURROUGH: As part of our follow-up,
22 we will take all the ratings and average those and
23 they will be on our web site to see what the average
24 number is, because the ratings did not have that much
25 distinction between them. The voting for the 25 ones

00202

1 you were most interested in did have greater
2 distinction than the actual ratings you gave them.

3 DR. MCNEIL: So maybe we can take a look
4 at this and see to what extent we think there's some
5 face validity here.

6 DR. JUHN: Just to clarify, this is where
7 the vote was five and the number of folks that gave
8 it a five score?

9 DR. PHURROUGH: No. This was the 25
10 most -- this is how many voted for the 25.

11 DR. STRAUBE: Steve, is there any way we
12 can somehow quickly put that onto a Power Point
13 slide?

14 DR. PHURROUGH: No. Maybe before we're
15 done, but not quickly.

16 DR. TUNIS: So, could I add, just as a
17 first pass comment, when you look at all these, you
18 know, each one of them has a good reason to think
19 that there are evidence gaps there and there would be
20 some importance to further research. So, you know,
21 nothing sticks out at me as an unwise investment of
22 resources to study.

23 Let me just take one to kind of illustrate
24 where, you know, there is still a lot of work to do
25 in terms of specifying what kind of evidence is

00203

1 needed. So you know, one of the ones that came up is
2 the effectiveness of CT angiography, presumably for
3 coronary artery disease. So there's a whole, you
4 know, nest of questions you might ask about that:
5 Its clinical utility for screening patients with

6 chest pain in the emergency department; there's the
7 clinical utility of use as a screening test in low
8 risk patients; there's initial diagnostic evaluation
9 of patients at intermediate risk. And then you still
10 have to ask the question of, do you want to do a
11 registry or a randomized trial and what kind of
12 clinical outcomes would you be looking for. You
13 would be looking for true sensitivity and specificity
14 over alternative diagnostic tests, or do you really
15 want to show that the test, you know, reduces
16 long-term cardiac events like acute MI or cardiac
17 death.
18 So there's, you know, no quibble at all
19 with that as being an important topic for study, and
20 you have a national coverage review underway on that
21 particular topic. But, you know, some of the work I
22 have been doing for the last 12 months, we've been
23 struggling with trying to come up with what are the
24 important unanswered questions on exactly that topic
25 and what study would be needed to sufficiently

00204

1 address that question, such that decision-makers
2 would feel comfortable using the technology, and it's
3 way hard.
4 DR. MCNEIL: Right. I don't think we
5 thought it was going to be easy. No, I think you
6 added something really important, Sean. These are
7 not even quite the titles of a chapter of a book,
8 they're very, very high level, and any level of
9 specificity would require a ton of work and there
10 would be multiple subdivisions under that, and you
11 gave us a number of really cogent examples for the
12 CVA one. Mark?
13 DR. HLATKY: I have kind of a process and
14 outcome question. You said at the end we could rate
15 what, 25 on the list, and this number is not that
16 high, if I counted correctly. And I wondered if the
17 panel after hearing that says well, you know, now
18 looking at the entire portfolio that came out of
19 this, I mean it's a little bit of a Delphi thing, is
20 there something that maybe we ought to raise up? We
21 have a few more spots, you know, some things that

22 were on the bubble.
23 For instance, one thing I rated highly,
24 and I notice they were all on the left side, maybe we
25 all started on the left and kind of got beat on the

00205

1 right. You know, one down near the end that I
2 thought was pretty important was treatment to slow
3 progression to chronic kidney disease. I mean, renal
4 dialysis is a huge problem, you know, if we can
5 prevent kidney disease, that's a big deal.
6 I mean, if we have another couple to give,
7 are there any that aren't rated that we, you know,
8 somebody would advocate for here saying, you know, we
9 have a couple others that we could recommend.
10 DR. MCNEIL: Well, we do have a few slots,
11 we could do it. The question would be how would we
12 do it.
13 DR. HLATKY: Well, I don't know what the
14 process is for getting there, but I just, I can't
15 help but notice, I wonder if it's really true that we
16 think there is nothing on the right side that needs
17 to be on the list.
18 DR. MCNEIL: There are several on the
19 right side, Mark. There are four actually on the
20 right side.
21 DR. GRANT: I'm surprised that none of the
22 orthopedic issues made it to our top list.
23 Primarily -- well, in large part they have a
24 tremendous burden of morbidity, we have effective
25 treatments, we know they're common.

00206

1 DR. MCNEIL: Well, people voted in several
2 different ways.
3 DR. GRANT: I know we voted a little bit
4 intuitive, but you know, hip fractures, for example,
5 to the best of my knowledge we haven't made a dent in
6 hip fractures over the past 20 or 25 years.
7 DR. MCNEIL: But that's not on the list.
8 DR. GRANT: That's not here, I know, but
9 osteoporosis is, and treatment and prevention of
10 osteoporosis is, I would think, optimal approaches to

11 that would be important, and also osteoarthritis,
12 which is extraordinarily prevalent.
13 DR. MCNEIL: Okay. Why don't we hold that
14 thought, and then Karl, and then I'm going to make a
15 suggestion.
16 DR. MATUSZEWSKI: You can't let any of the
17 Marks go in front of me, because the orthopedics,
18 again, unbelievable that there weren't some check
19 marks in that area.
20 DR. MCNEIL: You had your choice.
21 DR. MATUSZEWSKI: I did, but not enough
22 people, three or four of the five. But that is an
23 incredible disability, incredible dollars, incredible
24 development occurring, and just not a whole lot of
25 long-term --

00207

1 DR. MCNEIL: Let me make a suggestion.
2 It's clear that that's the case. Tom, did you want
3 to comment.
4 MR. SCULLY: If you look at it, it's
5 pretty close to the reflected dollar value of the
6 (inaudible) hear as much controversy about coverage
7 as some of the others, so some of these other things
8 are extremely controversial, and there aren't a whole
9 lot of orthopedic procedures that payment won't be
10 made, and I think the controversies surrounding that
11 area are much less than some of these other areas,
12 which are probably less.
13 DR. PHURROUGH: I can tell everyone what
14 the next 14 were.
15 DR. MCNEIL: Sure. We're going to hear
16 the next 14.
17 DR. PHURROUGH: The next group of those
18 was 14, which is why I didn't include them, because
19 that would take us to 34, and there are a number of
20 the orthos in that group.
21 But I'll start at the top. The next group
22 was number 4, number 13, number 17, number 19, 35,
23 38, 42, 49, 61, 72, 81, 85 -- I missed 70 -- 88, and
24 93.
25 DR. MCNEIL: Okay. So we have four

00208

1 orthopedics, right? Debbie, you wanted to say
2 something, right?
3 DR. SCHRAG: This is just a procedural, or
4 a process question. And I wonder if this wouldn't be
5 helpful if CMS perhaps internally did this before we
6 undertake this exercise again. As I went down this
7 list, I found myself wanting to separate these items
8 into things that are covered and things that are not
9 covered. So just looking in the top box there, CT
10 lung cancer screening is not covered yet, so there is
11 a sense of urgency because there are people pounding
12 at the gate to get that covered, and clinical trials
13 are in process, as an example.
14 There are other things that are already
15 covered that perhaps should go to the graveyard and
16 should become uncovered, which is a whole different
17 set of issues, requires different types of studies,
18 different types of implementation, and also you have
19 different types of evidence available.
20 So I wanted to see this list reformatted
21 by whether it's covered, if it's covered or not, and
22 then for those items that are covered I wanted to see
23 just a ball park blue sky dollar amount and number of
24 patients amount. You know, the information that we
25 received in the background packages reformatted in a

00209

1 column next to each one of these, how many lives,
2 ball park rounded to the nearest three million
3 beneficiaries, and dollars rounded to the nearest
4 something, for those items that are already covered.
5 Obviously you can't do it for something that's not
6 covered, but perhaps a projection if CT screening
7 were to be covered, what would the impact be, I don't
8 know, for next time.
9 DR. MCNEIL: They may not be able to do
10 that for everybody, just because of coding issues and
11 the lack of specificity of the words here, but some
12 of them they certainly could.
13 DR. SCHRAG: And I guess the reason for
14 that, I think that might make the task easier and I
15 would just hypothesize that if that evidence were

16 presented to all of us, it might make the task easier
17 and it might make the internal reliability of our
18 scores go up.

19 DR. JUHN: I would agree, but I would also
20 say that I'm actually quite impressed with how much
21 progress we've made given that this is a list that
22 was just presented to us a couple hours ago.

23 DR. MCNEIL: We're a good group, Peter,
24 good audience, good group. What do you expect?
25 So one way of proceeding, I'm not sure how

00210

1 Barry and Steve would feel about this, would be if
2 you went back with these and kind of elaborated a
3 little bit on what Debbie was saying, and that is go
4 into a little more detail about what actually each
5 one of these meant, and whether or not they were
6 areas that seemed to be appropriate for your
7 decision-making.

8 DR. STRAUBE: I think what Debbie is
9 bringing up is what I was trying, while Steve was
10 doing the tally, trying to outline a process of how
11 we do prioritization. And this morning when we first
12 started and people were going through their
13 presentations, I made some notes here about
14 prioritization categories and I think Debbie, that's
15 where you're coming out here. The two obvious ones
16 at the top were high volume, high cost, so looking at
17 volume versus cost might be information that would be
18 helpful to everybody to try to help prioritize,
19 including us.

20 Some of these other ones are potentially
21 more remote, but the setting perhaps, is it in one
22 setting or is it more than one setting, so it would
23 have more relevance across the board. And that would
24 get back into the care coordination piece, we could
25 ask the specific question, does this unanswered

00211

1 question have any influence on either improving or
2 worsening or care coordination, or if care
3 coordination is absent, does that have some effect on
4 this.

5 The hospice care piece was a separate one,
6 I don't know how I fit that into categories, but
7 maybe it would.
8 The pharmaco-surveillance piece I think is
9 one of the prioritization categories, is there some
10 genomic or pharmaco-surveillance or
11 pharmaco-vigilance component to this that would
12 either make it more important or less important.
13 Prevention is the other category, just
14 focused on prevention.
15 And then risk factors, are there
16 mitigating risk factors that would make it a higher
17 or lower priority in terms of the types of patients
18 that we're looking at.
19 I don't know if that sounds clear, but I
20 was looking, are there other things to what Debbie
21 suggested that maybe ought to go into the mix for
22 prioritizing these.
23 DR. MCNEIL: Yes.
24 MS. FRIED: The problem, and I understand
25 where she was coming from. The problem is there are

00212

1 a lot of covered services, for example if you look at
2 the rehab services which are covered in theory, but
3 for which a lot of people don't have access to. So
4 the problem with, following strictly that format
5 causes a problem. And similarly, you could use that
6 same theory with hospice care, there are restrictions
7 and I think -- I mean, the way it's listed here is
8 very broad, but what the appropriate use of it, even
9 though it's a coverage issue, isn't.

10 DR. STRAUBE: So Leslie, is that an
11 additional piece of evidence that we need, in terms
12 of evidence about access?

13 MS. FRIED: Absolutely. Access is a big
14 issue even for coverage purposes.

15 MS. DAVENPORT-ENNIS: Dr. Straube, I would
16 like to add to the access piece that as we look at
17 the list that you just provided to us, which I think
18 is very cogent and seems very logical to me, if we
19 look at something like a care coordination piece
20 which, that piece normally deals with access issues

21 too, that service often will deal with hospice
22 issues, pharmaco-surveillance, coordination of drugs
23 to make certain that we're not dealing with toxic
24 side effects just due to drug combinations. They
25 also work a lot in the area of prevention and trying

00213

1 to get people into screening.
2 And so as you look at the one entity on
3 this list for more study and you look at a care
4 coordination piece, that study, if asking the right
5 questions, may indeed give you a lot of information
6 in the other areas that we're all talking about as it
7 related to access.

8 DR. STRAUBE: By the way, I left off my
9 health disparities thing that I mentioned early, that
10 clearly needs to go in there. And there's another
11 one too that I'm interested in. I mean, all of us
12 being consumers or representing our parents or other
13 relatives as patients, we always ask these questions
14 from a provider or payer or employer or academic
15 perspective, but what about the patient-centered
16 perspective? What do our beneficiaries think are the
17 questions that they need to know? That may be
18 different perhaps than what we're asking.

19 DR. MCNEIL: I would have thought some of
20 the rehab stuff and the post-treatment would be in
21 there.

22 DR. STRAUBE: It could be, but within each
23 one of these priorities is there a factor in terms of
24 the evidence gap, do we need to be asking researchers
25 to consider at least the needs of patients with their

00214

1 questions.
2 DR. BERGTHOLD: I think that would be very
3 helpful, and I would hate to think that I was the
4 only, that the two of us are the only ones on the
5 panel who thought about that. But you know, taking
6 out the coordination of care and the setting issues
7 removes, frankly, a lot of the urgent issues from a
8 consumer's point of view. I mean, you know, somebody
9 was saying, well, what do we know about mental

10 illness in the elderly? We all have mothers so we
11 should know that, right, we should know about mental
12 illness in the elderly, dementia and so forth.
13 I mean, we have our experiences. But, you
14 know, what my 92-year-old mother needs is not
15 necessarily better drugs, but better coordination of
16 care. She goes to the emergency room last week
17 because of a drug interaction. So when I'm looking
18 at this list I'm thinking, you know, we need better
19 information about drug interactions and better
20 information, for example, about just prescribing
21 drugs for frail, small, elderly people who can't take
22 regular doses.
23 But having said all that, I think it would
24 be very helpful to give this to a panel of broader
25 consumers or beneficiaries to, maybe all of them, and

00215

1 say from your personal perspective, which of these is
2 most important.
3 DR. MCNEIL: So you would take basically
4 the list, Linda, or some version of it --
5 DR. BERGTHOLD: Some version of it, maybe
6 more in English, you know.
7 DR. MCNEIL: Right. Karl.
8 DR. MATUSZEWSKI: Over coffee when we were
9 waiting for the Starbucks guy to open up, a group of
10 us were talking about what's really most important,
11 and we were trying to project ourselves into a
12 Medicare beneficiary age, and it really centered on
13 three major areas. It was reasonable functioning, it
14 was mobility and it was cognition, so those three
15 major areas. And I don't want to live to be 100 if
16 it's in an ICU bed and you're going to keep me there
17 for six years. If you could sort of assure that I
18 could live to 80 and have those other three things,
19 then I'm a happy man. If I die from an aortic
20 aneurysm, then that's the way I want to go, quickly.
21 My second comment was, I wanted to
22 compliment all the institute individuals who spoke
23 about the, sort of what are your bad children, tell
24 us your five worst kids so we can really take a whack
25 at them here. And you know, the neurological

00216

1 institute has 600 and, you know, all my kids are bad,
2 none of them are really exemplary. But it would be
3 curious on some of the things that we came up on our
4 list today if some of those individuals from
5 institutes say yeah, we've got about three or four
6 trials in progress that we know about, so in a year
7 or so we are going to have some answers, so at that
8 point you want to take those off the table.
9 And then the final thing is that we talk
10 about evidence gaps. I mean, an evidence gap exists
11 for every single disease state. I mean, the next
12 time a new technology comes along, a new discovery in
13 terms of pathophysiological processes, you know, the
14 evidence gap for every single disease state is
15 self-perpetuating. And I was a little surprised that
16 drug-eluting stent long-term safety came up because,
17 you know, I can't wait for the next meeting in Spain
18 that's going to uncover about ten more different
19 meta-analyses on that. I'm not worried about the
20 evidence for that. That's going to evolve, you know,
21 use is down, I'm good with that. The cardiovascular
22 community publishes like crazy, and if there's a
23 little problem, I know there's going to be about
24 three or four RCTs in the next six months about that.
25 What I'm really worried about here is like

00217

1 orthopedics, where, you know, I know there's one
2 orthopedic individual in the corner, but they just
3 don't publish as often, and the quality of those
4 trials sometimes is not very good. And there's some
5 other clinical specialties that have suffered from
6 the exact same problem. And those are where it's
7 just a black hole in terms of if it's working or not.
8 DR. MCNEIL: Peter, did you have a
9 question? No. Leslie.
10 MS. FRIED: I just wanted to respond.
11 Something that didn't come up today was the issue
12 about caregivers and the role that they play.
13 There's a current (inaudible) the caregiver and the
14 family caregiver assessment tool which is coming out

15 of CMS, but they put out the tool but not really
16 talked about when it's going to be used. And so when
17 we have people who are in hospitals or nursing homes
18 or somewhere else, the role of the caregiver is huge
19 when it comes to rehab from any reason to some
20 post-acute care, and that sort of got lost, because
21 they're so important to the care for Medicare
22 beneficiaries.
23 DR. MCNEIL: I think we've had a lot of
24 really good points made. I also think we may be
25 winding down in our additions to the general content.

00218

1 So what I would like to do is ask each panelist
2 whether they have anything new to add, not repeating
3 anything that's been said already, but is there any
4 new concept that they would like to put out on the
5 table, or any new level of specificity that they
6 would like to add. I really don't want to go over
7 past ground, so we'll start with Mark.
8 DR. HLATKY: I just want to echo what was
9 just said about some areas just generically being
10 understudied. I tend to think that actually
11 pharmaceuticals, because of the regulatory
12 requirements, we tend to know a lot more than we do
13 about devices or diagnostics, and especially these
14 other things when we get down to the other areas like
15 coordination or something. If you just look at the
16 quantity of evidence, there's some areas that have an
17 investigative tradition, and I think cardiovascular
18 and cancer are two areas that are updated a lot, and
19 there are other areas that just seem not to get too
20 much at all. I do think it's important and we need
21 to shine the light on a problem that's really very,
22 very important to this age group and to the Medicare
23 beneficiary population. It just doesn't seem to be
24 getting as much attention, and it may be whole areas
25 like you said in terms of orthopedics, or renal

00219

1 disease, or some other areas.
2 DR. MCNEIL: Debbie.
3 DR. SCHRAG: Just a way to get that

4 information, just, you know, announcing of Medicare
5 data themselves. There was earlier mention of a
6 registry program but at a very high level, the fee
7 for service claims themselves get 30,000 feet up, but
8 they give a lot of information, although with no
9 granularity, about what's actually happening and what
10 services are being utilized. But the research
11 community, there's a lag, often a three to four-year
12 lag, they're not linked with Medicare. There's all
13 kinds of enhancements that might be made so that
14 those data resources were linked more quickly, that
15 that data were kept more current, to inform this
16 process.

17 DR. MCNEIL: We've heard that many times
18 from many, many investigators. Karl.

19 DR. MATUSZEWSKI: One area that I'm not
20 sure quite fits the rules of what we're supposed to
21 be talking about is patient and clinician
22 education/communication, so that we have disease
23 states, we have a test that may have some options or
24 alternatives right now and at least in the commercial
25 research community, comparative effectiveness is a

00220

1 very important measure, and I think that is something
2 that some of the institutes should get involved with.
3 So lay out what are the various options for BPH and
4 what are the risks and benefits of each of those.
5 And that way it gets to how the clinician can be
6 educated, not just the surgeon or the primary care
7 individual, or the individual who is going to provide
8 watchful waiting, but communication, and then for the
9 patient to find out so that they go in and know that
10 there is not only one way to proceed.

11 DR. MCNEIL: Great. Nancy, do you have
12 anything to add?

13 MS. DAVENPORT-ENNIS: Yes. We talked a
14 lot today about new therapies and the information
15 that we need to have in terms of evidence gap, and
16 there is one area that we did not address at all, and
17 it is the consumer willingness to participate in the
18 cost shifting that's happening. It is not an unusual
19 question for us to have consumers who are in Medicare

20 to say how much is this going to cost and how much am
21 I going to be responsible for, and what's the
22 ultimate benefit if I agree to this particular
23 therapy? And if I have a stage four disease and I've
24 relapsed twice before with cancer, is this a time for
25 me to begin another regimen of care or is this a time

00221

1 that someone needs to have the courage to begin to
2 talk with me about end of life.
3 So I think as we move forward in the
4 discussion to the master list, Dr. Straube, that you
5 were adding, I would like to see an area added where
6 there is consumer confidence in entering into the
7 cost discussion and what is their participation going
8 to be in that.

9 DR. MCNEIL: Thank you. Leslie.

10 MS. FRIED: No.

11 DR. MCNEIL: Peter.

12 DR. JUHN: I just want to applaud CMS's
13 efforts in actually getting this process started. I
14 mean, this is obviously not a perfect process but I
15 think it is a process worth embarking on, and I'm
16 actually looking forward to what the next steps will
17 be.

18 DR. MCNEIL: Good. Linda.

19 DR. BERGTHOLD: I want to make one comment
20 about comparative effectiveness, only because it's
21 interesting that it does come up as some of the top
22 choices where we were asked to rate something where
23 you looked at various treatments for a given
24 condition. From a consumer point of view, this is
25 the most difficult. Part of our decision-making when

00222

1 we get a condition is, how do we know which treatment
2 is effective when there's been so little research.

3 So I'm very glad to see that a lot of
4 those things came up high on the list.

5 DR. MCNEIL: Tom, you must have something
6 to add.

7 MR. SCULLY: I would just say that CMS has
8 no research budget left. I think it's 75 million, of

9 which 65 million went (inaudible) no research budget.
10 So unless AHRQ and the other agencies actually
11 coordinate with CMS, this is kind of a fruitless
12 exercise. A lot of people don't understand that.
13 They are all part of HHS, which people who work at
14 HHS often forget, or at least they used to.
15 But going back the other way, somebody
16 asked about data sharing for Medicare back to the
17 agencies. CMS (inaudible) other researchers and also
18 electronic health records (inaudible) information and
19 start getting stuff out there. This attention about
20 privacy is wonderful, but you can't run a healthcare
21 system if you're hung up about privacy. And at some
22 point the Secretary or whoever, has to be sharing
23 data between other research institutes and Medicare,
24 and populating electronic health records for things
25 like cancer, if they keep on adding layers of

00223

1 privacy, we're going to be in the stone age.
2 DR. MCNEIL: Thank you. Jean.
3 MS. SLUTSKY: Sitting between Tom and
4 Sean, I'm mostly passing the mike, but I guess the
5 only comment I would have is when I look at this
6 list, one of the things that really strikes me is the
7 whole issue of applicability. There may be areas
8 where there are trials ongoing or trials that have
9 been done, but generalizability to the elderly and
10 the old elderly is probably a missing piece, and it's
11 important to keep that in mind as we prioritize this
12 further.
13 DR. TUNIS: Maybe this goes into the
14 category of a suggestion for next step in the
15 process. You know, I think most of the topics that
16 came up here on this list are topics that aren't
17 radically surprising, you know, depression, coronary
18 artery disease, et cetera, so these are known to be
19 big issues for Medicare, so we know we're in the
20 right ball park.
21 You know, I think one underappreciated
22 resource for identifying evidence gaps is the AHRQ
23 EPC reports because they review everything we know
24 now, and they're pretty good about identifying those

25 things that we don't know that are important, because

00224

1 the way they actually develop their reports is they
2 first ask their technical expert panel, what are the
3 important questions, and then they go back and
4 collect all the evidence, and then what's left over
5 is the stuff that's important for which there's no
6 evidence.

7 So it seems to me you could take three or
8 five of these that the group seems to think was
9 pretty important, you know, see if there's an AHRQ
10 evidence report, there is one for carotid disease,
11 which was the number one topic. And you know, look
12 at their future research needs section, and perhaps
13 then convene a group like this or something to kind
14 of look at those to see what's missing that the AHRQ
15 report has identified.

16 But I do think a lot of the work about
17 where the evidence gaps are has actually been done
18 and perhaps more effort needs to go into actually
19 prioritizing, you know, where to invest your money.
20 But I think you could try that at least as an
21 experiment and see how far you get.

22 DR. MCNEIL: Thank you. Michael.

23 DR. JACOBS: Well, I was just going to say
24 what Sean said, which actually was said already, that
25 there is more expertise out there that needs to be

00225

1 mined to give you a better feel for where you turn.
2 And it may be out there, it may have to be gathered,
3 but that's pretty much what Sean said also.

4 DR. MCNEIL: Okay. Well, are there any
5 final remarks from anybody?

6 DR. STRAUBE: I just want, these are very
7 helpful comments, number one. Number two, if you
8 refer back actually to the paper Randy Burkholder
9 submitted and spoke to, that was very much in line,
10 Randy, with what I was thinking early on in the day
11 myself. And he makes some of the comments that you
12 all have just reiterated. The first one you'll see
13 there, the AHRQ list for HHS priorities, but these

14 were ten conditions that we submitted from CMS at the
15 beginning of the comparative effectiveness, Section
16 1013 of MMA. And it does overlap tremendously with
17 what we've done.

18 And as Sean suggested and Randy suggested
19 too, going back to the AHRQ comparative effectiveness
20 studies, and there may be the ability to query some
21 of those people about some of the areas we talked
22 about today.

23 Randy also put down, you know, the need to
24 have a broader role, that's what I was trying to get
25 at, that there are other categories, other priorities

00226

1 that we should be probably addressing and listing
2 out, so I think actually that's something that we can
3 consider as a group at the staff level here as to
4 what, given all these categories, what we would be
5 thinking about into the overlap of these other
6 categories. So this has been very helpful from my
7 standpoint.

8 DR. MCNEIL: Well, I think that we will be
9 synthesizing all this information, and are we going
10 to get it back, Steven, in some fashion to comment
11 on? Okay. That will be great, and then I guess
12 you'll tell us whether there are subsequent marching
13 orders; is that right?

14 DR. PHURROUGH: You may have to come back
15 to see me.

16 DR. MCNEIL: Well, I suspect most of us
17 would love to come back to see you. Uh-oh, Karl
18 doesn't want to come back.

19 DR. MATUSZEWSKI: I just have a quick
20 question for Steve. When the panelists were filling
21 out their votes, did you notice that there were some
22 people who just had to consistently give everything a
23 four and five, or did you see that everyone was sort
24 of spreading out their ones and twos and threes?

25 DR. PHURROUGH: I only looked at the

00227

1 circles, I was busy counting circles.

2 DR. MATUSZEWSKI: Because I think a

3 panelist said there might have been some topics that
4 they weren't sure about, and I wonder if it might
5 have been a good instruction to leave those blank.
6 Or you know, sometimes when I thought it was pretty
7 good I just put down a three, and I really kind of
8 focused on the two ends of the scale.
9 DR. MCNEIL: But things like that always
10 happen, unless we have a much more detailed
11 discussion about how to run the scale and iterate a
12 few times.
13 DR. MATUSZEWSKI: Rating it from 1 to 105,
14 that's what we would have needed.
15 DR. MCNEIL: Well, I'm not sure that
16 showing the 25 items done in a short period of time
17 without a lot of background, we would have done too
18 much better, so I think we're probably okay.
19 First of all, I would like to thank
20 everybody. This discussion was just enormously
21 interesting and hopefully very helpful to Barry and
22 to Steve. I think we got a lot done. I'm actually
23 surprised we got as far as we got in an amazingly
24 efficient fashion.
25 So I thank you all, and thank the

00228

1 audience, members of the audience who participated
2 and gave us their thoughtful remarks. We have your
3 presentations and are very grateful to you for
4 pinpointing the discussion in a way that was most
5 helpful to the committee, so thank you.
6 Unless there are other things to say, I
7 think we will adjourn the meeting. Thank you,
8 everybody.
9 (Whereupon, the meeting adjourned at
10 2:38 p.m.)

11
12
13
14
15
16
17
18

19

20

21

22

23

24

25

00039